

This transcript of the Advisory Board on Radiation and Worker Health, SEC Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the SEC Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

U.S. DEPARTMENT OF HEALTH AND
HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

SPECIAL EXPOSURE COHORT ISSUES
WORK GROUP

+ + + + +

MONDAY
JUNE 24, 2013

+ + + + +

The Work Group met telephonically
at 1:00 p.m. Eastern Daylight Time, Jim
Melius, Chairman, presiding.

PRESENT:

JAMES M. MELIUS, Chairman
JOSIE M. BEACH, Member
GENEVIEVE S. ROESSLER, Member
PAUL L. ZIEMER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, SEC Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the SEC Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

ALSO PRESENT:

TED KATZ, Designated Federal Official
TERRIE BARRIE, ANWAG
STU HINNEFELD, NIOSH ORAU
JOSH KINMAN, NIOSH ORAU
ARJUN MAKHIJANI, SC&A
JOHN MAURO, SC&A
MARTHA McNEELY
JIM NETON, NIOSH ORAU
MICHAEL RAFKY, HHS
JOHN STIVER, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, SEC Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the SEC Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

A G E N D A

WELCOME AND ROLL CALL 4

WG DISCUSSION:

DCAS draft outline of possible criteria
and guidelines for evaluating sufficient
accuracy 5

Next Steps/July Board Session 63

ADJOURN 71

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P R O C E E D I N G S

2 (1:02 p.m.)

3 MR. KATZ: So, let's get started
4 with roll call. We're not speaking about a
5 specific site so there's no conflict of
6 interest admissions needed here. Let's just
7 begin with -- well, I just heard the Board
8 Chair, Dr. Melius. Let's go through the Board
9 Members and then we'll go from there.

10 (Roll Call.)

11 MR. KATZ: Okay, very good. We have
12 an agenda for the meeting. It's posted on the
13 NIOSH Board page on the web under today's date
14 for meetings. And there should also be a paper
15 from DCAS posted there, I believe. LaVon can
16 let me know if that's not the case. And, Jim,
17 it's your agenda.

18 CHAIR MELIUS: Okay. Thanks, Ted.
19 I'm glad you could make it today. And hello to
20 Josie, Gen and Paul.

21 And our agenda is, I think, pretty

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 straightforward today and I want to talk
2 about, essentially, two things. One is to talk
3 about the draft outline that's been prepared
4 by NIOSH on sufficient accuracy in follow-up
5 to our last conference call. And then,
6 secondly, talk a little bit about -- we'll
7 follow-up on this, and also discussions at our
8 July Board meeting.

9 So, I thought we would start. If,
10 I don't know, Stu or Jim, who wants to talk?
11 But if you want to present a little bit on
12 your -- on the outline, sort of what you --

13 MR. HINNEFELD: I think Jim will
14 probably lead the discussion for us.

15 CHAIR MELIUS: Okay, great.

16 DR. NETON: I can get the ball
17 rolling, I guess. This is Jim.

18 Just as a follow-up to the
19 February 22nd meeting that Special Exposure
20 Cohort Work Group had where NIOSH had
21 originally prepared a couple of papers that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you remember in January for the February
2 meeting, and they were a review of the
3 parameters associated with sufficient
4 accuracy.

5 The approach that we took actually
6 ended up reviewing, if you remember, sort of
7 the case law that had been developed over the
8 last year's worth of decisions on SECs to see
9 if we could glean any particular criteria, or
10 develop any guidance from those decisions.
11 And, as it turned out, we really couldn't.
12 There wasn't anything specific that popped
13 out. Even when we looked at the thorium data
14 that we thought might be more informative,
15 there was nothing there, either.

16 And what came out of it was that
17 the hierarchy of data for dose reconstructions
18 seemed to have been used in the development of
19 the decisions all along the way, and that's
20 where we ended up.

21 At the February 22nd meeting, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Working Group, and NIOSH agreed, thought that
2 it would be good that we might sort of
3 summarize those salient points in a brief
4 outline, a several-page outline to put down
5 our thoughts on paper as to what we were
6 thinking on this. And that's what was issued
7 in May by LaVon.

8 And, essentially, what it has is a
9 summary of the requirements, and then goes to
10 the hierarchy; although, we did add some
11 preliminary steps in evaluating the criteria,
12 and one is this issue that was discussed at
13 the last meeting, which was to determine the
14 potential for exposure variability. One-size-
15 fits-all models I think we have come to agree
16 are not necessarily appropriate, and we need
17 to take care to determine if there's any
18 underlying variability or stratification in
19 the models.

20 And the other piece that was added
21 as a result of the Working Group discussion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was the concept that variability in itself, a
2 larger amount of variability or variance in
3 the model could be tolerated given that the --
4 if the exposures were very low. In other
5 words, the lower the exposure maybe the
6 greater the variability that could be
7 tolerated. When you have very high exposures,
8 a large amount of variability wouldn't be
9 appropriate.

10 So, those two pieces were added
11 into the discussion to the general concept of
12 reviewing the -- using the hierarchical
13 approach to evaluating the data.

14 That's about what I can say. If
15 there's any questions, I guess I can answer
16 them.

17 CHAIR MELIUS: Jim, it might be
18 helpful if you use just one part of the
19 hierarchy to sort of illustrate where you're
20 going. I think it would be useful.

21 DR. NETON: Okay. The way that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sort of envision the hierarchy, and this
2 seemed to come out when we looked at the cases
3 that we evaluated, is that the hierarchy
4 that's included in the regulations included,
5 you know, sort of a stratified approach. One
6 is, you look at personal monitoring data and
7 determine if the maximum-exposed workers were
8 monitored. And, actually, either maximum or
9 representative, I think, workers were
10 monitored. And those methods, even if they
11 were monitored, we had to determine if the
12 monitoring methods allowed for the exposure of
13 interest to be correctly identified. In other
14 words, they had -- the uranium bioassay had to
15 be appropriate; it could detect uranium if
16 that was the exposure potential.

17 And we couldn't -- and we
18 determined in that analysis that we also
19 couldn't use data that would result in what we
20 call implausibly high, and I think we might
21 want to discuss that a little bit today; come

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 up with implausibly high determinations. That
2 would be, for example, substituting thorium --
3 assuming that a gross alpha analysis for
4 uranium could bound a thorium exposure, and
5 result in very high intake values.

6 And then in the personal
7 monitoring data you end up, finally, if you
8 don't -- you know, you have to develop a
9 coworker model. And, again, in the coworker
10 modeling situation one needs to account for
11 potential stratification we put into this
12 document. And the example that was talked
13 about at the last Working Group meeting was
14 the case at Linde where we had construction
15 monitoring data, decontamination data for
16 workers that we felt bounded the overall
17 exposures. Yet, there was another offset of
18 the population that worked in offices where,
19 certainly, it should have bounded their
20 exposures, but really wasn't sufficiently
21 accurate because it was a different set of --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a different population of workers that were
2 being exposed.

3 That kind of covers what we do for
4 personnel monitoring. I don't know if you want
5 me to go through the remainder or --

6 CHAIR MELIUS: No, no. Jim, that's
7 fine. I just wanted to sort of tie it into the
8 stratification issues, and sort of accuracy
9 issues, and so forth.

10 Do any Board Members have comments
11 or questions?

12 MEMBER ZIEMER: This is Ziemer. I
13 have several questions. Is this the
14 appropriate time to raise them?

15 CHAIR MELIUS: Yes, it is.

16 MEMBER ZIEMER: Okay. First of all,
17 under the third item, Preliminary Steps, under
18 B.2 where we had the statement, determine if
19 there's any potential for exposure variability
20 within the exposed populations, that --
21 obviously, there's always potential for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 variability. In fact, not just potential,
2 there's virtually always variability.

3 It seems to me that we need to
4 develop that statement a little more to
5 delineate or explain what the concept of
6 potential for exposure variability really
7 means in this case. I mean, I think I know the
8 answer to it, but I think for someone just
9 reading it, it needs to be developed a little
10 more.

11 DR. NETON: I agree with you, Dr.
12 Ziemer. I mean, it definitely needs to be
13 fleshed out a little better. I think it
14 demonstrates that we're still a little cloudy
15 in our thinking on this.

16 MEMBER ZIEMER: Yes. Well, that was
17 really just a comment. Then I have another
18 question.

19 I was trying to remember -- you
20 have the statement under 4.A under personnel
21 monitoring data, you have the statements about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the coworker data and the stratification of
2 exposures.

3 There's a lot of beeps on the
4 line. Is this my line, or is some -- are we
5 hearing beeps?

6 CHAIR MELIUS: I'm also hearing
7 beeps, Paul.

8 MEMBER ZIEMER: Okay.

9 CHAIR MELIUS: I think it's the --

10 MEMBER ZIEMER: Okay, I'll just
11 proceed.

12 In any event, my question --

13 Do we have -- I can still hear it.

14 MR. KATZ: Right. Paul, I guess
15 hold on a second. This is really getting to be
16 difficult to listen to. Somebody is probably
17 inadvertently mashing a button on their phone,
18 so just everyone be aware of that. Maybe it's
19 a cell phone and it's harder to know when
20 you're doing that or not.

21 MEMBER ZIEMER: Okay?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay, Paul, why don't we
2 try again.

3 MEMBER ZIEMER: Okay. So, I was
4 trying to remember -- Jim, if you can -- Jim
5 or Stu, do we have any coworker models that
6 have been based not on personnel monitoring
7 but on either air monitoring or source term
8 data? You know, air monitoring of the highly
9 exposed group, and then a coworker model
10 developed for others who may have had other -

11 DR. NETON: I think we do have in
12 the source term -- in the source term data,
13 the only one that comes to mind is we
14 developed some source term models for radon
15 exposure. In the air monitoring data, I've not
16 been familiar with what's been going on at
17 Fernald, but I do understand that some daily
18 weighted averages were being used for
19 exposures to thorium.

20 MEMBER ZIEMER: Yes. Well, what I
21 was looking for here was a sort of parallel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statement about coworker models similar to --
2 or along the lines of what you have for
3 personnel monitoring data. Are there some
4 statements about coworker models for air
5 monitoring data or for source term data that
6 would require sort of a parallel statement to
7 4.A.3?

8 DR. NETON: I understand what
9 you're saying, and I agree with you. This is
10 kind of -- the way this reads, it's definitely
11 limited to bioassay, but there have been
12 instances where we've used other models. Good
13 point.

14 MEMBER ZIEMER: Just wondering if
15 there would be a parallel statement or maybe
16 any special conditions for both models under
17 those other circumstances. That's really the
18 question I had on those. And based on -- and
19 maybe you need to just go back and look at
20 what we have, and if there's anything special
21 about what we did in those cases. I just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 couldn't remember.

2 CHAIR MELIUS: Yes, that's a good
3 point, Paul. And I was sort of thinking along
4 the same lines, but maybe somewhat in the
5 other direction, is that in most instances
6 when we're relying on air monitoring or source
7 term, we don't really have enough monitoring
8 data, and it's not sort of dense enough to be
9 able to even detect whether there's
10 stratification, or differences among different
11 people doing different tasks and so forth.
12 It's certainly hard. It tends to be something
13 we're falling back on that data. I think the
14 Fernald case is an exception to that. But as
15 we're getting into that part of the hierarchy,
16 it -- we don't have the information, usually.

17 I don't know if that's a strength
18 or a weakness. It means we avoid sort of
19 having to deal with some of these issues with
20 coworker models, but at the same time, it may
21 be that we're ignoring a significant amount of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 stratification or differences among the
2 people doing different tasks, or with
3 different job titles within a building, or
4 within a -- even within the whole plant.

5 But I think they are -- I think
6 you're right, we need to sort of think about
7 it from -- as an overall issue, and not just
8 something confined to personal monitoring.

9 MEMBER ZIEMER: Yes. Well,
10 whichever way it goes -- I mean, what you just
11 said may be exactly the answer to it. I think
12 we just need to know.

13 CHAIR MELIUS: Yes.

14 DR. NETON: Yes. I have -- we have
15 a concern internally, at least I do, regarding
16 the stratification issue; and that is, at what
17 point -- at some point you have to have almost
18 a basis for why the data would be stratified
19 before you start analyzing, because otherwise
20 you can do a tremendous variety of different
21 tests and come up with stratification that may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 or may not be meaningful. But you have to
2 almost have some underlying rationale as to
3 why we believe this particular data set could
4 have some stratification in it.

5 MEMBER ZIEMER: We sort of have
6 that case, you know, at GSI where we're
7 relying on source term data, and we're
8 stratifying to some degree between
9 radiographers and layout people and
10 administrative people.

11 DR. NETON: That is true.

12 CHAIR MELIUS: Yes.

13 MEMBER ZIEMER: Those are sort of
14 the main questions I had on the document.

15 CHAIR MELIUS: Gen or Josie?

16 MEMBER ROESSLER: Am I off mute?

17 CHAIR MELIUS: Yes, you are.

18 MEMBER ROESSLER: This is Gen. I
19 don't have any real question, but I think when
20 we get to the final document the thing I'm
21 looking for the most backup on is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion. And it comes up quite a bit in the
2 outline about what happens when we have
3 unrealistically high estimates, or implausibly
4 high. That's something that's always just sort
5 of been hanging there, is just where do we go
6 from that? So, I think that really needs to be
7 identified in the document.

8 CHAIR MELIUS: And how do we, you
9 know, sort of evaluate that they are
10 implausibly high? And then who are we
11 referring to? Are we referring -- you know, is
12 it -- are they implausibly high for the
13 highest exposed worker or for all workers?

14 MEMBER ROESSLER: Yes, defining the
15 population.

16 CHAIR MELIUS: Who are we comparing
17 it -- yes, who are we saying it's implausible
18 for, because there may be -- it may be
19 plausible for the highest exposed worker, and
20 totally implausible for the lower exposed
21 worker.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: Yes, I hear what we're
2 saying there. It sort of puts us in an
3 interesting situation, though, where if you
4 say you can plausibly bound the highest
5 exposed workers but not a subset of that, then
6 you're sort of saying, well, I will make an
7 SEC for a lower exposed worker class, but then
8 it's always been difficult for us to put
9 people in positions and places in the factory
10 or plant. So, then you end up making a class
11 for all workers, even though there is a subset
12 that one might agree that you could plausibly
13 bound their exposures. It's kind of --

14 CHAIR MELIUS: Yes, you can err on
15 either side that way. I mean, I agree, it's a
16 conundrum in terms of how we -- partly because
17 we're limited by what data we have. And often,
18 the lower exposed workers, or what we think
19 are the potentially lower exposed workers
20 aren't monitored, or certainly not as --
21 monitored as often and as completely as the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 higher exposed -- at least potentially higher
2 exposed workers.

3 So, then it's -- yes, I think
4 sometimes we've dealt with it by saying well,
5 there aren't that many of those people. I
6 mean, sort of the discussion we were having
7 with the -- on the Fernald Work Group call
8 last week, talked about the coworker model
9 there.

10 Well, if you're going to do
11 subcontractors, who are they? Are they the
12 construction workers, are they the plant
13 physicians or, you know, other -- you know,
14 delivery people, whatever. I mean, there's a
15 range there, and how do we -- you know, how do
16 we find the right way of describing who we're
17 trying to, you know, do dose reconstruction
18 for, evaluate, or put in a Class; and, yet,
19 make it practical in terms of implementation.

20 I'll raise sort of a similar
21 issue, but this is more in relation to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 coworker models, because I've been reading
2 some of the reports on that. And I'm not sure
3 if everybody else has. I know, Paul, you have,
4 because it's come up before the Procedures,
5 and Josie, perhaps. I don't remember when this
6 came up.

7 But it's -- in looking at sort of
8 ORAU and SC&A, going sort of back and forth on
9 coworker models and doing some of the --
10 suggesting some of the kinds of statistical
11 testing that might be done on that, it sort of
12 struck me that we're -- there are a lot of
13 difficulties in reaching a conclusion on that,
14 because we really don't have a criteria for
15 sufficient accuracy that's quantitative at
16 all.

17 You know, we look at sort of an
18 upper limit, an upper bound, but we don't go -
19 - really go beyond that, specifically. And,
20 therefore, the statistical testing, I think,
21 has limitations, and you can argue -- you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have examples that would go both ways because
2 if you're testing on the variance of
3 something, a lot of that depends on the sample
4 size more than it does on the actual numbers
5 involved, so you can have a very high variance
6 for a low -- relatively low exposure
7 quantitatively, you can have the opposite of
8 that for what's a very high exposure; yet, I
9 think the higher exposures are the ones where
10 they're more likely to affect dose
11 reconstruction.

12 And I think until we think about
13 how we're going to deal with, you know,
14 realistically what's a -- what levels of
15 exposure or what doses, you know, are we
16 really interested in. And we've already dealt
17 with that in some ways, for example, with what
18 we refer to as environmental dose, or for, you
19 know, residual contamination on a site where
20 we, basically, take a fairly simple approach
21 to that because we believe that those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exposures are quite low; and, therefore, how
2 much variability we have in them, you know,
3 doesn't matter. And we don't usually have a
4 great deal of data, anyway, and we don't take
5 those into account and so NIOSH has this in
6 this outline. We talked about it at our last
7 meeting. But I think what when we're trying to
8 judge coworker models, and if we're going to
9 assess coworker, or evaluate coworker models
10 based on some sort of statistical testing of
11 those, I think it's going to be very hard
12 unless we come up with some more specific
13 criteria in the area of what -- sort of what
14 level of exposure are we going to worry about,
15 and try to take into account.

16 It's hard, we've never defined a
17 number for endangerment, health endangerment.
18 We've always looked at it from sort of the
19 sufficient accuracy. And there we don't really
20 have a quantitative approach defined on
21 sufficient accuracy, so I think we're -- I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think dealing with the application of this is
2 going to get hard unless we come up with, I
3 think, some sort of guidelines or guidance on
4 what levels of exposure are we going to be
5 concerned about taking into account in
6 coworker models, or any other approach to
7 dealing with sufficient accuracy.

8 MEMBER ROESSLER: Jim, you just
9 brought up a whole new ball game, it seems
10 like, and I'm wondering if when we talk about
11 defining the level of endangerment, and I'm
12 not sure if you're really suggesting that that
13 be done, it almost seems like that's a
14 scientific issues committee assignment.

15 CHAIR MELIUS: It could be. I mean,
16 if you remember way back when, we as a Board
17 talked about trying to define endangerment and
18 decided not to, basically. Partly because it
19 was, you know, controversial and difficult,
20 and partly because we thought it -- we weren't
21 necessarily sure that it needed to be done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And I'm not saying it needs to still be done.
2 I'm not sure we want to take that on through
3 the Board. I'm not sure that NIOSH wants to
4 take on that assignment either.

5 But I think we -- at the same
6 time, there -- I think -- and we may find
7 ourselves that we have to have some practical
8 ways of approaching that in terms of how we
9 deal with this issue, because we're -- the
10 issue of sufficient accuracy, because I think,
11 you know, that -- sufficient accuracy sort of
12 begs for some sort of numerical criteria.

13 MEMBER ZIEMER: This is Ziemer
14 again. Let me throw out some comments or ideas
15 on that.

16 I think we also in a way would
17 feel comfortable if we had a number that we
18 could peg things on, and then say okay, we met
19 this number criteria. I have a feeling that in
20 a sense this could differ in every case, or at
21 every site in the following ways.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 First of all, let's take the low-
2 dose end of the thing where you have a
3 particular component of the dose
4 reconstruction that is a very small fraction
5 of the total. We know sort of intuitively that
6 you can tolerate a lot of variance there, and
7 it has very little effect on the Probability
8 of Causation.

9 You could be within a factor of 10
10 and still have very little effect. And we
11 recognized that before, and we talked in the
12 outline about, at the low dose end of things,
13 that you can tolerate a lot of variance
14 without having a big effect on the final
15 outcome.

16 But what that number would be, it
17 seems to me, could be different in every case.
18 And it seems to me what we might want to think
19 about was just having some criteria where for
20 a particular situation we ask that the
21 sufficient accuracy be demonstrated according

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to some sort of rules, rather than according
2 to a particular number.

3 It's sort of like what we do with
4 the situation where we're looking for the --
5 one particular site to represent another in
6 the surrogate data case. You have to look at
7 each one specifically following some
8 guidelines, and then make your judgment.

9 Maybe we could have guidelines on
10 what sufficient accuracy looks like, both at
11 the lower and at the high end without coming
12 up with a particular number. At the high end,
13 obviously, you don't want to have a factor of
14 10, let's say, on your estimate and say well,
15 it's between this and that, and one end of
16 that is below the PoC, and the other end is
17 above it. So, you're looking at a much tighter
18 sort of variability in the final outcome.

19 But I'm just wondering if we can
20 think in terms of having a guideline, and then
21 in each case it would -- the burden would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to say here's what we've got, and here's why
2 we think it is of sufficient accuracy.

3 CHAIR MELIUS: No, I don't disagree
4 with that, Paul, but I think it's -- the high
5 end and the low end are probably easier than
6 the middle.

7 MEMBER ZIEMER: That's always the
8 case, isn't it?

9 CHAIR MELIUS: Yes, where's the
10 cut-off. And, certainly, it's going to be
11 individual, because it's going to depend on
12 the site, and what the exposures are, and are
13 there -- what materials are involved, what are
14 the sources, what are the -- how are people
15 exposed, and so forth. So, you know, it's not
16 going to be by, you know, radionuclide or
17 something like that. It's going to be, you
18 know, really depend on the exposure situation.

19 And, somehow, I think it comes
20 back to what extent that exposure -- that type
21 of exposure in that particular site and, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know, what we are assuming about the
2 variability or non-variability of that
3 exposure. To what extent will that affect the
4 -- you know, a person's dose reconstruction?

5 It's going to affect it, you know,
6 say 10 or 20 percent in terms of Probability
7 of Causation. And then, obviously, that's, you
8 know, a significant difference. If it's going
9 to be a very, very small amount, you know, .01
10 percent or less, or whatever, then I think
11 we'd have, you know, less concern about that,
12 and do that.

13 So, I think that's the -- and it's
14 sort of finding that the middle ground --
15 where do we get in the middle? And I think it
16 is on a case-by-case basis, so I think it will
17 be on guidelines, because I actually don't
18 think we often have the numbers, or want to
19 put NIOSH or whoever through all the work it
20 might take to do these estimates. It could get
21 quite complicated, I think, and beyond what is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 necessary. So, it would be a general set of
2 guidelines just to identify certain instances,
3 but I don't think we can ignore it, because I
4 don't think we'll -- I think if we found it,
5 at least in some instances we're having
6 difficulty dealing with sufficient accuracy.
7 And I also think that the approach that NIOSH
8 is taking -- and we would consider coworker
9 models and stratification within, in coworker
10 models, is if we're going to have any way of
11 evaluating that using statistics, I think
12 we're going to have to come up with some
13 guidance to direct that.

14 As I said, when I was reviewing
15 the OTIB -- it's not OTIB, it's ORAU, whatever
16 report, 53 on coworker models and
17 stratification. And then the SC&A review of
18 that, I mean, I could agree with both -- I
19 could think of examples where I'd agree with
20 both what ORAU was approaching, and I could
21 disagree with it. In SC&A's critique, I had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the same, you know, sense of, that it was --
2 that it would depend on the situation, and I
3 wasn't sure that those were going to provide
4 the kind of general guidance that we needed
5 for dealing with coworker models without, you
6 know, some more guidelines or guidance
7 attached to it.

8 So, SC&A, you've been quiet. Do
9 you want to add anything?

10 DR. MAKHIJANI: Dr. Melius, this is
11 Arjun.

12 CHAIR MELIUS: Yes.

13 DR. MAKHIJANI: I've been dealing a
14 lot with this question of stratification and
15 its application to Savannah River Site, as you
16 know. We haven't seen the reports yet, but one
17 of them has gone to DOE and you'll see it
18 soon, I hope.

19 But the problem is actually much
20 more complicated. When we got into the
21 neptunium database we found that even though

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you have the minimum number of samples, which
2 are 30, and you cross that threshold -- sorry,
3 that came up in the thorium, sorry about that.

4 The minimum number of samples
5 necessary to assure that you're not saying
6 that they're the same distribution when
7 they're not, so you're controlling that type
8 of mistake. It will depend also on how many
9 samples there are below the minimum detectable
10 limit that you have to fit in with a
11 statistical distribution, and how many are
12 actually detectable, and the relative
13 geometric mean compared to the geometric
14 standard deviation. If you imagine the
15 distribution if you have the means that are
16 far apart, you will see the two bell curves,
17 or two log-normal bell curves separately. And
18 in that case, it'll be easy to tell that
19 there's different distributions.

20 If they're close together, the
21 means, but the deviations are large, they'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be all smeared out and you won't be able to
2 tell whether they're different with high
3 confidence.

4 So, it turns out that the problem
5 of the number of samples is somewhat more
6 complicated even than presented in Report 53.
7 And you might have to do it on a case-by-case
8 basis. We examined four years in the thorium
9 report, and in two years it came out fine with
10 the number of samples, and two years it did
11 not.

12 CHAIR MELIUS: Yes, that was sort
13 of what I observed. And I agree, I think it is
14 very much dependent on what kinds of -- what
15 types of information you have from the site.
16 And you're right, with below detection raises
17 problems, as well as the nature of the way
18 some of the sampling was done, or who was
19 selected for sampling.

20 DR. MAKHIJANI: Right. And the
21 other -- one of the other issues is, was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 protocol for the sampling for the two groups
2 the same? So, was one group monitored only
3 after incidents mainly or largely, and the
4 other group routinely? So, in that case they
5 become non-comparable data sets, at least as
6 we -- and you've seen that in the report
7 that's already gone out from Harry.

8 CHAIR MELIUS: Right.

9 DR. MAKHIJANI: So, sort of, the
10 stratification is necessary in some
11 circumstances, as at Savannah River Site we've
12 shown, but it's very complicated and
13 difficult.

14 CHAIR MELIUS: Yes. And one of my
15 concerns is that if we -- that we're never
16 going to be able to reach a resolution on
17 those issues unless we have some idea of what,
18 you know, level of difference are we looking
19 for? Some guidance on how to evaluate sort of
20 the sufficient accuracy part of these coworker
21 models.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Anybody else from SC&A want to say
2 anything?

3 MR. STIVER: This is John Stiver. I
4 think Arjun summed it up pretty well, you
5 know, what we're dealing with. We're finding a
6 lot more complexities once we start really
7 trying to implement the Report 53 approach.
8 You know, you get to a point where there's
9 just not enough data available to really
10 discern whether -- and the variances are
11 overlapping to the extent that you can't
12 really separate out any substrata. How then
13 do you deal with these low-exposed strata? You
14 know there are people there for which the high
15 exposures probably don't apply. And we're
16 still grappling with how would we go about
17 naturally trying to implement something like
18 that, given the limitations we have on the
19 data sets.

20 This is something we're dealing
21 with at Fernald quite a bit. It's just almost

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 impossible to -- in earlier years to place
2 people in particular buildings. And,
3 oftentimes, the job descriptions are lacking
4 or missing, so you may have somebody who was
5 an office worker, but --

6 DR. NETON: This is Jim --

7 MR. STIVER: -- we are forced to
8 give them the type of exposure you'd expect
9 for a laborer. So, yes, I guess I'm talking
10 around in circles here, but I -- how to go
11 about quantifying sufficient accuracy. You're
12 almost coming out of a situation where you
13 think you recognize it when you see it in a
14 particular circumstance, but as far as setting
15 up rules, that's -- I don't know. We're going
16 to have to think about this quite a bit more.

17 DR. MAURO: This is John, to weigh
18 in a little bit.

19 What we haven't talked about, and
20 that's maybe because the first attempt at
21 trying to go back historically and see what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was done, but when we did decide to deny an
2 SEC because there was a sense that there was
3 sufficient accuracy and completeness, so that
4 you can reconstruct the dose, whether it be
5 external, or thorium, or uranium, it was
6 interesting that when we looked at the data,
7 we were able to say -- and there was like very
8 little discussion of well, why do we believe
9 that's sufficiently accurate? What kind of
10 test did we put it to with respect to
11 completeness?

12 And, you know, I think back to all
13 of those times when we converged eventually,
14 and concluded yes, I think that group and that
15 tier or strata can be reconstructed with
16 sufficient accuracy, and what brought us to
17 that point? What is it about the data that led
18 us to a place where we all achieved
19 concurrence?

20 Most of the conversation we're
21 having is to show right now what are all the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reasons which make it extremely difficult to
2 come to -- draw some conclusions regarding
3 sufficient accuracy? And, I guess, I -- when
4 we did agree, it would be -- I would like to
5 get a better sense of what was about it that
6 allowed us to come to that conclusion? And I
7 think about it, and it's almost like sometimes
8 it's self-evident, but it was never really
9 articulated.

10 CHAIR MELIUS: No, and I think
11 you're right, John. And I think part of that
12 is that we were -- there are so many SEC
13 evaluations to do that we reached a conclusion
14 on them one way or the other, and then the --
15 sort of the Site Profile part of it sort of
16 got, you know, procrastinated or delayed
17 somewhat. And, therefore, we never have spent
18 as much time on that, and as much discussion.
19 So, I think -- I don't think we have as much
20 of a record of discussing that. Yes, we
21 evaluate an SEC, we do that, and then we --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 before -- and I think the only place where
2 we've done it has been on the potentially Dose
3 Reconstruction Subcommittee, but in that case,
4 for the most part, we sort of ignore the
5 bigger Site Profile issues, so to speak. We
6 don't take those on again, so I'm not sure
7 that there's been as much discussion there.

8 So, I'm not saying we've done
9 anything wrong or incorrectly, but I just
10 think it is something we haven't spent as much
11 time as a Board or within work groups
12 discussing. We've been doing more of it
13 recently, I think.

14 DR. MAURO: When SC&A writes a
15 report and has its list of findings, and we
16 all pay attention to the findings, but where
17 we say, well, this looks okay, I guess we
18 usually give reasons for it. I'm almost like
19 thinking out loud now. You know, we would look
20 at the data, how complete it is, how many
21 years, how different, and there's a texture to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it. But somehow we've all --

2 MR. STIVER: John, are you still
3 on?

4 DR. MAURO: Yes, I'm sorry. Go
5 ahead. Someone just was speaking there. All
6 I'm saying is that to what extent would it be
7 helpful to look at the places where we agreed
8 that there was sufficient accuracy. Is that
9 what we've done previously when we -- when the
10 first attempt was made at this? And what was
11 it about the data set that led to general
12 consensus that this did represent sufficient
13 accuracy?

14 CHAIR MELIUS: Yes, I just don't
15 think the record is there enough to be
16 helpful, as much as the -- as when NIOSH did
17 the opposite approach, which is basically sort
18 of looking at where situations like the
19 thorium situations and so forth, they -- a lot
20 of those decisions were so site-specific, and
21 it has to do with what data was available, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 different time periods, and so forth, I think
2 it was hard to -- it's hard to generalize from
3 those.

4 John, one thing I question. Do you
5 really think we pay attention to SC&A reports?

6 DR. MAURO: Absolutely.

7 (Laughter.)

8 MR. STIVER: This is Stiver. I just
9 came back on. For some reason, I got
10 disconnected.

11 CHAIR MELIUS: Okay. I hope you
12 didn't hear that then, John.

13 (Laughter.)

14 DR. MAURO: It was okay for my
15 ears, though. Right?

16 CHAIR MELIUS: I wanted to see who
17 was awake. Does NIOSH have any comments on
18 what we're talking about?

19 DR. NETON: This is Jim. I guess
20 I've got a few thoughts on a few things that
21 were mentioned here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MELIUS: Yes.

2 DR. NETON: One thing I think that
3 we haven't focused on is, you know, why were
4 workers monitored and why weren't they
5 monitored? In some cases, you know, it's
6 totally appropriate, because they weren't
7 monitored because they weren't exposed, or
8 very lowly exposed, and a general coworker
9 model would be appropriate. So, I think that's
10 part of the analysis, is to say, okay, who was
11 monitored and why. And what do we know about
12 these unmonitored workers, to what extent? And
13 if we can flush that out to some great extent,
14 I think that's when we converge and agree that
15 we can reconstruct the doses for the
16 unmonitored workers.

17 The other point that was brought
18 up that I guess I have some concern with is
19 maybe tying the sufficient accuracy to
20 Probability of Causation difference. We
21 thought about that a lot in the past, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there's so many combinations possible to
2 calculate, that it probably wouldn't be very
3 fruitful to do something like that, at least
4 in my opinion.

5 CHAIR MELIUS: Oh, I was not
6 suggesting that we try to do it for all these
7 situations. I agree, I think it would be very
8 complicated, but at some sort of -- I mean,
9 that is sort of the test. I mean, we want to
10 know, you know, for particular situations, to
11 what extent does it contribute to -- you know,
12 potentially contribute to a person's dose.
13 And, certainly, at the low end we're making,
14 you know -- we're already sort of implementing
15 that.

16 DR. NETON: Right.

17 CHAIR MELIUS: I just worry that
18 without some more thought to that and so
19 forth, I really worry whether we'll be able to
20 get very far with dealing with the coworker
21 issues, at least using statistical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comparisons.

2 DR. NETON: I've got a little bit
3 more thought on this: who was monitored and
4 why. I mean, in my simplistic mind when we
5 first started this coworker approach, we
6 envisioned that you have the distribution of
7 monitored workers. And let's say that we know
8 with some certainty that either the highest
9 exposed workers were monitored, or a
10 representative sampling of the workers were
11 monitored. In that situation, then, we ended
12 up developing what I would essentially call a
13 two-part job exposure matrix where one would
14 assign the 95th percentile of that
15 distribution to the workers that were
16 potentially highly exposed, and the 50th
17 percentile to all other workers that weren't
18 monitored and more in categories that were not
19 likely heavily exposed. I thought that seemed
20 to make a lot of sense.

21 Now, I do agree that there are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 some situations where maybe there were some
2 categories of workers that -- and this
3 typically comes up in the area of construction
4 workers that had some very high exposures that
5 are -- were not monitored that were in that
6 upper tail of the distribution. Then you've
7 got an issue, but for most other instances, I
8 think it seems, in my opinion, fairly clear-
9 cut that you have this sort of two-compartment
10 job exposure matrix, it works fairly well. The
11 trick, of course, is to define which job
12 categories fit into which bin.

13 CHAIR MELIUS: Yes. And then do you
14 have enough information on the -- to place
15 people in those job categories. I think that
16 is --

17 DR. NETON: I think for the most
18 part we have a pretty good idea of what the
19 person was doing. I mean, whether they were
20 administrative. And, you know, we always tend
21 to err on the claimant-favorable side.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIR MELIUS: Yes, but I think
2 that -- and I'm thinking more in terms of the
3 SEC evaluations. There are a lot of -- well,
4 there are a lot of workers that move around
5 the facility, not just construction workers,
6 security and so forth, and there are a lot of
7 people, I think, that are hard to know where -
8 - what category to put them in.

9 DR. NETON: Well, I don't know. I
10 would say the 95th percentile covers the most
11 highly exposed workers working on distinct
12 processes, operations with their --
13 essentially their faces near the operations,
14 and the glove boxes, and welding operations
15 and such. And the ones who frequented the work
16 areas on a regular basis, even, I think the
17 50th percentile would certainly capture that.
18 But, again, that's just -- that's the way we
19 set it up in the beginning, and that's why
20 we're talking -- I guess it's not --

21 DR. MAURO: This is John. In

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thinking about it in its simplest sense, are
2 we asking ourselves the question that says
3 okay, we have a group that may or may not be
4 homogeneous. Okay? But we do have a lot of
5 data. And we could pluck off the upper 95th
6 percentile, and say it's very unlikely that
7 any worker could have experienced the upper
8 95th percentile day in and day out, or year in
9 and year out. And, as a result, as you just
10 described previously, that becomes your
11 coworker number for the high end individuals.

12 Then doesn't the next question
13 that goes now towards sufficient accuracy, is
14 that you have to ask yourself the very
15 difficult question, are there lower tier
16 groups -- and this is what happened, of
17 course, with the construction workers at
18 Fernald. Are there lower tier groups that in
19 theory, their upper 95th percentile might be
20 higher than the aggregate upper 95th
21 percentile?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm sort of drawing upon the
2 experience we just went through on Fernald.
3 So, what it becomes is a combination of
4 quantitative and a qualitative approach. The
5 qualitative side is doing some introspection
6 that within the overall group, is there reason
7 to believe that there are subgroups that might
8 for some reason, such as the construction
9 workers and subcontractors, could -- it's
10 almost a sense from experience, could have
11 experienced something different; and,
12 therefore, they're a different population.

13 But then the second part becomes
14 quantitative. Then the second part becomes
15 yes, we do believe that there are these groups
16 that might have been problematic.

17 Then you see if you could find
18 data for that group. And I don't have an
19 answer to this, but the real question you have
20 to ask yourself is, given the data, can we
21 assign to that sub-tier an upper 95th

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percentile, and is that upper 95th percentile
2 greater than the aggregate group?

3 And these are a couple of -- a
4 process that in thinking through what we've
5 done before, it really came down to that. And
6 if we weren't able to do that, we found
7 ourselves in the realm of, I think we've got
8 ourselves an SEC issue.

9 DR. NETON: Well, see, John, in my
10 opinion you have to establish that not only
11 were they more highly exposed, that group, but
12 they were also not monitored.

13 DR. MAURO: Yes.

14 DR. NETON: Because by definition,
15 you have some data from that population that
16 is higher than the rest of the population.
17 Grant you that.

18 DR. MAURO: Yes.

19 DR. NETON: But then I think it's
20 C- you need to look at it and say okay, well,
21 were there a large number of these people in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that group that weren't monitored at all?

2 DR. MAURO: And could have had
3 these high end exposures.

4 DR. NETON: Could have had these
5 high exposures. See, that's the key. One has
6 to --

7 DR. MAURO: I agree with that.

8 DR. NETON: You've got to look at
9 the whole data set.

10 DR. MAURO: Yes, but you see, those
11 first couple of questions are very subjective.
12 You know, are there these groups, did they
13 have the potential for exposure? And, if so,
14 do we have any data? You know, so --

15 DR. NETON: We've got that. I mean,
16 we see that. And Arjun has pointed out a
17 couple of examples where, yes, there are
18 construction workers that have -- at least on
19 paper the mean value is higher.

20 DR. MAURO: Yes.

21 DR. NETON: The median value, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's a large amount of variability on top
2 of that. But then were there a large number of
3 these other workers, or any workers that were
4 in that high category that weren't monitored
5 at all? You know, I'm not saying they weren't.
6 I just say that that needs to be somehow
7 established.

8 DR. MAURO: Yes.

9 DR. MAKHIJANI: This is Arjun. Am I
10 off mute?

11 CHAIR MELIUS: Yes, you are, Arjun.

12 DR. MAKHIJANI: The -- you know, if
13 we look at Savannah River external and
14 internal, we didn't have much problem with the
15 construction worker or non-construction worker
16 on the external dose. And NIOSH had put
17 forward a comparison, and then we reviewed it,
18 and we found there was one job category, or
19 two, if I'm remembering correctly, where
20 construction workers had higher exposure
21 potential. And we were able to agree on a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 multiplying factor for a coworker model, but
2 that's because the amount of monitoring data
3 available was so large that we were able to go
4 through these comparisons with some great
5 amount of confidence.

6 The problem with internal doses is
7 you've got so many radionuclides, and for some
8 of them, typically, uranium/plutonium, you
9 often have a large amount of monitoring data,
10 but the amount of monitoring data for many
11 radionuclides is quite small, your neptuniums,
12 your thoriums, and so on. And then it becomes
13 very, very difficult to settle these questions
14 in terms of stratification, who was higher
15 than whom, what was the monitoring protocol,
16 or was there a monitoring protocol?

17 And that's, I think, where many of
18 these practical difficulties -- I actually
19 like the paper overall that NIOSH put
20 together. I think it needs a little bit more
21 scientific graininess, and there's some gaps

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in terms of scientific reasonableness that
2 needs to be put in, and some statistical
3 constraints that need to be there, but overall
4 I think these kinds of constraints, we
5 actually follow them in an SEC. You tend to
6 come up against difficulties with particular
7 radionuclides because there just isn't enough
8 data.

9 CHAIR MELIUS: No. I think that is
10 usually the difficulty. And I think that's
11 often why in the past we have given -- made
12 certain sites into SECs based on that.

13 DR. MAKHIJANI: Right. Exactly.

14 CHAIR MELIUS: And now we're coming
15 to some situations where maybe there's, you
16 know, some more monitoring data. But the
17 question is, is it enough? And then how do we
18 -- is it appropriate to apply it maybe with a
19 less stratified model than we might use if
20 there were -- because it wouldn't support any
21 evaluation of stratification.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And, again, as you said, Arjun,
2 often we have much more limited information on
3 the sort of the sampling strategy and so forth
4 for those. So, those are the ones that, you
5 know, again, for these sites, they're the ones
6 we're mostly interested in because,
7 certainly, they're the crux of what we do in
8 terms of making an SEC evaluation at these
9 sites.

10 Any other comments on the report
11 or these issues right now?

12 MEMBER ROESSLER: Jim, this is Gen.

13 CHAIR MELIUS: Yes.

14 MEMBER ROESSLER: I was trying to
15 get off mute. I would like to not just simply
16 dismiss the thought that John Mauro brought up
17 about looking at the cases where we thought
18 there was sufficient accuracy. You know, I
19 don't think it deserves a great detailed
20 evaluation, but I would think that someone
21 would be able to kind of look at them and see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if there's a general trend, or if there's
2 anything sort of on the surface there that
3 could be looked at deeper.

4 CHAIR MELIUS: NIOSH, do you have
5 any response to that?

6 DR. NETON: Not right at the
7 moment. I'd have to think about that.

8 MEMBER ROESSLER: I think, at least
9 think about this.

10 DR. NETON: It might be worth
11 doing. I don't know. I just don't have a feel
12 in my brain right now, as to which sites that
13 would be, and what data might be available.

14 MEMBER ROESSLER: I just don't want
15 to completely dismiss it because it seemed
16 like a pretty interesting concept.

17 CHAIR MELIUS: Yes, I think the --
18 at least, personally, I think one of the
19 problems in thinking about that is that -- at
20 least I was disappointed in the other reports,
21 because I actually thought we would get more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 out of those, and they'd be more helpful than
2 they were. And I'm not faulting NIOSH or ORAU,
3 whoever prepared those reports. I just think
4 it's -- our SEC decisions are very much driven
5 by the specifics about a particular site. And
6 often, work monitoring wasn't done, and the
7 nature of operations of these sites. And these
8 sites are quite diverse, so in terms of sort
9 of general conclusions, we didn't get as much
10 out of that as we thought we might. But let's
11 think about doing that.

12 MEMBER BEACH: Jim, this is Josie,
13 to add onto that. SC&A uses a set of criteria
14 when they develop whether a certain site or a
15 certain issue is sufficiently adequate. Have
16 we talked about looking at their criteria, and
17 maybe that will help in the thinking of how to
18 look at that?

19 CHAIR MELIUS: I don't know what
20 their criteria you're referring to are.

21 MEMBER BEACH: Well, SC&A would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probably have describe that. I'm sure --

2 CHAIR MELIUS: No, no. I was making
3 an opening for SC&A of --

4 MEMBER BEACH: Oh, thank you.

5 MR. STIVER: This is Stiver. Are
6 you talking about our data adequacy and
7 completeness reviews?

8 MEMBER BEACH: Yes, I believe so. I
9 mean, you go through a set of criteria when
10 you're looking at whether a site has adequate
11 --

12 MR. STIVER: Well, yes, we try to
13 list to determine whether they're -- you know,
14 all the years and buildings where operations
15 took place have monitoring data. Basically,
16 the same kind of thing that's laid out here in
17 this hierarchical approach. So, we don't
18 necessarily have a set of fixed criteria that
19 we look at. That's sort of a kind of approach,
20 you know. You look at the source terms, the
21 exposure potential, you know, the different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 aspects of that, and then look to see whether
2 the proper type of monitoring was invoked
3 based on the types of radionuclides and so
4 forth.

5 As Arjun said, we've mentioned
6 earlier, Savannah River is a pretty good
7 example. You typically have pretty good
8 external dosimetry data which allows you to
9 make some kinds of adjustments for certain
10 strata, but oftentimes you don't have that for
11 the less well represented radionuclides, like
12 thorium or neptunium and so forth. So, it
13 becomes a matter of looking at those and
14 seeing what operations are going on, what
15 radionuclides were in place, and what the
16 exposure potential is. And then given that, do
17 you feel they're statistically significant
18 data available of high quality that can then
19 be used to reconstruct dose? A lot of it comes
20 down to a matter of professional judgment.

21 (Simultaneous speaking.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAKHIJANI: I would supplement
2 that a little bit. This is Arjun. We have
3 procedures by which we -- for reviewing SECs,
4 and also for reviewing site profiles, and
5 those were submitted to the Board early on.
6 John Mauro, correct me if I'm wrong.

7 DR. MAURO: You are correct.

8 DR. MAKHIJANI: And we generally
9 follow those procedures. We also, you know,
10 bounce off of specific NIOSH reports when
11 we're reviewing SECs with which I'm more
12 familiar. We start with the -- we start,
13 obviously, with the evaluation report
14 typically when it says dose reconstruction is
15 feasible. And then we go through a very, very
16 similar set of criteria, but I think the
17 scientific and statistical feasibility sides
18 of it are a little bit more elevated than they
19 are in the document that NIOSH sent out on the
20 20th of May. But the ideas about source term,
21 the availability of monitoring data, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 various kinds of radionuclides, external and
2 internal; external are usually not a problem,
3 so mostly internal. And they're not very
4 different than the ones you have before you.

5 We have -- and I think if NIOSH
6 probably developed a document in more detail,
7 you won't come up with something that's very
8 different than what we do.

9 DR. MAURO: A good example; I mean,
10 when we recently worked on PROC-44 where one
11 of the things we added to the attachment was -
12 - in a funny sort of way, it goes very much to
13 this topic where we say, well, there are a
14 number of sites that SC&A worked on with the
15 Board and NIOSH, and where we did come to
16 convergence, eventually, by pushing the data
17 through a certain process. I'm thinking -- and
18 there are four examples, I believe, in PROC-
19 44. And we did it solely as a way to review
20 the current protocol that NIOSH employs for
21 doing SEC reviews.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And if you recall, one of our
2 commentaries was to get more explicit, and
3 perhaps with some examples of what does
4 constitute -- how do you go about getting to
5 the point where you achieve what we call
6 completeness, accuracy, adequacy, that sort of
7 thing?

8 Now, the best we did is give a few
9 examples in Attachment A to PROC-44, but I
10 think that goes toward the things we're
11 talking about. When -- under what
12 circumstances were we able to get to a place
13 where there was consensus, yes, we think we
14 agree that there is data adequacy and
15 completeness? We don't usually use the term,
16 meets the test of sufficient accuracy, but we
17 usually do get to a place where we say we
18 think they're scientifically accurate and
19 claimant-favorable.

20 I would just maybe want to point
21 everyone to that attachment in PROC-44 review

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that we submitted six months ago or so, as
2 being a place just to take a look at, because
3 it goes a little bit toward what Gen had
4 mentioned earlier, that, you know, under what
5 circumstances did we achieve convergence? And
6 I think a few examples are in there, but
7 certainly there are more.

8 DR. MAKHIJANI: If I might add
9 something for Josie. You know, it's not so
10 much the specific criteria that are different,
11 but maybe how sometimes we look at them. So,
12 for instance, in this paper, NIOSH says it
13 must be possible to demonstrate that the
14 highest exposed workers were monitored. And we
15 agree, and we -- but often the discussions and
16 differences are, were the highest exposed
17 workers actually monitored, and how do you
18 demonstrate that? Because it says it might be
19 possible to demonstrate, because once you've
20 demonstrated that, then as Genevieve was
21 saying earlier, then your road to a coworker

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 model is clear. But in terms of demonstrating
2 that for some radionuclides, it's very, very
3 difficult.

4 I'm recalling about a site where a
5 certain population of workers was very
6 frequently monitored, but we weren't able to
7 establish the relationship, at least that's my
8 interpretation, between that monitoring and
9 many other groups of workers who didn't have
10 very much monitoring, but who had exposure
11 potential.

12 So, it's the -- and then the
13 statistical depth to which you need to
14 demonstrate something is a pretty important
15 consideration, so I just ended one example.
16 So, in Report 53, NIOSH had a hypothesis that
17 the two distributions of construction workers,
18 or two distributions being compared, say
19 construction workers and non-construction
20 workers, are the same. And the bar for
21 rejecting that was very high, so when you look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 at it the other way, the bar for -- you can
2 often be wrong and say they're the same when
3 they're actually not the same. But we believe
4 that you need to look at that question with as
5 much rigor as the first question. So, you need
6 to control for both types of errors.

7 So, how you -- so, a lot of the
8 differences, Josie, have arisen not in the
9 things that we look at, because we all look at
10 the same things. We look at the source terms,
11 the work, and the monitoring data, and how
12 much is available, and what the radionuclides
13 were. It's in how we approach the
14 demonstration of whether these data can do the
15 job or not.

16 MEMBER BEACH: Absolutely. Thanks,
17 Arjun.

18 CHAIR MELIUS: And I would just
19 add, I think the -- often it's the -- that's
20 just the numbers -- it does come down to what
21 extent is there data available to demonstrate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And if we're -- that data is not available,
2 what do we -- what assumptions do we make? Do
3 we assume that it means that the exposures
4 aren't stratified, or that between various
5 groups, or that they are? I mean, that's -- I
6 think right now we would assume they aren't.
7 And is that a valid approach?

8 And then if we're going to make
9 that -- if we don't have adequate data to make
10 -- to evaluate that, then we're going back to
11 how do we, you know, interpret, or how much do
12 we know about, you know, what people did to
13 make sure the sampling protocols for the site
14 and so forth. So, there's a lot of different
15 types of information that we would take into
16 account. So, now we've learned that SC&A
17 hasn't already figured this out. And the Board
18 hasn't, and NIOSH hasn't.

19 So, what I would suggest doing,
20 going forward, we're going to be discussing
21 this at the Board meeting in Idaho. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think we, you know, should -- and we're going
2 to also be discussing the ORAU-0053 and the
3 SC&A review of that, so I think those two will
4 tie together. And we have a fairly significant
5 amount of time devoted to that. I think
6 actually later in the meeting we'll also be
7 discussing the Fernald site. There's an
8 example where we'll be dealing with this
9 coworker stratification issue, so I think
10 there will be a lot of time to think about
11 that and so forth.

12 I mean, what I would like to do is
13 get some input from other Board Members, and
14 get a sense of what should we be doing to
15 approach this? What makes sense to do at this
16 point in time? Is that reasonable with the
17 other Board Members on the Work Group, and
18 with NIOSH?

19 DR. NETON: Yes, it sounds good.

20 MR. HINNEFELD: Same here.

21 CHAIR MELIUS: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Now, for the July
2 Board meeting would you expect us to have
3 taken a shot at some of the sites where SECs
4 were not granted, to kind of look at what was
5 special there, or what was --

6 CHAIR MELIUS: Well, let's wait
7 until we get up to -- out to Idaho.

8 MR. HINNEFELD: Okay.

9 CHAIR MELIUS: That's not to not do
10 it, that's -- I just don't think there's time
11 to --

12 MR. HINNEFELD: I don't think we
13 could do much of it.

14 CHAIR MELIUS: Given some of the
15 resource constraints and so forth, I don't
16 want to burden you until we may be able to pin
17 it down a little bit more.

18 MR. HINNEFELD: All right.

19 CHAIR MELIUS: Do that. I mean,
20 because there are some other things that come
21 up. One of the things I was actually thinking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about when Arjun was talking about the
2 Savannah River is, well, what is it about the
3 external monitoring data that led us to sort
4 of accept that in terms of coworker models?
5 And then what -- you know, how far did we go
6 with that in terms of stratification, or non-
7 stratification and what was that based on?

8 And that would be examples where
9 we have less constraint due to the amount of
10 data available, and probably more information
11 -- because the data is so dense that we have
12 more information on understanding and
13 confidence in the sampling strategy and so
14 forth, so that we weren't concerned about some
15 of those issues. Well, then what did we
16 conclude based on that? You know, we conclude
17 it was sufficiently accurate. It wasn't -- I
18 mean the SEC is not based on that, so maybe
19 that's an example of something that would be
20 useful to think about from this perspective.
21 But let's all think about it. There will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 other examples from other sites that --
2 different issues that we want to -- would like
3 to get more information on.

4 DR. MAKHIJANI: Yes, Dr. Melius,
5 that -- you know, if I might expand on that
6 for a moment. We've been discussing this
7 mostly in whether an SEC was granted, or
8 whether all parties agreed there was enough
9 data to deny an SEC. But it may be more useful
10 to be a little bit more fine-grained, because
11 there have been many areas where we agreed
12 outside of, you know, whether an SEC was
13 granted or not. But many areas where we agreed
14 that there was enough data, even in the
15 internal data. Of course, SRS external data is
16 a very good example, and there the
17 stratification was pretty fine-grained. But,
18 you know, plutonium data at Rocky Flats, I
19 think we didn't have very much argument about
20 that, if I'm remembering correctly, for
21 example, and uranium data at some sites. So,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it may be useful to look at that.

2 CHAIR MELIUS: Okay.

3 DR. NETON: I can speak for the
4 Rocky Flats, and I was going to mention that
5 earlier. That's a case where the data were not
6 as robust as we liked, and we ended up using
7 the 95th percentile for all workers. That's
8 one where we sort of agreed.

9 DR. MAKHIJANI: Yes, and we did
10 agree that there were enough data to do that.

11 DR. NETON: Right. But we agreed
12 that we would use the 95th percentile for
13 everyone, and not try to stratify it in the
14 50th or the 95th. That's sort of a different
15 example, but it might be worth looking at here
16 at this point.

17 CHAIR MELIUS: Anybody else on the
18 Work Group with last words or suggestions? If
19 not, we'll see everybody in Idaho. We're
20 hoping someday Ted will find us a place to
21 stay, other than camping behind Brad's house

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or something. I'm not sure what we have in
2 store for us, but --

3 MR. KATZ: I think Brad lives in a
4 nice place.

5 CHAIR MELIUS: Does he? Does he
6 have room, you know, in the garage for a bunch
7 of us?

8 MR. KATZ: He's very handy. If he
9 doesn't, he might be able to do something.

10 CHAIR MELIUS: Yes, that's why I
11 say, we'll get the notice, bring sleeping
12 bags.

13 MR. KATZ: So, everybody, the other
14 thing -- I think it's pretty obvious to me
15 what materials I should distribute related to
16 this discussion. But please, to everyone on
17 the line, give some thought as to what you
18 might -- what might be additional reading
19 materials that would be helpful to people, and
20 I'll distribute those, as well. The obvious
21 ones I'll cover.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR MELIUS: Yes. No, I think
2 this outline would be one. And then,
3 obviously, the -- some authority you already
4 have on your list, but 53 and the --

5 MR. KATZ: Right, right.

6 CHAIR MELIUS: And so forth.

7 MR. KATZ: Right. No, I'm just
8 saying, if it occurs to anyone that something
9 else is particularly germane, just let me
10 know.

11 CHAIR MELIUS: Yes.

12 MR. KATZ: And I'll add that to
13 what I distribute to all the Board Members,
14 and what we have out there at the meeting,
15 too.

16 CHAIR MELIUS: I'll let you know. I
17 also assigned -- tried to assign to our
18 eminent epidemiologists on the committee the -
19 -I just remembered I left off one, but I sent
20 them copies of the report, the 53, and the
21 review of the 53 to read ahead of time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Oh, good.

2 CHAIR MELIUS: Yes. See if I can
3 get them interested before the plane ride out
4 there.

5 MR. KATZ: That's good. That's
6 good. Well, they both could bring something to
7 the table.

8 CHAIR MELIUS: Well, I began with
9 four of them, and I left out -- I forgot Jim
10 Lockey.

11 MR. KATZ: Right.

12 CHAIR MELIUS: Just thought about
13 it. Okay. Everybody, thank you, and as I said,
14 we'll see you in July in Idaho, if not sooner.
15 Thank you.

16 MR. KATZ: Thanks, everyone.

17 (Whereupon, the above-entitled
18 matter went off the record at 2:17 p.m.)

19

20

21

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, SEC Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the SEC Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1

2

3

4

5

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com