

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON FERNALD

+ + + + +

TUESDAY
APRIL 15, 2014

+ + + + +

The Work Group convened telephonically at 10:00 a.m., Eastern Daylight Time, Bradley P. Clawson, Chairman, presiding.

PRESENT:

BRADLEY P. CLAWSON, Chairman
PHILLIP SCHOFIELD, Member
PAUL L. ZIEMER, Member

ALSO PRESENT:

TED KATZ, Designated Federal Official
BOB BARTON, SC&A
HANS BEHLING, SC&A
HARRY CHMELYNSKI, SC&A
LOU DOLL
DEKEELY HARTSFIELD, HHS
DAN HENNEKES
STU HINNEFELD, DCAS
KAREN KENT, ORAU Team
JOYCE LIPSZTEIN, SC&A
JOHN MAURO, SC&A
MARK ROLFES, DCAS
MATT SMITH, ORAU Team
JOHN STIVER, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 10:05 a.m.

3 MR. KATZ: This is the Advisory Board
4 on Radiation and Worker Health, Fernald Work
5 Group. Our meeting today is going to be
6 relatively brief, a couple of hours or less
7 probably.

8 The agenda for the meeting should be
9 posted on the NIOSH website. I don't believe
10 there's other materials posted there. There's
11 a matrix on the status of the Site Profile
12 issues, but it was only PA-, Privacy
13 Act-cleared yesterday and it can't be posted in
14 time, so that'll get posted for everyone who's
15 interested and, the public who might be on the
16 line, that'll be posted as soon as it can be,
17 but not today. Probably tomorrow. So, I
18 apologize about that.

19 So, let's get started with roll
20 call, beginning with Board Members. We're
21 speaking about a site so please speak to
22 conflict of interest for all Board Members, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Agency, and related staff when we run through
2 roll call. And let's go with Board Members.

3 (Roll Call.)

4 MR. KATZ: Okay. Thank you. So,
5 that's it for me. Brad, it's your call.

6 CHAIRMAN CLAWSON: Thank you. I
7 appreciate that, Lou. I appreciate you taking
8 the time to call in and talk with us.

9 I guess, first of all, one of the
10 most important things that this call originally
11 started out for was to be able to go over this,
12 the letter that had been written on this. And
13 I appreciate you sending this in. You've
14 brought up some very interesting points, so
15 what I'd like to do is start out, first of all,
16 and discuss this letter.

17 John Stiver, I believe you have done
18 some background work to be able to check into
19 this. And I guess, John, I'd kind of just like
20 a little sound bite, or whoever looked into it.

21 MR. STIVER: Okay. Can you all see
22 the letter that's up on the Live Meeting screen?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes, John, we can all see
2 it, although it's in handwriting.

3 MR. STIVER: Right. I'll just kind of
4 paraphrase. Mr. Doll can certainly jump in at
5 any time. But, basically, what the problem that
6 was identified was that, as you recall, last
7 summer at the Idaho Work Group, not Work Group
8 but Board meeting, an SEC Class was added for
9 the -- all subcontractors at Fernald from
10 essentially the inception of operations in 1951
11 to 1983. And the basis for this was that the
12 uranium bioassay worker model for Fernald did
13 not include any subcontractor data prior to
14 1986. And NIOSH had gone out in the interim and
15 found about 940 hard copy records.

16 Just let me kind of back up a little
17 bit as sort of a basis of the SEC just to kind
18 of refresh everybody's mind. And those records
19 covered a period of time, several different
20 years starting in 1969, there were some years
21 in the >70s, and then most of the data were in
22 early to mid-1980s. And we were tasked to take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a look at this data to see if, indeed, the
2 coworker model would be bounding for these
3 subcontractors based on -- this is really kind
4 of a weight of the evidence argument. I mean,
5 there really wasn't enough data for the early
6 years to make this comparison, but we kind of
7 looked at the data, and we picked out -- and
8 something just jumped right off the page.

9 In 1969 there was a group of
10 subcontractor workers from Deutsch & Sons, I
11 believe. They came in for about a four-month job
12 where they were pulling out some contaminated
13 equipment, and there was some -- evidently,
14 there was some exposure during this time. And
15 we went through and calculated potential
16 intakes for these workers based on different
17 assumptions and periods of intake and so forth,
18 and it was determined that even under the most
19 favorable circumstances of the coworker model
20 even in the 95th percentile would indeed not be
21 bounding for this subgroup of workers. And
22 based on that, and the fact that there was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 essentially no data for the early years, from
2 1951 up through 1968, the Board decided to grant
3 an SEC for the subcontractors.

4 And then fast forward here until, I
5 believe in February, Mr. Doll sent in this
6 letter and he says well, wait a second now.
7 National Lead of Ohio, which had this spotty
8 record for health and safety concerns, you
9 know, during their tenure had the contract up
10 through 1985, so why is it that we're
11 terminating the SEC in 1983 and not actually
12 including those last two years that was
13 contracted in >84 and >85.

14 And the reason for this, in my mind,
15 correct me if I'm wrong, but NIOSH had put forth
16 a White Paper about this time last year, maybe
17 a little bit later. I think it was in June, and
18 it was by Gene Potter. And I can pull this up
19 really quick. Let me put it up for everybody to
20 see. I can get back here. Here we go.

21 And this table, if you all see this,
22 Table 1 has a series of years, >69. These are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the years that the hard copy data exists for
2 subcontractors, Type 50 data which are
3 essentially non-routine bioassay samples. And
4 NIOSH posited that, you know, they agreed that
5 there's a paucity of data in the early years,
6 but they thought that possibly they might be
7 able to build a separate subcontractor coworker
8 model based on the hard copy records for 1984
9 and 1985. So, as kind of a weight of evidence
10 argument, we never really were tasked to look
11 into these data in detail, but you can see there
12 based on the number of individuals and the
13 results, you can see that starting in >84, or
14 about >84, >85 and >86, remember 1986 is the
15 year when the new contractor came in, new M&O
16 contractor, which was Westinghouse, and they
17 instituted sweeping improvements in processes
18 and so forth for radiological health and
19 safety.

20 So, you can see in 1986, the data are
21 somewhat similar to >84 and >85 in terms of the
22 number of individuals covered in the samples

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 per individual. So, based on that kind of weight
2 of evidence argument, the Work Group and the
3 Board decided that, you know, there's probably
4 enough data here for NIOSH to go ahead and build
5 a separate coworker model for those two years,
6 and that was really the basis for the cutoff in
7 1983.

8 And as a result of Mr. Doll's
9 letter, we were tasked, SC&A was tasked to go
10 and take a closer look at the data set for >84
11 and >85, and look at the usual things that we
12 evaluate in terms of adequacy and completeness
13 of the data. And Bob Barton and Joyce Lipsztein
14 looked respectively at the completeness and
15 adequacy aspects of the data. And I guess our
16 big concern, and Bob is going to take over and
17 talk about the details here in a minute, of
18 completeness, but our main concern was that I
19 think there's about 12 or 13, I think 12
20 subcontractor groups were identified by NIOSH
21 in this data set during this time period, and
22 the vast majority, 83 percent come from Rust

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Engineering and Legge. And then there's a
2 smattering of samples for some of these others.

3 Bob started digging into the
4 records and found that, you know, there's
5 actually closer to about 50 different
6 subcontracting companies that were active
7 during the 1984-1985 time period. So, the
8 question in our mind is well, you know, there's
9 a couple of different explanations for this.
10 You know, Rust could be subsuming these other
11 subcontractors into their contractors, using
12 their contract vehicle to bring in people as
13 needed, and they may be counted as Rust
14 employees where, in fact, they may be working
15 for one of these other subcontractors.

16 Another possibility is that, you
17 know, these people just weren't monitored, and
18 they may have not been monitored for good
19 reason. It could be that, you know, by this time
20 when the awareness was becoming public about
21 some of the problems at Fernald, and just in
22 general with radiological safety, there was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more of an interest in being more comprehensive
2 in monitoring than in the early years. And, you
3 know, from the health physicist standpoint,
4 certainly the people who they believed are
5 going to have the higher exposures are going to
6 be the ones that they're going to make sure get
7 monitored. So, it may be that the unmonitored
8 workers were unmonitored for a good reason.

9 And a third possibility is that
10 maybe there are a significant proportion of
11 workers in these unmonitored firms that are
12 just not included, so then it becomes a
13 situation where, do we have -- are these kind
14 of random exclusions, or is there some
15 systematic bias that certain subcontract firms
16 are not represented in the data, in which case
17 we have a completeness problem.

18 But, Bob, if you'd like to take over
19 and maybe talk about a little bit more of the
20 details in completeness, it would be a good time
21 to do that now.

22 MR. BARTON: Sure, John. Let me see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 if I can hijack this thing from you.

2 MR. STIVER: Okay.

3 MR. BARTON: Okay. See if this works.
4 Okay, can everybody see this table in front of
5 you?

6 MR. STIVER: I can see it fine, Bob.

7 MR. BARTON: Okay. So, what we have
8 here on the far left column were the
9 subcontractors that were actually in the
10 captured data that NIOSH found in these
11 urinalysis request cards. And as you can see,
12 there are about 13 entries, though one of them
13 is unknown, so we don't really know what those
14 represent. But the striking thing we saw right
15 off the bat was the very high proportion of the
16 data that is associated with really only two
17 subcontractors, that's Rust Engineering up
18 here, and Legge.

19 In 1984 it's fairly evenly split
20 between Rust Engineering and Legge, but when
21 you get into 1985 there's nothing for Legge, and
22 as you can see, nearly 97 percent of the data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in 1985 was labeled as Rust Engineering. And if
2 you follow that and combine it for both years,
3 you can see that between those two
4 subcontracting firms, I mean, if you add these
5 up you're getting close to 95 percent of the
6 data available. That's just between two firms.
7 So as John said, that kind of -- you know, it
8 begs the question, okay, why are we seeing this?
9 Is this a naming convention, or is this because
10 these other subcontractors we see here didn't
11 really have the exposure potential so weren't
12 included in the program?

13 So, one of the things we did is we
14 tried to get a handle on, well, how many
15 subcontracting firms might there actually be
16 operating at the site? And one of the references
17 we found, and I'm going to scroll down here, and
18 this is SRDB Ref 99119. And you can see, I mean,
19 the list goes on. We have the contract numbers
20 here, and these are the relevant start and end
21 times related to this time period.

22 A lot of these subcontractors had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other periods where they're under NLO
2 subcontracts but I didn't include those since
3 it's not really relevant to the discussion of
4 these years in question. And as I scroll down
5 here, you can see there are a lot of them. And
6 here's Legge, here's Rust but, I mean, you have
7 all these other types of subcontractors. And in
8 addition to this reference right here, the
9 99119, just in the NIOSH data set we have these
10 additional ones that actually weren't included
11 in that reference. You can see Johnson Controls
12 and Martin-Marietta, William Kraemer & Sons.
13 And then we cite another one that was just in
14 the claimant files themselves, D&J Electric,
15 though this may be more in the 1990s. I was not
16 able to actually put dates of start and end
17 terms of the contracts for these. And then the
18 final reference here, this Ref ID 3031, has a
19 few more that weren't included in that
20 original, what's called the comprehensive
21 list. And what Ref 3031 was, was actually a
22 release of in vivo records for workers who were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 involved with these subcontractors.

2 And, actually, that reference is
3 rather interesting. Let me see if I can put that
4 up there. Okay. And this actually has the names
5 of the workers who were involved in the in vivo
6 program, presumably. You can see this was the
7 release of subcontractor in vivo files, and
8 they were basically mailing those results out
9 to people. And this is dated -- okay, that says
10 June 1985. It's stamped August, I guess 20
11 something, 1985. But as you can see here, the
12 number of individuals in these different
13 subcontractors, sometimes it's only one, but
14 some of them have quite a few. And I'm going to
15 keep scrolling down here until you see Rust
16 Engineering. And you see Rust Engineering has
17 the longest list, but I wouldn't say that that
18 list right there, I don't know how many people
19 it is actually, is actually comparable to some
20 of these other lists. For example, I guess
21 Mobile Chemical, I mean, that's a pretty long
22 list that's comparable to Rust. And that's the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 end of that document.

2 But I guess what it boils down to
3 here is we're kind of scratching our heads
4 because the question of completeness is, do you
5 have a representative sample; do you have
6 enough information about the dirty jobs and
7 what exposure potential was like for
8 subcontractors to be able to use that data to
9 bound the intake estimates for those groups of
10 workers. And when we see that such a large
11 proportion sort of related to just these two
12 subcontractors, we were kind of like, huh, you
13 know, is there a problem. Is there what we would
14 call a systemic not exemption but are you
15 reducing people out because they were employed
16 by different subcontractors, or was it one of
17 the other reasons that John Stiver said at the
18 beginning that maybe it was a naming convention
19 or some other explanation?

20 So, that was really our main concern
21 from an SEC perspective related to
22 completeness. And I guess I'd like to stop there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and kind of get DCAS and ORAU's impression, and
2 if they have other information that might sort
3 of alleviate that concern.

4 MR. HINNEFELD: Bob, this is Stu
5 Hinnefeld. I'm curious if you pursued further
6 and found the reference, you know, this last
7 document you showed that contains in vivo
8 results from what are called subcontractors. At
9 the top of the letter there is a reference to
10 an earlier letter from the DOE Site Manager to
11 the NLO manager, president. Did you find that
12 reference to find out why NLO compiled this
13 list?

14 MR. BARTON: I did not find that. It
15 could quite possibly be in the SRDB but I didn't
16 find it. I don't know if maybe some of you --
17 do you know what the contents of that letter is?

18 MR. HINNEFELD: I don't. I'm curious
19 about why NLO, they -- it would appear to me
20 from the contents of the letter that the
21 reference asked NLO to do something. And in
22 response, NLO compiled this list. And the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reason I ask that, the long list that you showed
2 besides Rust Engineering was Mobil Chemical.
3 Mobile Chemical was a neighbor, and Mobile
4 Chemical's well was contaminated. And this, I
5 believe, was probably about the time the
6 contaminated wells were identified, or close
7 after, something like that. It's quite
8 possible. And I don't -- I won't swear to times
9 or I don't know when this happened, but there
10 was a time when the Mobile counter was brought
11 to Fernald to count because of concerns about
12 the environmental revelations that were made.
13 But that may not have been this period. That may
14 have been a different period.

15 I am very puzzled by Mobile Chemical
16 being referred to as a contractor because they
17 were a neighbor, and I suspect because of the
18 long number of people there, they were counted
19 because the water, their well had been
20 contaminated for a while before it was even
21 identified as contaminated.

22 MR. BARTON: Okay. Well, I mean, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 certainly sounds reasonable for Mobile
2 Chemical. I guess our concern still stands of
3 just such a large proportion that are really
4 related to - it's mostly Rust Engineering, I
5 mean, 97 percent of the results in 1985 were
6 Rust Engineering's --

7 MR. HINNEFELD: Did you find any
8 results about the relative number of employees
9 that these contractors provided to Fernald?

10 MR. BARTON: No, I did not, and I'm
11 not sure if that information is readily
12 available. We certainly did look for it. And
13 that's one of the things we wanted to get more
14 information from you about.

15 MR. HINNEFELD: Lou and Stan might
16 know more about Rust Engineering's operations,
17 but certainly Rust Engineering provided a great
18 deal of the contract, subcontract work for many
19 years at Fernald, so I think it would be
20 reasonable to assume that they had a large
21 number of workers there so, naturally, most of
22 the samples would come from them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think they quite often got the
2 work in the plant. I think there were
3 subcontractors who performed work that was not
4 in a radiological area and you wouldn't expect
5 to monitor them, so I B- but to be honest, I
6 can't go down this list of contractors and tell
7 you what they did.

8 MR. BARTON: Sure, and I understand
9 that. And that's one of the explanations that
10 John Stiver posited, is that you've got
11 subcontractors who simply weren't in the
12 radiological areas. And I think that's a
13 reasonable argument. I think it B- you know,
14 obviously, it needs to be fleshed out a little
15 bit more either via interviews, or if we can
16 find official documents about how many workers
17 were sent from these different subcontractors,
18 and some indication of what they were doing
19 would go a long way to alleviate our concerns.
20 But I think it's a question that needs to be
21 posed and answered, you know, a referenced
22 answer that needs to be backed up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: This is John. I'd just
2 kind of second what Bob is saying. I think at
3 this point we're kind of in a situation where
4 we're sort of left with a cold trail. You know,
5 how many of these people B- first of all, how
6 many of these companies actually employed X
7 number of people, what fraction of the total
8 might they be, what types of work were they
9 involved in? I mean, the real problem we're
10 grappling with is whether there might be some
11 systematic exclusion of people who could have
12 been potentially exposed, and that's kind of
13 where we're stuck right now. So, at least in my
14 mind I think this would be maybe a question for
15 Stu and Mark to pursue and maybe come back with
16 B- see what they could find.

17 MR. BARTON: You know, one thing we
18 had thought of possibly doing would be to, you
19 know, look at >86 and >87, maybe those years
20 when Westinghouse took over and had a better set
21 of records. And just take a look at that, see
22 if we could identify for those years, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assuming that they have that kind of -- those
2 records are available and, you know, you could
3 kind of back-extrapolate assuming that the mix
4 really didn't change, you know, over that two
5 to three year period, and see how many are still
6 B- companies are still representative. And, if
7 so, you might get a handle on the number of
8 individuals. That's kind of where we're stuck
9 right now.

10 DR. LIPSZTEIN: May I complete just
11 one thing, just completing what Bob said? He
12 made before the Rust workers, they were
13 monitored all year round. All the other
14 workers, including the Legge workers, they were
15 monitored for just a short period of time. For
16 example, Legge workers, they were only
17 monitored in July and August. And all their
18 monitoring, there were 23 workers that were
19 monitored, and they were monitored many times
20 so it looked like a follow-up of some work they
21 were doing. And all of the samples are late
22 >50s, so probably there was some work, special

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 work they were doing, and they were followed.
2 And all the other workers say like from the
3 other companies that is not Rust, they were
4 monitored in a specific month. They were not
5 monitored all year round. There were specific
6 dates that people were monitored. And the in
7 vivo data that Bob was talking about, there is
8 one document which is historic bioassay
9 monitoring, in vivo monitoring. They had some
10 results for the Mobile workers and for other
11 subcontractors. All those results were taken
12 between December >84 and January >85. And all
13 the in vivo results were available.

14 MR. HINNEFELD: Joyce, can you
15 describe that document a little more? Do you
16 have like a B- do we have an SRDB and is there
17 a reference ID?

18 DR. LIPSZTEIN: Yes, there is. Let me
19 look for it and I'll tell you in one second.
20 Okay.

21 CHAIRMAN CLAWSON: This is Brad,
22 Stu. While she's looking that up, it sounds like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all these other ones had their birthday samples
2 like what we've seen through the rest of
3 Fernald.

4 MR. HINNEFELD: Well, no, I don't
5 think there were very many birthday samples
6 except for people who were monitored once a year
7 on it, because they only got monitored at their
8 annual physical. They were essentially
9 considered unexposed, and I don't know if they
10 had it on their birthday, but they were
11 monitored once a year at their annual physical.
12 The people who were considered exposed were
13 monitored either monthly or quarterly at
14 Fernald.

15 CHAIRMAN CLAWSON: What year would
16 have that started?

17 MR. HINNEFELD: Well, I don't know
18 about construction workers, but for in-house
19 C-- well, I think the construction worker
20 sampling started, I mean, from the records we
21 see it started in earnest in late '83. Now, the
22 argument B- I'm not arguing with the point that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 well, there were these other companies, so we
2 still B- I understand we need to address that
3 issue. But for the annual samples that were
4 taken, were taken for people who were not
5 considered to be exposed, and it was taken at
6 the time of their annual physical. And I think
7 maybe they continued the annual bioassay after
8 they discontinued the physical, but I don't
9 recall if that's true or not.

10 Now, to the point of a certain
11 company being sampled within a couple of
12 months, or within one month, that aligns
13 exactly with what you would expect for a company
14 that would come in for a construction job that
15 took a couple of months, or they come in for some
16 contract work that took a month. So, to me,
17 there's no particular detriment to the fact
18 that the company wasn't sampled all year long
19 when we know that Rust was.

20 To me, the set of data that you
21 presented is exactly consistent with Fernald's
22 start of awakening in late >83 to the fact that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there are these construction workers that are
2 working in our production area; we should be
3 bioassaying and monitoring them, or the fact
4 that actual construction work really got going
5 in B- I don't think this is true. I think
6 actually there was construction work going
7 before late >83, but at some point -- you know,
8 the data that we have is consistent with a view
9 that Fernald sort of work up that gee, we ought
10 to be monitoring these construction workers
11 that are working in the contaminated area and
12 started to sample the ones who did.

13 Now, we'll pursue what we can about
14 what these other companies, why they weren't
15 sampled. I think you'll probably find that
16 we'll be hard-pressed to ever find a head count
17 for one of these subcontractors, and have to see
18 if we can make some judgments based on >86 and
19 >87 data, or maybe some other lines of pursuit,
20 maybe some interviews or something.

21 DR. LIPSZTEIN: The reference is
22 094407.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: 094407?

2 DR. LIPSZTEIN: Yes, it is in vivo
3 radiation monitoring historic report.

4 MR. HINNEFELD: Okay, thank you.

5 DR. LIPSZTEIN: And you'll see that
6 they have monitored in December and January.
7 In December mostly were people, were workers
8 and people that lived in the area, and in
9 January also they were mixed, but mostly the
10 subcontractors. But it's the same, it
11 continues, looks like they were doing in vivo
12 monitoring for all workers and people that live
13 in the area at the end of December and in the
14 first two weeks of January.

15 MR. HINNEFELD: Okay, thank you.

16 DR. LIPSZTEIN: Right.

17 MR. STIVER: Joyce, this time might
18 be good for you to kind of talk a little bit more
19 about the exposure potential you saw among the
20 Rust versus, I think it was Legge and some of
21 the other B-

22 DR. LIPSZTEIN: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: -- contractors. If you
2 look at Potter's paper, there towards the end,
3 he has some plots that show that, I think it was
4 1985 the subcontractors weren't a lot
5 different, not statistically different from
6 the subcontractors, but they were in '84. And
7 Joyce identified the reason for that, and maybe
8 you could talk a little bit about that.

9 DR. LIPSZTEIN: Yes. In '84, as Bob
10 has shown, there were a lot of samples from
11 Legge workers, and those samples were all from
12 some particular B- I don't know if it was an
13 incident, if it was special sampling because of
14 special work, so they were only monitored in
15 July and August, and it started with a very high
16 monitoring result on the 3rd of July for most
17 of the B- many of the workers, and then there
18 was a follow-up of those results.

19 And if you make a graph with the
20 results, you'll see there is a big peak on
21 August B- I'm sorry, on July 3rd. From those
22 Legge workers, only three workers were only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitored once and had a low excretion rate.
2 Most of the others had high excretion rate, and
3 nine of them had very high excretion rate, so
4 there is, like, something different on this
5 portion. And then there is the four workers that
6 were involved in one accident that was
7 registered. There is a description of the
8 accident. We found a description in one
9 document of an accident that occurred on the
10 26th of July, and that involved workers from
11 Langdon & Johnson. So, they were monitored only
12 once on the day of the accident, and the two
13 Langdon workers had high excretion rates. All
14 the others, most of them, if you take out Legge
15 and those Langdon & Johnson, all of them had low
16 excretion rates.

17 So, we'll see you'll have a
18 distribution of low excretion rates with two
19 peaks, one peak due to this, I don't know,
20 special work or incident from Legge workers,
21 and with those four workers that were involved
22 in the 26th of July accident. So, it looks like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two different B- I don't know, it would be two
2 different distributions. That would be what
3 B- I don't know if the Legge workers that were
4 only monitored in July, they can fit into the
5 Rust workers distribution because they look
6 like, you know, they were doing something else,
7 and that's why you have this peak.

8 And if you look at the workers
9 results, the regular workers results, they were
10 all low like Rust. The distribution is similar
11 to the Rust workers, so I don't know if the
12 coworker model should be just for Rust workers
13 or it would encompass everything. I don't know.
14 I think it's more for statistics for Harry than
15 for me. I just observed that. Maybe Harry can
16 talk a little bit about it.

17 DR. CHMELYNSKI: I'm sorry, I
18 haven't really looked at that data in any depth.
19 It does B- it looks interesting, though. We
20 would like to look at it.

21 MR. STIVER: This is John Stiver, if
22 I could just jump in for a second. You know, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think this illustrates the whole idea that, you
2 know, here we have groups of subcontractors
3 going in, some are, you know, like pavers and
4 people like that who are not really B- wouldn't
5 expect to have any radiation exposure. But you
6 have these others, we have the Deutsch group,
7 we've got Rust, we've got Legge, and some others
8 that we don't have monitoring data for, and I
9 just, in my mind, to feel comfortable that we
10 have a -- can actually build a coworker model
11 here for a situation where you have different
12 groups of workers coming in doing different
13 jobs, so it's not like you have a bunch of guys
14 on a factory floor doing the same thing over and
15 over again, and you have like a kind of a
16 homogeneous cohort.

17 In this situation you have lots of
18 different cohorts, so it seems like you're
19 throwing an additional element of uncertainty
20 in there that you really need to be careful that
21 you're capturing all the potential, you know,
22 exposures, or at least enough of them, enough

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the different types to where another guy
2 comes along and he doesn't have any monitoring
3 data, and you don't really know what he did that
4 you could be reasonably confident that you
5 could be able to bound his dose. Now, this is
6 a situation we find all the time. It really gets
7 to the whole heart of adequacy and
8 completeness.

9 And if those few Legge workers had
10 not had those data submitted, you know, they
11 would appear to be identical for statistical
12 purposes with the Rust workers, and with the
13 NLO workers during that period of time. So, it's
14 just very important that we be confident that
15 we have a data set that encompasses B- is
16 complete enough to encompass enough of the
17 exposures that did occur that we could be
18 confident in bracketing and bounding an
19 exposure for the unmonitored workers.

20 MR. HINNEFELD: This is Stu
21 Hinnefeld. I have a question about what's being
22 shared on the screen right now. It appears to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be B- it appears, I guess, to be an Excel
2 spreadsheet that has several tabs, and the tab
3 we're seeing is B-

4 MR. BARTON: That's the claimant's
5 name.

6 MR. HINNEFELD: Yes.

7 MR. BARTON: Yes. Joyce had
8 mentioned this July, late July incident. And as
9 we can see, now the RSV workers were redacted,
10 obviously, from the claimant file, but this is
11 a job that was happening. We don't know when it
12 started, but they were up on the Plant 5 roof
13 which was over the remelt area and says, you
14 know, black oxide contamination on surfaces
15 measuring up to 5 mR per hour. And the evaluator
16 who wrote this sort of mental off -- said it's
17 very likely that these very high samples that
18 we see, I mean, they're taken on the same day.
19 You have a marked increase, could have been from
20 contaminated samples, but at the same time, and
21 this is a direct quote, it's also possible that
22 these employees might not have worn their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 respirators properly. And then this last
2 paragraph, sort of concluding paragraph says,
3 we need to increase our surveillance so that
4 this doesn't occur in the future in order to
5 avoid bad publicity or worse from the general
6 public which is more and more apprehensive
7 about radiation exposure in NLO employees. And
8 it mentions that the wife of one of these
9 employees had called to inquire about her
10 husband's sample.

11 And this is one of those things, and
12 I'd like to note this, as well, it shows that
13 Langdon Hughes and Johnson Controls which were
14 in their data set were subcontractors to Rust
15 Engineering. Now, one of the possibilities we
16 outlined was that we see all these Rust samples
17 because perhaps a lot of these other
18 subcontractors were, indeed,
19 sub-subcontractors to Rust. But then this
20 incident report sort of belies that because
21 even though they were subcontractors to Rust
22 Engineering, when they submitted their urine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bioassay cards it didn't say Rust Engineering
2 on it. It said their actual subcontractors,
3 which in this case were Langdon Hughes and
4 Johnson Controls.

5 Since Joyce had mentioned that
6 incident, I wanted to bring it up. Again, this
7 is B- and there was no follow-up samples
8 because, essentially, the work was done and
9 they were gone, so they couldn't call them back,
10 or didn't want to call them back for follow-ups.

11 I guess this is a case where it sort
12 of gives me pause that, you know, you have the
13 Langdon Hughes which only had six samples in
14 1984, four of them taken on this day. And I know
15 the other Langdon Hughes worker here is
16 redacted, but I can tell you his samples are
17 actually even higher than the ones being shown.
18 So, again, it B- we sort of have to flesh this
19 out that we did capture the correct workers and
20 that perhaps these short-term projects, which
21 we really don't know how long this one went on,
22 we only know when it ended, were actually being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 captured by the bioassay program.

2 Now, in the case of Legge, it seems
3 that they were certainly paying close attention
4 to on that particular subcontractor, but I
5 think we need to come to some sort of weight of
6 evidence argument that these other ones who
7 could have potentially been out there doing
8 short-term demolition, you know, HVAC work,
9 something that could have had a high exposure
10 potential but over a short term are captured
11 here so that we can use that data to be able to
12 adequately bound the exposure potential to
13 people who don't have data.

14 MR. HINNEFELD: Okay. Back to my
15 original question, though, I mean, the --
16 what's being shared on the screen appears to be
17 a product that was prepared for this Work Group
18 meeting. Is that right?

19 MR. BARTON: Yes. Joyce had
20 mentioned that incident when she was talking
21 about Legge, and also this Langdon Hughes
22 incident, so I thought I'd throw it up there so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people could see what we're actually referring
2 to. This is sort of the post-incident report.
3 In fact, it's a termination sample report that
4 they had these very high samples which they
5 sampled them twice on the same day because the
6 first time they sampled them they got a very
7 high result, they brought them back in and they
8 were even higher at the end of their shift. I
9 guess they took one at noon, and then again at
10 4:30.

11 So, I wanted to kind of illustrate
12 further that, one, I don't think we can be sure
13 that we don't see the other subcontractors
14 listed in the data because they're subsumed
15 under Rust Engineering, because in this case
16 they weren't. Now, this may be the exception
17 rather than the rule, but it is a piece of
18 evidence. And, also, it shows that some of these
19 other subcontracting firms that are really not
20 that well represented in the data set could have
21 had a high potential for short-term acute
22 intakes, which may or may not have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 captured. And I think that's something we have
2 to convince ourselves that we do have a
3 representative sample, that we caught those
4 high-risk jobs, and that we can, indeed, use all
5 this data to adequately bound the exposure
6 potential of the subs.

7 MR. STIVER: This is kind of an
8 uncomfortable silence here. This is Stiver. I
9 guess now we're kind of grappling with where to
10 go from here. And Stu had mentioned kind of
11 going back and maybe see if you guys could flesh
12 out with a little bit more certainty some of
13 these under-represented or non-monitored
14 subcontracting firms who were actually doing
15 B- we might be able to kind of chart a path
16 forward from here.

17 DR. BEHLING: John, this is Hans. I
18 want to ask a question, maybe everyone else
19 knows the answer to this, but I was just looking
20 at the data yesterday, so one of the questions
21 I had, when you look at the sample type and you
22 see 5-0, 5-9, 5-R, what do those sample codes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mean? Are they start of shift, end of shift,
2 special, routine bioassays? It would be very
3 helpful to have an understanding of what some
4 of these codes mean because I looked at them and
5 some of the highest codes have B- or the highest
6 bioassay data values represent codes that are
7 consistently 5-9. And I assume that might be end
8 of shift, and they would be very different from
9 the beginning of shift versus routine, also
10 versus special where you may have a respiratory
11 device failure and so forth. So, do we have a
12 full understanding of what these sample codes
13 represent?

14 MR. BARTON: Hans, I can answer that.

15 MR. HINNEFELD: I can respond on
16 that, too, if you want.

17 MR. BARTON: Sure. Go ahead, Stu.

18 MR. HINNEFELD: It seems like most
19 subcontractor samples received a first digit of
20 a 5 because it looks like the convention was
21 that the number B- a subcontractor sample was
22 considered a special sample no matter -- even

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 though some of them seem to have been kind of
2 taken on a routine basis.

3 The second digit of the sample codes
4 reflects the time during the shift when it was
5 taken, so a 9 is B- if the second digit of the
6 code is a 9, that is, in fact, an end of shift
7 sample; 0 is the start of shift sample, and then
8 if there is a 5 or a 6 that's somewhere in the
9 midday. Presumably, they had an 8-hour day so
10 the beginning of the shift is 0, after the first
11 hour is 1, and so on. But, normally, you'll see
12 either a 0 or a 9 there, although you will see
13 some middle of the day shifts for the second
14 digit.

15 The first digit of the code, most
16 subcontractors are going to be 5 because the
17 convention was that even if you are sampling
18 subcontractors regularly, that was still not a
19 routine sample for your workers.

20 For the workers at the site, 30
21 C-- it would usually almost be a 30, a three
22 zero, routine sample, a four zero would be an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incident sample was collected at the beginning
2 of the shift, four nine would be an incident
3 sample at the end of the shift. Twenty was, I
4 believe, the annual, it was collected with
5 their annual B- you had an annual physical, it
6 was collected with your annual physical. And I
7 believe a 10 may have been a pre-hire, I'm not
8 sure.

9 MR. BARTON: Okay. And just to add on
10 to that, Stu, that the 5R, any time you see an
11 R after that first number, essentially -- it
12 doesn't mean routine, it means essentially
13 resample.

14 MR. HINNEFELD: That's a resample,
15 right. And that second digit R would B- that
16 would have pertained no matter what the first
17 digit was. R was a resample.

18 MR. BARTON: Correct.

19 DR. BEHLING: I did see one, in fact,
20 it is the highest value I saw, 1100 micrograms
21 per liter, and there the code says 90. It turned
22 out to be the highest number that I looked at.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Well, I can only C-

2 MR. BARTON: Yes, Hans, that's
3 actually the worker on this incident report. I
4 don't know if you have it in front of you but
5 it's a second worker who doesn't have any data
6 on this incident report because it was redacted
7 for the claims files, but that was his,
8 essentially, the second sample that day.

9 DR. BEHLING: Yes. I should mention
10 our computer, our CDC computer has been sent
11 back for updates so I don't have access to what
12 you're looking at right now.

13 MR. BARTON: Yes, that highest
14 sample was essentially the second gentleman
15 from Langdon Hughes who was up there on the
16 Plant 5, I guess in the rafters maybe or
17 something like that over the remelt area, and
18 he B- I guess the investigator said that, well,
19 you know, I think it's probably a contaminated
20 sample but it could also be that they weren't
21 properly using their respirators in a
22 high-exposure area. I mean, they looked at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 5 mR per hour from the uranium oxide that was
2 present.

3 DR. BEHLING: Also, what can we
4 conclude when we look at the comparison between
5 5-0 and 5-9, meaning beginning of shift, end of
6 shift? Does that suggest very strongly that the
7 differences that we're talking about are very
8 highly soluble material that is inhaled and
9 excreted very quickly?

10 MR. HINNEFELD: This is Stu. And I
11 think regardless of the solubility of the
12 intake there is going to be some rapid early
13 clearance, so you'll see that pretty
14 significant difference regardless of the
15 solubility of the intake.

16 MS. KENT: This is Karen Kent. I just
17 wanted to add that there is a very good
18 reference ID that tells the specific sampling
19 codes at Fernald, and that would be 4076. And
20 it basically summarizes everything that Stu has
21 just said.

22 MR. BARTON: Right. I think we see,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 even in the years after Westinghouse took over,
2 that subcontractors were still generally given
3 that Type 50, or 5-9, or 5-6, whatever it may
4 be, but along that 50 series designation, so we
5 really can't tell often if these are
6 termination samples, higher samples, routine,
7 because they're all sort of subsumed under that
8 special label. So, I'm not sure, well past, you
9 know, the switch over from NLO to Westinghouse,
10 whether that method continued or whether they
11 actually switched them over to the other sample
12 types more commonly seen with the NLO
13 employees, or if that continued on. I really
14 don't know. But I know in the period we're
15 looking at they're almost all Type 50 or Type
16 40, which Type 40 is an incident sample.

17 MEMBER ZIEMER: This is Ziemer. I
18 have a question for John Stiver.

19 MR. STIVER: Okay.

20 MEMBER ZIEMER: John, can you kind of
21 summarize what SC&A believes they need to sort
22 of crystalize a recommendation that your folks

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would have for the Work Group?

2 MR. STIVER: Yes. I think I kind of
3 hit on that early on, and it's really to get kind
4 of a better sense for whether we have systematic
5 exclusion of some of the subcontractor groups
6 within the data set. Basically, it's a
7 representativeness issue.

8 As it is now, we have B- like I said,
9 there are about 12 different subcontractors.
10 They're identified in the 940 samples we used
11 to B- proposing to use. And Bob has identified
12 that there's really about 50 different
13 subcontractors that were active during that
14 time period.

15 What we don't have is a head count,
16 and we don't have any information on what those
17 subcontractors were doing. So, it's kind of
18 leaving us in a place where we really can't say
19 that we have a complete representative data set
20 that we could feel comfortable for using for,
21 you know, to give a good sense that we're really
22 bounding all potential exposures.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: But I didn't B- I
2 wasn't sure, Stu, when you talked about this a
3 little bit earlier whether you felt that it was
4 likely that NIOSH would be able to find any
5 additional helpful information, or is it you're
6 feeling that what we have is what we have, and
7 we need to make a decision?

8 MR. HINNEFELD: Well, I believe it
9 B- what I said was it will be B- I think will
10 be unlikely that we will find a head count per
11 contractor.

12 MEMBER ZIEMER: Right. So, from your
13 point of view what would the path forward be?

14 MR. HINNEFELD: Well, the -- one path
15 would be what John suggested, is that to look
16 in the years after Westinghouse took over in
17 terms of company affiliation for the
18 subcontractor samples for say >86 and >87, and
19 see if you still see this predominance of Rust,
20 and maybe one other. You know, you might have
21 a company doing a project or something that gets
22 sampled. So, one would be to do that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then I don't know what we can
2 find out about the nature of the contracts. We
3 could take a shot at finding contracts. I don't
4 know that we'll be able to find contracts,
5 because I suspect they didn't have a very long
6 retention time, and see what these companies
7 were hired to do, at least some of them.

8 So, I mean, we can poke around in the
9 records, or get LM, Legacy Management, to poke
10 around in the records a bit and see what we can
11 do. And we could either come back and say we
12 can't find anything else, or here's what we
13 found, I guess would be a way to go.

14 MEMBER ZIEMER: Well, I'm trying to
15 get a feel and, Brad, maybe you can help me here,
16 but I'm trying to get a feel for whether it would
17 be productive to do what you just described or,
18 you know, is it that needle in the haystack, or
19 what are we talking about in terms of effort and
20 resources to, quote, poke around?

21 MR. HINNEFELD: Well, the first
22 action which is to try to identify the company

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 affiliation for subcontractors once
2 Westinghouse has taken over will B- I think
3 we'll know relatively quickly whether we could
4 do that or not. It wouldn't take just a ton of
5 poking around.

6 The other questions, until we
7 approach Legacy Management, I guess I don't
8 have a good feel. We already may have some
9 finding aids from Legacy Management that we
10 would have to look at and see if their finding
11 aids give us any comfort. So, I don't B- I can't
12 really render an estimate today about the
13 ability to find information that might be
14 relevant. Although, like I said, just based on
15 what I suspect was kept about these companies
16 I would be surprised to find a head count per
17 contractor, but I could be mistaken.

18 CHAIRMAN CLAWSON: Yes. But, you
19 know, I guess I'd have to B- this is Brad
20 speaking. I guess I'd have to look at the whole
21 thing at Fernald, where we're already at into
22 this right now with the SECs and everything

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's already done to this point right there.
2 I guess I really don't see it as very beneficial
3 or anything else like that, and I think that we,
4 you know B- I agree with you, Paul, that it
5 could be searching for a needle in a haystack.
6 You know, if we take the history of what Fernald
7 already is, I think we stand a pretty good
8 chance of not finding anything. I don't think
9 that it's really worth us to be able to go that
10 length.

11 But, you know, we're kind of in a
12 situation here where we've already put into
13 this what the SEC is and so the only way we're
14 going to be able to change this, I believe, and
15 Ted, tell me if I'm wrong, is basically this has
16 to come from NIOSH, there's an 83.14 for that.

17 MR. KATZ: Well, no, Brad. I mean,
18 that's not correct because it doesn't have to
19 come to an 83.14. You still have an open
20 petition. I do think that the folks need to do
21 due diligence on this matter first before the
22 Board can render a judgment. And if Stu comes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 back and says well, you know, this is an
2 enormous amount of work and we'll never know if
3 it'll be productive or not, and we really don't
4 want to go forward, at that point you know, you
5 know, what you're going to know. I think you
6 have to take the first step and see B- explore
7 the issue first.

8 CHAIRMAN CLAWSON: Well, you know,
9 that's fine. It's just I've seen in a lot of
10 other meetings that we've been into that then
11 the whole other picture kind of changes around,
12 and what benefits are we going to get from this
13 if we put all this effort out there to be able
14 to get it? You know, it's a two-edged sword, so
15 I guess it basically comes down to if NIOSH
16 feels that they want to dive into this and be
17 able to look at it, that's, you know B- we'll
18 do due diligence, and we'll see what we can come
19 up with.

20 But, you know, I think we also have
21 to look at the history of this whole site, and
22 what information we've already been able to get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 out. And it hasn't been that rosy, but we can
2 proceed on with that, allow NIOSH to have their
3 opportunity. But we do owe a time frame, too.
4 We need to get something to them, to Lou Doll
5 and them to be able to address this, kind of let
6 them know where we're headed at, what we're
7 going to do. So, I guess that comes over to Stu
8 and, you know, basically where he wants to go.

9 MR. HINNEFELD: Well, this is Stu,
10 and I believe we are obliged to at least look
11 B- to look at some extent, because as I look at
12 the B-

13 CHAIRMAN CLAWSON: Well, Stu,
14 there's no question.

15 MR. HINNEFELD: The information we
16 have, you know, in front of us was largely the
17 information we have when SC&A and the Work Group
18 decided that, gee, there seems to be enough
19 bioassay data here to make a coworker model for
20 construction workers. If the reason why there
21 wouldn't be enough information would be if
22 there was some exclusion of highly exposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contractors from the sampling program, so I
2 B- to me, I'm hard pressed to understand
3 exactly what's different now. The fact that
4 most of the sample people came from a couple of
5 contractors is consistent with the fact that
6 most of the workers, or most of the radiological
7 work came through those two contractors. And
8 there's, you know, while there's no information
9 that says that's true, there's no information
10 that says that's false. Sampling of a
11 particular company for a short period of time
12 with a contractor company coming in doing a
13 specific project that takes a couple of months.
14 So, the data that we see, to me, is just as
15 consistent with a program that had an adequate
16 monitoring program for construction workers
17 starting in late '83 or in '84, as it is
18 consistent with B- it's just as consistent with
19 that interpretation as it is with an
20 interpretation that only a couple of companies
21 were sampled.

22 So, I guess at the very least we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should try to determine, if we can, without an
2 overwhelming amount of effort what the company
3 affiliation was for contractors during the
4 first couple of years of Westinghouse's tenure.
5 And if there is B- and then maybe find out if
6 there is some simple search that might give us
7 more information about what these contracting
8 companies did. But I would not propose a long
9 and involved search, and long and involved
10 research project, so I don't have any more
11 stomach for stretching this out a lot longer
12 than anybody else does.

13 MEMBER ZIEMER: Stu, this is Ziemer
14 again. I think what you just described, which
15 is not an extensive effort, would address the
16 due diligence issue, at least in my mind it
17 would.

18 MEMBER SCHOFIELD: This is Phil.
19 I've got one thing to say about that, too. I
20 don't think we should spend a lot of time of that
21 because you take something like Johnson
22 Controls which they mentioned, this is a big

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 national corporation and they are exactly what
2 they say. They B- pneumatic electrical
3 controls of fans, motors, whatever, so they're
4 likely to be in any building on site. And, you
5 know, I mean it B- you couldn't really just say
6 that, you know, well, they're probably the only
7 one in this building because someone like that
8 is a contractor that likely went over the entire
9 site, whereas like you pointed out, some of
10 these other contractors may just come in and
11 done a construction job that only was confined
12 to one building. So, I mean, to spend a lot of
13 time I don't think is going to pay off. That's
14 just my opinion.

15 MR. STIVER: This is John. I kind of
16 agree with Paul's summary. I think what Stu is
17 proposing is kind of a focused effort, not a
18 long-term research project. I think we all have
19 had our fill of these grail quests in the past
20 that we've been down, but I think that would
21 certainly address the due diligence. And I
22 don't think B- at least in my mind it doesn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 seem like it would take that much time and
2 effort to get a handle on whether they can
3 identify the nature of some of these contracts,
4 subcontractors. And, certainly, enough to look
5 at the >86 and >87.

6 MR. BARTON: Yes. John, this is Bob
7 Barton. If I could jump in here and give a little
8 bit more on the whole notion going to >86 and
9 >87, because we do have B- NIOSH did compile
10 some 1986 data, and I want to correct one
11 inaccuracy there, and it's the notion that the
12 same number of samples were observed in 1986 as
13 there were in 1984 and 1985.

14 Essentially, in 1986 all that was
15 compiled was the first six months of 1986, so
16 B- and that was over 350 samples for just the
17 first half, so logic dictates it's probably
18 that number at least, or maybe more if
19 Westinghouse is still trying to, you know,
20 break a shift in the latter half of that year.

21 Now, the other facet of that is this
22 notion that we could ratio backwards. And I can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 tell you that at least from the first half of
2 1986, we see the same trend. It's almost all
3 Rust. Now there could have been construction
4 projects in the second half that we haven't seen
5 yet that might change that, but to give some
6 perspective, what we see in that first half of
7 1986 is very similar to 1985 except for the
8 actual physical number of samples that were
9 taken, which is at least double in 1986 what it
10 was in 1985.

11 MR. STIVER: So what I'm hearing is
12 that for the first half of 1986, at least, the
13 representation is proportional. You're not
14 seeing any shift, any distortion in the
15 contractors that are actually being sampled.

16 MR. BARTON: No, I didn't. And really
17 it's B- one possibility is that B- I mean, I
18 guess I'll pose this question. To what extent
19 are we confident that these bioassay log books
20 that we have are all there is? I mean, is it
21 possible we have records that are located in a
22 different document that hasn't been captured

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yet, or are we reasonably certain that this is
2 what we have, this is what we have to use?

3 MR. HINNEFELD: Well, this is Stu,
4 and I'll offer to that is that we are confident
5 that additional searches won't find more
6 because we believe we've searched as much as we
7 can. I won't give the same level of confidence
8 that that was all the samples that were ever
9 taken on subcontractors. You know, there may
10 have B- they may not have all been retained,
11 because all B- what we looked at, what these
12 records are, are xerox copies of cards, sort of
13 like a computer punch card, that size, though
14 they're not computer punch cards, they're
15 handwritten and they're legible. So, the xerox
16 copies of cards, and each card contains one
17 person's name, sample result, sample date, and
18 it will include the employer for a
19 subcontractor. So, we've captured, I believe,
20 everything we're going to capture, but as to
21 whether or not this was the entirety of samples
22 that were collected during those years, I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know that we would B- I would state the same
2 level of confidence on that.

3 MR. BARTON: Yes. I'm kind of
4 wondering to myself if maybe there's a
5 situation, I don't know how you could ever prove
6 this, where Rust as sort of the main
7 subcontractor, I guess you could call it, their
8 records were included with the NLO files
9 because they were on site for maybe a little
10 longer, maybe full years instead of these
11 short, you know, months, two months, whatever
12 it is projects, and that, you know, maybe -- I'm
13 not sure, but maybe there's data that's
14 missing. But, you know, like you said,
15 reasonably confident that further searches
16 aren't going to turn it up, so we're kind of left
17 with B- kind of left in the dark.

18 We don't know that there isn't data
19 that's missing here for some of these other
20 subcontractors who could have been short term,
21 but we don't know, or we don't feel that we'll
22 ever find it. Is that what I'm hearing?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Well, what I
2 intended to say is that I don't think we will
3 find B- be successful in additional searches
4 because we've done the searches that we've been
5 able to do, and we found what we could find. So,
6 what I'm saying is I don't think we'll find
7 B- we'll be successful finding additional data
8 with additional searches.

9 I have B- and I'm not in a position
10 to say that I'm 100 percent confident that we
11 captured records of all the samples that were
12 taken. But, again, unless there was some sort
13 of systematic exclusion of highly exposed
14 subcontractors, the fact that we may not have
15 all the samples doesn't really impugn the
16 validity of a coworker approach. It would be
17 only if highly exposed people were
18 systematically excluded would there be an issue
19 with the coworker approach.

20 MR. STIVER: This is John, and in my
21 mind I don't see any other way to get a handle
22 on it than to try to see if you can find some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more information that would shed light on the
2 nature of the contracts for the unmonitored
3 groups. And if that's not possible, I don't see
4 that there is another way forward.

5 MR. BARTON: You know, we did have
6 that contract document from 1969, and that's a
7 long time ago, to have survived that long.

8 MR. STIVER: Yes, and I was thinking
9 the same thing when we were talking about that.
10 I mean, you know, there's one from '69. Now is
11 it just fortuitous that that happened to be
12 retained in the records? Maybe there's others
13 for some of these other contractors, but it
14 would be at least enough to kind of shed light
15 on the nature of a good portion of them. I think
16 at that point then NIOSH has done their due
17 diligence. They've done what they can.

18 They have a limited data set, and as
19 Stu said, it's what we have. It's not everything
20 that was ever taken, it's what we have to work
21 with. So, the question is, is it representative
22 enough to build a coworker model. So, the only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thing that's really left dangling is whether
2 we have proportional representation for the
3 exposed workers. And the only way to really get
4 a handle on that is to get a better
5 understanding of what the contracts entailed.

6 MR. HINNEFELD: Well, again, my
7 recollection is that Rust did the majority of
8 the contracting, either directly or with -- and
9 so it's perfectly reasonable that the majority
10 of the samples would come from Rust employees.
11 A lot of the contracts were left for items that
12 were not in the radiological area. I mean, some
13 of the things under contract -- Cincinnati Gas
14 & Electric was on there, I think there was a
15 paving company on there. So, to me, it seems
16 like we're setting a pretty high standard for
17 subcontractor, or for coworker models here when
18 we are saying now not only do we have to have
19 a pretty good set of bioassay samples for
20 coworkers, but we also now want to say that we
21 have to find out what companies worked there and
22 make sure that we have samples from all those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 workers, even not knowing, necessarily, what
2 those companies did.

3 I really think that, you know,
4 that's a pretty severe task to say that just
5 because you have bioassay data, you have to go
6 through a lot of additional B- show a lot of
7 additional evidence of things like that when
8 there's no particular evidence that the exposed
9 people weren't monitored.

10 And, in fact, looking at some of
11 these results, pretty clearly, I'm hoping the
12 heavily exposed people were monitored because
13 there are some really heavy results in here. So,
14 to just look at the data, some of these results
15 and say that somehow there were people even more
16 highly exposed than these that were excluded
17 from sampling or whose samples were lost for
18 some reason, to me that's a lot more of a stretch
19 than saying that all recognized they should be
20 monitoring construction workers who were
21 working in the contamination area, and they
22 started sampling. To me, that's a far more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 logical explanation than where we seem to be
2 going. That's just my opinion.

3 CHAIRMAN CLAWSON: Well, this is
4 Brad. I don't think that we're going to be able
5 to solve this here. And you're right that NIOSH
6 needs to be able to have their opportunity
7 there, so I guess we'll just -- we'll leave that
8 to you, Stu, and we'll just have to B- we'll get
9 a report back of which way we're going to go and
10 what we're going to do.

11 MR. HINNEFELD: Yes, I'll provide
12 information to everybody after we can sort of
13 and have some idea about what we're facing here.

14 CHAIRMAN CLAWSON: Okay. And I just,
15 you know, kind of went over the issue. And, you
16 know, what you brought up, Stu is totally right,
17 you know. As you were going into all these
18 contractors and stuff, and the highly exposed
19 ones and everything else, too, but you've also
20 got to look at something else, too, and this
21 kind of triggered when you were talking to me
22 about this, you've got all these other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contractors in there. You also need to look at
2 how many contractors was in there, and how many
3 of them don't have any samples at all, because
4 you brought up the paving example, you know,
5 paving right through the middle of Fernald.
6 Now, I know the environmental map doesn't show
7 the contamination too much inside of Fernald,
8 but outside of it, it does. So, there's B- to
9 tell you the truth, there were higher areas of
10 exposure at Fernald, but I think the whole place
11 was pretty dirty. So, you know, I understand B-

12 MR. HINNEFELD: Brad, maybe paving
13 the process area, they likely were paving a
14 contaminated area, but I can't believe they
15 were as heavily exposed as the people who were
16 taking out the equipment and rebuilding things
17 in those buildings, in those production
18 buildings.

19 CHAIRMAN CLAWSON: I understand. So,
20 we'll B- is there any issues, other Work Group
21 members, NIOSH to be able to go off and look into
22 this a little bit deeper?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SCHOFIELD: I agree with you,
2 Brad.

3 CHAIRMAN CLAWSON: Okay. Dr. Ziemer?

4 MEMBER ZIEMER: Yes, I think, again,
5 we're back to what we described as the due
6 diligence, and it's not B- it's almost, as Stu
7 described, what they would do, it will address
8 the due diligence issue. It won't be
9 burdensome. It should not take an extensive
10 period of time, and then we can make a final
11 decision to move ahead on that basis.

12 CHAIRMAN CLAWSON: Okay. That being
13 said, we can B- we'll wait to hear what NIOSH
14 has to say, and we'll go from there. We'll see
15 what we can do on that.

16 MR. DOLL: Hey, Brad?

17 CHAIRMAN CLAWSON: Yes?

18 MR. DOLL: Can I make one comment?

19 CHAIRMAN CLAWSON: Sure, go ahead.
20 I'm sorry.

21 MR. DOLL: It was discussed earlier
22 about companies like Johnson Controls probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wouldn't be in the contaminated areas, and
2 that's not true because they would hire a
3 specialty firm like Johnson to come in and do
4 pneumatic work in some of these contaminated
5 buildings.

6 MEMBER ZIEMER: Well, I think we all
7 said they would be in contaminated areas, as I
8 understood it.

9 MR. DOLL: Yes, they would be. They
10 would be all over that facility.

11 COURT REPORTER: Is that Mr. Doll?

12 MR. DOLL: Yes, it is. Sorry. Yes,
13 in early >80s we were sent down there for a
14 four-day job with Johnson Controls when I was
15 working for them, and we put in a pneumatic line
16 to a knife gate over the top of B- in Plant 5
17 over the top of where the B- I guess, the ingots
18 and that came out, so I know, you know, there
19 was black oxide all over the place because we
20 had to run the stuff up in the steel. So, you
21 know, I know they would bring in B- National
22 Lead once in a while would bring in their own

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 subcontractors for some of this stuff, so just
2 as a point of reference.

3 CHAIRMAN CLAWSON: Well, let me ask
4 you a question. How many years did you say that
5 you were out to Fernald?

6 MR. DOLL: I was out there earlier
7 than 1983 for a while, for a little while with
8 Johnson Controls, and then I was out there from
9 about I think it was October of '83, and then
10 was there most of the time all the way through
11 2004. I was -- often I was gone for a short
12 period of time and then back again.

13 CHAIRMAN CLAWSON: Okay. I was just
14 trying to remember that. Okay, I appreciate
15 your input there, Lou. I appreciate that.

16 I guess, this being said, Stu, this
17 one is in your court and we'll wait to hear back
18 from you. So, John, I think that at this time
19 I don't know how much time we planned or
20 whatever else like that. I ended up taking the
21 day off because I needed more bodies than what
22 I could support there, so I think that B- do we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have time to be able to start pushing through
2 this issues matrix?

3 MR. STIVER: Yes, this is John. Yes,
4 there are a handful of issues I think we can
5 close out pretty quickly because as you recall,
6 Fernald has been in contention for a long, long
7 time. We released our Site Profile Review in
8 2006, and also the SEC Evaluation Report in that
9 same year. And so the SEC report kind of took
10 precedence. And, yet, a lot of the issues are
11 correlated with each other. And because of the
12 SEC designation, principally, for the thorium
13 based on air sampling results, a lot of these
14 findings that we're talking about, we recommend
15 just closing.

16 Some of the others are not going to
17 be such easy nuts to crack because NIOSH has
18 released new updated Technical Basis Documents
19 basically for all except the internal, and I
20 assume the internal is in the works. So, a lot
21 of these other findings are B- we recommend
22 either keeping open or in abeyance until such

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 time as we have a chance to look at the new TBDs
2 and determine whether they adequately address
3 our concerns. So, at this point what I would
4 advocate doing today would be just to go through
5 the ones that we recommend closing out.

6 CHAIRMAN CLAWSON: Okay. That sounds
7 good with me, so why don't you go ahead and
8 proceed, but just B- I just want to make sure
9 that I follow why we're closing them, and why
10 we agree to close the issue.

11 MR. STIVER: Okay, fair enough. Can
12 everybody see the issues matrix up on the screen
13 here?

14 MR. HINNEFELD: Yes, John, it's
15 there.

16 MEMBER ZIEMER: Yes, it's Ziemer,
17 yes.

18 MR. STIVER: We're now at Finding 1,
19 this is a TBD finding. And this is related to
20 thorium, and it's related to air sampling of
21 thorium. And it states that the list of
22 facilities in which thorium-232 was processed,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the time periods of thorium processing, the
2 thorium production data showing TBD had
3 significant gaps, entire periods of processing
4 in plants at which the work was done had been
5 missed. These gaps may affect the feasibility
6 of dose reconstruction for workers for certain
7 periods of time in certain plants.

8 Now, as you all recall, those of us
9 who have been with us for the duration, there
10 was a lot of discussion about the adequacy and
11 the completeness of the air sampling, this DWE
12 data for thorium. And that's what this finding
13 is all about. And, you know, I'm not going to
14 read everything in here, it's pretty long and
15 involved, but it basically summarizes what I
16 just stated.

17 In October of last year, we
18 suggested closing these findings because as
19 stated here, the NIOSH coworker model, which
20 was in play at this point from 1979 to 1988 does
21 not employ air concentration, it employs
22 bioassay data. So, we recommend closing that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, as you can see, NIOSH agrees with that
2 recommendation. So, what we would need now
3 would just be Work Group approval to go ahead
4 and close that out.

5 CHAIRMAN CLAWSON: This is Brad. I
6 agree to go ahead and close that one.

7 MR. STIVER: Okay.

8 MEMBER ZIEMER: Ziemer, I agree.

9 MEMBER SCHOFIELD: This is Phil. I
10 agree.

11 MR. STIVER: The second was related
12 B- this was TBD Finding 2, air concentration
13 data for thorium with TBD are sparse and
14 incomplete. Considerably more data are
15 available in the NIOSH Site Research Database.
16 TBD contains no thorium-232 bioassay data. And,
17 again, we suggest closing this finding because
18 it's related to thorium air concentrations for
19 the DWE model. We recommend closing that, and
20 again NIOSH agrees with that recommendation.

21 CHAIRMAN CLAWSON: I agree with it,
22 too. This is Brad.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Ziemer, I agree.

2 MR. STIVER: Okay. This applies to
3 Number 3. This is due to thorium intake through
4 the emissions and resuspension in production
5 areas. This was a big topic of discussion for
6 the DWE model: were there enough samples taken
7 and in the right places? And that model was
8 rejected, so it's no longer relevant for SEC
9 non-participants either. Basically, there's no
10 way to reconstruct the thorium doses during
11 that period, so that wouldn't apply in any case.

12 CHAIRMAN CLAWSON: Okay. This is
13 Brad. I agree to close that one.

14 MR. STIVER: For 3, close.

15 MEMBER ZIEMER: Ziemer, I agree.

16 MEMBER SCHOFIELD: This is Phil. I
17 agree.

18 MR. STIVER: Number 4 is a little bit
19 trickier. This related to re-drumming. This is
20 one that I think we're going to have to look at
21 a little bit more carefully. There's a new TBD
22 out, Rev 1 of the Site Description, and you see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 B- we've talked long and hard about the thorium
2 coworker model for 1979 to 1988, and NIOSH's
3 response opened up another time period. This
4 was during the reclamation, decontamination
5 period, basically 1990 to 1994. There was quite
6 a bit of re-drumming of thorium containers
7 going on, so we recommend keeping that one open
8 until we have a chance to look into that TBD in
9 a little more detail. Don't recommend any
10 closure on that at this point.

11 CHAIRMAN CLAWSON: Sounds good.

12 MR. STIVER: Let's see here, number
13 5. Thorium fires, number 5 is going to be the
14 same thing. This may have relevance in 1990 to
15 >94 so we don't recommend closing that at this
16 point.

17 And number 6, the bottom here of the
18 page, the approach suggested for estimating
19 thorium intakes does not reflect the history of
20 production or the available thorium air
21 concentration data. Again, this is related to
22 the DWE model, and we recommend closing that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: This is Brad. I
2 agree.

3 MEMBER ZIEMER: Ziemer, I agree,
4 also.

5 MEMBER SCHOFIELD: This is Phil. I
6 agree.

7 MR. STIVER: Okay. Let's go down the
8 list. I think there's maybe one more that we
9 recommend closing. Let's see. Number 12, TBD
10 notes that uranium batches with enrichment
11 greater than 2 percent were processed at
12 Fernald. NIOSH's assumption that 2 percent
13 enriched uranium is claimant-favorable most of
14 the time but not for all periods and batches.
15 And, let's see. This actually was closed out in,
16 it looks like October 2008, enrichments can be
17 identified. After a lengthy discussion, the
18 Board accepts the 2 percent position and closed
19 the finding. So, that one is closed. I don't
20 think we need to vote on that one at this point.

21 CHAIRMAN CLAWSON: Okay.

22 MR. STIVER: 13, female employees

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were not monitored for long periods at Fernald
2 even though at least some of them were at some
3 risk of internal intake of radionuclides.

4 There's a long history of
5 discussion here. At November 13th, 2007, the
6 Work Group decided this was an issue isolated
7 to a few individuals and should be evaluated on
8 a case by case basis in dose reconstruction. So,
9 that was closed out. And NIOSH added some
10 additional information here. They have some
11 references, OTIB-73 incorporated into a
12 Technical Basis. Once again, this is going to
13 be the Environmental Management Project, so
14 that document is now available. So, we haven't
15 looked at it yet, but I would assume that these
16 references have been incorporated and the
17 changes that are listed here have been indeed
18 taken out. So, I think that one because, as the
19 previous discussions in the Work Group, we can
20 assume it's closed, as well.

21 CHAIRMAN CLAWSON: John, I just had
22 one question on that. Down there in the bottom

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of NIOSH's response down there, where they're
2 talking about the doses, the 500 mR upper bound
3 dose methodology will be removed during the TBD
4 revision, so weren't there B- I guess, Mark,
5 this one is for you. So, what you're telling me
6 is that this is being removed in the new TBD that
7 just came out, or Stu?

8 MR. HINNEFELD: This is Stu. I don't
9 know that I have a lot of insight into that. It
10 sounds like the new Site Profile chapter
11 changes the approach here so that -- to use a
12 coworker model. Is that what that says?

13 CHAIRMAN CLAWSON: That's kind of
14 what I was getting at on this, but we'll look
15 into it. I just found it a little bit
16 interesting, I just wanted to make sure I was
17 following kind of where we're going with this
18 stuff. And you say that this new TBD is out to
19 be reviewed?

20 MR. HINNEFELD: Yes, the external
21 B- it's on the website. We've published it.

22 CHAIRMAN CLAWSON: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Maybe it might be better
2 just to keep this in abeyance, then, until we
3 have a chance to look at the TBD. Writing our
4 response or basically what took place in August
5 of 2007, we B- at that point we had concerns
6 regarding the shallow dose to the skin and the
7 extremity dose. And these are B- this is going
8 to kind of relate to some of the external
9 concerns in the later findings regarding
10 shallow dose. A lot has taken place in the
11 Procedures Subcommittee on these issues, so I
12 think we'd probably be okay closing this, but
13 it might be better just for administrative
14 purposes to keep it in abeyance until we
15 actually look at the TBD.

16 CHAIRMAN CLAWSON: That's what I'd
17 like to do, John.

18 DR. MAURO: Yes, this is John Mauro.
19 A lot has occurred regarding shallow dose, and
20 it's relatively recent because our thinking has
21 matured, and there is agreement. And it would
22 be a good idea to see if, in fact, the latest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 version of the TBD captures this latest
2 thinking, so I think it's important that we just
3 check it out. Because I have had experience
4 where some of the concepts that had been agreed
5 upon have not really gone through the system on
6 all of the Site Profiles, et cetera, et cetera.
7 So, it would be a good idea just to B- and it
8 won't take long to check if that new thinking
9 is, in fact, reflected in the TBD.

10 CHAIRMAN CLAWSON: Sounds good.
11 We'll leave that one in abeyance.

12 MR. STIVER: 14, this is the last one
13 that we recommended closure on at this point.
14 The TBD does not address the extremely high
15 uranium dust concentrations that were present
16 at Fernald under a variety of circumstances and
17 reflect on dose reconstructions. Particle-size
18 solubility assumptions, worker's experience
19 prior should be examined.

20 And this finding, once again, is no
21 longer relevant because this B- remember this
22 finding took place before the uranium bioassay

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 model, the coworker model was actually
2 implemented. So, this was a concern of using
3 uranium air dust concentrations in a similar
4 fashion that was proposed for the thorium
5 model. So, this no longer has any relevance to
6 the ongoing dose reconstruction processes that
7 are in place today, so we recommend closing that
8 one out.

9 CHAIRMAN CLAWSON: This is Brad. I
10 agree.

11 MEMBER ZIEMER: Ziemer, I agree to
12 close, as well.

13 MEMBER SCHOFIELD: This is Phil. I
14 agree.

15 MR. STIVER: The rest of them to
16 various extents are kind of contingent upon
17 what's been implemented in the new TBDs, so
18 until we have a chance to look at those TBDs
19 B- in some cases, as John mentioned, like for
20 the skin contamination and so forth, it'll be
21 just a quick review with a one-line response,
22 yes, it's covered. Others are going to be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 little trickier. For example, there's several
2 related to recycled uranium, and NIOSH response
3 in, I believe it's Report 52, which is dated
4 sometime in April of 2011, doesn't reflect the
5 latest Work Group agreements that took place
6 after that document on the default levels of
7 plutonium, technetium, neptunium, so I'm not
8 sure at this point whether that thinking is
9 reflected in the new TBD. So, some will be
10 tricker than others, but at this point, I think
11 that's probably all we can really close out
12 today.

13 MR. HINNEFELD: John the internal
14 TBD is not issued yet. In fact, I believe we owe
15 the Work Group some discussion of thorium
16 intakes after 1978.

17 MR. STIVER: Right. That's the only
18 one that's actually not been released, so I --
19 until that point, we're just going to have to
20 hold our findings in abeyance.

21 CHAIRMAN CLAWSON: Do we have a time
22 frame for that roughly? I take it by the pause,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 no.

2 MR. HINNEFELD: I was on mute. Sorry.

3 CHAIRMAN CLAWSON: I had to check
4 mine, Stu, to make sure I wasn't on mute.

5 MR. HINNEFELD: I've been going on
6 and off, and that time I missed. Up until then
7 I've been doing okay. We hope to have our
8 thorium approach and anything remaining from
9 that to the Work Group before very long. We
10 couldn't get it ready for this meeting, so we
11 decided, you know, we post it on the letter for
12 the meeting. But I wouldn't think it would be
13 too much longer and we'll be able to get that
14 to you. And then once B-

15 CHAIRMAN CLAWSON: Okay.

16 MR. HINNEFELD: Once we B- if we can
17 come to agreement on that, then that will give
18 us the list, and then there will be a number of
19 things that have to be incorporated in the
20 internal Site Profile, so then that will follow
21 a while after still because there are a number
22 of things to include that we've resolved in our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussions.

2 CHAIRMAN CLAWSON: Okay. Well, that
3 closes out the easy ones. My question is, are
4 we able to continue on, or do people have other
5 commitments? Is there any feelings on that?

6 MR. STIVER: Well, Brad, this is
7 John. That's really all that we can resolve at
8 this point today as far as the matrix findings.

9 CHAIRMAN CLAWSON: Okay.

10 MR. STIVER: At some point we would
11 probably need a formal B- I don't know if we
12 B- maybe a question for Ted, whether we need
13 formal tasking to look at these new TBDs and
14 evaluate them against our findings.

15 MR. KATZ: John, I think you should
16 go ahead and look at the new TBDs against the
17 findings. We're trying to close these findings.

18 MR. STIVER: Okay. All right. So,
19 we'll take a green light on that.

20 CHAIRMAN CLAWSON: Well, that
21 answers my question. I wanted to make sure that
22 SC&A started to look at the new TBD. That's been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 addressed, and I guess how it'll affect out some
2 of these other findings in the matrix there.

3 Is there anything else that needs to
4 come before the Work Group at this time?

5 MEMBER ZIEMER: Yes, I move for
6 adjournment.

7 CHAIRMAN CLAWSON: Okay. Paul, I
8 guess I have a Dose Reconstruction meeting to
9 finish reviewing and get sent back, so I've got
10 my work cut out for me the rest of the day. But
11 anyway, I appreciate everybody calling, Mr.
12 Doll, everybody that has called in. I
13 appreciate you taking out of your day to be able
14 to help us with this, and we'll look forward to
15 seeing what NIOSH has come back. And at this
16 time, I adjourn the meeting, if there's nothing
17 else. Thank you.

18 MR. KATZ: Thank you, everybody.

19 (Whereupon, the above-entitled
20 matter went off the record at 11:33 a.m. Eastern
21 Daylight Time.)

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8