

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON FERNALD

+ + + + +

WEDNESDAY
SEPTEMBER 3, 2014

+ + + + +

The Work Group convened in the Toronto Room, Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m., Eastern Daylight Time, Bradley P. Clawson, Chairman, presiding.

PRESENT:

BRADLEY P. CLAWSON, Chairman
MARK GRIFFON, Member*
PHILLIP SCHOFIELD, Member*
PAUL L. ZIEMER, Member*

ALSO PRESENT:

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

TED KATZ, Designated Federal Official
MATT ARNO, ORAU Team*
BOB BARTON, SC&A
HANS BEHLING, SC&A*
HARRY CHMELYNSKI, SC&A*
LOU DOLL
STU HINNEFELD, DCAS
KARIN JESSEN, ORAU Team*
KAREN KENT, ORAU Team*
TOM LABONE, ORAU Team*
JOYCE LIPSZTEIN, SC&A*
JOHN MAURO, SC&A*
MARK ROLFES, DCAS
JOHN STIVER, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

T-A-B-L-E O-F C-O-N-T-E-N-T-S

	<u>PAGE</u>
Welcome and roll call/introductions	4
Uranium bioassay data for Fernald subcontractors during the transitional period in '84-'85	5
Overview of new post-SEC thorium methodology and in vivo coworker model ...	59
Fernald Site Profile Issues Matrix, Revision 2	123
Continued issues resolution for SC&A site profile review findings	173
Work Group plans for next meeting	295

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:01 a.m.)

3 MR. KATZ: Good morning, everyone.

4 This is Advisory Board on Radiation and Worker
5 Health, Fernald Work Group. We're just
6 getting ready to get started here. We are
7 ready to get started here.

8 So we're going to start with roll
9 call as usual, beginning with the Board
10 Members. A lot of our Board Members I think are
11 going to be on the phone.

12 We're speaking about a specific
13 site so please speak to conflict of interest as
14 well, everybody, as you register your
15 attendance. Let's begin in the room with Board
16 Members in the room.

17 (Roll call)

18 MR. KATZ: Okay, that's it. Then
19 before I turn it over, let me just note the
20 agenda for the meeting, some materials for the
21 meeting, should be posted on the NIOSH website
22 today's date under the Board section, scheduled
23 meetings. And, Brad, it's your meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Thank you very
2 much. Like to welcome everybody today. It's
3 been a long time since this Group's gotten
4 together. I appreciate you taking the time to
5 gather with us today.

6 With that, I'll turn it over to John
7 Stiver and we'll start out, or did we want NIOSH
8 or, got a couple papers.

9 MR. STIVER: This is John Stiver.
10 I know NIOSH was tasked to look into the uranium
11 bioassay data for subcontractors during the
12 transitional period in '84-'85 and they
13 produced a paper so it might be good maybe if
14 Stu or Mark could kind of give some highlights
15 on kind of that story.

16 MR. HINNEFELD: Well, this is Stu
17 and I'll give this a shot and then, Mark, you
18 can correct me when I say something wrong or
19 supplement what I say when I leave something
20 out.

21 A couple or three Board meetings
22 ago, the Work Group recommended the addition of
23 a Class for subcontractor employees up through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 1983 based on the inability to reconstruct
2 internal uranium exposures to that group of
3 people because there was a general lack of
4 bioassay data available for subcontractors for
5 almost all those years.

6 I mean there were some isolated
7 spots where there was some bioassay data, at
8 least one instance of a subcontractor activity
9 where we had a pretty good description of what
10 they were doing and they were monitored. These
11 people were monitored.

12 An assessment of intakes from that
13 activity indicated that had those workers not
14 been monitored the coworker model that was
15 available, which was based almost entirely on
16 prime contractor employees, would not have
17 bounded their exposure.

18 And there was not a lot of
19 confidence that NLO was rigorously identifying
20 subcontractors who would be working in
21 radiological work and, therefore, there may
22 have been other instances of subcontractors
23 doing radiological work who were not monitored

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 who were exposed similarly to that group of
2 contractors.

3 And so the decision was made that we
4 didn't think we had, or the Work Group concluded
5 that there wasn't sufficient bioassay data set
6 for subcontractors, through 1983 at least, in
7 order to do dose reconstruction.

8 Now, there is a data set or there are
9 data from subcontractors, bioassay data from
10 subcontractors from '84 and '85 that are
11 relatively numerous.

12 We have tables in our latest
13 document that shows how many samples and how
14 many people were monitored in '84 and '85, and
15 so based just on the number of samples
16 available, the original decision was that the
17 Class would be through 1983.

18 So then as some additional
19 questions were raised in a letter to the Work
20 Group about, you know, some concern that
21 contractors still weren't being appropriately
22 monitored in '84 and '85 and that, you know,
23 throughout NLO's contract or, you know, prime

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contract, contractors should be added, should,
2 in fact, be added to the Class for '84 and '85
3 because NLO's contract ran I think through
4 November of '85 if I'm not mistaken. I think
5 Westinghouse started in December of '85.

6 So what we've done in the meantime
7 is, you know, and so, anyway, part of the
8 additional analysis that followed on that
9 letter was to point out that in the years '84
10 and '85 almost all the bioassay samples came
11 from two companies, Rust Engineering and Legge
12 Construction, and that there were quite a lot
13 of other companies that were subcontracted,
14 that had subcontracts during that time. We
15 knew that from other records, that there were
16 quite a lot of other companies.

17 And so the question was what about
18 these other companies? Could it be that just
19 Legge, you know, happened to be caught by
20 happenstance and that Rust was, you know,
21 included sort of by happenstance or because
22 they were more, you know, regularly at the site?

23 And so maybe there were other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 subcontract activities that should have been
2 monitored that weren't and were heavily exposed
3 as well.

4 And so our task was to go try to find
5 out what we could about some of these other
6 contracts and what they were doing and whether
7 it sounded like it was a radiological
8 construction work or non-radiological because
9 there was some clean construction going on by
10 that time at Fernald and so we tried that.

11 We've made data captures to Legacy
12 Management and it appears that the contracts
13 were not retained so we've not been able to find
14 the actual contracts for most of those
15 companies and scopes of, statements of work,
16 scopes of work on contract.

17 So we couldn't pursue that. You
18 know, so that avenue really didn't pay off, that
19 we could find a scope of work that said such and
20 such company is building the new water
21 treatment plant or whatever they were building.
22 So that avenue of pursuit didn't prove
23 fruitful.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But we did additional analysis,
2 part of which had been at least partially done
3 earlier, of the bioassay data that is available
4 for subcontractors for essentially the bridge
5 period, you know, for '83 which is the last year
6 in the current Class, '84-'85 which are the
7 transition years and then '86 and '87.

8 And we presented that here in our
9 report. There are tables, Tables 3 through 7
10 of our report called "Feed Materials Production
11 Center Subcontractor Bioassay Results, 1983 to
12 '87, and Search Results for Scopes of Work, '84
13 to '85." This is dated August 21st of this
14 year.

15 We present the data, the bioassay
16 data in Tables 3 through 7 and from that you see
17 that in the years following Westinghouse's
18 takeover of the contract in '86 and '87 we can
19 still see that the majority of the samples came
20 from Rust Engineering or come from unknown, an
21 unknown employer, meaning that this appears to
22 be a subcontractor individual but there was not
23 a company name written on the card. You know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these data were all collected from bioassay
2 sample cards, sample request and then result
3 cards.

4 And so it kind of, to our mind, shows
5 a pattern that is similar to '84 and '85, that
6 you have roughly the same number of people
7 monitored, not exactly but the total number of
8 people monitored in these tables and it goes in
9 '84 it was 88, in '85 it was 70, in '86 it was
10 83, in '87 it was 89. So you have similar
11 numbers of people monitored.

12 You have, I guess, similar numbers
13 of companies, not the same company year after
14 year except, of course, for Rust Engineering
15 which is there all through.

16 And so it just appears to us that
17 there doesn't seem to be a particular
18 difference. When Westinghouse took over the
19 contract, there doesn't seem to be any
20 particular, you know, any particular
21 difference in the way contractors were
22 monitored than, say, they were in '84 and '85.

23 And that's about the extent of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evidence we could find. Now, Mark, I don't
2 know if you feel like there's more I could say
3 about this or not.

4 MR. ROLFES: No, I don't have
5 anything to add. That was very good.

6 MR. HINNEFELD: Okay. So that's
7 about the extent of the evidence we could find
8 which indicate that this '84 and '85 period
9 seemed to have a period, for whatever reason,
10 contractors that seemed to have been identified
11 and monitored, you know, by bioassay.

12 You know, to conclude, you know, the
13 question then really becomes is that
14 sufficient, to feel like, well, yes, it looks
15 like they were monitoring people
16 appropriately?

17 And alternatively we haven't found
18 any evidence of a company or of work that was
19 being monitored that would have been more
20 highly exposed than, say, Rust Engineering or
21 these companies that were monitored that would
22 have been more highly exposed than these
23 because if you have contractors who weren't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitored, I mean, the coworker model's only
2 going to apply to people who were monitored and
3 so you have to have a company that was doing
4 radiological work that would be more heavily
5 exposed than the monitored workers in order for
6 this coworker approach not to bound their
7 exposures.

8 So from our standpoint, you know, we
9 just don't see the evidence that this data set,
10 which is large enough in '84 and '85, that this
11 data set isn't sufficient for bounding the dose
12 to unmonitored subcontractors during that
13 period.

14 So, you know, that's the extent.
15 It's not as definitive as we had hoped. We had
16 hoped to find statements of work that said that
17 this company was building a new building
18 someplace but we were not able to find that.

19 So it's not as definitive as we had
20 hoped to find but it appears to us that there
21 is no particular evidence to believe that it is
22 not a sufficient data set to bound those doses.

23 MR. STIVER: Okay, thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Thank you, Stu. This is John Stiver. You
2 know, I think our main concern from the last
3 meeting really, and Stu has articulated it
4 pretty well, is that, you know, we had
5 discovered there were probably about 50
6 different subcontractors during this period
7 yet only a handful, I think 12 of them,
8 predominantly Rust and Legge, were represented
9 in the bioassay data.

10 And so, you know, looking at these,
11 at a coworker model for subcontractors is a
12 little bit different animal than looking for,
13 say, a coworker model for a set of workers in
14 a building in the plant doing the same type of
15 activity over and over again when you have kind
16 of a homogeneous population.

17 With the subs you've got almost like
18 a separate population. Every time they come in
19 to do a different job, does that really have any
20 relation to another contractor that comes in
21 and does some other type of job?

22 And so in our mind it was critical
23 that you have at least a good weight of evidence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 argument, if not out and out proof, that, you
2 know, indeed, the potentially exposed groups of
3 companies, contracting companies were, indeed,
4 monitored.

5 And so I guess that was really the
6 genesis of this data capture which, you know,
7 NIOSH has performed. It would have been nice
8 to find some contract information that
9 specified who did what and when.

10 You know, looking at the patterns in
11 the data, I got to say I kind of agree with Stu.
12 You've got about the same number of personnel
13 being monitored.

14 In '86 and '87 when Westinghouse,
15 WINCO came in and took over, you don't see a big
16 change in the pattern, the distribution of
17 samples among the individuals. You still see,
18 with maybe the exception of some of these
19 unknowns, Rust is still predominantly the
20 leader.

21 There are quite a bit more samples
22 in '87. Now, I don't know if they increased the
23 sampling frequency for whatever reason but the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 number of individuals stays the same.

2 So my takeaway from this is that I'm
3 not really seeing a smoking gun here. You
4 know, I think the criteria for rejecting this
5 data, given the numbers are fairly good and the
6 representation appears to be pretty good, would
7 have to be some kind of a statement backed up
8 by some strong facts that, you know, here's a
9 group that did come in and do some dirty work
10 that weren't monitored.

11 And I know Bob's went through the
12 claimant file and they haven't found anything
13 that would suggest that and so I know you guys
14 are in kind of a tough position trying to prove
15 a negative. You know, did they or didn't they?
16 You know, we don't really know.

17 But without any kind of strong
18 evidence to indicate that highly exposed groups
19 were not monitored, I don't see that this
20 remains to be an SEC issue. That's SC&A's
21 position on it. Certainly left it open for
22 debate and I'm sure that the others have
23 different opinions on it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Well, you know,
2 it looks fairly good and I'm looking over what
3 we've got into.

4 But one of the things I'd like to do
5 is go on the record of, you know, find that Lou
6 Doll is in the room with us. He just joined us
7 a few minutes ago. I'd like to welcome him to
8 it.

9 And I don't see a real big increase
10 but, you know, this is kind of a transition
11 period and I've never seen a transition period
12 where we do kind of ramp up but it's looking like
13 that we've got enough results in here to be able
14 to perform what we did. Have you guys been able
15 to look at this paper very close or --

16 MR. STIVER: It's basically like
17 Stu said. I mean, these are the results, the
18 numerical results.

19 CHAIRMAN CLAWSON: Right.

20 MR. STIVER: The conclusions, I
21 think, are pretty much in line right here on
22 Page 9 as to what they discovered.

23 I think the most important thing is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they didn't find the information on contracts
2 and what was done and by who and when and that
3 was really the thing that we'd like to have had,
4 you know. Unfortunately that's not always the
5 case.

6 I think something else we need to
7 keep in mind is the, you know, the time frame,
8 and the kind of concerns over health and safety
9 that were evolving during the '80s would kind
10 of, at least in my mind, lead me to think that
11 you would not have a group come in that would,
12 you know, potentially be highly exposed and
13 then just not monitor them.

14 Now, back in the '50s I could see
15 something like that happening, '50s and '60s.
16 But in the '80s and, you know, transitioning
17 into the '90s with the RadCon Manual and 835
18 coming on board, it would be kind of hard for
19 me to accept, that that kind of really unlikely
20 event could have taken place without any kind
21 of evidence to support it.

22 CHAIRMAN CLAWSON: Right. What
23 about the Plant 9 dust collector release? You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were talking about that subcontractor's --

2 MR. HINNEFELD: Yes, there was a
3 question. The question was raised why did
4 subcontracting sampling all of a sudden go up
5 in late '83, right? Isn't that what we saw?

6 End of '83 all of a sudden we start
7 seeing from our capture card, urine card, we
8 started seeing a lot more subcontractor data
9 than we had seen before that time.

10 And the question was raised several
11 meetings ago why did that happen? And I
12 speculated it might have been the Plant 9 dust
13 collector release and I had misremembered the
14 date. I was off by, that didn't occur until the
15 later part of 1984, so clearly that wasn't the
16 reason why.

17 Couple things, you know, come to
18 mind. First of all, it could be that there were
19 more contractors on site about that time
20 because this was during the period of the Reagan
21 build-up when a lot of money was put into
22 defense programs.

23 And Fernald for the first time for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 probably 15 or 20 years actually got some
2 capital money and was able to build and remodel
3 some things, so there was an influx of money
4 around that time.

5 Now, I don't know if that was the
6 exact date but it was during Reagan's first term
7 which would have been '81 to January of '85. It
8 was during his first term that he pushed that
9 expansion of nuclear production capability,
10 project production capability.

11 And some of that money got in
12 Fernald and so there was more work done then
13 than had been done for a long time of a capital
14 nature, you know, building things.

15 And then I could mention somewhat
16 facetiously because I have no memory of
17 participating in this, but the fall of 1983 was
18 when I went to work in Radiation Safety
19 Department.

20 Now, I have no memory of ever
21 saying, hey, we should be monitoring these
22 contractors. I'm not saying that. I would
23 think that if I had been asked I would say, yes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we should be monitoring these contractors but
2 I don't remember that ever happening.

3 And I was, like, the second health
4 physicist at the time and the first was really
5 junior. The first was right out of school.
6 She'd only been there about a year or so, so in
7 terms of people with actual health physics
8 training background.

9 So I don't know if, you know, like
10 I said, I have no recollection of ever doing
11 anything like that. It just, I thought the
12 timing was kind of odd when I saw the date.

13 I think it's the build-up. I think
14 it was the fact that there was more contract
15 work and since it happened in the fall, which
16 would be the beginning of a fiscal year, it
17 seemed to me that that's probably what the
18 likely event was. That's probably when some
19 capital money became available and more
20 subcontractor work actually started happening.

21 MR. BARTON: Well, I think there's
22 two, really two facets that you laid out pretty
23 nicely.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One was, really the more important
2 one in my mind, was to try to figure out what
3 subcontractors were actually on the site and
4 what they were doing and is there a reason that
5 they might not be showing up in this data set?

6 We uncovered a list of, you know,
7 50-something subcontractors who were under
8 contract with NLO at the time. We really don't
9 know what they were doing and if there's a
10 reason they weren't monitored.

11 There's also the possibility that
12 they were actually subcontractors to Rust, a
13 sub-subcontractor if you will, and so it was
14 really just a naming convention, the reason why
15 we see such a large proportion of these samples
16 going to Rust.

17 And during the last teleconference
18 back in April, we pretty much discussed, you
19 know, we just really have to do our due
20 diligence on that first pass to try to figure
21 out what information is out there.

22 And you guys want to data capture
23 and it's just, you know, we're sort of at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 end of that road where we can't really say
2 either way what subcontractors were at the site
3 and what they were doing and if there's a reason
4 why the name of the subcontractors doesn't
5 appear. So that's the first facet and I think
6 that's a very powerful piece of evidence.

7 The second facet is this comparison
8 of the '84-'85 years to '86 and '87, and I'm
9 going to have to muddy the waters a little bit
10 here.

11 I'm looking at Table 6. We
12 actually went through that data set and what
13 we're quoting here is 370 total samples. When
14 you do examine the data, 357 of those 370
15 samples were only for the first six months of
16 1986.

17 An additional 13 samples were
18 compiled essentially from the second reference
19 from 1986, so that covers the last six months
20 of the year. So logically we can make the jump
21 that in 1986 your total number of results is
22 probably going to be somewhere around double.

23 Now, what implication does that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really have, because the effect is likely not
2 going to be as profound for the number of
3 individuals which, in my opinion, is sort of the
4 more important column there.

5 If you're pretty much looking at the
6 same size population among all these years and
7 if we can accept that when Westinghouse took
8 over that they had a pretty good handle on
9 things, then essentially your pool of monitored
10 workers is fairly consistent.

11 Now, it's tough to say because
12 without compiling that actual data in the last
13 six months of 1986, you really can't tell what
14 kind of effect it would have.

15 Like I said, it's likely the total
16 number for trial would be somewhere around
17 double what's quoted there. The number of
18 individuals would likely increase but
19 obviously that's not going to double. You're
20 not going to have a completely different
21 workforce in the last six months. Maybe you
22 add another subcontractor name or two to this.

23 But I think what John Stiver said

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's really important here is that we don't
2 have any indication or real evidence that there
3 was a group out there that was doing something
4 decidedly different from these other monitored
5 subcontractors, that we're really missing it to
6 where we can't come up with a bounding approach,
7 a bounding coworker model specifically for
8 subcontractors in these years that is going to
9 totally miss the boat.

10 I mean I think to make a
11 determination, and this is just my opinion, to
12 make a determination that a coworker model
13 fails you have to have that indication that
14 there was groups out there that were doing
15 something completely different and they were
16 completely ignored and that's why we don't see
17 the name of that specific contractor in these
18 tables.

19 And as John mentioned, we went in
20 and examined some subcontractor claimant files
21 to see if we could see some CATI reports that,
22 you know, talked about incidents or doing
23 specific work such as, you know, working on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 HVAC systems or pulling out decontaminated
2 equipment and we just really came up empty.

3 And you combine that with the fact
4 that we feel like information, the contracts
5 simply aren't out there. We don't have
6 radiation work permits that would define what
7 it is the subcontractor out there, that we're
8 totally missing with this.

9 Really it becomes a judgment call as
10 to whether this transitional period when you
11 can see the number of data points picking up
12 and, like I said, I'm guessing it's going to
13 probably double in 1986 and 1987, not that far
14 off from that number.

15 Oh, also in 1987 I noticed that
16 there was no or there was only one bioassay
17 sample for the months of November and December
18 combined and this is really just the case that
19 those months weren't included in the underlying
20 reference.

21 And I did look and I honestly
22 couldn't find bioassay cards for those two
23 months. So, I mean, you could probably expect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the number in 1987 to increase slightly as well.

2 But, again, what I'm looking at is
3 this number of individuals column. I mean, I
4 think if we're going to have about the same
5 number of workers who were monitored, I think
6 that's a good indication that they at least had
7 a handle on which radiological subcontractors
8 they should be looking at.

9 And, again, this sort of operates
10 under the assumption that in 1986 to 1987 when
11 Westinghouse was there that they were
12 monitoring the right group of workers.

13 Like I said, it gets a little muddy
14 just because in Table 6 we're essentially only
15 looking at the first six months so we really
16 don't know necessarily what effect that would
17 have.

18 But I think what we do know is that
19 they were taking more bioassay samples but were
20 they actually taking them from more people?

21 I think the effect of compiling that
22 data would be significantly less than the total
23 number of samples but we really don't know what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exact effect it would have on this comparison,
2 which is really the second facet.

3 But in the end, like John said, we
4 don't have a smoking gun. We don't even really
5 have smoke to indicate a fire because we
6 couldn't find any sort of, as John Mauro put it,
7 the rock to stand on to say, you know, we
8 probably have a real problem here.

9 And if we're going to make a
10 comparison to I guess what we call the gold
11 standard of the Westinghouse years, again, it
12 looks like the actual individual population is
13 very similar and the actual names of the
14 subcontractors are very similar in what was
15 compiled here and they're really almost
16 entirely for Rust Engineering.

17 And like I said before, one reason
18 could very well be that these other names that
19 we had in that populated list of 50 were
20 actually subcontractors to Rust and so when
21 they entered the bioassay program they just
22 marked them down as Rust.

23 Now, one question I did have is in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Table 7 we talk about the unknown group and I
2 assume that's because they just didn't have a
3 company name written on the bioassay card.

4 It says here, there's a footnote,
5 and that the names were compared to the '83 to
6 '86 results but no company could be identified.

7 So I guess I'm wondering was there,
8 there was obviously a subcontractor identified
9 in the '83-'86 that you were able to match the
10 name to or, I mean, I guess I don't know how you
11 determined those were subcontractors if they
12 weren't marked as such in '87 and then I'm just
13 not sure if they were marked as subcontractors
14 in the prior years and that's how we were able
15 to determine if those unknown worker categories
16 were, in fact, subcontractors.

17 MR. HINNEFELD: I don't recall. I
18 wonder if someone on the phone can help out with
19 the meaning of that footnote, the double
20 asterisk footnote.

21 MR. ROLFES: Gene Potter had gone
22 through -- This is Mark. Gene Potter had gone
23 through the records. I don't know if he's on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the phone today. We might be able to send him
2 an email and see if he can get us a response
3 possibly.

4 MR. HINNEFELD: There were, at
5 least during some period of time, there were a
6 set of badge numbers that were reserved for
7 subcontractors.

8 And I don't know if this is part of
9 that time period or not but there was a set of
10 badge number, you know, sequence of badge
11 numbers, you know, thousand numbers or so, that
12 were only issued to subcontractors. And so it
13 may have been from that but I don't know if
14 that's how this was done or not.

15 CHAIRMAN CLAWSON: Help me
16 understand. You were saying that this '86, and
17 this is Brad, urine results is only for the
18 first six months?

19 MR. BARTON: Plus 13 samples in the
20 second group of six months. Just the
21 compilation appears to have stopped at some
22 point.

23 I'm not sure if the original,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because this is actually, these are the same
2 numbers that were quoted back in April when we
3 were talking about this and I'm not sure.

4 When they were compiled for that
5 meeting, the intent wasn't really to make this
6 comparison. I don't believe we had gotten that
7 far.

8 Now, it's something we discussed at
9 the April meeting. It just, I don't think it
10 ever got expanded to fully pull in the bioassay
11 samples from essentially the second reference.

12 1986 is split into two different
13 references and I can provide those numbers if
14 people are interested. The first reference
15 was vetted completely and the second reference
16 wasn't, so.

17 MR. STIVER: The second one
18 contained 13 entries?

19 MR. BARTON: Well, the file itself
20 was 89,000 pages long and the entries that were
21 pulled were kind of in the first 100 or so and
22 then the compilation just sort of stopped. So
23 the data is there if we want to go fill out this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 table.

2 Now, in 1987 I was not able to find
3 any bioassay points for November and December
4 of that year so those numbers might increase a
5 bit as well for those two months.

6 It was noted in this paper and is
7 evident when you look at the data that it's true
8 when you get to the colder months there's
9 generally less monitoring going on, probably
10 because there's less construction projects
11 going on.

12 So there might not be a drastic
13 increase from just adding November and December
14 in 1987 but certainly there'll be a marked
15 increase for 1986 in these totals.

16 As I said, I feel what's more
17 important is the actual total number of
18 individuals that were monitored, comparison
19 between those and ---

20 (Simultaneous speaking.)

21 MR. STIVER: Yes. That's what I'm
22 looking at. I mean for '84, '85, '86 and '87
23 you've got pretty consistent numbers of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 individuals and if there was something
2 problematic that we're missing in Table 6 for
3 the second half of the year, like more
4 individuals being monitored, you'd expect that
5 to carry through to the following year --

6 MR. BARTON: Right.

7 MR. STIVER: -- I mean, depending
8 on how steady the workload was at that time,
9 but.

10 MR. BARTON: I agree. And another
11 very important facet of this was, one of our
12 main concerns was when we looked at the records
13 that we do have for those two years they were
14 for pretty much two subcontractors.

15 Well, why is that? Let's look in
16 subsequent years and see if, well, all of a
17 sudden maybe we see that there are 30 different
18 subcontractors that are involved in the
19 bioassay monitoring program, and that's just
20 really not the case. When you look at these
21 totals, '86 and '87 was Rust Engineering and
22 then this unknown column.

23 MR. STIVER: The patterns just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 don't change abruptly. I mean, if there was a
2 problem you expect a lot of big players entered
3 in there in a different distribution among
4 them.

5 MR. BARTON: Only thing it does
6 change is going to be the total number of
7 bioassay results but what we can't really say
8 is that the total number of monitored workers
9 is going to be markedly changed.

10 CHAIRMAN CLAWSON: Okay, well, a
11 lot of this work that was done for this is in
12 response to Mr. Doll's letter that he sent to
13 us and I'd like to give you an opportunity if
14 you'd like to be able to ask any of the
15 questions. Have you been able to see this
16 paper that we're looking at?

17 MR. DOLL: Somewhat, I just got it.

18 CHAIRMAN CLAWSON: Okay.

19 MR. DOLL: I'm Lou Doll. I wrote a
20 letter. Concerns that I had with the decision
21 that the subcontractors were only included
22 under National Lead of Ohio from 1951 to 1983.

23 Having worked both under National

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Lead of Ohio and Westinghouse, Fluor after
2 that, the differences in how tests and HIS-20
3 and urinalysis and safety and the oversight was
4 completely different between National Lead of
5 Ohio and Westinghouse.

6 It raised concerns with me when I
7 read the report that the decision was made on,
8 that the reason they had started doing more
9 urinalysis in 1982 was because they had the bag
10 house at Plant 9 blow up.

11 If that's the case, and that's what
12 we were basing decisions on as far as ramping
13 up how we test and give urinalysis for the
14 workers, then the basis for that would have been
15 pushed back two years.

16 So that kind of threw a red flag at
17 me right away. Like I say, after having worked
18 for National Lead of Ohio, they wouldn't do
19 surveys.

20 Subcontractors, and it's in your
21 report, they wouldn't even, I mean, they called
22 us intermittent workers. They're not going to
23 be here long enough. Don't worry about them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We don't have to monitor them. We don't have
2 to test, and that was the attitude that they
3 had.

4 So lawsuit came out on that and part
5 of this was from Fluor when they came in.
6 Accusations against National Lead of Ohio
7 include putting production first, making
8 safety an afterthought, fabricating records on
9 uranium dust emissions, failing to properly
10 record exposure figures for workers when, in
11 fact, they had been exposed, failing to retest
12 workers whose exposure levels exceeded
13 standards, maintaining exposure records for
14 150 but 60 of the 150 workers failing to tell
15 one worker he had fibrosis of the lungs.

16 They lost that lawsuit. They were
17 found guilty and they had to set up monitoring
18 programs and that.

19 And I know that that doesn't give a
20 basis for the records that you have to look at
21 to make a decision on, but it kind of gives a
22 concern for what are these records really as far
23 as like what you're getting. You can only go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 make decisions on the records that you can find
2 and what they say.

3 However, there's been a lot of
4 concerns over the years and a lot of it came out
5 in this lawsuit, that National Lead of Ohio did
6 not keep good records and the records they did
7 keep, were they totally correct? You know, but
8 like I say, that's not you guys' problem. You
9 guys got to deal with what you got to deal with.

10 One other concern that I had and the
11 gentleman was before you a few, well, I guess
12 it was over a year ago now but he had dose
13 reconstruction done on this thing and he was
14 there the '82, '83, '84, '85, all the way up to
15 2005.

16 And we got his report back. It told
17 him that the majority of his radiation exposure
18 was received during employment as a
19 construction engineer according to records
20 received from Department of Labor and
21 information provided in the interview process.

22 You know, he brought that to my
23 attention. He brought it to this, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 group's attention that how could I have gotten
2 more -- and he became a [identifying
3 information redacted] under Fluor. He was a
4 worker under National Lead and Westinghouse.

5 And when he got this thing back, it
6 just, and I can see why it didn't make sense to
7 him. I mean, if you're out in the field working
8 in all those different things, you got no
9 coverage, you're getting exposures, you don't
10 know what's going on, and we had different
11 partners when we first went down. We didn't
12 even have clearances yet so we didn't know
13 anything.

14 We worked in buildings down there
15 without respiratory protection, without
16 anything going on but later on, Pilot Plant
17 being one of them, you couldn't even go in the
18 building without a respirator and full
19 dress-out yet we did all the demolition and
20 everything in those buildings with no
21 protection.

22 I don't know what the exposure
23 records say, but I know what the circumstances

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were and that's what gives me concern.

2 I think that the SEC petition should
3 go through '85, the total time at National Lead.
4 I think it's a nice, clean break.

5 I do agree that when Westinghouse
6 came, they did a much better job and changed a
7 lot of the things that National Lab did and they
8 were aboveboard and they never had a problem
9 getting taken to court or anything else for any
10 issues that they had.

11 So, I mean, I don't know if
12 anybody's got any questions for me about any of
13 this stuff.

14 CHAIRMAN CLAWSON: Lou, this is
15 Brad. You were saying that you were classified
16 as a intermittent worker --

17 MR. DOLL: Correct.

18 CHAIRMAN CLAWSON: -- under the
19 construction work. Now, how many years were
20 you actually on the site?

21 MR. DOLL: '83 to 2004 and there
22 were a couple small breaks in-between. Now,
23 the other fellow, [identifying information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 redacted], he was there straight from
2 [identifying information redacted]. He had 23
3 straight years I think. There were a lot of
4 people there like that.

5 CHAIRMAN CLAWSON: Well, and this
6 is -- In the interviews I had heard a lot of
7 people say, well, yes, I was a construction
8 worker but the only thing that changed on me was
9 the contractor I was working for. I'd been out
10 there X amount of years straight through.

11 MR. HINNEFELD: I think that's true
12 and I think that's the real, I mean that's the
13 reason I'm not, I mean Lou is exactly right. I
14 worked for NOL, Westinghouse and Fluor also and
15 he's exactly right.

16 And the view that construction
17 workers are considered transient and so they're
18 not going to bust any limit so you don't have
19 to worry about, you know, exposure limit, so
20 didn't have to worry about them, that was kind
21 of what was happening.

22 And so the question now is but when
23 they did start monitoring in '84 and '85 do we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 now have enough data to reconstruct those
2 exposures, which appears to me that we do and
3 that's the only issue we're laying out.

4 CHAIRMAN CLAWSON: Right.

5 MR. HINNEFELD: But everything Lou
6 said is right. I had one question. Do you
7 remember when they finished demolition in Pilot
8 Plant to put the new 64 in? Do you remember
9 when that, because I sure don't. I know it was
10 going on in the early '80s.

11 MR. DOLL: Let's see. Let's see
12 here. Just a second here. Got to find the
13 right one.

14 MR. HINNEFELD: Because I mean
15 that's kind of, to me, the classic example of
16 a poorly controlled radiological work, you
17 know, radiological construction work, that
18 that was not a good place, that was not a good
19 activity and I don't think it was controlled
20 very well but I don't know when --

21 MR. DOLL: Okay, Pilot Plant
22 Building 13. Originally hired for 60- to
23 90-day job to do the demolition of the existing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uranium enrichment process. That was the
2 first job in --

3 MR. HINNEFELD: '82.

4 MR. DOLL: -- '83, late '82, early
5 '83.

6 MR. HINNEFELD: Into '83, okay.
7 Okay, so that's already in the Class.

8 MR. DOLL: Well, it went through
9 '85 because we had to go back in there when it
10 was running when the coal traps didn't work.

11 MR. HINNEFELD: Yes. It was more,
12 actually I was just thinking of the original
13 demolition.

14 MR. DOLL: No, there was a second
15 demolition.

16 MR. HINNEFELD: Because?

17 MR. DOLL: On the wet side because
18 we had to go in and tear out the existing stuff
19 on the wet side to put the refrigeration skid
20 in. So we had to do a complete demo in '85 to
21 get that out to put the refrigeration because
22 coal traps wouldn't work.

23 MR. HINNEFELD: Okay, all right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. DOLL: What we originally put
2 it in they had a problem with the off-gas and
3 the off-gas is HF. So they put it through.
4 They had some different functions, the piping
5 and stuff, so we had to do some stuff.

6 Finally we got it running and then
7 it couldn't handle the HF through the coal traps
8 so we had then to shut it down. Went in, demoed
9 the, and that was the bad side. You know what
10 was on the wet side of the, that was the
11 right-hand side of the building.

12 MR. HINNEFELD: Yes, looking
13 south, it's all the piping and stuff.

14 MR. DOLL: Yes, there was all kinds
15 of stuff left in there, including thorium and
16 everything else, and we went in there and demoed
17 that. There was still stuff in the lines
18 because we ended up having a problem.

19 One of the things was in the lines
20 that they told us was clean, was caustic. The
21 reason we found out was because our boots
22 started, you know, the leather on the boots
23 started bubbling from that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I mean, out of six lines that we
2 demoed that were in there, three of them had
3 material, you know, liquid materials and stuff
4 and we didn't know what it was. We weren't
5 told. We were just told to get this stuff out
6 and there was no protection at that time.

7 You know, they told us to take break
8 over in this other, the little room next door.
9 We found out that it was hotter or as hot as the
10 other building were and that's where we were
11 eating lunch at. You know, it was in kind of
12 a maintenance shop. They have a saw in there.
13 They would cut --

14 MR. HINNEFELD: Yes, what they call
15 the Pilot Plant warehouse across the street?

16 MR. DOLL: Right next door.

17 MR. HINNEFELD: Yes, around the
18 corner there or was it kind of --

19 (Simultaneous speaking)

20 MR. DOLL: It was right there on the
21 left.

22 MR. HINNEFELD: Okay.

23 MR. DOLL: And then Rust trailers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were right beyond that.

2 MR. HINNEFELD: Okay. Yes.

3 MR. DOLL: It was a small block
4 building. It wasn't that big.

5 MR. HINNEFELD: Okay. I think I'm
6 thinking of something else.

7 MR. DOLL: It had a saw inside and
8 stuff like that but they used it for
9 maintenance. Well, we come to find out later
10 that they would cut the material in there.
11 That's what the saw was for.

12 Well, that's when -- Then they said,
13 well, construction's a funny duck. They want
14 you to take your break and your stuff in your
15 area. They don't want you moseying off
16 anywhere else for your break --

17 MR. HINNEFELD: That's right.

18 MR. DOLL: -- in the morning and
19 stuff.

20 MR. HINNEFELD: Don't want to lose
21 control, don't want you wandering around.

22 MR. DOLL: And when we would take
23 break in the morning and that, they'd tell us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to take our coffee and stuff with us and go over
2 here, take a break in this building. So, I
3 mean, you know, you're just thinking
4 everything's cool.

5 We find out later, I mean, this is
6 in later years like you say, when they
7 completely boarded off 13 later on till they did
8 the demolition on that in 2004 I think and
9 nobody was allowed in and out of it without
10 complete respiratory control yet we did
11 demolition, everything else in there as a first
12 job with no oversight.

13 I just, I know you guys are looking
14 at what you got and I don't have a problem with
15 that. I mean you guys got to make decisions
16 based upon, but I do have concerns with what was
17 there and the contractor and what I know we were
18 put through and the way we were treated.

19 I mean, when you go back to the books
20 for 3161, 3162, they did -- 3162 was the medical
21 part of it, 3161 was who was what worker and what
22 were they entitled to --

23 MR. HINNEFELD: Yes, who ordered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ---

2 (Simultaneous speaking.)

3 MR. DOLL: Right. And when I went
4 in there, the actual verbiage in 3161 was
5 intermittent workers. That's what the
6 government and the contractors considered
7 construction, was intermittent workers because
8 there was a basis for what you're allowed to get
9 or whatever within this process and that was the
10 tack that they took as far as, like,
11 subcontractors were concerned.

12 MR. HINNEFELD: And under some
13 circumstances --

14 MR. DOLL: Expendable was another
15 one.

16 MR. HINNEFELD: -- if you're going
17 to build a building -- You're going to always
18 need to build buildings and so you would expect
19 the people that build your building to kind of
20 building your building and go away.

21 But in this instance the same
22 workers, as you say, stayed with either one
23 contract -- And once they got clearance, they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were gold. Whatever contractor was going to be
2 working out there, they would hire the guy that
3 was in there.

4 (Simultaneous speaking)

5 MR. DOLL: So, you know, but like I
6 say, between that and, well, I read the thing
7 with the dates and stuff but also --

8 MR. HINNEFELD: Yes, got that.

9 MR. DOLL: -- the one individual
10 got back his thing here from Department of
11 Labor. It said that he got more --

12 MR. HINNEFELD: I know how that
13 happened.

14 MR. DOLL: I mean it doesn't make
15 common sense.

16 MR. HINNEFELD: I know how that
17 happened but it's embarrassing, so. It's a --

18 MR. DOLL: Well, you understand my
19 concerns then about --

20 MR. HINNEFELD: Yes.

21 MR. DOLL: Now, you know, I look at
22 -- You say embarrassing. Well, what I'm
23 looking at is these are people filing their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 claims and you're saying are getting the best
2 treatment possible and this comes up. So that
3 raises concern. Is this the only one or --

4 MR. HINNEFELD: Well, they used the
5 job title they have for him which was his last
6 job title and they put that in the essentially
7 boilerplate section of the dose
8 reconstruction.

9 And when you do stuff like that, if
10 you're not really careful it really hurts the
11 credibility of the product and that's what
12 happened here. We know how that happened.

13 CHAIRMAN CLAWSON: Well, we've
14 discussed this many times, that when people,
15 you go to the last job and last place that they
16 worked.

17 MR. HINNEFELD: Yes. The last job
18 title they had is likely the one that's in the
19 database. That's likely the one in the
20 database.

21 CHAIRMAN CLAWSON: Well, I'd like
22 to open this up to any of the other Board Members
23 on the phone if they have any questions that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they'd like to ask.

2 MR. KATZ: Paul and Mark.

3 MR. HINNEFELD: And Phil.

4 CHAIRMAN CLAWSON: Phil.

5 MR. KATZ: Phil, right. Everyone.

6 Do we still have you on the line? Maybe you're
7 on mute.

8 CHAIRMAN CLAWSON: Probably muted.

9 MR. KATZ: Do we have anyone on the
10 line?

11 MR. HINNEFELD: Is anyone on the
12 phone?

13 (Simultaneous speaking)

14 MR. KATZ: The phone shows that
15 it's -- We have our connection so I know there
16 are people on the line. We're not hearing
17 anyone on the line.

18 MR. HINNEFELD: Karin or Matt, can
19 you say something?

20 MR. ARNO: I'm still here and
21 everything.

22 MR. HINNEFELD: We thought we'd
23 lost our phone connection.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: So do we have Paul or
2 Mark or Phil still on the line?

3 MEMBER SCHOFIELD: Ted, can you
4 hear me now?

5 MR. KATZ: Yes, we hear you now
6 perfectly.

7 MEMBER SCHOFIELD: Okay. Yes, I
8 was on mute.

9 MR. KATZ: Oh, I'm sorry.

10 MEMBER SCHOFIELD: I've got one
11 question on this. Did they use a
12 representative person from, say, some of the
13 small contractors? They would take an escort
14 or something and that person's bioassay was
15 supposed to be representative of the people
16 that he or she was escorting?

17 MR. HINNEFELD: Phil, I don't have
18 any recollection of that. I don't think that
19 was done. I think if a work activity was
20 determined to be monitored, then people there
21 would be monitored. I don't think that was
22 done, I don't remember that was done. Lou
23 seems to be puzzled as well. He doesn't --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. DOLL: The escort, if you're
2 talking about when the porters would go out and
3 work in the plant if they didn't have, in the
4 early days when they didn't have clearances
5 that would have been a guard.

6 MR. HINNEFELD: Yes, so there
7 wouldn't be any way to associate with that Work
8 Group so they wouldn't have done that I don't
9 think.

10 MR. ROLFES: This is Mark Rolfes
11 and I did hear back from Gene about Bob Barton's
12 earlier question.

13 And Gene responded back that the
14 unknowns were Type 50 bioassay samples with no
15 annual routine samples which was the typical
16 pattern that we saw for subcontractors. Some
17 might have also had "sub" written on the card
18 without a company name.

19 He said another feature was that
20 they didn't have a normal employee number like
21 the NLO employee numbers did. They might have
22 had a different two-number prefix but --

23 MR. HINNEFELD: Yes, sometimes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they used a two-number prefix and a dash. That
2 was subcontractor. For some period of time
3 there was a, this may be more back with NLO, that
4 there was a period, there was a range of badge
5 numbers that were only assigned to
6 subcontractors.

7 MR. DOLL: The badges were set up
8 the first two numbers, an 01 or an 02 or an 03,
9 was the craft. And then the second number,
10 which would have then started like 001, 002, 003
11 or 210, that was the number of the individual
12 as they came into the plant.

13 So you could almost get a straight
14 line on down as to who got there at what time.
15 You don't have -- I got some dates at my office.
16 But that's how the badge numbers worked as far
17 as Rust Engineering was concerned. First
18 number was the craft. Second number was the
19 individual's number.

20 MR. HINNEFELD: Okay, thank you.

21 MR. ROLFES: And then he also added
22 that the meaning of the double asterisk in the
23 footnote from Table 7 was that it was meant to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mean that Gene had looked for the individual
2 names in the 1983 to 1986 time period to see if
3 there were company names in the other years but
4 he couldn't find them in other years.

5 MR. BARTON: That makes a lot more
6 sense.

7 CHAIRMAN CLAWSON: Well, I'd like
8 to tell NIOSH we appreciate what they've
9 brought to us on this because this, you know,
10 based on the information we have this is what
11 we have to be able to go with that, you know,
12 they've done due diligence that we have asked
13 them to be able to do.

14 And, in my eyes, we don't see
15 anything that a coworker wouldn't be able to --
16 Now, this is only to be used if there's no
17 monitoring data, correct?

18 MR. HINNEFELD: It's uranium.
19 It's interim uranium only and it is only if
20 there's no monitoring data.

21 CHAIRMAN CLAWSON: Okay. Well,
22 without, you know, like you guys said, without
23 a smoking gun there's not much that we can do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with this.

2 But we have evaluated and, yes, it's
3 a little cool in here, done due diligence on
4 this so if there's any more that you had a
5 question on or that we want to clarify on this,
6 this one basically can be closed.

7 MR. STIVER: I have nothing to add
8 to it.

9 CHAIRMAN CLAWSON: Okay. What
10 have we got next on the agenda there that we want
11 to go to that? I know that I read a fairly
12 lengthy paper on thorium.

13 MR. STIVER: Yes. Next on the
14 agenda, back in, I believe it was late June,
15 NIOSH produced a White Paper on thorium
16 internal dose assessment methodology in the
17 post-SEC period and then kind of a companion
18 document to that was released a couple of weeks
19 ago which was the in vivo coworker model. It's
20 kind of a subset of this overall methodology.

21 And we've been tasked to do a
22 thorough, complete review of it which is
23 getting underway. Anticipate we should have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it completed probably mid to late October.

2 So at this point, if you guys would
3 like to kind of talk about it, maybe give us the
4 10,000-foot overview. NIOSH could do that.

5 I know Bob has a few questions and
6 so do I. We could maybe use that as a way to
7 sort of focus our review going forward.

8 MR. HINNEFELD: Okay, this is Stu
9 Hinnefeld again. I will give this a shot. I
10 believe the Work Group and then the Board have,
11 the Work Group has recommended and the Board has
12 recommended that SEC Class be added through '78
13 at Fernald for thorium exposure, internal
14 thorium exposure.

15 The method for monitoring --
16 Thanks, Lou. The method for monitoring was
17 proposed to be in vivo monitoring. Well,
18 actually the early, from '54 through '67 the
19 method was daily weighted average air sampling
20 was the proposed method originally and from '68
21 and later it was in vivo monitoring until we get
22 into, like, '95.

23 And so the Board and the Work Group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 both concluded that the daily weighted average
2 data was insufficient for thorium and that you
3 couldn't reliably interpret in vivo monitoring
4 results in terms of milligrams, in units of
5 milligrams of thorium.

6 And so Class has been added up
7 through '78 and so we have evaluated what
8 techniques are available after 1978 for
9 assessing thorium internal exposure and so
10 that's what this paper lays out.

11 This paper also lays out a bit of
12 thorium history at Fernald and how the thorium
13 was handled so let's start with that part. So
14 we're not going to talk about anything earlier
15 than '78 since that's all been decided already.

16 And then one of the aspects, you
17 know, while Fernald did, in fact, process and
18 produce thorium products for a portion of its
19 history, that all stopped in about 1979. I
20 think their last thorium processing occurred in
21 1979.

22 And then from '80 and forward, it
23 was largely storage and then disposition, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other words getting rid of the stuff.

2 Now, part of storage, though, was to
3 improve the storage because storage containers
4 were not durable enough because some of the
5 materials were aggressive toward storage
6 containers, shall we say, and corroded the
7 containers.

8 And so periodically some sets of
9 material would have to be redrummed so there
10 were periodic redrumming operations from '80
11 until disposition.

12 So that's really the opportunity
13 for thorium exposure, would be that kind of
14 activity up until the remediation work started
15 in the '80s that this paper describes, the
16 thorium remediation work. Remediation means
17 just, you know, disposition.

18 There were a handful of task orders.
19 When you talk about thorium work, there were a
20 handful of task orders after 1979 up through
21 maybe '85 or so, not very many.

22 But those appear to be small amounts
23 to a particular customer and I believe what was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going on there was they were taking material out
2 of storage.

3 Some of the stuff was good-quality
4 product, thorium oxide that had been made for
5 shipping or for their thorium reactor but had
6 never been sent. It was good quality and well
7 packaged. Those containers held up fine.

8 And so part of it was getting those
9 containers, you know, shipping a little bit of
10 material to this, kind of this customer or a
11 little bit to that customer, so that seemed to
12 be what those handful of task orders was acting
13 on.

14 So we're mainly interested in then,
15 you know, can we address exposures between '79
16 and forward when they were maybe repackaging
17 and then once we get into the disposal
18 activities.

19 So there is a table in this paper
20 that sort of lays out chronologically the
21 exposure assessment options we have or the
22 approach we have.

23 And so without going in minute

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 detail about the information in the paper
2 because everyone else can read it probably
3 better than I can, we'll go if we will to, it's
4 in the summary section and I don't see a table
5 number on here but it seems to be, it's on Page
6 12 of 147. I didn't print all the appendices
7 so I don't have all 147 pages.

8 But there are, there is here then,
9 "Thorium doses are recommended to be assigned
10 as follows." This is at the bottom of Page 12
11 and we start a table that shows chronologically
12 the approach that we intend to use.

13 So from '79 and through, certainly
14 through '87 or '88 -- I forget when the mobile
15 unit stopped. Do you remember?

16 MR. ROLFES: '88 I believe. Then
17 they switched over to the IVEC facility.

18 MR. HINNEFELD: Okay. From '79
19 then through '88 there are in vivo results from
20 the mobile monitoring facility that include
21 thorium results that are printed, that are
22 reported in units of activity for actinium-228
23 and lead-212, so we have a number we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interpret.

2 After that period, in vivo was done
3 in a fixed facility and a thorium intake or
4 thorium burden would have been identified in
5 the fixed facility as well and reported.

6 Now, that system did a peak search
7 and would identify what radionuclides were
8 there. The mobile unit always gave you a
9 result on lead-212 and actinium-228 and uranium
10 and so on based on a calculation of certain
11 areas, certain areas of the spectrum.

12 So you always got a result on the
13 mobile counter. You wouldn't necessarily see
14 a specific thorium result on a fixed counter
15 unless it's identified to be there.

16 MR. STIVER: Excuse me, Stu.

17 MR. HINNEFELD: Yes.

18 MR. STIVER: When did you say the
19 peak system or the fixed system came online?

20 In 1990 and --

21 MR. HINNEFELD: Think it was '89.

22 MR. STIVER: Or '89?

23 MR. ROLFES: Yes, I said '89 I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think.

2 MR. HINNEFELD: So '88 or '89 is
3 when it came on.

4 MR. STIVER: Just checking. How
5 long was that system in use after that?

6 MR. HINNEFELD: Well, they shut it
7 off -- Before I left it was shut down I think.

8 MR. ROLFES: I used it in 2001. I
9 know it was still going then.

10 MR. HINNEFELD: Yes, somewhere
11 between 2001 and 2003 they turned it off.

12 MR. STIVER: All the way up to the
13 demolition phase and so forth?

14 MR. HINNEFELD: Yes, I mean, the
15 building was, yes, health and safety building
16 was torn down. The in vivo facility was,
17 actually to a good extent I think it outlived
18 the health and safety building. It was almost
19 sort of a little appendage on it but I think it
20 outlived the health and safety building by a
21 little bit.

22 So for individuals then who have in
23 vivo data, and that's a lot of people because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anybody who got in vivo'ed in the mobile counter
2 or anybody who got in vivo'ed is going to have
3 an in vivo result.

4 We intend to use the in vivo data and
5 missed doses and things like that if they are
6 a job category that could have been involved in
7 the repackaging.

8 And we'd be pretty encompassing
9 about that. You figure almost anybody in
10 operations could have done that, most anybody
11 in maintenance. Transportation could have
12 been involved in it. You could have safety and
13 health people. Might have security people
14 there.

15 So you've got to be pretty inclusive
16 about the kinds of people that you would include
17 in that. Even though it's only probably a
18 small group of people who actually did the
19 overpacking, we don't want to miss someone who
20 should be included. So we would include in
21 those, those people who might have been
22 involved in some sort of exposure.

23 And, in fact, this period then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 extends into the remediation period as well but
2 people who might have been exposed, they will
3 get, if they have in vivo data they will a missed
4 dose. And this goes through '94. I'll
5 explain that in a little bit.

6 If you don't have in vivo data, then
7 from '79 through '89, which is I guess the
8 mobile period, that's when we have all, for the
9 mobile period we have all the bioassay results
10 that were done because they were kept in log
11 books, in a log book or essentially a book of
12 results. And so all the in vivo results for
13 anybody, regardless of whether they're a
14 claimant or not, we have those.

15 After 1990 when you go to the FITS
16 system, we only would have the in vivo results
17 for claimants. We don't have the
18 comprehensive list of in vivo data, so the
19 coworker model then is intended to address the
20 years of the mobile monitoring when we have all
21 the in vivo data.

22 For the years '90 to '94 when we no
23 longer have all the in vivo data, all we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is claimant, you know, data from the claimants,
2 we're proposing to use the control level that
3 was exercised.

4 And our document makes several
5 references to reports that were done during
6 these activities, repackaging activities that
7 were going on in '90 to '94 and the kinds of
8 controls that were imposed and, you know,
9 including when respiratory protection would be
10 required.

11 And so our proposal is to use for
12 this '90 to '94 period 10 percent of the derived
13 air concentration for thorium-232 which was the
14 control level in multiple, you know, multiple
15 things that were written there.

16 And so this would have been, '90 to
17 '94 would have been the end of Westinghouse, the
18 last couple years of Westinghouse and then
19 moving on into Fernald, into Fluor which I think
20 started in '92 I think.

21 And then for the, and then Fluor
22 instituted a 100 percent BZ air sampling
23 regimen for thorium work while they were there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But it appears to me that that
2 wasn't fully in effect until '95 even though
3 Fluor got there in, like, '92. The 100 percent
4 BZ, we haven't found that it's completely 100
5 percent implemented until '95.

6 So from '95 until 2006, which was
7 site closure, everyone who worked around
8 thorium, every person wore a BZ sampler and we
9 do have that BZ sampling database, all the data
10 from that. So we would propose to use the BZ
11 sampling database for individuals from '95 to
12 2006.

13 Now, we also have in vivo data from
14 there so, you know, in this case if we have
15 positive data from in vivo it would trump
16 negative data from BZ and vice versa, I mean,
17 a negative in vivo, if you've got less in vivo,
18 then you use the BZ data for the person.

19 So those are the proposed, you know,
20 that's the various methods we're proposing for
21 the various time periods post '78 based on the
22 data available and the information we've
23 gathered to date.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then the paper goes on in some
2 length to describe, you know, the various
3 approaches and then there were some pretty
4 voluminous appendices about how the data would
5 be used.

6 MR. STIVER: I guess the thing that
7 kind of jumped out at me was that 1990 to '94.

8 One of the questions I had was, you
9 know, whether or not enough data to, you know,
10 fill the coworker model or just extend what we
11 already had and then explained that fairly
12 well. I guess the data just weren't --

13 MR. HINNEFELD: Well, we'll have, I
14 mean, if we had all the in vivo data, we would
15 continue to use the in vivo coworker but we only
16 have claimant.

17 MR. STIVER: But only have it for
18 claimants, yes.

19 MR. HINNEFELD: All we have is
20 claimant data from the in vivo for them.
21 Ironically there probably is an electronic
22 record someplace of all that but, of all that
23 in vivo data because it was done on its own,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had its own MicroVAX that, you know, ran the
2 system and I think they recorded it all.

3 MR. STIVER: What became of it
4 after that?

5 MR. HINNEFELD: What became of that
6 MicroVAX and the data that was in that, that's
7 the question.

8 MR. STIVER: For that period where
9 you propose to use 10 percent of the DAC, did
10 you go into the future years or, not future
11 years but, you know, '95 and beyond and kind of
12 do a verification based on breathing zone data
13 that you do have, whether that would, in fact,
14 10 percent of the DAC would be bounding,
15 assuming that nothing had changed, you know,
16 from '90 through '95 and beyond.

17 MR. HINNEFELD: Well, I don't --

18 MR. STIVER: Kind of a
19 verification.

20 MR. HINNEFELD: Yes, I don't
21 remember offhand and I don't know if anybody on
22 the phone can comment about that or not. I
23 don't remember that being done. I don't know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 if anyone on the phone who was more engaged in
2 this product can remember that or not.

3 MR. STIVER: I guess as kind of a
4 follow-on to that there's got to be quite a few
5 workers from '95 and beyond who would extend to
6 earlier years too so, you know, it might be
7 useful for identifying who was who and what they
8 might have done and so forth.

9 MR. HINNEFELD: Okay. I'm not
10 sure I understand.

11 MR. STIVER: Well, I mean, let's
12 say you have data for workers who were
13 identified from '95. You know, they're
14 claimants obviously. You can go back and look
15 at their records and see, you know, were they
16 also in that earlier period.

17 You might be able to kind of build
18 a, not really a coworker model but just to kind
19 of get an idea of how many would also extend into
20 the earlier years when you have to use the DAC
21 as opposed to the actual data.

22 MR. HINNEFELD: Okay, so how many
23 people from the '95 and later --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes, were actually
2 still in --

3 MR. HINNEFELD: -- were also
4 working '90-'94?

5 MR. STIVER: Yes, still in that
6 kind of a gap period of four years where you
7 don't really have data for everybody. I don't
8 know. I'm just going to cut, you know, cut off
9 here. I don't know if that would really be
10 useful in any way other than to kind of identify
11 what proportion of workers, you know, would
12 still have follow-on of monitoring activity
13 later on or that it might be possible to find
14 earlier data.

15 MR. HINNEFELD: I don't know. I
16 mean, there is some BZ data before '95 but it
17 didn't seem to be comprehensive until '95.

18 MR. STIVER: Yes, yes. And then
19 anything that was in HIS-20, basically that's
20 going to be your only source for the breathing
21 zone samples.

22 MR. HINNEFELD: I believe that's
23 HIS-20. I think it's the database --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes. Yes, there
2 isn't any other --

3 MR. HINNEFELD: BZ.

4 MR. STIVER: -- source you could go
5 look for to maybe, to run it to ground and --

6 MR. ROLFES: Independent of
7 references, you know, handwritten references
8 in the Site Research Database that we have gone
9 through. We've used HIS-20 as our
10 comprehensive source.

11 MR. STIVER: One kind of
12 overarching question I guess is I see in a lot
13 of these thorium White Papers that have been
14 going on, exchanging over the course of several
15 years now, I guess, you know, your contractor,
16 ORAU, always mentions that this would be
17 applied to thorium workers, you know.

18 MR. ROLFES: John, sorry to
19 interrupt you. I was asked if you could speak
20 up a little bit.

21 MR. STIVER: Oh okay, sorry.

22 MR. ROLFES: I think we're having
23 trouble hearing you on the phone.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Not quite close enough
2 to the mic here. Let's see, where was I?

3 MR. KATZ: Overarching question.

4 MR. STIVER: Oh yes, yes. A lot of
5 your papers have identified we're going to
6 apply this towards thorium workers and, you
7 know, our research has shown that prior to about
8 1994 I guess when some of this new information
9 came along, this really job-identifying
10 information is kind of sparse to say the least.

11 And so, you know, the two SECs that
12 were based on thorium really give it to
13 everybody because, you know, it's just
14 impossible to say who was, you know, exposed at
15 what time in what building and so forth.

16 So I see that kind of logic is kind
17 of being carried through in this paper, so I'm
18 just kind of curious. Do you guys have other
19 sources of information you'd be able to find
20 that identify job categories prior to 1994?

21 MR. HINNEFELD: Well, I mean, there
22 are --

23 MR. STIVER: Anything new I guess

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we haven't looked at before?

2 MR. HINNEFELD: There was a fair
3 amount of thorium work done by subcontract. If
4 you read the paper, there's Project 1, 2 and 3.

5 MR. STIVER: Yes.

6 MR. HINNEFELD: Project 1 was done
7 by IT Corporation, which was removal of the
8 thorium from silos within Plant A. Project, or
9 now it wasn't 2 or 3. It was the neutralization
10 of the UNH. The Pilot Plant was done by
11 Chem-Nuclear. And so, I mean, those are
12 separate, distinct categories of people we know
13 who do that.

14 There's some information here about
15 a list of job titles of people who were trained
16 I think for one of the thorium projects, you
17 know, the kinds of people who were involved in
18 that.

19 But I really, I don't know that
20 we're ever going to find, like, names that we
21 can say this person specifically went in and,
22 at least not with the data available.

23 MR. STIVER: So you're saying that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the three projects, all three of them used subs
2 for the entire amount of work?

3 MR. HINNEFELD: No, no, no.

4 MR. STIVER: They were separate?

5 MR. HINNEFELD: No, thorium
6 overpack was in-house.

7 MR. STIVER: Project 3 was the --

8 MR. HINNEFELD: Project 3 was
9 in-house.

10 MR. STIVER: Okay.

11 MR. HINNEFELD: Project 1 was bins
12 and silos and I forget what -- Oh, Project 2 was
13 the outside storage. Yes, that was in-house.

14 MR. STIVER: Okay, that was
15 in-house as well.

16 MR. HINNEFELD: Yes.

17 MR. BARTON: Seemed like from your
18 description and reading the paper there's kind
19 of a list of pretty broad job categories.
20 Those would be, at least being proposed to be
21 applied up through 1994 or just for the in vivo
22 period through '89, because it seems like once
23 you get to 1995 you're kind of saying that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they're pretty much defined by the fact that
2 they have breathing zone.

3 MR. HINNEFELD: Yes, current
4 breathing zones. From '95 forward they're
5 defined by having breathing zone air sampler
6 for thorium.

7 MR. BARTON: Right. So you're
8 essentially saying there's no coworker model
9 after 1994?

10 MR. HINNEFELD: Correct.

11 MR. BARTON: Right, okay. I guess
12 another question I had about that with the
13 breathing zone specifically and I haven't been
14 able to dive into the references yet but, I
15 mean, when we say that breathing zone is
16 provided for all thorium workers, I mean, are
17 we talking, you know, the main handlers of it?

18 But what about, like, you know, sort
19 of ancillary workers that might have been in
20 close vicinity, like a security guard or
21 something like that? I mean, would they have
22 to also been included in the breathing zone?

23 I mean, is there a possibility that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you'd have workers who do have exposure
2 potential but maybe weren't considered thorium
3 workers for the purposes of breathing zone?

4 MR. HINNEFELD: Well, by this time,
5 by '94, things were pretty controlled. You
6 know, Fluor had been there a while and they
7 brought a lot of rigor to these things, even
8 more so than Westinghouse.

9 MR. BARTON: So pretty much if you
10 were in the vicinity of a project, you were
11 going to have a breathing --

12 MR. HINNEFELD: A project, you
13 know, a thorium work area would, you know, the
14 thorium area would be defined.

15 MR. BARTON: And anyone entering
16 that --

17 MR. HINNEFELD: And if you're going
18 into this, into the thorium radiological area
19 or the airborne, you know, potential airborne
20 area, everybody had a BZ with them.

21 You know, I went in. When I would
22 go in to do an observation, you know, I was some
23 pencil-pushing manager, I wore a BZ. That's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what I was. I didn't do any real work.

2 MR. STIVER: So you didn't have to
3 worry about, like, janitors and staff?

4 MR. HINNEFELD: If they went in,
5 they wore BZ.

6 MR. STIVER: You're pretty
7 confident that --

8 MR. HINNEFELD: Yes.

9 MR. STIVER: -- anybody who went in
10 that area had --

11 MR. HINNEFELD: You went into that
12 area, you wore a BZ.

13 MR. STIVER: And all that data is
14 captured?

15 MR. HINNEFELD: It is all in HIS-20.

16 MR. STIVER: It seemed like a
17 pretty high bar to set, that we have no
18 unmonitored workers during this period of time.

19 MR. HINNEFELD: I'm pretty sure
20 there are not. I mean, it was controlled. The
21 area was controlled, you know, to the point of
22 having manned, you know, manned patrol and so
23 I'm pretty sure that anybody who went into the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thorium area from '95 on had a BZ sampler.

2 MR. STIVER: Now, back to Project 1
3 and 2, I know that IT did the Project 1 in '89.
4 Were they also doing the D&D of Plant 8 silo,
5 did they also do all of the, do it from start
6 to finish?

7 MR. HINNEFELD: Yes, I believe, IT
8 did that whole thing.

9 MR. STIVER: Okay, all right.
10 Those kind of questions, whether there were
11 somebody else or some of the in-plant workers
12 might have done the D&D but it was all
13 contracted out then?

14 MR. HINNEFELD: Well, I don't
15 really remember. The paper reports that that
16 was all part of Project 1, of the silos, the
17 bins, not Plant 8 itself. You know, Plant 8 was
18 still there when that project was done.

19 MR. BARTON: So I might have heard
20 the answer and it just passed right through one
21 ear and out the other. I'm trying to get a
22 handle on how we're assigning the proposed
23 coworker intakes. Like I said, there's a list

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of workers that, and one of them is, you know,
2 operations, you know what I mean, pretty broad
3 category.

4 It seems like what you're actually
5 saying is that unless you were a secretary or
6 something like that, an administrative
7 position, then you wouldn't even have come
8 close to these sites of operations so it's not
9 appropriate to apply coworker intakes. I
10 mean, is that essentially what we're saying or,
11 I mean.

12 MR. HINNEFELD: Yes. I think it's
13 going to be a pretty wide net because, you know,
14 to avoid excluding people that should be
15 included.

16 MR. BARTON: It almost seems like
17 it would have been better to just go from the
18 other direction and say everybody gets it
19 unless you were clearly an administrative
20 worker, that kind of thing, because I mean --

21 MR. HINNEFELD: Well, I think
22 that's probably, I mean, we put some examples
23 of jobs here that, and the jobs we listed were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 jobs that were identified I think by the
2 training roster, right?

3 But I think in actuality the
4 approach will be unless this person was clearly
5 administrative or cafeteria worker or, you
6 know, someone who clearly is not going to be in
7 a process area, unless it's somebody like that,
8 they're going to be in.

9 MR. BARTON: For the 1990 to 1994
10 period where proposing using the percentage of
11 the DAC, I assume we're not using in vivo
12 results because we simply don't have them for
13 the entire work force. We only have claimant
14 results?

15 MR. HINNEFELD: We only have
16 claimant results.

17 MR. BARTON: Do we have an idea of
18 maybe how many claimant results we actually
19 have to, I mean, I'm not sure. I mean, I don't
20 think it would be the first time that you
21 actually built a coworker model based on
22 claimant data.

23 MR. HINNEFELD: I mean, I suppose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that could be feasible. Mark, could you make
2 a note of that?

3 MR. ROLFES: Yes.

4 MR. HINNEFELD: I mean I think
5 that's something we could try. We'd have to
6 look for claimants who have employment in those
7 years and we'd have to open each file to see we
8 have in vivo results.

9 MR. BARTON: It would be
10 interesting to compare for the claimants who
11 have the monitoring results, compare what those
12 intakes would be versus the 10 percent DAC
13 value, I mean, 10 percent is a little above what
14 --

15 MR. HINNEFELD: Realistically I
16 think most monitored, most people with
17 potential exposure were probably monitored.
18 Now, they were probably monitored for the
19 purpose of potential uranium exposure but if
20 they found thorium, I mean, in vivo it would pop
21 out, so.

22 MR. BARTON: And the actual --

23 MR. HINNEFELD: I would think most,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you know, occupationally exposed people who
2 worked in that period are probably going to have
3 at least one in vivo count in a four-year period
4 if they were there the whole time. I would
5 think they'd have maybe more than one.

6 MR. BARTON: And just the all
7 worker data for that period is just not
8 available? Like nobody knows where it is or,
9 I mean, is it possible that that could be
10 obtained?

11 MR. HINNEFELD: I think we've
12 looked for that already. I think we've looked
13 for it and have not been able to come up with
14 it.

15 My concern is it's an electronic
16 record, whatever data storage the MicroVAX was
17 using, and I don't know that it ever got
18 translated into a paper record.

19 MR. STIVER: The claimant data
20 would be available for us to review then?

21 MR. HINNEFELD: Claimant data,
22 sure. Yes, claimant data would be in the claim
23 file.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: And how about the
2 breathing zone data? We'd certainly like to
3 take a look at that.

4 MR. HINNEFELD: Breathing zone
5 data is in his claim. We should be able to get
6 that.

7 MR. STIVER: Get to that?

8 MR. HINNEFELD: Have you seen that?

9 MR. STIVER: Yes.

10 MR. HINNEFELD: Okay.

11 MR. ROLFES: I'm just looking at a
12 document from the Site Research Database. It
13 looks like ORAUT had taken a look at 248
14 uncensored lead-212 chest count results that
15 were collected between 1998 and May of 2002.

16 So we've got at least 248 results
17 that we can use possibly to, you know, calculate
18 lung burdens and compare those to the derived
19 air concentration.

20 MR. BARTON: That's a different
21 period of time though.

22 MR. STIVER: Yes, it's a little bit
23 later.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Yes, that's later.
2 That is 2002, we are looking '90 to '94.

3 (Simultaneous speaking)

4 MR. STIVER: Yes, there's some way
5 you can do some sort of proof of principle to,
6 you know, demonstrate that 10 percent of the DAC
7 would be validated, you know, even looking at,
8 you know, later data.

9 I'm assuming that you look at DAC,
10 extrapolate, you know, assuming exposure
11 potential would be changed or even, better yet,
12 you could find some of the claimant data and use
13 that.

14 MR. BARTON: Yes.

15 MR. HINNEFELD: You know, I think
16 there are probably references to describe when
17 respiratory protection was required on some of
18 that work in '90 to '94 because, I mean, we're
19 talking about pretty mature programs in the
20 1990s.

21 MR. BARTON: In looking at the
22 proposed coworker for the in vivo data 1989 and
23 prior, I notice that it did calculate intakes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the 95th percentile which, you know, would
2 be used as a constant, but there weren't really
3 any instructions as to when that kind of a
4 intake would be applicable, I mean --

5 MR. HINNEFELD: Well, we didn't go
6 to that degree of detail in, you know,
7 establishing at this point, you know,
8 establishing here are the techniques,
9 essentially what we proposed.

10 And there are some, granted, there
11 are some decision criteria that have to be
12 bandied about, you know, when you assign the
13 coworker, you know, so on and so forth.

14 But it has to be, you know, this is
15 sort of a demonstration that we believe that
16 dose reconstruction is feasible and that we
17 understand that there would be some additional
18 essentially Site Profile questions. How will
19 these approaches be applied?

20 MR. BARTON: That sort of has
21 larger implications for the SEC Work Group, in
22 going through with the implementation of that.
23 I was just curious if you had any ideas or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thoughts on how that might apply in this case,
2 but that's farther down the road.

3 MR. HINNEFELD: Which, ideas and
4 thoughts in terms of --

5 MR. BARTON: The application of,
6 say, the 95th percentile to a given worker
7 versus the GM and the GSD and you're actually
8 calculating the POC.

9 MR. HINNEFELD: I guess I'm not --

10 MR. STIVER: It's something comes
11 up in a lot of settings outside of Fernald
12 basically. You know, what's the guidance to
13 does reconstructors, kind of up to them, you
14 know, using their own knowledge and experience.
15 This guy deserves a GM or he was highly exposed
16 or 50th percentile or a constant.

17 So something like that would go into
18 a final coworker model. It would be the next
19 step down the road.

20 MR. HINNEFELD: Right, right.

21 MALE PARTICIPANT: Is there any
22 other question?

23 MR. BARTON: This one is kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specific so maybe this one is for someone on the
2 phone.

3 I was just curious. They used a
4 post-weighting OPOS calculation just for the
5 1989 and prior period. I was just wondering
6 because when you look at the data set there's
7 a lot of very negative numbers that are in there
8 and I was just curious if those were adjusted
9 at all because I didn't see any mention of it.

10 When you have, like, a result of,
11 like, 212 with minus 40 nanocurie, you know. I
12 don't if that was removed or if it was adjusted
13 to 0 or, you know, how these, because, you know,
14 over 95 percent of the observed data for that
15 period is essentially below what we believe to
16 be the MDA.

17 So there's a lot of results that are
18 kind of in that gray area and I'm just curious
19 if those were treated in any manner to adjust
20 them or if you sort of took them at face value
21 and plugged them into the OPOS calculation and
22 --

23 MR. HINNEFELD: Well, Dr. Neton and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I had a discussion about this following the last
2 SEC Issues Work Group and it's pretty clear that
3 if you're doing a weighted OPOS that a negative
4 result can't really be treated as a negative
5 because essentially you're subtracting
6 exposure for some period of time if you treat
7 it as a negative.

8 So I don't know if we came to a
9 resolution about how it would be treated. It
10 would have to be adjusted either to 0 or to a
11 limited detached inner half of MDA or
12 something.

13 There's some sort of adjustment has
14 to be done because you can't leave it as a 0.
15 If you're going to be a time, it's got to be time
16 weighted.

17 MR. STIVER: A lot of the graphs
18 that you present, I mean, first of all, the data
19 was adjusted for bias in actinium in the lab.

20 MR. HINNEFELD: Yes.

21 MR. STIVER: And then the plot,
22 basically just so that the slope of the line to
23 the null distribution, the normal distribution

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for the sub-MDL data will go to 0 so it's going
2 to be your geometric mean or your mean for that
3 data. So I didn't have any problem with that.

4 I guess the other aspect of this
5 using Report 44 -- This kind of is related to
6 OPOS I guess. The data above the MDL are going
7 to be used as they have been --

8 MR. HINNEFELD: Yes.

9 MR. STIVER: Whether it is going to
10 be a weighted program or not, I guess your
11 question was really what are you going to do
12 with, you know, the old data --

13 MR. BARTON: Yes. Well, I was
14 just, like, it's not evident immediately upon
15 inspection of report if it necessarily
16 adjusted, like Stu was saying, whether you
17 treat it as --

18 MR. STIVER: Will it be used at all
19 or, you know, there was the idea that a maximum
20 possible mean, at one point some of our earlier
21 discussions, the SEC --

22 MR. HINNEFELD: Yes, maximum
23 possible mean would have adjusted it to, and a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 negative result would have been adjusted to,
2 like, the minimum detectable or something,
3 right. I don't remember exactly. I don't
4 know if we actually reached a final decision as
5 part of the SEC Work Group.

6 MR. STIVER: Yes, this is all kind
7 of ongoing at that point.

8 MR. HINNEFELD: So it's wrapped up
9 in that and I don't know that we've really
10 reached a final decision on it but Jim and I did
11 talk about it and agreed that if you're time
12 weighting each sample it makes no sense to
13 include them as a negative.

14 You can't include a negative
15 because you're essentially subtracting
16 exposures, whatever period of time that sample
17 represents, and that doesn't seem to make any,
18 that doesn't make any sense.

19 MR. BARTON: Yes, because, I mean,
20 I'm looking at Table 2 of the coworker study and
21 even at the 84th percentile they're all, for
22 every year that we're looking at here, they're
23 all essentially half the MDA.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Of course, recall,
2 this, to me, it is not all that surprising
3 because we're coming to years of '79 through '88
4 when the thorium for the most part was sitting
5 in warehouses.

6 And these in vivo results came out
7 because people were being monitored for uranium
8 and this thorium result popped out. So it's
9 not surprising that there's that not really
10 much --

11 MR. BARTON: Right, there was
12 exposure because we did observe samples taken
13 from workers in that time period after
14 production had ceased that were positive,
15 whereas they also had samples in 1979 when
16 production was still going on that were not
17 positive so, you know --

18 MR. HINNEFELD: There were
19 examples, you know, being exposed.

20 MR. STIVER: There were some
21 exposed personnel in that area.

22 MR. BARTON: So these could be low
23 because the workers actually involved in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thorium were just a smaller population of the
2 overall monitored population, not that they
3 necessarily, the exposure potential was that
4 low. It could be just an artifact of a smaller
5 number of workers actually involved who could
6 have had the exposure potential.

7 MR. HINNEFELD: Well, then the
8 question, you know, so of the people who could
9 have been thorium exposed, you know, we have
10 some here who were actually monitored.

11 The coworker would be applied if we
12 don't have in vivo result for some reason and
13 in all likelihood in the job titles that we're
14 talking about there's probably not going to be
15 very many people to actually get this coworker
16 model because most of the people are going to
17 have an in vivo result if they were potentially
18 exposed.

19 There were some, you know,
20 claustrophobes who couldn't tolerate being in
21 a mobile counter, you know, and maybe some
22 people for some reason or another weren't there
23 particularly long but most of the people who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were potentially exposed, you're going to have
2 an in vivo result back there. You're going to
3 have in vivo monitoring.

4 MR. STIVER: Do you have any other
5 question or --

6 MR. BARTON: I don't.

7 MR. STIVER: Anybody on the line?
8 Maybe John Mauro or Joyce have some questions
9 about the post-SEC thorium?

10 DR. MAURO: Yes, I'm here listening
11 in.

12 CHAIRMAN CLAWSON: Was that you,
13 John?

14 DR. MAURO: Yes, I'm here
15 listening.

16 CHAIRMAN CLAWSON: Okay, did John
17 have anything to add?

18 DR. LIPSZTEIN: This is Joyce. I
19 have a very technical question. My --

20 MR. KATZ: Joyce, can you speak up?
21 Let me turn up the volume here too, but okay.

22 DR. LIPSZTEIN: Can you hear me?

23 MR. KATZ: Yes, that's much better.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Thanks.

2 DR. LIPSZTEIN: Okay. I have one
3 technical question about the use of lead-212.
4 How is NIOSH going to assign the time of
5 exposure in relation to the time of preparation
6 of thorium, because mostly -- I don't know if
7 this is too technical and we shouldn't discuss
8 in our Working Group meeting. I basically
9 agree with everything that NIOSH is doing on
10 lead-212.

11 Actually we already sent, had a
12 White Paper. SC&A had a White Paper saying the
13 same thing so we agree on mostly everything and
14 we agree that the pattern is not exactly SEC
15 issue. Is probably a CDC issue on how to use
16 the lead-212.

17 And I couldn't understand from the
18 draft paper, the next draft paper that NIOSH
19 gave to us. How did time of lead, of
20 measurement is going to relate to the time after
21 separation, because after one year after
22 separation, the actinium and the lead-212
23 should be the same. Most of the measurements

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 after they were corrected for bias they are the
2 same.

3 So I don't know what this model is
4 unfavorable, if it is used one year after
5 separation and then we would expect lead and
6 actinium to be the same or if NIOSH is going to
7 use another time before one year after
8 separation and use actinium as -- for its
9 rating. So I think this has to be clarified.

10 As for the coworker model, I have a
11 thing that I would like to ask.

12 MR. HINNEFELD: This is Stu.
13 Could we --

14 DR. LIPSZTEIN: It's very
15 difficult to ask.

16 MR. HINNEFELD: -- break before we
17 get into this?

18 MR. KATZ: So, Joyce --

19 DR. LIPSZTEIN: Yes.

20 MR. KATZ: Stu just asked if we
21 could take a brief --

22 MR. HINNEFELD: Take a brief break
23 before we --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: -- comfort break before
2 we started out on this topic.

3 MR. HINNEFELD: Yes, before we get
4 into discussion on this topic?

5 MR. KATZ: Sure. So let's take a,
6 is ten minutes enough, 15 minutes --

7 MR. HINNEFELD: Ten.

8 MR. KATZ: Ten minutes? So let's
9 just, the line will stay on. I'm just going to
10 mute the line but it's 10:30 so 10:40 Eastern
11 Time we'll pick up again.

12 (Whereupon, the above-entitled
13 matter went off the record at 10:30 a.m. and
14 resumed at 10:42 a.m.)

15 MR. KATZ: So we're back. Let me
16 just check and see if we have our Board Members
17 back on the line with us and Joyce too, so --

18 MEMBER GRIFFON: Griffon on the
19 line.

20 MR. KATZ: Great, Mark. And,
21 Paul, are you back on the line? And you, Phil?
22 Might be on mute. Paul, Phil, are you on the
23 line?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: I'm on the line.
2 This is Ziemer.

3 MR. KATZ: Great. How about you,
4 Phil? And about Joyce, Joyce, are you on the
5 line? Joyce? Maybe you're on mute. Well, we
6 need Joyce to get going here. Joyce?

7 MR. BARTON: I know she was out of
8 power so she may have turned off her cell phone
9 just for ten minutes to come back on so she
10 didn't waste the battery.

11 MR. KATZ: Joyce, are you on the
12 line? Wonder if we can pop her an email to
13 check with her.

14 MR. BARTON: Power's out, so.

15 MR. KATZ: Oh, right.

16 MR. STIVER: Actually she was able
17 to connect onto their email.

18 MR. KATZ: Oh, really?

19 MR. BARTON: I don't know if she's
20 using Gmail on her phone or what.

21 MR. KATZ: Joyce, are you back on
22 the line?

23 MR. HINNEFELD: Now I feel bad for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 asking for a break.

2 CHAIRMAN CLAWSON: What about the
3 other Board Members? Were they there?

4 MR. KATZ: Yes, except for Phil. I
5 haven't heard from Phil.

6 CHAIRMAN CLAWSON: Okay. While
7 we're waiting for Joyce, I've got a question for
8 Stu. I'm just trying to understand something
9 about Fernald and this is the thorium storage
10 facility. Where was this?

11 MR. HINNEFELD: There were a few.
12 Buildings 64 and 65 which are sometimes
13 referred to as the thorium warehouse and the old
14 Plant 5 warehouse were on the north. They were
15 northeast on the property, kind of removed from
16 areas where people typically work.

17 There was a thorium warehouse over
18 by Plant 1. I forget the building number right
19 now, might be 66 or 67, that left -- my
20 recollection was that was mainly where the
21 nice-quality stuff was stored. Stuff they
22 made for medicine for the most part.

23 And then there was some thorium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 At least for some period of time there was
2 thorium stored in what's called the Pilot Plant
3 warehouse which was on the southwestern part.

4 These all were kind of on the outer
5 rim of the production area from the northeast,
6 out. You know, the main production area really
7 went through Plant 9 which was the
8 northeastern-most plant and then you still have
9 the next block up was the thorium warehouses.

10 And then going to the northwest you
11 had Plant 1, which was the most northwestern
12 plant and across the street was the Plant 1
13 warehouse.

14 And then the Pilot Plant was really
15 even a little more south. You know, you think
16 of this kind of square production area. The
17 Pilot Plant really was kind of down, over here
18 and down, and then the Pilot Plant warehouse
19 was, I want to say west of it. Must have been
20 west of it. It's getting hard to remember all
21 this stuff.

22 CHAIRMAN CLAWSON: Right. Well,
23 the reason why I was wondering this is because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at numerous other sites, Hanford in particular
2 in some ways, actually Fernald became the
3 thorium --

4 MR. HINNEFELD: Yes, the thorium
5 repository, yes.

6 CHAIRMAN CLAWSON: Repository
7 because I was sitting there looking at Hanford
8 and I saw train cars of --

9 MR. ROLFES: Tetrahydrate, TNT?

10 CHAIRMAN CLAWSON: Right. Being
11 shipped out and stuff like that and I was
12 wondering how and where it went. I guess I was
13 visualizing in my mind that these warehouses
14 weren't really all that big. I thought they
15 were just kind of fairly small but to be able
16 to do a lot of this it looks like they were
17 fairly large buildings.

18 MR. HINNEFELD: Well, you remember
19 when they were shipping back the TNT from, I
20 mean, that would have been dissolved by Pilot
21 Plant? They run through the Pilot Plant?

22 MR. ROLFES: That would have been,
23 yes, '60s time period, '70s, early '70s when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they were shipping. I think there were
2 30-something train carloads that had gone from
3 Hanford back to Fernald because that was one of
4 the issues that we had discussed with the
5 contamination levels of U-233 in the thorium --

6 MR. HINNEFELD: Oh. Well, in that
7 case, that was what was then dissolved and
8 that's what was stored, is thorium nitrate.
9 They placed the thorium nitrate in the Pilot
10 Plant.

11 CHAIRMAN CLAWSON: Okay, that's,
12 you know --

13 MR. HINNEFELD: So I don't know
14 when it came in. I don't know where they staged
15 it because this, you know, in the '60s and so
16 on, that's well before my time so I don't know
17 when these train cars came in. I don't know
18 where they offloaded and staged it or anything
19 like that. But in terms of the actual, you
20 know, processing of it, they --

21 CHAIRMAN CLAWSON: That's what
22 went through kind of --

23 MR. HINNEFELD: That would have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 gone in the Pilot Plant and if it had the, if
2 it's the stuff of U-233, that was the thorium
3 nitrate that was stored at the Pilot Plant, you
4 know, the liquid, that was project whatever.

5 No, it's not one of the projects.
6 It's what Chem Nuclear took care of many years
7 later because that was stored there.

8 The entire time I worked, you know,
9 almost the entire time I worked there, there was
10 this thorium nitrate and we had U-233 on the
11 nuclear materials inventory. It was only the
12 U-233 that was a contaminant in the --

13 (Simultaneous speaking)

14 MR. STIVER: Right. Savannah
15 River, I mean, just stayed on the tracks for 20
16 years.

17 CHAIRMAN CLAWSON: Yes, because in
18 the Site Profile stuff, I read of these train
19 cars and stuff like that, of having, breaking
20 down, having redrumming runs and so forth like
21 that. There were so many different ones like
22 this --

23 MR. HINNEFELD: There might be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 little thorium nitrate spread between here and
2 Hanford along rail lines as far as I know. Or
3 highways. Yes, or highways.

4 (Laughter)

5 CHAIRMAN CLAWSON: Okay, well, I
6 thank you. I was just trying to figure out. I
7 was trying to just make a mental picture of it
8 because trying to, all the different buildings
9 and --

10 MR. HINNEFELD: 65 was pretty big.
11 64 was not quite as a big.

12 CHAIRMAN CLAWSON: Yes, we'll talk
13 about the others --

14 MR. HINNEFELD: If you want to talk
15 at lunch or offline, we can talk, sure.

16 MR. KATZ: Let's check and see. Do
17 we have Joyce back on the line?

18 DR. LIPSZTEIN: Yes, I am.

19 MR. KATZ: Oh, great. And do we
20 also have Phil back on the line? I think I
21 heard him cough or something.

22 MEMBER SCHOFIELD: Yes, you do.

23 MR. KATZ: Okay, great. We're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ready to go then. Go ahead.

2 MR. HINNEFELD: Yes, Joyce, I'm
3 sorry I interrupted you but if you could start
4 up again, I would like to address this entirety
5 because I just wasn't going to last very long
6 without a break.

7 DR. LIPSZTEIN: Okay. Maybe it's
8 better if you look at Page 109 of your draft,
9 the nice document that you sent to us.

10 MR. HINNEFELD: Is this strictly
11 the coworker?

12 DR. LIPSZTEIN: No, it's not the
13 coworker.

14 MR. HINNEFELD: Okay, so it's the
15 other one. Okay, I didn't think coworker --

16 DR. LIPSZTEIN: It's the other one.
17 Page 109.

18 MR. HINNEFELD: Okay, I'm at 109
19 now.

20 DR. LIPSZTEIN: Okay. So you see
21 there is Figure 6?

22 MR. HINNEFELD: Yes.

23 DR. LIPSZTEIN: And then there is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 paragraph just below Figure 6. And the last
2 sentence says, because thorium separation
3 activities ceased at Fernald in 1979, a time
4 post separation of over a year is most likely
5 the case.

6 MR. HINNEFELD: Yes.

7 DR. LIPSZTEIN: We agree with that.
8 It's a technical thing of how you calculate it
9 from lead and actinium because all this paper
10 says, that intakes are going to be calculated
11 from lead-212 and the actinium is going to be
12 considered, assumed to be from unsupported
13 radium, which is okay also. It should be
14 unsupported radium maybe. I don't know.

15 But, anyway, as you go from '79 to
16 '89 you have more than four year. You know, you
17 have ten years after '79, so it's ten years
18 after separation. So there are some years
19 where actinium and lead-212 are going to be the
20 same amount, predicted to be the same amount.

21 And if you consider that there was
22 chronic intakes instead of acute intake because
23 Figure 6 is for acute intakes, if you considered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 chronic intakes after one year after
2 separation, so would be after '80, the
3 activities of lead-212 and actinium-228 would
4 be predicted to be equal.

5 And in this document also you have
6 shown that after correction for bias, most of
7 the weight of actinium and lead can be
8 considered like equal activities.

9 So my question is, are you going to
10 deal differently with this first five years on
11 how to calculate the activities from lead? Are
12 you going to consider acute intakes? Are you
13 going to consider chronic intakes? Suppose
14 you just have one result for that worker?

15 MR. HINNEFELD: Well, I'm going to
16 ask if Tom --

17 DR. LIPSZTEIN: So this is very
18 technical.

19 MR. HINNEFELD: Yes, yes. I
20 understand. I'm going to ask if Tom LaBone has
21 been contacted to get on the phone.

22 MR. LaBONE: This is Tom LaBone.
23 I'm here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Okay, Tom, I
2 believe this is the part of the conversation
3 that you took your time off from vacation for.
4 Is that right?

5 MR. LaBONE: Yes, yes.

6 MR. HINNEFELD: Okay. Do you want
7 to respond?

8 MR. LaBONE: Tom LaBone, ORAU team.
9 I have no conflicts with Fernald. I did not
10 introduce myself at the beginning.

11 There's two things here, I guess.
12 The first is that we have a standard mixture for
13 thorium that's triple-separated thorium which
14 will give a bounding intake if you're going to
15 go off of lead-212 and so you don't have to
16 specify the relationship between the chest
17 count date and the date of the separation of the
18 thorium.

19 And that's described I think in this
20 paper and also there is a new OTIB-76 out which
21 goes into great detail on how to actually do
22 these calculations. It gives guidance to the
23 dose reconstructor.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And in that same document it talks
2 about, again, the situation that Joyce is
3 talking about where you know separation stopped
4 in '79. That gives a table for each year after
5 that, what the, basically the ratio is between
6 the actinium and the thorium.

7 So you can use the actinium if it's
8 not during the time frame in which separations
9 are taking place, I think, which is the most of
10 the data which will be in after it.

11 So this is basically to give some
12 flexibility to the dose reconstructor
13 depending upon what information they have, use
14 either lead or actinium. So I don't know if
15 that addresses the question, but I can go on
16 from there if you need some more detail.

17 DR. LIPSZTEIN: Okay. No, that's
18 okay. And I think we're done. I don't have
19 anything, you know, major except for some
20 details on how to calculate things.

21 And another thing that I would like
22 to ask for the coworker data, I don't know if
23 it's -- in the past when we reviewed coworker

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data, there was a special file in the O: drive
2 that could see which data was used in the
3 coworker model.

4 Now we don't and so in other files
5 to review the coworker model without knowing
6 exactly which data were used because some of,
7 you know, even if we have the data from, all the
8 in vivo data from somewhere not used for one
9 reason or another.

10 And so for us to review the coworker
11 model would be much easier if, as before, we had
12 the raw data that were used in the model.

13 So I don't know if it's possible but
14 if it is possible to again put on the O: drive
15 as before the data that were used for
16 calculation, would make our task much easier to
17 review it.

18 MR. LaBONE: Yes, what we did, I
19 think, starting with this coworker model is
20 what we're doing is we have the original data
21 set and then any changes that are made to the
22 data set are done with a script using our
23 programming language.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so what's on the O: drive will
2 be the original data, the script which makes any
3 changes to the data, the script that actually
4 does the OPOS, the script that does the things
5 to come up with the 50th and 84th percentiles.

6 And then also the intake
7 calculations, which could not be done with IMBA
8 this time, also had to be done using a script
9 because IMBA will not calculate given, for
10 example, lead-212 chest burden, it won't give
11 you a thorium intake. It does not have the
12 ability to do that.

13 And so, anyway, that's one little
14 package that's in a zip file and you can
15 download that and if you're not familiar with
16 ours you can probably follow it or get somebody
17 to help you.

18 But anyway, it should be completely
19 reproducible and you won't have to be juggling
20 Excel spreadsheets and trying to figure out how
21 things were done.

22 So it should be much clearer than it
23 has been in the past and we can get you, I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sure, that zip file so you can go through it
2 yourself and see what you think about what we
3 did.

4 DR. LIPSZTEIN: Okay. Actually
5 the most important thing is to have the data
6 that you have used but I'm using other softwares
7 besides IMBA so I don't have this problem with
8 going back to trying to search it too but I don't
9 have the data that you used, so.

10 MR. LaBONE: What software are you
11 using to do that?

12 MR. BARTON: Just to clarify --

13 DR. LIPSZTEIN: 8, the one from
14 Vastalle.

15 MR. LaBONE: Oh, Louis, okay.
16 Okay.

17 MR. BARTON: Joyce, if I might, I
18 think what you're saying is we do have the
19 original Excel file compilation of all the in
20 vivo results. I think what you're interested
21 in seeing is which signals were removed.

22 DR. LIPSZTEIN: On the coworker
23 model they say they didn't use some of the data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so it's better to have exactly what data they
2 used instead of --

3 MR. BARTON: There's an outline of
4 generalities of which data was removed in the
5 coworker model but without seeing exactly which
6 data points were used --

7 DR. LIPSZTEIN: Yes, exactly. So
8 if we have the exact data that were used would
9 be, you know, much easier for us to review the
10 work.

11 MR. LaBONE: Okay. I think this
12 will give you what you're looking for so if
13 there's something that's not there I'm sure we
14 can get it for you but --

15 DR. LIPSZTEIN: Okay, thank you.

16 DR. MAURO: This is John Mauro.
17 When Joyce, can everyone hear me okay?

18 MR. KATZ: Yes. Thanks, John.

19 DR. MAURO: I have more of a
20 conceptual, simple question. I'm envisioning
21 a worker who is exposed to both freshly
22 separated thorium, so there wouldn't be any or
23 very much progeny or any progeny potentially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from chest count.

2 And he's also simultaneously
3 working with somewhat aged thorium where you
4 would have the ingrowth of the, certainly the
5 lead-212, paucity of lead-212, and perhaps a
6 little bit of actinium.

7 So if he's exposed to, like, two
8 different kinds, freshly separated and some
9 aged, my question is, not to get into the
10 technical of it, but you're saying that you do
11 have algorithms that could tease that out and
12 figure out what the thorium body burden would
13 be when you --

14 MR. LaBONE: The answer is no. I
15 don't know of any way of going that way. What
16 we do is that with this triple-separated
17 thorium has been proposed as being bounding no
18 matter what the mixture is and so you don't have
19 to know what the mixture was or the time since
20 separation.

21 To do what you're saying, you'd have
22 to really kind of know what, you know, how many
23 separations and what the time frame of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 separations was and a lot of times we're not
2 going to know that.

3 So it's a problem of having unknown
4 mixtures we had to deal with and our proposed
5 solution to that was this triple-separated
6 thorium which we discussed in a number of
7 different papers. As far as we can see, it will
8 give a bounding estimate using that to
9 calculate the thorium intake.

10 DR. MAURO: Okay. Yes, my main
11 question is was that, you do have the
12 wherewithal to come to grips with that
13 circumstance. I didn't want to get into
14 details of --

15 MR. LaBONE: Yes. The information
16 we'll use but if you have information, you can
17 refine it and make it more accurate so, again,
18 most times it's tough to figure out what it was
19 they were exposed to.

20 DR. MAURO: Okay, no. Thank you.
21 That's all. I just wanted to know that that has
22 been, is a subject that you looked at and you
23 feel that your current protocol has a way to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 deal with that in a reasonable way.

2 MR. LaBONE: Yes, I believe so.

3 DR. MAURO: Okay, thank you.

4 MR. STIVER: I guess at this point,
5 we're ready to move on to the issues matrix
6 unless anyone has any more questions about the
7 thorium paper.

8 CHAIRMAN CLAWSON: In just
9 listening to him, I want to make sure that I'm
10 understanding because John's comment was going
11 to that. By going to this triple separation,
12 they're actually saying that the unknown is
13 taken out of it? Is that kind of like the
14 worst-case scenario?

15 MR. HINNEFELD: Yes.

16 CHAIRMAN CLAWSON: Okay. I just
17 wanted to make sure that I was understanding how
18 that is going because I was looking at the
19 graphs here and stuff like that and that's kind
20 of what I've got the feeling of so I just wanted
21 to make sure of that, so okay.

22 MEMBER ZIEMER: Brad, just a
23 question. This is Ziemer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Paul, go ahead.

2 MEMBER ZIEMER: Yes, this is just a
3 procedural question. It's really directed to
4 SC&A. Is there a plan then to have some
5 official, an official review of this?

6 You've had these preliminary
7 questions and it sounds like you're in a fairly
8 good place. Is there going to be a formal
9 review of this that will spell out some
10 additional issues or you're not closing this,
11 are you?

12 MR. STIVER: No. This is John
13 Stiver, Paul. We have been tasked to do a
14 complete, thorough review.

15 MEMBER ZIEMER: Right, that's what
16 I thought.

17 MR. STIVER: Yes.

18 MEMBER ZIEMER: So you're just
19 raising the initial questions then?

20 MR. STIVER: Yes, we're just trying
21 to focus in on certain issues today.

22 MEMBER ZIEMER: Yes, got you.

23 MR. STIVER: Going to help shape

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 our strategy.

2 MEMBER ZIEMER: Okay, thank you.

3 MR. STIVER: Okay, should we move
4 on?

5 CHAIRMAN CLAWSON: Yes.

6 MR. STIVER: This is Stiver again.
7 Those of you who have Live Meeting, I've pulled
8 up the Fernald Site Profile Issues Matrix,
9 Revision 2, which was just delivered over the
10 weekend.

11 And you'll recall that the Site
12 Profile Review was delivered back in November
13 of 2006. Shortly after that, we began, we were
14 tasked to do the SEC Evaluation Report Review
15 and so a great deal of the Site Profile findings
16 were tabled pending resolution of the SEC.

17 And so a lot of these findings that
18 we have that are being carried on the books are
19 about eight years old. Some of them are still
20 pertinent. Others are no longer really
21 relevant because of developments in the program
22 over the past eight years that have kind of
23 rendered them moot.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In addition to that, a lot of the
2 questions that we have in the, the carryover
3 questions, both related to the former five SEC
4 categories as well as these Site Profile, the
5 33 Site Profile findings, are related to
6 internal exposures and some related to thorium
7 in the post-SEC period and others related to
8 recycled uranium and some other aspects.

9 And, you know, obviously until the
10 thorium post-SEC methodology is reviewed and
11 any findings resolved, NIOSH won't be able to
12 put out the TBD revision for internal dose.

13 So a lot of these are kind of being
14 held in abeyance until such time as we'll be
15 able to take a look at the final TBD revision
16 and take it on from there.

17 So there's probably about 20 issues
18 that we can look at today. Last time, back in
19 April, we closed out six that were related to
20 the, remember the DWE approach to thorium
21 intake modeling which was the basis for the
22 largest of the thorium-based SECs.

23 But I'm going to go ahead, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 everybody can see this, I'm going to work my way
2 down and we can just kind of go through them.

3 The ones that are in abeyance I'll
4 just briefly mention or that are closed. And
5 here we are. I won't bother with the closed
6 findings.

7 This is Finding 1. This is all
8 related to the thorium DWE. Finding 2, Finding
9 3.

10 And Finding 4, this is related to
11 thorium in the post-SEC period and you can see
12 back in April of 2010 we had mentioned that
13 NIOSH's response kind of opened the door for a
14 new time period and new methodologies which we
15 have not reviewed.

16 And you can see our latest response
17 in red bold font is that we would recommend
18 keeping this finding open pending our formal
19 review of the NIOSH White Paper and so that will
20 be a theme we will see often today.

21 TBD Issue Number 5, this is another
22 related to thorium fires again. This post-SEC
23 period comes into concern for us and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommend keeping that open until we have a
2 chance to take a look at the, we do our review
3 and see how it's incorporated into the new TBD.

4 Let's see. Let's see. Six was
5 closed. Seven, this is another one related to
6 internal doses from raffinate streams, from ore
7 processing in Plant 2/3. This, I believe,
8 became SEC Issue Number 4. Some of these
9 findings were kind of wrapped together.
10 Again, we recommend keeping this in abeyance.
11 We basically are in agreement with NIOSH's
12 proposed methodology.

13 As you can see down here in Column
14 3, the bottom of the page, detailed discussion
15 of SEC Issue 4 took place at the April 2011 Work
16 Group meeting where SC&A agreed that NIOSH's
17 methods were bounding and sufficiently
18 accurate.

19 This then needs to be incorporated
20 into TBD 5 and we recommend keeping this in
21 abeyance until such time as that happens.

22 Moving on down here. Finding 8 is
23 also related to raffinates. Refers back to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Finding 7. Once again, the recommendation is
2 stay in abeyance.

3 Okay, Number 9, this is related to
4 trace contaminants and recycled uranium and the
5 NIOSH response was that Report 52 incorporates
6 the latest thinking on recycled uranium and
7 that is going to be incorporated into TBD 5.

8 However, we noted that Report 52,
9 April 2011, does not reflect agreed-upon
10 constituent levels from Work Group discussions
11 on February 9th, 2012.

12 And you can see our citation of a
13 White Paper entitled, SC&A's Response to
14 NIOSH's Subgroup 10A Impact Analysis. And our
15 concern is that while we reached agreement on
16 the approach for plutonium, technetium and
17 neptunium, that methodology has not been
18 incorporated into the TBD so, again, we
19 recommend keeping this in abeyance.

20 Moving down, Issue Number 10. Now,
21 this is something that has never really come up
22 in the Fernald discussions and the finding
23 states that, the radionuclide list for Ru in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 TBD is incomplete. And that's really the part
2 that we're concerned with here and this relates
3 to americium-241 and thorium isotopes.

4 Now, these have never been
5 discussed in the Fernald Work Group setting.
6 However, for the sake of completeness, we feel
7 that they should be and that those approaches
8 for dealing with the other nuclides that were
9 not addressed of the others, aside from the
10 three main ones, should somehow be incorporated
11 into the methodology for assessing dose from
12 recycled uranium.

13 And so we recommend keeping this
14 open. You know, obviously we'll need to take
15 a look at the revised TBD but at some point
16 between now and then this would have to be
17 addressed by NIOSH.

18 MR. HINNEFELD: This is Stu. Just
19 a question here. Do you have, like, I'm not as
20 familiar with this as maybe I should be, do you
21 have, like, source documents that identify
22 occurrences of thorium isotopes in americium
23 and recycled uranium?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes. I know when we
2 first looked at this, oh gosh, way back, 2010,
3 2009/2010 time frame, we were looking at some
4 documents that showed levels of various
5 isotopes and I think it was, yes, at the bag
6 house or some of the dust collectors.

7 And there were -- I'm trying to
8 remember everything. Oh, there was definitely
9 some thorium in there, cesium-137, or some
10 other isotopes. I don't recall seeing
11 actinium per se, but there are source documents
12 that I would have to go back and dig up in order
13 find that.

14 MR. HINNEFELD: Are they, like,
15 referenced in a report that you find here?

16 MR. STIVER: This is something that
17 we would have to probably handle on a technical
18 call since we're -- let me pull up the Site
19 Profile Review and I can go bring up that
20 finding and look at the exact wording. Let's
21 see here.

22 MR. HINNEFELD: I'm just asking
23 because I know during the hunt for, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recycled uranium and contaminants in that, it
2 was always plutonium, neptunium and
3 technetium. I mean that was always, those were
4 always the ones that were considered
5 potentially significant after --

6 MR. STIVER: Yes, remember part of
7 the problem with thorium was that there was so
8 much residual thorium from processing. It was
9 not related to recycled uranium. It was really
10 hard to try to separate the two out.

11 MR. HINNEFELD: It would not be
12 addressed by the thorium approaches that we're
13 dealing with?

14 MR. STIVER: It would be. That's
15 my sense because I don't think it's possible to
16 tease out, I'm speaking off the cuff here, tease
17 out the component before recycled uranium
18 compared to what was residual in the facilities
19 from contamination and processing.

20 MR. HINNEFELD: Right.

21 MR. STIVER: There's just no way to
22 tell because we don't have constituent levels
23 identified in the recycled materials that were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sent in.

2 MR. HINNEFELD: Yes, I mean,
3 there's no, there's no obvious mechanism for
4 recycled uranium to have thorium in it I guess.
5 Might be able to find one.

6 MR. STIVER: Well, there's
7 thorium-230. You know, that kind of cleared
8 up.

9 MR. HINNEFELD: Well, these are --

10 MR. STIVER: And actinium, we have
11 the same problem with that that you saw with
12 neptunium during the, you know, breakout and
13 metal reduction process. There might be some
14 accumulation into that, into the mag-fluoride.

15 MR. HINNEFELD: Okay, I'm still
16 losing the mechanism for actinium -- actinium
17 is below thorium-231 in decay chain. I mean,
18 and you really --

19 MR. BARTON: Let me pull up --

20 MR. HINNEFELD: Once you start
21 making uranium products, there's really no
22 thorium there anymore.

23 MR. BARTON: Yes. Let me see if I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can get to the finding here, pull it up. I'm
2 having a hard time with this. Let me see if I
3 can find these.

4 MR. STIVER: I think Bob's going to
5 go ahead and look for that then we can just kind
6 of move on.

7 MR. HINNEFELD: I mean, you may
8 have, you may have reports where you reference
9 your sources. I'm just not --

10 MR. STIVER: I apologize. This is
11 eight years ago and it's been off my radar scope
12 for a long time.

13 MR. HINNEFELD: Yes. My
14 recollection was that, you know, you looked
15 for, you know, plutonium, neptunium and
16 technetium because for the first year or so they
17 had to worry a little bit about ruthenium
18 because ruthenium would come over too but --

19 (Simultaneous speaking)

20 MR. STIVER: -- decay away pretty
21 quickly.

22 MR. HINNEFELD: So anything that's
23 been through the separation at Hanford a year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or more ago, it's those three things that were
2 looked at.

3 Now, it's not, I just don't recall
4 ever having to worry about americium or the
5 other things. I mean, if it's thorium that's
6 left over from thorium processing, I would
7 think the thorium model is acceptable, it would
8 deal with that.

9 MR. BARTON: Okay, let me try. Let
10 me share this.

11 MR. STIVER: Page 54.

12 MR. BARTON: Yes. Okay, here we
13 are, Page 53, and here's the finding in its
14 entirety. Radionuclide list for Ru TBD is
15 incomplete. Other radionuclides such as
16 americium or thorium isotopes are mentioned but
17 no data are provided. May be of considerable
18 significance. The raffinates tend to
19 accumulate in plutonium and other trace
20 contaminants including thorium-230.

21 So this is something was just never
22 run to ground I think and carried on. I would
23 say rather than try to resolve it right now in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 real time, we could go take a look back at some
2 of the source documentation from this and then
3 maybe have a technical call, so.

4 MR. HINNEFELD: Okay, this is
5 Finding 10?

6 MR. BARTON: This is Finding Number
7 10.

8 MR. HINNEFELD: Your Site Profile
9 Review?

10 MR. BARTON: Yes.

11 CHAIRMAN CLAWSON: So who's
12 actually got the ball on this? Is it --

13 MR. STIVER: This will be, so we
14 need to go back and do some --

15 CHAIRMAN CLAWSON: SC&A, okay.

16 MR. STIVER: -- some archeological
17 digging.

18 CHAIRMAN CLAWSON: I know that we
19 discussed it but we kind of --

20 MR. STIVER: Well, we never really
21 focused in on some of these other nuclides.

22 MR. HINNEFELD: So this is a
23 specific raffinate stream from the recycled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 process? Is that what we're talking about?

2 MR. STIVER: You know --

3 MR. HINNEFELD: Because it sounds
4 like if you're talking about thorium-230 it
5 being concentrated relative to uranium
6 isotopes, that occurred in a raffinate.

7 MR. STIVER: Let's see. Let's
8 continue.

9 MR. HINNEFELD: -- taking uranium
10 out of your stock and sending it this way,
11 what's left over is the other stuff. And so,
12 and I was thinking in the discussion of this,
13 that we had kind of addressed it. You know,
14 we've adopted a relatively high ratio of
15 plutonium and neptunium compared to production
16 products that were observed with the
17 expectation that the overall exposure would be
18 bounded by the ratio --

19 MR. STIVER: See, a lot of these
20 former issues are kind of rolled into one here,
21 one being the concentration of the mag-fluoride
22 which we've already addressed.

23 But the other was this idea of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neptunium. Excuse me, americium-241, thorium
2 isotopes. I'll have to go back and review our,
3 the kind of development of the logic that went
4 into making that finding.

5 MR. HINNEFELD: Okay, and I
6 recognize that, you know, in a raffinate stream
7 you've taken the uranium out --

8 MR. STIVER: Oh, yes, the raffinate
9 stream is going to be a different situation.

10 MR. HINNEFELD: But my
11 understanding was and it's been a long time
12 since we talked about this, I thought that the
13 numbers that were adopted for the contaminants,
14 recycled contaminants were considerably higher
15 than what's typically seen in the production
16 uranium strains.

17 MR. STIVER: Yes, they were.
18 Remember, it was the --

19 MR. HINNEFELD: And so there was
20 sort of this expectation that that number will
21 bound the --

22 MR. STIVER: Yes, for plutonium it
23 was from that Group 10A --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Yes.

2 MR. STIVER: -- and the really
3 highly contaminated stuff from the gaseous
4 diffusion plants. The question was who
5 handled this material and when and we went
6 through several White Paper exchanges on that
7 before we finally came to a conclusion.

8 MR. HINNEFELD: Yes, and the
9 raffinate exposure opportunities are pretty
10 limited compared to the uranium product
11 exposure opportunities so those factors we
12 thought and the selection of that high ratio I
13 thought would take care of this. Now, maybe I
14 read too much into that.

15 MR. STIVER: Yes. You know, let us
16 go back and do some research on this and then,
17 you know, if it becomes evident there are some
18 issues still I will set up a technical call to
19 deal with it.

20 MR. HINNEFELD: All right. Okay.

21 MR. STIVER: So we'll take that as
22 an action.

23 CHAIRMAN CLAWSON: What did they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 call that that come from Paducah?

2 MR. STIVER: POOS.

3 CHAIRMAN CLAWSON: POOS, that's
4 what it was.

5 MR. STIVER: Plutonium out of
6 specification.

7 MR. HINNEFELD: Well, that was
8 everything that was above. You know, POOS and
9 the feed plant ash was what they most commonly
10 referred to --

11 (Simultaneous speaking)

12 MR. STIVER: Yes, feed plant ash
13 was the worst.

14 MR. STIVER: Okay. Let's see.
15 Get back here, get back to the other content.
16 I'm trying to do this with a touch mouse pad
17 which is really not my favorite way to do
18 things.

19 Okay, here we go, Finding 11.
20 Okay, this is the suggested approach for Ru
21 dosage. Estimation, the TBD is
22 claimant-favorable for many workers but not for
23 all. And this is something that we have run to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ground. The new methodology I think addresses
2 that.

3 The response to Finding 9 is that we
4 keep it in abeyance until such time as we can
5 review the TBD so I don't think we have any
6 problems with that.

7 Uranium enrichment, this is
8 something that was decided at the last meeting.
9 Closure was recommended and it was, indeed,
10 closed.

11 The next one is kind of an
12 interesting one. This is Finding 13 and this
13 gets way back to a time before you guys had
14 really developed many coworker models and this
15 was about female employees.

16 Actually 13 and 21 are related.
17 They're two aspects of the same finding. 21
18 relates to the external extremity, you know,
19 shallow dose, external dose, whereas Finding 13
20 is related more to internal intakes.

21 And this whole idea was that you had
22 female laundry workers and they're handling
23 highly contaminated clothing and so there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some potential for intake and so our concern
2 was, how are you going to go about assessing
3 that?

4 Now, the response from NIOSH is
5 related to the external component which is
6 Finding 21. Wait a second. I just lost it.
7 Let me go back up a notch.

8 But our position is this finding
9 predated the internal dose coworker models that
10 anyone used for unmonitored workers and we
11 recommend closure on this one. It's kind of an
12 artifact of a previous time. Anybody else have
13 any comments on that particular one? No.

14 CHAIRMAN CLAWSON: This one
15 actually came up because we had the female
16 [identifying information redacted].

17 MR. STIVER: Yes, we talked about
18 it at the last meeting at the teleconference and
19 the idea was we'd take a look at the TBD and see
20 whether or not these changes had, in fact, been
21 implemented. And our position is, yes, they
22 have been. We've taken a look.

23 Now, the TBD. Now, this, a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bit of a wrinkle here is that the discussion
2 that NIOSH put out here is related to the
3 external dose component but the internal dose
4 component is also covered by the existence of
5 coworker models so this is no longer an issue.

6 CHAIRMAN CLAWSON: And this gets
7 back to the thing of this wouldn't be considered
8 a clerical worker or anything else like that
9 because the other part of this with the female
10 [identifying information redacted], they were
11 not considered, they -- she had a result that
12 came back high and she was not one of them that
13 was really being monitored. It was in her
14 yearly --

15 MR. STIVER: Yes, this would be for
16 unmonitored female workers. That's what I
17 think was the genesis of the whole problem.

18 CHAIRMAN CLAWSON: Okay, that's
19 what I want to make sure.

20 MR. STIVER: Okay. So we can go
21 ahead and let me write that in here.

22 MR. KATZ: Do you want to hear from
23 your other Board Members before you close this?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Somebody else out
2 there who wants to speak up?

3 CHAIRMAN CLAWSON: Phil or Paul or
4 Mark.

5 MEMBER ZIEMER: This is Ziemer. I
6 don't have any comments other than I think this
7 takes care of everything. The current status
8 I think takes care of the issue so in abeyance
9 seems to me to be appropriate.

10 MR. KATZ: This one's --

11 CHAIRMAN CLAWSON: This one we're
12 actually looking at closing because we feel
13 that it's been covered.

14 MEMBER ZIEMER: I thought it was in
15 abeyance simply because you're waiting to see
16 the final --

17 MR. STIVER: Yes, if I could kind of
18 step in. This is Stiver. It was recommended
19 at the April 15th teleconference to be put in
20 abeyance so that's what the current status is
21 over on the far right-hand column.

22 MEMBER ZIEMER: Right, right.

23 MR. STIVER: And you see under the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 red font under A29, this is we recommend going
2 ahead and closing it out based on the research
3 we've done since then.

4 MEMBER ZIEMER: No, I think it's
5 appropriate to close it if we can close it now.

6 CHAIRMAN CLAWSON: Okay.

7 MEMBER SCHOFIELD: This is Phil.
8 I agree with that, let's go ahead and close it.

9 MR. STIVER: Okay, I'll go ahead
10 and indicate that it's closed.

11 CHAIRMAN CLAWSON: Mark, I heard
12 you in the background.

13 MEMBER GRIFFON: Yes, I just said I
14 agree with that, to close it is fine.

15 CHAIRMAN CLAWSON: Okay, thank
16 you.

17 MR. STIVER: Okay, let me worry
18 about details later. Let's see, 14, this is
19 closed at the last meeting. Fifteen, this
20 relates to ingestion doses as outlined in the
21 TIB-9 methodology being incorporated into the
22 internal dose TBD, which as you'll see in a lot
23 of these findings we're recommending abeyance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 until such time as we review it. I don't think
2 there's any bone of contention there.

3 Sixteen, these are some findings
4 related to a shallow dose and our response did
5 not fit nicely into a little box on the matrix
6 so we added an attachment that had a more
7 detailed description on some of these.

8 And once you see it you'll know who
9 wrote it, let me get down here. I had asked
10 John Mauro to take a look at this. I believe
11 he's on the phone right now.

12 DR. MAURO: Okay, I'm off mute.
13 Yes, I --

14 MR. STIVER: And this related to
15 TIB-17 and the external dose, the extremity
16 dose methodology and the implementation of
17 TIB-17 and so forth, if you would give us like
18 maybe your 30-second sound bite on this.

19 DR. MAURO: Yes. Could you scroll
20 -- I reviewed nine of them so I have to get my
21 bearings a little bit. Some of them I
22 recommend closing and some of them I recommend
23 keeping open.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If you look to the bottom of this
2 write-up on the paginate, I just wanted to get
3 a quick read again. I know that all of these
4 OTIB-17 issues have been thoroughly reviewed
5 and I cite in this write-up the history briefly
6 of where this was addressed and also discussed
7 during meetings.

8 I actually gave the page number of
9 one of our meetings, relatively recent, and I
10 believe that all issues related to this matter
11 of these direct deposition have been resolved
12 and the documentation for that resolution
13 exists on Pages 42 to 52 of the February 13,
14 2014, minutes of the Procedures Subcommittee.

15 And so on that basis and after
16 reviewing that write-up, and because this is an
17 overarching issue, it applies not only here but
18 many places and I believe it now resolved, it
19 has been resolved across the Board and the basis
20 for that resolution, our original is, well, we
21 have original paper on it that's cited here in
22 this appendix and then the discussion and the
23 agreements made are cited here in the minutes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not the minutes, the transcript of that
2 meeting, so we recommend closing this issue
3 here.

4 MR. STIVER: Yes, John, I would
5 like to add that, you know, these issues have
6 been formally closed out in the Procedures
7 Subcommittee meeting.

8 DR. MAURO: Yes.

9 MR. STIVER: And so this also
10 applies to Issue 18, which is just virtually
11 identical to 16. So unless there's any
12 objections, I will go ahead and close this out.

13 CHAIRMAN CLAWSON: Any of the Board
14 Members on the phone have any questions or --

15 MEMBER SCHOFIELD: Not at this
16 time.

17 CHAIRMAN CLAWSON: Okay.

18 MEMBER ZIEMER: No, I agree, close
19 16 and 18. Those two are the ones you're
20 talking about, John Mauro, right?

21 DR. MAURO: Yes, that's correct,
22 and they would be closed generically.

23 MEMBER ZIEMER: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: And I think that's,
2 it's an important matter because I think we find
3 this in many locations, including Fernald, and
4 having the resolution of this is achieved.

5 CHAIRMAN CLAWSON: Not hearing any
6 more discussion, I will close it.

7 MR. STIVER: Okay, I'm just typing
8 it in our response here.

9 CHAIRMAN CLAWSON: Okay.

10 MR. STIVER: Let's go back to 17.
11 If there's enough room here for it. And, let's
12 see here, 17, now, John, this is another one you
13 looked at, this is about extremity dose and --

14 DR. MAURO: Right. I --

15 MR. STIVER: -- this is one where
16 we're kind of working this through the INL Site
17 Profile Review and some of the work that you
18 guys are doing on extremity dose.

19 DR. MAURO: Right.

20 MR. STIVER: So let me pull up the
21 response, the detailed response here. Yes.

22 DR. MAURO: Yes, this is, the issue
23 of, I'll give it a 30-second sound bite so you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understand where we, why we're keeping it open,
2 or recommending keeping it open.

3 There are procedures for dealing
4 with extremity doses that have been reviewed
5 and approved where you, well the person could
6 either be wearing a finger or a wrist dosimeter
7 or you could establish a relationship between
8 the dose, let's say, that was withheld and the
9 dose to the skin and if they're not wearing, to
10 the skin on the hands.

11 Now what came up on INL and it might
12 have applicability here, I think it's worthy of
13 a little bit of discussion, is that we're
14 finding that at least on INL there are 62, so
15 far, counts of individuals with skin cancer on
16 the extremities, namely the hands and the
17 forearms.

18 So at one time we felt that this was
19 not a major issue or an important issue because
20 you just don't get cancer of the hand, so, of
21 the extremities, but we're seeing skin cancer.

22 So our concern is that how are you
23 going to calculate, estimate the dose, the beta

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dose to skin on the extremities for workers that
2 you suspect, now if you understand the
3 situation, we're working in a situation where
4 the film badge open window, you know, the
5 standard method of estimating the dose to skin
6 under OTIB-17 really can't be applied to the
7 hands if the person is working under a set of
8 circumstances where the dose to the hands could
9 be, especially the beta dose, could be uniquely
10 different than what's being let out on the film
11 badge.

12 Now there's one with regard to
13 uranium very often what's done is you go with
14 this 240 mR per hour direct contact, total dose,
15 to the skin.

16 So if you're in a circumstance where
17 you feel you had a worker that might have had
18 direct contact with uranium, that's one way to
19 place an upper bound on the exposure rate to the
20 skin when in contact with uranium.

21 But this whole subject as applied to
22 workers who have cancer of the hand, the skin
23 on the hand, seems to me that it's still an issue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we want to keep open to hear how that's
2 going to be dealt with.

3 We're looking at it right now on INL
4 and seeing exactly what was done for those, you
5 know, we had this collection of 62 cases, we're
6 looking at all of them to see how the dose to
7 the skin of the hand was derived for those
8 workers because they did have that cancer and
9 see what those protocols seem to do, a
10 scientifically sound, claimant-favorable.

11 My recommendation is let's wait
12 until we see what happens there before we close
13 this and we're not far away from that. We have
14 a draft report that I'll have in my hands
15 probably by the end of today where all that's
16 put together and I think what we find there and
17 how we come out on that will have a bearing here.

18 So I'd like to hold off a little bit
19 until we have a chance to look at, finish up on
20 INL work.

21 MR. STIVER: Okay, thanks, John.
22 I might add that Finding 19 is very closely
23 related to 17 and it has, let me go down a bit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 farther.

2 MEMBER GRIFFON: John, this is Mark
3 Griffon, maybe I didn't hear you, the 62 cases
4 are they from Fernald or --

5 DR. MAURO: No. They're INL.

6 MEMBER GRIFFON: Oh, they're INL,
7 okay, that's what I couldn't hear.

8 DR. MAURO: Well it turns out that,
9 of course, inevitably this, you know, we just
10 happen to be working that problem and we're
11 almost done with it and it would have
12 applicability.

13 MEMBER GRIFFON: Okay, thanks.
14 Thanks.

15 MEMBER SCHOFIELD: This is Phil.
16 I got a quick question, John. Are you assuming
17 leaded or unleaded gloves for the workers or
18 what?

19 DR. MAURO: If we have knowledge,
20 see here's the situation, if the person has a
21 skin cancer on the hands, but we have
22 affirmative evidence that he could not have
23 gotten any exposure because he was wearing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 adequately protective gloves from the beta
2 exposure.

3 You know, then, you know, that's a
4 good question. Do we have that information and
5 if we do have that information, do we just say
6 well because of the wearing of the gloves that
7 do provide, you know, will stop the betas of
8 interest.

9 Well, does that mean that we're
10 taking credit, credit will be taken for that and
11 that's a reasonable thing to do except that as
12 you know very often when it comes to respiratory
13 protection, no credit is given to that.

14 So I don't know if we had that
15 conversation yet with NIOSH, and please remind
16 if we have, but when a person does have cancer
17 of the skin of the hands and he had a job where
18 he was, you know, his hands were in close
19 proximity to a beta source, but you do have
20 reason to believe that his skin was protected
21 because of the gloves or of the glove box and
22 the handling was such that it would not allow
23 that exposure to occur.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Am I correct that you would assume
2 zero exposure under those circumstances?

3 MR. BARTON: John, this is --

4 DR. MAURO: By the way this is one
5 things we're looking for and looking at when we
6 look at these cases at INL.

7 MR. BARTON: Yes, John, this is Bob
8 Barton and as you know I was looking at a lot
9 of those dose reconstructions for INL and it was
10 pretty much standard practice. If the cancer
11 was on the forearm or the hands, I don't believe
12 I came across any cases where any sort of
13 protection factor was used.

14 Now if it was upper arm, shoulder,
15 something like that, you know, there would be
16 an attenuation factor of somewhere in the 80
17 percent range.

18 But I think it's, I mean we haven't
19 specifically looked at Fernald dose
20 reconstructions for extremities. I know we
21 put together a list of how many we found, but
22 I probably assume and, Mark, maybe you can weigh
23 in on this, I mean usually there's no assumption

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of wearing lead gloves or anything of that
2 nature.

3 MR. ROLFES: Yes, definitely not at
4 Fernald. I mean leather gloves possibly, but
5 there were always issues, too, with
6 contamination of the leather gloves early on.

7 In the 1950's they had concerns
8 about reusing them just because of the
9 materials getting ingrained in the gloves and
10 delivering dose to people's hands, but, yes,
11 comparing apples and oranges, talking about
12 lead gloves and glove boxes at places like INL
13 versus a place like Fernald where it's
14 completely different.

15 MR. STIVER: Yes, I think one thing
16 we need to keep in mind is this is, you're
17 looking at an overarching issue when it was
18 identified, you know, eight years ago for
19 Fernald, but certainly being addressed in other
20 venues as well.

21 And that's the reason why, you know,
22 INL is under way right now, this is going to be
23 a good vehicle by which we can kind of look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then really try to address the whole idea of the
2 beta dose changes and geometry factors and so
3 forth that might impinge on these extremity
4 doses.

5 And that's kind of what we're
6 looking at in finding 19, which is kind of the
7 other side to 17, which John had just discussed,
8 and basically the same response is given by
9 NIOSH.

10 The TIB-13 and geometric exposure
11 is another consideration for external dose
12 reconstructions at uranium facilities is being
13 used to correct the geometry, first, kind of a,
14 I don't know I'd say an off-normal or situations
15 like in this particular finding we're talking
16 about thorium handling where the, you know, the
17 beta and gamma dose contributions are a bit
18 different than it would be for uranium
19 handling.

20 And we once again recommended that
21 this issue be kept open pending our
22 investigations at INL because that's really
23 where we're kind of getting a handle on how the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 beta dose components are going to be adjusted
2 for a dosimeter reading to account for
3 geometric correction.

4 And so once again we recommend
5 keeping this open until such time as we have an
6 opportunity to finalize the INL studies.

7 CHAIRMAN CLAWSON: This is Brad.
8 I don't have any problem with that, but I want
9 to have it clarified. Now this is between the
10 badge and badge reading and what?

11 MR. STIVER: This would be the
12 source to the badge reading. If you have a
13 badge you're wearing on your chest for example
14 and you're working in a glove box or you're
15 handling uranium or thorium materials and
16 you're getting beta dose to your extremities,
17 your fingers, your hands, forearms, what not.

18 CHAIRMAN CLAWSON: Okay.

19 MR. STIVER: How then would you
20 adjust that film badge reading that was worn on
21 the chest to account for what the exposure was
22 actually, you're actually experiencing on the
23 extremity and that's really what this is all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about.

2 CHAIRMAN CLAWSON: Okay.

3 MR. STIVER: Now TIB-13 addresses
4 correction factors for photon exposure, for
5 gamma exposures, but not for beta exposures for
6 electrons, and so the INL work is kind of, you
7 know, we said INL is kind of the vehicle by which
8 we're kind of examining this overarching issue.

9 CHAIRMAN CLAWSON: Okay. Now I
10 know. I just wanted to have a better idea of
11 what we were looking at on this because -- okay,
12 any of the other Board Members have any problems
13 with keeping these open or any questions? Not
14 hearing any, we'll continue on.

15 MR. STIVER: Okay.

16 CHAIRMAN CLAWSON: You know, one
17 thing that comes to me though is when we're
18 working in a contaminated area like this they
19 have us bag our TLDs so that we can keep it on
20 the outer part of that and that's the --

21 MR. STIVER: You know, I think that
22 was one of the things that was discussed in
23 TIB-13, in the Procedures Subcommittee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussions on TIB-13 was, you know, how would
2 you account for bagging and so forth and --

3 CHAIRMAN CLAWSON: Okay.

4 MR. STIVER: -- other attenuating
5 materials between the source and the film
6 badge.

7 CHAIRMAN CLAWSON: Okay.

8 MR. STIVER: And that would
9 obviously be a lot more important for beta
10 exposure, but, yes, that is.

11 CHAIRMAN CLAWSON: Right.

12 MR. STIVER: Is Hans Behling still
13 on the phone?

14 DR. BEHLING: Yes, I am.

15 MR. STIVER: Hans, would you like
16 to talk about 20, Issue 20?

17 DR. BEHLING: Okay. Let's see,
18 this particular finding was correction factors
19 used in the initial period of use of TLD at
20 Fernald and I find it to be appropriate.

21 And if I recall what was initially
22 criticized about that was the timing of the use
23 of the Panasonic 802 for TLD badge. Initially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it was identified as being introduced in 1985.

2 The second issue was the correction
3 factor associated with the change in the
4 algorithm that initially involved an algorithm
5 developed on fatal nitrous back in '82 and would
6 subsequently found to be inadequate in
7 addressing issues related to the beta component
8 that was essentially an algorithm established
9 later on.

10 Those two, looking at the revised
11 version of TBD involving Chapter 6, have been
12 corrected, so those two issues that were
13 initially identified are now essentially
14 resolved.

15 There was, however, a third one
16 which was not necessarily addressed and that
17 was the issue of correction factors associated
18 with contamination found on the badges and that
19 involved a time frame that involved somewhere
20 around 1985 when Westinghouse identified this
21 problem and corrected film badge you got on your
22 TLD badges that were subject to degradation of
23 contamination and what they in essence did was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to use a series of badges, contaminated them
2 with known quantities of activity and then
3 assess the response due to the contamination
4 alone.

5 Now to establish correction factors
6 you need, not only to understand what the
7 contribution of doses based on the activity of
8 contamination level, but also the time frame.

9 So what they in essence did was to
10 do the following, they had received some
11 calibration codes that said so much activity on
12 a badge will introduce an incremental dose rate
13 that will be assigned to the badge that would
14 be fraudulently assumed as occupational
15 exposure.

16 However, another component is the
17 time duration during which the contamination
18 sits on a badge and here is where I get some
19 questions raised and the model that NIOSH used
20 to affect that correction factor was to do the
21 following.

22 If you have let's say contamination
23 of 1000 dpm for a badge of material that might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contribute to the dose you have to also
2 understand how long was that contamination
3 there.

4 And what was done was to, in
5 essence, identify the date of the, issue of the
6 badge and the date of the readout and then if,
7 let's assume it was exactly one month and you
8 issued a badge to the person on the first of the
9 month and at the end of the month, approximately
10 30, 31 days, you treat the badge and you read
11 it out.

12 So you have obviously an unknown and
13 that is when was the badge contaminated and the
14 assumption here is the amount of, what's the use
15 of it, where it point, and assume that that
16 contamination that you observe at the time that
17 the badge was turned in for readout was
18 approximately halfway, so 15 days.

19 When you do that apparently it was
20 found that some badges using that model would
21 actually end up with a negative value, meaning
22 that the person had not zero exposure, but less
23 than zero exposure, which would suggest that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the assumption was that perhaps the badge was
2 contaminated on day one and therefore the
3 actual duration of the contaminate adding dose
4 to the TLD was in fact 30 or 31 days as opposed
5 to 15 days and that would account for the
6 negative values.

7 I looked at this particular issue
8 and realized that well this is basically the
9 problem that we face on many other issues,
10 whether it's the LOD over 2 for admit dose on
11 the dosimeter or TLD or in the case of a bioassay
12 when we take MDA over 2.

13 And I realized that this is an issue
14 that cannot really be resolved. You have to
15 accept the fact that when you do this particular
16 type of presumption that there will be
17 instances where you will obviously subtract
18 more than what's necessary, in other cases you
19 give more.

20 And so this limitation of the system
21 and it's why I'm concerned it's part of the way
22 we do business here at NIOSH and I don't see any
23 resolution to it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So as far as I'm concerned the
2 Finding Number 20 should be resolved and as far
3 as I'm concerned there's no need to continue
4 this discussion.

5 MR. STIVER: Brad?

6 DR. BEHLING: Any questions?

7 (Simultaneous speaking)

8 DR. BEHLING: How many?

9 CHAIRMAN CLAWSON: I have a lot of
10 questions, Hans, but I understand the gist of
11 where you're going. I don't see anything else
12 but to --

13 MR. STIVER: I think it needs to be
14 closed out.

15 CHAIRMAN CLAWSON: -- close it, so
16 other Board Members any problems with closing
17 this one?

18 MEMBER ZIEMER: No, I agree. I
19 think it's the only thing you can do. It's a
20 reasonable approach.

21 CHAIRMAN CLAWSON: Correct.

22 DR. BEHLING: And I would assume
23 that no one's ever going to be assigned a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 negative number anyway, so what in essence, you
2 would be shortchanged.

3 What, in instance where you have
4 negative numbers that would suggest that the
5 person wasn't exposed to anything and the
6 subtraction ends up giving you the negative
7 number and, in essence, you would be
8 essentially shortchanged 50 percent of that
9 value that has been subtracted much like when
10 you get, when you have a film badge and the LOD
11 is 40 millirem the truth is the person could've
12 had 39 and in other words he could've been
13 shortchanged 14 millirem.

14 On the other hand it could've been
15 that the person really didn't have any in which
16 case he had the benefit of getting the
17 assignment of 20 millirem. That's just the way
18 the system works and I think we just have to
19 accept that.

20 CHAIRMAN CLAWSON: Okay. Thank
21 you, Hans. Any other Board Members have
22 anything? If not we'll go ahead and close that
23 one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Okay. Twenty-one was
2 the other side of the story regarding the female
3 employees' exposures to external sources and we
4 went ahead and took a look, this is one that we
5 put in abeyance back on April 15th based on our
6 review of the external dose TBD.

7 And we did take a look at that and
8 confirm that the NIOSH statements that missed
9 dose is no longer used to assign unmonitored
10 external dose and that the 500 millirem upper
11 bound dose methodology has been removed.

12 We also note that Section 6.6.2 of
13 the TBD -- those refer to OTIB-17 and so for the
14 same reasons that we discussed earlier
15 regarding Issues 16 and 18 and also Number 13,
16 we recommend that this issue be closed.

17 CHAIRMAN CLAWSON: Any of the Board
18 Members have an objection to that or any
19 questions?

20 MEMBER ZIEMER: No objection, I
21 agree. Ziemer.

22 CHAIRMAN CLAWSON: Okay. Not
23 hearing any more, we'll go ahead and close that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Okay. Now the next
2 three I believe are related to atmospheric
3 fugitive emissions and intermittent-type
4 exposures in limited areas and I had asked John
5 Mauro to look into this because he has done a
6 lot of work in this regard.

7 Again, a lot of it related to INL.
8 So, John, I've got Finding 22 up here.

9 DR. MAURO: Yes.

10 MR. STIVER: This is a source term
11 for atmospheric uranium emissions is
12 significantly underestimated.

13 DR. MAURO: Yes, let's scroll down,
14 I'm reading it just to refresh my memory again
15 on this Finding 22 in the Appendix.

16 MR. STIVER: Okay.

17 DR. MAURO: I see you have it on the
18 screen.

19 MR. STIVER: Let me make it a little
20 bigger for you here.

21 DR. MAURO: And that goes on, I just
22 want to get my bearings again. Okay, let's
23 just take a look. Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: It just kind of lays
2 out the background.

3 DR. MAURO: Yes, the history of
4 this thing.

5 MR. STIVER: Okay. Right here is
6 the gist of it right there.

7 DR. MAURO: Yes, gist, right.
8 Bear with me a minute.

9 MR. STIVER: Okay.

10 DR. MAURO: Okay. Ah, yes, the
11 Clark issue, I got it, okay, thank you.

12 MR. STIVER: Okay.

13 DR. MAURO: I just needed to
14 remember the essence of the issue. Originally
15 the source terms were estimated. There was a
16 number of studies on emissions and this goes way
17 back to findings back in maybe 2005 where the
18 source term that was provided, it was defended
19 in the write-up and there was a, but a question
20 came up, did you look at the work done by this
21 fellow Clark, et al, 1989, the citation, and at
22 that time that was not reviewed.

23 And the possibility that his work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could've shown that the emissions, airborne
2 emissions of uranium may have been higher than
3 what was used.

4 I checked Clark and the work there
5 and also the current write-up for environmental
6 exposures and what source terms were used and
7 it turns out that the source term that is
8 currently used for uranium emissions from
9 stacks, some big number, 300, right there it is
10 308,000, is substantially larger than the
11 estimate that Clark made.

12 So we concluded that the issue as
13 originally raised there is no issue here
14 because the source term that NIOSH is using from
15 its source documents where it references, is
16 higher than what Clark estimated, so we're
17 recommending this issue to be closed.

18 MR. STIVER: Now, John, you have a
19 nice, interesting postscript of this finding,
20 too, I mean kind of a whole other, a notion of
21 using the uranium bioassay coworker models
22 instead of atmospheric dispersion model and to
23 assign doses of this sort.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Yes.

2 MR. STIVER: But anyway, it's kind
3 of interesting and I know that this kind of
4 impinges on the radon doses that we'll be
5 discussing, too, is that, well, radon's kind of
6 a different animal, obviously, but, you know,
7 this isn't -- let's separate the radon issue
8 from this uranium issue. All I'm saying here
9 is that, you know, right now we have a
10 circumstance where most workers, the vast
11 majority of workers at Fernald have bioassay
12 data and if they worked for a National Lab as
13 a prime contractor, you know, we have the data,
14 we have the wherewithal to reconstruct the
15 doses since most of them have bioassay data, and
16 for those few that don't, you could build a
17 coworker model with the exception, of course,
18 for subcontractors.

19 Now we have a circumstance here that
20 says well, what about outdoors where you have,
21 perhaps you have workers outdoors where you
22 want to reconstruct their doses if they don't
23 have bioassay data and what I'm saying here is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that when you look at the current, I call it the
2 2014 version of the Site Profile, there's a very
3 well developed description of, given the source
4 term of this 310,000 kilograms total, I think
5 they have it by year and perhaps by building.

6 In my opinion, given that source
7 term and given that you have meteorological
8 data, you should be in a position to reconstruct
9 doses if you have to resort to the atmospheric
10 transport model and its associated atmospheric
11 dispersion factor as inhalation.

12 The tools are there to do that if it
13 comes to that, but where you do have a worker
14 that does not have bioassay data and if you need
15 to go to this protocol, I believe the protocol,
16 as laid out in the current version of the Site
17 Profile, the 2014 version, you know, it's
18 scientifically sound and claimant-favorable.

19 So this was not an issue that was
20 specifically raised here, you know, in this
21 whatever number we're on right now, but I
22 thought I would just point that out because I
23 can see someone asking that question and from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looking at the, just from reading the Site
2 Profile and looking at this section on
3 environmental, I felt the section was strong.

4 The source term was good, that was
5 the original concern, but not only is the source
6 term good but the protocols for how they would
7 go about dealing with reconstructing those
8 outdoor exposures can be done.

9 CHAIRMAN CLAWSON: Thank you,
10 John.

11 DR. MAURO: For uranium. This
12 question of radon is going to be a different one
13 that we'll talk about later.

14 CHAIRMAN CLAWSON: I understand.
15 Thank you, John. Board members on the phone,
16 any questions?

17 MEMBER ZIEMER: Not at this time.

18 CHAIRMAN CLAWSON: Okay.

19 MEMBER SCHOFIELD: No questions,
20 sounds good.

21 CHAIRMAN CLAWSON: Okay.

22 MR. STIVER: Close?

23 CHAIRMAN CLAWSON: It's closed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then. Looking at the time on this I think we
2 ought to break for lunch.

3 MR. STIVER: It's probably a good
4 break point.

5 CHAIRMAN CLAWSON: And we'll
6 continue this up at --

7 MR. KATZ: An hour?

8 CHAIRMAN CLAWSON: In an hour, one
9 o'clock.

10 MR. KATZ: One o'clock.

11 MR. STIVER: No objections.

12 CHAIRMAN CLAWSON: No objections?

13 MR. KATZ: So on break till one.
14 Thank you everybody and we'll reconnect the
15 phone then.

16 CHAIRMAN CLAWSON: Thank you.

17 (Whereupon, the above-entitled
18 matter went off the record at 11:59 a.m. and
19 resumed at 1:04 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on the line, as well as Mark.

2 MR. HINNEFELD: Okay.

3 CHAIRMAN CLAWSON: Where are we at?

4 We're at 23?

5 MR. STIVER: Yes. We finished up
6 22, and we're just starting Item 23. Let me
7 know when you guys are ready.

8 CHAIRMAN CLAWSON: Okay. I'm
9 ready.

10 MR. STIVER: Okay. This is
11 another one related to environmental
12 monitoring. Actually, excuse me,
13 environmental dose calculations using Gaussian
14 atmospheric dispersion modeling

15 And the finding, you know, back in
16 2006 was that the TBD has not adequately
17 considered various aspects of internal
18 environmental dose, including applicability of
19 the Gaussian model, episodic releases and
20 particle size.

21 NIOSH's response was that the
22 environmental TBD revisions do indeed use a
23 standard annualized Gaussian model, including

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assumptions regarding atmospheric, I'm just
2 going to read the entirety of it here,
3 stability, and that it is claimant favorable.
4 In addition, short term episodic releases are
5 modeled using the puff modeling, so the
6 continuous release model.

7 And they also have factored into
8 account for a respirable fraction of particles.
9 And we had recommended closure, based on our
10 review done a couple of weeks ago. And the
11 reason being, we did take a look at the
12 environmental TBD.

13 Basically Table 4.6 of the 2014
14 cycle file provides examples of six significant
15 episodic releases. These occurred over a
16 period of about, of less than a day.

17 And these were the ones that, some
18 that were of concern to us in our original
19 review. Equation 4.7, the atmospheric
20 diffusion equation, is going to be used to model
21 dispersion factors for these releases.

22 It was taken from Slade 1968,
23 recognized as one of the seminal documents on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 atomic energy and meteorology.

2 So, the bottom line is that the
3 model does specifically take into
4 consideration the things we're concerned
5 about, wind speed, direction, stability,
6 class, the time of the release and use
7 conservative parameter values.

8 And so, in summary, we believe that
9 the TBD revision is fully responsive to our
10 original concerns. And we recommend closing
11 this finding out.

12 CHAIRMAN CLAWSON: Board Members
13 have any comments or questions on this before
14 we proceed on?

15 MEMBER ZIEMER: I concur. This is
16 Ziemer.

17 CHAIRMAN CLAWSON: Okay.

18 MEMBER SCHOFIELD: Yes, I'm okay
19 with that.

20 CHAIRMAN CLAWSON: Okay.

21 MR. HINNEFELD: Okay.

22 CHAIRMAN CLAWSON: Phil, are you
23 with us yet? Well, I don't see a problem with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that. So, we'll go ahead --

2 MR. STIVER: Okay.

3 CHAIRMAN CLAWSON: -- and close
4 that out.

5 MR. STIVER: Twenty-four. This
6 finding states diffuse emissions of uranium and
7 thorium may have produced significant internal
8 exposure for some personnel.

9 This topic had not been previously
10 discussed in any of the 17 Work Group meetings
11 that have transpired since then. We recommend
12 keeping this finding open as a topic of
13 discussion.

14 NIOSH responds that, basically the
15 same response they had to Finding 22. They
16 basically say, the stack effluence for the
17 operational period identified releases of
18 thorium, uranium emerged from building exhaust
19 waste pits, UF6 releases from storage
20 containers, and six specifically identified
21 off normal events. But they're not aware of
22 any other significant additional sources.

23 And I had John Mauro look at this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And he went through Section, a perfect Section
2 5.7.3 of the original review. And our concern
3 was that at the time the Site Profile addressed
4 the diffuse emissions from the waste pits, but
5 not potentially important sources of deferred
6 diffuse emissions, many of which were described
7 in our review at the time.

8 Some of those are listed here on our
9 response, on Page 39. There's four in
10 particular. One was the outside Williams
11 Mill, Breaking Salt at outside mill, shoveling
12 onto conveyor belt, the conveyor at the outside
13 mill, and the changing drums at the outside
14 mill.

15 And each of these list general air
16 breathing zones, air concentrations in terms of
17 maximum allowable concentrations. And so, we
18 felt that the TBD might benefit from taking a
19 look at some of these kind of off normal events
20 that we identified.

21 We realize that they're separate
22 from episodic releases, because they're highly
23 localized ground level releases that can't be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 easily modeled. So, we proposed doing an upper
2 bound estimate, localized airborne
3 concentrations. Example being the bulleted
4 items that we have.

5 And of course, this is only going to
6 apply to workers who are not on a routine
7 bioassay program for the radionuclides of
8 interest, and that are not covered by the SEC.
9 Let me take a look, read down here a little bit
10 further. Okay.

11 Now, as far as being able to place
12 people at particular locations regarding, in
13 relation to these short term releases, and so
14 forth, we realize that they might have the
15 granularity in the days that they actually do
16 this. However, we thought that we should leave
17 this open and give NIOSH a chance to respond,
18 and maybe, you know, take a look into it
19 themselves, and come back with a proposal of
20 their own.

21 MR. ROLFES: For uranium
22 exposures, I mean, any exposure to an
23 individual that's performing such a task, their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uranium urinalysis results will obviously
2 reflect any routine exposures or intermittent
3 exposures that they might have had in these
4 higher air concentration areas. We're not
5 going to be reconstructing thorium intakes
6 because of the SEC.

7 MR. STIVER: Right.

8 MR. ROLFES: I don't know how much
9 more we can do on something such as this.

10 MR. STIVER: Yes. You know, our
11 response to Finding 22 is kind of along those
12 lines too, you know, that we feel that the
13 coworker model is the way to go in doing this.
14 So, because we have the coworker model at that
15 time, and haven't even begun, we haven't even
16 discovered the data.

17 CHAIRMAN CLAWSON: Will this one
18 basically be tied into the coworker data? I
19 mean --

20 MR. STIVER: Yes, basically.

21 CHAIRMAN CLAWSON: -- coworker
22 model?

23 MR. STIVER: It's kind of, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know, off normal occurrences that, you know, at
2 the time would have had to have been modeled.
3 But this is at the time before you had the, had
4 developed the uranium coworker model.

5 And, you know, we put that kind of
6 addendum to Finding 22, that we recommend that,
7 you know, in a situation like this that, you
8 know, the preferred course would be to go ahead
9 and just use the coworker model, because of the
10 uncertainties considered in trying to model
11 this kind of exposure.

12 CHAIRMAN CLAWSON: Well, this
13 would actually be tied in with the --

14 MR. STIVER: Yes. These are all
15 kind of combined, 23 through 24 are similar.
16 So the bottom line is I think we can probably
17 go ahead and close this one out.

18 CHAIRMAN CLAWSON: Pending the
19 coworker model evaluation? Is that --

20 MR. STIVER: Oh, the coworker
21 model. Well, we're talking about uranium
22 coworker model, which is already under
23 discussion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Okay.

2 MR. STIVER: Except for the,
3 obviously the subcontractors.

4 MR. HINNEFELD: So then, your
5 recommendation is that this can be closed
6 because of the coworker model? Because that --

7 MR. STIVER: No --

8 MR. HINNEFELD: -- was my thought.
9 I mean --

10 MR. STIVER: Yes. I mean, the
11 coworker model's really going to be the whole
12 standard for this --

13 MR. HINNEFELD: These were
14 episodic --

15 MR. STIVER: -- kind of thing.

16 MR. HINNEFELD: -- exposures of
17 people working with radiological materials.
18 And so, they're either going to be monitored,
19 or they'd be covered by the coworker.

20 MR. STIVER: Yes, yes.

21 MR. HINNEFELD: The coworker
22 approach.

23 MR. STIVER: Yes. I think, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know, at the time, you know, step back eight
2 years and, you know, we are faced with having
3 to model, is kind of --

4 MR. HINNEFELD: Okay. All right.

5 CHAIRMAN CLAWSON: So, your
6 recommendation is to close, correct?

7 MR. STIVER: Yes, close.

8 CHAIRMAN CLAWSON: Other Board
9 Members, any questions on that?

10 MEMBER ZIEMER: No. This is
11 Ziemer. I just wanted to clarify, John Mauro,
12 are you okay on that?

13 MR. STIVER: Yes, I had talked to
14 John earlier. He's at another meeting right
15 now.

16 MEMBER ZIEMER: Oh, okay.

17 MR. STIVER: So he can't jump in.
18 But he's all right with that.

19 MEMBER ZIEMER: Yes, good. Yes.
20 It makes sense to me, yes. I'm good.

21 CHAIRMAN CLAWSON: Mark?

22 MEMBER GRIFFON: Yes, the same, I'm
23 good. I'm good on it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Okay. Phil,
2 are you there, or on mute? So, okay. Well
3 we'll close that one then.

4 MR. STIVER: Okay. The next is
5 related to radon modeling from the K-65 silos.
6 Actually, 25 and 26 kind of subsume into SEC
7 Issue 5, which had been the topic of a lot of
8 discussion several years ago.

9 And Hans Behling is prepared to talk
10 about this. He did the lion's share of our
11 work. Produced, I believe, three White Papers
12 that were exchanged with NIOSH, back in 2011.
13 So, Hans, are you on board here?

14 DR. BEHLING: Yes, I am.

15 MR. STIVER: Okay.

16 DR. BEHLING: Let me just briefly
17 recount what the issue in Finding 25 was
18 originally. It was, again, based on the
19 questions of radon modeling. But it really
20 addressed the, kind of the two values, and fully
21 accepted the actual release rates of 5,000 to
22 6,000 curies from the K-65 silos.

23 So, at this point I think it was John

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Stiver had mentioned we want to really focus on
2 another issue that relates to this issue. And,
3 as a matter of record, I just want to say, I was
4 not involved in the original findings that
5 involved the TBD review.

6 But back in 2007 I was asked to
7 review the SEC Petition and NIOSH's Evaluation
8 Report. And I looked at a number of issues.
9 Among those was the radon releases from the K-65
10 silos, which mostly involved data that was
11 reported by the Radiation Assessment
12 Corporation. Refer to RAC Report of 1995.

13 And I came up with a very, very
14 different conclusion. And I just wanted to
15 just briefly review some of the things that I
16 reported in behalf of my review of the SEC
17 Petition.

18 And what I looked at was, in
19 essence, the data that was presented by the RAC
20 Report. And I just wanted to make a comment
21 here, that it's not my opinion, but by and large
22 reflects what was reported in the RAC Report.

23 And I wanted to state very privately

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that the estimates that were presented by the
2 RAC 1955 Report were based purely on the model
3 for which the most basic model parameters, that
4 is, the diffusion coefficient and the radon
5 emanation fraction were really unknown. And
6 they were really based on various assumptions
7 that really could not be confirmed.

8 And the principled assumption was
9 that the radon that was released from the weight
10 package that represented the disequilibrium
11 between radon 226 and lead 210 was really radon
12 that escaped from the waste package into the
13 head space, decayed in the head space with very
14 little being released.

15 And the serious deficiency of the
16 RAC model is that the head space radon would,
17 in fact, be mostly retained within the silo, and
18 ignored the whole issue of many of the
19 penetrations that were subject to radon
20 leakage, as well as the Venturi Effect, which
21 I then discussed in a couple of the White
22 Papers.

23 As a result of that initial review

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the data that I had available to myself, I
2 came to the conclusion that the radon releases
3 from the K-65 silos in combination would
4 release approximately 100,000 curies per year,
5 which is almost a factor of 20-fold difference
6 from the 5,000 to 6,000 curies that were
7 projected to be released, based on the RAC
8 model.

9 And let me just briefly go over what
10 that -- or what my model really entails. I
11 looked at the release of radon based on the
12 disequilibrium back in 1991. This is now
13 almost 40 years after the waste package was
14 introduced into silos.

15 And for Silo Number 1 the radon 226
16 activity was based on 525 picocuries per gram.
17 And the lead 210 activity in Silo 1 was 194,000
18 picocuries. And that, by and large,
19 translates to a ratio between lead 210 and radon
20 226 of 0.37.

21 That would suggest that in the
22 absence of a full equilibrium you had something
23 in the order of 63 percent of the radon 222

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 leaving the waste package. And very similar
2 values were identified for Silo 2, 417,000
3 picocuries for radon 226 and 160 picocuries per
4 gram for lead 210. And again, the
5 disequilibrium there was suggestive of
6 approximately 62 percent of the radon leaving
7 the waste package.

8 Now, the question is, where did it
9 go? And this is where I essentially came to the
10 understanding that it is probably all vented to
11 the atmosphere. Also in that calculation I
12 concluded, on the basis of disequilibrium, that
13 approximately 88,000 curies per year were
14 vented from Silo 1, and about 23,400 curies per
15 year were vented by Silo 2.

16 And as a result of my modeling of
17 releases, there was significant question about
18 whether or not I was right or wrong. And I was
19 asked to write a White Paper that was issued
20 back in 2008. And that White Paper pretty much
21 explained a lot of the issues that I was not
22 willing to put into the original report.

23 Also, because there was a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 additional information I was able to assemble.
2 And to put my claim of these kinds of annual
3 releases from the K-65 silos. When that White
4 Paper was reviewed by NIOSH they did not really
5 discredit anything, in terms of technical.

6 But, they by and large, in October
7 of 2008, concluded that the numbers were not
8 subject to technical criticism. But dismissed
9 my White Paper on the basis that that report had
10 not been subject to a National Academy of
11 Science review. And therefore, they would
12 stick with the RAC model that, in fact, was
13 reviewed.

14 I believe during that very meeting,
15 I think it was Dr. Ziemer who said, well then,
16 let's find out what the National Academy of
17 Science has to say about the 1995 RAC model, and
18 if in fact that concurs with NIOSH's assumption
19 that that should be the model we should go with.

20 Well, that was the genesis of my
21 second White Paper, which regurgitated pretty
22 much what I said in the first. But also added
23 a significant amount of information that, among

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other things, discredited the notion that the
2 National Academy of Science in fact endorsed
3 the 1995 RAC model.

4 And, not to belabor that issue, I
5 also added a lot of additional information. I
6 point to the RAC model and the excessively type
7 information that was cited in the RAC model.
8 And, granted, you can read the two White Papers,
9 because there's an awful lot of information.

10 But let me get down to the real
11 issues that, at this point, supports my
12 contention. And on that issue I would hope
13 that, John, you can introduce the Table J-19
14 from Appendix J of the RAC 1995 Report. I
15 included that as Exhibit 5 in my 2010 White
16 Paper.

17 MR. STIVER: The 2010 White Paper?

18 DR. BEHLING: Yes.

19 MR. STIVER: Okay. Let me pull
20 that up.

21 DR. BEHLING: Table J-19 from
22 Appendix -- It's Exhibit Number 5 on Page 19 of
23 the development section.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Let me find it here.
2 Okay. Okay, Hans, I'm on Page 19. Can you see
3 that?

4 DR. BEHLING: Well, actually
5 that's not the one I have. I'm not sure whether
6 that is the --

7 MR. STIVER: Okay. Hang on to
8 this. It may not be the one.

9 DR. BEHLING: That may be, that's
10 the 2008 White Paper.

11 MR. STIVER: Let me check. This is
12 called "The Second White Paper".

13 DR. BEHLING: Okay. But --

14 MR. STIVER: Yes, this --

15 DR. BEHLING: Let me see. Hold on.
16 It's the table that, let me see, maybe I used
17 the wrong -- I introduced that table twice,
18 both in the White Paper, as well as, the first
19 White Paper as well as the second paper.

20 MR. STIVER: Okay. Table J-19,
21 Exhibit 5. Let's go down here.

22 DR. BEHLING: In the first White
23 Paper, John, it's on Page 10. And if you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the 2008 White Paper, it's on Page 10.

2 MR. STIVER: I'm trying to find it
3 here. According to your Table of Contents,
4 it's on Page 19. Okay. I've got to go down.
5 I'm not -- I was looking on Page 19 of the PDF.
6 Oh, here we are. Here we are. Okay, Hans,
7 we're on it.

8 DR. BEHLING: Yes, okay. This is
9 really the crux of everything. And it's
10 relatively easy to understand. And it comes,
11 of all things, from the RAC 1995 Report. So,
12 it is something that I can't understand why the
13 people from the RAC group, who compiled these
14 data, didn't realize that they were essentially
15 in conflict with their own data.

16 So, let me explain what's in that
17 report. First of all, if you look at the top
18 it has two sets of data that, one, involves,
19 prior to the sealing of the silo openings that
20 pre-date 1980, okay.

21 So, this is the first sets of data.
22 And what these data represent on dose rates,
23 standing on top of the silos, Silos 1 and Silo

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 2. And the reason in 1980 they felt compelled
2 to seal the dome, there was a huge six inch
3 gooseneck opening, and huge numbers of fissures
4 that was just barely able to release anything
5 that was in the head space.

6 And as a result of the high dose
7 rates that made it unacceptable for workers to
8 be up there for doing anything, they decide to
9 seal the domes in 1980. And so, we have dose
10 rates that pre-date 1980 and post-date 1980.

11 So, let's go look at the data. The
12 very first entries was in April 1964. It was
13 Silo Number 1. And the contact reading on
14 that, at that time, was 75 millirem, okay. In
15 March of '72, eight years later, again there was
16 a contact reading of 75 millirem.

17 However, the footnote under which
18 silo it is, it says it's NF. It doesn't
19 indicate which one. But it could have been 1
20 or 2. But their, at that time the dose rate
21 reading on top of the dome was 30, and so forth.
22 Again, it's a very, very low dose rate reading.

23 On the fourth entry, identified by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 May 1973, again Silo 1. So you have a nine year
2 time interval. The contact reading on top of
3 Silo 1 was 65 to 90 millirem. The entry below
4 that now is in May of '73. And this involves
5 Silo Number 2. And the dose rate at that time
6 was between 70 and 75 millirem, okay.

7 So we have, by and large, data that
8 involved the dose rates on top of Silos 1 and
9 2, pre 1980, that suggest dose rates somewhere
10 between 60 or 75 millirem per hour, with the
11 dose rate on top of either one of those silos.

12 And then you have in late 1979 a
13 strong effort to seal all the cracks, remove
14 that six inch gooseneck, and everything else
15 that might have potentially allowed the radon
16 to escape.

17 And what would happen, as you would
18 expect, if the radon in fact that had emanated
19 from the waste package into the head space, you
20 would obviously see a rapid and significant
21 increase in the dose rates, based on the
22 presence of the radon that was now captured in
23 the head space, the radon daughters.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so, when you go now to the second
2 half, actually not quite the second half, where
3 it says, after sealing silo openings, you have
4 April 1980, Silo 1, the contact reading went to
5 250 millirem per hour. In other words, more
6 than a three-fold increase from the previous
7 readings that date back to April '64 or May of
8 1973, okay.

9 Below that you have again, in April
10 1990 Silo 2, a contact reading that at that time
11 after the resealing and then the repair was
12 done, raises the dose rate on top of the domes
13 to 200 to 250 millirem.

14 So we have, in essence,
15 approximately a three-fold increase between
16 the time frame prior to 1980, post 1980, in
17 terms of the dose rate on top of the dome. And
18 that had to be, obviously, due to the fact that
19 the radon that was previously released into the
20 environment had now entered the head space.
21 And basically, at least for the most part, was
22 retained in the head space, and gave rise to the
23 increase in dose rate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So now, let's go down towards the
2 bottom. I'm trying to quickly look and see
3 when this issue came to pass. But, there was
4 a time when again, the radon had to be released,
5 based on the issue of concerns. And let me just
6 briefly, quickly find out where that is.

7 Okay. When you look at the bottom
8 four entries that occurred in 1987, at the very
9 top, on top of the four, last one, you have
10 November 1987. You have, again, on Silo, on
11 top of Silo 1 a contact reading that was 160 to
12 208 millirem. And again, that's considered a
13 baseline.

14 Right below that, the same date, top
15 of Silo 1, there was another contact reading
16 that resulted in a dose rate of 35.5 to 68
17 millirem. However, that occurred after the
18 operation of the radon treatment system, as you
19 see on the right hand side, for an average value
20 of about 65 millirem, okay.

21 This is very important, okay. The
22 next two entries involve, again, November 1987.
23 But this one now is on top of Silo Number 2. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you have, in the second to the last entry, a
2 measured dose rate of 221 to 250 millirem, with
3 an average of 232 millirem per hour.

4 Below that is the same location, but
5 it is now after the radon treatment system was
6 operated, that reduced the dose rate from 60 to
7 76, for an average of 68. And what I want to
8 point out here now is that, before the radon
9 treatment system goes into being, it by and
10 large mimics the dose rates that were measured
11 early in 1980 after the sealing of the dome.

12 On the other hand, if you look at the
13 radon treatment system readings that reduced it
14 by more than three-fold, you have almost the
15 identical dose rates that pre-date 1980,
16 without the radon treatment.

17 And let me just tell you what the
18 radon treatment, how it was used. The radon
19 treatment system was operated on one silo at a
20 time, with a flow rate of about 1,000 cubic feet
21 per minute. And was operated until radiation
22 levels on top of the silo dome surface stopped
23 decreasing. And that basically said, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 eliminated all of the radon and their
2 short-lived daughters.

3 And when you see this data, there is
4 no, there's an inescapable understanding that
5 the pre 1980 dose rates on top of the dome
6 reflect a situation where the radon is not
7 collected in the head space, but was directly
8 vented out.

9 And based on my calculation that
10 turns out to be about, between Silo 1 and 2,
11 about 100,000 curies per year, and not 5,000 to
12 6,000 as modeled by the RAC Committee, using
13 various questionable parameters.

14 And on that basis I stand my ground
15 in saying that the release rates that have been
16 modeled into the environmental radon releases
17 for the silos 65 1 and 2, are probably a factor
18 of 20-fold off.

19 And like I said, if you want to
20 understand something, you have to understand
21 one thing. When there is a disequilibrium that
22 somehow or other cannot account for somewhere
23 around 67 percent of the radon that would have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been held in the waste package, along with its
2 short-lived daughters, and you say, where can
3 it go? Where can it go?

4 And the answer is, it can go in one
5 of two things. It can diffuse to the
6 periphery, and even be released from the site
7 of the silo. Or it can migrate, as it most
8 likely would, to the head space.

9 But if it's in the head space and it
10 stays there, it's held there, you would see, in
11 essence, a dose rate pre-1980 that would have
12 been the same post-1980. But it wasn't. And
13 the difference being, it can only be accounted
14 for by release of the radon from the waste
15 package into the environment.

16 CHAIRMAN CLAWSON: Hans, this is
17 Brad. Let me just make sure I understand what
18 you're telling me into this. For what you're
19 saying is, before they sealed up the K-65 silos,
20 and the radon was able to escape, that these
21 figures are off, correct?

22 DR. BEHLING: That is correct.

23 CHAIRMAN CLAWSON: This is the RAC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Report. And I understand this. And I just
2 want to make sure that that was where we were
3 at on it. Because, once they sealed that up,
4 then the corrective action was to turn a fan
5 onto it, and pull all this out of the head space,
6 correct?

7 DR. BEHLING: Well, I don't know.
8 When they pulled it out of the head space, they
9 might have actually filtered it through a
10 charcoal filter, meaning that they might have
11 reduced the radon releases into the environment
12 by capturing it in a charcoal filter, along with
13 the short-lived daughters.

14 So, I'm not saying that post 1980
15 the release of the radon by way of the radon
16 treatment system would have been vented into
17 the environment.

18 CHAIRMAN CLAWSON: Right, yes.
19 That's true. I understand what you're saying
20 on that one.

21 DR. BEHLING: My contention, Brad,
22 is that prior to 1980 the RAC model, which
23 identifies 5,000 to 6,000 curies per year is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct.

2 CHAIRMAN CLAWSON: I understand.
3 Mark or Paul, do you have any questions on this?

4 MEMBER GRIFFON: Well, I'm
5 actually curious if NIOSH has a response to
6 Hans' assertion.

7 CHAIRMAN CLAWSON: Okay.

8 MR. ROLFES: We discussed -- This
9 is Mark Rolfes, Mark. And we discussed this
10 quite a bit back, you know, six years ago. And
11 I know Hans and I yelled at each other. My
12 opinion is that we shouldn't be using external
13 dose rate measurements to characterize the
14 quantities of radon gas being released from the
15 head space.

16 We both issued White Papers, you
17 know, supporting our own opinions. And we had
18 developed a best estimate approach that
19 indicated that the 5,000 to 6,000 curies being
20 released per year by the RAC study was actually
21 much less than that, by a factor of
22 approximately ten.

23 Hans' White Paper, based upon the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 external dose rate measurements conducted on
2 the outside of the silos, you know, he believes
3 that it's a factor of 20 higher than the RAC
4 study. So, we've got, you know, one paper in
5 the middle, a better estimate that's much
6 below, and another estimate that's much above.

7 MR. HINNEFELD: Well, let's find
8 out some things here. You know, we had some
9 institutional interest in, you know, the RAC
10 Report was also adopted essentially by the
11 Pinney Report, right?

12 MR. ROLFES: Correct.

13 MR. HINNEFELD: I mean, they're
14 essentially the same number. And so --

15 DR. BEHLING: Yes, that's correct.

16 MR. HINNEFELD: And Penny was a
17 NIOSH sponsored report. So there was some sort
18 of institutional interest, you know, in the
19 Penny report. Now, having said that, you know,
20 we, for the purpose of this program, the purpose
21 to make sure we're bounding, we have departed
22 from the way NIOSH has done things in other
23 fashions, in other arenas, because of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 nature of this being a compensation program as
2 opposed to those other programs.

3 So, I think there's some food for
4 thought here. Hans, I wanted to ask you just
5 real briefly, if you can explain briefly, how
6 did you arrive at your estimate of the radon
7 emission of 80,000 and 20,000 curies per year?

8 I assume this is for the period from
9 the time the silo was built up until the
10 openings were closed, or sealed up in like '79.
11 Or that would be one release rate.

12 DR. BEHLING: Okay.

13 MR. HINNEFELD: That's the release
14 rate. Okay.

15 DR. BEHLING: I assumed that the
16 disequilibrium that was measured in 1991 was
17 probably disequilibrium that had existed
18 pretty much throughout the time frame when the
19 13,000 drums were being emptied into Silo 1 and
20 2. And there's no reason not to.

21 It's reasonably conservative, if
22 not just reasonably intuitive to conclude that
23 this disequilibrium existed, okay. And the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fact, when I hear you say, oh, dose rate, well,
2 the dose rate is not due to radon. But if the
3 radon, short-lived radon daughters can escape,
4 I must certainly have to conclude that radon as
5 a gas will equally escape.

6 So, I will not buy on the issue that
7 the dose rate measurements in itself serve as
8 an indicator for something that involves radon,
9 and it may not be correct.

10 My conclusion is this, if anything,
11 the short-lived radon daughters would, if they
12 escape they certainly will allow the radon as
13 a gas to escape, okay. Because, as short-lived
14 daughters they may even attach themselves to a
15 dust particle, and stick to the inside wall
16 where they're stuck to decay.

17 And that apparently does not seem to
18 be the case when you look at those dose rate
19 measurements that I just showed you. So, if
20 the dose rates are not an indicator of the fate
21 of radon, then I don't know what is. Because
22 I do stick by my guns in saying, if the dose
23 rate's reduced, that means the radon also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 escaped.

2 MR. HINNEFELD: Okay. So then,
3 back to my original question about the 100,000,
4 or the 80,000 and 20,000 release rate, that's
5 based on the total amount of radium in the silos
6 and --

7 DR. BEHLING: Yes. And I adjusted
8 it even for -- Because when the radon treatment
9 system went into effect, they must have taken
10 measurements and said that there is still a
11 three percent retention of short-lived
12 daughters. So, I even adjusted for that.

13 And I did this, again, on my White
14 Paper on Page 14. This is my November 2008
15 White Paper, on Page 14 and 15. I go through
16 the actual calculations that gave rise to my
17 understanding that the number of curies that's
18 released from Silo 1 and 2 were about 82,000 and
19 23,000 respectively, curies per year from each
20 of those. And total is somewhere around 100
21 and some odd, 110,000 curies.

22 I will go somewhere above 100,000
23 curies per year, is my best estimate as to what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 escaped from the head space into the
2 environment during those years prior to 1980.

3 MR. HINNEFELD: And that's
4 basically, mainly on the disequilibrium
5 between the radium and lead 212 in the 1991
6 samples?

7 DR. BEHLING: Yes. And how do you
8 account for that?

9 MR. HINNEFELD: Yes, yes.

10 DR. BEHLING: If you start, and
11 understand that this waste was introduced in
12 the early '50s, and these disequilibrium values
13 were measured in 1991 and again in 1992. Now,
14 there was nearly 40 years of time lapse between
15 the time that the raffinate wastes were
16 introduced in Silos 1 and 2. And of course,
17 lead 210 has a 22 year half-life.

18 So one could say, okay, there was
19 some ingrowth made. But the truth is, I don't
20 believe there's any reason to believe that this
21 disequilibrium did not also exist beforehand.
22 Because if the radon can escape from the silos,
23 it most likely can easily escape from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 packaged drums.

2 So, it's possible that this
3 disequilibrium basically existed beforehand,
4 and continued unabated throughout that 40 year
5 time frame, that period while it was packaged
6 in the silos. And those are my, you can go
7 through my White Paper.

8 And I had to make a couple of basic
9 assumptions. But they were very reasonable.
10 And so, it is, it might be based on the
11 disequilibrium and the need to identify the
12 fate of the radon that is accountable by the
13 disequilibrium, and where does it go?

14 If it's in the head space, as was
15 always, or I was told it all decayed in the head
16 space. But the data I've just shown to you in
17 Exhibit 5 seems to contradict that assumption,
18 that it does not decay in the head space, but
19 it was rather vented out. Because the dose
20 rates before and after 1982 rose sharply by a
21 factor of three.

22 But when the radon treatment system
23 was kicked in, it basically reduced the dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rate levels prior to 1980, meaning that the
2 radon has to have been escaping during that time
3 frame.

4 MR. HINNEFELD: Okay. Well, I'm
5 going to think about --

6 DR. BEHLING: This is a clear cut
7 case.

8 MR. HINNEFELD: And we have been
9 thinking about thorium and coworker bioassay
10 for the last, you know, year on this. And I
11 haven't really picked this back up. But I
12 would like to go re-read Hans' and our
13 proposals, if that's okay with the Work Group?

14 CHAIRMAN CLAWSON: Yes, that's,
15 well, that's been one we've been dealing with
16 for a long time.

17 MR. HINNEFELD: And realistically,
18 this is a matter of, what's the number? This
19 isn't, can you do it? This is what's the
20 number.

21 So, this is a site profile issue.
22 But let's wrap the thing up while we're talking
23 about it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Right.

2 MR. HINNEFELD: And so, there's
3 some, you know, there's some compelling
4 arguments, you know. Hans made some
5 compelling arguments. There are
6 complications that probably perturbed us more
7 than we would like.

8 But I would like to actually take
9 some time to just read through the whole of both
10 our argument, our estimate, Hans' estimate, and
11 see what we can say about is there something we
12 can come up with here that seems acceptable in
13 both realms?

14 Six years ago I probably was a
15 proponent of, you know, NIOSH has endorsed this
16 number in the Penny Report, we should stay with
17 that, you know. I think I probably was a
18 proponent of that six years ago. I don't know
19 that we need to do that anymore.

20 I mean, we've, like I've said, for
21 a compensation program you do certain things
22 that maybe you, you know, certainly the health
23 effects reconstructors didn't do. So, I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we can take a look at this. And I would like
2 the opportunity to form a judgment. And then
3 I'll have additional discussions.

4 If it comes down to it, we can have
5 a technical call, and just kind of go through
6 this. But I'd like to reserve this one for
7 myself, since I can talk about the site.

8 CHAIRMAN CLAWSON: Okay. Any
9 thoughts to --

10 MR. ROLFES: Since you were on site
11 at this time period, do you ever recall having
12 2,000 or 3,000 curies of radon being released
13 from the silo on any given day?

14 MR. HINNEFELD: Well, we didn't
15 measure radon directly. I mean, there were
16 radon monitoring stations that were passive.
17 So, you would collect the monitoring device and
18 get integrated exposure for the exposure for
19 their deployment period.

20 And there were some radon, I mean,
21 periodically, you know, very infrequently
22 there would be radon fluence metrics with
23 charcoal canisters, where you would then take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fluence rate through, you know, that much area
2 of the dome. And again, that's passive.
3 You'd leave it in place, you'd collect it, and
4 you'd estimate the fluence rate for this
5 deployment period.

6 I remember that it was
7 significantly different if there was a crack
8 under your canister, as opposed to a solid piece
9 of concrete, it was way different, which
10 doesn't, which is not surprising.

11 But, you know, the numbers, I don't
12 know that at the time that we were estimating
13 emission rates from these concentration values
14 that were measured. Or, there may have been an
15 emission rate estimated from the fluence rates.

16 But I don't know exactly how they'd
17 do that. Because, how much area does your
18 crack take up, you know? Or, I guess you could
19 do a length of crack thing. I don't remember
20 if that was done at all. So, I don't know.
21 Three thousand curies a day seems like a lot.
22 But I'd have to go back and do some reading.

23 MR. ROLFES: I think we discussed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some of these issues. I know on the internal
2 dose, Report 52, Internal Dosimetry Issues. I
3 think for Fernald, I mean, this has been
4 something that we've discussed quite a bit.
5 So, there are some measurements, like you said.
6 And some employees from Mound, someone by the
7 name of Jenkins, I believe, had come down and
8 done some charcoal studies.

9 MR. HINNEFELD: Yes. They did
10 some charcoal studies. And I think Mound
11 deployed the first passive monitors on PERMs,
12 passive monitor, radon monitor.

13 MR. STIVER: Yes, on PERMs.

14 MR. HINNEFELD: And so, I don't
15 remember actually a daily emission rate being
16 calculated.

17 MR. ARNO: I've got a question.
18 Were these silos maintained at atmospheric
19 pressure? Or were they negative --

20 MR. HINNEFELD: No they were --

21 MR. ARNO: -- on the outside?

22 MR. HINNEFELD: They were
23 atmospheric.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ARNO: Okay.

2 DR. BEHLING: And this is a
3 comment. That is really the whole basis by
4 which the RAC model operated. It in essence
5 said that there was a diurnal variation in
6 ambient temperature, which would then under,
7 reduce temperature or elevated temperature,
8 cause the head space in the silo to exhale a
9 certain amount.

10 So, it was based on diffusion
11 constants and certain pressure differentials,
12 diurnal pressure differentials, based on the
13 heating effect, solar heating effect of the
14 silos. And those are very questionable
15 models. And if you read my White Paper, I go
16 into great detail in analyzing what they did,
17 and some the deficiencies in their assumption.

18 MR. HINNEFELD: Well, the diurnal
19 variation is, that in fact was true. The radon
20 level was always higher at night than it was in
21 the daytime.

22 DR. BEHLING: Yes. And, of
23 course, I would expect that, to a large extent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that whatever was released might have been
2 released slightly higher during those time
3 periods. But I also make a major issue out of
4 the Venturi effect.

5 When you look at the dome, and it's
6 a round, semi hemispherical shape, when you
7 have a passing wind over it you have what's
8 called the Venturi effect, that by and large
9 leaves the entire head space very quickly.

10 And they did not, they understood
11 that. But in one of their discussion points in
12 1995 RAC model they said, we will not account
13 for that. And I believe that's really a
14 dominant means by which the head space was
15 vented.

16 MR. HINNEFELD: Well, it's, I think
17 the physics of it is really complicated. I
18 think you're right, the Venturi, I'm not
19 doubting Venturi effect would contribute. I'm
20 confident there was this, I mean, there's just
21 too much observation of various kinds of data
22 to say that this diurnal pumping didn't occur.
23 And I'm pretty confident that did occur.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, I think that, well again, I'd
2 just like to go back and carefully read the
3 arguments, our arguments and your arguments,
4 and see if I can't sort this out a little bit.

5 Because I, it was pretty clear when
6 radon treatment systems that were ran, whether
7 it was just makeshift one that they were running
8 that's referred to here, or the permanent one
9 that was running before the remediation of the
10 silos. When you turn those on you did
11 significantly decrease the direct exposure
12 reading from those domes, from the silos.
13 That's a fact.

14 So, since you do that, you have to
15 conclude that some fraction of the radiation
16 dose you're reading on the surface is due to
17 head space radon decay problem.

18 DR. BEHLING: Well, I would
19 question that. Because obviously the waste
20 package in itself contained significant
21 amounts. So that when you turn on the radon
22 system you exhaust all the head space, that 60
23 to 70 millirem per hour, which is the same as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the dose rate readings before 1980, was all
2 likely due to radioactivity in the waste
3 package that still obviously penetrated the
4 dome, and gave you those approximately 70
5 millirem per hour dose rate readings. And so
6 --

7 MR. HINNEFELD: Yes. I thought
8 that's what I said.

9 DR. BEHLING: -- we're not talking
10 about residual. I just believe that when you
11 ventilate just about everything out, using the
12 radon treatment system. And you're left with
13 approximately 70 millirem of residual baseline
14 radioactive dose rates, I mean, dose rates.
15 That dose rate reflects a contamination that
16 was in the waste package, not in the head space.

17 MR. HINNEFELD: I thought that's
18 what I said.

19 MR. STIVER: Yes, yes. Hans, I
20 think that is what Stu said. I think it might
21 have just been some --

22 MR. HINNEFELD: I may have not said
23 it very well. The other issue about, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two-thirds of the, or the lead 212 being only
2 a third of the total of the radium total, and
3 therefore, two-thirds of the radon that were
4 generated had to leave, that assumes that
5 two-thirds of the radon had to get out of the
6 residues into the head space.

7 Because it has to be from the head
8 space. I mean, there's no doubt that, you
9 know, some fraction, I don't know what
10 fraction. But you've got these residues that
11 were what, 18 feet deep, roughly?

12 MR. STIVER: Ninety something
13 percent water.

14 MR. HINNEFELD: Yes. And were
15 wet. That it's a, you know, a pretty good
16 fraction of the radium is not going to leave the
17 residue just physically. And we don't really
18 know what the diffusion constant, you know,
19 what Hans said. You don't really know what the
20 diffusion constants are.

21 But just as a practical matter, you
22 really expect 60, you know, two-thirds or 63
23 percent of the radon to even get out of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 residue into the head space, which then raises
2 a question, why the hell did the analytical
3 results turn out the way they did.

4 DR. BEHLING: Well, I can only say,
5 with the disequilibrium between radon 226 and
6 lead 210. And those are empirical
7 measurements --

8 MR. HINNEFELD: Yes.

9 DR. BEHLING: -- done in 1991 and
10 '92.

11 MR. HINNEFELD: Yes, I know.

12 DR. BEHLING: And they both show
13 that disequilibrium. So, if the radon did not
14 escape the waste package, how do you account for
15 the 63 millirem of lead 210? I don't see how
16 you can draw any other conclusion that that
17 disequilibrium is so strong that the release
18 and removal of radon 222.

19 MR. ROLFES: Hans, this is Mark.
20 One other thing I think we discussed is, you
21 know, my speculation that they could have done
22 some attempts to recover lead 210 out of the
23 materials for the production of polonium, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know.

2 So that could have created a
3 disequilibrium I guess, prior to the materials
4 being loaded into the silos at Fernald, when the
5 material came from Mallinckrodt. This would
6 have fit in the time period that Monsanto was
7 doing research with the production of polonium
8 210 as well, late '40s. But I was --

9 DR. BEHLING: Well, I will dismiss
10 that issue too. Because of this, these
11 materials were loaded into the silos in the
12 '50s, okay, early '50s. This disequilibrium
13 measurement was discovered in the early '90s.
14 That's a 40 year difference. That's almost a
15 two-fold time frame of the half-life of lead
16 210.

17 In other words, you would have had
18 a reestablishment of equilibrium with no radon
19 escaping of approximately 75 percent. And so,
20 I'm not going to accept that as an explanation,
21 that they may have removed all the lead 210. It
22 wouldn't add up just on that basis. If they
23 removed 100 percent of the lead 210, you would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have had an ingrowth of up 75 percent at the time
2 that these measurements were taken, if no radon
3 escaped.

4 MEMBER SCHOFIELD: This is Phil.
5 Why would they have even bothered removing the
6 lead if they were just going to store it in these
7 silos?

8 MR. HINNEFELD: That would have
9 been done, Phil, that would have been done at
10 Mallinckrodt, because Mallinckrodt was
11 experimenting with production of polonium for,
12 I guess for initiators, right. So they were
13 trying to extract things from the raffinate.
14 And they may have extracted the lead 212 in
15 there, as what they, in their work there. You
16 know, trying --

17 MEMBER SCHOFIELD: Oh, okay.

18 MR. HINNEFELD: -- to reclaim some
19 materials from the raffinate. That would
20 have been, that happened at Mallinckrodt before
21 these materials came to Fernald.

22 CHAIRMAN CLAWSON: Well, you know,
23 I think we could discuss this a lot more. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bottom line is, as you've asked, you want to be
2 able to look at this in a little bit closer
3 detail. Plus, we're looking at it a little bit
4 different than what we were previously. We
5 were looking at this as an SEC. This is a Site
6 Profile issue, and when we get to it --

7 So, my suggestion, and Board
8 Members, and if anybody has any disagreement,
9 is that we give NIOSH an opportunity to review
10 this. And I don't know if it will come in a
11 White Paper form. I'm sure it probably will.
12 But, reevaluate this, and then we'll get back
13 with it. Is that okay with the Board Members?

14 MEMBER GRIFFON: Yes. That sounds
15 great.

16 CHAIRMAN CLAWSON: Is that okay
17 with --

18 MEMBER SCHOFIELD: It's a
19 reasonable approach.

20 CHAIRMAN CLAWSON: Okay. Is that
21 all right with you too, Hans?

22 DR. BEHLING: Well, I guess I'm
23 waiting. I just hope one more time that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they'll look at my report, and assess it
2 technically. And not dismiss it because it
3 wasn't reviewed by the National Academy of
4 Science.

5 MR. HINNEFELD: Well, crap. You
6 want me to assess it technically? Oh, man.

7 MR. STIVER: Yes, here we go, yes.

8 CHAIRMAN CLAWSON: Okay. I
9 appreciate that, Hans. So, we'll --

10 DR. BEHLING: I'm being cynical, I
11 admit. I'm used to waiting.

12 MR. HINNEFELD: That's okay. I
13 was being a smartass.

14 MR. STIVER: Stu, do you have any
15 idea about the time frame we'll be looking at
16 here?

17 MR. HINNEFELD: Well, right now I'm
18 really interested in it. And so, I could do it,
19 you know --

20 MR. STIVER: Strike while the
21 iron's hot?

22 MR. HINNEFELD: -- without too much
23 -- I'd have to read these things.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Maybe you'd
2 take this off on your vacation, and --

3 MR. HINNEFELD: I just took my
4 vacation. And believe me, my wife would not
5 let me take this on my vacation.

6 MR. STIVER: Yes.

7 MR. HINNEFELD: Well, beyond just
8 reading Hans' papers and our papers, certainly
9 we're going to have some internal discussions
10 on this as well. So, I would think, I can shoot
11 for a couple of months, maybe.

12 MR. KATZ: Which is when we're
13 planning for our next meeting.

14 MR. STIVER: That's about the time
15 for our next meeting anyway, yes, about two
16 months.

17 MR. HINNEFELD: Okay. We're
18 planning a meeting in a couple of months.
19 Well, if we're going to plan for a meeting in
20 a couple of months, then that gives me something
21 to shoot for, to try and get something out in
22 advance of a next meeting, in order to at least
23 have something to talk about.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes.

2 MR. HINNEFELD: Give me something
3 to shoot for.

4 MR. KATZ: Because SC&A will
5 complete their coworker model review at the
6 same time. So we're shooting for, I think
7 November, early December, right?

8 MR. STIVER: Yes. That sounds
9 about right.

10 MR. STIVER: Ballpark.

11 CHAIRMAN CLAWSON: Yes.

12 MR. STIVER: Brad should be back
13 from his exploits by then.

14 CHAIRMAN CLAWSON: Yes. I'm
15 spending my birthday here with you guys.

16 MR. STIVER: Some fish stories.
17 Some great fish stories.

18 MR. KATZ: Good stories though.

19 MR. STIVER: New ones.

20 CHAIRMAN CLAWSON: Okay. There,
21 you put that stipulation on me. Oh, I see.
22 Okay. Well, we'll look forward to that.

23 MEMBER GRIFFON: Watch it, Brad,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you're getting to be an old man.

2 CHAIRMAN CLAWSON: Yes, I know it.
3 I know it. Hit the big 5-7 today. Well --

4 MR. STIVER: You're remarkably
5 well preserved for the big 5-7 I would say.

6 CHAIRMAN CLAWSON: Yes. So, we'll
7 wait for that. And you want to proceed on, or
8 --

9 MR. STIVER: Could we take a five
10 minute break here?

11 CHAIRMAN CLAWSON: I was going to
12 suggest that, but I thought I was the only wimp.
13 We're going to take a ten minute comfort break
14 if we could, if that's all right with everybody?
15 Not hearing any objections.

16 MR. KATZ: So, it's 2:02 p.m. right
17 now on my computer. Ten minutes.

18 (Whereupon, the above-entitled
19 matter went off the record at 2:02 p.m. and
20 resumed at 2:13 p.m.)

21 MR. KATZ: Okay. All right, we're
22 back. We're even --

23 MR. STIVER: Everybody back and in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the --

2 MR. KATZ: -- a minute early.
3 Uncharacteristic. Is, I think Paul we
4 probably lost. He was going to leave about a
5 half an hour ago. But we have you back on line,
6 Phil?

7 MEMBER SCHOFIELD: Yes, you do.

8 MR. KATZ: Great. And Mark? Mark
9 are you back on --

10 MEMBER GRIFFON: I'm here.

11 MR. KATZ: Oh, great. Super.
12 Okay. Let's carry on.

13 MR. STIVER: Do we have John Mauro
14 back?

15 DR. MAURO: Yes, I rejoined you.

16 MR. STIVER: Oh, okay. The next
17 one was Issue Number 27. This is one that John
18 looked into as well, and provided a detailed
19 response, which I'm going to give to you right
20 now.

21 DR. BEHLING: John, this is Hans.
22 Are we skipping Item 26?

23 MR. STIVER: Actually, 25 and 26,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and SEC Issue 5 were all kind of rolled into the
2 same can, if you will.

3 DR. BEHLING: I know that, you
4 know, the issue of the K-65 silos do play a part
5 here. But, okay. If you choose to do that,
6 then --

7 MR. STIVER: Well, hang on just a
8 second. I just lost my file here. Hold on.
9 Yes, Hans, the pitchblende ore on site in Plant
10 1, I believe if memory serves, that NIOSH has
11 rolled that into their model, you know, into
12 another source for radon release.

13 And we talked about it at several of
14 the Work Group meetings. But if you've
15 prepared a response to that particular issue
16 you'd like to share with us, that would be fine.

17 DR. BEHLING: Okay. It's very
18 brief. At least the original Finding 26 really
19 addressed the problems associated with the 211
20 ores, and the fact that they had not at that time
21 taken full consideration of what the release
22 rates were as defined by Pinney and Horning 2006
23 and other data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I believe that has subsequently
2 been incorporated into the revision of the TBD.
3 Now, again, the only issue here is that, related
4 to what we just talked about regarding the K-65
5 silos, are part of that. But the essential
6 concerns that were raised back in 2006 have been
7 addressed.

8 MR. STIVER: Okay. So, in
9 essence, you're recommending closing that one
10 particular aspect of it?

11 DR. BEHLING: Yes. It's not that
12 the K-65 silo issues that we just mentioned in
13 behalf of Finding Number 25. It's not part of
14 this. But at least the original concern was
15 raised back in 2006, when the Richman SC&A
16 reviewed the TBD. That has been addressed.
17 Except that it did not address the issue of the
18 K-65 silo release quantities.

19 MR. STIVER: Okay. Well, I guess
20 --

21 DR. BEHLING: So, if we resolve the
22 K-65 issue, then that component of Finding
23 Number 26 will also be resolved.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Right. Okay. So,
2 for that particular aspect of it then we can
3 recommend closure.

4 DR. BEHLING: Yes.

5 MR. STIVER: No objections to that?

6 CHAIRMAN CLAWSON: No. Thank you,
7 Hans.

8 MR. STIVER: Okay, John, you want
9 to go ahead and go with Issue 27 here?

10 DR. MAURO: Twenty-seven, okay.
11 Twenty-seven is --

12 MR. STIVER: This is outdoor
13 diffuse emissions in production areas as a
14 source of external environmental dose. Let me
15 pull up the response here.

16 DR. MAURO: Yes.

17 MR. STIVER: Okay. This is
18 related to external environmental dose, aside
19 from that from the K-65 silo.

20 DR. MAURO: Right.

21 MR. STIVER: And then Issue 28
22 looks at the K-65 silos separate from the other
23 sources.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Right. We're talking
2 about external exposures, and yes, I have a
3 write up here. And we'll go to the, the bottom
4 line is you have these external exposure
5 contour maps for 1976 to '85. And also a
6 section, there's in the Site Profile. And also
7 Section 4.5.4 presents onsite ambient dose rate
8 estimates from '52 to '75.

9 MR. STIVER: Right.

10 DR. MAURO: They provide a protocol
11 to use this information to estimate external
12 exposure. So, in other words, they do it, the
13 new Site Profile does explicitly address,
14 approach the data, and the approach to
15 reconstruct these outdoor exposures.

16 And a lot of information is there.
17 I read through it all. And my last paragraph,
18 and the attachment basically summarizes my
19 findings regarding that data, and the whole
20 approach, which taken in its entirety it
21 appears the new profile provides the guidance
22 to estimate external exposures outdoors from
23 all sources stored on site. And residual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radioactivity at the site, okay.

2 And so, we recommend that this issue
3 be closed, with one proviso, that a statement
4 be made in the Site Profile itself regarding,
5 this goes back to the skin exposures,
6 constructed in accordance with OTIB-17, and
7 what we discussed earlier about localized
8 doses.

9 So, in other words, my takeaway from
10 this is that the techniques, the data and the
11 techniques as described in the Site Profile
12 will allow you to reconstruct external
13 exposures outdoors, certainly photon
14 exposures.

15 But I didn't see any language that
16 discussed that they will be adopting OTIB-17
17 protocols, as further elaborated on in the
18 agreements made during the Work Group meeting
19 on this matter of direct contamination. So,
20 that was the only --

21 Also, I'm recommending we close
22 this. But I think it might be a good idea to
23 have some language in the, at some point in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 process, that says that they will, NIOSH will
2 be using OTIB-17 as interpreted, and as further
3 developed in the agreements made at that last
4 Subcommittee meeting regarding direct
5 deposition.

6 MR. STIVER: Anybody else have
7 anything to add on that? Or can we go ahead and
8 close that out?

9 CHAIRMAN CLAWSON: Any other Board
10 Members on the phone have any questions? Not
11 hearing any, we'll close that one.

12 MEMBER SCHOFIELD: I'm just
13 assuming that NIOSH is agreeable to those
14 conditions that John talked about. Is that
15 correct?

16 MR. HINNEFELD: I believe so. I'd
17 have to --

18 MR. ROLFES: I don't know what they
19 are.

20 MR. HINNEFELD: I'd have to go back
21 and refresh my memory. At this point I don't
22 object to that. If we find that we don't, for
23 some reason we don't think that's right, we'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 let everybody know. But, if you don't hear
2 from us --

3 DR. MAURO: I can help out a little
4 bit with this.

5 MR. HINNEFELD: Yes.

6 DR. MAURO: The idea being,
7 certainly external photon exposures, given the
8 protocol that you've laid out, and the data you
9 have in the contours, and you have, you know,
10 you were going to place a person in theory at
11 some location for some time period.

12 You could certainly reconstruct
13 external exposure, because of this residual
14 activity that's either in soil, or that's in
15 locations where there's a radiation field being
16 created. And there's information from TLD
17 measurements, for example, of what those fields
18 are.

19 What's not there is the external
20 exposure to skin, and how that is going to be
21 dealt with, but which has been addressed in
22 other venues, and agreed to. But not
23 specifically here in this Site Profile, as best

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I can tell.

2 So, what my understanding is that
3 your plan will be, that when you encounter a
4 person who has a skin cancer, and you're
5 reconstructing his external doses, and he's
6 outdoors, that, you know, you'll use, of course
7 you'll use the method you describe.

8 But in addition, if it's a skin
9 cancer you will be taking into consideration
10 the beta dose to the skin that might be
11 associated with direct deposition.

12 Under the, and there are, there's
13 quite a bit of discussion on under what
14 circumstances that's done, when there's
15 affirmative evidence that yes, there might have
16 been a problem, where there could have been
17 direct deposition on the skin. That needs to
18 be taken into consideration.

19 And then once you, you know, once
20 that determination is made, and that's a
21 judgment call based on where the guy's located,
22 and the circumstances under which he's been
23 operating. A judgment call is made whether the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 direct deposition scenario applies.

2 And that's all laid out very nicely,
3 and discussed in other documents and other
4 meetings, how you make that judgment. And then
5 once that judgment is made, the procedures for
6 doing that dose reconstructions will localize
7 deposition, are all agreed upon on how that
8 would be done.

9 And I don't want to get into details
10 about that, because it's been written up in a
11 number of locations. And it has been discussed
12 relatively recently at the Subcommittee
13 meeting.

14 But certainly take a look at it, see
15 if you're comfortable with all that. But
16 that's the only proviso I make. Sort of like
17 adding a little bit more richness to the section
18 you have right now.

19 MR. HINNEFELD: Okay. I don't
20 foresee any issue with it.

21 MR. STIVER: I don't think there's
22 going to be a problem. Okay. I guess we can
23 move on to Issue 28. And this is related to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 external environmental dose for workers near
2 the K-65 silos.

3 Basically the one element that was
4 taken out of Issue 27, presumably because it was
5 more of a gamma dose issue, and not necessarily
6 related to a dose from deposition from betas,
7 from shallow dose considerations.

8 The original findings, the TBD is
9 silent on how external doses to workers on the
10 silos were derived. The persons that may have
11 spent time in the area of Fernald containment
12 silos. This is a particular concern for the
13 early years, before additional shielding was
14 provided for the silos. And also a concern for
15 those unmonitored workers who may have taken
16 breaks near the silos.

17 And NIOSH's response was that the
18 external environmental TBD in Revision 2014
19 addresses the issue of external environmental
20 dose to persons near the K-65 silos. And we
21 went back and took a closer look. And, much as
22 John described for Issue 27, we found that the
23 -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Hang on just a second. Let me pull
2 this back up. That the TBD provides a very
3 thorough discussion and methodology for
4 calculating the external doses, the
5 environmental doses for personnel in this
6 particular situation.

7 From 1976 to 2005 the ambient
8 radiation associated with the silos and the
9 production plants is based on TLD measurements
10 that were taken at various locations, both on
11 site and at the fenceline boundary.

12 And that prior to 1976 there's a
13 modeling of average direct dose rates at the
14 fenceline, based on a combination of historic
15 data from the radiation levels, and the
16 application of measured dose rate values.

17 And I guess in summary, what we can
18 say is that the Site Profile is, the guidance
19 that's provided there, is certainly adequate to
20 reconstruct external exposures from the silos.
21 And we recommend closing this issue out.

22 DR. BEHLING: John, this is Hans.
23 I looked at it too. I guess I wasn't sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether you were going to respond, or I was
2 going to respond. But I come away with
3 slightly different feelings about that.

4 Because the Finding Number 28, as it
5 was originally offered back in 2006, really
6 talks about very close proximity to the silos.
7 Not the fenceline, but very close. And if I can
8 look at the actual statement in the current TBD
9 regarding that, it does talk about measurable
10 levels.

11 And I'll quote here. The
12 measurable level as measured by Juno survey
13 meters in 1963 was interpreted to be an exposure
14 rate of 30 millirem at three feet, one meter
15 from north and south silos, a total of 60
16 millirem per hour at three feet from the tanks.

17 Anyways, those numbers don't agree
18 with the values shown in Figure 4-16 for the
19 1965 silos, where the maximum dose rate is
20 identified somewhere around just slightly
21 above one millirem per hour.

22 I think what was initially
23 identified in this particular finding, back in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 2006, was the fact that when you went very close
2 to the silos themselves, and I guess maybe on
3 the other side of the berm that was ultimately
4 constructed, you would encounter dose rates
5 between 30 and 60 millirem per hour.

6 Now, I think that's what that
7 particular finding identifies. I was not the
8 person who identified this finding. But I'm
9 trying to respond to the finding the way I read
10 it. And I note that they've made changes in the
11 current TBD.

12 But the dose rates in Table 4-16 are
13 not necessarily the ones that I think were
14 identified by the original people who wrote the
15 finding on the 26 back in 2006.

16 Because we're talking about dose
17 rates between 30 and 60 millirem per hour, as
18 he quotes there, for even, maybe especially
19 female employees during the years who were not
20 monitored. It involved those unmonitored
21 workers who may have taken breaks near the silo.

22 The assumption is, if you had an
23 unmonitored worker who decides to sit in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 shadows of the Silo 1 and 2, he might have been
2 exposed to 30 to 60 millirem per hour, which is
3 -- as I said, I don't know if there's any
4 evidence to that effect. But this is really
5 the crux of the question associated with
6 Finding 26. And it cannot be answered with
7 4-16.

8 MR. STIVER: Okay. Would you
9 recommend then that we should keep this one
10 open?

11 DR. BEHLING: Well, I would be
12 happy to listen to what NIOSH has to say on this,
13 in the sense where hopefully there weren't
14 enough people stupid enough to sit next to the
15 silos, given the fact that they understood that
16 those readings were fairly high.

17 But, you know, this is what the
18 original finding really requests to give
19 answers to. Whether or not that question is
20 legitimate is another question.

21 MR. HINNEFELD: Is there any
22 indication that anybody was ever out by the
23 silos and not monitored? I mean, has anybody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ever said that?

2 MR. ROLFES: I've never seen any
3 indication that people weren't monitored at the
4 site, other than the female employees not being
5 monitored, since they didn't have --

6 MR. HINNEFELD: Yes. They
7 discriminated against female employees for a
8 while. And they wouldn't let them go out back,
9 anywhere near the radioactive, radiological
10 material. I mean, that's true.

11 But that doesn't mean that they, you
12 know they didn't badge them, they let them
13 wander around wherever they wanted. I don't
14 know of any time or any circumstance when
15 somebody would be out by the K-65 silos without
16 being monitored.

17 MR. STIVER: It seems kind of
18 far-fetched that you'd have somebody taking a
19 lunch break next to the silos, but --

20 MR. HINNEFELD: Who would be there?

21 MR. STIVER: Yes.

22 MR. HINNEFELD: I mean, they were,
23 I mean, this was not an administrative area,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this was the waste storage area, you know, out,
2 you know, well within the controlled fence. I
3 don't know. What's the circumstance where
4 you'd have somebody who wasn't monitored in the
5 vicinity of the silos?

6 MR. STIVER: That's kind of the way
7 I interpret it, as being -- You know, this
8 finding pre-dates my involvement by about four
9 years in the program. But, I think it might
10 have been Arjun who came up with that one.

11 But, yes, I can't interpret that to
12 mean that the TBD was that silent on, you know,
13 modeling, and that particular source term an
14 entire post, yet, you know, reasonable
15 distances from the silo. It's not for people
16 who would be right next to it. But if that was
17 truly the intent, I just don't see it as being
18 a very likely scenario, certainly.

19 MR. HINNEFELD: I mean, the --

20 MR. STIVER: To the extent that the
21 external coworker models are being implemented
22 now too. I mean, you would have to worry about
23 an unmonitored female. And you would get some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sort of either environmental dose or, you know,
2 an external --

3 MR. HINNEFELD: Yes. I don't, I
4 really don't, you know, I don't understand what
5 the thought process is for the unmonitored
6 people outside, you know. That's what I'm
7 missing.

8 This is, if you really talking
9 about, you know, being right there by the silos
10 with about 30 mR per hour, well, who's going to
11 be there that's not monitored, you know? If
12 you're worried about the dose rate in the silos
13 out on Willey Road, you know --

14 MR. STIVER: Yes. Somebody that
15 just --

16 MR. HINNEFELD: -- off the boundary
17 of the property, that's addressed elsewhere.

18 MR. BARTON: So really, the
19 situation really is dose rates, or dose
20 estimates would never really be used, right?
21 Because you're either monitored --

22 MR. HINNEFELD: Okay.

23 MR. BARTON: Or if you're actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 out by the K-65 silos, and when you say that in
2 your CATI, then you'd get the coworker model,
3 right?

4 MR. HINNEFELD: Yes. You're going
5 to be monitored --

6 MR. STIVER: But once again, this
7 kind of pre-dates the coworker model. So that,
8 you know, we're looking back at Zion.

9 MR. HINNEFELD: Yes. I mean,
10 maybe it comes from that. Maybe it comes from
11 back before there was a coworker model.

12 MR. STIVER: Yes, yes. I think the
13 problem with this is these languishing for
14 eight years.

15 MR. HINNEFELD: Yes.

16 MR. STIVER: The program moves on,
17 and people involved in developing these are no
18 longer involved. And so, what seemed to be an
19 important thing at the time may no longer be
20 very pertinent.

21 CHAIRMAN CLAWSON: This is only
22 dealing with unmonitored, right? Because, I
23 guess I was kind of looking at a little bit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 different of people going out there and taking
2 these rad readings on top of that, that you're
3 --

4 MR. HINNEFELD: Yes, but they --

5 CHAIRMAN CLAWSON: But they're all
6 monitored.

7 MR. HINNEFELD: Monitored.

8 CHAIRMAN CLAWSON: Right.

9 MR. STIVER: And your rad safers
10 are being monitored, have their own
11 instrumentation.

12 CHAIRMAN CLAWSON: How about any of
13 the other Board Members? Are they, have we got
14 any questions on this?

15 MEMBER SCHOFIELD: Not at this
16 point.

17 CHAIRMAN CLAWSON: Okay. What do
18 you feel we ought to do, Phil? I don't see
19 where we use this. I think this is kind of a
20 remnant from before.

21 MR. STIVER: See, the key thing
22 that's open really has much value to it.

23 CHAIRMAN CLAWSON: I recommend to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 close it. Any other Board Members have any
2 issues with that?

3 MEMBER SCHOFIELD: I can't think of
4 any reason not to at this point.

5 CHAIRMAN CLAWSON: Okay. Okay,
6 we'll close that then.

7 MR. STIVER: Okay. Let me make a
8 note to that effect.

9 MR. STIVER: Now, this is kind of an
10 interesting one, 29. This takes us way back
11 again. Occupational internal exposure radon
12 is estimated based on just two radon data points
13 from 1953. This is an inadequate basis to
14 reconstruct occupational radon dose.

15 It's clearly not related to radon
16 emanating from the silos. But due to radon
17 progeny and hail during driver unloading as
18 Silos 1 and 2 were being filled. I don't
19 believe this ever made its way into worker
20 discussions outside of some other related
21 issue. I guess the response is what kind of
22 surprised me.

23 MR. ROLFES: I was looking at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 response too when I saw that earlier. I don't
2 --

3 MR. STIVER: It says --

4 MR. ROLFES: -- know how that got
5 in.

6 MR. STIVER: NIOSH is recommending
7 the 1953 radon exposure be added in the SEC.
8 And that would certainly make the point moot,
9 the finding moot. But I just put that question
10 to NIOSH.

11 MR. ROLFES: I'm curious how it got
12 in there myself. Because I saw that. And I
13 thought maybe it was something that, you know,
14 just popped in there. But I don't know where
15 that came from.

16 And I think this pertains to maybe
17 using radon breath data for the estimation of
18 radium body burdens, is this, that we would
19 incorporate that into our approach for
20 reconstructing the progeny from K-65 filling
21 operations.

22 DR. BEHLING: Can I make a comment
23 here? I believe I have a fairly good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understanding what was meant by --

2 CHAIRMAN CLAWSON: Okay. Please.

3 DR. BEHLING: -- Finding Number 29.

4 And I think it is really based on the 13,000
5 drums that, for which the raffinate was
6 transferred into Silos 1 and 2. And I looked
7 at what he wrote back in 2006.

8 And the issue that he raised was
9 probably addressed much more extensively in my
10 review of the SEC Evaluation Report later on.
11 And I identified that particular issue as
12 Finding Number 4.2-1. And I just want to go
13 over that.

14 Because this is the way in which
15 NIOSH modeled the exposure, internal exposure,
16 principally from the transfer of raffinate from
17 the 13,000 drums to the Silos 1 and 2. And I
18 addressed that in my draft report back in 2007.

19 And if for any reason somebody wants
20 to look at that extensively it's defined on Page
21 37 to, I guess probably to, let's see, that's
22 containment, 44, all the way to Page 46.
23 There's an attachment to it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But what I really questioned there
2 was the way in which NIOSH assessed the exposure
3 potential for the transfer of raffinate from
4 drums to silos, using a couple of empirical data
5 points. And then modeling those data points in
6 a way that I did not consider
7 claimant-favorable. In fact, far from it.

8 If everyone agrees, I can go through
9 the issue, or simply defer the issue to a later
10 time by telling you that this issue was
11 addressed in my finding of Evaluation Report,
12 the SEC Evaluation Report at Finding 4.2-1. Do
13 we have time? If we do, I can go through it now.
14 Or we can postpone it for a later discussion.

15 MR. STIVER: Well, why don't you go
16 ahead and go through it, Hans? This is also
17 related to SEC Issue 4, which is kind of
18 similar, I believe.

19 DR. BEHLING: Okay. I think I know
20 what's meant by the two data points. But
21 anyway, let me just quickly go through it.
22 Again, for those who may be taking notes, NIOSH,
23 on Page 37 of my report that assessed the SEC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Petition Evaluation.

2 And on that page I talk about the key
3 elements of the K-65 dose model, which involves
4 the 13,0000 drums of K-65 waste into Silo 1 and
5 2, between July '52 and September '58. We're
6 talking about a six-year period.

7 One of the data points was,
8 involved a small number of record data sheets
9 between '52 and '58 involving air samples,
10 which had a wide range of activity levels to
11 find an alpha activity per cubic meter.

12 And those values range from less
13 than a MAC to 17,777 dpm per cubic meter, or 268
14 MAC. And so there are some data there. These
15 air samples consisted both of general air
16 samples, as well as breathing zone samples.

17 And I, you know, identified some of
18 the parameters that involved the flow rate of
19 the air samples, which was consistently around
20 0.02 cubic liters per minute, or that
21 translates to 20 cubic liters per minute for
22 both general air and sampling at the time. And
23 the same thing, duration was about one to 30

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 minutes.

2 Anyway, so what were the
3 assumptions that NIOSH used? They obviously
4 start out with the assumption that there were
5 13,000 drums. And one of the key assumptions
6 was that this transfer took place around the
7 clock, in three shifts.

8 And one of the other key parameters,
9 and it was a very spotty parameter, was that one
10 of the data sheets showed that in one day 80
11 drums were transferred.

12 Then they used, by and large, to
13 control the time frame during this exposure,
14 because they have air sampling but they don't
15 know exactly the time frame, they used external
16 dose rates. And this is where I sort of had a
17 problem.

18 A group of external dose data sheets
19 were available for 22 workers. And they were
20 used as a basis for defining the yearly exposure
21 duration for K-65 airborne contaminants, and
22 include the following. One of the, among those
23 22 there were, NIOSH chose 13 workers with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 highest doses, ranging from 115 to 500 millirem
2 average per week.

3 And then the available records show
4 that three of the 13 workers were assigned to
5 K-65 for three weeks. And there were ten other
6 workers who were assigned for six weeks.

7 The highest recorded weekly
8 external gamma dose among the 13 workers was
9 1200 millirem per week. So, for the 13
10 workers, the collective average exposure for
11 all 13 workers was calculated at 312 millirem
12 per week.

13 So they used these dose rates,
14 external dose rates as a way of gauging how much
15 time was spent there. This is T, here. And
16 we're really talking about understanding what
17 the internal exposure was. And that was now
18 based on external dose rates. And I just
19 mentioned those.

20 So anyway, going on here, NIOSH did
21 define the collective average external dose of
22 312 millirem per week for the 13 highest K-65
23 workers, was used by the model to justify yearly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exposure time to K-65 airborne levels by means
2 of the following assumption.

3 NIOSH assumes for 1952 the annual
4 external exposure limit for penetrating
5 radiation was five rem. If you want to, please
6 write that down. Because I'm going to get back
7 to it shortly.

8 NIOSH further assumed that the
9 extent they must have had, not being able to
10 prove that, they must have had the more
11 restrictive administrative dose limit of four
12 rem per year.

13 So, by dividing the assumed
14 administrative dose limit of four rem per year
15 by 312 millirem per week, NIOSH concluded that
16 K-65 workers would be restricted to a maximum
17 of three months, after which the worker would
18 have to be shifted to a non-radiological work
19 location.

20 The above derived three month per
21 year exposure duration was further reduced to
22 six weeks, as explained by the following
23 statement on Page 27 of the TBD. And I quote,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from the information derived in the external
2 dose data sheets, and the air monitoring sample
3 sheet, it appears that the transfer could have
4 limited to a period of ten weeks per year with
5 no individual working more than a period of six
6 weeks in a year, in order to control external
7 dose within the regulatory limits.

8 Now, when you go back and check for
9 the early '50s, the regulatory dose limit was
10 not five rem. And there's no indication that
11 there was administrative dose limit of four
12 rem. In fact, the regulatory dose limit during
13 those years was 15 rem.

14 So, the use, also the time frame is
15 stacked by the very fact that they used the
16 highest externally exposed workers, okay. And
17 then, using the four rem as a restrictive limit,
18 you're already stacking the cards against those
19 who were not among the highest in terms of
20 exposure.

21 Secondly, as I've already
22 mentioned, the exposure limits during this six
23 year period, the exposure limits employed by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the AEC was 0.3 rem per week, 3.9 rem for 13
2 weeks, and 15 rem in a calendar year, which is
3 three times higher than NIOSH's assumed value
4 of five rem. And also, there's no indication
5 that there existed such an administrative dose
6 limit of four rem.

7 All these numbers, the highest
8 exposure dose rate, and then the assumed
9 regulatory and administrative dose rates, are
10 used to restrict exposure time frame for the
11 workers who were transferring the raffinate
12 into the silos, and their potential exposures
13 to an inhalation one.

14 So, in summary, I don't believe this
15 is claimant-favorable. I think that there is
16 numerous assumptions here that restrict the
17 time frame based on external dose rates and
18 assumed regulatory and administrative dose
19 rates. So, my feeling is that this issue needs
20 to be looked at.

21 MR. ROLFES: Hans, I think you
22 might be referring to a really old version of
23 the Site Profile, maybe. And we're not using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 external doses as a controlling factor to
2 estimate a worker's internal exposure.

3 We had proposed using the radon
4 breath samples to estimate radium body burden,
5 and associated radionuclides. We're using
6 bioassay data essentially, to estimate
7 workers' internal exposure from K-65
8 materials.

9 The external dose rate I know we
10 discussed, you know, external doses as being
11 one of the controlling factors. But it wasn't
12 something that we are proposing to ratio our
13 internal doses, based upon.

14 MR. HINNEFELD: Well, there's a
15 question, just based on my own ignorance. Do
16 we have an estimate of radon intake, as they
17 were in these, of 55 rem?

18 MR. ROLFES: Most of the radon
19 would be inhaled and exhaled. But the radium
20 body burdens were being estimated based upon
21 radon breath samples.

22 MR. HINNEFELD: Okay. Well,
23 that's a radium body burden. And so --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BEHLING: You know, you
2 mentioned that you don't do this anymore. But
3 I looked at the TBD. And Section 5, which is
4 internal, still identifies those values.

5 MR. ROLFES: Okay.

6 DR. BEHLING: If you look at Page 26
7 of the current version, which is 2004 old. And
8 I assume you haven't changed anything. It
9 still has those numbers.

10 MR. ROLFES: All the updated things
11 that we've discussed in the Work Group meetings
12 have been incorporated into Report 52. It's
13 titled, it's a White Paper basically discussing
14 internal dosimetry issues at the feed materials
15 production center. So our updated approach is
16 in that document, which is --

17 DR. BEHLING: Well, as I said, I
18 don't, I'm not familiar with that document.
19 But if you look at Page 26 of the current TBD,
20 from 2004 --

21 MR. ROLFES: From 2004.

22 DR. BEHLING: -- Page -- 2004, you
23 will see the exact numbers that I just quoted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to you.

2 MR. ROLFES: Right. And the TBD
3 hasn't been updated to incorporate the
4 discussions over the past eight years from the
5 Work Group. They've been incorporated into
6 Report 52, and ultimately we'll revise the TBD
7 to incorporate that information, once we have
8 closure on the issues.

9 I believe that we've come to
10 agreement, as a matter of discussion from the
11 past several Working Group Meetings, that this
12 wasn't an SEC issue. That we all were in
13 agreement that we could estimate radium body
14 burdens using the radon breath data. And I
15 think that's what your issue is.

16 DR. BEHLING: Yes. Well I
17 identified it as an SEC issue, based on my
18 review of the SEC Petition and your Evaluation
19 Report. And when you do change it, do at least
20 look at my finding 4.2-1.

21 Because I looked at that model, and
22 I find it very flawed. And so, if you update
23 the internal dose, essentially the TBD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 component, I think you should look at that as
2 it currently reads, and versus what I
3 identified as a serious flaw.

4 MR. ROLFES: Other members of SC&A
5 and the Work Group have looked at our Report 52
6 though, is my understanding. And we, I believe
7 SC&A has come to agreement with us that the new
8 approach that we're proposing is acceptable.

9 CHAIRMAN CLAWSON: You know --

10 DR. BEHLING: Well, I wasn't party
11 to that review process then. I'm only --

12 MR. STIVER: This, actually, Hans,
13 this is John. That resolution of the radon
14 breath data actually pre-dated my association
15 with Fernald. I think it was during the 2008
16 deliberations that you guys reached consensus
17 on that.

18 I know it's been listed as no longer
19 an SEC issue. And it's been tabled to TBD. I
20 can't give you the chapter and verse as to why
21 that took place. But I've gone back to the
22 worker transcripts from that time period. I
23 know, John Mauro, you were kind of heavily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 involved in it back then. Do you remember much
2 about this?

3 DR. MAURO: Well, yes, I do. My
4 recollection is that the radon breath analysis
5 was accepted as a method for reconstructing the
6 body burden of radium in workers involved in I
7 guess this drum transfer activity. So, I
8 recall that issue being resolved.

9 Now, whether that covers the
10 population of workers we're talking about here,
11 I really, I'm not quite sure what workers.
12 There was also an issue related to thorium
13 intake. And, you know, unfortunately this is,
14 you know, it was a little bit more complicated
15 than just looking at the radium.

16 In other words, I do agree, I do
17 clearly remember that intakes of, body burdens
18 of radium 226 were modeled using radon breath
19 analysis. And there was considerable amount
20 of data for the workers involved in certain
21 activities where -- and that issue was
22 resolved.

23 And there is actually a procedure on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 how to do that. That procedure was reviewed
2 and finalized, and it's, I think that issue was
3 closed. But if we're talking about other
4 radionuclides that might be at issue here,
5 other than radium 226, that might have been
6 inhaled --

7 MR. STIVER: I remember the thorium
8 230 came up in later Work Group discussions.

9 DR. MAURO: Yes. That's where I'm
10 headed.

11 MR. STIVER: And we did agree that
12 their method could be used to reconstruct
13 doses. I can't tell you exactly why we agreed
14 without going back and reviewing those
15 transcripts. I think this was in the 2010 time
16 frame, 2010, 2011.

17 But it has been listed, you know, in
18 our records as having been resolved as an SEC
19 issue. Now, I guess the thing we have to do now
20 is keep it flagged for review when TBD 5 is
21 revised, and the Report 52 methodologies are
22 incorporated.

23 DR. BEHLING: Just a question to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 John Mauro. The document that you say reviews
2 the issue and identifies radon breath analysis
3 for the assessment of radium 226, was that the,
4 by and large involve, did that involve workers
5 who were engaged in the transfer of raffinates
6 of the drums to the silos?

7 DR. MAURO: Yes. But there were,
8 it was, as John points out it was a little bit
9 more complicated because there were other
10 workers involved, where there was thorium 230,
11 but not necessarily accompanied in a known
12 ratio to radium 226.

13 The way I recall it, the hook on
14 dealing with this problem was that you had the
15 radon breath analysis, which allowed you to
16 predict the radium body burden. And if you had
17 knowledge on the relative abundance of thorium
18 230 and radium 226 in these, I guess, containers
19 that were being repackaged and handled, you had
20 a way to get a handle on thorium 230.

21 However, I remember Arjun pointing
22 out at the time that there was a certain waste
23 stream where you didn't have that known

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 relationship between the radium 226 and the
2 thorium 230.

3 MR. STIVER: Okay. I remember
4 this. This was involving the transfer to Silo
5 3 from Plant 2 and 3.

6 DR. MAURO: Right.

7 MR. STIVER: And we went through
8 this in a lot of detail. And it sounded like,
9 I'm going to give a bit, I believe this material
10 used an air lift to bring it over. It was dry
11 material. It was air lifted over to Silo 3.
12 There was general air sample data involved.

13 And also there's a -- I think the
14 issue is that you couldn't really identify
15 thorium, because the uranium levels were so low
16 that there was a concern that, Arjun argues
17 this, that you wouldn't be able to get a hook
18 back on to the thorium 230 that way.

19 DR. MAURO: Yes, that's --

20 (Simultaneous speaking)

21 MR. STIVER: The methodology that
22 we're going to use was more than adequate to
23 address the ranges of exposure you might expect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to see.

2 MR. KATZ: So, where do you want to
3 go with this?

4 MR. STIVER: I recommend that we
5 keep this one in abeyance until we have a chance
6 to look at the TBD revision. And that is SEC
7 Issue 4 as well.

8 DR. BEHLING: Yes. And my feeling
9 is that if the TBD 5 for Fernald gets revised
10 that they simply then delete it if it's not
11 going to be useful in dose reconstruction.
12 Because right now that model is definitely
13 flawed.

14 The very numbers that I just cited
15 to you regarding that model on Page 26 and 27
16 of the TBD needs to be eliminated because we
17 don't use this model.

18 MR. ROLFES: Right. That will be,
19 Hans. And I think that was prior to the time
20 that we had found the radon breath data, when
21 the TBD was written in 2004.

22 DR. BEHLING: If that's the case,
23 then I think we can somewhat close this issue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 out.

2 DR. MAURO: Or leave it in
3 abeyance.

4 CHAIRMAN CLAWSON: Well, I --

5 DR. MAURO: We're going to do it
6 here I guess --

7 MR. STIVER: We'll leave this in
8 abeyance until we actually see the TBD --

9 DR. MAURO: Yes, okay.

10 MR. STIVER: -- 5 revision.

11 MR. ROLFES: I was going to say --

12 DR. MAURO: That's what we usually
13 do.

14 MR. ROLFES: I was going to say, the
15 one issue, the thorium 230 issue coming from the
16 process plants going to Silo 3 is a slightly
17 different issue than --

18 MR. STIVER: Yes, yes.

19 MR. ROLFES: -- estimating radium
20 and associated radionuclide body burdens from
21 radon breath data.

22 MR. STIVER: Oh, yes, yes.

23 MR. ROLFES: It's two separate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 issues. And --

2 MR. STIVER: The two issues where
3 they were kind of conflated --

4 MR. ROLFES: Right, right.

5 MR. STIVER: -- during the finding.

6 MR. ROLFES: Just the raffinate
7 issue type discussions. I mean, my opinion is
8 that the Silo 1 and 2 workers that were working
9 on dumping the 13,000 drums into Silos 1 and 2,
10 that we've got an approach that addresses that.

11 But the thorium 230 issue from plant
12 operations, I know we discussed as part of the
13 issue, just because it was lumped into silo
14 discussions. I think they're two separate
15 issue.

16 MR. STIVER: Yes, they are. They
17 are.

18 MR. ROLFES: So, I think the one
19 finding that Hans was relating was more towards
20 Silos 1 and 2, versus the thorium --

21 MR. STIVER: Yes. That's going to
22 be the revision model that's laid out in Report
23 52 now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: So, we'll keep
2 this open until the --

3 MR. STIVER: We'll keep it open
4 until they look in the TBD, and make sure that
5 things were done as agreed.

6 MR. KATZ: Well, it's in abeyance
7 actually, it looks like.

8 MR. STIVER: Okay. Let's see,
9 where are we here? Well, a series of ten easy
10 ones coming up here. And, John, these are the
11 ones related to medical dose.

12 DR. MAURO: Yes.

13 MR. STIVER: Thirty to 32.

14 DR. MAURO: Yes. I can address
15 those. Originally, these were one of the
16 issues that always came up. This goes way
17 back, related to, do you use photographic
18 analysis, lumbar spine analysis. You make
19 those assumptions part of the medical X-ray.

20 And there was some guidance on when
21 you do that, when you don't do that. And it has
22 a function of time, that sort of thing. That
23 goes back to OTIB-6. So this has been, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 issue's related to these types of examinations
2 other than chest, the classic standard of DA
3 chest examination.

4 There are also issues related to,
5 and this goes back a long way, to retakes,
6 issues related to, was, these being collimated.
7 So, these were all related to the medical
8 examinations.

9 So, what I did is take a look at the
10 new Site Profile, Revision 1, dated 1/2/2014,
11 recent, to see what they say about all these
12 things now. And there's a very detailed
13 description of the equipment that was used, the
14 procedures that were used.

15 They addressed the subject of
16 retakes. They addressed the subject of
17 collimation. They addressed the subject of
18 uncertainty. And the equipment that was there
19 as a function of time.

20 And my takeaway from this is that
21 there is good reason to believe that there was
22 not the equipment there for TFG. If it was it
23 would have been part of this, there would have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been some discussion. Because they went
2 through the different equipment they used. So
3 I think we could -- there was a time when we
4 would automatically assume TFG exposures prior
5 to a certainty in 1970. But I think the
6 evidence, the record that we have here now in
7 the Site Profile, you know, is very, quite
8 detailed. And there's no indication that you
9 would assume that there was some TFG
10 examination going on.

11 So, I'm agreeing with NIOSH that I
12 don't think these are issues any longer. With
13 the new information that they've uncovered and
14 put into this new Site Profile provides a great
15 deal of evidence that both TFG and lateral, as
16 they called them, I guess, lumbar spine
17 examinations, which could be substantially
18 higher than your classic chest X-ray. There's
19 no reason to believe that those took place.

20 And so, that's not part of the false
21 assumptions that are used in reconstructing
22 worker doses. And I think the section of this
23 OTIB-17-3 gives you the evidence you need to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 feel confident that those types of exposure
2 turn out to be assigned, and that they have
3 taken into consideration issues relating to
4 collimation and issues related to retakes.
5 And I'm recommending that we close this issue.

6 MR. STIVER: John, as kind of a
7 follow on that, Issue 33 is related to that too.
8 And it states that NIOSH had prematurely
9 concluded that lumbar spine actuaries for
10 laborers and construction workers were not
11 conditions of employment.

12 DR. MAURO: Okay.

13 MR. STIVER: And this is something
14 we had left open. NIOSH in their response
15 cited several SRDDs, excuse me, claim file
16 records, to show that those X-rays were
17 performed, having been listed as suspensory,
18 and not as an annual pre-year term.

19 And so Bob Barton went through,
20 looked at about 30 different claim files, and
21 basically came to the exact same conclusion
22 that NIOSH did.

23 So, we see no evidence that these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lumbar spine X-rays were ever a condition of
2 employment for categories of workers, the heavy
3 laborers and those type of people. And we also
4 recommend 33 be closed as well.

5 CHAIRMAN CLAWSON: Okay. Board
6 Members, any objections to closing those?

7 MEMBER GRIFFON: No. I agree with
8 closing them, Brad.

9 CHAIRMAN CLAWSON: Thank you,
10 Mark. Phil?

11 MEMBER SCHOFIELD: Yes. I agree
12 too.

13 CHAIRMAN CLAWSON: Okay. Thank
14 you. We'll go ahead and close those.

15 MR. STIVER: All right. Now,
16 we're finally down to the remaining issues that
17 were considered SEC issues. And kind of lumped
18 together a lot of the different findings from
19 Hans' 2007 SEC Evaluation Report.

20 SEC Issue 3 is related to recycled
21 uranium. We talked about that in relation to
22 a couple of the Site Profile findings earlier
23 on. And once again, we recommend that this one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be kept in abeyance pending our review of the
2 new TBD, to make sure that all the agreed upon
3 levels and time periods are in fact
4 incorporated.

5 I guess as a corollary to that,
6 we're also going to kind of follow up on this
7 issue, this notion of actinium 220, which, or
8 excuse me, americium 241, and how that made its
9 way into the finding.

10 SEC Issue 4 was the radon breath
11 data, which we just talked about. We agreed
12 that we're going to keep that one in abeyance
13 as well, pending a review of the revised TBD.

14 SEC Issue 5 is the radon release
15 from the K-65 silos, which Hans discussed
16 earlier. And we're going to keep that open for
17 discussion for the next Work Group meeting.

18 And we're finally getting down to
19 the end here. SEC Issue 6D was the use of chest
20 counts throughout thorium 232 exposures in the
21 1979 to 1989 time frame. And as Joyce
22 mentioned earlier, and we've discussed in
23 several Work Group meetings, we're basically in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 agreement with that approach.

2 However, we want to keep this issue
3 open pending our review of the post SEC thorium
4 models. So that will be a topic of discussion
5 at the next meeting as well.

6 CHAIRMAN CLAWSON: Right.

7 MR. STIVER: And finally, this last
8 one is kind of an orphan issue. It's not really
9 related to a lot of the other stuff. This was
10 4.5-1, the absence of performance standards and
11 quality assurance for personnel and
12 dosimeters. I asked Hans to take a look of
13 this, because it pre-dated my involvement.
14 And, Hans, would you like to talk about that?

15 DR. BEHLING: Yes. By and large,
16 Finding 4.5-1 that you just identified really
17 reflects something that I extracted from the
18 National Lead of Ohio corporate response to
19 these assumed assessment fact sheet dated
20 September 11, 1981, in which it was
21 acknowledged that there are certain
22 deficiencies. But the report is part of my
23 assessment response to this fact sheet.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And it goes from Page 113 all the way
2 to 118 in my report of my review of the SEC
3 Petition and Evaluation Report. Anyway, just
4 to quickly review a couple of things that were
5 cited in this response to this assessment fact
6 sheet, there were some concerns about the fact
7 that test dosimeters, that is control badges,
8 were not routinely processed along with exposed
9 badges worn by people.

10 There was an issue involving heat
11 damage from leaving badges in cars where the hot
12 weather was a problem. And however the use of
13 industry responses. However, this has not
14 been a real problem for many years. Leaving
15 badges in desks, cars, et cetera, did not have
16 a significant impact of the overall external
17 dosimetry program.

18 And then there were also issues
19 involving failure to have a bona fide official
20 training program for the technicians engaged in
21 assessing the badges, and so forth, and so
22 forth. And I don't want to make an issue out
23 of it. In fact, I'm going to conclude that this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should be closed.

2 But my statement of findings, and I
3 quote, I state the following, although SC&A
4 does not generally question the merits of
5 external dose data, the credibility of external
6 dosimetry data has to be viewed in context with
7 several limitations as described in the
8 document entitled Response to Dosimetry System
9 Fact Sheet, dated September 11, 1981.

10 And all I wanted to do here is,
11 obviously we can't do anything about this
12 deficiency. But sometimes if we do recognize
13 there were certain limitations, what we can do
14 is perhaps explain the uncertainty by which
15 some of the data has been reported.

16 Normally, when we talk about the
17 uncertainty of dosimeters, when we start out
18 with the assumption that the only variability
19 of a dosimeter response to a constant radiation
20 field is, in the case of film dosimeters, what
21 type of film was used, was the developmental
22 time a constant set of --

23 In other words, we never, ever

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incorporate uncertainty that involves human
2 errors, such as the failure to use control
3 badges as part of this, or perhaps update the
4 dose response curve for a particular badge of
5 film dosimeters that have potentially been
6 revised in some way or another.

7 And so, I'm not looking to say
8 anything other than, perhaps in the face of
9 certain uncertainties that we notice it and
10 document it, the option is perhaps in
11 explaining the uncertainty associated with the
12 actual recorded doses.

13 But beyond that I don't expect to do
14 anything. And at this point I don't think
15 there's really any way which we can rectify
16 these deficiencies. Accept them, and say we
17 close out this issue.

18 CHAIRMAN CLAWSON: Any other Board
19 Members, any questions?

20 MEMBER SCHOFIELD: Yes. I've got
21 one quick question. And I'm just kind of
22 backtracking just a second here. Talking
23 about the americium, do they know what kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 quantities were handled and stored there?
2 Whether it was in the form of an oxide, or a
3 metal?

4 MR. HINNEFELD: Well, this, there
5 was never any americium, you know, per se,
6 handled at Fernald. The question, or the
7 comment or finding has to do with, was there
8 americium in recycled uranium? In other
9 words, uranium that had been, you know, run
10 through the Hanford PUREX.

11 And then they reclaim the uranium
12 and send it back. That's what we call recycle.
13 And in that recycled uranium there's always a
14 little plutonium and actinium and technetium.
15 And those were the three radionuclides that we
16 looked for in recycled uranium. In other
17 words, the contaminants concerned.

18 And the finding was, well, you
19 didn't consider americium. And I don't know
20 that there was any americium there. So, that's
21 the nature of the americium is, was it present
22 as a contaminant in the recycled uranium? Not
23 that we handled, not that any americium was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 handled there.

2 MEMBER SCHOFIELD: Okay.

3 Because, see, if it's just like a contaminate
4 in the recycled uranium then --

5 MR. HINNEFELD: Well, it might have
6 been.

7 MEMBER SCHOFIELD: -- it's not the
8 issue that I was thinking of. I was thinking
9 of, you know, were they handling a few gram
10 quantities, were they handling kilograms of it,
11 you know, just what I'm, where I was coming
12 from. So that --

13 MR. HINNEFELD: No, if it --

14 MEMBER SCHOFIELD: -- kind of takes
15 care of my concerns.

16 MR. HINNEFELD: Yes. If it was
17 there, if it was there it would have been as one
18 of the contaminants that came out in recycled
19 uranium. But I don't, I know that it was not
20 one that people were concerned about. I don't
21 think that's true all through the complex. I
22 think all through the complex --

23 CHAIRMAN CLAWSON: Yes. It was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 never --

2 (Simultaneous speaking)

3 MR. STIVER: -- in the recycled
4 uranium reports I read from 2000 and so forth,
5 the DOE reports that were mentioned.

6 MEMBER SCHOFIELD: Okay. That
7 answers my questions.

8 MEMBER GRIFFON: Hey, Brad, this is
9 Mark.

10 CHAIRMAN CLAWSON: Yes. Go ahead.

11 MEMBER GRIFFON: I just have one,
12 going back to SEC 4. And this is the radon
13 breath stuff that Hans was talking about
14 earlier, and it came up again. Is there a time
15 period? I'm trying to remember myself. I
16 don't doubt that we discussed this.

17 But I'm trying to remember what time
18 period this was, this technique was going to be
19 used over. Or is it limited to that specific
20 operation of, involving the drumming of the
21 material for the silos, or what? Does anyone
22 know that offhand? I can also look back at the
23 report. But, I'm curious.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ROLFES: This is Mark. I'd
2 have to look back in Report 52. I know as far
3 as when we would apply it, the method to
4 estimate the radium body burden. The majority
5 of the data that were collected though were in
6 the 1951-1952 time period.

7 And I want to say that there might
8 have been around 400 usable radon breath
9 samples. I don't know if we put any additional
10 details about using it up until like, you know,
11 a point when we have documentation showing
12 that, you know.

13 It was, there were a few occasions,
14 you know, in the, you know, maybe one here and
15 there in the 1960s, where they might have dumped
16 additional materials into the K-65 silo.
17 They'd take up a manhole and dump in a barrel,
18 or dump in a small quantity of materials.

19 We've seen some bits and pieces of
20 documentation showing that there were, you
21 know, some workers that were involved in doing
22 something of that sort. But I'd have to look
23 back to see what years that approach or coworker

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 approach would be applied. But the reason --

2 MEMBER GRIFFON: Okay.

3 Definitely I want to look back at that report.

4 And is there an easy way to find this Report 52?

5 I know I've seen it in the past. Or can it just

6 be sent around by email? Or is it something

7 that can't be distributed?

8 MR. ROLFES: Yes. It's out on the

9 K: drive. I was going to say, it might be on

10 our website. But I'm not certain that it is

11 yet. It's definitely, I can send you the

12 directory if you'd like, or email it to you.

13 MR. HINNEFELD: It might be easiest

14 to email it to him.

15 MEMBER GRIFFON: Yes. If you can

16 email it? I mean, I have the government email,

17 so maybe I can get it that way.

18 MR. ROLFES: Yes, CSP?

19 MEMBER GRIFFON: Right.

20 MR. ROLFES: CSP, okay.

21 MR. HINNEFELD: Yes. I don't know

22 that the Board can see the entire K: drive.

23 MEMBER GRIFFON: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SCHOFIELD: Hey, Brad?

2 CHAIRMAN CLAWSON: Yes.

3 MEMBER SCHOFIELD: This is Paul.
4 I'm back on the line here.

5 CHAIRMAN CLAWSON: Well, welcome
6 back.

7 MEMBER SCHOFIELD: On Hans' last
8 discussions, my understanding is you were
9 concerned, or raised the concern about the size
10 of the uncertainty that's reflected in these
11 other kinds of errors.

12 But in practice, maybe I'll ask you
13 this, that's either covered by the existing
14 distribution that's used, or is there something
15 else that's going to be done that covers that?

16 MR. HINNEFELD: Well, Hans'
17 recommendation was that we expand the standard,
18 you know.

19 MEMBER SCHOFIELD: So, I wasn't
20 sure if you were actually planning to do that,
21 or if it's a different general comment on that.

22 MR. HINNEFELD: Well, I guess I'd
23 have to look at that response in that 1981, what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the situation was there. I do recall from a
2 couple of years later --

3 MEMBER SCHOFIELD: Hans was
4 recommending that we close it. But I wasn't --

5 MR. HINNEFELD: Yes.

6 MEMBER SCHOFIELD: -- certain if
7 there was anything specific that was going to
8 be done about it.

9 MR. HINNEFELD: Well, I'd have to,
10 I think I'd have to talk to some folks about what
11 makes sense if we're going to expand it, how far
12 do you expand the uncertainty along the --

13 MEMBER SCHOFIELD: If you need to.
14 Is it already covered?

15 MR. HINNEFELD: And there is an --

16 MEMBER SCHOFIELD: Yes, it's just
17 it's the --

18 MR. HINNEFELD: I mean --

19 MEMBER SCHOFIELD: -- kinds of
20 regular uncertainties.

21 MR. HINNEFELD: Yes. I mean,
22 another think to think about as we go down this
23 road in terms of the reliability and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dosimetry from a couple of years later than
2 that, around 1983, there was some testing done
3 of various dosimetry systems around the DOE
4 system to determine how they would compare to
5 the upcoming proposed Bell Lab standards, you
6 know.

7 Because Department of Energy was
8 interesting in publishing these Bell Lab
9 standards, but they wanted to see how people
10 would do ahead of time. Because they didn't
11 want to create a disaster by just plopping these
12 to that.

13 And so they did a round robin test,
14 or not round robin, they had several DOE
15 processors participating in this testing
16 against that. And Fernald was one of the few
17 sites still using film. This would have been
18 in the early '80s. Most people were on TLDs by
19 then.

20 And they kind of confounded the
21 expectations by performing really well in that
22 round of testing, their film badge did. So,
23 there's at least another data point from a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 couple, a year or two after the '81 event to
2 indicate that Fernald's dosimetry was pretty
3 reliable, you know, for what it was attempting
4 to measure.

5 So, I'm kind of a mixed emotion
6 about that, you know, on the one side, you know,
7 if you, it really makes no particular, there's
8 no downside really to expanding the uncertainty
9 of the dosimetry reading if there's reason to
10 do that.

11 I'm just not really 100 percent sure
12 there is, because there is other data about the
13 performance of the dosimetry system from about
14 that same time period, where it would seem that
15 the data was pretty good.

16 And I know the people who did the
17 dosimetry processing. And I'm sure there was
18 not a formal training program. But these were,
19 I don't want to say old people. They were
20 experienced people who had spent a life of
21 meticulous care in their work.

22 And despite the fact that there
23 wasn't a formalized documented training

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 program, it was only like one or two people.
2 And they knew what they were doing.

3 DR. BEHLING: And let me just add a
4 couple of statements. Because in that review
5 of the dosimetry report I think he responds or
6 Fernald's response was as follows, there were
7 no specific training requirements for the film
8 badge technicians when this program began in
9 1951.

10 The technician received on the job
11 training. The technician now performing,
12 i.e., and this is 1981, all film badge process
13 began this work in 1952, and he's been the only
14 technician doing this task since '59. So
15 you're correct, Stu. Obviously this person
16 was not doing it wrong. He'd been doing this
17 work for many years.

18 But, nevertheless, there was no
19 formal training. And I guess one of the
20 deficiencies was the failure to use control
21 badges with each badge, of worn badges, which
22 is usually standard practice.

23 I'm not saying that there were real

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 deficiencies here. But in light of
2 contemporary requirements you would say, well,
3 there's less than what you would normally
4 expect in today's world. And then, I'm not
5 going to recommend anything else beyond that.

6 I just brought it up, because it
7 happened to be part of the information that I
8 reviewed in behalf of the SEC. And, by the way,
9 there was, in our, on that issue of NIOSH has
10 stated that NIOSH will attempt to make more
11 information available on O: drive for data
12 capture. And they include five documents, 43,
13 36, 46, 18, 42, 439, 85, 99.

14 I reviewed those documents. And
15 they have a certain amount of merit. But they
16 really do not address the issues that were cited
17 in this particular finding. I mean, they go
18 back, and they had a comparative review of
19 dosimeters back in the early 1940s, '43,
20 amongst the different laboratories.

21 And they showed to be fairly
22 consistent in response to a constant radiation
23 field. And that assures that the dosimeters

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were fine, operating fine under controlled
2 conditions. But that, those documents that I
3 read and offered, you offered to me to read,
4 really didn't address the specific issues that
5 were identified in Finding 4.2-1.

6 So, anyway, as I said, I stand by
7 what I said. I don't think you can really do
8 much. If there's anything that could be done
9 is to perhaps widen the uncertainty associated
10 with dosimeters. But I don't think that's
11 doable, and at this point necessary.

12 CHAIRMAN CLAWSON: So what do we
13 want to do?

14 MR. STIVER: Having the
15 discussion, to close it out. There's nothing
16 much to be done about it, adjusting the
17 uncertainty.

18 First of all, you'd have to
19 quantify, you know, what the increment would be
20 applicable. And whether it would be a sum, and
21 how that would affect the outcome, you know, for
22 the individual badge, or for the model based on
23 the badge.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Other Board
2 Members with this last one here? Do any of you
3 have a problem with closing this out? Because
4 I don't know what to do with it. You know,
5 we've been discussing about this. So I guess
6 I just wanted to know what you guys' feeling
7 about this was. Mark, any problem with it,
8 closing it?

9 MEMBER SCHOFIELD: I think it's
10 kind of moot at this point. Let's just close
11 it out.

12 CHAIRMAN CLAWSON: Okay. Thanks,
13 Phil.

14 MEMBER GRIFFON: Yes, I think so
15 too, Brad. This is Mark.

16 CHAIRMAN CLAWSON: Paul? Not
17 hearing any, we'll go ahead and close that one
18 out.

19 MR. STIVER: And that was the last
20 of them.

21 CHAIRMAN CLAWSON: Yee haw.

22 MR. STIVER: Made it all the way
23 through. So, I really --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: No, we --

2 MR. STIVER: We've got quite a few
3 that are still in the docket.

4 CHAIRMAN CLAWSON: We've got some
5 still there. But, well --

6 MR. STIVER: So, next meeting.

7 MR. KATZ: John, you were worried
8 we wouldn't have enough to talk about today.

9 MR. STIVER: Yes.

10 MR. KATZ: We've made it.

11 MR. STIVER: Yes. I'm still
12 revising my estimates.

13 MR. HINNEFELD: I thought we'd be
14 here all night.

15 CHAIRMAN CLAWSON: No, not
16 tonight. We would be --

17 MR. STIVER: We've spent entire
18 meetings just talking about radon.

19 CHAIRMAN CLAWSON: Well, we've got
20 to be able to get through this.

21 MR. KATZ: It's a reminiscent day.
22 Next meeting. We want to hunt for a date
23 already?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Late November?
2 Before Thanksgiving weekend? Because I won't
3 be around.

4 MR. KATZ: Well, John, when do you
5 think you have to get your material cleared, and
6 so on? So, when do you think?

7 MR. STIVER: Well --

8 MR. KATZ: You're actually giving
9 everybody --

10 MR. STIVER: -- we're shooting for
11 --

12 MR. KATZ: -- time to review it.

13 MR. STIVER: -- for the post-SEC
14 thorium to have a document ready for DOE
15 clearance, towards the end of October.

16 MR. KATZ: Okay. Through
17 clearance, finish clearance, or into
18 clearance?

19 MR. STIVER: No, into clearance,
20 depending on how long --

21 MR. KATZ: Okay. And how long has
22 it taken them for these Fernald --

23 MR. STIVER: I usually like to give

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them a couple of weeks.

2 MR. HINNEFELD: Yes. They asked
3 for ten working days, two weeks.

4 MR. KATZ: Okay. So then end of
5 October you get it to them. That puts us
6 halfway through, or at least a quarter of the
7 way through November, right?

8 MR. STIVER: Maybe the week after
9 --

10 MR. KATZ: Plus we have a Board
11 Meeting in November, the 6th and the 7th. So,
12 I would say we wouldn't want to look to schedule
13 before either -- well, there's Thanksgiving
14 week. We don't want to do that.

15 There's the week of the 17th. If
16 you think that's too early then we should push
17 it to -- I was thinking we have, Brad, we have
18 NTS in December, beginning first week of
19 December. You want to partner these up?

20 CHAIRMAN CLAWSON: I would.

21 MR. KATZ: That would help you,
22 right?

23 CHAIRMAN CLAWSON: Yes, it would.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: It would be a long
2 trip.

3 MR. KATZ: So, NTS is December 3rd.
4 What about, and that gives extra leeway for
5 getting these things done. What about the 2nd
6 or the 4th. That's a Tuesday or a Thursday,
7 December 2nd or 4th. Mark, would the, how, do
8 you have anything on your calendar for that
9 week? Mark Griffon?

10 MEMBER GRIFFON: I just need a
11 second to look.

12 MR. KATZ: Oh, yes. No, no, I
13 wasn't rushing you. I just wanted to make sure
14 you understood when -- and how about you, Phil,
15 too? And Stu and Mark, does that work for you
16 guys?

17 MR. HINNEFELD: Works for me. The
18 4th would be better. But I could do the 2nd.

19 MR. KATZ: How about you?

20 MR. ROLFES: I'm sort of at the
21 hands of someone else right now in determining
22 my future schedule here.

23 MR. HINNEFELD: Your knee, your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 surgery thing?

2 MR. ROLFES: Yes. I'm going to be
3 on crutches at least six weeks I think. So, I
4 don't know.

5 MR. STIVER: Well, you could still
6 talk.

7 MR. ROLFES: I can. I can
8 participate by phone.

9 MR. HINNEFELD: We could let him
10 call in. We wouldn't make him hobble down.

11 MR. ROLFES: I haven't scheduled
12 anything yet. So I just don't know exactly --

13 MR. KATZ: Okay.

14 MR. ROLFES: -- when.

15 MR. KATZ: Okay.

16 MR. ROLFES: I haven't spoken with
17 him.

18 MR. KATZ: So, you said the 4th is
19 better for you, Stu?

20 MR. HINNEFELD: Yes. I can do the
21 2nd, though.

22 MR. KATZ: Okay.

23 MR. HINNEFELD: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Let's shoot for
2 the second.

3 MR. KATZ: Well let's, I just want
4 to hear from Mark.

5 MEMBER GRIFFON: I'm okay on either
6 of those days.

7 MR. KATZ: How about you, Paul?

8 MEMBER SCHOFIELD: I can do the
9 4th, but not the 2nd. Which date now, the 4th?

10 MR. KATZ: Yes. How about the 4th,
11 Phil, December 4th?

12 MEMBER SCHOFIELD: November 4th?

13 MR. KATZ: No, December 4th,
14 December 4th.

15 MEMBER SCHOFIELD: December 4th.

16 MR. KATZ: That's a Thursday.

17 MEMBER SCHOFIELD: Let me check
18 quick. That may be when I'm in Denver. Take
19 me up there and dissect me.

20 MR. STIVER: Several operations.

21 MR. KATZ: That sounds great.

22 MEMBER SCHOFIELD: Yes, if I'm
23 still alive at that point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: That's good. We want
2 you alive. No inert bodies around here.
3 Okay. So, December 4th it is. Fernald.

4 MR. HINNEFELD: So, Brad, I'll
5 trade you birthdays. That's my birthday.

6 MR. KATZ: Oh, isn't that awesome.

7 MEMBER SCHOFIELD: Hey, Brad, I got
8 something to tell you.

9 MR. HINNEFELD: It's also the day I
10 --

11 MEMBER SCHOFIELD: I'll always be
12 able to remember your birthday now.

13 MR. STIVER: The Lord giveth and
14 the Lord taketh away.

15 CHAIRMAN CLAWSON: Why is that?

16 MEMBER SCHOFIELD: [Identifying
17 information redacted]

18 CHAIRMAN CLAWSON: Well,
19 congratulations.

20 MR. STIVER: Congratulations,
21 Phil.

22 MEMBER SCHOFIELD: Thanks, all.
23 I'll be able to remember your birthday from now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on.

2 CHAIRMAN CLAWSON: Well, I hope
3 that's a good thing.

4 MEMBER SCHOFIELD: I'm sure it will
5 be.

6 CHAIRMAN CLAWSON: I wouldn't
7 worry about my birthday, I'd remember hers.

8 MEMBER SCHOFIELD: Well, see,
9 that's kind of like I remember hers, then I
10 remember yours.

11 CHAIRMAN CLAWSON: Oh, I see.
12 Okay.

13 MEMBER SCHOFIELD: And if I forget
14 hers, her grandma will remind me.

15 CHAIRMAN CLAWSON: Oh, okay.
16 Well, anything else that needs to come before
17 the Work Group at this time? If not --

18 MR. STIVER: I guess, Stu and I, we
19 can kind of email each other about, you know,
20 the coming deliberations, and so forth.

21 CHAIRMAN CLAWSON: Right.

22 MR. STIVER: And get all that
23 squared away.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: And we'll go
2 from there. If not, I'll take a motion to
3 adjourn.

4 MEMBER SCHOFIELD: I second that
5 one.

6 CHAIRMAN CLAWSON: Okay. We're
7 good.

8 MR. KATZ: Okay. Very good.
9 We're adjourned. And thank you, everybody,
10 for all the hard work that went into this.

11 MEMBER GRIFFON: Thanks a lot.

12 MR. KATZ: And today. Take care.
13 Bye, bye.

14 CHAIRMAN CLAWSON: Thank you,
15 everybody.

16 (Whereupon, the meeting in the
17 above-entitled matter was adjourned at 3:27
18 p.m.)

19

20

21

22

23

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1

2

3

4

5

6

7