

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON PANTEX

+ + + + +

WEDNESDAY
SEPTEMBER 4, 2014

+ + + + +

The Work Group convened in the Toronto Room, Cincinnati Airport Marriot, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m., Eastern Daylight Time, Bradley P. Clawson, Chairman, presiding.

PRESENT:

BRADLEY P. CLAWSON, Chairman
JOSIE BEACH, Member
PHILLIP SCHOFIELD, Member*

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

2

ALSO PRESENT:

TED KATZ, Designated Federal Official
RON BUCHANAN, SC&A*
MARK FISHBURN, ORAU*
JOE FITZGERALD, SC&A
DEKEELY HARTSFIELD, HHS*
STU HINNEFELD, DCAS
JIM NETON, DCAS
MARK ROLFES, DCAS
MATTHEW SMITH, ORAU*
JOHN STIVER, SC&A
TIM TAULBEE, DCAS*
DALE THOMAS, ORAU*

* present via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

TABLE OF CONTENTS

Welcome and roll-call/Introductions	4
Status of Site Profile Issues	5
Remaining Site Profile Issues	
Issue 6 (Interpretation of External Dosimetry Data)	7
Issue 7 (Neutron-to-Photon Ratios)	39
Issue 8 (Completeness and Interpretation of Historic Radiological Exposure Sources)	52
Issue 9 (Incidents)	57
Issue 10 (Firing Sites)	63
Issue 13 (Too Few Workers for Valid DR)	70
Issue 15 ((Exposure From Tritium)	75
Issue 16 (Badge Placement)	89
Summary of Action Items and Path Forward	101
Adjourn	110

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21

P-R-O-C-E-E-D-I-N-G-S

(9:00 a.m.)

MR. KATZ: Good morning, everyone.
Advisory Board on Radiation and Worker Health,
Pantex Work Group and we are ready to go.

Let's get started. We have about
14 people on the phone or another just joined,
15, so, hopefully, we have everyone we need.

Let's start with roll call. We're
speaking about a specific site, so please,
everybody, address conflict of interest as well
as you respond to roll call.

So, Board Members first in the room?

(Roll Call.)

MR. KATZ: The materials that are
available for this meeting, the agenda, I'm not
sure actually what other materials we have, are
posted on the NIOSH website, the Board Meeting,
today's date, so you can follow along with the
agenda there.

And, Brad, it's your meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: All right. I'd
2 like to thank everybody for coming. As you
3 know, our last meeting was quite a while ago.
4 I think it was in June last year.

5 But, we've just got a few items to
6 be able to clean up and they're mainly all Site
7 Profile issues.

8 With that, I'll turn the time over
9 to Joe and let him start out.

10 MR. FITZGERALD: Yes, Joe
11 Fitzgerald.

12 Just to recap a little bit, we did
13 have the last Work Group meeting on June 18,
14 2013 and following some discussions on
15 remaining SEC issues, we did have, I think, a
16 fair amount of time to begin looking at the
17 remaining Site Profile issues and were able in
18 the Work Group to actually disposition a fair
19 number of them.

20 And I think all that is in an updated
21 matrix that we circulated. I think it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 issued October 8, 2013, which I think is also
2 online. And that provides pretty much the most
3 recent update on that discussion and pretty
4 much what was left from that discussion.

5 And, generally, there were a number
6 of clarifications and further discussions that
7 were warranted, some by I think the Work Group
8 and I think it was some issues that both SC&A
9 and NIOSH were going to follow up on.

10 So, that's kind of where it was
11 left. I think there's a half dozen, maybe a bit
12 more, issues that need to be clarified or
13 dispositioned on that matrix and that will
14 pretty much be it for now.

15 We did send out last month, just
16 because it's been about a year, a bit of a
17 clarification on some of those issues just to
18 refresh everybody's memory on some of the ones
19 that I think had a little bit more substance in
20 terms of inquiry to and so that's where we are
21 right now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, we're going to be working off
2 the October 2013 matrix and pretty much the last
3 status update which really is the -- it says
4 10/8/13 pretty much is our synopsis of where
5 things are at this point and then we're going
6 to pick up from there.

7 And the first five issues were
8 pretty much closed out in that discussion last
9 year, so I'm not necessarily, unless Brad wants
10 to, we can recap each of those issues or just
11 go right to the open ones.

12 CHAIRMAN CLAWSON: Let's just go to
13 the open ones.

14 MR. FITZGERALD: Okay. Issue 6,
15 I'm just going to go ahead and just read the
16 status since that's pretty much the summary of
17 where things stand.

18 But Issue 6 was a question of data
19 adequacy and completeness for external
20 dosimetry and it was a report that was issued
21 in 2011 that we had developed and from which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NIOSH had responded, I think it was August of
2 2011.

3 It dealt with a number of issues
4 that on internal and external dosimetry at
5 Pantex and a number of these questions revolved
6 around the completeness of the data that backed
7 up the dose reconstruction methods that were
8 identified and a number of the items dealt with
9 whether the accuracy of the estimates were
10 sufficient and whether the adjustment factors
11 in the assumptions made were, in our view,
12 sound.

13 And we've actually worked through
14 that document in some detail over the last
15 couple two or three years and on this Item 6,
16 what we have is a remaining issue that deals
17 with the question of how and what interprets
18 what would be a blank entry in the original dose
19 record and how that would be interpreted in
20 terms of the value used in dose reconstruction
21 whether that blank would be interpreted as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 zero or an unmonitored dose.

2 And there was certainly a lot of
3 discussion in the data accuracy report as well
4 as the response from NIOSH on how that would be
5 done using the original records from pre-1976.
6 Certainly, there were paper records that could
7 be referenced and one could actually see what
8 was recorded by the individual recording the
9 dose and whether that was a blank, whether it
10 was the zero. So, there certainly was some way
11 to substantiate that and make that
12 determination.

13 Post-'76, our question, and this is
14 where the clarification comes in, is to how that
15 would be done, how one would interpret if you
16 have a zero entry whether it might have been
17 likewise a blank or an actual zero. The
18 implication being, in one case, that would be,
19 you know, given a -- treat it as an actual zero,
20 no dose received, but monitoring was done.

21 In the other case, if it was a blank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then possibly it was a case where the individual
2 was unmonitored and assigning a zero would be,
3 perhaps, giving less dose than one would get if
4 it was part of a coworker assignment.

5 So, it was a clarification that we
6 had remaining on that dialogue that we had many
7 moons ago, it seems, that we felt was a bit of
8 a loose end that we'd like to get some
9 clarification on. And that's pretty much it,
10 which is saying a lot because it's a fairly
11 detailed assessment in 2011, so if we're down
12 to that, that makes me feel a little more
13 positive that, you know, we're getting down to
14 the end.

15 MR. ROLFES: This is Mark Rolfes.
16 And I spoke with the dosimetry technical person
17 probably a couple of weeks back down at Pantex
18 and just to check on this issue.

19 He did say that if an individual had
20 a zero entered into the DoRMS database, which
21 is basically a compilation of all their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 external dosimetry data and tritium bioassay
2 results.

3 He said that if there's a zero
4 entered, it indicates that the individual was
5 monitored and that they didn't receive any dose
6 greater than the limit of detection for the
7 badge. So, we would treat a zero recorded in
8 an individual's dosimetry records as being
9 monitored and then assign a missed dose based
10 upon LOD over two times the number of zeros for
11 the number of dosimetry exchange cycles.

12 MR. FITZGERALD: Now, is there any
13 way to -- I mean in know pre-'76 you can validate
14 by looking at the actual original record but,
15 post-'76, my understanding is that's not
16 feasible because those records aren't
17 maintained.

18 MR. ROLFES: Yes, I believe it was
19 all done electronically because of the
20 dosimetry system switchover to TLDs.

21 MR. FITZGERALD: Any way to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 validate just at that point?

2 MR. ROLFES: Not that I'm aware of
3 other than I mean we've got the electronic
4 records and that's what we're using.

5 MR. FITZGERALD: Pretty much it.
6 And then that also, that assertion that that's
7 how it was treated. The only reason I'm
8 raising that is just pre-'76 looking at this and
9 how the issue arose looking at the original
10 handwritten records, it was clear that you had
11 sort of both cases show up. You had blanks and
12 you had zeros and in trying to differentiate
13 that wasn't -- having the paper records was
14 possible to differentiate but after '76, it
15 wouldn't be.

16 MR. ROLFES: There could be, well,
17 I know that they were able to calculate the
18 doses for us using a different algorithm, using
19 the Stanford algorithm for the more recent era.

20 Sort of a separate issue, but they
21 do have information, the readouts from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 chips, so I mean there's another piece of
2 information that could be plugged into a
3 different algorithm to, you know, see that
4 information's telling this to this, the
5 interest.

6 MR. FITZGERALD: Yes, because why
7 I'm cautious, I'm not sure the individual even
8 knows back in the mid-'70s, you know, what the
9 actual practice might have been and you have
10 that certain comfort zone because before that,
11 you had the actual handwritten records, but
12 after that, you don't, so you wouldn't be able
13 to validate that.

14 So, I'll just leave that for the
15 Work Group. That was the source of that
16 concern and I'm not sure what you want to do with
17 that. It's just that certainly, you had blanks
18 and you had dashes, you had actual zeros. You
19 had a variety of things which is not unusual,
20 it's just that it may be difficult to know what
21 would be appropriate to assign.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You can make an assumption, I think,
2 in this case that if they had a zero, if
3 everybody was a monitored worker, but because
4 of Pantex's history, we're not as comfortable
5 with that, particularly in the earlier days in
6 the '70s.

7 CHAIRMAN CLAWSON: Well, and I
8 understand that and that's one of the things
9 that I'm wondering how is NIOSH going to look
10 at this because, you know, where this is a Site
11 Profile issue -- this is Brad speaking -- how
12 are we going to do the zeros? And I guess,
13 Mark, I just -- are we going to look at it?

14 Because in the earlier years, you
15 know, there was hit and miss with who was going
16 to be monitored, who wasn't and, you know, we
17 found paperwork over the time even down there
18 that they had badges but the people weren't
19 wearing them and they were all rad workers.

20 So, I'm just wondering how we're
21 going to -- how you guys look at it and how it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to be handled.

2 MR. HINNEFELD: The question is how
3 to deal with a blank.

4 DR. NETON: Yes, I was going to say,
5 not wearing badges is a totally different
6 issue.

7 CHAIRMAN CLAWSON: Okay, but how
8 does it show up?

9 MR. HINNEFELD: Well, I guess --

10 CHAIRMAN CLAWSON: If you're
11 saying a zero and that's meaning that you're a
12 rad worker, okay. But, if you have a blank
13 there, you can still --

14 MR. HINNEFELD: You know, the
15 question is the blank. There are two possible
16 interpretations, either the person wasn't
17 monitored or the person was monitored and the
18 result was less than MDA.

19 So, those were the two possible
20 interpretations. And it would seem that
21 there's probably a pattern in a person's record

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of when a blank appears. If it appears by
2 itself and there are readings on both exchanges
3 on either side, I think there's a reasonable
4 conclusion you can reach that that person was
5 probably monitored that month and there's no --
6 and it didn't get in there.

7 But if the person is monitored and
8 it stops and then there are blanks for the
9 remainder of the year, for instance, on a
10 monthly exchange, I think there's a reasonable
11 conclusion that he was removed from the
12 monitoring program.

13 I mean --

14 MEMBER BEACH: I guess I wonder if
15 you're going to read the zeros, the blanks and
16 the dashes all the same?

17 MR. HINNEFELD: Well, the zeros are
18 definitely a red --

19 MEMBER BEACH: Someone put in --

20 MR. HINNEFELD: -- badge left to
21 tackle. That's how we intend to intend to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interpret it.

2 MEMBER BEACH: That seems fair.

3 MR. HINNEFELD: Okay. The blanks
4 and the dashes are what I just described. I
5 think there's probably -- Mark, am I off base?

6 MR. ROLFES: I was going to say, the
7 dashes I only recall seeing in the earlier time
8 period during the handwritten records. If you
9 look, there's like an annual summary sheet with
10 four quarters and I recall seeing dashes.

11 MR. HINNEFELD: Yes, it would be
12 odd to have a database with a dash.

13 MR. ROLFES: Right.

14 MR. HINNEFELD: So, it's probably
15 either a zero or empty if you're getting the
16 result off the database.

17 MR. FITZGERALD: Yes, and where
18 this came from is we did -- this is really going
19 back, so bear with me -- we did a sampling of
20 24 workers and picked three of them to look at
21 the question of they were, you know, just for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 validating the handwritten records versus the
2 electronic. And that's where we found that we
3 were picking up these dashes that were being
4 interpreted as zeros, for example, and this was
5 pre-'76.

6 And there wasn't any rhyme or reason
7 but all three that we picked out had the dashes
8 in it and in some cases, they were carried over
9 as zeros.

10 It wasn't a systemic thing where all
11 the dashes became zeros, some of them became
12 zeros. So, it was pretty clear there wasn't a
13 real system in place where they interpreted the
14 dashes one way or the other, it just seemed
15 like, you know, whoever was doing the reporting
16 would make some judgment call.

17 There was definitely at least one
18 worker who was a rad worker who had a dash and
19 it was interpreted as a zero and that's what
20 kind of raised this concern that, well, that's
21 kind of hard to believe and we were wondering,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you know, whether or not the monitoring was done
2 or not.

3 And, you're right, I mean you had
4 two options, either monitoring wasn't done or
5 it was truly a zero and it was just checked off
6 that way.

7 DR. NETON: Absent any definitive
8 way to determine that, I don't know if -- we
9 would either assign missed dose or a coworker
10 would. I don't know why, maybe we wouldn't
11 just do it both ways. We couldn't actually
12 determine to any degree of certainty which it
13 was and pick the higher dose and assign it.

14 MR. HINNEFELD: I don't know of any
15 particular reason why not to do that.

16 DR. NETON: I mean it would have to
17 be pretty certain and we would have no idea
18 which it was and, you know, stick with the one
19 that produces the higher dose for that time
20 period.

21 MR. HINNEFELD: The only other

1 thing that might shed some light on the issue,
2 I recall in the late '80s, the Delphi Group came
3 down to Pantex because of problems with the
4 dosimetry records from the earlier years and
5 not getting all the dosimetry records in one
6 consolidated location.

7 This might be something that they
8 looked into, I don't know, we could ask about
9 it. But, I know that they went through all the
10 individuals' historic radiation exposure
11 records and tried to consolidate them and take
12 care of any discrepancies in the records and
13 such.

14 So, it could be that they might have
15 looked into the issue of, you know, a zero
16 versus a dash or, you know, gaps in the
17 monitoring data. But we'd have to check on
18 that.

19 MR. FITZGERALD: I don't sense
20 there's any disagreement on sort of the issue,
21 but, you know, how one can best approach that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there might be several different ways that
2 would be easy. I, you know, I think it's just
3 that --

4 DR. NETON: I don't know how many
5 cases we're talking about that have this issue.

6 MR. FITZGERALD: It may be tagged
7 to what would be considered the work categories
8 that are rad workers that if you, you know, you
9 couldn't tell and it was a zero, maybe you would
10 do the coworker dose as the conservative
11 approach. I don't know, it just seems like --

12 DR. NETON: That would seem to be
13 the way. I mean I can't imagine there's that
14 many. I mean these are all non-presumptive,
15 remember. And if there's not that many and to
16 spend a huge amount of effort to ferret out this
17 --

18 MR. FITZGERALD: A lot of these
19 issues today are efficiency issues.

20 DR. NETON: It's going to be
21 efficiency issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: So, bringing that
2 up in that context, I think.

3 DR. NETON: I guess we can go back
4 and look and see how many this might affect.

5 MR. HINNEFELD: Well, what if we
6 just commit to doing what Jim suggested that any
7 instance where you have a blank, because that's
8 what the question is. When there's a blank in
9 a person's record, we'll either interpret that
10 as a missed dose, you know, a red zero or a
11 coworker based in, I guess, their job title and
12 determine whether it's 50 or 95th percent,
13 coworker, right?

14 DR. NETON: The coworkers always
15 get full distribution.

16 MR. HINNEFELD: They get full
17 distribution? Okay.

18 DR. NETON: For external.

19 MR. FITZGERALD: But, maybe I'm
20 misunderstanding, I think after '76, you
21 wouldn't have a blank in the electronic, you'd

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just have a zero, wouldn't you?

2 MR. ROLFES: If a person was
3 monitored after 1976, they would have a zero
4 entered, if they received no reported dose
5 above the minimum detectable level.

6 MR. FITZGERALD: But, it could
7 potentially be a blank which is what we're kind
8 of concerned about.

9 MR. ROLFES: If there's a blank,
10 that would indicate that the person wasn't
11 monitored.

12 MR. FITZGERALD: But we don't --
13 see, that's the part --

14 MR. HINNEFELD: And that's the
15 question --

16 MR. FITZGERALD: We saw some
17 discrepancy before in '76 on that issue.

18 DR. NETON: Some of them resulted
19 from zeros even though they were blanks and some
20 of them -- that's the issue that --

21 MR. FITZGERALD: That some were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 zeros even though they were blanks?

2 MR. ROLFES: Yes. Well, we did a
3 sampling of the actual original records and
4 looked at the assigned dose pre-'76 and we had
5 the benefit of having the original records so
6 you could see what was reported versus what was
7 actually --

8 MR. FITZGERALD: This was prior to
9 '76 and we did determine that because we have
10 the original records?

11 MEMBER BEACH: Well, that's in your
12 data adequacy.

13 MR. FITZGERALD: That's
14 determinable?

15 MR. ROLFES: Yes, that's
16 determinable before '76, it's not after '76.

17 MR. FITZGERALD: After '76, though
18 we have --

19 MR. HINNEFELD: Just electronic
20 printouts.

21 DR. NETON: Electronic records and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the rad -- who ever you spoke to said that --

2 MR. ROLFES: Yes.

3 DR. NETON: -- it was their
4 practice that zeros indicated a monitored
5 worker?

6 MR. ROLFES: Correct.

7 DR. NETON: And that's what we've
8 got to go on there.

9 MR. FITZGERALD: Yes, we have --
10 again, that's a contemporary assessment going
11 back, so it's kind of hard to -- that's why I'm
12 saying if we could get a Delphi Group or
13 somebody that's maybe more in tune with maybe
14 practices that was brought in 20 years ago, look
15 at practices going backwards, that might be a
16 little bit more definitive than somebody today.

17 MR. ROLFES: The person I spoke
18 with was there I know in --

19 MR. FITZGERALD: Does he go back --

20 MR. ROLFES: -- 1983 at least, so.

21 MR. FITZGERALD: Okay, that's not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 too bad.

2 MR. ROLFES: But not all the way
3 back to '76. Well, on the same job.

4 MR. HINNEFELD: But thinking about
5 what we would get, we get a person's exposure
6 history and we're just talking about post-'76,
7 we're talking only the most recent period.

8 And we will -- maybe we get nothing
9 on the external question and, you know, when we
10 make a -- I mean in that case, we'd probably say
11 the person probably wasn't monitored, he had
12 nothing, we can probably conclude they were not
13 monitored, none of their employment years were
14 searchable in the record. We would probably
15 conclude they were not monitored.

16 If we had a person who was
17 intermittently, you know, had some readings and
18 then had some periods when we don't get
19 anything, employment when maybe we don't get
20 anything, couldn't we just judge on like job?
21 Like if the person's in a job that would be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radiation worker, we'd say, well, even though
2 we don't have a reading, we could -- let's, you
3 know, let's assume they were monitored and the
4 zeros just didn't get recorded.

5 I mean, to me, I would like to finish
6 it. You know, I would like to answer the
7 question today instead of going back to Delphi
8 Group and going back to Pantex and trying to get
9 anything out of Pantex, I'd rather finish it.
10 I mean can't we just make a decision like that
11 today?

12 DR. NETON: The only thing is on
13 whether or not we believe this latest
14 information from the site that says zero meant
15 you were monitored.

16 MR. HINNEFELD: I understand that
17 but we don't have to believe that. We could say
18 that we could make judgments based on job title
19 about whether a person should have been
20 monitored or not. And if we feel like they're
21 in a job that should have been monitored, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could -- because it's not going to be that
2 different, probably.

3 DR. NETON: Probably won't be.

4 MR. HINNEFELD: You know? The
5 coworker in this are probably not going to be
6 that different. You could just make a judgment
7 and today, you can make a decision today and say
8 that after '76, well, before '76 I guess we were
9 just going -- or this may apply to all times,
10 because before '76 we were not sure what the
11 zero or the blank mean.

12 We could just say that if a person's
13 in a job category that was monitored, then we're
14 going to figure they were monitored and give
15 them a missed dose for that year. And if
16 they're not in a job category that was monitored
17 or if you get their entire history, they had a
18 long employment and you get their entire
19 employment history and there's nothing there,
20 we're going to assume they're not monitored.

21 MR. ROLFES: I mean, we essentially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do this already in dose reconstruction. I mean

2 --

3 MR. HINNEFELD: I think -- I've
4 read that, what we've written in the Site
5 Profile it's sort of -- and our response kind
6 of comes -- our Site Profile's kind of
7 nonspecific in this instance.

8 So, we could put something -- you
9 know, we could write something in there or
10 somewhere that says this is how to interpret a
11 blank in the record and just be done. You know,
12 I don't -- I really don't want to string this
13 out over doses that aren't going to matter.
14 It's not going to be that different.

15 CHAIRMAN CLAWSON: No, and neither
16 do I. I just want to be able to understand how
17 it's going to be handled.

18 MR. HINNEFELD: What about what I
19 just said? If it's blank and the person was
20 never -- they had no exposure record, we're just
21 going to assume they're not monitored.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If they have intermittent
2 monitoring, then we're going to, you know,
3 probably they're going to be in a job title if,
4 you know, I don't know if we're going to have
5 their entire history of job titles, but they're
6 probably going to have a job title that's going
7 to have them monitored -- being monitored, so
8 we're going to assume those blanks are zeros.

9 You know? And if we've got an
10 intermittent and we see that their job changed
11 from a production supervisor to --

12 CHAIRMAN CLAWSON: I think what I
13 look at is like quality assurance. You may
14 have some that so much of the year they were
15 monitored but then the rest might have been
16 clean work, you know, and --

17 MR. HINNEFELD: Yes --

18 CHAIRMAN CLAWSON: -- they're off
19 their --

20 MR. HINNEFELD: Then we'll assume
21 it wasn't. If they're in a job title that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were probably monitored part of it and they
2 stayed in that job title and they stayed in that
3 job title and the monitoring disappears and we
4 just say, well, but he's still in that job
5 title, we don't character it being work, we're
6 going to consider him as monitored and give
7 missed dose because the coworker wouldn't be
8 much different.

9 I mean, I think we can handle this.

10 CHAIRMAN CLAWSON: Right, and so do
11 I, it's just coming down to how we're going to
12 do it and what you just said sounds --

13 MR. HINNEFELD: We owe you a
14 written description of what I said, but I think
15 we can just distribute that to the Work Group
16 and then we don't have to actually to get
17 together on this unless there's some objections
18 or a need to discuss it. Right?

19 CHAIRMAN CLAWSON: Everybody?

20 MEMBER BEACH: I agree.

21 MR. HINNEFELD: Okay. Now, I've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 probably over-simplified this and there are
2 going to be a lot people telling me why we can't
3 do this, but I think we should be able to do
4 something like this.

5 CHAIRMAN CLAWSON: I think we can,
6 it's just making sure that it's going to work
7 for everybody. We have a lot of people outside
8 of us that have influences into --

9 MR. HINNEFELD: Well, the people
10 that really know how things are done, you know,
11 I'm not the person for this.

12 CHAIRMAN CLAWSON: Yes, and nor are
13 we, but as the Work Group, we've got to make the
14 decision.

15 MR. HINNEFELD: Well, I think this
16 is a conservative, bounding approach. I mean
17 we're giving the benefit of the doubt to people
18 who are not -- who we don't have a record on who
19 were monitored at some point during their
20 career and have the same job title and they go,
21 well, you know, we'll just say they were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitored. I mean that's a pretty good benefit
2 of the doubt to those people.

3 MR. FITZGERALD: Yes, this is not
4 too different than the sampling we did pre-'76
5 that's in the original response in 2011 which
6 was -- and we looked at a record of somebody who
7 presumably was a rad worker who should have had
8 something but he got a blank and then got a zero
9 in his dose.

10 MEMBER BEACH: Yes, I actually have
11 it pulled up here.

12 MR. FITZGERALD: And that was
13 something that gave us pause although, you
14 know, it was hard to verify exactly, you know,
15 what his peers were doing, but, you know, that
16 concerned us less because you could actually do
17 a dose reconstruction and look at that and make
18 adjustment.

19 You're talking about in post-'76,
20 you wouldn't be in that position because you
21 wouldn't have any original records to show

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether it a blank in the first place.

2 MR. HINNEFELD: Now, the original
3 records derived with the person who's exposure
4 is in the claimant file?

5 MR. ROLFES: Yes, they're
6 handwritten files that we received from --

7 MR. HINNEFELD: So, for pre-'76
8 then, we'll start there for now.

9 MR. ROLFES: Pre-'76, we're
10 current.

11 DR. NETON: I agree with what you
12 were saying earlier, if we don't know what it
13 was we can almost just assume that we didn't get
14 any exposure record for that particular time
15 period and then we treat it as we would normally
16 do like look at the guy's job and say, did this
17 person need to be monitored, if he did, it's
18 unmonitored exposure, in my opinion.

19 If he didn't need to be monitored,
20 then we give him whatever we normally do like
21 those, you know, a person who had almost no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potential for external exposure.

2 MR. HINNEFELD: Yes, as I think
3 about this, we'll need to write up how we would
4 address various categories of claims and submit
5 it to the Work Group. But I think we don't need
6 to do any more research. I think we can finish
7 this.

8 CHAIRMAN CLAWSON: So do I. The
9 only fly in the ointment that I see is, as we've
10 seen throughout all of these sites, the last job
11 the person did is usually what you see and you
12 don't see what he was before that.

13 You know, we've seen this so many
14 times --

15 MR. HINNEFELD: Well, I understand
16 that --

17 CHAIRMAN CLAWSON: That's my only
18 concern on this of being able to do that because
19 his job title could have changed seven, eight
20 times through his process.

21 MR. HINNEFELD: Well, I understand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that, but I think a dose reconstructor would
2 figure it out given the information in front of
3 him and if he can't, he'd make a
4 claimant-favorable judgment along those lines.

5 I mean I think this can be done. I
6 don't think we -- I don't think that additional
7 research is going to provide us a more
8 definitive answer than we have right now. I
9 think we just need this almost like a policy
10 decision.

11 MEMBER BEACH: Well, the agenda
12 says, NIOSH to provide clarification of
13 zero-entries question. So that stands.

14 MR. HINNEFELD: That's what we're
15 going to --

16 MEMBER BEACH: That's you're --

17 MR. HINNEFELD: Yes, and we
18 actually wrote some internal responses but all
19 it does is refer you to the Site Profile and the
20 Site Profile just says if somebody's not
21 monitored, you give them coworker and this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 how you do a missed dose calculation.

2 So, it's not specific about how to
3 interpret a blank in the record.

4 CHAIRMAN CLAWSON: Well, that
5 sounds --

6 MR. HINNEFELD: Okay. So,
7 somebody take a note that we need to reopen some
8 of these.

9 MEMBER BEACH: A timely response
10 maybe?

11 MR. HINNEFELD: That's asking a
12 lot. Now you're asking a lot.

13 MEMBER BEACH: I am asking a lot.

14 DR. NETON: We're going to have a
15 few responses.

16 MR. ROLFES: Just to point out,
17 there is a practice that they did at Pantex
18 where people, you know, working for the first
19 part of the year, say the first few months, were
20 working on a particularly high radiation
21 exposure job, those people were frequently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 moved out to other programs to other jobs at the
2 end of the year when they were approaching
3 administrative limits or, you know, a site's
4 limit.

5 So, if they were working on a hot
6 program, we know they'd be moved to a lower
7 radiation exposure job.

8 CHAIRMAN CLAWSON: Burn and burn,
9 it's everywhere and that's why their job
10 classification may change and, you know, you
11 may see it for two or three months and then
12 nothing the rest of the year and this is where
13 the issue comes up is they are still rad
14 workers, but they hit limits or whatever else.

15 MR. ROLFES: And then also on the
16 opposite aspect of that, I guess, people that
17 were routinely working lower exposure jobs
18 might have had low amounts of radiation
19 exposure and the year was coming to an end they
20 would take the people that had approvals to work
21 on other programs that had higher neutron dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exposure potential, so those people might have
2 gone into an area like the volatile
3 inventories, for example.

4 CHAIRMAN CLAWSON: So --

5 PHONE PARTICIPANT: No, no, no.

6 MR. KATZ: Someone was whispering
7 on the phone. Hello?

8 CHAIRMAN CLAWSON: That wasn't us.
9 Okay.

10 So, anyway, yes, and this is our
11 concern as a Work Group, you know, how are we
12 going to be able to handle this and go from
13 there. So, we'll expect a response back on
14 that. We'll be able to take care of that.
15 Okay?

16 MR. FITZGERALD: Okay. Moving on
17 to Issue 7, this is the many-storied
18 neutron-to-photon ratio saga and actually, the
19 context discussion mirrored similar
20 discussions for other sites, particularly
21 Mound, as Josie may recall.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I think the thinking evolved as
2 we went through the discussions at both sites
3 actually and we started the neutron-photon
4 ratios and talked about NCI adjustment factors
5 and I think finally ended up with a different
6 approach in applying the MCNP model for the
7 coworker model.

8 Ron tends to be our go-to person for
9 neutrons. Ron, do you want to just give a bit
10 of a synopsis since this has a pretty long
11 history and just sort of bring us up to date and
12 kind of where we left it?

13 I know we did provide a short
14 briefing paper on what issues remained. I
15 think that was about a year ago, just to sort
16 of as a placeholder on this since there was a
17 number of different nooks and crannies in it.

18 DR. BUCHANAN: Well, yes, this goes
19 back quite a ways. We originally were going to
20 use the N/P values but did not feel that they
21 were binding, did not bound the neutron dose in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some operations at Pantex.

2 And so, then as I recall the last
3 time we left it, NIOSH was going to look in using
4 the MCNP similar to, this is around the time we
5 were working on this as Mound, was just said.

6 And so, we had left it where our last
7 goal post was that NIOSH was going to provide
8 the new information to SC&A on this and we had
9 not heard further. And so this was kind of
10 opened at the last meeting and so I guess we are
11 waiting to see what NIOSH plans are on neutron
12 dose reconstruction at Pantex or what direction
13 they wish to go.

14 MR. FITZGERALD: And as I recall,
15 too, and this was part of the paper that we
16 provided, a lot of it came down to, you know,
17 not so much an issue with MCNP as an overall
18 approach. But given the fact that, you know,
19 Pantex went through a number of weapons systems
20 with different neutron signatures over time
21 whether or not MCNP and the assumptions and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 parameters that were in that model could be
2 applied and be appropriate across the board for
3 all those different systems.

4 You know, it's sort of like a
5 question of does the one-size-fits-all, given
6 the range of these signatures at Pantex. That
7 was one key issue as I recall. Is that right?

8 DR. BUCHANAN: Mound had an MCNP.
9 If you had a little more controlled conditions
10 on what the source term was at Pantex that it
11 could vary and the geometry could vary whether
12 it was AP or PA or what.

13 And so, we kind of questioned the
14 use of MCNP more at Mound but I don't think we
15 really got a final answer of what NIOSH proposed
16 there.

17 MR. FITZGERALD: And on the
18 positive side at Pantex, they have something
19 called intrinsic radiation measurements. So,
20 in a sense, you also had the advantage of having
21 some pretty good measurements for each system

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so that, in a sense, we knew that, you know, you
2 had a divergency or you had a variety of
3 energies and whatnot.

4 But they were measured pretty
5 precisely so you did have that input. But
6 again, whether the model could accommodate that
7 and how it would accommodate that, I think, was
8 where we left it.

9 DR. NETON: This is Jim. I think I
10 can start this one off.

11 This has had a pretty long history,
12 but there are three distinct periods of neutron
13 monitoring that we need to deal with at Pantex,
14 before '75 with the NTA film, which is where we
15 had proposed the MCNP model at one point and we
16 received your response and your criticisms of
17 that model.

18 Then there's the '75 to '94 time
19 period where it was TLD that was used but there
20 were some issues with it. The site actually
21 went back and revised those TLD readings based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on what they call the Stanford algorithm. They
2 went back and corrected them.

3 In fact, we're using those values
4 for dose reconstruction now for anybody that
5 was monitored in that period.

6 After '94, they switched to a TLD
7 that was adequate to measure both neutrons and
8 photons and so we're using those at face value.

9 There's three distinct periods
10 here. We had proposed neutron-photon ratios
11 for some of these periods and Tim Taulbee's on
12 the line, he'll flesh out the details here.
13 But we've come to the conclusion that it's just
14 not a viable method to use at Pantex for a number
15 of reasons and Tim will talk to that.

16 And we feel the most appropriate way
17 to go now is to just use the full distribution
18 neutrons as measured and assign that to the
19 worker during the -- for the non-presumptive
20 cancers during the SEC period which is the
21 entire site's history where neutrons were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measured.

2 So, Tim, are you on the phone still?

3 DR. TAULBEE: Yes, I am.

4 DR. NETON: Yes, I just sort of set
5 the stage. Do you want to elaborate a little
6 more on what we're doing?

7 DR. TAULBEE: Sure. The main
8 reason that I guess we're deviating here from
9 the N/P ratio that we had proposed in the past
10 was when we started to get into the details of
11 the dosimetry that we started seeing some
12 anomalies that just don't make physical sense
13 that were some extremely high ratios, you know,
14 on the order of 30 to 1 and 40 to 1. And that's
15 really just not physically possible.

16 And the reason for this, at least
17 what we suspect, is that people were wearing
18 lead aprons. And so what was ending up
19 happening was the photon dose was being
20 stripped out, the neutron dose was coming
21 through and so you end up with these bizarre

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ratios.

2 And then on top of that -- let me
3 further clarify here. You end up with a
4 bizarre ratio but the neutron dose is still
5 fairly low. And to give an example, you've got
6 a neutron dose of 300 millirem for a month or
7 a quarter and you have a ten millirem photon
8 dose. So, that's what's resulting in this
9 really high ratio.

10 The neutron doses are all still, you
11 know, well below regulatory type limits as well
12 as -- and more of what you would expect in the
13 workplace of these workers that were
14 intermittently or continuously kind of
15 handling these fissile materials.

16 So, this is why we've kind of
17 changed and gone to the kind of an annual dose
18 distribution of a coworker type of model to
19 estimate what these neutron doses are. This is
20 more realistic from what people could be
21 receiving. And then we don't have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 messiness of trying to figure out who was
2 wearing an apron, when they were wearing an
3 apron, when they weren't wearing an apron,
4 which weapon they were working on when they were
5 wearing an apron, et cetera.

6 So, because Pantex being pretty
7 unique from all of the shielding, neutron
8 shielding they were trying to do in addition,
9 I think the overall approach of using the
10 measured neutron doses is the most
11 scientifically defensible and the most
12 reasonable from a dose reconstruction
13 standpoint.

14 Does that help?

15 DR. NETON: Yes, Tim, thanks.

16 I would add also, though, that early
17 period, we're no longer relying on the MCNP
18 model, we're going to correct the NTA film for
19 its shortcomings in measuring the energy
20 spectra neutrons that we believe were present
21 at Pantex.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, we will owe you essentially a
2 White Paper on this that describes how we've
3 gone about this neutron bounding.

4 And I guess the thing to discuss
5 really is we're going to assign the full
6 distribution. This is a non-presumptive
7 cancers during the SEC period. The person who
8 should have been -- who has no neutron
9 monitoring will receive the 50-percentile
10 value of the monitored workers along with the
11 uncertainty distribution about that as far as
12 the dose goes.

13 We feel that's the most reasonable
14 way to go during this period. It's different
15 that will be done in other places, but we think
16 it's the best we're going to be able to do.

17 MR. FITZGERALD: I'm just trying to
18 recall the, maybe Ron, you can too, going back
19 that far in terms of the adequacy, you know,
20 having enough neutron data itself, monitoring
21 data, do you remember, Ron? I haven't looked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at that.

2 DR. BUCHANAN: Well, I think the
3 the question was not necessarily the amount of
4 data, it was the accuracy of the data. So,
5 what, you know, of course, the old common
6 problem with the NTA film is stating the lower
7 energy detection.

8 And so, what I understand you saying
9 is that you're going to use NTA film, the
10 questionable TLD and the good TLD information
11 to create a coworker model and then assign that
12 to everyone that should have been badged for
13 neutrons for all periods.

14 And now, you feel apparently that
15 you can use the NTA and the first batch of TLD
16 data and make correction factors for it, is
17 you're going to do an annual type tally?

18 DR. NETON: Yes, these will be
19 annual doses. What you call the questionable
20 TLD period has already been handled by the site.
21 They went and re-analyzed all the data and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 provided it to us and we're using those --
2 Stanford, which I think is a consulting
3 company, went back and revised the doses based
4 on the shortcomings of the measurement
5 properties of that badge.

6 So, those have already been redone
7 and we will redo the NTA measurements based on
8 just what you talk about, the fading and the
9 energy response, dependence, those sort of
10 things.

11 MR. FITZGERALD: And that was
12 pre-'74 or?

13 DR. NETON: Pre-'75.

14 MR. FITZGERALD: Pre-'75.

15 MR. ROLFES: '77.

16 DR. NETON: '77, I'm sorry, I
17 always get my dates mixed up. You get the idea:
18 the early period -- I thought the early period,
19 the middle period, then late period.

20 MR. FITZGERALD: And actually, we
21 did spend a fair amount of time identifying some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the NTA issues. I mean, of course, they've
2 been identified other sites as well, so I think
3 all that's a matter of record, our comments on
4 that, so I'm not sure we need to -- is there any
5 more we need to say on that, Ron? I think there
6 was quite a bit on NTA.

7 DR. BUCHANAN: No, at this point, I
8 would have to, you know, have a White Paper and
9 review it and to see our position on it.

10 MR. FITZGERALD: Right.

11 MR. HINNEFELD: Now, the other
12 thing to recall, if we're really unable to
13 interpret the NTA neutron data, you know, if you
14 feel like the methods, you know, it's not
15 interpretable, then there is really nothing
16 left to the neutrons in the early period.

17 So, it kind of relies, you know,
18 providing neutron doses in early periods relies
19 on, in some fashion, on being able to
20 reinterpret the NTA readings based, you know,
21 given its known deficiencies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, you know, that's kind of where
2 we're at. Now here's something we can do.
3 There might, you know, so that kind of work
4 around.

5 DR. BUCHANAN: In other words, that
6 would fall under the SEC period. So if you
7 can't redo -- it's kind of for non-SEC cancers,
8 trying to assign some neutron dose here in this
9 NTA period.

10 MR. HINNEFELD: Correct.

11 MR. FITZGERALD: So, I guess, see
12 when the White Paper comes back?

13 CHAIRMAN CLAWSON: That's all we
14 can do is when we get that. It sounds good, we
15 just need to be able to take a look at that and
16 see what we've got.

17 DR. NETON: This realization to use
18 the full distribution just came about not too
19 long ago. I mean there are those in the
20 background who've been working pretty hard
21 trying to figure out how to do these N/P ratios

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and when these apron issues came up with these
2 implausibly strange ratios and stuff, we
3 figured this is the way to go, at least salvage
4 some sort of neutron assignments during the SEC
5 period.

6 CHAIRMAN CLAWSON: Okay. All
7 right. So, we'll be waiting for that paper and
8 then Ron will be the one to evaluate that?

9 MR. FITZGERALD: Ron's been doing,
10 I guess, both the Mound and the Pantex.

11 DR. BUCHANAN: Yes, I will take
12 care of that when it's available.

13 CHAIRMAN CLAWSON: Okay, thanks,
14 Ron.

15 MR. FITZGERALD: Okay, Issue 8, I
16 guess this would be in the sort of category of
17 loose end. It's something we raised way back
18 when on the Site Profile Review that, you know,
19 we were aware that, historically, Pantex
20 supported not only the Nevada Test Site, but as
21 we learned when we went to Clarksville, Medina,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they did a lot of work supporting those sites
2 when they were opened and so there's a lot of,
3 I wouldn't call it work for others, but they did
4 a lot of the support at other installations.

5 And the Site Profile was relatively
6 silent on that activity and even though we have
7 no problems with the response, the original
8 response was that -- and this probably is across
9 the board -- that if an individual does do work
10 at other sites and gets dosimetry, then those
11 records do exist, they will be included and
12 that's sort of the policy.

13 However, practically speaking, it
14 would be helpful, we believe, to the Site
15 Profile to, since this is essentially a roadmap
16 for dose reconstructors, to just make it make
17 it very clear to the dose reconstructor that,
18 you know, this was a routine occurrence where
19 a number of workers would go off to these other
20 sites and that just to cue them in to the fact
21 that they should be sensitive to looking at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interview and looking at other things to
2 identify potential exposures elsewhere.

3 Because even though at Pantex it was
4 relatively low, I think at some of these other
5 sites I think not be the case not to miss that
6 dose even if the dose is recorded at the other
7 sites or might have been dosimetry, to point the
8 dose reconstructor in that direction.

9 This is more of a qualitative thing
10 that we felt the Site Profile could be helpful
11 if it did emphasize that there was these
12 activities going on and I could the name of the
13 sites. I think it was pretty clear what those
14 sites were.

15 MR. ROLFES: Easy enough to add a
16 statement in the TBDs --

17 MR. FITZGERALD: Well, I'd say it's
18 one of these things that we had raised in 2007
19 and sort of one of these lingering loose ends
20 that we felt would improve the Site Profile.

21 And I think the same thing would go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for some of these broken arrows. There was a
2 number of things that Pantex did that sure was
3 supportive --

4 MR. FITZGERALD: I was going to
5 say, the only issue with the broken arrows, if
6 they're responding to an incident like Thule,
7 for example, that's not a covered site that
8 they're responding to, so, or to a military
9 installation that's not part of the site.

10 Now, saying that, we do have
11 bioassay results from some individuals who
12 responded to Thule. So, yes, it's a potpourri.
13 I guess I wasn't quite as sensitive to this
14 until I was doing, was it Clarksville,
15 Clarksburg? I guess it's Clarksville.

16 CHAIRMAN CLAWSON: Clarksville.

17 MR. FITZGERALD: Looking at that
18 and seeing, you know, the Pantex people showing
19 up, which makes sense, they were standing up the
20 installation in the same area in Medina. But,
21 it just struck me that there was a fair amount

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of activity. Of course, they had a number of
2 folks that would go out to the test sites.

3 MR. ROLFES: Things that occurred
4 at Clarksville, also once again, that's a
5 little bit separate kind of issue. It's a
6 military installation with a DOE facility
7 inside of it, so, there were things that were
8 done on the military portion of the base that
9 weren't that --

10 MR. FITZGERALD: They could keep
11 that clean, right.

12 MR. ROLFES: -- that weren't included in
13 --

14 MR. FITZGERALD: Anyway, without
15 belaboring it, I think that was just something
16 that we felt would be useful to emphasize that's
17 easy enough to --

18 MR. ROLFES: Okay.

19 MEMBER BEACH: So, just a
20 paragraph?

21 MR. FITZGERALD: Yes, just to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 identify the fact that there was ongoing
2 activity and maybe identify the sites and just
3 sort of indicate that one should be
4 particularly conscious of this in terms of the
5 interviews and what have you in terms of picking
6 up the fact that these worked for other sites.

7 Is that easy enough?

8 CHAIRMAN CLAWSON: Yes.

9 MR. FITZGERALD: Let's see. Okay,
10 Number 9 actually dealt with an issue that we've
11 raised at a number of sites as to whether or not,
12 particularly going back in time, whether
13 incidents were addressed adequately in the Site
14 Profile and whether or not there was a good
15 rendering of incidents in terms of whether
16 bioassays were taken, what have you.

17 This went back and forth over a
18 couple of years and essentially, I think, the
19 discussion got around to the point whether
20 there's no way to prove a negative. It looked
21 like there was something on the order of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hundred events that were reported. There was
2 no evidence that they were neglecting to
3 identify, it was an awareness that, in the early
4 days, their criteria for what constituted an
5 event was a lot different than what it ended up
6 being in the '80s, no question about that.

7 So, some of the examples I think
8 that we culled back in 2006 and '07 on the Site
9 Profile where these may not have been
10 identified and captured as an event.

11 I guess we've come around to
12 thinking that's not surprising since the
13 criteria had changed from the '60s up through
14 the '80s. And in any case, this has all been
15 subsumed by the SEC.

16 And so I think, generally, we left
17 this to the Work Group that we don't think it
18 is a real sticking problem as far as the Site
19 Profile. I think it's one of these judgment
20 issues and I don't think Pantex differed
21 dramatically from the other sites in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 its historic policy on incident reviews and so
2 we wouldn't necessarily stand that as a
3 continuing problem.

4 It was highlighted, I think, in the
5 data adequacy piece. So we want to make sure
6 that, you know, we do treat it since it was
7 raised.

8 But at this point, we think it's
9 been sufficiently discussed, addressed and, in
10 any case, it falls within the SEC period. So,
11 and in a lot of respects we think this is an
12 issue that the Work Group can talk about but we
13 wouldn't contend that it's a problem that
14 should be addressed in the Site Profile.

15 MR. ROLFES: This is Mark.

16 And since that finding, Joe, I mean
17 that's from quite a while back, we've made
18 multiple data captures and we've got quite a
19 number of records. I think we've got
20 everything that we can get on incidents.

21 And everything that we received

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 when we received a claimant's file and with all
2 the incident records and all the dosimetry
3 records that we have, any time an individual's
4 name appears in a record, it's been
5 SPEDElite-linked into NOCTS and into that
6 claimant's file so if there was an incident that
7 shows up where the dose reconstructors would
8 see it along with their dosimetry records.

9 MR. FITZGERALD: Yes, and we -- on
10 the site visit, I think that was actually one
11 that Stu was along, we wanted to make one last
12 stab at this thing, see if in fact further
13 searches might identify any incidents that were
14 missed and we did not find any. So, I think
15 that was another driver behind recommending
16 closure on this thing.

17 MEMBER BEACH: Sounds like it's
18 been fairly well covered.

19 MR. FITZGERALD: Yes, we took one
20 last stab at it. But again, I think we've done
21 -- it sounds like NIOSH has too -- we've done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 enough due diligence to believe that there's
2 nothing that stands out as a problem at this
3 point. So I'll leave it to you.

4 CHAIRMAN CLAWSON: Well, I guess I
5 just -- what do you think, Joe?

6 MEMBER BEACH: I'm comfortable
7 with that it's been covered and I'm comfortable
8 with closing it.

9 CHAIRMAN CLAWSON: Okay. Phil?

10 MEMBER SCHOFIELD: Oh shoot.

11 CHAIRMAN CLAWSON: You're on,
12 Phil.

13 MR. KATZ: Phil, are you okay?

14 CHAIRMAN CLAWSON: Hey, Phil.

15 MR. KATZ: Okay, I think he's
16 indisposed or something right now.

17 CHAIRMAN CLAWSON: Okay. I don't
18 see any problem with it. Other Work Group
19 Members?

20 MEMBER SCHOFIELD: Brad, I don't
21 have a problem with that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Okay, thank
2 you, Phil, appreciate it. We'll go ahead and
3 --

4 MEMBER SCHOFIELD: Sorry.

5 CHAIRMAN CLAWSON: No problem.

6 MR. FITZGERALD: Okay, so we'll go
7 ahead and close that one.

8 Okay, moving right along.

9 MR. KATZ: We should have a bell to
10 ring when we close an issue. Ding, that would
11 be nice.

12 MR. FITZGERALD: Something
13 dramatic.

14 MR. HINNEFELD: Should have a sound
15 effect of a beer tab.

16 MEMBER BEACH: Little early for
17 that.

18 MR. HINNEFELD: I guess.

19 MR. FITZGERALD: Anyway, we're up
20 to Issue 10 which deals with a question that was
21 raised originally way back on the Site Profile

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Review which is the air sampling data for the
2 firing sites and how conservative -- I guess
3 this is the issue that we're raising -- how
4 conservative does one need to be in
5 interpreting those air sample results,
6 assigning a dose estimate for the workers.

7 And these are dryers and operators
8 at the hydroshots, the firing sites at Pantex
9 where they would essentially pressure test the
10 basic mock warhead units to see -- there's a
11 number of different applications would and I'll
12 get into this and to determine how they would
13 stand up to pressure.

14 And the depleted uranium was
15 involved so we're not talking about anything
16 like HEU or Pu but that it's essentially DU.
17 And the question we had was not so much -- I had
18 to go back and actually refresh my memory
19 because this is pretty old, but the issue wasn't
20 so much what the estimates were for the
21 individuals that were sitting in the bunker.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We had several inside assessment and outside
2 assessment.

3 The inside assessment, we matched
4 up the monitoring records with the -- actually
5 the tables in the TBD with the records of doses
6 for the individuals in the bunkers and they
7 lined up pretty good.

8 The issue we had was more with the
9 outside measurements. These were the
10 individuals that went back to the firing site
11 afterwards and went to, I don't want to use the
12 word ground zero, but, you know, the point of
13 detonation and collected up the pieces and who
14 were essentially exposed outside the --

15 MEMBER BEACH: So it's the dust,
16 the residual dust that's left over?

17 MR. FITZGERALD: Right. And so,
18 you know, there was -- and I'll go ahead and read
19 this because, again, this goes back -- this is
20 actually the original Site Profile Review,
21 that's how far back it goes, back to 2008.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The interpretation and
2 verification of outside the bunker air sampling
3 data was considerably more difficult than the
4 inside. For a large number of outside air
5 samples, it was uncertain which number
6 represented the total air volume drawn to the
7 meters where the activity in the sampled air,
8 which was dpm per cubic meter.

9 And overall, the many questions
10 that remain unanswered about the conditions to
11 which the filters used for the operators and the
12 drivers that were moving the workers around
13 makes it difficult to form a dose
14 reconstruction with the information provided.

15 For example, one, it is not known
16 whether the operators or drivers were wearing
17 respiratory protection, we knew the ones in the
18 bunkers were.

19 Two, whether the operators wore any
20 other protective clothing while going to ground
21 zero to collect what remained.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And the location of the outside the
2 bunker air monitors isn't known. So, we're not
3 really clear where the air samplers were
4 located from which the measurements were taken.

5 MR. KATZ: Phil, I don't know -- I
6 think your phone's not muted.

7 MR. HINNEFELD: Sounds like it is
8 now.

9 MR. FITZGERALD: Thank you, thank
10 you. I was getting a lot of bells and whistles.

11 In any case, this is on Page 78 for
12 reference of the SC&A Site Profile Review dated
13 July 2008.

14 And so, there's a number of these
15 questions that we had and the fact that we
16 didn't really have any clear answers at that
17 stage nor hereafter, we really weren't
18 comfortable that the 24 picocuries per cubic
19 meter, which was the value -- the bounding value
20 that was going to be used as far as the DU
21 exposure, the 95th percentile of that was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 necessarily conservative enough.

2 And, you know, granted, that's kind
3 of a judgment call. I mean 95th, 99th, who
4 could count? How do you know that? But we're
5 thinking that there's enough uncertainties
6 that we don't believe that has been really
7 answered.

8 I went back to see, you know,
9 because of the timing of this, I was wondering
10 if there had been some assessment of that but
11 I couldn't find any NIOSH assessment of that
12 particular issue. The one response that we had
13 on the 2011 paper sort of says, well, that's
14 answered in a previous issue and named the
15 issue. I went back and it didn't answer it.

16 So, it's one of these where I don't
17 think it actually got answered. So that's why
18 it's here just sort of a question of not to say
19 there isn't a value, there is a value, 24
20 picocuries, but whether that's conservative
21 enough, that's based on 95th percentile of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DU air sample distributions.

2 We still think there's some
3 uncertainties and those are the ones we just
4 named and I'd like to get some kind of response
5 or clarification. Granted, this goes back a
6 ways, but I don't think that's ever been
7 answered specifically.

8 MR. ROLFES: This is Mark.

9 And since the SEC was designated for
10 Pantex for depleted uranium exposures from 1958
11 through 1983, excuse me, and then once again
12 from '84 through '90 for DU and then I think
13 thorium in '91, that encompasses the entire
14 time of the hydroshot testing period, I
15 believe. I don't believe there were any done
16 after the SEC time period.

17 And since we said we can't
18 reconstruct uranium intakes using the coworker
19 model, we --

20 MR. FITZGERALD: So to just --

21 MR. ROLFES: -- no longer be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 calculating uranium intakes for the firing site
2 workers due to the SEC.

3 MR. FITZGERALD: I have October
4 '59 starting, so I guess that does capture it.

5 MR. HINNEFELD: It does, right.

6 MR. ROLFES: So, if we have a
7 bioassay result that we deem is usable for an
8 individual working at the firing sites, we
9 would use that bioassay to reconstruct their
10 uranium intake. However, if we do not have one
11 for an individual, we would not assign uranium
12 intake.

13 DR. NETON: Yes, this is Jim.

14 Just to be sure, I went back and
15 looked at the Secretary's designation on the
16 Class and it says, the Board and the NIOSH
17 Director have determined that reconstruction
18 of uranium intakes is not feasible for all
19 Pantex workers.

20 (Simultaneous speaking.)

21 MR. FITZGERALD: That would make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this moot.

2 MEMBER BEACH: Yes, exactly.

3 CHAIRMAN CLAWSON: Okay.

4 MR. FITZGERALD: That's the
5 easiest solution.

6 CHAIRMAN CLAWSON: Yes, that's the
7 easiest solution to it. So that'll take of 10.
8 Is it 11?

9 MR. FITZGERALD: That was 10.

10 MEMBER BEACH: That was 10; 11 is
11 closed already.

12 MR. FITZGERALD: Everybody okay?
13 We'll just plow ahead?

14 MEMBER BEACH: Now on to 13.

15 MR. KATZ: Twelve is closed
16 already?

17 MEMBER BEACH: Yes.

18 MR. FITZGERALD: All right. Okay,
19 we're on Issue 13 and as I recall, this was a
20 petitioner issue that we wanted to get
21 clarification on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This was the one where in the
2 petition, there was an issue of concern of that
3 too few workers were monitored for valid dose
4 reconstruction and the actual petition concern
5 that was included was one, and I'm just quoting
6 from the petition, one argument we make is that
7 too few workers were monitored for statistical
8 purposes for generalizations to the rest of the
9 workforce to be valid. Until '79, a majority
10 of Pantex workforce went completely
11 unmonitored. The assumptions that the
12 most-exposed workers were monitored was not
13 found to be valid in IAAP and is not likely as
14 valid at Pantex.

15 And there wasn't a clear response in
16 the ER for some of the earlier discussions that
17 I think the Work Group had so we maintained this
18 as an open item. But, again, as you'll note in
19 our assessment here, and I'm going to read this,
20 this is the status update.

21 NIOSH -- and this is from October of

1 last year -- NIOSH revised the response to
2 SC&A's data completeness and adequacy paper and
3 it's assessment, which we got on August 5th of
4 2011, SC&A's review of NIOSH's response finds
5 agreement that limited monitoring existed
6 prior to the arrival of the sealed plutonium
7 pits in '58 and that relatively small
8 variations in historic badging can, in fact, be
9 linked to weapons production dismantlement
10 rates and changing DOE policies.

11 So, I think there was agreement
12 that, although I think some of the concerns the
13 petitioner raises were quite valid, that NIOSH
14 had gone back and we had gone back and looked
15 at the statistical treatments that were
16 provided, not just in the ER but in some of the
17 reference documents, in particular ORAU 13-6,
18 which is the TBD and the Carr which is a 1992
19 assessment looks at the statistical treatment
20 of external monitoring data.

21 Last year the Work Group asked us to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 revisit this material and take a look at it
2 again, and we went back and looked at the
3 references as well as the exchanges of White
4 Papers in 2011, and we think it's been
5 adequately addressed and we'd recommend
6 closure for it.

7 CHAIRMAN CLAWSON: Thank you.
8 Josie?

9 MEMBER BEACH: I don't have any
10 problem with that.

11 CHAIRMAN CLAWSON: Phil, we're
12 recommending closing; do you have a problem
13 with it?

14 MEMBER SCHOFIELD: Yes, I'm here,
15 Brad. I don't have a problem with that.

16 CHAIRMAN CLAWSON: Okay, thank
17 you.

18 MR. FITZGERALD: Okay, let's see,
19 where is the agenda?

20 MR. ROLFES: Can we take a quick
21 break before we discuss this one? It might go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a little bit longer than --

2 CHAIRMAN CLAWSON: Did you want to
3 take a break?

4 MR. FITZGERALD: This would be the
5 best time.

6 MR. HINNEFELD: This would be the
7 best time for a break.

8 DR. NETON: This one could take a
9 little while. This is more involved.

10 MEMBER BEACH: Perfect time for
11 more coffee.

12 CHAIRMAN CLAWSON: Could we take a
13 15 minute break?

14 MR. KATZ: Yes, sure.

15 CHAIRMAN CLAWSON: Okay.

16 MR. KATZ: So, it's 10:06 to 10:20,
17 we'll resume and I'm not cutting off the phone,
18 I'm just putting it on mute and we'll rejoin you
19 at 10:20. Thanks.

20 (Whereupon, the above-entitled
21 matter went off the record at 10:06 a.m. and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 resumed at 10:21 a.m.)

2 MR. KATZ: Let me check and see --
3 Phil, do we have you back on the line?

4 MEMBER SCHOFIELD: Yes, you do,
5 Ted.

6 MR. KATZ: Great, and Ron Buchanan,
7 are you back on?

8 DR. BUCHANAN: Yes, I am.

9 MR. KATZ: Okay, super.

10 MR. FITZGERALD: Okay, yes, Joe
11 Fitzgerald, we're back on the issue matrix.
12 We're up to Issue 15 which deals with tritium
13 exposure.

14 And this was another early Site
15 Profile question that we raised in the review.
16 The approach in the Site Profile that was framed
17 was using essentially job categories, three in
18 this case, to assign a bounding tritium dose to
19 Pantex workers.

20 And we had originally raised some
21 reservations about the manner in which tritium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 air samples were taken and how workers were, in
2 fact, monitored.

3 It was certainly somewhat, or
4 should I say, relatively primitive in the
5 earlier days and, you know, it was unclear to
6 us whether -- how representative the sampling
7 was and these are questions that were discussed
8 early on.

9 We didn't really reach a resolution
10 even though I think we laid out some of the
11 concerns over that approach, primarily because
12 we got into the DU issue, the uranium issue and
13 thorium issues. And so this sort of got left
14 behind.

15 Now, clearly, this falls within the
16 time period of the SEC, so we're talking about
17 partial dose reconstructions. But, you know,
18 nonetheless, we think there's some remaining
19 issues.

20 And I wanted to go back because this
21 does have some history and we wanted to, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 response to Brad's note of a couple months ago
2 laid that out and clarify, you know, where
3 things stood and go back and sort of draw from
4 those original comments.

5 And so we laid this out in a
6 clarification piece on Issue 15 that we
7 circulated last month and Ron authored that
8 particular piece and I'm going to defer to him.
9 If you want to, for the sake of this discussion,
10 just lay out, I guess it was like two, two and
11 a half pages of just clarifying our concerns on
12 the categories and how that was done, Ron.

13 MR. KATZ: Ron, are you on mute?

14 MR. FITZGERALD: Ron?

15 MR. KATZ: Maybe he lost his
16 connection.

17 MR. FITZGERALD: Okay, well let's
18 -- I'll wait for Ron Buchanan to get back but
19 the -- I'm just going to, again, just go over
20 our clarifying comments because essentially it
21 lays it out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 On Page 17 and 18 of our 2011 report,
2 we note that Category 2 workers are assigned an
3 environmental dose for the period of '56 to the
4 present. So, essentially, Category 1 workers
5 were assigned the bounding doses that were
6 listed in a separate table, Table 5-2.

7 DR. BUCHANAN: I'm here now, wrong
8 button.

9 MR. FITZGERALD: Wrong button,
10 okay.

11 DR. BUCHANAN: Go ahead.

12 MR. FITZGERALD: Okay. But,
13 again, our concerns were that the six millirem
14 per year value which was essentially in the
15 table wasn't sufficiently backed up and that it
16 was a lot of reliance on what was an assumed
17 one]-year value.

18 You know, we had a monitoring
19 bioassay value that was once a year and the
20 concern was that, even though that if you used
21 that value and extrapolated for the entire

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 year, it'd give you a fairly large value, you
2 know, undeniably it would be bounding.

3 But our concerns were whether, in
4 fact, it would be reasonable and technically
5 accurate and the only exchange we had on this
6 issue, I think there was agreement that that was
7 a large number even though it was bounding, that
8 it would not have necessarily reflected actual
9 exposure conditions.

10 And I think that's kind of where we
11 left it that even though this does get to an
12 efficiency issue and maybe a bit of a
13 philosophical question of when you don't have
14 a lot of good data, but you do have some data
15 and it allows you to set the bounds for tritium
16 exposure and certainly tritium, we're talking
17 millirems, we're not talking a lot of exposure.
18 It's like how many angels can dance on a pin?
19 I mean, it's the data we have.

20 However, you know, we think the
21 annual bioassay results are not reasonably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 usable for dose reconstruction purposes and
2 would not normally be used that way. But in the
3 context of a partial dose reconstruction in an
4 SEC period, I guess we would pose it to a Work
5 Group and this is why we wanted to sort of tee
6 this up as a Work Group discussion issue.

7 It's the only horse you can ride.
8 This is something that would be reasonable to
9 do even though there was certainly, from our
10 standpoint, and I'll let Ron have the ball back
11 on this, some technical reservations about how
12 you would apply so little data for what would
13 normally not be a valid dose reconstruction
14 purpose.

15 But for, in this context, you know,
16 it may be something that the Work Group might
17 consider as it's better than not having any
18 value even though there are some, you know,
19 reservations and some shortcomings with it.

20 And, Ron, do you want to go over some
21 of the specifics? But that's kind of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 overarching thought that we had, I think.

2 DR. BUCHANAN: Okay, Ron Buchanan
3 here with SC&A.

4 Our main concern was that there was
5 I think three major issues here in that there
6 was some data available but the MDA values --
7 well, I think the main issue was that the data
8 in the TBD was presented as being taken from
9 bioassay data whereas it was kind of
10 misleading. These were actually -- most of
11 these were derived from MDA values, the minimum
12 detectable activity, quoted at that time as
13 opposed to actual measured values.

14 And so we felt that the table in the
15 TBD somewhat was misleading in that in a
16 majority of the years. This is from MDA
17 values as opposed to actual measurement.

18 One question was that the table was
19 also not labeled as whether it for annual. We
20 assumed that these were annual intakes but that
21 needs to be clarified.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Also, the issue of should it be
2 reworded or re-emphasized that a lot of this
3 data was from MDA as opposed to actual measured
4 values.

5 And then we actually had some
6 question on the MDA value. The MDA value
7 actually was not taken from what I could find
8 records of, bioassays performed at intakes,
9 rather it was taken from this document,
10 Referenced ID 12549, a document which was done
11 in 1991 which actually was an appraisal of the
12 Pantex HP program and the MDA value is actually
13 taken from a figure given in that appraisal
14 saying that it should be able to measure this
15 amount. A good health physics program should
16 be able to measure this amount.

17 It did not really tie it directly to
18 Pantex in that context saying that this is what
19 Pantex presently measured or was measured in
20 the past.

21 So, it appeared that this number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 came out and then was used as an MDA value and
2 then this was applied to quite a bit of
3 calculations in the tables. And so, we feel
4 that this was kind of shaky ground in normal
5 circumstances.

6 And then also the six millirem per
7 year, it states that the minimum average value,
8 however, we see that in the table, there most
9 of them are not six millirem per year, they're
10 more or less than that.

11 And so, our question was kind of, in
12 summary, we didn't feel that the objections
13 brought up previously were really answered.
14 And so what I did in this paper that we recently
15 constructed, the summary, was to point out some
16 of the inconsistencies in this and on the one
17 hand and on the other hand, if it's all we have
18 to work with, if it's the best we can do and a
19 reasonable amount of resources allotted to it
20 since it is an SEC period.

21 And so, we're kind of at that point

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 now, does the Work Group want to require NIOSH
2 to clarify some of these issues that I brought
3 up recently or just set aside this as reasonable
4 for a partial dose reconstruction situation?

5 DR. NETON: Well, this is Jim.
6 I'll ask Mark on it, if he wants it.

7 MR. ROLFES: I can take the easy
8 one.

9 There was a question about whether
10 the intake values that were listed were for a
11 period of a year, and yes, they are in fact
12 annual results. It just didn't state that in
13 the table and the TBD.

14 As far as the current dose values in
15 the TBD, I don't know if we want to discuss that.
16 I know there's been some work to go back and look
17 at MDAs and to try to recalculate the tritium
18 intake values.

19 DR. NETON: I think we would agree
20 that the doses were based on -- inappropriately
21 based on detection limit to cover all time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 periods and we agreed to go back and use more
2 representative MDAs for the time period in
3 which the samples were taken for which a
4 bioassay program was in existence.

5 That's going to increase the doses
6 somewhat but even with that, I think the mode
7 of the annual undetected dose is going to be
8 around 20 millirem.

9 So, we're in a situation where we're
10 not talking about large doses. And
11 traditionally, it seems to me that the Board has
12 been willing to accept more uncertainty in
13 situations where the doses are very small.
14 Sufficient accuracy is not as critical when you
15 have 20 millirem doses and you're talking about
16 two to three millirem type doses.

17 So I would support the position that
18 it's as good as we're going to get with the
19 tritium doses and let it go at that. I mean I
20 don't know what else we could do.

21 CHAIRMAN CLAWSON: So, this would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be about 20 millirem per year?

2 DR. NETON: Twenty millirems --
3 it's a distribution. The mode is going to be
4 21, I think the maximum is around -- depending
5 on the year. In the earlier years, 42 would be
6 the high and the lower bound would be zero. Of
7 course, there's a triangular distribution so
8 that the best estimate would be 21 through 1990.
9 Once you get into 1991, it drops to 6 which is
10 presumably just based on the improvement in the
11 detection.

12 CHAIRMAN CLAWSON: Josie, do you
13 have any problems with that?

14 MEMBER BEACH: Well, I mean I think
15 it's -- so NIOSH would have to go back in and
16 clean up the table.

17 DR. NETON: Table 5-3 when we
18 revise it.

19 MEMBER BEACH: Clean up the table
20 so it's more understandable. That takes care
21 of the two items. I was looking for the third

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one.

2 MR. ROLFES: Was the third --

3 DR. BUCHANAN: Whether there's
4 annual recorded versus throughout the actual
5 MDA value.

6 MEMBER BEACH: Yes.

7 DR. BUCHANAN: And then while this
8 was really kind of forward, the annual was kind
9 of --

10 MEMBER BEACH: The annual was taken
11 care of. It is annual.

12 DR. BUCHANAN: And the six millirem
13 per year, a lot of the values in the table are
14 less than six, so Page 17 states six millirems
15 a year but the table has like 2.9. And so, you
16 know, there seems to be an inconsistency there.

17 DR. NETON: Yes and the new table
18 will have nothing less than six millirem per
19 year.

20 MEMBER BEACH: So, that's part of
21 that cleaning up the table, okay. And then on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other hand, if you don't agree to this, then
2 where does that leave us? It leaves us with --

3 CHAIRMAN CLAWSON: We've got --
4 we're in one of those commitments.

5 MEMBER BEACH: Yes, we are.

6 CHAIRMAN CLAWSON: We've discussed
7 this and I just -- my personal feeling is that
8 I agree with it. It's the only thing we can do
9 and it's where we're at especially in this place
10 in the process. So, I feel that we ought to
11 accept that on those conditions and go from
12 there. Any problem with that, Josie?

13 MEMBER BEACH: No, I don't have a
14 problem that.

15 CHAIRMAN CLAWSON: Phil, do you
16 have a problem with what we're going to do?

17 MEMBER SCHOFIELD: We're kind of in
18 the ranger where I don't think it's going to
19 make much difference in a lot of these.

20 CHAIRMAN CLAWSON: Okay, just
21 wanted to make sure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: So, the table will
2 be fixed and, yes, the MDA values will be
3 switched from detection to, I guess, to fit the
4 historic bioassay practice.

5 DR. NETON: Yes.

6 MR. FITZGERALD: Okay.

7 MR. KATZ: That wasn't so long.

8 DR. NETON: No. I can never
9 predict.

10 CHAIRMAN CLAWSON: Yes, it was a
11 two cup day today, he was ready for it.

12 MR. ROLFES: That's just the
13 coffee.

14 MR. FITZGERALD: Okay, I think that
15 leaves us with just one which is 16 and that one
16 gets down to the geometric positioning of the
17 pit more than anything else and whether or not
18 OTIB-10 applies which is the glovebox geometry,
19 would apply, in fact, to the direct handling by
20 the worker.

21 I guess I'll go back to the worst

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 case example which is the worker with the pit
2 in his lap type of thing, you know, that kind
3 of -- probably more earlier days than later days
4 as far as geometry.

5 And I think the way that was left,
6 this goes back a couple of years, but I think
7 you all were going to go back and look at OTIB-10
8 and see whether or not that could be applied.

9 MR. ROLFES: That's also recently
10 changed as well. So, the geometric correction
11 factor's changed from roughly, what was it, I
12 don't even recall.

13 DR. NETON: It's now up to 3.5 I
14 think. What happened was TIB-10 had been
15 revised since this Site Profile was written.
16 If you remember, TIB-10, we assigned a
17 distribution of the possible doses and in
18 responding to comments on TIB-10, we agreed to
19 use the 95th percentile value because there
20 were certain organs that would affect that and
21 if we use the 95th percentile, the correction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 factor is 3.5 and we believe that would account
2 for the geometry of exposure to the pit.

3 MR. FITZGERALD: Has OTIB-10 been
4 reissued or --

5 DR. NETON: I think it's been
6 revised to have the 3.5 in it if I'm not
7 mistaken. It's going to be revised again for
8 other reasons, but --

9 CHAIRMAN CLAWSON: What else?

10 DR. NETON: Well, the ICRP 116
11 implementation of the new dose conversion
12 factors, but that's a long-term issue. It has
13 nothing to do with really, it's an overarching
14 issue not related to Pantex.

15 I'm pretty sure, let me just see if
16 I can find --

17 MEMBER BEACH: While you're
18 looking at that, just for a process question,
19 15, are we closing that or are we putting it in
20 abeyance or what are we doing there? Do we know
21 how that process works when NIOSH is going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do some changes to the TBD or is it just closed?

2 MR. STIVER: I think it'd be
3 abeyance if we agree on the --

4 MEMBER BEACH: I think it's in
5 abeyance.

6 MR. STIVER: -- correction then it
7 just has to go in to the TBD.

8 MR. KATZ: It's in abeyance if it
9 has to come out in a new document. I mean it's
10 effectually the same thing.

11 MEMBER BEACH: Yes. It just gives
12 you --

13 MR. STIVER: We've reached
14 agreement there.

15 CHAIRMAN CLAWSON: And when that
16 comes out, SC&A will review the --

17 MR. STIVER: Just do a quick review
18 and make sure that everything is as agreed.

19 MEMBER BEACH: I think that just
20 cues you to do it if it's in abeyance rather than
21 not closed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: I want to go over that
2 list just to get that right. Let's look at the
3 scorecard here. What are we on?

4 MR. FITZGERALD: We're on the last
5 one.

6 DR. NETON: I don't see TIB-10 as
7 actually changed to -- we've agreed to do that
8 in practice, but we're not -- we may have been
9 waiting on the TIB-10 change in response to the
10 ICRP 116 changes, now that I think about it.

11 MR. HINNEFELD: Well, if that's the
12 case, I think we should -- you know, we have a
13 change to make, I think we should go ahead with
14 it, issue it as, you know --

15 DR. NETON: As a three --

16 MR. HINNEFELD: -- and not wait
17 for the 116 changes and then we change it again
18 when 116 comes out because that's a long time.

19 DR. NETON: It's going to be a while
20 and we're better off, we're better served.

21 MR. FITZGERALD: So, it would be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 95th percentile which would be enough --

2 DR. NETON: It's 3.5.

3 MR. HINNEFELD: Here's another
4 part of my thought on this is that, yes, it's
5 true that for certain years for some portions,
6 the guys have put pits in their laps for a piece
7 of the work.

8 But for other parts of the work,
9 they're not going to have it in their lap for
10 a fair amount of the exposure geometry, they're
11 going to be in the proximity of a weapon and
12 really not even particularly working, you know,
13 with their hands on in a kind of orientation
14 that changes the irradiation.

15 So, the fact that we have a
16 procedure for making this geometry adjustment
17 that we would like to use because we've kind of
18 been vetted through Procedures Subcommittee
19 and so we'd kind of like to have that vetted one
20 be used.

21 We don't have another better

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 alternative and the fact that you're looking at
2 sort of an average exposure situation averaging
3 in your lap to just being in proximity, we think
4 that it's a reasonable way to bound the
5 adjustment that you have to make from the badge
6 reading.

7 So that's -- those are the kind of
8 reasons that go in to it as wanting to stick with
9 the TIB-10 approach on this.

10 MR. FITZGERALD: And just apply it
11 to the percentile?

12 MR. HINNEFELD: Yes, instead of the
13 95th percentile.

14 DR. NETON: That would have been
15 agreed to a while ago, but it's sort of
16 obviously an issue for us balancing PERs
17 versus, you know, how often if your PERs --

18 MR. HINNEFELD: How often your PERs
19 -- I mean the 116's going to be the next mother
20 of all PERs. You know, we keep talking about
21 having the mother of all PERs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: It would effectively
2 change every non-compensated dose --

3 MR. HINNEFELD: Every
4 non-compensated case that we've looked at, yes.

5 DR. NETON: Or --

6 MR. HINNEFELD: Probably,
7 probably, some of the PERs actually go down, so
8 there would be some target --

9 DR. NETON: But I mean, it's going
10 to have to be redone to determine it, which
11 effect. But you're right.

12 MR. HINNEFELD: Some of these, yes,
13 actually go down so those are the periods.

14 DR. NETON: Prostates.

15 MR. HINNEFELD: But skeptically,
16 are you just looking at these skeptically or are
17 you just tired?

18 CHAIRMAN CLAWSON: No, I'm --

19 MR. HINNEFELD: Not you.

20 CHAIRMAN CLAWSON: I'm just
21 looking at this. And so, you're going to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ahead and make this change to 10?

2 MR. HINNEFELD: Yes, TIB-10, yes.
3 We'll issue 10 with the 3.5, we'll use that
4 adjustment factor then as the geometry
5 adjustment factor.

6 MR. STIVER: You're saying that as
7 a result of this, most of the partials are going
8 to have to be redone on at least for most of
9 these, except for a few organs?

10 MR. HINNEFELD: I guess.

11 MR. STIVER: So, I mean the PER
12 would be --

13 MR. HINNEFELD: Well, I mean to the
14 extent, yes, we're going to have changes to the
15 Site Profile as a result of these discussions
16 and so, we'll have to do some sort of evaluation
17 and previously completed comments.

18 DR. NETON: That's going to be true
19 whether or not we need to --

20 MR. HINNEFELD: Whether we --

21 DR. NETON: -- I mean a number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these changes like the new coworker model,
2 neutrons, it's all going to --

3 MR. HINNEFELD: The neutron thing,
4 yes.

5 CHAIRMAN CLAWSON: And the second
6 part of this OTIB-10, the 16 or whatever that
7 you're talking about, that too will affect this
8 but that's going to be down the road.

9 MR. KATZ: Yes, that'll affect it.

10 MR. FITZGERALD: Everywhere.

11 MR. HINNEFELD: That'll affect
12 everything.

13 CHAIRMAN CLAWSON: Everywhere.
14 Okay, that's why I was looking at you in this
15 phased look of --

16 MR. KATZ: Well, everybody was a
17 glovebox worker.

18 MR. FITZGERALD: Right.

19 DR. NETON: Right.

20 MR. KATZ: Okay, so a lot of places.

21 MR. HINNEFELD: Well ICRP 116 would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 affect everywhere.

2 DR. NETON: ICRP's doing that to
3 the glovebox, so it'll affect all dose
4 conversion factors for like 32 different organs
5 and now you have a male and female model.

6 MR. HINNEFELD: Yes, if we issue
7 TIB-10 now like we say we're going to do, that's
8 going to throw in a PER for this TIB-10 change
9 that we were thinking we could avoid if we
10 waited on the OTIB revision until we got 116,
11 but 116 is too far downstream.

12 DR. NETON: With ICRP 116, you can
13 actually model all of the dose conversion
14 factors for the organs individually and come up
15 with individual organ dose conversion factors
16 then you don't have to rely on these sort of
17 bounding calculations.

18 It's going to be very nice, but
19 it'll change our world upside down.

20 CHAIRMAN CLAWSON: I just want to
21 make sure I know that we've got a lot of cases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that are sitting on the shelf until we get this
2 done and I just want to make sure that we've got
3 this put in place.

4 MR. HINNEFELD: Yes.

5 CHAIRMAN CLAWSON: And so, that's
6 why I wanted to make sure that we got this table
7 changed, the TBD changed.

8 But I'll just try and understand the
9 116 and but that's, I understand, that's the
10 bigger picture. I want to get these cases
11 taken care of when we can.

12 MR. KATZ: Does this one really
13 need to be in abeyance or can it be closed
14 because it's a simple -- I mean it's not complex
15 what they're doing.

16 DR. NETON: No.

17 CHAIRMAN CLAWSON: No, but we still
18 have to just --

19 MEMBER BEACH: Just go back and
20 check it.

21 MR. KATZ: Well, I mean, yes. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mean in abeyance, we really mostly use the
2 abeyance for matters where you really have to
3 see how it plays out to say, okay, that's good.

4 At least with procedures, for
5 things that are just very simple changes and
6 you've agreed upon them and you know they're
7 going to be done, we don't have another SC&A
8 review of the simple change.

9 Because they're going to review the
10 PER when the PER comes out anyway.

11 CHAIRMAN CLAWSON: Okay, I don't
12 know, I have no problem with that.

13 MR. KATZ: So I think you can just
14 close this one because everybody knows what the
15 solution is and it's --

16 CHAIRMAN CLAWSON: Any problem
17 with that, Joe or John?

18 MR. FITZGERALD: No.

19 CHAIRMAN CLAWSON: They're going
20 to be --

21 MR. STIVER: That's fine with me,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the record will be transcribed.

2 CHAIRMAN CLAWSON: Okay, that's
3 fine.

4 MR. FITZGERALD: Okay, that's all
5 the issues. We'll go ahead and recap since
6 we're talking abeyance versus closed on that.

7 Going through the list using the
8 agenda, Item A which is Issue 6, that's the one
9 with the zero entries, I think there will be a
10 note to the Work Group.

11 MR. HINNEFELD: We're going to
12 write up how we intend to --

13 MR. FITZGERALD: Right, a note to
14 the Work Group and then perhaps revisions to the
15 TBD.

16 Issue 7, neutron-to-photon ratios,
17 that's a new approach that's going to be, I
18 think it sounded like it would be a small White
19 Paper or something. A brief paper and then a
20 revision to the TBD once accepted by the Work
21 Group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Issue 8, that was a small paragraph
2 or some admonition to the dose reconstructor
3 that will be added to the TBD, that's in
4 abeyance. These first three would be
5 abeyances.

6 Issue 9 which is incidents is closed
7 without any action.

8 Issue 10 on the firing sites is
9 rendered moot by the SEC; that's closed.

10 Issue 13 which is the petitioner
11 issue is closed.

12 Issue 15 would be held in abeyance,
13 that's the tritium issue with the two actions
14 that were discussed and that would be actions
15 to revise the TBD, think.

16 And then the final one we just
17 talked about would be -- it would be closed with
18 the issuance of the OTIB revision and SC&A would
19 be able to look at that through the PER process.

20 MR. KATZ: That's right.

21 MR. FITZGERALD: So, that's it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: That's right. Good,
2 10:45.

3 CHAIRMAN CLAWSON: Well, we time
4 frame --

5 MR. HINNEFELD: I'll have to ask
6 the --

7 CHAIRMAN CLAWSON: You know, and I
8 guess the only thing I want to say out there is
9 that my understanding is that we do have several
10 cases sitting up on the shelf that we need to
11 get this. It's been a long time, so any idea?

12 MR. ROLFES: My opinion is it
13 shouldn't be too long. It sounds to me like the
14 majority of the work, I think this issue I think
15 on our part was the neutron dose reconstruction
16 coworker model and I think if we provide a
17 write-up here in the next, you know, four to six
18 weeks, I think --

19 MR. HINNEFELD: I would say
20 something like that.

21 DR. NETON: I'm sorry, when are we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to do this?

2 CHAIRMAN CLAWSON: He said it's
3 definitely next week.

4 (Laughter.)

5 DR. NETON: That was a four to six
6 weeks for the coworker model, is that what?

7 MR. ROLFES: I think four to six
8 weeks for a write-up on our new approach on
9 neutron and coworker model.

10 DR. NETON: For the approach or the
11 completed model?

12 MR. ROLFES: Well, I mean --

13 DR. NETON: If it's not four to six,
14 we'll let you know. I can't speak for --

15 MR. HINNEFELD: Let's go with six
16 and if it's not going to be that -- because
17 again, you know --

18 DR. NETON: It's hard to get in
19 private office.

20 MR. HINNEFELD: We've got to fit it
21 into the project schedule. So, and we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trying to -- we want to be ready for SFFL in
2 December or November when we go out to Los
3 Angeles.

4 CHAIRMAN CLAWSON: But, for the
5 tritium for this one, it's just the approach of
6 how we're going to do it?

7 MR. HINNEFELD: Well, I mean we
8 have to, you know, developing a coworker model
9 is a fair amount of data manipulation and we do
10 have the data, but we have to kind of build it
11 out, describe it and so there's a fair amount
12 of data manipulation and there is a lot of tasks
13 that require data manipulation and only a
14 certain few number of people who are capable of
15 doing that. So we have to fit it into the
16 project schedule is what we're saying.

17 DR. NETON: And I think Tim -- Tim,
18 are you still on the phone?

19 DR. TAULBEE: I just joined back.

20 DR. NETON: Did you hear the
21 discussion about time frame for the coworker

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 model?

2 DR. TAULBEE: Yes.

3 DR. NETON: Do you think six weeks
4 is reasonable?

5 DR. TAULBEE: Four to six weeks is
6 reasonable as long as they don't have anything
7 else going on. So, you're absolutely right on
8 the project plan and seeing what other tasks
9 that they've got that we've committed to in
10 other Work Group meetings, et cetera needs to
11 be evaluated.

12 DR. NETON: So, we'll shoot for six
13 weeks and then if it's not going to be that for
14 good reason, we'll let you know.

15 MR. KATZ: And everything else
16 seems pretty trivial.

17 MR. FITZGERALD: Yes, I was going
18 to say if there's partials that are pending,
19 it's probably this issue, the neutron more than
20 anything else.

21 DR. NETON: Yes, I think so.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: The rest of it
2 seems to be easy.

3 MR. HINNEFELD: Yes, I think that's
4 true.

5 CHAIRMAN CLAWSON: Okay. And then
6 -- now we've -- during this whole process, we
7 made a lot of changes to the TBD and a lot of
8 them have been held back until we do the final
9 TBD review. So, once all these are put in
10 there, then is SC&A group --

11 MR. FITZGERALD: Yes, that's kind
12 of the standard thing. I mean we'll --

13 CHAIRMAN CLAWSON: Standard
14 process?

15 MR. FITZGERALD: You know, when
16 that gets into the schedule for revision we
17 would certainly --

18 MR. KATZ: Well, SC&A will look at
19 the items in abeyance. The things that are
20 closed they don't have to look at again.

21 CHAIRMAN CLAWSON: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: You're talking
2 about other findings there are going to be
3 closed immediately that are through --

4 CHAIRMAN CLAWSON: Through the
5 Work Group process, I mean this long period has
6 been, yes, when we do a TBD update, we're going
7 to, you know, we'll change -- I just wanted to
8 do a follow-up of --

9 MR. HINNEFELD: Well, I think we've
10 issued some revisions.

11 MR. ROLFES: Yes, the only thing
12 that hasn't been revised at this point is the
13 external dose TBD. The internal dose TBD was
14 issued I think in February of this year. We're
15 going to need to revise that just to update the
16 tritium missed dose values that we discussed
17 today.

18 MR. HINNEFELD: So, if there are
19 any findings on any of the other sections
20 besides internal and external that we feel like
21 need to be checked to see that they get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incorporated --

2 CHAIRMAN CLAWSON: Well, that I --

3 MR. FITZGERALD: We've reviewed
4 the existing revisions and didn't see any
5 issues that stood out, so we would have brought
6 those to the table today.

7 MR. HINNEFELD: Okay.

8 CHAIRMAN CLAWSON: These are just
9 to follow up to the close then because I'd
10 really like to put this in the bed, that we're
11 done and go from there.

12 DR. NETON: I think we're really
13 close.

14 CHAIRMAN CLAWSON: Yes, so do I, so
15 we'll just leave it at that.

16 Phil, do you have any questions
17 before we close?

18 MEMBER SCHOFIELD: Not really.

19 CHAIRMAN CLAWSON: Okay. I just
20 wanted to make sure. Josie --

21 MEMBER BEACH: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: So, with that,
2 we're done.

3 MR. KATZ: Very good, we're
4 adjourned.

5 (Whereupon, the above-entitled
6 matter went off the record at 10:53 a.m.)

7
8
9
10
11
12
13
14
15
16
17
18
19
20