

This transcript of the Advisory Board on Radiation and Worker Health, Fernald Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Fernald Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

FERNALD WORK GROUP

+ + + + +

THURSDAY,  
DECEMBER 4, 2014

+ + + + +

The Work Group meeting convened in the London Room of the Cincinnati Airport Marriott Hotel, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m., Brad Clawson, Chairman, presiding.

PRESENT:

BRADLEY P. CLAWSON, Chairman  
PAUL L. ZIEMER, Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Fernald Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Fernald Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

2

ALSO PRESENT:

TED KATZ, Designated Federal Official  
MATT ARNO, ORAU Team\*  
RAY BEATTY\*  
HANS BEHLING, SC&A\*  
NANCY CHALMERS, ORAU Team\*  
MARK FISHBURN, ORAU Team\*  
STU HINNEFELD, DCAS  
KAREN KENT, ORAU Team\*  
JOSH KINMAN, DCAS\*  
TOM LABONE, ORAU Team\*  
JENNY LIN, HHS\*  
MARK ROLFES, DCAS  
BOB BARTON, SC&A  
JOYCE LIPSZTEIN, SC&A\*  
MATTHEW SMITH, ORAU Team\*  
JOHN STIVER, SC&A

\*Present via telephone

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

T-A-B-L-E O-F C-O-N-T-E-N-T-S

Welcome  
Ted Katz ..... 4

Roll Call and Introductions ..... 5

Work Group Discussion  
Brad Clawson ..... 9  
John Stiver ..... 9  
Bob Barton ..... 10

Summary of post-SEC thorium methodology and  
in vivo coworker model for the 1979-1988  
time frame  
Stu Hinnefeld ..... 11

SC&A presentation of new White Paper: Review of  
Proposed NIOSH Methods for Reconstructing  
Thorium  
Doses at Fernald (1979-2006)  
Bob Barton ..... 17

Continued issues resolution for SC&A Site  
Profile Review findings ..... 111

Work Group plans for the future ..... 236

Adjourn ..... 238

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20

P-R-O-C-E-E-D-I-N-G-S

(9:01 a.m.)

MR. KATZ: Okay. Good morning.

This is the Advisory Board on Radiation Worker Health, Fernald Work Group. We're ready to go here.

Just a few notes: The materials for today should be all on the NIOSH website, the agenda and the materials that we're discussing, including the presentation from SC&A. You'll find them on the NIOSH website under the DCAS portion, the Advisory Board, today's meetings. And if you go to today's meetings, those documents should all be there. You can just open them up.

And we're speaking about a site, so, please, everyone, in going through roll call, address conflict of interest as well. And let's begin with that.

I have a note that Mark Griffon,

1 who's a Member, will be joining us a little bit  
2 late, maybe around 9:30, but let's go with roll  
3 call starting with the Board in the room.

4 (Roll call.)

5 MR. KATZ: Okay, then. Well,  
6 we'll probably, as I said, have Mark Griffon  
7 join us a little late. He doesn't have a  
8 conflict with respect to what we're addressing  
9 at Fernald.

10 And we're ready. So, please,  
11 everyone on the line mute your phones except  
12 when you're addressing the group. If you don't  
13 have a mute button, press \*6 to mute your phone  
14 and then press \*6 again to take your phone off  
15 of mute. Much thanks.

16 And, Brad, it's your meeting.

17 CHAIRMAN CLAWSON: Okay. We do  
18 have a couple White Papers that were issued by  
19 NIOSH, one for the K65 silo -- well, actually  
20 two of them, one by Stu Hinnefeld -- both of them

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 by Stu Hinnefeld. There's an addendum. Just  
2 want to make sure that people have those before  
3 we speak to them.

4 John, I'll let you take it over from  
5 here and we'll start out.

6 MR. STIVER: Okay. Thanks, Brad.  
7 Those of you on Live Meeting, I've got the  
8 agenda pulled up and the way I've kind of  
9 envisioned this thing going. The first thing  
10 I want to talk about is our review of NIOSH's  
11 White Paper titled, "Review of Proposed NIOSH  
12 Methods for Reconstructing Thorium Doses at  
13 Fernald from 1979 to 2006." This is the  
14 post-SEC thorium methodology that was  
15 released, I believe, back in June of this year.

16 We finished up our review early in  
17 November and delivered it to the Work Group, I  
18 believe, on the 17th. It might have been maybe  
19 a little later than that.

20 But, anyway, Bob Barton has got a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 presentation on that and we'll probably go  
2 ahead and lead off with that. After that  
3 discussion is finished, I believe we can go  
4 ahead and continue on the issues matrix  
5 resolution, of which the K65 silos is one of the  
6 open issues, I believe Number 25. And there  
7 are about -- I counted up about 11 open issues  
8 that we can discuss today.

9 And so, with that, Bob, you want to  
10 take over?

11 MR. BARTON: Yes, thanks, John.  
12 Well, I think it's probably best to give  
13 everyone sort of a refresher on what the  
14 proposed methods for reconstructing thorium  
15 intakes actually are during this period. So I  
16 have a couple slides on it, but do you guys want  
17 to do a little summary on it? Or I can just  
18 go --

19 MR. HINNEFELD: Well, I'll give  
20 just a little historical aspect of the site at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this time. In other words, the first SEC at  
2 Fernald for all workers extends through '78  
3 now, right? '78?

4 MR. STIVER: '78.

5 MR. HINNEFELD: The basis for that  
6 mainly being that for the '68 to '79 period was  
7 that the in vivo monitoring results for that  
8 period were reported in milligrams of thorium  
9 and there's just not a consistently convincing  
10 way to determine what that means in terms of  
11 radioactive constituents. And so that Class  
12 was added up through '78.

13 Now, in '79 -- I think it was '79,  
14 or '78, one of those years -- the mobile counter  
15 results began to be reported on the constituent  
16 daughter product radionuclides that you can  
17 count with a gamma counter, with a -- it shows  
18 up as a lead-212 and I think there's a  
19 actinium-228 or something. So we feel like  
20 those results now are sufficient. We can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 interpret those results.

2 We also have access to all of the in  
3 vivo counts that were performed, the results of  
4 all of the in vivo counts that were performed  
5 with the mobile counter. So that's the  
6 entirety of the in vivo counts. Later on, we  
7 only have in vivo counts for claims. Our paper  
8 goes into quite a lot of detail about the  
9 construction of, the analysis of the data, the  
10 construction of what it looks like. That  
11 coworker model is essentially, I believe, done,  
12 I think.

13 At Fernald at this time, '79 was  
14 essentially the end of any thorium processing.  
15 And from that point forward, thorium existed in  
16 storage in warehouses and in some bins and  
17 things like that. You call them bins.  
18 Sometimes they call them silos. And there was  
19 some thorium solution, thorium nitrate  
20 solution in large tanks. And so there was not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 any really routine exposure, internal exposure  
2 to thorium, with the possible exception of some  
3 overpacking of drums that would deteriorate.

4 Some of the materials were stored in  
5 very -- it was a high-quality product and they  
6 were stored in containers that were in good  
7 shape decades later. They were in really good  
8 shape decades later. Some of the drums, the  
9 material had a heavy moisture content and it was  
10 kind of corrosive to the drums. So some of the  
11 materials corroded the drums and those drums on  
12 occasion would have to be re-packed.

13 At this point, you can't really tell  
14 who was engaged in those overpacking  
15 operations, and so our approach is to provide  
16 some sort of bounding estimate for dose  
17 reconstruction during that time. I think  
18 maybe Bob's going to -- you're going to cover  
19 kind of what the approach is?

20 MR. BARTON: Sure. I can do that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       yeah.

2                       MR. HINNEFELD:   Okay.   So, anyway,  
3       that's kind of the setting here for what the  
4       thorium was.

5                       And then ultimately there were a  
6       series of remediation tasks, when the site was  
7       in remediation, where these thorium materials  
8       had to be removed from storage, placed in  
9       suitable containers, if they weren't already,  
10      and then dispositioned somewhere.   If there  
11      was good product material, somebody may have  
12      wanted them.   Really at the time hardly anybody  
13      wanted thorium anymore, so I think the vast  
14      majority of it was disposed of as waste in  
15      various ways.

16                      A couple of those remediation tasks  
17      were subcontracted tasks.       Like the  
18      disposition of the thorium nitrate was a  
19      subcontracted task.   And removal of the  
20      thorium from Plant 8 -- they're either called

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 silos or bins. Sometimes they'd use one word;  
2 sometimes they'd use another. That was a  
3 subcontractor task as well. So all those  
4 removals, all those remediations, are also  
5 described in the paper we wrote about how these  
6 materials were removed.

7 So there's a period of time from  
8 about 1988 when the mobile counter was replaced  
9 by the fixed counter, the fixed in vivo counter.  
10 From that point forward, we don't necessarily  
11 have every in vivo result in our records. We  
12 have the in vivo results from claims from that  
13 point forward.

14 The in vivo results, all this time,  
15 from '79 on, people were not in vivo'd because  
16 they were thorium exposed. They were in vivo'd  
17 because of potential uranium exposure. But  
18 the in vivo counters spit out thorium results  
19 anyway. So if there was a thorium intake from  
20 one of those overpacking operations, it should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 show up in that person's in vivo record.

2 Let's see, what else did I want to  
3 say about this? At some point somewhere in the  
4 '90s, the site adopted a 100 percent BZ sampling  
5 requirement for thorium. And so I believe we  
6 chose '95 as the start date when there seemed  
7 to be a really robust set of thorium in vivo --  
8 or thorium BZ air monitoring data. From that  
9 point forward, the method that we're proposing  
10 is to use the BZ sampling record as the record  
11 of the exposure.

12 And in that interim period, our  
13 original proposal was to use some fraction of  
14 the exposure standard. Again, there's  
15 evidence that these projects then were  
16 controlled by air sampling. The people who  
17 went in wore respiratory protection and so  
18 shouldn't have exceeded like 10 percent of the  
19 airborne standard during the work.

20 And then we also, I think, started

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to investigate the possibility of do we have  
2 enough in vivo data from claim files to build  
3 a coworker model. And would that be a suitable  
4 model, since we don't have all? We only have  
5 claimants. So I think we started some work on  
6 that.

7 Anyway, I think maybe I'll be quiet  
8 and let people who know more about it talk more  
9 than me.

10 MR. BARTON: Okay. Thanks, Stu.

11 We'll go over sort of the proposed  
12 methods for dose reconstruction first and then  
13 we'll sort of talk about each one in turn.

14 Again, for those of you have access  
15 to Live Meeting, the presentation is up right  
16 now. For those of you don't, that presentation  
17 is also on the website, as Ted pointed out.

18 MEMBER ZIEMER: Before you  
19 start --

20 MR. BARTON: Yeah.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER:     -- I was just  
2                   looking at my emails from Zaida. She didn't  
3                   send me the connecting thing for this meeting.

4                   MR. KATZ:    I'm going to send it to  
5                   you right now.

6                   CHAIRMAN CLAWSON:  Would you send  
7                   it to me, too, Ted?

8                   MR. KATZ:    Yeah.

9                   MR. BARTON:   Okay. I guess I'll  
10                  talk slowly until --

11                  (Laughter)

12                  MR. KATZ:    No, that's all right.  
13                  That's fine

14                  MR. BARTON:   Basically, as Stu sort  
15                  of mentioned, the --

16                  MEMBER ZIEMER:  Excuse me, do you  
17                  have something different than what's on the  
18                  meeting papers?

19                  MR. HINNEFELD:    No, this is  
20                  exactly --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking.)

2 MEMBER ZIEMER: Is it the one that  
3 was on the meeting papers?

4 MR. KATZ: Yes.

5 MEMBER ZIEMER: On the regular  
6 page?

7 MR. HINNEFELD: On our website.

8 MEMBER ZIEMER: On the website?  
9 So I can pull it up there.

10 MR. KATZ: Yeah, I'm going to  
11 forward it to you. I've just got to do one  
12 thing to be able to do that.

13 MR. BARTON: Okay. Anyway, the DR  
14 methods for internal thorium can really be  
15 effectively split into three periods. You  
16 have the 1979 to 1989 period, which uses the  
17 mobile counter in vivo data. Then you have the  
18 1990 to 1994 period where, for unmonitored  
19 doses, the proposal is some fraction of the  
20 derived air concentration at the time. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 then the third period is from 1995 to 2006,  
2 where the breathing zone results for workers,  
3 which are contained in the HIS-20 and also for  
4 claimants in the individual claim files from  
5 DoE are contained.

6 These periods, as Stu kind of  
7 mentioned, are sort of delineated by what  
8 methods are being employed, whether it was the  
9 mobile counter, the derived air concentration  
10 of breathing zone, the availability of the  
11 data. As Stu mentioned, from 1990 to 1994,  
12 when they had the fixed counter, all you really  
13 had were claimant records, so we didn't have a  
14 full monitored population there with which to  
15 really build a coworker model. And then in the  
16 later period, you do again have in vivo results,  
17 but only for claimants, but you also have this  
18 fairly robust breathing zone program, which we  
19 will get into.

20 The methods themselves and how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they're going to be applied are on pages 12 and  
2 13 of NIOSH's White Paper on the approach to  
3 reconstructing thorium doses, which we're  
4 going to take a look at right now.

5 So we see with this table -- I said  
6 three periods. It's sort of four periods based  
7 on whether you have in vivo data for the  
8 claimants. But that first line in the table  
9 you see here, 1979 to 1994, if in vivo exists,  
10 then you're obviously going to use that data for  
11 the individual claimant.

12 From 1979 to 1989, if in vivo  
13 doesn't exist for a claimant, that's when we use  
14 the coworker model based on the mobile counter  
15 results.

16 Again, in 1990 to 1994, if you don't  
17 have in vivo data, then this is where the  
18 fraction, the 10 percent of the thorium, its  
19 Class W-derived air concentration would be used  
20 for unmonitored workers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And then this final period here,  
2                   1995 to 2006, if you have in vivo, you're sort  
3                   of left with a choice. You can evaluate it.  
4                   If it was a positive result, you'd either then  
5                   have to decide whether that was from a previous  
6                   exposure, possibly from the earlier period in  
7                   the 1980s. If it's not, if there's evidence  
8                   that that positive lung burden occurred in the  
9                   1995 to 2006 period, you would definitely use  
10                  that in vivo result, but otherwise the  
11                  breathing zone data is considered the data of  
12                  choice to use.

13                  So I'm going to talk a little bit  
14                  about the selection of what's considered a  
15                  thorium worker during this first period from  
16                  1979 to 1989. And the NIOSH White Paper  
17                  indicates essentially seven job types. And  
18                  I'll just read them off here. You have  
19                  chemical operators, fork truck drivers,  
20                  laborers,                  transportation                  laborers,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 operations, production workers and maintenance  
2 personnel. And we discussed this a bit  
3 at the last meeting in September. I'm going to  
4 quote Stu here. He's a very quotable guy. To  
5 quote, "And we'd be pretty encompassing about  
6 that. You figure almost anybody in operations  
7 could have done that. Most anybody in  
8 maintenance. Transportation could have been  
9 involved in it. You have safety and health  
10 people. Might have security people there. So  
11 you've got to be pretty inclusive."

12 Now, in the second period, from 1990  
13 to 1994, when it's proposed to use the 10  
14 percent of the derived air concentration  
15 values, the selection of workers for which you  
16 would assign unmonitored thorium doses is as  
17 follows from the White Paper: "From 1990 to  
18 1994, thorium workers with no in vivo results  
19 or with pre-job fecal sample results during  
20 this employment period are recommended to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 assigned a dose." So, essentially, based on  
2 the proposed methodology, you have to have that  
3 pre-job fecal sample to be considered for an  
4 unmonitored thorium dose.

5 And then unmonitored workers in  
6 that third period, from 1995 to 2006, would not  
7 be assigned any coworker dose.

8 Also, the NIOSH White Paper  
9 provides methods for calculating thoron  
10 exposure to thorium-related activities. And  
11 as you can see on this slide here, there's  
12 essentially three time periods that were  
13 considered and three sort of areas and/or  
14 activities that you would consider. And those  
15 values are given in working level months per  
16 year.

17 And, again, we're going to get into  
18 our review topics on each of these facets. I  
19 just want to lay out what the DR methods are that  
20 are currently proposed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   As far as the thoron approach, the  
2                   White Paper doesn't necessarily specify who  
3                   would be assigned thoron. As you saw on this  
4                   previous table, they do give an area of the  
5                   plant. Storage facilities and repackaging are  
6                   a number of places. And then closure and  
7                   various storage activities. Again, that's  
8                   sort of all of the plant. And then you have in  
9                   1979, pretty much for the period of interest,  
10                  the pilot plant.

11                  However, the White Paper does  
12                  state, and I quote, "The dates and bounding  
13                  levels of calculated potential exposures  
14                  represent recorded operational history.  
15                  However, thorium was present on site for most  
16                  of its history. For unknown work locations and  
17                  time periods of concern, dose reconstructors  
18                  should assume that thoron exposure potential  
19                  existed as a claimant-favorable assumption and  
20                  assign thoron doses based on the guidance from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the table," which we just say on the previous  
2 slide.

3 So I pretty much take that to mean,  
4 if you don't really know where the workers were,  
5 and as we know at Fernald it's very difficult  
6 to place workers in specific locations, that  
7 the benefit of the doubt goes to the claimant  
8 and they would be assigned that thoron dose.

9 So, next we're going to look at that  
10 first period, 1979 to 1989, for which we're  
11 using the mobile in vivo data to construct a  
12 coworker model. And as you see in front of you,  
13 this is a completeness evaluation of that data  
14 set, which we actually performed a couple of  
15 years ago, but we should go over that here so  
16 it's fresh in everybody's mind. What we're  
17 looking at is the number of in vivo samples we  
18 had per year. As you can see, it's 1979 through  
19 1988, even though this period includes 1989.  
20 Essentially, 1989 was extrapolated based on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 previous data in the 1980s.

2 One interesting trend that you can  
3 look at here is that from '79 to about 1983 you  
4 have between 100 and 200 samples. And then in  
5 1984, it sort of spikes up over 300, then over  
6 400. This was interesting to me because that  
7 was a similar trend that we saw in the overall  
8 uranium bioassay program and it sort of  
9 coincided with the transition from National  
10 Lead over to Westinghouse.

11 One thing we looked at is how these  
12 in vivo data broke down by job title. And these  
13 job titles that you're looking at here are  
14 ranked by the total number of samples available  
15 in the data set. And as we can see, 55 percent  
16 of the actual in vivo samples that we have are  
17 associated with chemical operators, which  
18 certainly we would consider to be one of the  
19 higher risk job types. And if you look over at  
20 the actual magnitude of the results, at the 95th

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 percentile, the chemical operators also had the  
2 highest results.

3 MEMBER ZIEMER: All right. Let me  
4 ask you a question here, because I was puzzled  
5 on this slide. I thought that these things  
6 should add up to 100 percent. What am I missing  
7 here? They're way over 100 percent.

8 MR. BARTON: How -- I apologize.

9 MEMBER ZIEMER: The percent of the  
10 totals. I went through them yesterday.

11 MR. BARTON: I would assume it's an  
12 rounding error, but --

13 MEMBER ZIEMER: The first two is  
14 80. We got 90 -- about 99 percent --

15 MR. BARTON: Are we looking at --

16 MEMBER ZIEMER: -- with the first  
17 four. There's another 10 percent. It adds  
18 about to about 125 percent.

19 MR. BARTON: -- 91 percent. We'll  
20 have to go back and look at that. There must

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be some sort of rounding error, but I'm not --

2 (Simultaneous speaking.)

3 MEMBER ZIEMER: It looks like more  
4 than a rounding error to me.

5 MR. BARTON: Okay. Well, I think  
6 the point is --

7 MEMBER ZIEMER: Does something  
8 include something else there, like --

9 MR. STIVER: It might have been  
10 multiple job types.

11 MEMBER ZIEMER: I mean, like are  
12 mill workers part of construction trades?

13 MR. STIVER: Yeah, it might be  
14 double-counted.

15 MR. HINNEFELD: I think there are  
16 probably some job categories --

17 MEMBER ZIEMER: Yeah, that's what I  
18 was --

19 MR. BARTON: We might have broken  
20 them out, you know, into industrial truck

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 operator and that somehow is still counted as  
2 construction trade.

3 MEMBER ZIEMER: That's what I sort  
4 of figured, but it wasn't clear to me why it  
5 was --

6 MR. BARTON: Yeah, and I can  
7 certainly get to the bottom of that during the  
8 break.

9 But I guess the point here is that  
10 we have a monitoring program that, when you look  
11 at the job types that were monitored most  
12 frequently, the chemical operators also had the  
13 highest results that we observed. And part of  
14 that is probably an artifact that the chemical  
15 operators would have been involved in the pilot  
16 plant operations, which was the final  
17 production operation for thorium. And it  
18 occurred in 1979. So you would expect that's  
19 where your exposure potential would be highest.

20 I guess what I'd take away from this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 slide is that you don't have -- one of the things  
2 we always look for with completeness analysis  
3 is, does it look like there's a job that had high  
4 exposure potential but that was systematically  
5 excluded? That's one of the criteria that  
6 almost immediately calls a coworker model into  
7 question. And I would argue based on what we  
8 see here that almost the opposite appears to be  
9 true, that the monitoring program was in fact  
10 sort of geared toward those higher risk job  
11 types.

12 A big portion of this is the unknown  
13 sort of job titles, and that's either because  
14 the job title was just not included on the  
15 original bioassay card, it was blank or  
16 illegible. So that could be a wide spectrum.  
17 So just because that's high up on this list  
18 doesn't necessarily indicate that you're  
19 missing some of those job categories. You  
20 could have all sorts of different jobs in there,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a full spectrum. You could have ones that were  
2 exposed and ones that weren't exposed mixed  
3 together. So that's why perhaps the magnitude  
4 is not as high as you would expect.

5 MEMBER ZIEMER: Another question,  
6 Bob.

7 MR. BARTON: Yeah?

8 MEMBER ZIEMER: This is not directly  
9 on the slide, but it has bearing on it. Can you  
10 remind me, on thoron, which is another isotope  
11 of radon, is the working level month defined in  
12 an analogous way to radon-220? And if you  
13 don't have equilibrium, how is it defined, the  
14 working level month for thoron? Defined in  
15 terms of dose or --

16 MR. STIVER: No, it's in terms of  
17 potential alpha energy exposure.

18 MEMBER ZIEMER: Okay.

19 MR. STIVER: The main reason being  
20 because there is that disequilibrium and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's --

2 MEMBER ZIEMER: But is the working  
3 level month for thoron the same amount of alpha  
4 energy as the working level month for radon?  
5 That's what I'm trying to get at. Or is it  
6 based on activity?

7 MR. ROLFES: This is Mark. One  
8 working level of thoron, I believe, is 7.1  
9 picocuries and 100 percent equilibrium.

10 MR. HINNEFELD: To your question,  
11 yes.

12 MEMBER ZIEMER: Yeah, it assumes  
13 equilibrium, but if you don't have equilibrium,  
14 then you just go by total alpha energy?  
15 Because a lot of the times you don't have  
16 equilibrium. So if you're expressing working  
17 level months, are you just saying, okay, if we  
18 have the same amount of alpha energy for this  
19 ratio, it's still a working level month or --

20 MR. STIVER: Yeah, I think the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 equilibrium ratio may be a little bit different  
2 for thoron than it would be by virtue it's a  
3 short decay time.

4 MEMBER ZIEMER: Yeah, different  
5 alphas and different --

6 MR. STIVER: Yeah.

7 MEMBER ZIEMER: Okay.

8 MR. HINNEFELD: Yeah, if I recall,  
9 working level month measurement is typically an  
10 alpha count, a particulate that was counted  
11 multiple times, at least two times.

12 MEMBER ZIEMER: Okay. It didn't  
13 matter what the equilibrium was, you just --

14 MR. HINNEFELD: And what would  
15 happen was the extent of difference between the  
16 two alpha counts would give you some  
17 information about the equilibrium.

18 MEMBER ZIEMER: Right. You took  
19 them --

20 MR. HINNEFELD: And so there's an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 adjustment for that.

2 MEMBER ZIEMER: -- with a time  
3 lapse like you would for radon?

4 MR. HINNEFELD: Yes.

5 MEMBER ZIEMER: Okay.

6 MR. HINNEFELD: And then, as I  
7 recall, it's done the same way. And so you get  
8 some information. If you're taking actual  
9 working level month measurement, then you get  
10 information about the disequilibrium from the  
11 way you take the sample. If you're taking a  
12 radon measurement and saying, well, for this  
13 much radon we're going to use a 70 percent  
14 equilibrium or a 50 percent equilibrium, I  
15 don't know the answer to that one.

16 DR. LIPSZTEIN: May I --

17 MEMBER ZIEMER: Joyce will clear it  
18 up.

19 DR. LIPSZTEIN: I think that when  
20 calculating that table, NIOSH on the White

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Paper assumes some equilibrium fraction.

2 MEMBER ZIEMER: Thank you, Joyce.

3 DR. LIPSZTEIN: When you go to  
4 Appendix F on the Paper you'll see that some  
5 equilibrium fractions were assumed. And  
6 actually this was, I think, one of the problems  
7 with the numbers that were found.

8 MEMBER ZIEMER: Right, and the  
9 reason the ratios between actinium and lead are  
10 so different in a couple cases is what, then?

11 MR. BARTON: Well, again, these  
12 aren't individual workers. These are sort of  
13 the 95th percentile of --

14 MEMBER ZIEMER: It's a  
15 distribution --

16 MR. BARTON: But we're also going  
17 to be talking about there's sort of a negative  
18 bias between the lead-212 -- and also there's  
19 some cases where there might be unsupported  
20 radium exposures, which would account for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 actinium being significantly higher than the  
2 lead-212 result, which won't to be saying a lot,  
3 but we'll get into that.

4 MEMBER ZIEMER: Okay. We'll get  
5 to that. But you highlighted a few here that --

6 MR. BARTON: These are highlight  
7 because they're the only results at the 95th  
8 percentile that are actually above the  
9 detection limit.

10 MEMBER ZIEMER: Yeah.

11 MR. BARTON: So the detection limit  
12 for actinium was, I believe, .24 and for lead  
13 it was .23, or it might have been reversed.

14 Okay. So we also take a look at the  
15 areas to see where were the people sampled.  
16 Now, in this case, a large proportion were  
17 sampled in other areas, which is not surprising  
18 because you have several areas that wouldn't  
19 fit into Plant 1, 2, 3, 4, et cetera.

20 Interestingly, Plant 5 had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 significantly high actinium results. And we  
2 kind of asked ourselves why that would be.  
3 There's no known processing of thorium  
4 throughout the campaign in Plant 5. But also  
5 one reason that might be is Building 65, where  
6 they stored a lot of the thorium in drums -- and  
7 it was actually noted in 1990 how much they were  
8 deteriorating and somewhat leaking -- that's  
9 right outside of Plant 5. It used to be called  
10 the old Plant 5 warehouse. So that might be an  
11 artifact of when they went to go get counted via  
12 in vivo and it's, you know, where were you? And  
13 it's like, well, I was in the old Plant 5  
14 warehouse. So they just scribbled down "Plant  
15 5."

16 MR. HINNEFELD: This is Stu. Wait  
17 a minute. Building 65 was north of Plant 9, I  
18 think.

19 MR. BARTON: It is referred to the  
20 old --

1                   MR. HINNEFELD: It is called the  
2 old Plant 5 warehouse on occasion, but 64 and  
3 65 were adjacent to each other. And there was  
4 Plant 5. North of that was Plant 9. And then  
5 north of that were Buildings 64 and 65.

6                   MEMBER ZIEMER: But Bob is  
7 suggesting that someone may have misidentified  
8 by calling it old Plant --

9                   MR. HINNEFELD: They may have said  
10 they were working in the Plant 5 warehouse, I  
11 suppose.

12                  MEMBER ZIEMER: Where they meant  
13 old --

14                  MR. BARTON: Yeah, it's a theory,  
15 anyway. But also you see down here the pilot  
16 plant workers had really the highest overall  
17 results, which is not surprising because, as we  
18 said, the final campaign in 1979, which is what  
19 we're looking at here, happened in the pilot  
20 plant. It was a thoria gel operation. That

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was really the last production activity. So,  
2 again, you would sort of expect to see in that  
3 last production activity the higher lung  
4 burdens.

5 This next sort of test that we put  
6 it to is we wanted to see how frequently workers  
7 with positive samples were re-sampled as  
8 opposed to the rest of the monitored working  
9 population. And what we see here in front of  
10 us is essentially we looked at it from three  
11 pretty simple metrics: arithmetic average,  
12 geometric mean and rank-ordered median. And  
13 what you can see here is these sort of bottom  
14 two rows are -- well, look at the middle row.  
15 If you submitted a positive sample, the average  
16 time to the next sample was about 100 days. So,  
17 you know, a little over three months. That's  
18 at the average. If you start looking at the  
19 mean and the median, it's much less than that.  
20 It's more like a month.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Now, if your sample was less than  
2                   the MDA, that number skyrockets to nearly 500  
3                   at the average and pretty much close to a year  
4                   at the geometric mean and median. So you're  
5                   almost talking a factor of 10 for those two  
6                   metrics. So it's pretty apparent, based on  
7                   this analysis, that if you submitted a positive  
8                   sample, you were put on that schedule to be  
9                   counted again much faster. It wasn't just a  
10                  set schedule where it didn't really matter what  
11                  your result was.

12                  So, again, that's a piece of  
13                  evidence for us that the data set we're looking  
14                  at is sort of geared toward the higher exposed  
15                  workers and, you know, it's not systematically  
16                  excluding anybody. And really the ones with  
17                  the higher results were re-sampled a lot  
18                  quicker. So those are all pretty positive  
19                  things for us.

20                  Now we're going to go into the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       adequacy of these thorium in vivo records.  
2       And, Joyce, step in if I get anything wrong  
3       here. I know you did most of the work on this  
4       particular section.

5                       But the way we see it, there are four  
6       many facets in how you interpret the in vivo  
7       data. And when we say "adequacy," what we  
8       really mean is taking that number we see in the  
9       data set and relating it actually back to dose.  
10      And the four parts of that are really, number  
11      one, the assumption of the triple-separated  
12      thorium. And we can get into that if people  
13      have questions about that.

14                      Also, to your question, Dr. Ziemer,  
15      one of the things was adjusting the lead-212  
16      result for bias, because, as we saw, there's a  
17      significant difference in the Ac and Pb  
18      results, but also we noted that a lot of the lead  
19      results were negative, which just didn't make  
20      a lot of sense. So, basically what NIOSH did

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was they went in and corrected that so that  
2 you're not seeing a whole bunch of negative  
3 results. We're actually sort of correcting  
4 them back to zero for background.

5 The third facet, we mentioned also  
6 the high actinium results in relation to the  
7 lead results, is this notion of unsupported  
8 radium exposure. And I apologize. You see  
9 these two bullet points below 4. Those should  
10 actually be underneath 3 because they pretty  
11 much describe how that's done. You use the  
12 actinium chest burden and you assign it as a  
13 radium intake. So you evaluate the actinium  
14 burden. Or if it's a missed dose, the MDA  
15 divided 2. And you use the radium biokinetic  
16 model, and it would be considered Type M.

17 Okay. The assumption of  
18 triple-separated thorium. This was actually  
19 discussed a few times in Work Group meetings.  
20 And back in our original review of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 completeness and adequacy of this 1979 to 1989  
2 data, we actually state, "SC&A agrees that the  
3 triple separation hypothesis -- that is, the  
4 ratio of thorium-228 to thorium-232 -- equals  
5 0.19. It's claimant favorably for the period  
6 1979 to 1988, and by extrapolation, to 1989 when  
7 the lead-212 results are used to calculate the  
8 dose." And SC&A's position remains unchanged  
9 on that particular topic.

10 In a similar fashion, as I said, we  
11 noticed that there were too many negative  
12 lead-212 results when we were looking at the  
13 data set, and we expressed concern in that 2012  
14 report and we state, "most of the thorium-232  
15 progeny results above the MDA are for actinium,  
16 and in most cases actinium activities are  
17 higher than the lead-212 activities."

18 Subsequent to that report, NIOSH  
19 calculated an adjustment for that observed  
20 bias. It's contained in their most recent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 White Paper. And SC&A agrees with the  
2 adjustments and how they were calculated. So  
3 we have no problem with that.

4 Now we move on to unsupported  
5 radium. SC&A agrees with this method as well,  
6 to use the actinium results to calculate  
7 radium-228. And these are sort of the samples  
8 we saw on the previous page. But to evaluate  
9 the actinium chest burden, or if it's a positive  
10 chest burden or as a missed dose, the MDA  
11 divided by two. And you assign it as the  
12 radium-228.

13 One thing we did note is that this  
14 method is really for estimating unsupported  
15 radium exposures to monitored workers. What  
16 we didn't see is any method to possibly  
17 incorporate that into coworker doses. And we  
18 don't know if that's something DCAS was  
19 planning to do, or I don't know if there's a  
20 particular response. We can certainly wait

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for a formal review of the Paper.

2 MR. HINNEFELD: Well, see, now  
3 we're talking about the period from '79 to '89.

4 MR. BARTON: Right.

5 MR. HINNEFELD: Right? And I  
6 think that while we have prepared methods for  
7 unmonitored workers, I think there's going to  
8 be a really small population of unmonitored  
9 workers that didn't get any in vivo monitoring  
10 during their employment and were in a category  
11 where they were likely to be exposed. Because  
12 people -- you know, if they were monitoring --  
13 if the in vivo monitor was used to monitor  
14 people for potential exposure for uranium, that  
15 was what the purpose was, for uranium.

16 It showed up usually a couple times  
17 a year. And they counted everybody who'd had  
18 a detectable burden, and so you have the  
19 frequent recalculation if they had a detectable  
20 burden. The operations people had a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 particular frequency. The maintenance people  
2 had another frequency. And then other people  
3 who might go in the process area, like health  
4 and safety people, they probably had -- I don't  
5 remember exactly, but it may have been a little  
6 less frequent.

7 But almost everybody in the  
8 potentially exposed population would have been  
9 monitored. So I think there are very few  
10 people who were potentially exposed who didn't  
11 have an in vivo monitoring. Maybe some  
12 claustrophobes or something. Because in vivo,  
13 the chamber was really small, the portable  
14 counter

15 MR. BARTON: We did notice "refused  
16 to get counted" in a lot of files.

17 MR. HINNEFELD: Yeah. So you're  
18 only going to have a handful of people probably  
19 who were potentially exposed who weren't  
20 monitored. And looking at the data, the in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 vivo data, the radium-228 without associated  
2 lead-212 is a fairly uncommon event. So, given  
3 the uncommon nature of the in vivo outcome and  
4 the small population that's probably going to  
5 need to the unmonitored approach, the  
6 unmonitored worker approach, we did not propose  
7 that we would add the unsupported radium-228  
8 intake for the unmonitored people. We just  
9 felt like it would be unlikely those two  
10 unlikely events would converge. We can --

11 MEMBER ZIEMER: But if you had such  
12 a case what would you do?

13 MR. HINNEFELD: Well, we wouldn't  
14 know.

15 MEMBER ZIEMER: Oh.

16 MR. HINNEFELD: We wouldn't have an  
17 in vivo result, so we wouldn't see the high --

18 MEMBER ZIEMER: Oh, you wouldn't?  
19 Okay.

20 MR. HINNEFELD: -- the 212 and 228.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: Joyce --

2 DR. LIPSZTEIN: May I ask you --

3 MR. BARTON: Go ahead, Joyce.

4 DR. LIPSZTEIN: I would like to ask  
5 one question. Did they understand well that  
6 you were going to use all the actinium-228 chest  
7 burden to use it as unsupported radium?

8 MR. HINNEFELD: No, I thought what  
9 the decision process was -- and I may be wrong  
10 on this, so maybe somebody on the phone might  
11 have to correct me -- but I thought what the  
12 decision process was, was that there had to be  
13 a particular difference, some threshold  
14 difference between the actinium-228 and the  
15 lead-212 in order to draw that conclusion. I  
16 don't remember what it was. And I know Tom  
17 LaBone is on the phone and I may have just  
18 completely bollocksed that up. Tom would be  
19 probably the one who knows better than I.

20 MR. LABONE: I don't know if that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exists in the procedure or not, the actual  
2 instructions to do that.

3 MR. HINNEFELD: I'm sorry, Tom, I  
4 didn't --

5 MR. KATZ: Tom said he didn't know  
6 whether that exists in the procedures to do  
7 that.

8 MR. HINNEFELD: Okay. So, in  
9 other words, we may not have actually prepared  
10 that.

11 DR. LIPSZTEIN: Because that's on  
12 the Paper. And I thought, wow, that's -- but  
13 it's there, how to calculate the dose. And  
14 it's there in the procedures, evaluate the  
15 actinium-228 chest burden with radium-228 by  
16 arithmetic model and assign it as an intake rate  
17 of Type-M radium-228. It doesn't say anything  
18 about the difference between actinium and lead,  
19 or nothing like that. It's just evaluate  
20 actinium-228.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Well, I think that  
2 what we've prepared isn't what I would call a  
3 procedure, but rather this is the method that  
4 would be utilized in the instance where it's  
5 determined it's necessary. And based on what  
6 Tom said, I don't know we've actually set a  
7 criteria for when is it significantly  
8 different. When you look at the in vivo data,  
9 there are examples, or at least one example of  
10 a case where there is an actinium result that's  
11 quite a lot higher than the lead-212 result.

12 DR. LIPSZTEIN: No, that's exactly  
13 what my doubt is, because it doesn't say when  
14 there is a significant difference. It just say  
15 all actinium-228.

16 MR. HINNEFELD: I think what the  
17 intent was not to -- you know, what we prepared  
18 was not intended to be this is a definitive  
19 instruction that in every case we will do that,  
20 but I think that what we would expect to do is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to come up with some sort of criteria. When is  
2 the difference between actinium and lead big  
3 enough that we feel like it's worth that, you  
4 know, doing the unsupported radium intake?  
5 Most of these in vivo counts, if they're  
6 detectable, they're close to the detection  
7 level. And so you're going to have a pretty  
8 sizeable level of uncertainty in terms of the  
9 result. And so you're going to have a fair  
10 amount of separation, I would think, between  
11 those two numbers before you would really  
12 conclude that you an unsupported radium intake.

13 So, to answer your question, Joyce,  
14 I don't think that we've actually developed  
15 criteria for when we would make that decision.

16 DR. LIPSZTEIN: No, I agree with  
17 you that you should analyze the actinium-228.  
18 That makes sense when it's significantly  
19 greater than the lead. But if you read what is  
20 written on the page 13, you'll see that it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 written that you are actually evaluating all  
2 actinium-228 chest burden.

3 MR. HINNEFELD: Yeah, I think when  
4 we write the procedure for how to do the dose  
5 reconstruction we'll make it clear that there's  
6 some sort of criteria to select that would cause  
7 you to do that, some sort of selection criteria  
8 that would cause you to do that.

9 MR. BARTON: Yeah, I think we're  
10 just confused a little bit by the wording,  
11 because it almost looked like we were doubling  
12 up. You know, we used the actinium here and the  
13 lead to do the thorium. So, I mean, obviously  
14 that's very claimant-favorable do that, but not  
15 very realistic.

16 All right. To move onto No. 4 -- I  
17 don't want to spend too much time on this -- is  
18 how you calculate what's known as the OPOS  
19 statistic: one person one sample. For those of  
20 you who don't know what that is, is what we used

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to do is called the pooled approach, which is  
2 take every sample, fit it to a curve, pick off  
3 the 50th and 95th and calculate intakes. One  
4 person one sample is we take each worker's  
5 samples in a given period, say a year. You  
6 average those into one data point and now you  
7 have a distribution of workers instead of a  
8 distribution of all the samples.

9 And this is being currently thrown around  
10 in the SEC Issues Work Group. And aside from  
11 just averaging, it's being proposed whether you  
12 actually weight it by some sort time, either the  
13 time that happened before that sample or the  
14 time after that sample to the next sample.  
15 Those are known as post-weighting and  
16 pre-weighting. We just wanted to note here  
17 that currently NIOSH is using the  
18 post-weighting approach. SC&A is  
19 recommending the pre-weighted approach. So  
20 that might be something that may be changed down

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the line. It may not. We wanted to make sure  
2 the Work Group was aware of that and how you  
3 actually calculate the results.

4 MEMBER ZIEMER: I thought the SEC  
5 Work Group agreed on a weighting procedure.

6 MR. KATZ: They did.

7 MR. STIVER: Yeah, this is Stiver.  
8 Dr. Ziemer is correct. In the last SEC Work  
9 Group meeting, I believe Dr. Neton indicated  
10 that they wanted to go ahead and use the  
11 pre-weighting. So it's something that's been  
12 agreed to. It just hasn't been promulgated  
13 into a procedure yet.

14 MR. HINNEFELD: I think this was  
15 prepared before that decision was made and so --

16 MEMBER ZIEMER: Basically it's in  
17 abeyance with the SEC Work Group. So it has  
18 been agreed upon.

19 MR. HINNEFELD: I believe it has  
20 been, so I think the model will be adjusted.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: I mean, at the time  
2 this was done --

3 MEMBER ZIEMER: Right, I  
4 understand.

5 MR. BARTON: -- post-weighting was  
6 actually in RPRT-53, the revision.

7 MEMBER ZIEMER: Right.

8 MR. BARTON: So that might change.  
9 So the numbers probably will change. So I just  
10 wanted to make the Work Group aware of that.

11 Okay. Now we're going to talk a  
12 little bit about the job types that were  
13 identified as thorium workers. And, again,  
14 this is from page 16 of the NIOSH White Paper,  
15 and it provides this short list of seven job  
16 types. I've already read them into the record.  
17 They're up here on the slide, so I won't bother  
18 to do that again.

19 But to sort of get a handle on this,  
20 SC&A took a look at some claimant files. When

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I say "claimant files," I mean the CATI reports,  
2 which is the Computer-Aided Telephone  
3 Interview; DOE response files, which are the  
4 monitoring files provided by DOE; and the  
5 Department of Labor case files, which don't  
6 usually provide too much more information than  
7 you'd find in the CATI and the DOE response, but  
8 since it's pretty much the initial application,  
9 sometimes there's more information about what  
10 sort of job duties were done. And so there is  
11 valuable information there.

12 We only looked at claims with a PoC  
13 less than 50 percent, because obviously those  
14 are the claims that would ultimately benefit  
15 from a coworker model or coworker intakes  
16 assigned. And what we basically did is we took  
17 that group and we classified them into  
18 essentially four categories: Category 1 is not  
19 likely to be assigned coworker intakes. These  
20 are your administrative personnel. You know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 secretaries, people who really didn't enter  
2 radiological areas and so it's probably not  
3 appropriate to assign thorium exposure  
4 potential to them.

5 Category 2 is essentially those  
6 workers, those seven job types that were  
7 identified in the NIOSH White Paper as thorium  
8 workers. So if they're unmonitored, they're  
9 getting the thorium coworker model.

10 And these next two categories are  
11 kind of ones of interest to us. Unknown  
12 essentially refers to either there is no job  
13 title included in the claimant file, which  
14 sometimes CATI interviews are declined or  
15 performed with, say, a survivor who wasn't  
16 really sure on the exact duties and job title.

17 Then you sort of have this gray area  
18 in category 4 where it's sort of ambiguous.  
19 You know, you don't fit into that  
20 administrative category, but you don't quite

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fit into those seven categories delineated in  
2 the NIOSH White Paper.

3 So, on this slide we have  
4 essentially the number of claims that fell into  
5 each of these categories. As we can see, about  
6 a quarter of those claims would be considered  
7 purely administrative. A little over 28  
8 percent fit into that -- it says likely, but  
9 really based on the current proposed method  
10 would definitely be assigned coworker intakes.  
11 Unknown was a pretty small grouping. Only six,  
12 six-and-a-half percent. And potentially  
13 those are the ones we're really interested in.

14 We're kind of interested in some of the  
15 unknowns, too, but it's really tough. A lot of  
16 times we glean information about whether they  
17 had thorium exposure potential, because when  
18 you have an unknown, what they did, you really  
19 don't have the information of what types of jobs  
20 they would have been doing. So it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 says here we concentrated on the third and  
2 fourth, but really what we're talking about is  
3 that fourth category of the short of gray area.  
4 They're not on the list of thorium workers as  
5 defined by the White Paper. They're not  
6 obviously non-radiological workers.

7 So we examined 20 such claims that  
8 fell into categories 3 and 4. Really there  
9 were only a couple from category 3. They were  
10 mostly from category 4.

11 MEMBER ZIEMER: How did you select  
12 the 20 once you got the categories?

13 MR. BARTON: Well, what I wanted to  
14 do was get a good cross-section of different job  
15 types that kind of fell into that gray area, but  
16 also have a significant employment period in  
17 the '79 to '89 period.

18 So we have a couple of observations  
19 based on that review. The job categories that  
20 fell into that sort of gray area were engineers,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fire protection technicians, analytical  
2 chemists, supervisors; and were they  
3 supervisors in an office setting or were they  
4 labor foremen, that type of thing? Inventory  
5 control. I mean, do we have people out there  
6 opening barrels? Clerks usually we would  
7 consider administrative, but in this case the  
8 person was really out there with a clipboard  
9 kind of just like the inventory control person.  
10 Laundry we were kind of interested in in case  
11 they'd be exposed to thorium-type materials,  
12 washing maybe some of the anti-Cs or something  
13 like that. So we took at least one laundry  
14 worker. And then various types of trade  
15 workers that didn't necessarily fit into those  
16 seven categories that are in the proposed  
17 approach.

18 So, 13 of those 20 surveyed claims  
19 indicated exposure potential to thorium in the  
20 CATI report. Now I want to sort of give a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 little description there, because it's not  
2 necessarily that they said, "I was working in  
3 the thorium area," or something like that.  
4 What it essentially is, and it's on the second  
5 or third page of every CATI report, it's a  
6 listing of maybe 25 to 30 contaminants and check  
7 boxes. Yes, no, don't know. What form was it  
8 in? What type of quantity? And so when I say  
9 13 of the 20, 13 of the 20 had checked thorium  
10 for potential for exposure.

11 One other observation, because  
12 several of these workers did work after the time  
13 when the mobile in vivo unit was no longer used,  
14 was that when the IVEC system, which was the in  
15 vivo counter that was directly at Fernald -- it  
16 didn't move around or anything -- they were  
17 monitored after 1988 in that system, but they  
18 were not monitored or were rather sporadically  
19 monitored proper to that time.

20 And what I would take away from that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is, assuming they were pretty much doing the  
2 same types of things, that maybe after 1988, as  
3 the program is really fine tuning itself, they  
4 realized, well, maybe these people should have  
5 been monitored, so we're going to monitor them  
6 now, but maybe they weren't monitored before.

7 Another observation we had that 10  
8 of the 20 claimants indicated that their work  
9 locations were highly variable. And I have  
10 quoted here, "worked all over the site."  
11 That's actually a really common phrase that  
12 you'll see stated in claimant interviews.

13 And six of the 20 actually  
14 specifically indicated either direct work with  
15 thorium or worked in thorium areas, such as  
16 Buildings 64 and 65, or involved in the thorium  
17 overpack, or a lot of times the quote, "thorium  
18 warehouse," which could probably refer to a  
19 couple different places.

20 MR. HINNEFELD: It probably

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 referred to Plant 1.

2 I would just like to offer a couple  
3 things here. First, we listed seven  
4 categories of unmonitored people who would get  
5 the coworker approach, which was a way to  
6 describe the kinds of people we expect would be  
7 there. And at this point, we're not writing  
8 exclusive procedures, you know, do this and do  
9 that. This is --

10 MEMBER ZIEMER: Non-restrictive.

11 MR. HINNEFELD: Yeah. So I think  
12 some of these categories; fire protection,  
13 assuming that's the fire protection engineer or  
14 fire and safety inspector; technicians;  
15 supervisors; probably inventory control, would  
16 probably be people we would consider  
17 potentially exposed in this situation.

18 Another thing to remember, though,  
19 is that during this period the thorium was  
20 stored and that the potential for the exposure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for uncontained thorium was intermittent and  
2 relatively small scale. They had a small scale  
3 packaging operation. So things like if it's a  
4 trades worker who worked for a construction --  
5 we're talking about construction trades, not a  
6 maintenance trade, because sometimes the same  
7 job title shows up in your maintenance  
8 organization and in your construction  
9 organization.

10 I don't think there would be a  
11 construction exposure to any of these  
12 re-packing operations. Once you get into the  
13 subcontract remediation activities, there  
14 might be -- those who are subcontracted, they  
15 might have construction characters in them, or  
16 construction trades people. But I think it  
17 would only be maintenance trades that would be  
18 exposed to the overpacking situation.

19 Probably the real reason my people  
20 who hadn't been monitored previously or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sporadically monitored in vivo previously  
2 started being monitored with the IVEC counter  
3 is because you went from having maybe 12 weeks  
4 of availability a year to 52 weeks of  
5 availability.

6 The mobile counter would show up for  
7 maybe six weeks at a time, 12 times a week. I  
8 think it was about six weeks. And they would  
9 count. And the poor guys who ran the in vivo  
10 counter worked long shifts because usually  
11 there were three shifts of people and they would  
12 count people all the time and just get as many  
13 people through as you could. And once you had  
14 an in vivo counting staff and an in vivo  
15 counting facility, and you got 52 weeks of  
16 availability, then you would count people who  
17 maybe didn't the cut, or didn't make the cut  
18 very often previously.

19 MR. BARTON: Okay. Well, I mean,  
20 one of our main concerns here, I guess, from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sort of a macro view is that when you create  
2 lists of job types to consider for a coworker  
3 modeling sort of -- I guess sort of putting the  
4 onus on the dose reconstructor to determine  
5 whether they fit one of those job categories --  
6 and I think there's more a chance that you could  
7 possibly miss someone when you put an actual  
8 list of specific jobs to who you're going to  
9 assign a coworker intake.

10 And I think really maybe the better  
11 way to do it is to put really the onus on  
12 ourselves, the program, to either say, listen,  
13 there are reasons why and very specific reasons  
14 why we believe that this claimant could not have  
15 been exposed to thorium.

16 And if we don't have that evidence  
17 to say, you know, absolutely not, there's no  
18 exposure potential for this particular worker,  
19 then I think you really have to give them the  
20 benefit of the doubt. And I think that's the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 spirit of what we're really trying to show here,  
2 is that you do have the sort of gray area job  
3 titles. And I'm not saying we should expand  
4 the list of job titles. What I'm saying is, I  
5 think philosophically we should be coming from  
6 the other angle of not trying to figure out  
7 who's included. But really, if we can't prove  
8 they should be excluded, then I think they  
9 should be assigned coworker intake.

10 MR. HINNEFELD: Well, I don't  
11 disagree with the thought of that, and I think  
12 we might be able to prepare something. I think  
13 you could write your actual procedures, which  
14 we haven't written yet, to tell the dose  
15 reconstructor that if you're not going to  
16 assign a coworker dose, you need to explain in  
17 the dose reconstruction why coworker wasn't  
18 assigned, you know, because the person was a  
19 secretary, the president of the company's  
20 secretary. So we didn't assign coworker dose.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: No, I agree.

2 MR. HINNEFELD: Yeah, we could  
3 probably do something like that.

4 Now, it's interesting, you work in  
5 the program long enough and you hear  
6 everything. Brad, you know that. You hear  
7 every side of every question. We are often  
8 criticized for not including lists and not  
9 being specific. And we are also criticized  
10 when we generate lists because you omit people,  
11 and what about these other things?

12 And so I think what we tried to  
13 arrive at is a system of, well, here are some  
14 things that -- we generally write lists and say  
15 you definitely want to do it here. I can  
16 understand your point. Maybe you make the dose  
17 reconstructor write why they're going to  
18 exclude it.

19 MR. BARTON: Right.

20 MR. HINNEFELD: So I think that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 will be something for the procedure thing, but  
2 I don't disagree with the sentiment. I just  
3 think that during this period though --  
4 remember, this is a repackaging period, a  
5 storage and occasional repackaging  
6 period --and I think you would make different  
7 judgments about thorium exposure if you were  
8 really thinking of -- you are probably able, if  
9 you want to go to the problem, of making  
10 different judgments about thorium exposure  
11 than you would make about uranium exposure  
12 during this period, because uranium production  
13 was going on all over the place up through '86,  
14 something like that.

15 So you would make different  
16 judgments about uranium exposure. But at  
17 least you could make different judgments if you  
18 wanted to go the effort. So there might be  
19 reasons to exclude someone from thorium  
20 exposure during this period that would not be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a reason to exclude someone from uranium  
2 exposure. I just want us all to keep in mind,  
3 that what was the status of the thorium out  
4 there in the period we're talking about?

5 MR. BARTON: Sure, and I guess I  
6 would add on to that. It's sort of are we  
7 trying to create a list of who's included or are  
8 we trying to create a philosophy of who will be  
9 excluded?

10 MR. HINNEFELD: Yeah.

11 MR. BARTON: And I don't think it's  
12 really proper to really delineate specific job  
13 titles. I mean, you look at probably the  
14 claimant lists for any site and you might have  
15 1,000 workers. You might have 300 different  
16 job titles. So creating long lists to be  
17 completely prescriptive is just not  
18 reasonable, which is why I feel like coming from  
19 the other direction to say, we sort of have to  
20 prove ourselves that there's no chance that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thorium exposure happened in order to not  
2 include someone in an unmonitored dose.

3 And as you say, these operations for  
4 thorium are much different than uranium. So,  
5 I would say then it's easier to make a case for  
6 excluding someone. But, again, I would come at  
7 it not as we'll decide who to include, but  
8 really you have to prove why you're going to  
9 exclude them, which is essentially what you  
10 said.

11 MR. HINNEFELD: Yeah, I think  
12 that's decent. I'm just kind of curious about  
13 analytical chemists. I would probably exclude  
14 analytical chemists for thorium exposure. I  
15 wouldn't exclude them from uranium exposure.

16 MR. BARTON: Yeah, well, I mean,  
17 again is that someone out there sampling the  
18 drums, or is that someone sitting in a lab?

19 MR. HINNEFELD: Analytical  
20 chemists, as far as I know, either worked in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           analytic laboratory in the health safety  
2           laboratory. And so I don't think there was any  
3           sampling done during this repackaging --

4                     MR. BARTON: But, again, what I  
5           don't want to do is add a list of workers.

6                     MR. HINNEFELD: Right.

7                     MR. BARTON: I don't want to have a  
8           list of jobs.

9                     MR. HINNEFELD: Yeah. Here is my  
10          reason for not including this person.

11                    MR. BARTON: Right. And you could  
12          have a situation where they're an analytical  
13          chemist because perhaps their survivor said  
14          they were an analytical chemist, but really  
15          they were out there sampling or something like  
16          that. But, again, it's making the case of why  
17          you would not assign coworker doses versus  
18          making the case for why you should.

19                    MEMBER ZIEMER: This question  
20          though is broader than Fernald. It's come up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a number of times in the Procedures Work Group.  
2 And I certainly agree with SC&A's concern that  
3 you don't want it to boil down to a subjective  
4 judgment on the part of a single dose  
5 reconstructor. You want some consistency  
6 across the board so that if five different dose  
7 reconstructors had the same case they would  
8 arrive at the same conclusion.

9 There are a lot of situations where  
10 it has been helpful to provide a list as an  
11 example of the types of jobs, but have the  
12 caveat, which is your caveat, that unless you  
13 can exclude somebody specifically from a broad  
14 category, then they're in. So you end up doing  
15 both, because if you completely eliminate the  
16 descriptors which are some of those job  
17 descriptions, then you could argue that you  
18 have interview subjectivity too in the use of  
19 the philosophy.

20 MR. BARTON: Sure.

1                   MEMBER ZIEMER:   How do you get away  
2                   from the subjectivity?   So, it's a difficult  
3                   issue to cover it both ways.   I know we just  
4                   discussed it about a week ago in the Procedures  
5                   Work Group where SC&A again raised this in  
6                   another context, and I think it's a good point.  
7                   We need to be able to assure the consistency of  
8                   the decision so it doesn't really look  
9                   subjective.

10                  MR. BARTON:   Sure.   You're always  
11                  going to have situations, like you said, five  
12                  different dose reconstructors.   They could  
13                  look at the same case and, even the way I'm  
14                  saying it, three of them make a case for why they  
15                  shouldn't be included and two of them say, well,  
16                  no, there's a little bit there that we should  
17                  include.   So I understand there --

18                  MEMBER ZIEMER:   Well, we sort of, I  
19                  think, at the Procedures Group kind of reached  
20                  the point of saying let's give some examples.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 We know these are always in, but you can't --  
2 this is not the exclusive list. And you add to  
3 it basically what you described. Something  
4 like that.

5 MR. KATZ: You're still going to  
6 look at the claims file and see what information  
7 is in there, which can modify what you do in a  
8 given case.

9 MEMBER ZIEMER: And you're  
10 probably not going to 100 percent eliminate the  
11 subjectivity of different dose reconstructors,  
12 but you certainly want to minimize it.

13 CHAIRMAN CLAWSON: Well, and then I  
14 guess I kind of look at it a little bit  
15 differently being on the Dose Reconstruction  
16 Group, because then we get into it and we're  
17 seeing somebody excluded from it and we have no  
18 explanation why we get into it. And what Stu  
19 hit on that I really liked was that then, if  
20 somebody is excluded from this, that the dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reconstructor gives a little caveat of why  
2 there's --

3 MEMBER ZIEMER: Yeah, that's what  
4 Bob is saying.

5 CHAIRMAN CLAWSON: Right.

6 MEMBER ZIEMER: You've got to  
7 justify it.

8 CHAIRMAN CLAWSON: You've got to  
9 justify it. And I really like that, because  
10 looking at it from our standpoint on that, that  
11 gives us a better understanding of the thought  
12 process and also the reasoning behind it.  
13 Because as you were going through all these, I  
14 was looking at the clerks, and the laundry one  
15 is the one that really stuck out to me, because,  
16 to me, that is the focal point of everything  
17 that goes on throughout the whole site. All  
18 the coveralls ended up right there, you know,  
19 and different protective clothing and stuff  
20 that they have. Geez, that's where everything

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 throughout the site, to me, would end up. And  
2 I think that's one of the reasons why this  
3 started in the issues because most people  
4 showed up for uranium who weren't supposed to  
5 be.

6 So I agree wholeheartedly with you.  
7 And every site is going to be different, because  
8 we even actually brought up certain sites that  
9 the person's job was the same for 20 years, but  
10 their job title changed four times. So I agree  
11 with what you're saying. We just don't know  
12 how to get there.

13 MR. BARTON: Right. Well, I think  
14 it's like Dr. Ziemer said, you can add some job  
15 titles as illustrative examples as long as the  
16 caveat is if you're not going to assign the  
17 coworker dose, then there has to be ample  
18 justification for why that's not happening.  
19 And that is really one of the, I guess,  
20 overarching issues that we wanted to bring up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 today.

2 I believe we can move on to the 1990  
3 to 1994 period. Monitored worker doses in this  
4 period are going to be based on their in vivo  
5 results. And as we said at the outset, the  
6 unmonitored workers are assigned on based 10  
7 percent of the Class W, which is sort of the  
8 middle ground. It stands for weeks. It's  
9 sort of like Type M thorium DAC value. And  
10 again, as I noted, it was a little confusing in  
11 the White Paper because it said in one place  
12 that you had to submit that pre-job fecal sample  
13 to really be considered for the coworker  
14 intakes based on this DAC value.

15 But, curiously, there were dose  
16 reconstruction examples. And it's in the last  
17 three pages of that White Paper. It was  
18 example 3, which was essentially a made-up  
19 worker who worked 1990 to 1994 who had no in vivo  
20 monitoring data and was assigned a DAC value,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but there was no indication, at least in the  
2 example, that a fecal sample was performed. So  
3 we were a little confused as to whether that was  
4 a stringent guideline. It goes back to the  
5 sort of worker assignments that we were talking  
6 about in the earlier period where you have some  
7 illustrative example jobs and then you sort of  
8 have to justify why they weren't being included  
9 in the coworker assignment.

10 Another thing about this that we  
11 noticed is we're using the Class W DAC value,  
12 whereas the Class Y is about a factor of two  
13 higher. And I guess we didn't understand why  
14 that choice was made. We feel like  
15 consideration should be given to the higher DAC  
16 value in calculating those coworker intakes.  
17 In fact, it actually says one place in the  
18 report, and it's quoted from the 1990 Technical  
19 Basis Manual for Fernald -- it says, "ICRP  
20 30" -- and, again, this is from 1990 -- "ICRP

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 30 has assigned oxides and hydroxides of  
2 thorium to inhalation Class Y. All compounds  
3 at the FMPC are assigned to inhalation Class Y."

4 So I guess what we're saying is  
5 that, barring a sufficient case of why you  
6 wouldn't see that solubility class, we feel you  
7 should go with the bounding value. I don't  
8 know if there are any specific comments on that  
9 at this point.

10 MR. HINNEFELD: Well, I don't  
11 recall. I don't know if anybody on the phone  
12 is prepared to talk about it or not. From a  
13 control setup, if you're working with thorium  
14 and you're not real confident of the solubility  
15 class you're going to encounter, or you're  
16 going to encounter a mixture of solubility  
17 classes, as you set up your controls you would  
18 use the lower DAC. Because you'd set up your  
19 airborne contamination area at 10 percent of  
20 DAC, and you would use the lower DAC if you had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 questions about the solubility of the material.

2 So it kind of depends on the evidence available.

3 Is that what was done and is that why we chose

4 the lower DAC for this 10 percent intake?

5 So, now, I assume that once we  
6 arrive at whatever intake it's going to be,  
7 we'll use our normal method of saying the actual  
8 solubility we're going to use in the dose  
9 reconstruction is going to depend on the organ  
10 because one solubility class would be more  
11 favorable for some organs and another class  
12 would be more favorable for other organs. So  
13 I assume we're going to do that kind of standard  
14 practice. So the question though --

15 MEMBER ZIEMER: If it's lung, we'll  
16 use the --

17 MR. HINNEFELD: Yeah, yeah.

18 MR. BARTON: But that's after  
19 you've arrived at --

20 MR. HINNEFELD: But that's after

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you've arrived at the intake. Exactly.

2 So I understand what you're saying, but I think  
3 it has to do with do we have sufficient evidence  
4 that the control levels were set at 10 percent  
5 of the Class W data, of the lower data? In  
6 which case people wouldn't be exposed over that  
7 amount.

8 See, this is getting into 1990 now,  
9 so we're getting into fairly recent history.  
10 Westinghouse had been there for a number of  
11 years. They were still there in 1990, yeah.  
12 Westinghouse had been there for a while and had  
13 been in place and things were much better  
14 controlled than they had been. And so  
15 I'm thinking there may be sufficient indication  
16 that these work areas, these thorium work areas  
17 were controlled sufficiently so that people  
18 didn't go into these -- the areas were roped  
19 off. People didn't go in unless they were  
20 probably monitored and certainly wearing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 respiratory protection.

2 So I think we just have to see what  
3 the strength of the evidence is. If there's  
4 not strong evidence they used the lower DAC,  
5 then, sure, I understand what you're saying.

6 MR. BARTON: There was, I know, a  
7 couple of references to the Class W, and when  
8 we sort of traced them down, it was related to  
9 projects that were kind of started in 1995, as  
10 far as we could tell.

11 And then also sort of anecdotally,  
12 when we get to the breathing zone results, which  
13 we'll discuss a little bit later, you do see  
14 both solubility classes. It's mostly Class W,  
15 but there are some under Class Y. And when you  
16 get into that methodology, you'll evaluate that  
17 breathing zone based on what's listed there.  
18 And then as NIOSH does, they assign it based on  
19 whatever is higher for the organ.

20 So I guess we just wanted to see sort

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the case made of why the lower value was used  
2 when it does have a factor of two difference in  
3 the calculated intake at the end of the day.

4 Another thing we noticed is that  
5 when we were looking at claimant files is there  
6 were a lot of workers that suddenly started  
7 being monitored, and that could be for the  
8 reasons you stated, because now you have a  
9 permanent facility onsite.

10 But, again, we wanted to take a look  
11 at some claimants during this period. And,  
12 again, we have sort of two criteria. Less than  
13 50 percent POC. And also we kind of added in  
14 this caveat that you had to work in this period  
15 for at least three months, because we didn't  
16 want to be looking at workers who were there for  
17 a month and, you know, you don't see monitoring.  
18 So that doesn't really tell you anything.

19 So about 252 claimants fit into  
20 those two criteria. Based on that review, 75

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 percent of the claimants we looked at had in  
2 vivo counts during that 1990 to 1994 period.  
3 So that leaves 67 claimants who weren't  
4 monitored in that period. So we looked at that  
5 67. Forty-five could be considered in those  
6 job titles with very little exposure  
7 potentially, if any. Again, you have the clerk  
8 here, but in this case it was a clerk that was  
9 an office clerk, essentially. Secretary,  
10 contract administrator, HR representative.  
11 I don't want to necessarily read all of these  
12 in, but you can see them on the slide there.  
13 Very little chance that they would have been  
14 exposed to these.

15 So, now you're down to about 22  
16 workers. And so these are the one we looked at  
17 in-depth. And the job titles we see there are  
18 laborers, maintenance, painters, iron workers,  
19 heavy equipment operators, a technologist,  
20 which we weren't really sure exactly how they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 might be -- they might out there working on  
2 instrumentation or something like that.  
3 Quality assurance. Again, were they out there  
4 sampling drums? Health physicists,  
5 obviously, would have been part of that  
6 process, or potentially part of that process.  
7 And engineers again. So those are the types of  
8 job titles we saw out of the 22 we looked at  
9 in-depth.

10 Again, nine out of those 22 worked  
11 all over the site, or, you know, all plants and  
12 buildings is often what you hear. But also 11  
13 of the 22 actually indicated in their CATI  
14 report that their exposure in any sort of  
15 radiological area was either intermittent or  
16 non-existent.

17 As a follow-on to that, when you  
18 look at their external badging, which was  
19 pretty much required to enter a radiological  
20 area, again, you have a couple of months during

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that five-year span where there was a badge or  
2 two and then whole periods where there was  
3 nothing. They were probably not in areas where  
4 exposure potential could have existed for very  
5 long.

6 One claimant actually indicated  
7 involvement in the overpacking, however, based  
8 on examination of that claim, it probably  
9 occurred after 1994. So, even though that  
10 person was not monitored in the 1990 to 1994  
11 period, when we examined it, it appeared that  
12 that overpacking occurred after 1994. And in  
13 fact, we'll talk about it a little bit later.  
14 There was extensive breathing zone for thorium  
15 over the exact span that was indicated in the  
16 CATI report for the overpacking operation.

17 So, to continue on, like we said,  
18 the coworker intakes were based on 10 percent  
19 of the DAC value to be applied to workers who  
20 submitted thorium fecal samples. What we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concluded based on the claimant study, it's  
2 pretty unlikely that the unmonitored workers,  
3 the workers who didn't actually have in vivo  
4 results which you'd use to reconstruct doses,  
5 would have actually been in an environment, 10  
6 percent of that derived air concentration, for  
7 the entire duration of the relevant employment.

8 So we feel that that 10 percent  
9 reasonably represents a bounding approach to  
10 the workers. But also, based on those  
11 unmonitored claimants, we do see a few  
12 situations where there is opportunity to  
13 potentially be exposed to thorium. And so we  
14 sort of questioned that criteria that you had  
15 to submit a pre-employment fecal sample.

16 And one of the things that was  
17 referenced, I believe, where this sort of came  
18 from was a standard operating procedure, but  
19 that's the same one that required workers who  
20 were, "routinely handling thorium materials

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 submit a fecal sample." It also required that  
2 they were in vivo counted at the beginning of  
3 the operation, at the end of the operation and  
4 at three-month intervals.

5 So that sort of begs the question,  
6 why would you have somebody unmonitored via the  
7 in vivo system and not have their fecal sample?  
8 Two possible explanations is that their in vivo  
9 records were maybe lost or unavailable, in  
10 which case the same might be said about the  
11 fecal samples. Maybe you have workers who  
12 their fecal sample was lost. So that's kind of  
13 neither here nor there. Or maybe that  
14 operating procedure just wasn't followed as  
15 stringently or only followed for workers who  
16 are routinely handling thorium, in which case  
17 10 percent of the DAC might not necessarily be  
18 appropriate.

19 MR. HINNEFELD: Okay. Run me back  
20 through this again. I think I lost the train

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           there a little bit. The operating procedure  
2           you're talking about, or the plan, what was it,  
3           for a particular thorium operation?

4                       MR. BARTON: Yes.

5                       MR. HINNEFELD: And the date of  
6           that was in this '90 to '94 period?

7                       MR. BARTON: It is referenced. I  
8           don't have the date in my notes here, but it was  
9           in this period, yes.

10                      MR. HINNEFELD: Okay. And so it  
11           said that anyone who's going to regularly  
12           handle thorium should have a pre-project fecal,  
13           pre-project in vivo.

14                      MR. BARTON: Right.

15                      MR. HINNEFELD: End of project in  
16           vivo.

17                      MR. BARTON: In vivo. And then in  
18           vivo at three months.

19                      MR. HINNEFELD: At three-month  
20           intervals.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. BARTON:   And then also, if the  
2                   need arises, additional fecal sampling and in  
3                   vivo counts as necessary.

4                   MR. HINNEFELD:   Okay.   And so,  
5                   given that requirement -- now, what was the next  
6                   part of your discussion?   Why would we have  
7                   somebody with --

8                   MR. BARTON:       Well, the entry  
9                   criteria for receiving 10 percent of the DAC is  
10                  that you have a fecal sample, but no in vivo  
11                  counts.

12                  MEMBER ZIEMER:   Which would be  
13                  somebody who you thought was going to do this  
14                  work and maybe didn't.

15                  MR. BARTON:       That's one  
16                  possibility.   Right.

17                  MEMBER ZIEMER:   But you're also  
18                  asking about what?   What about the case where  
19                  you didn't have the pre-occupational, but --

20                  MR. BARTON:       But there's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 potential to be exposed at a level that would  
2 be absolutely bounded, in our mind, by 10  
3 percent of the DAC.

4 MR. HINNEFELD: Okay. So there  
5 are two questions: One is, should we really  
6 require that fecal sample in order to give  
7 somebody 10 percent of the DAC?

8 MR. BARTON: Or are we at a  
9 situation, again, like the previous period,  
10 where you have -- it's very useful information  
11 to say this is one requirement for if your  
12 absolutely routine handling it, but also you  
13 sort of have to make the case that they weren't  
14 exposed to not include them in that 10 percent  
15 DAC subgroup.

16 MR. HINNEFELD: Okay. All right.  
17 Okay.

18 MR. BARTON: All right. Then for  
19 the period three, this is, again, 1995 to 2006.  
20 To reconstruct monitor worker exposures we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 going to use the available breathing zone  
2 samples. We noted that those were contained in  
3 HIS-20, but also contained in the individual  
4 DOE monitoring files for each claimant.

5 There is no coworker dose to be  
6 assigned, or was proposed to be assigned after  
7 1994. This was, again, first discussed in the  
8 September meeting. And, Stu, I'll get to quote  
9 you again. "The thorium area would be defined.  
10 And if you're going into this, into the thorium  
11 radiological area or the airborne, everybody  
12 had a BZ with them." And I think you also noted  
13 that even when you went into the areas you wore  
14 a breathing zone.

15 MR. HINNEFELD: Yes, I did.

16 MR. BARTON: So we can take a look  
17 at some of the breathing zone samples that we  
18 do have. And what we're looking at here is the  
19 number of breathing zone samples we have per  
20 year. And as you can see, there's obviously a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reason why 1995 was the choice to start this,  
2 because 1993 and 1994 you have very few samples.  
3 Starting in 1995, you're up close to like 1,800,  
4 somewhere around there. And it increases in  
5 1996.

6 You see this little dip here, which  
7 was a little curious. I could understand it  
8 for '98 and '99 because it seemed like that was  
9 more like a characterization. You weren't  
10 necessarily overpacking or handling the  
11 material. 2000, 2001 there were some  
12 significant shipments to NTS of the material,  
13 but it wasn't clear whether that had been  
14 packed, overpacked earlier and it was just now  
15 getting shipped off. So you see that dip. But  
16 we still have a significant number of breathing  
17 zone samples. And of course it rises from  
18 there to a maximum of near 12,000 samples in  
19 2005.

20 The next chart is very similar

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       except instead of total number of samples,  
2       we're looking at the total number of monitored  
3       workers. And, again, it closely mirrors the  
4       total number of samples. You sort of have that  
5       dip from '98 to 2001. But for many years -- for  
6       example, 1966, you have a little over 400  
7       workers who were monitored via this breathing  
8       zone for thorium.

9               I talked before about the claimant  
10       who stated that they were involved with the  
11       thorium overpack operations. And I wanted to  
12       read this from their CATI report, because I  
13       think it's very informative as to the  
14       conditions that were happening. Again, the  
15       claimant specified a pretty exact period of  
16       time. Not the actual dates, but the span of  
17       time that they were involved with thorium  
18       overpacking.

19               But this is directly from the CATI  
20       report. And obviously for Privacy Act reasons

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a lot of it is redacted. So anywhere it says  
2 "redacted," I'm just going to read it in as  
3 claimant.

4 So the claimant worked in the  
5 thorium overpack site where the claimant  
6 remotely operated a device that would move  
7 drums around. The claimant had to dress out  
8 and enter the building to get on an electric  
9 forklift, went over to the actual boxes they  
10 loaded the drums in, the overpacks. The  
11 claimant put a lid on the boxes and set them in  
12 an area for the chemical operators to clean.  
13 Then the rad techs came into survey them. If  
14 they were clean, they were sent out to a driver  
15 on the 'clean' area on process side and then  
16 they were sent to an area to be readied to ship  
17 offsite.

18 In the thorium overpack, the  
19 claimant had to wear double sets of cloth  
20 coveralls. The claimant had to wear a cloth

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hood. The claimant always wore a full-face  
2 respirator in the thorium overpack area. The  
3 claimant had lapel monitoring done when the  
4 claim was in the thorium overpack. And the  
5 claimant was dressed out in double sets of  
6 anti-contamination clothing, or anti-Cs.

7 So that's a pretty descriptive  
8 version of what was happening. And I can say  
9 that this would have been sort of in the early  
10 1995, '96, '97 period. And as I said before,  
11 there were numerous breathing zone samples  
12 identified with this claimant for the exact  
13 span that they indicated they were involved in  
14 the thorium overpack operation. Looking at  
15 those samples, it looks like on average they  
16 were probably pulled every six to seven days,  
17 but that varied somewhat. Sometimes it would  
18 be very two days. Sometimes 10 days or so.

19 MR. HINNEFELD: Can I just comment  
20 on the record? The BZ samples, the record is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a weekly compilation of all the BZs that person  
2 wore that day.

3 MR. BARTON: Okay.

4 MEMBER ZIEMER: Are they counted  
5 daily?

6 MR. HINNEFELD: Yes. Sampled  
7 every time. They would have a daily sampler.  
8 Whatever their shift was, they would have a  
9 daily sampler and it would be analyzed daily.  
10 And then their record, though, what's kept in  
11 HIS-20, would be the weekly compilation of the  
12 samples they wore. So if you see a six-day  
13 period, the person probably worked Saturday.  
14 They were sampled, for some periods at least,  
15 every one of those days, and that's a  
16 compilation of the six. If there's only two or  
17 three days, it looks like, that means those were  
18 the days on that week that they were sampled.

19 MR. BARTON: And it was evident  
20 looking at that that the numerical results

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 themselves reflected sort of the number of days  
2 that happened. So at first we looked at it  
3 as like, well, these seem kind of sporadic, but  
4 when you actually get into the data set, you can  
5 see that it's exactly what you described.

6 MR. HINNEFELD: Yes, you had a  
7 daily sampler. Every day they would take it  
8 and they counted, like you said, thousands,  
9 thousands of BZ samples.

10 MEMBER ZIEMER: So the last bullet  
11 that suggests they were pulled every six to  
12 seven days --

13 MR. BARTON: That's what appeared  
14 like in the HIS-20 records.

15 MR. HINNEFELD: That's the way the  
16 record would look because of the way the record  
17 was prepared.

18 MEMBER ZIEMER: Right. Right.  
19 The samples were pulled daily.

20 MR. HINNEFELD: They were daily.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. BARTON: Right. No, I didn't  
2 want to infer that they only took a breathing  
3 zone every six or seven days. I was just --

4                   MEMBER ZIEMER: No, I thought you  
5 were suggesting initially that they wore it for  
6 seven days and then it was compounded and --

7                   MR. HINNEFELD: The pump wouldn't  
8 last that long.

9                   MEMBER ZIEMER: Right. Well,  
10 yeah, plus you --

11                  MR. HINNEFELD: The batteries, I  
12 mean. The batteries on the pump wouldn't last  
13 that long.

14                  MR. BARTON: Okay. In this last  
15 section again we're getting back to thoron.  
16 And, Joyce, if I have you on the phone, I would  
17 like some help if I kind of stumble over myself  
18 here.

19                  But essentially what our concerns  
20 here were, not necessarily the calculation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           itself, but how transparent the actual  
2           assumptions were in selecting the various  
3           values. For instance, Item 1 here is  
4           essentially an estimate of source term. And we  
5           saw a few different numbers that seemed to  
6           contradict each other, and we weren't sure why  
7           certain numbers for the source term in metric  
8           tons were chosen.

9                       Number two, we talk about the  
10           release fraction. And, again, even in that  
11           White Paper, it seemed to range from 10 to the  
12           minus 3 to 10 to the minus 6, which is factor  
13           of three orders of magnitude. And the selected  
14           value was I believe somewhere in between there.  
15           And it really comes down to, when these thoron  
16           calculations were made, we didn't see the  
17           justification that you'd like to see to assure  
18           that when you select these values, which  
19           ultimately go into the calculation to get  
20           potential thoron exposures, we feel like you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 really need to sort of buttress that argument  
2 and say why did we select this value, if we  
3 selected it, because of this condition? And so  
4 that is scientifically justified, but also  
5 claimant-favorable.

6 The other two, the occupancy  
7 factor. Again, it selected three months of  
8 essential exposure up through 1989. And then  
9 it said one month during the final closure. I  
10 assume that means 1990 and beyond. Again, we  
11 just didn't see necessarily the rationale for  
12 selecting those occupancy times.

13 And then also the specific  
14 activity. Joyce, do you want to speak a little  
15 bit on this one? Do we still have you?

16 DR. LIPSZTEIN: Okay. The  
17 specific activity of thoron was given assuming  
18 exposures occurred six to 12 months after  
19 separation and an equilibrium fraction of  
20 thorium-228/thorium-232 of .65. And we have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some referencing that the equilibrium  
2 fraction, for example, for materials in  
3 Building 65 was at least .95.

4 So I think, you know, all those  
5 various factors that were chosen to calculate  
6 the thorium exposures, they don't have really  
7 a scientific justification of saying, oh, we  
8 assume this because it was claimant-favorable.  
9 They were just taken. And there are many  
10 contradictions between the ones that were  
11 chosen and the various ones that I cited in  
12 the -- even in the same draft and some in the  
13 papers that were related to Fernald. So we  
14 would like to have this reevaluated and  
15 justified.

16 MR. HINNEFELD: Okay. Of course,  
17 we only received the written review, what, a  
18 week-and-a-half ago, something like that. So  
19 it will take us a little while to go through it  
20 and --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: And we're not  
2 necessarily saying that these values that were  
3 chosen are wrong. We just wanted to see --

4 MR. HINNEFELD: The basis for why  
5 we did it.

6 MR. BARTON: The basis, yeah.

7 CHAIRMAN CLAWSON: Better  
8 understand them?

9 MR. BARTON: Exactly. Exactly.  
10 So that we know why we're selecting the  
11 different values.

12 And, Joyce, you also -- this last  
13 bullet here about possible handling of  
14 radium-228.

15 DR. LIPSZTEIN: Yeah, this goes  
16 back to that maybe misunderstanding that all  
17 actinium would be used as a supported  
18 radium-228 exposure. I think that we have  
19 first to resolve that and then come back. But  
20 if they were unsupported radium-228 exposures,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 then the thorium associated with this  
2 radium-228 exposure should be also added.

3 MR. HINNEFELD: Yeah, I think that  
4 really the only mechanism I can think for  
5 unsupported radium intake would be a raffinate  
6 exposure, because, I mean, it would exist in the  
7 waste stream of the thorium purification  
8 process. And there was no other source, I  
9 don't think, of thorium-228. So I would say  
10 there was none that was stored except that it  
11 was one of the materials that was pumped out to  
12 the waste storage pits. There was lots of  
13 uranium raffinate.

14 MR. BARTON: Okay. And so the  
15 final slide here is sort of our main  
16 conclusions. The first one is that SC&A feels  
17 that dose reconstruction for internal thorium  
18 is feasible and can be performed in a  
19 claimant-favorable manner. And as I said, we  
20 have a few issues that maybe need to be vetted

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a little bit, such as the selection of the  
2 derived air concentration, but that's not  
3 something that would render reconstruction of  
4 internal thorium infeasible.

5 And the second bullet here we had a  
6 discussion on, and that's we felt that maybe the  
7 application of unmonitored coworker doses  
8 could be too restrictive, but perhaps that  
9 wasn't really the intent. And then, as we  
10 talked about, those types of exposures could be  
11 illustrated by some job categories, but  
12 ultimately the onus is on the program to  
13 demonstrate that they shouldn't be assigned  
14 thorium exposures versus the onus being that we  
15 have to demonstrate that they should be. So,  
16 again, it's sort of coming from that other  
17 direction.

18 And then, as we just discussed with  
19 the thoron, we'd like to see more scientific  
20 justification for the assumed values that were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 used in those calculations.

2 So those are really our main  
3 conclusions. Any additional questions?

4 MR. HINNEFELD: I kind of  
5 interrupted with mine as we went.

6 MR. BARTON: What?

7 MR. HINNEFELD: I interrupted you  
8 while we went and asked all my questions.

9 MR. BARTON: Okay.

10 (Laughter.)

11 MR. HINNEFELD: Anybody on the  
12 phone? Does anybody on the phone from ORAU  
13 want to offer anything? If you don't, that's  
14 okay, but do you want to ask questions or pursue  
15 anything?

16 MEMBER ZIEMER: Do you know off the  
17 top of your head whether the higher -- if you  
18 can go back to the previous -- maybe it's the  
19 second-to-the-last slide where I think Joyce  
20 was comparing the -- go back one more slide.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Oh, here it is. The equilibrium fraction.

2 Maybe it's the next one again. Go forward one.

3 MR. BARTON: This is the next  
4 thoron slide.

5 MEMBER ZIEMER: Oh, here it is.  
6 Yeah. Do we know off the top of our heads  
7 whether the .65 versus the .95, which would  
8 actually be more claimant-favorable? Just  
9 off the top of our head, does anybody even --  
10 you haven't looked at it maybe.

11 MR. HINNEFELD: Haven't really  
12 looked at it. Let's see. Well --

13 MEMBER ZIEMER: I mean, obviously  
14 they haven't said why they chose the .65. And  
15 that was your point, but I'm wondering do know  
16 specifically if that would end up for some  
17 reason being more claimant-favorable?

18 MR. HINNEFELD: If that ratio were  
19 higher --

20 MEMBER ZIEMER: It gives you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 more --

2 MR. HINNEFELD: -- there would be  
3 more thoron --

4 MEMBER ZIEMER: More?

5 MR. STIVER: You'd have higher 228  
6 concentration, which would be the --

7 (Simultaneous speaking.)

8 MEMBER ZIEMER: No. Let's see.  
9 If it's close to one -- if it's .95, the two are  
10 about equal. If it's .65, then I think you've  
11 got more 232, the denominator is larger.

12 MR. HINNEFELD: Right.

13 MEMBER ZIEMER: But I don't know  
14 how the energies are there, the alphas and so  
15 on.

16 MR. HINNEFELD: I think that this  
17 relates to the source term of the thoron, which  
18 would be approximated by the activity --

19 MEMBER ZIEMER: If you don't know  
20 off the top of your head, I was just curious.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking.)

2 MR. HINNEFELD: I think if those  
3 two were closer to equilibrium, then you would  
4 have more thoron per gram of residue. That's  
5 right, isn't it?

6 DR. LIPSZTEIN: Yeah, you would  
7 have more thorium.

8 MR. HINNEFELD: Yeah, you would  
9 have more thoron.

10 MEMBER ZIEMER: Thoron or --

11 MR. HINNEFELD: Well --

12 MEMBER ZIEMER: If they were  
13 closer, you would have more 228.

14 MR. HINNEFELD: But the question is  
15 about the thoron source term.

16 MEMBER ZIEMER: Yeah. Right.

17 MR. HINNEFELD: And so the closer  
18 that ratio is to one, the more thoron you'll  
19 have per gram of residue.

20 MEMBER ZIEMER: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: And so what the  
2 question is, is why did you choose a .65  
3 equilibrium when certainly if the materials had  
4 been stored in Building 65 all that time it  
5 would seem like it would be different than that.  
6 It would be higher than that. So I think that's  
7 the question.

8 MEMBER ZIEMER: Yeah. In that  
9 connection, I think Bob had mentioned that  
10 there was an issue with whether that was a  
11 claimant-favorable assumption. I just  
12 wondered if anybody knew. But obviously we  
13 don't know right off the top of our heads.

14 MR. BARTON: It all boils down to  
15 again we just -- when you select these values  
16 among --

17 MEMBER ZIEMER: What's the basis,  
18 yeah.

19 MR. BARTON: Yes.

20 MEMBER ZIEMER: Got you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Just going back, Stu had  
2 asked NIOSH ORAU folks on the line whether you  
3 had any other clarifications you needed before  
4 we close this part of the discussion.

5 (No response.)

6 MR. KATZ: No?

7 MR. HINNEFELD: I have one question  
8 before we close. How comfortable is the Work  
9 Group with SC&A's main conclusion?

10 CHAIRMAN CLAWSON: I feel good  
11 about it, but the thing is we just -- some  
12 clarifying questions. It's never been -- we  
13 know what you guys can do. It's just how the  
14 process is going to --

15 MR. HINNEFELD: Okay.

16 MEMBER ZIEMER: Well, the first  
17 bullet I think is fine. I mean, we're all in  
18 agreement there, right? The second bullet  
19 you're only asking for clarification of that  
20 issue that we discussed about restricting the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 list or --

2 MR. HINNEFELD: Right. Yeah,  
3 that's the one that -- that's the issue that I'm  
4 sympathetic to, and I understand --

5 MEMBER ZIEMER: And I think you've  
6 sort of agreed to it.

7 MR. HINNEFELD: Yeah.

8 MEMBER ZIEMER: And I think we've  
9 sort of agreed to it.

10 CHAIRMAN CLAWSON: Yes, we have.  
11 Well, I have. I'm not speaking for the group.

12 MEMBER ZIEMER: No, no. And there  
13 are some others in the Work Group not here, but  
14 conceptually I think we're sort of -- and then  
15 the third one is clarification. And once we  
16 get that, then we have to decide whether we  
17 agree with that.

18 MR. HINNEFELD: And, I mean, our  
19 parameters might change and we might say, okay,  
20 good point. We'll change these parameters.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER: Yeah. So I -- if  
2 you were -- who is asking the question?

3                   MR. HINNEFELD: I asked the  
4 question about --

5                   (Simultaneous speaking.)

6                   MEMBER ZIEMER: Yeah, I think we're  
7 comfortable with what the issues that were  
8 raised and --

9                   CHAIRMAN CLAWSON: Right. The one  
10 question I did have was one that has come back  
11 to me many times, and that's the difference in  
12 the tonnage of the thorium that we've had there,  
13 because coming from other sites and so forth  
14 like this, Fernald actually has become the  
15 dumping place for that. And. I mean, I saw six  
16 train cars from Hanford. Do we have for sure  
17 a tonnage of what was actually there?

18                   MR. BARTON: Well, that would be --

19                   MEMBER ZIEMER: Well, part of the  
20 question with the tonnage issue was one of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clarifications, wasn't it?

2 MR. HINNEFELD: I would think  
3 certainly there was -- I don't know we have now.  
4 Certainly, Fernald knew how much thorium it --  
5 it was an accountable material like uranium  
6 was.

7 CHAIRMAN CLAWSON: Right. Well,  
8 yeah, I was just -- and if you pulled back to  
9 that one, I was just looking at the different --  
10 300 metric tons in the storage site and 450  
11 quoted over 2,000 tons of material. And I just  
12 wanted to make sure that we did have -- because  
13 it's kind of hard to follow a lot of this  
14 sometimes because some of it was coming in and  
15 some of it was going out. But I know that in  
16 documents that were pulled from Hanford that I  
17 was reading it was amazing to me that I was  
18 finding Fernald paperwork at Hanford.

19 MR. HINNEFELD: They got  
20 everything.

1                   CHAIRMAN CLAWSON:   Well, it was  
2                   actually the shipments to Fernald of this.  And  
3                   I was looking at well over 800 tons.  So that's  
4                   why that one kind of just sticks out to me.  I  
5                   just wanted to make sure that we -- but also,  
6                   too, in the same process we could have some  
7                   tonnage coming in and some going out all through  
8                   the years.

9                   MR. STIVER:    Yeah, there's some  
10                  kind of mass balance involved --

11                  (Simultaneous speaking.)

12                  CHAIRMAN     CLAWSON:            Right.  
13                  Because there's been quite a bit of discussion  
14                  of how much we really had, and I just wanted to  
15                  make sure that we put that one to bed, too, even  
16                  what has been processed through there.  
17                  Because sometimes they were being repackaged  
18                  and redone and have gone forward.

19                  MR. HINNEFELD:  These numbers are  
20                  apparently from the document we wrote about the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thorium approach. And so I'll just have to  
2 take a look and see why there are different  
3 numbers. I mean, the easy thing that comes to  
4 mind is that one or more of those numbers might  
5 be thorium tons, and the other one might be  
6 residue. I don't know if that's true or not.

7 MR. BARTON: That would be part of  
8 sort of the justification.

9 MR. HINNEFELD: Yeah, that's not an  
10 explanation. I just made that up. I don't  
11 think --

12 (Laughter.)

13 (Simultaneous speaking.)

14 MR. HINNEFELD: Well, I don't mind  
15 if I'm quoted as long as I'm quoted saying that  
16 I made it up.

17 (Laughter.)

18 MEMBER ZIEMER: Bob, a quick  
19 question here. As we look back on these  
20 slides, the three points on the major

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 assumptions, you list the assumptions, but you  
2 also raise some questions in there. I'm not  
3 sure those -- did those questions show up in  
4 your conclusions?

5 MR. BARTON: If we could go back to  
6 the conclusions, it's that third bullet point  
7 that those main parameters for the thoron  
8 calculations --

9 MEMBER ZIEMER: Oh, that was  
10 intended to cover these, all of those? Okay.

11 MR. BARTON: Yeah.

12 MR. KATZ: Can I suggest a comfort  
13 break?

14 MR. HINNEFELD: I was hoping you  
15 would. I was going to suggest it.

16 MR. KATZ: Okay. How about 10  
17 minutes?

18 (Whereupon, the above-entitled  
19 matter went off the record at 10:36 a.m. and  
20 resumed at 10:46 a.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay. Welcome back,  
2 everyone. Folks on the line, I hope we have  
3 you, too.

4 I will just take this opportunity,  
5 then, and let me check and see if maybe Mark has  
6 joined us. Mark Griffon?

7 (No response.)

8 Okay. Not yet?

9 Do we need to check on anyone else  
10 on the line?

11 Joyce, do we have you back on the  
12 line?

13 (No response.)

14 Do we have anyone on the line?

15 MS. LIN: Ted, this is Jenny Lin.  
16 I'm here.

17 MR. KATZ: Okay, good. I just  
18 wanted to make sure that we were being heard.

19 MR. KINMAN: Yes, Ted, this is  
20 Josh. I joined the meeting around 9:15 today.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay, great. Welcome,  
2 Josh.

3 Okay. I don't know if you need  
4 Joyce right now. Do you?

5 MR. STIVER: I don't think we  
6 really need her at this particular moment, but  
7 she will be back on soon, I would think.

8 MR. KATZ: Let the record reflect  
9 we don't need Joyce now.

10 (Laughter.)

11 MR. STIVER: Okay. On this  
12 particular issue.

13 DR. LIPSZTEIN: I'm listening.

14 (Laughter.)

15 MR. KATZ: Okay. I didn't mean  
16 that personally, Joyce.

17 MR. BARTON: We knew you were there  
18 the whole time.

19 MR. STIVER: Okay. I guess we can  
20 go ahead and get started.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I have shared the list version of  
2 the Issues Matrix on Live Meeting. So, you  
3 should be able to see we're on TBD Issue No. 4.  
4 And I would just like to go through the open  
5 issues that we can have a substantive  
6 discussion on today. I believe there's about  
7 11 of them.

8 No. 4, this is one you will find  
9 attached. It is the Guidance to the TBD  
10 regarding exposures from redrumming of thorium  
11 is not well founded and is not  
12 claimant-favorable.

13 And this is one of those legacy  
14 issues from a time when thorium intakes would  
15 be determined based on air-sampling data. And  
16 we decided to keep this thing, this particular  
17 issue, open based on a review of the post-SEC  
18 Thorium Report.

19 And, basically, for this period  
20 1990 to 1994, we were kind of concerned that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 redrumming was going on, repackaging, and that  
2 maybe we should take a look at that before we  
3 are willing to close this out.

4 And based on today's discussions, I  
5 think we are in agreement that this particular  
6 issue can be closed out.

7 I am trying to bring this down to  
8 page 7.

9 So, we wanted to keep this open and  
10 in progress until we some time to discuss this.  
11 I think, based on our conclusions and the Work  
12 Group acceptance, that we can go ahead and close  
13 out TBD Issue No. 4.

14 Anybody have any comments or  
15 questions on it?

16 CHAIR CLAWSON: I just wanted to  
17 make sure what the whole question was. There  
18 were a couple of pages there. Just the  
19 guidance on the --

20 MR. STIVER: Well, remember this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was the TBD from 2004. There just wasn't a lot  
2 of guidance about the exposures from redrumming  
3 and how it would be addressed, and so forth.  
4 This is something that we can read down through  
5 the text --

6 CHAIR CLAWSON: Right.

7 MR. STIVER: -- and read everything  
8 into the record. But it is something that has  
9 evolved over the time, and we got into the  
10 discussion of DWE exposures, our report on  
11 that, the determinations of SECs.

12 And so, really, the only thing that  
13 was really outstanding was what was going to be  
14 done post-1989, since we have the coworker  
15 model from 1979 to 1988, we have the SEC  
16 preceding that. And so, we just wanted to make  
17 sure we had a chance to evaluate the current  
18 guidance in post-SEC thorium and how that is  
19 going to be handled.

20 MEMBER ZIEMER: Question, John.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Do you need to see anything in writing on the  
2 issues that were raised today to close this  
3 or --

4 MR. STIVER: I think we probably  
5 want to see --

6 MEMBER ZIEMER: And I am really  
7 asking you, I mean, we certainly have kind of  
8 an agreement.

9 MR. STIVER: Yes. I think we  
10 probably --

11 MEMBER ZIEMER: There are some  
12 things, some explanations. I am wondering if  
13 it is in abeyance versus closed. I'm not sure.  
14 This one looks pretty general.

15 MR. STIVER: Yes, this is one that  
16 really wasn't a particular issue regarding dose  
17 reconstruction.

18 MEMBER ZIEMER: Right. It is the  
19 guidance that --

20 MR. STIVER: Yes, it is kind of an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           overarching thing. You know, there is very  
2           little guidance in the --

3                         MEMBER ZIEMER: Yes, broadly, you  
4           are okay with what the guidance is?

5                         MR. STIVER: Yes.

6                         MEMBER ZIEMER: As opposed to some  
7           other ones that are more --

8                         MR. STIVER: I think that, because  
9           of that, this one could be closed. There's  
10          nothing that is going to be coming out in the  
11          new TBD that is going to really impinge on this  
12          one.

13                        MEMBER ZIEMER: Right.

14                        CHAIR CLAWSON: So, this doesn't  
15          come down to who they accepted?

16                        MEMBER ZIEMER: No, that is covered  
17          by other ones in there.

18                        MR. STIVER: Yes, that is going to  
19          be covered in there.

20                        CHAIR CLAWSON: Okay. That was my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 question, because it seemed like this is what  
2 we had just gone over earlier.

3 MEMBER ZIEMER: Well, this is a  
4 broad guidance.

5 MR. STIVER: Yes, this is a broad  
6 guidance that is going to be incorporated into  
7 TBD 5.

8 CHAIR CLAWSON: Okay.

9 MR. STIVER: Like I said, it is kind  
10 of a legacy.

11 MEMBER ZIEMER: I was just asking  
12 if you are looking for any new wording.

13 MR. STIVER: Not particularly.

14 MR. BARTON: Well, I think NIOSH  
15 was going to finalize the approach. As you  
16 said, there were some wording in there; it may  
17 be confusing. So, I guess it is kind of just  
18 a --

19 MEMBER ZIEMER: Is that part of the  
20 guidance?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. BARTON: Well, we have several  
2 sort of thorium-related findings in here that  
3 are all just assumed by the approach we just  
4 discussed. So, while we do kind of need to see  
5 the final product --

6                   MEMBER ZIEMER: Well, but, see,  
7 those could be in abeyance.

8                   MR. BARTON: Yes.

9                   MEMBER ZIEMER: It's this specific  
10 one, can this be closed.

11                   MR. STIVER: This particular one I  
12 don't feel needs to be in abeyance. There is  
13 really nothing that NIOSH is going to do that  
14 is going to really impinge on this kind of this  
15 kind of --

16                   MEMBER ZIEMER: On this one? If  
17 that is the case, I'm okay with it, Brad.

18                   CHAIR CLAWSON: I have no problem  
19 with it. Okay.

20                   MR. KATZ: Okay, closed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Okay. Let it be read  
2 into the record that TBD Issue 4 is closed.

3 Now TBD Issue 5 is kind of similar  
4 in a way. This, again, was related primarily  
5 to the use of DWE data. And this was the notion  
6 that the TBD had not evaluated exposures due to  
7 thorium fires. Small fires, spills,  
8 explosions were commonplace, and it is unlikely  
9 that most of the air sampling data that we are  
10 compiling will necessarily reflect those  
11 radiological incidents.

12 And again, this was one that we  
13 felt, because there is this post-SEC thorium  
14 evaluation, that we would want to go ahead and  
15 keep it open until such time as we had to discuss  
16 this.

17 Again, this gets to whether it  
18 be -- the model is considered bounding for  
19 incidents and fires and things of that nature.  
20 And I think we have established that the models

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           that NIOSH is putting forth are sufficiently  
2           claimant-favorable to cover short-term  
3           incidents that took place, based on monitoring  
4           data.

5                        If you are looking at the  
6           air-sampling data, then you have problems of  
7           whether you have a complete set of data that  
8           would adequately represent those types of  
9           incidents. But, by using bioassay data, you  
10          can kind of sidestep that problem.

11                      MR. BARTON: And also, you know,  
12          the first part of this is during fires, which  
13          really isn't relevant to the period we are  
14          talking about.

15                      MR. HINNEFELD: This is in  
16          operation. This was an earlier issue.

17                      MR. STIVER: You're looking back in  
18          the fifties and sixties.

19                      MR. BARTON: Right, and there were  
20          maybe a handful of documented small-scale

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 spills during the early overpacking  
2 operations, but they are really  
3 well-documented and they had air sampling and  
4 everyone was bioassayed.

5 So, now that we have the coworker  
6 model in place, I don't think this is really  
7 relevant anymore.

8 CHAIR CLAWSON: A relevant issue?

9 MR. STIVER: Yes, this is another  
10 one that I don't think revisions to the TBD 5  
11 are going to impinge on closure of this  
12 particular issue.

13 CHAIR CLAWSON: I have no problem.

14 MEMBER ZIEMER: Yes, I agree, let's  
15 close it.

16 MR. STIVER: Okay. The next one is  
17 a little trickier. This is TBD Issue 7, and  
18 this gets back to -- I'm just going to read it.

19 "The TBD is a non-specified method  
20 for estimating doses in the raffinate stream."

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 This gets back to the whole idea of raffinates  
2 for more process than in plants 2 and 3, and it  
3 is kind of two-pronged thing.

4 One was what we call the use of the  
5 radon breath data for transferring the Q-11.

6 CHAIR CLAWSON: So, this is the  
7 raffinates.

8 MR. STIVER: This is the  
9 raffinates. There's kind of two sides to this.  
10 One is the dumping of the hot raffinates, Q-11  
11 raffinates, those that came from Mallinckrodt  
12 as well as those that were produced onsite into  
13 silos 1 and 2, and how do you get a handle on  
14 exposures to radium and thorium and some of the  
15 daughter products that are contained in the  
16 raffinates without some sort of uranium values  
17 bioassay.

18 And NIOSH, over a course of a period  
19 of time -- I believe this was in 2008 where they  
20 put forth a methodology using the radon breath

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 analysis to get some kind of hook back to these  
2 thorium-230 and radium-226 exposures during  
3 these operations. And we agreed in previous  
4 Work Group discussions that we were fine with  
5 that.

6 The other aspect, though, was  
7 situations where you had workers who were  
8 exposed, potentially exposed, to raffinate  
9 streams that we have been elevating to  
10 thorium-230, but that were depleted in radium  
11 and uranium. In a situation like this, you  
12 couldn't use the radon breath data. We didn't  
13 have radon breath data for those people for that  
14 matter. Or we were concerned about using urine  
15 bioassay because, you know, essentially, there  
16 is going to be no uranium in there. You are  
17 looking at background levels, if you are able  
18 to, in fact, identify those workers who were in  
19 that particular facility for that particular  
20 time during these operations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And so, we had talked about  
2 this -- oh, gosh, let's see, who produced the  
3 paper? I think Joyce did back in 2010 looking  
4 at this issue. NIOSH responded by updating  
5 Report 52 to address this.

6                   And at the April 2011 Work Group  
7 meeting, there was some discussion on this  
8 particular issue. We felt that it was probably  
9 possible to bound these thorium-230 intakes for  
10 these people, in theory, kind of as a general  
11 principle. However, there are still some kind  
12 of issues out there, I think mainly by virtue  
13 of the fact that the guidance or the discussion  
14 in Report 52 and our discussion back in that  
15 time took place at a time when we felt that this  
16 DWE data for the various buildings, the thorium  
17 DWE air sampling data, could be used in a way  
18 that would allow us to identify particular  
19 conditions in a plant for a given period of  
20 time. And so, you could, then, identify

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 workers.

2           If you could identify who was in,  
3 say, building 3 on the cold side of the  
4 raffinate stream, then you would kind of have  
5 the ability to take a look at their bioassay  
6 data and, then, assume some particular addition  
7 of thorium-230, either based on equilibrium  
8 assumption or the ratios from silo 3, which I  
9 believe the ratios were at 60 nanocuries per  
10 gram of thorium to about 3.5 nanocuries of  
11 uranium.

12           And so, we kind of agreed in theory  
13 that that could be done. But, in the meantime,  
14 you recall that the SECs for thorium, at least  
15 the big one, are based on DOE data, 1954 to 1967,  
16 really came about because it was demonstrated  
17 that you really couldn't identify who was in a  
18 particular plant at a particular time.

19           And so, we still have some concerns  
20 about that. And as you see on page 7 here, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 felt that this particular issue at this point  
2 was too complex to be put into abeyance without  
3 a formal review of what NIOSH puts forth in the  
4 TBD 5 revision. And so, we wanted to keep this  
5 one open. This also applies to the next  
6 finding, Finding No. 8.

7 MR. HINNEFELD: Okay. Finding No.  
8 7 is quantifying exposures to raffinate  
9 materials.

10 MR. STIVER: Right. It was just  
11 basically how were you going to go about doing  
12 this.

13 MR. HINNEFELD: When are we talking  
14 about? So, this is going to be after 1978  
15 probably?

16 MR. STIVER: No, no, this is  
17 actually during the fifties and sixties.

18 MR. HINNEFELD: Well, if it's in  
19 the SEC period --

20 MR. STIVER: Well, it is going to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for non-SEC cancers, yes.

2 Now this is another thing. I was  
3 wondering who was going to bring this up first.  
4 We are looking at thorium-230. Now the SEC,  
5 but this is from a separate process stream than  
6 the thorium refining and machining and other  
7 work in production. It basically comes out of  
8 the uranium-238 process stream, but we are  
9 still looking at thorium.

10 Now the SEC doesn't specify  
11 thorium-232 or any other isotope. So, this  
12 dosage can't be reconstructed from thorium  
13 during this period of time. So, this is kind  
14 of a wrinkle here.

15 MEMBER ZIEMER: So, you are looking  
16 for what is going to be in Rev 4 on this issue?  
17 Is that --

18 MR. STIVER: This is going to be the  
19 latest -- I think it is Rev 1 of the Internal  
20 Dose TBD.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Let me just finish. I think the  
2                   problem we have got here is that, if this were  
3                   to be determined, the thorium-230 intakes were  
4                   determined to be part of the SEC, then, for  
5                   those workers who have non-SEC claims, they are  
6                   not going to get that dose.

7                   Whereas, otherwise, if it is  
8                   considered a separate stream, as part of the  
9                   uranium process stream, even though it is a  
10                  thorium isotope, it is a daughter product of the  
11                  U-238 and a different process altogether. If  
12                  that is kind of taken out of the SEC, then it  
13                  allows these people to get a more complete dose  
14                  assessment or higher dose assessment, more  
15                  claimant-favorable dose.

16                  MEMBER ZIEMER: Have we said we  
17                  can't do that otherwise?

18                  MR. BARTON: The problem is the  
19                  language just says thorium, even though --

20                  MR. STIVER: The language in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 SEC says thorium.

2 MEMBER ZIEMER: Yes. Yes, I see  
3 the point you're making.

4 MR. KATZ: The question is not what  
5 the language says, but what the analysis was  
6 based on.

7 MR. STIVER: And what was the  
8 intent.

9 MR. KATZ: Right.

10 MEMBER ZIEMER: In other words, can  
11 you reconstruct that part of it? Is that what  
12 you're asking?

13 MR. HINNEFELD: So, the question  
14 is -- now we are talking about the entire  
15 operation of the refinery and what was the  
16 raffinate exposure. Now the earliest  
17 operation of the refinery completed like silo  
18 2 and silo 3, so the K-65 and the whole metal  
19 oxide. That was the Q-11 ores, I believe, that  
20 generated those two things.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And then, at some point, raffinate  
2                   started being pumped into pits, you know,  
3                   slurried into pits. So, there is a question of  
4                   was there any really potentially internal  
5                   exposure in the handling mechanism. It seems  
6                   like it would be modest at best.

7                   But I am trying to think of  
8                   how -- for those modest or limited number of  
9                   people who might be exposed, I don't know how  
10                  you would do it.

11                  MR. STIVER: Yes, I think that is  
12                  really the hook, the rub here, if you will. How  
13                  would you identify those people?

14                  MR. HINNEFELD: Well, if you could,  
15                  how would you do it?

16                  MR. STIVER: If you could, how  
17                  would you do it? Well, one method I could put  
18                  out there -- I mean, I don't know if it would  
19                  be considered sufficiently accurate for dose  
20                  reconstruction purposes -- but you just assume

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that, for that period of time that anybody could  
2 have possibly been in that facility and they  
3 could have been exposed, in which case you would  
4 take their urine bioassay and, then, give them  
5 an amount of thorium-230 in addition, based on  
6 the ratio, that was in that particular  
7 material. Now, maybe not grossly but that  
8 would certainly overestimate real intakes for  
9 most people. But I don't know how you could do  
10 it unless you could identify who were the  
11 workers in those particular buildings at the  
12 time.

13 MR. ROLFES: It would be pretty  
14 gross just because of the low uranium content  
15 of the wastepits.

16 MR. STIVER: Yes. Basically, when  
17 they extracted the uranium from the radium, the  
18 thorium remains. The amount of thorium is  
19 pretty much a constant amount. It was about  
20 the same between silos 1, 2, and 3. It is just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that the relative abundance compared to what  
2 you would use as an indicator in radionuclides  
3 is quite a bit different.

4 MEMBER ZIEMER: If you had somebody  
5 currently that didn't meet the 250-day  
6 environment or had a kind of presumptive  
7 cancer, would you be giving them anything from  
8 the raffinate stream at all?

9 MR. HINNEFELD: No, no.

10 MEMBER ZIEMER: Because?

11 MR. HINNEFELD: Well, I don't think  
12 we have built in a technique. I mean, the Site  
13 Profile doesn't say anything about raffinate  
14 exposure. I mean, it was uranium exposure from  
15 the bioassay.

16 MR. STIVER: Well, I think it was a  
17 technique that was in development. It is in  
18 Report 52, and there were discussions in 2010.

19 MR. HINNEFELD: How it could be  
20 done?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. STIVER: Yes, as to whether it  
2 could be done, and Mark was involved.

3                   MR. ROLFES: Yes, right. That was  
4 primarily, you know, relying upon the DWE data  
5 from plant 2/plant 3 to reconstruct thorium-230  
6 exposures. And in our discussion, we found,  
7 you know, that since it was a wet process, the  
8 air concentrations in those areas were really  
9 low. I mean on the order of like 10 or 20 dpm  
10 per cubic meter.

11                   And one could assume, you know, a  
12 continuous exposure at that concentration to  
13 thorium-230, assuming that was the major  
14 contribution to what was observed on the air  
15 samples. But, then, when the DWE issue came  
16 up, there was reason to generate an SEC  
17 determination. That sort of left that hanging  
18 out there.

19                   MR. STIVER: Yes, it was kind of a  
20 situation where --

1 MR. HINNEFELD: Okay, I see now.

2 That's helpful.

3 So, if we were to make the  
4 assumption that the air-sampling data in plant  
5 2/3 from the review when they were doing the DWE  
6 prep data, we said, well, this is going to be  
7 mainly -- this is a raffinate exposure, and  
8 let's treat this not as a uranium airborne, even  
9 though it may have been reported. It was  
10 counted as an alpha count --

11 MEMBER ZIEMER: Right.

12 MR. HINNEFELD: -- when it was  
13 done. Let's say this is a thorium-230 intake,  
14 right? Is that what was proposed? And then,  
15 say we could assign thorium-230 intakes based  
16 on those DWE data for those years.

17 MR. ROLFES: Correct.

18 MR. HINNEFELD: And then, that will  
19 cover most of the operating period. Well, that  
20 DWE data is actually a little older. It goes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 back to what, around the seventies?

2 MR. ROLFES: These DWE data cover  
3 like the fifties --

4 MR. HINNEFELD: Fifties, sixties,  
5 but they go up through about 1970.

6 MR. ROLFES: 1968, correct, yes.

7 MR. HINNEFELD: Okay. So, then,  
8 that leaves us -- you know, we could  
9 extrapolate those data based on, say,  
10 production numbers. I think we might be able  
11 to find the refinery production numbers in some  
12 historical documents, like historical release  
13 documents or something like the throughputs of  
14 the various plants.

15 MR. ROLFES: Yes.

16 MR. HINNEFELD: Because the DWE  
17 data stops at, you say, '68.

18 MR. STIVER: 1968 is when they  
19 basically stopped altogether.

20 MR. HINNEFELD: Okay. So, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 refinery did operate some after that. It was  
2 not a full-time operation, I don't think, after  
3 about 1970, but they would run campaigns, a  
4 refinery campaign. And then, those operators  
5 would move over to plant 4 and they would run  
6 that campaign.

7 So, we could extrapolate that based  
8 on sort of a throughput kind of thing for the  
9 remainder of the period. And then, the  
10 refinery maybe ran once in the eighties. It  
11 didn't run --

12 MEMBER ZIEMER: But what I was  
13 asking was, the SEC itself did not include this  
14 as something that couldn't be reconstructed  
15 based on --

16 MR. HINNEFELD: It wasn't part of  
17 the analysis we did when we --

18 MEMBER ZIEMER: Right. Right.  
19 That's what I'm saying. So, it is fair game to  
20 consider it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Yes.

2 MEMBER ZIEMER: Yes.

3 MR. STIVER: You can certainly  
4 consider it as, you know, a different  
5 technique. I mean, we have done that. We have  
6 used DWE data for other --

7 MR. HINNEFELD: So, then, what we  
8 need to do is propose something.

9 MR. STIVER: Right.

10 MR. ROLFES: The question is, can  
11 we use the DWE data, because the DWE data were  
12 said not to be good for --

13 MEMBER ZIEMER: Well, that is sort  
14 of what I'm asking, yes. Are they tied  
15 together?

16 MR. HINNEFELD: The DWE data were  
17 decided not to be good for thorium exposures at  
18 the various plants because the DWE data wasn't  
19 really thorium.

20 MEMBER ZIEMER: No.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: In this instance,  
2 we are going to say, in all likelihood, the  
3 plant 2/3 airborne data was thorium-230.

4 MEMBER ZIEMER: Right.

5 MR. HINNEFELD: That's what we are  
6 going to say.

7 MR. STIVER: It was probably after  
8 because there is certainly depleted uranium.

9 MR. HINNEFELD: In reality, at the  
10 end of plant 2/3 was where they sucked the UO3  
11 out of the reduction pump. So, those samples  
12 would have been uranium, and the DWE studies  
13 might actually let us exclude those. I don't  
14 know. I would have to go back and see how much  
15 actual data -- some of those DWE studies gave  
16 the job title and job and what result was  
17 associated with that job.

18 And so, it would be called the  
19 gulping station. That is what they called it.  
20 That is where they sucked the product UO3 out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the final boildown, the denitration pump.

2 And so, I'm just talking out loud  
3 here. You could exclude, if the DWE study was  
4 specific enough, you could exclude those air  
5 data from your plant 2/3 compilation, consider  
6 the rest, you know, for simplicity purposes, or  
7 maybe just for simplicity purposes, use 2/3. I  
8 mean, what does it matter? Just say, okay,  
9 these are thorium-230 samples and we consider  
10 that for thorium-230 intakes, because that is  
11 where the exposures would have occurred.  
12 Since we probably won't know who was there, we  
13 would do similar kinds of things. If there is  
14 no reason to exclude this person, they will be  
15 included, kind of thing.

16 MR. STIVER: Yes, that is kind of  
17 what we are envisioning, something along those  
18 lines.

19 MR. HINNEFELD: Okay. I'm glad  
20 there is a transcript of this meeting because

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I didn't take any notes when I was just talking.

2 (Laughter.)

3 MR. BARTON: Did you make all that  
4 up, too?

5 (Laughter.)

6 MR. HINNEFELD: Yes, that I really  
7 was making up.

8 (Laughter.)

9 MR. KATZ: In real-time.

10 MEMBER ZIEMER: Well, even so, it  
11 made sense.

12 (Laughter.)

13 MR. KATZ: It made lots of sense.  
14 So, there is a path forward there.

15 MR. HINNEFELD: Like we were  
16 talking yesterday, you don't want to cheat  
17 anybody out of any non-presumptive cancers out  
18 of anything. And if we can do something there,  
19 that might be worth doing.

20 MR. STIVER: Yes, that is something

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we can look into for the next get-together that  
2 we have.

3 MR. KATZ: So, this is in progress.

4 MR. STIVER: Yes, keep it in  
5 progress.

6 MR. BARTON: I guess really the  
7 first question was, was that in the SEC --

8 MEMBER ZIEMER: You know, is it  
9 even in progress?

10 MR. BARTON: And if it is fair  
11 game --

12 MR. KATZ: Yes.

13 MEMBER ZIEMER: It hasn't really  
14 started yet, right?

15 MR. KATZ: As soon as you discuss  
16 it, it is in progress.

17 MEMBER ZIEMER: It's in progress,  
18 okay. Okay.

19 MR. BARTON: We need formal  
20 definitions for those terms.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 MEMBER ZIEMER: Yes, we have them.  
3 Wanda has defined them. So, we use that,  
4 right?

5 MR. STIVER: Okay, we're going to  
6 settle on in progress in 7 and 8.

7 MEMBER ZIEMER: In progress.

8 MR. KATZ: And 8, is that what you  
9 said?

10 MR. STIVER: Eight is basically  
11 related to 7, only it is who is going to get the  
12 model --

13 MR. KATZ: Okay.

14 MR. STIVER: -- that's going to be  
15 applied.

16 CHAIR CLAWSON: And that we  
17 actually kind of just went over?

18 MR. KATZ: Yes.

19 MEMBER ZIEMER: It's the same  
20 thing, right?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR CLAWSON: It's the same  
2 thing.

3 MR. ROLFES: They'll be in progress  
4 until they are able to develop the model.

5 MR. STIVER: Okay, that brings  
6 us --

7 MR. ROLFES: Someone on the line  
8 just asked if we could all speak up a little bit,  
9 that they're having trouble hearing some  
10 speakers.

11 MR. KATZ: Oh, dear.

12 MR. ROLFES: Thanks.

13 MEMBER ZIEMER: I've got a  
14 microphone over here. Have you got one there,  
15 Brad?

16 CHAIR CLAWSON: Yes.

17 MR. ROLFES: It was Bob and John.  
18 I guess they were having trouble hearing.

19 MR. HINNEFELD: Is that a phone  
20 microphone down there? That's the recorder's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 microphone.

2 CHAIR CLAWSON: Okay, this is the  
3 microphone we're using, right?

4 MR. KATZ: I don't know if that  
5 microphone is --

6 MEMBER ZIEMER: The phone  
7 microphone is --

8 MR. KATZ: Yesterday we had three.

9 MR. HINNEFELD: The phone  
10 microphone is this, right?

11 MR. KATZ: Yes.

12 MEMBER ZIEMER: It's the flat thing  
13 somewhere, or is it?

14 MR. KATZ: Right. Yesterday we  
15 had one down there.

16 CHAIR CLAWSON: Oh, it's actually  
17 down there, Paul.

18 MEMBER ZIEMER: Shall we move it  
19 down.

20 MR. KATZ: Well, Paul needs to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heard, too.

2 MEMBER ZIEMER: Well, I can move it  
3 that way.

4 MR. KATZ: We had three yesterday.

5 MEMBER ZIEMER: Is there another  
6 one?

7 MR. KATZ: Try that. Well, try  
8 that, and we will see if people have problems  
9 hearing Paul.

10 CHAIR CLAWSON: How about that?  
11 Can people hear us better?

12 MR. STIVER: Can you hear me now?

13 (No response.)

14 MR. HINNEFELD: Anybody on the  
15 phone?

16 MS. LIN: Yes. Yes, loud and  
17 clear.

18 MS. CHALMERS: Yes, that sounds  
19 good.

20 MR. KATZ: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Okay, the next one, we  
2 will move on to -- hang on for just a second.  
3 I'm having a slow response on the link here.

4 MEMBER ZIEMER: Probably 9.

5 MR. STIVER: Actually, it's Number  
6 10.

7 MEMBER ZIEMER: Oh, you show 9 as in  
8 abeyance. So, that is just sitting there.

9 MR. STIVER: Yes, these are ones  
10 that were --

11 MEMBER ZIEMER: Yes, it stays,  
12 right?

13 MR. STIVER: Yes. I didn't want to  
14 go back over ones that were in abeyance that we  
15 don't have any additional information on to  
16 move forward.

17 MR. KATZ: Right.

18 MR. STIVER: Rather than just kind  
19 of restate what have we done in the past.

20 Let me change the view here where we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can scroll down, kind of jump back and forth.

2 Okay, the next one that was kind of  
3 interesting that came up last time was Finding  
4 Number 10. And this is this notion that the  
5 radionuclides list, the recycled uranium in the  
6 TBD is incomplete. And we talked about this a  
7 little bit at the last meeting, this notion of  
8 what do you do about americium-241.

9 And I know Stu at the time had  
10 questioned whether it should even be included  
11 in recycled uranium at all. I mean, this is a  
12 nuclide that was not addressed in the DWE  
13 reports on recycled uranium. They looked at  
14 plutonium, neptunium-237, and technetium-99  
15 almost exclusively. And so, our review of  
16 recycled uranium, which is quite extensive,  
17 focused on those three constituents.

18 I tracked down the source of the  
19 mention of americium-241 to the actual TBD 5.  
20 I sent an email to Stu about this, and he was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 going to look into a bit. And I looked into it,  
2 too.

3 It turns out that this may be more  
4 important than we figured in the past. We kind  
5 of went on the assumption that, if DOE only  
6 mentioned americium in passing and never really  
7 did any analysis and gathering of data related  
8 to it, then there must have been some good  
9 reason for it, that it existed in such low  
10 levels that it wasn't really worth getting  
11 into.

12 However, when I looked into the  
13 production mechanisms for americium, it is  
14 basically a serial neutron capture reaction  
15 starting with plutonium-239, up to -240, to  
16 -241, which then betas at about a 13-day  
17 half-life, I believe, if I have got that right,  
18 to americium-241, which, then, alpha decays at  
19 a 432-year half-life to neptunium-237.

20 So, what we have is a situation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 where americium-241 is the principal mechanism  
2 of producing neptunium-237. Neptunium-237  
3 was considered a nuclide of interest in the DOE  
4 reports, for which values have been determined  
5 in NIOSH's model. But, yet, americium, which  
6 is the precursor to neptunium, which actually  
7 has dose conversion factors that are about a  
8 factor of two higher than neptunium for most  
9 organs, isn't included. It also has a very  
10 high specific activity, about 3.7 curies per  
11 gram.

12 So, this is kind of the situation  
13 where it looks like if you have neptunium and  
14 you can't determine that americium may have  
15 been extracted from the waste stream before it  
16 was shipped to Fernald, you have got a situation  
17 where you are going to probably have to  
18 reconstruct doses of americium.

19 And so, this is kind of preliminary,  
20 but I guess this would be something for you guys

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to take a look at. Is there a reason why  
2 americium was excluded to begin with in the  
3 original DOE documentation? Can we ignore it?  
4 If not, how, then, would we go about  
5 reconstructing doses?

6 MR. HINNEFELD: This is Stu.

7 I question the principal neptunium  
8 production avenue that you describe. I don't  
9 know that that is the principal neptunium  
10 production. I thought the principal neptunium  
11 production was a non-fission capture of U-235,  
12 because only five out of six captures fission.

13 MR. STIVER: Yes, there are  
14 different mechanisms involved. I talked to  
15 our radiochemist about this, and he seemed to  
16 think that the plutonium capture is probably  
17 the most significant, at least for the  
18 weapons-grade materials.

19 Yes, certainly in U-236 --

20 MR. HINNEFELD: Yes, U-236 neutron

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 capture gets you to neptunium-237.

2 MR. STIVER: It is a very small type  
3 of --

4 MR. HINNEFELD: I guess the  
5 information I have received since we exchanged  
6 emails was that the americium was more commonly  
7 a contaminant in high-enriched recycled  
8 uranium; that, and the -- what was the other one  
9 we mentioned? -- americium and the -- yes, I  
10 guess that is the only one.

11 But it is primarily a contaminant in  
12 the high-enriched uranium recycle rather than  
13 the low-enriched uranium recycle. And so,  
14 that is why places like Fernald didn't look for  
15 it, but a place like a gaseous diffusion plant  
16 that was running higher-enrichment materials  
17 would have to worry about it.

18 So, I got like an email explanation  
19 of that. So, I think maybe what we have to  
20 do -- I just have always thought that -- and I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not a nuclear chemist -- but I just always have  
2 thought that the obvious neptunium-237  
3 production is you've got a lot of uranium in  
4 these reactor cores and a lot of uranium-235 in  
5 these reactor cores. And so, I think it is five  
6 out of six captures result in fission, and that  
7 results in U-236, which would have some sort of  
8 data capture process and become neptunium-237  
9 in all likelihood.

10 So, that always just seemed like the  
11 likely one because, otherwise, you are having  
12 the serial neutron captures to 239 to 240, to  
13 241. They're getting the plutonium-241 in  
14 order to get back down.

15 So, you have a longer chain of  
16 serial captures to get through the plutonium  
17 chain than you do for the U-236 chain. So, that  
18 just seemed more probable to me. But, like I  
19 said, I don't know nucleonics, but, presumably,  
20 we can do some search on that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes, I think that is  
2 something we just need to run to ground.

3 MR. HINNEFELD: Yes.

4 MR. STIVER: You know, what could  
5 be the primary mechanism and what would be  
6 expected.

7 MR. HINNEFELD: And then, I will  
8 also check on the -- we will have to also, rather  
9 than just get an email message about this, we  
10 will have to look at sources and what sources  
11 did this email message come from, and what is  
12 the source of information that americium-241  
13 was mainly in high-enriched uranium recycle.

14 MR. STIVER: We might also look at  
15 the sources with the neptunium that were used  
16 in the reconstruction. I think we used the  
17 highest micron percentiles, and that came from  
18 one of the source streams, but I don't remember  
19 off the top of my head which one it was.

20 But you might narrow down your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 search as to the americium that would be more  
2 associated with higher concentrations from  
3 particular waste streams as opposed to other --

4 MR. HINNEFELD: I'm sorry, where  
5 are we going here now? I'm lost.

6 MR. STIVER: I was saying that, if  
7 you look at the review we did and the particular  
8 waste streams that were used to determine the  
9 bounding value for neptunium-237, it might help  
10 to kind of narrow down your search as to what  
11 americium content might have been associated  
12 with that.

13 MR. BARTON: Part of the reason  
14 this piqued our interest was that it was listed  
15 as a primary contaminant of concern in the  
16 original TBD. So, maybe there is good reason  
17 in the next iteration to remove that for --

18 MR. STIVER: Yes. If it turns out  
19 it is not, then --

20 MR. HINNEFELD: Yes. Yes, right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: But there is also, I  
2 know we came across at least one document while  
3 Fluor Fernald was running the site. And it is  
4 called Handling Uranium Containing Other  
5 Radiological Constituents. And I don't want  
6 to read the whole quote, but it said,  
7 essentially, recycled uranium can contain  
8 trace quantities of plutonium-238, 239, and  
9 240, americium-241, and neptunium-237. These  
10 isotopes can have significant internal dose  
11 contributions for relatively small activity  
12 concentrations.

13 So, that is sort of the reason we  
14 just, you know --

15 MR. STIVER: I might add --

16 MR. BARTON: -- might establish  
17 that we don't need to take a look at it or  
18 perhaps --

19 MR. STIVER: I might also add to  
20 that the DOE 2004 report on recycled uranium

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 doesn't mention that, and it is basically not  
2 saying probable line, those particular  
3 nuclides. But that never addresses it in terms  
4 of accountability.

5 MR. KATZ: So, we'll put this in  
6 progress, too?

7 CHAIR CLAWSON: Yes, but help me  
8 clarify something on this because we have been  
9 talking about a lot of isotopes. This is part  
10 of the raffinate stream?

11 MR. STIVER: No, this is not  
12 raffinate.

13 MR. HINNEFELD: No, recycled  
14 uranium.

15 CHAIR CLAWSON: Recycled uranium,  
16 okay.

17 MR. STIVER: We've reached the RU  
18 now.

19 CHAIR CLAWSON: Okay, that's what I  
20 wanted to --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Remember the long  
2 discussions we had about whether 200 or 400  
3 parts per billion plutonium were going to be  
4 bounding. And so, there were three principal  
5 nuclides, plutonium, neptunium and  
6 technitium-99, for which we have bounding  
7 values for different periods of time now.

8 MEMBER ZIEMER: But your original  
9 finding does mention the raffinates. Your  
10 finding does. We're talking about 10 here,  
11 right?

12 MR. STIVER: Yes. Finding 10 is  
13 really related to recycled uranium.

14 MEMBER ZIEMER: Yes, I understand,  
15 but it says, furthermore, the concentrations of  
16 trace radionuclides in the raffinates --

17 MR. STIVER: You know, where this  
18 comes from is some of the materials that contain  
19 these were -- actually, some of the ones that  
20 had the highest values were the reduction pot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 liners. Remember the magnesium fluoride,  
2 which is going to be concentrate neptunium and  
3 to some extent plutonium. I believe strontium  
4 is another one. I'm trying to think of all of  
5 them.

6 But the point being is that some of  
7 these raffinate products would find their way  
8 as sources of these materials --

9 MEMBER ZIEMER: Right, right.

10 MR. STIVER: -- through the  
11 production mechanism.

12 MEMBER ZIEMER: Right.

13 MR. HINNEFELD: I think the  
14 bounding values take that into account, the  
15 higher --

16 MEMBER ZIEMER: The higher, yes.

17 MR. HINNEFELD: The bounding  
18 values are quite high compared to what you would  
19 normally see.

20 MEMBER ZIEMER: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: I think it was like we  
2 settled on 10,000 parts per billion or --

3 MR. HINNEFELD: I forget what it  
4 actually was, the numbers that we arrived at,  
5 but they are much higher than you would see in  
6 production uranium.

7 MR. STIVER: Yes.

8 CHAIR CLAWSON: But the  
9 radionuclides or the nuclides that were of  
10 concern in this section -- because I thought we  
11 had talked about most of this is americium-241?

12 MR. HINNEFELD: Yes, right.

13 MR. STIVER: Just to determine A)  
14 is it really something to be concerned with and,  
15 if so, how might we go about accounting for it?

16 CHAIR CLAWSON: Okay. I want to be  
17 clear because a lot of these sites are kind of  
18 running together. I know that we did talk  
19 about neptunium, but that is more at Hanford.

20 What is the half-life of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 americium-241?

2 MR. STIVER: Four hundred and  
3 thirty-two years. So, it is important from a  
4 dosimetric standpoint.

5 CHAIR CLAWSON: I understand.  
6 That clarifies it.

7 MEMBER ZIEMER: Longer than the  
8 life of the Work Group.

9 (Laughter.)

10 Is the ball in NIOSH's court then?

11 MR. HINNEFELD: Yes. Yes.

12 MEMBER ZIEMER: Okay.

13 MR. HINNEFELD: I think the ball is  
14 in our court, is to provide the backing to our  
15 statement --

16 MEMBER ZIEMER: Yes.

17 MR. HINNEFELD: -- that this was a  
18 high-enriched uranium issue and, then, also,  
19 see what we can find out about the production  
20 mechanism for neptunium-237. I had never

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thought of it as a decay product of  
2 americium-241. It would never be by itself  
3 without americium --

4 MEMBER ZIEMER: Yes.

5 MR. HINNEFELD: -- unless you  
6 purposely extracted one or the other.

7 MR. STIVER: I know that is a  
8 production mechanism for using it for this type  
9 of research, and so forth, is to produce at a  
10 reactor now, to the extent that that happened  
11 in production after this point. And so, it is  
12 up for debate.

13 Okay, now we jump ahead, 25. This  
14 is something that is near and dear to the heart  
15 of Hans Behling. And this is about the radon  
16 releases from the K-65 silos.

17 And now, Stu, you produced a couple  
18 of responses.

19 MR. HINNEFELD: Yes. I will  
20 start.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. STIVER:   So, you might want to  
2                   start out with those.

3                   MR. HINNEFELD:   Yes, I will start  
4                   out with my life since the last Work Group  
5                   meeting.

6                   (Laughter.)

7                   Well, not my life, but some of the  
8                   stuff I've done.   Sometimes it felt that way.

9                   At the last Work Group meeting I  
10                  decided, look, we have this question.   We have  
11                  what I'll call the SC&A method of estimating  
12                  radon emissions, largely prior to 1979, when  
13                  the silos were, we call it sealed, is usually  
14                  the term that is talking about.   What they did  
15                  was blanked off what had been an open gooseneck  
16                  port that had been open to the atmosphere, and  
17                  they also put gaskets and flanges on some of the  
18                  other openings, some of the other penetrations  
19                  that they gasketed up and, certainly, sealed it  
20                  more than it had been before.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   There was an -- SC&A has proposed a  
2                   method that differs probably by at least an  
3                   order of magnitude in terms of annual radon  
4                   releases than the method that was performed for  
5                   ATSDR by a company called Radiological  
6                   Assessment Corporation, or RAC. And so, I  
7                   will, for the benefit of the court reporter, I  
8                   will frequently use the term RAC during this  
9                   conversation probably, and I am referred to  
10                  R-A-C, Radiological Assessment Corporation.

11                  SC&A's approach, SC&A looked at a  
12                  set of sampling data which were in, they were  
13                  reproduced in the RAC report. These were data  
14                  collected in 1991, samples taken from the K-65  
15                  materials, residues themselves in the K-65  
16                  materials, and the relative activities  
17                  reported in those samples for radium-226 and  
18                  lead-210.

19                  Lead-210 is a decay product of  
20                  radon. It is the one that has -- it essentially

1 stops the short-lived decay daughter chain.  
2 You know, you have several short-lived decay  
3 products that we typically call the radon  
4 progeny, and then, it gets to lead-210 with a  
5 22-year half-life. And we don't have a  
6 short-lived half for that sort of thing.

7           Lead-210 is radioactive. It  
8 decays by a beta minus to bismuth-210, which is  
9 another beta minus decay to polonium-210, which  
10 is alpha down to stable 2 lead, so stable  
11 lead-210. So, we are all the way down at the  
12 end of the radium decay chain here.

13           Now in the reported activities for  
14 lead-210 and radium-226 there is a significant  
15 discrepancy from that sampling between those  
16 relative activities. Now, if you had a  
17 perfectly-sealed container, the logic of the  
18 SC&A approach is that, if this was perfectly  
19 sealed or even very well sealed, those numbers  
20 would be either the same, if it was perfectly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sealed, or close to each other because radon has  
2 a short half-life. And so, you would reach an  
3 equilibrium. If it were a tightly-sealed  
4 container, you would reach an equilibrium  
5 pretty quickly, well, as quickly as the  
6 lead-210 grew in.

7 And so, you would think those would  
8 be relatively close to each other in terms of  
9 activity. And they're not. The way to  
10 explain for this deficit in activity is that the  
11 radon escaped. And so, it wasn't there to  
12 generate the lead-210.

13 There is a second piece of  
14 information that supports SC&A's argument.  
15 That is direct radiation survey measurements  
16 that were taken on the top of the silo at various  
17 times in its history. Now the relevant times  
18 for our discussion right now are measurements  
19 that were taken before 1979, before the silos  
20 were sealed; measurements that were taken after

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the silo was sealed, typically, even right up  
2 to 1987, and then, measurements that were taken  
3 in 1987 after the operation of a recently  
4 installed radon treatment system, which was  
5 designed to remove radon from the headspace of  
6 the silos, pump it out through charcoal and  
7 absorb it on a charcoal filter. So that you  
8 have a direct radiation reading now with  
9 essentially the silos devoid of radon gas.

10 SC&A compared the dose rate  
11 readings after the radon treatment system  
12 operation to the dose rate readings prior to  
13 sealing, and they said these numbers look like  
14 the same to us. It looks like there was no  
15 radon being retained in the silos when they were  
16 unsealed. Whereas, after they were sealed, it  
17 did build up. There was radon being retained  
18 in there.

19 So, based on that, they said it  
20 appears that this deficit between lead-210 and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radium-226, this activity deficit is because  
2 the radon left the residues. Once it left the  
3 residues, it wasn't retained in the domes. And  
4 so, it was released to the environment. So, in  
5 simple terms, that is how SC&A arrived at their  
6 conclusion.

7 Now there are some complications  
8 about you don't know the starting ratio of  
9 lead-210 and radium. That complicates  
10 matters. SC&A chose a sort of middle of the  
11 road. It doesn't maximize or minimize. You  
12 would maximize release if you assume they were  
13 placed at equilibrium, and you would minimize  
14 the release if you assume there was no lead-210  
15 at the original placement.

16 And then, it also I think important  
17 to note that the sampling was done in 1991, 12  
18 years after the silos were sealed. And  
19 therefore, you would have some radon. You  
20 know, we know some radon was certainly retained

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the headspace. So, that would tend to  
2 indicate that the lead-210 was probably even  
3 lower than what SC&A's calculated estimate was.  
4 You know, the lead-210 was even lower at the  
5 start. And so, the radon emissions up until  
6 1979 were probably even higher than what was in  
7 the actual paper, the 2008 paper that was  
8 delivered. So, that is kind of their  
9 technique.

10 Radiological Assessment  
11 Corporation had that same sampling data. You  
12 know, they had it in their report, and they did  
13 not elect that method. They said that they did  
14 use the direct radiation measurements from the  
15 tops of the silos though, but they didn't start  
16 with the pre-1979 emission rate. RAC started  
17 with the 1979-to-1987 period and said, during  
18 this time, we have radon concentration  
19 measurements from the silo headspace.

20 So, we have an estimate of what the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radon concentration was in the air. And we can  
2 estimate a release rate based on thermal  
3 expansion of the air because it was pretty well  
4 observed that during this period, as the air  
5 warmed-up, the emissions were higher. The air  
6 concentrations, the radon concentrations  
7 measured in the air close to the silos was  
8 higher on warm days in the afternoon, when it  
9 was hottest.

10 And so, there were also temperature  
11 readings inside the silo that they made a  
12 correlation with the outdoor temperatures.  
13 And they said, well, based on this, we would  
14 calculate that in a year you would have this  
15 daily thermal expansion based on how much the  
16 temperature changed on that day, and then, that  
17 is how much radon you would pump out. And then,  
18 you would also have some radon that would  
19 diffuse through the concrete dome. And they  
20 used a classic radon diffusion calculation with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some known and some assumed properties of the  
2 concrete and the measured concentrations that  
3 they had here.

4 So, they generated that release  
5 rate and said, well, from this release rate from  
6 the silos, you know, radon released from the  
7 silos, and this known concentration, you have  
8 a classic equilibrium differential equation  
9 here and the amount of radon diffusing from the  
10 residues into the headspace has to equal the  
11 amount of radon being removed from the  
12 headspace into the atmosphere.

13 And so, based on that, they arrived  
14 at, well, their release rate was not based on  
15 the diffusion, but the release rate was based  
16 on the concentration, the thermal pumping and  
17 dispersion.

18 Now the issue we run into when we are  
19 trying to -- I was trying to reconcile this. I  
20 said, how can you explain both of these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 approaches? You know, what could be going on  
2 that explains both?

3 And I reached the conclusion that  
4 you can't. You can't reconcile that lead-210  
5 and radium deficit with what I would consider  
6 the known behavior of radon in residues. After  
7 RAC had an estimate from 1987, or from 1979 to  
8 1987, during the period when it was sealed,  
9 after they had that estimate, they also said,  
10 well, now we know how much the airborne  
11 concentration was in 1987. We've got these  
12 dose rates before the RTS was run. We have the  
13 dose rate measurements after the RTS was run,  
14 and we have these dose rate measurements from  
15 before the silos were sealed. They said, we  
16 should be able to develop a sort of dose rate  
17 per radon concentration factor based on using  
18 those external measurements.

19 So, when they compared the  
20 post-radon treatment system measurements to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the pre-sealing measurements, they said, well,  
2 these aren't exactly equal; there is a  
3 difference here, and that difference is about  
4 10 times less. It is maybe on the order of 20  
5 millirem per hour. The difference between the  
6 post-RTS sampling and the 1987 pre-RTS pumping  
7 is about 200 millirem an hour. And I am  
8 speaking for medians here. They actually did  
9 Monte Carlo calculations to compare  
10 distributions of the measurements. And so,  
11 the concentration must have been about 10 times  
12 lower in the headspace before 1987 than it was  
13 after -- or before 1979 than it was after 1979,  
14 when it was sealed.

15 So, that is what RAC was. They  
16 looked at the same external monitoring data and  
17 said the pre-1979 and the post-RTS operation  
18 time are not quite the same; there is a  
19 difference there. And so, that is how they  
20 arrived at their estimate of concentration in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the air.

2 And then they arrived at a  
3 differential diffusion of radon, but it is the  
4 diffusion of the radon out of the silo materials  
5 into the headspace, is the key question here.  
6 And that is the key. That is where the two  
7 mechanisms just cannot, in my mind, you cannot  
8 reconcile.

9 Whereas, SC&A's method has, as they  
10 wrote in 2008, roughly 60 percent of the  
11 material, of the radon being generated in the  
12 residues leaving the residues and entering the  
13 headspace. And they say it could be more than  
14 that.

15 The Radiological Assessment  
16 Corporation estimate puts the amount, the  
17 fraction of radon that would diffuse from the  
18 residues into the headspace more on the order  
19 of 5 percent as opposed to 60 percent. And so,  
20 that accounts for essentially your factor of 10

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 difference in residue.

2 The RAC also felt like pre-sealing  
3 the domes retained the radon very poorly,  
4 something like 93 percent of the radon that  
5 entered the headspace left. So, they didn't  
6 feel like the silos, before sealing in 1979,  
7 they didn't feel like they were effective in  
8 retaining the radon, just as SC&A doesn't feel  
9 they are effective.

10 The key element comes down to how  
11 much radon diffused from the residue materials  
12 into the headspace. And so, I can't explain  
13 the deficit between lead-210 and radium-226.  
14 You know, anything I would say would be rank  
15 speculation. I can't.

16 But, on the other hand, in its  
17 report, you know, RAC's report is 150-200 pages  
18 long. The appendix in their 1995 report where  
19 they talk about how they did their  
20 calculations, it is well over 100 pages long.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think it is close to 200 pages long.

2 And they speak at great length about  
3 how does radon behave in things, in materials.  
4 Now a lot of these parameters were not measured  
5 in the actual K-65 residues themselves, like  
6 radon diffusion length, emanation fraction.  
7 Those are the two key ones. Those were never  
8 measured in the K-65 silos that we've been able  
9 to find.

10 MEMBER ZIEMER: Well, we discussed  
11 a lot of this before.

12 MR. HINNEFELD: Yes.

13 MEMBER ZIEMER: And I know Hans did  
14 a pretty careful analysis. I am trying to  
15 remember the amounts of the residue, and they  
16 were pretty thick.

17 MR. HINNEFELD: They were about  
18 20-feet deep.

19 MEMBER ZIEMER: Twenty-feet deep.  
20 And it is intuitively hard for me to see that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           60 percent of that inventory would reach the  
2           headspace unless the material is very loose,  
3           and no one has done a diffusion measurement.  
4           We don't know diffusion length.

5                        I mean, a lot of that, you start out  
6           saying that half of it is going to go the other  
7           way, Number 1.

8                        MR. HINNEFELD: Right.

9                        MEMBER ZIEMER: So, it is hard to  
10          see how you would get more than 50 percent to  
11          start with. And then, if the distances are  
12          enough, a lot of the decay occurs before it ever  
13          gets out.

14                       And so, I don't recall what the  
15          assumptions were. There have to be some  
16          assumptions about, you know, if the stuff is  
17          pretty solid, it makes a difference, versus  
18          things where there is like chimney effects like  
19          you have in the Pennsylvania Reading Prong  
20          where somebody house, you know, the Watras

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 house, was it, that had all the radon, but where  
2 things can come up by some sort of a chimney  
3 effect.

4 MR. HINNEFELD: Well, I think that  
5 is part of SC&A's approach, is there may have  
6 been a chimney effect on the silos.

7 MR. STIVER: Yes, Hans has actually  
8 prepared sort of a final --

9 DR. BEHLING: Yes.

10 MR. STIVER: Maybe, Hans, this  
11 would be a good time for you to jump in.

12 DR. BEHLING: Yes. I am hoping to  
13 be able to get a chance to counter some of these  
14 issues.

15 MEMBER ZIEMER: No, I'm asking  
16 because I don't remember from before. I know  
17 you had some good arguments for it, and I just  
18 couldn't remember that.

19 DR. BEHLING: Okay. Well, if I  
20 have a chance to give my presentation,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hopefully, I can clarify some of those issues.

2 MR. STIVER: Okay, the floor is  
3 yours, Hans.

4 DR. BEHLING: Okay. Let me just  
5 briefly, again -- I think Stu did a very nice  
6 job about summarizing some of the things, but  
7 there are a couple of areas that I tend to  
8 disagree with.

9 Let me just start out by saying that  
10 the SC&A model relies principally on two sets  
11 of empirical measurements, measurements that I  
12 will take at face value because I have no other  
13 choice but to.

14 In addition to two sets of empirical  
15 data measurements, SC&A's estimate also had to  
16 rely on one particular assumption. And that  
17 is, what was the starting disequilibrium  
18 between the radium-226 and the lead-210? And  
19 so, what I want to do is identify really the  
20 empirical measurements that were used in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 presenting our model and explain how they were  
2 used, and then, also, briefly explain the one  
3 assumption that had to be incorporated.

4 And what I want to do is to  
5 describe -- and I think Stu already mentioned  
6 it -- there are two phases to this explanation.  
7 What were the releases of radon from the waste  
8 package inside the silo that escaped from the  
9 waste package, but not necessarily into the  
10 environment? And the second stage of the  
11 explanation is, what happened to the radon that  
12 did escape the waste package that may have been  
13 in the headspace and was subsequently released  
14 to the environment? So, those are two aspects  
15 of our model that I will explain in short order.

16 And what is really important now is  
17 also to understand what are the principal  
18 players. And I think Stu already mentioned the  
19 two major players for this assessment are,  
20 obviously, radium-226, which has a half-life of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 1622 years, meaning that over the period during  
2 which this material was first harvested at the  
3 Belgian Congo and the time it was in place in  
4 the silos and retained in the silos are  
5 relatively brief periods which, by and large,  
6 did not really significantly affect the  
7 quantity of radium that was, then, obviously,  
8 the source term for radon-222.

9           Conversely, the second player in  
10 this whole issue is lead-210, and lead-210, as  
11 Stu already mentioned, is near the bottom of the  
12 decay chain, but follows the radon-222  
13 radionuclide which has only a 3.8-day  
14 half-life. But, at 22 years of half-life, it  
15 will, obviously, have a variability in terms of  
16 what the starting point might have been, as I  
17 will explain.

18           So, let me talk about, when  
19 radon-222 is released, whether it is in the ore  
20 or while it is in the silos, it has, if it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 escapes, the impact of not contributing to any  
2 more of additional lead-210 that you will find.

3 So, let's start off at, what are the  
4 potential options for the one assumption that  
5 we had to really make in our calculation? If  
6 you start out with the fact that uranium ores  
7 are usually mined from deep mined strata, you  
8 have to also come to the conclusion that at the  
9 time of the mining the ore that now contains all  
10 of both uraniums, 238, 234, the radium-226, the  
11 radon-222, and the lead-210 are likely to be in  
12 full equilibrium. In other words, if you were  
13 to take a sample at the time that the ore was  
14 harvested, you would end up looking probably at  
15 a ratio between radium-226 and lead-210 that is  
16 probably close to unity, meaning that very  
17 little radon escaped, especially if the ore was  
18 mined at a deep strata.

19 So, in essence, we would start out  
20 with the simple assumption that, if we were to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           somehow or other take ore when it is immediately  
2           mined and extract the uranium and establish  
3           raffinates that are close to time of harvesting  
4           of the ore, we would start out with a raffinate  
5           that would have an equilibrium value between  
6           radium-226 and lead-210 that would essentially  
7           approach unity.

8                         But this was not the case here. So,  
9           let's try to figure out what would be a  
10          reasonable starting point in terms of the  
11          disequilibrium between these two players,  
12          radium-226 and lead-210. Let's remember that  
13          the ore, the Belgian Congo ore, was assumed to  
14          be mined in 1944. And then, these raffinates  
15          were generated both at Mallinckrodt and at  
16          Fernald, and they were placed in the silos as  
17          early as 1953 and as late as 1958.

18                         If they had been placed in 1953,  
19          that is nine years removed from the time they  
20          were first harvested, and if during that period

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of time, the full nine years, 100 percent of all  
2 radon-222 had escaped from the ore, you would  
3 still end up with a starting equilibrium  
4 fraction of 0.75. In other words, the lead-210  
5 would have the activity of approximately 75  
6 percent of that of radium-226.

7 If, in fact, the time period between  
8 harvesting and emplacement in silos 1 and 2 was  
9 at the far end of the spectrum time period of  
10 14 years, the starting point for the ratio  
11 between lead-210 and radium-226 would still be  
12 .64. And that is assuming that we start out  
13 with an equilibrium fraction of near unity for  
14 those two radionuclides and, also, that during  
15 this nine- to fourteen-year time period all 100  
16 percent of the radon would escape.

17 Now there have been discussions  
18 that perhaps the ore that was emplaced in there  
19 had initially been forwarded to the United  
20 States with the assumption that they would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 returned because they contained certain  
2 precious metals, including radium-226 and  
3 perhaps lead, that would be separated.

4 But I did a very intense survey of  
5 available data, and I am sure that NIOSH did,  
6 too. There is no documentation that that  
7 extraction of the precious ores was ever  
8 conducted. And if they had been done, the  
9 radium would have also been removed along with  
10 the lead, so that we would basically have  
11 another variable that we couldn't explain.  
12 But there is no justification to believe that  
13 that was ever done.

14 Now the only other factor that could  
15 potentially create something of a distortion  
16 between the two indicated radionuclides could  
17 be the actual extraction of uranium during this  
18 very process. And we do know that in the  
19 initial steps in the processing of raw ore, it  
20 involves mechanical crushing, the grinding in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 order to produce uniform-sized particles, and  
2 then, also, the treatment with either an acid-  
3 or an alkaline-based leaching process.

4 We don't know what that could have  
5 possibly been done, but on the assumption that  
6 it probably wouldn't have affected them very  
7 much, we are still stuck with understanding  
8 that an equilibrium fraction at the time these  
9 materials were placed into silos 1 and 2 could  
10 have been as high as .7, depending on the  
11 timeframe of either nine years or fourteen  
12 years. And that is strictly based on the fact  
13 that lead-210 has a half-life of 22 years.

14 So, what we ended up doing is  
15 looking at the actual empirical measurements,  
16 as Stu had mentioned earlier. And those  
17 measurements were taken in 1991 where they went  
18 in there and at various levels within the waste  
19 package, they retrieved samples randomly and  
20 decided to assess those particular materials

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for their current levels of lead-210 in  
2 relationship to radium-226.

3 In 1991, in silo 1, that ratio was  
4 down to 0.37, and in silo Number 2, it was 0.38.  
5 So, it was essentially equal. In other words,  
6 if at that point you can trust your  
7 measurements, the absence of this equilibrium  
8 that we now observe would suggest that perhaps  
9 as much as 62 percent may have escaped the waste  
10 package and perhaps entered the headspace.

11 Then, again, in 1993, a second set  
12 of measurements were taken. In silo Number 1,  
13 the disequilibrium was defined at 0.42, not  
14 much different from the earlier version of  
15 0.37. So, that has probably been a statistical  
16 error of those two measurements. For silo 2,  
17 on the other hand, this disequilibrium of 0.38  
18 had changed to 0.72.

19 In selecting which one I was going  
20 to use, I decided to be claimant-unfavorable by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 using the data that was generated in 1993 that  
2 says the disequilibrium in silo Number 1 was  
3 0.42, but for silo 2 it was 0.72. And so, I  
4 intentionally used those two values as my  
5 starting point for saying what quantities of  
6 radon may have been released from the waste  
7 package into possibly the headspace, but not  
8 necessarily into the environment.

9 And so, if I look at those two latter  
10 datasets of 1993, my assessment would have been  
11 that about 58 percent of the radon that was  
12 generated in the waste package in silo 1 left  
13 the waste package, and for silo 2, 28 percent  
14 left the waste package. And that is strictly  
15 assuming that these disequilibrium values are  
16 legitimate and that our starting point was  
17 using the same disequilibrium as we observed in  
18 1993.

19 And as I said, I believe that  
20 assumption about a starting point being equal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to what it was 1993 and projected backwards to  
2 the time of emplacement is a very  
3 unconservative and claimant-unfavorable  
4 starting assumption. And so, on that basis, I  
5 was able to calculate the total quantity of  
6 radon that was released from the waste package  
7 into the headspace. And I cite those numbers  
8 in our calculation, and those numbers represent  
9 somewhere around -- let's see here -- 90,000  
10 curies for silo 1 and about 24,000 curies for  
11 silo 2.

12 So, at this point, the argument in  
13 the past has been, well, whatever radon left the  
14 waste package, but now entered the headspace,  
15 in all likelihood most of it or the majority of  
16 it decayed in the headspace. And that became  
17 the second phase of our investigation.

18 And the second set of empirical  
19 data, then, became really the data that Stu  
20 referred to earlier as being measurements that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were taken on top of the silo in earlier years.

2 And I am talking about the years that are  
3 identified in one of the exhibits that were  
4 included in my 2008 White Paper.

5 And in April of 1964, again in May  
6 1973, and again in July 1973, a series of dose  
7 rate measurements were taken on top of silos 1  
8 and 2. And at that time, the average dose  
9 rate -- I don't want to give each of the  
10 numbers -- but they averaged approximately  
11 around 70 to 75 millirem per hour.

12 Now one can conclude that those dose  
13 rate measurements taken on top of the silos were  
14 perhaps the combined dose rate contributed from  
15 the radium that was still left in the waste  
16 package below, as well as the presence of radon  
17 and their short-lived daughters in the  
18 headspace. And as we all know, there are some  
19 short-lived radiation emissions from the  
20 short-lived daughters that are gamma emitters.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And so, they would contribute if, in fact, radon  
2 was a major component of that dose rate that was  
3 measured on top of the silos. The short-lived  
4 daughters of the radon that had accumulated in  
5 the headspace would be a contributor.

6 And so, we have dose rates on top of  
7 the silos that were measured prior to the  
8 sealing of the dome in the sixties and early  
9 seventies that would suggest that the dose  
10 rates on average on top of silos 1 and 2 was  
11 around 75 millirem per hour.

12 In June of 1979, there was a  
13 significant effort put forth to seal the dome  
14 caps in order to prevent the radon being  
15 released into the environment. As Stu had  
16 mentioned, there was a gooseneck, a 6-inch  
17 gooseneck that openly allowed the air in the  
18 headspace to enter the atmosphere outside.

19 In addition, there was a whole  
20 series of manholes that did not have a seal.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And also, there were serious, serious cracks  
2 that also allowed the release of any gases that  
3 may have accumulated in the headspace into the  
4 environment. And so, in the process of ceiling  
5 them up, they eliminated any open, direct  
6 openings, as well as also sealed many of the  
7 cracks.

8 And then, in 1987, measurements  
9 were taken on top of the dome. We, obviously,  
10 realized that the dose rate on top of the dome  
11 had now gone from approximately 70 to 75  
12 millirem in silo Number 2 all the way up to 250  
13 millirem per hour, and in silo 1, around up to  
14 200-and-some-odd millirem per hour.

15 And it was realized that a person  
16 who might work on the top of the silos over a  
17 period of eight hours would be exposed to well  
18 over a rem and a half. And so, there was the  
19 reason to introduce the radon treatment system.

20 And the radon treatment system did

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 one thing. It was operated for several hours  
2 at a time until the reduction in dose rates  
3 ceased to come down any further. And it was  
4 assumed that that period of time, usually in a  
5 matter of hours, had removed 97 percent of the  
6 radon gases and, along with the radon gases, all  
7 of the short-lived daughters.

8 And then, if you look at the dose  
9 rate measurements following that radon  
10 treatment system, the dose rates from over 200  
11 millirem per hour were reduced to levels that  
12 actually look very close, if not identical, to  
13 the dose rates that were measured prior to 1979,  
14 before the domes were sealed.

15 And that can give you only one  
16 understanding. And that is, that change in  
17 dose rate in the post-radiation treatment  
18 system were reduced to pre-1979 or pre-1980  
19 dose rate levels on top. It means one thing,  
20 that all of the radon prior to the sealing of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the domes had, in fact, escaped from the dome  
2 airspace, underneath the dome airspace.

3 And what it means is that the  
4 releases were probably promoted by a large  
5 effect by what I had introduced in my  
6 description as a Venturi effect. And the  
7 Venturi effect has not only the ability to void  
8 the airspace, the headspace, in the dome, but  
9 by pressure differential -- and this is what Dr.  
10 Ziemer mentioned beforehand -- had, obviously,  
11 augmented the rate by which the radon in the  
12 waste package that the RAC people had estimated  
13 were only being released by passive diffusion,  
14 had been greatly accelerated.

15 And that is something that Dr.  
16 Ziemer had just mentioned beforehand. When  
17 you operate a house that is at constant  
18 equilibrium with the outside ambient pressure,  
19 you will have very little radon emanating into  
20 the house. It is when the house is relatively

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sealed, meaning that there is a roof on the  
2 house and there are various devices that are  
3 operating inside a house, such as bathroom  
4 ventilation or a wood-burning stove that has a  
5 chimney or other effects, as you all know, when  
6 you stand in front of a door that is not  
7 necessarily a good seal in the winter months  
8 when the house is probably sealed, you will see  
9 a constant flow of air into the house. That  
10 means the house is operating under negative  
11 pressure to the outside barometric pressure.

12 And I believe this is the very issue  
13 that defines the silos. When you have a steady  
14 flow of air over a curved surface, such as a  
15 dome, you have something similar to what  
16 provides lift in an airplane at the leading  
17 edge. An airplane that is pulled forward by a  
18 propeller or jet engine produces a flow of air  
19 over the curved wing that, then, lifts a very,  
20 very heavy airplane into the air.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And I believe it is that particular  
2                   effect that was very critical in the  
3                   understanding of how radon that was produced in  
4                   the silo waste was allowed to emanate into the  
5                   headspace and, also, by the same Venturi  
6                   effect, was then released into the environment.

7                   And on that basis, using two  
8                   empirical sets of measurement that talked about  
9                   the disparity of the disequilibrium that was  
10                  observed in the silos, and I use the 1993, which  
11                  is a very unconservative and  
12                  non-claimant-favorable assumption as a  
13                  starting point for saying what was released  
14                  potentially from the waste into the headspace.  
15                  And then, using the empirical dose rate  
16                  measurements on top of the silo prior to 1980,  
17                  when the dome was sealed, and then, following  
18                  the use of the radon treatment system, and  
19                  realizing that those dose rates now were  
20                  essentially identical, meaning that whatever

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 accumulated in the headspace was vented out, on  
2 those two assumptions, I came to the conclusion  
3 that the radon releases from silos 1 and 2 at  
4 approximately the 110 to 120 thousand curies  
5 per year were, in fact, about twenty-fold  
6 higher than the radon release estimates, as  
7 generated by the RAC committee.

8 And that is basically my model. I  
9 have explained it the best I can. And putting  
10 trust in the empirical measurements and, also,  
11 consciously selecting a starting  
12 disequilibrium that is not claimant-favorable,  
13 and I have no other reason to believe that that  
14 is the real number that I believe was released  
15 from these two silos.

16 If anyone has any comments or  
17 questions, I would --

18 MEMBER ZIEMER: Yes. Hans, this  
19 is Ziemer. I have a couple of questions just  
20 for clarity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   I think you said that you assumed  
2                   that during the venting that all of the radon  
3                   and the daughters would have been removed from  
4                   the headspace. And I am wondering about the  
5                   issue of plate-out of daughters. It is  
6                   notorious in other circumstances. Is that an  
7                   issue you have looked at?

8                   And then, my second question has to  
9                   do with whether or not you or NIOSH or anybody  
10                  independently calculated what the contribution  
11                  to the surface doses would have been, knowing  
12                  the inventory of radium in the waste and using  
13                  first principles to calculate, you know, using  
14                  distance plus absorption to calculate what you  
15                  would expect to be the dose rates from the waste  
16                  itself.

17                  Were either of those looked at, and  
18                  can you help or clarify your thoughts on that?

19                  DR. BEHLING: Well, okay, I don't  
20                  think I have to really calculate it because, Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Ziemer --

2 MEMBER ZIEMER: Well, I know  
3 there's measurements. I was --

4 DR. BEHLING: We know that -- let me  
5 explain. Let me give you what my feeling is on  
6 this.

7 We know that radon-222 has a  
8 half-life of 3.8 days. Okay? And as a gas, it  
9 remains in gas; it will not, obviously, decay.

10 So, when the radon treatment system  
11 is operating for in excess of three hours, and  
12 in the process the dose rates go from around 200  
13 millirem to 70-75 millirem again, which equals  
14 the pre-1980 dose rate in the unmodified domes,  
15 you have to draw the following conclusion: if  
16 you remove radon-222 and it is basically gone,  
17 and if that time period involves three hours,  
18 the longest-lived radionuclide that follows  
19 among the short-lived daughters is only a few  
20 minutes, 20 minutes. And that means that they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 will be plated out. They have decayed.

2 And so, what, in fact, you are  
3 looking at is strictly, once again, the  
4 dominant contribution in the post-radon  
5 treatment system that comes from radium-226 in  
6 the waste package and perhaps the 3 percent that  
7 they all said, obviously, while you are running  
8 the system, you are constantly drawing in new  
9 radon-222. And they accepted the fact that  
10 maybe 3 percent of the radon-222 still remained  
11 even after prolonged hours of the radon  
12 treatment system, which I took into  
13 consideration.

14 And for that reason, I think  
15 question Number 1 goes by the wayside. When  
16 you evacuate radon, and that system has been  
17 operating for three hours, those short-lived  
18 daughters are gone.

19 And this is one of the things that  
20 we always used to do when we looked at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 environmental samples or when I was in the  
2 nuclear utilities, allowed that sample, that  
3 air sample you collected, to decay for at least  
4 three or four hours to eliminate any  
5 short-lived radon daughters as a contributing  
6 false positive.

7 And so, I think I can reasonably  
8 answer your question Number 1.

9 MEMBER ZIEMER: Yes, I agree with  
10 that part of it. I was thinking of the  
11 lead-210.

12 DR. BEHLING: Well, listen, I don't  
13 know if that is really a significant  
14 contributor to the dose.

15 MEMBER ZIEMER: Yes, I don't,  
16 either. I don't recall exactly what its decay  
17 scheme looks like. Are there any gammas or  
18 x-rays from that?

19 MR. STIVER: I don't know if there  
20 are, but it is primarily a beta emitter.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER: Yes, okay. Good.  
2                   Certainly, over time the lead-210 would build  
3                   up in there, because there is going to be  
4                   plate-out of those short-lived ones, and  
5                   whether they contribute over time to the dose  
6                   rate, I wasn't sure.

7                   The other part of it, I was looking  
8                   for an independent, you know, do the  
9                   calculational methods compared to the direct  
10                  readings.

11                  DR. BEHLING: Well, Paul, I did  
12                  not -- I calculated, obviously, in deriving my  
13                  estimate of total quantities of radium-226 as  
14                  a way of calculating what I would expect,  
15                  therefore, the production of radon-222 to have  
16                  been.

17                  MEMBER ZIEMER: Right, right.

18                  DR. BEHLING: But, then, again, I  
19                  would have to look at -- this, obviously, has  
20                  to be done by a computer that would, then, say,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           okay, on the basis of total curie content and  
2           the distribution in this waste package, what  
3           might be the dose rate exclusively confined to  
4           radium-226 standing on top of the silo? I have  
5           not done that.

6                         MEMBER ZIEMER: No. Yes.

7                         DR. BEHLING: But I think on the --

8                         MEMBER ZIEMER: No, I wasn't saying  
9           you should. I just wondered if anyone had done  
10          it, if NIOSH or anyone, just as kind of an  
11          independent cross-calibration of how the  
12          actual measurements compare with what you would  
13          expect from the source term.

14                        MR. HINNEFELD: Yes, if I'm not  
15          mistaken, RAC in one of their reports did  
16          something like --

17                        MEMBER ZIEMER: Oh.

18                        MR. HINNEFELD: -- I don't think it  
19          was an MCNP run, but some sort of calculation  
20          of dose rates and expected, you know, with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 material in the residues, they kind of got the  
2 dose rate roughly that they measured --

3 MEMBER ZIEMER: Okay.

4 MR. HINNEFELD: -- on the dome.

5 MEMBER ZIEMER: Okay. Thank you.

6 MR. HINNEFELD: I think, but I  
7 can't find it right now.

8 DR. BEHLING: But, Stu, I do want to  
9 come back to your comment that you made in your  
10 presentation with regard to the assumption  
11 about the starting disequilibrium. You said  
12 that my estimate would be somewhere in the  
13 middle. It's not. I believe I intentionally  
14 erred on the opposite side, on unconservative  
15 and non-claimant-friendly assumptions that  
16 would potentially lead to doses or release  
17 rates that are actually less than what I  
18 calculate.

19 And so, when I defaulted to an  
20 assumption that the disequilibrium that was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 identified in 1993 had existed at the time of  
2 emplacement, I believe those numbers would  
3 prove to be in all likelihood an underestimate,  
4 and therefore, unfavorable to the claimants.

5 And so, this pretty much explains  
6 the logic that I used and the method that I used  
7 and the numbers that I used to arrive at my  
8 numbers. And I will stand by them.

9 MR. HINNEFELD: Yes, I know. I  
10 don't argue with that. What I meant when I said  
11 that was that the placement condition that  
12 would maximize the release, you know, the  
13 calculation of the release, would be if the  
14 lead-210 and the radium-226 were in equilibrium  
15 at placement. If that were the assumption,  
16 then the release estimate would be maximized.  
17 If the assumption of placement was that there  
18 was no lead-210 present, then that assumption  
19 would minimize the release.

20 DR. BEHLING: Absolutely.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Absolutely.

2 MR. HINNEFELD: Yes. You didn't  
3 choose either of those. You chose 40 percent.

4 DR. BEHLING: No, but there is no  
5 reason to, but, Stu, there is no reason to  
6 believe that there was no lead there because,  
7 as I had mentioned, I started out -- my basic  
8 feeling was this: if you start out with the  
9 assumption that at the time that this ore was  
10 harvested, in all likelihood the ratio between  
11 radium-226 and lead-210 was probably close to  
12 unity, because there is no reason to assume that  
13 a significant or major part of the radon had  
14 escaped during this time interval, it was  
15 probably there since the time the earth was  
16 created. And if it is a deep stratum, the  
17 potential release of radon that would disrupt  
18 this equilibrium was probably minimal.

19 So, what you started out with, it is  
20 probably at the time that the ore was produced

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with an equilibrium ratio that probably came  
2 close to unity. The only thing that now has to  
3 be accounted for are the nine- to fourteen-year  
4 time intervals between the time the material  
5 was harvested and the time period when the  
6 raffinates were in place in the silos.

7 And there, I gave you a calculation  
8 that says let us proceed with a very  
9 unconservative assumption that during that  
10 nine to fourteen years all of the radon escaped.  
11 You would still end up with an equilibrium  
12 fraction of approximately .72 and 6-something  
13 that I mentioned to you, which is higher than  
14 the assumed disequilibrium that I chose to use  
15 that equals the disequilibrium fraction that  
16 was measured in 1993. So, I was, again, very  
17 unconservative and non-claimant-favorable in  
18 my assumption.

19 MR. HINNEFELD: Yes, I understand.  
20 I wasn't intending to argue.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 I didn't disagree with what you  
3 said.

4 MEMBER ZIEMER: I have another  
5 question, though. Stu, this may be for you.  
6 So, you said in your report the diffusion rate  
7 into the headspace of the order of 60 percent  
8 is inconsistent with the behavior of radon. I  
9 think that is sort of what we were talking about  
10 before.

11 MR. HINNEFELD: Well, that is basic  
12 for access.

13 MEMBER ZIEMER: Yes. But you did  
14 say you proposed to use the 95th percentile.  
15 In effect, what does that mean in terms of what  
16 that would look like relative to that  
17 60-percent figure?

18 MR. HINNEFELD: Well, the 95  
19 percentile estimate in RAC's report would add  
20 about 50 percent to our proposed release. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 goes from about 6,000 to about 10,000 curies a  
2 year. Isn't that right? Something like that.

3 And so, it would still come nowhere  
4 near, because, as Hans said, our original  
5 proposal or our Site Profile proposes 6,000,  
6 roughly 6 or 7 thousand curies a year pre-1979.

7 MEMBER ZIEMER: Yes.

8 MR. HINNEFELD: SC&A's report is at  
9 100. And realistically, if you used 40 percent  
10 equilibrium, which was seen in both silos in the  
11 1979 sampling, if you used that, their estimate  
12 would be higher than that.

13 MEMBER ZIEMER: Yes.

14 MR. HINNEFELD: It would be more on  
15 the order of 180,000 curies per year.

16 And so, you are talking about a  
17 factor of 20 or 30 difference --

18 MEMBER ZIEMER: Okay.

19 MR. HINNEFELD: -- between what is  
20 in our Site Profile and what the SC&A estimate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would be, and it is going to the 95th  
2 percentile; the RAC report wouldn't come close  
3 to bridging the gap.

4 MEMBER ZIEMER: Okay. Now, as a  
5 practical matter, recognizing there is still  
6 this substantial difference between these two  
7 views, in terms of workers and where they are  
8 located and what the impact of dose is, can you  
9 give us some feeling for the practical  
10 outcomes, let's say, from the current SC&A view  
11 versus the other? Are we talking about large?  
12 This is sort of this issue of what's a  
13 significant difference in terms of how it  
14 impacts the PoC, for example. Because we don't  
15 have -- I'm trying to recall the worker  
16 situation here. And who is getting the doses  
17 and what are they looking like?

18 MR. HINNEFELD: Well, the dose is  
19 assigned, essentially, to everybody.

20 MEMBER ZIEMER: Yes, I know, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what kind of doses are we assigning here?

2 MR. HINNEFELD: Oh, gosh, it is in  
3 our Site Profile.

4 MR. ROLFES: In the earlier time  
5 period we are talking about more sizable doses.  
6 I don't recall the maximum values, but I want  
7 to say it was pretty hefty, you know, exceeding  
8 what some of the uranium miners would have  
9 received, is essentially what we are going to  
10 be assigning for the earlier time period, when  
11 the Q-11 ore silos were open.

12 MEMBER ZIEMER: The annual doses  
13 are going to be --

14 MR. HINNEFELD: We can probably  
15 look those up, but I think it would take us a  
16 little bit. It might be better to try to do  
17 that at lunchtime.

18 MEMBER ZIEMER: Well, remember  
19 when we were talking -- I'm talking about the  
20 SEC Work Group had looked at this, issues of how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 big can the error be in your estimation if it  
2 is an error in a small dose versus an error in  
3 a big dose.

4 MR. STIVER: Something else which  
5 we need to consider is that Hans and the SC&A  
6 model is really applicable to the period before  
7 June of 1979.

8 MEMBER ZIEMER: Right, where you  
9 already have --

10 MR. STIVER: We don't seal.

11 MEMBER ZIEMER: Yes.

12 MR. STIVER: And remember, we have  
13 got an SEC that goes all the way to 1978.

14 MEMBER ZIEMER: Right.

15 MR. STIVER: And, you know, radon  
16 is only going to affect lung cancer, which is  
17 an SEC thing to start with.

18 MEMBER ZIEMER: Right.

19 MR. STIVER: So, you have got a very  
20 small number of people who are going to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 affected.

2 MEMBER ZIEMER: Right. It is only  
3 less than 250 people mainly.

4 MR. STIVER: The model that is  
5 being used is the appropriate model.

6 MEMBER ZIEMER: Yes. I  
7 understand, but I am just trying to get a feel  
8 for how much that is contributing, yes.

9 CHAIR CLAWSON: It's lunchtime  
10 right now.

11 (Laughter.)

12 MEMBER ZIEMER: Yes.

13 CHAIR CLAWSON: I think maybe if we  
14 can take a little bit of time to be able to  
15 digest this --

16 MEMBER ZIEMER: While digesting  
17 food.

18 (Laughter.)

19 CHAIR CLAWSON: Yes.

20 Stu, if you could kind of look at how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this is being implemented, this may help us.

2 MR. STIVER: If I could jump in, if  
3 people don't mind, I mean, the rest of these  
4 issues I could get through in about 15 minutes.  
5 So, if you don't want to take a lunch break now,  
6 we could just go through and close these others  
7 out.

8 I guess the question in my mind is,  
9 where do we go from here?

10 MEMBER ZIEMER: On this one, where  
11 do we go on this one?

12 CHAIR CLAWSON: Well, because I had  
13 a couple of questions. If you want to go into  
14 that, it is, why was this RAC report actually  
15 generated? Because it seems to me that there  
16 must have been a very large concern over this  
17 to have such a report written.

18 And I guess I have heard a lot from  
19 a lot of the Fernald workers, always the K-65  
20 silos. What stimulated this to be able to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           happen?

2                       MR. HINNEFELD:   Well, actually,  
3           RAC was contracted by ATSDR, the Agency for  
4           Toxic Substances and Disease, something.  It  
5           is part of CDC, actually.

6                       MR. KATZ:   Yes.

7                       MR. HINNEFELD:   And they were doing  
8           at the time a series of dose reconstructions to  
9           populations around DOE facilities.  They did a  
10          whole bunch of them.  And they did Fernald, was  
11          one of the ones they did.

12                      And so, to do that, they estimated  
13          total releases from the sites of all  
14          radionuclides -- radon is just one piece of that  
15          report -- and modeled the dose to the neighbors,  
16          okay, not the workers, but to the neighbors.

17                      CHAIR CLAWSON:   This is for kind of  
18          an environmental --

19                      MR. HINNEFELD:   Yes.

20                      CHAIR CLAWSON:   Right?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. HINNEFELD: Yes. And so, this  
2 was done. This was a part of an effort that  
3 ATSDR did for a number of DOE facilities. And  
4 that is why they did their report.

5                   We have just taken the radon  
6 emission rate, which was a part of their report,  
7 and said, okay, based on that and their models,  
8 what concentrations would you expect around the  
9 site? I think our Site Profile file says that  
10 the maximum concentration is here. Actually,  
11 we used some other information, too. The  
12 maximum concentration in this part of the site  
13 we are just going to assume that people were  
14 exposed on that part of the site and that they  
15 are going to get this concentration of radon.  
16 Just by working at Fernald, they are going to  
17 get this concentration to work from.

18                   Now, in addition to the RAC report,  
19 there was an additional study done by some  
20 researchers at UC about radon concentrations

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 around the site. They used CR-39, which is a  
2 track etch detector. And they taped these  
3 track etch detectors on glass windows around  
4 the plant.

5 CHAIR CLAWSON: That is kind of the  
6 Pinney Report?

7 MR. HINNEFELD: That's the Pinney  
8 Report. That's the Pinney Report. And that  
9 was a technique that had been demonstrated by  
10 other researchers, that you can place this  
11 track etch on glass that has been around, and  
12 you will get an integrated total exposure to  
13 radon progeny from the radon that has been  
14 etched, the progeny that has been etched into  
15 the glass. And then, you count off the decays  
16 from what is there.

17 They used that study and they saw  
18 that, man, the highest concentrations they  
19 found were not the ones necessarily closest to  
20 K-65 silos, although they wouldn't have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           terribly far. The highest concentrations they  
2           saw were right around plant 1. Or 2. One,  
3           yes, right around plant 1.

4                       And they said, well, what happened  
5           at plant 1? Well, that's where the Q-11 ores  
6           were stored prior to being run through the  
7           refinery. Q-11 ores was what gave rise to the  
8           K-65 residues that were generated at Fernald.

9                       CHAIR CLAWSON: Okay.

10                      MR. HINNEFELD: So, this  
11           high-rating content ore was stored for a period  
12           of time in plant 1 silos. And they concluded  
13           that that was a high source of radon to that area  
14           of the plant. And it drops off very rapidly as  
15           you get away from plant 1. So, they concluded  
16           that the major contributor to employee exposure  
17           certainly would have been in that area of the  
18           site and would have been from Q-11.

19                      So, that also is part of the story  
20           here, is that there is this study that kind of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 shows pretty high concentrations right around  
2 plant 1, more so than K-65. So, our Site  
3 Profile adds, you know -- and when Pinney wrote  
4 their report, they already had the RAC report.  
5 And so, they could essentially integrate what  
6 exposures to workers. And Pinney was  
7 interested in exposure to workers, right.

8 So, they were worried about  
9 exposures to workers. And they said, based on  
10 the data we have and the RAC emissions report,  
11 you know, the RAC estimate, this is what we  
12 think radon emissions, radon exposures would  
13 have been around the site. They actually had  
14 like individual worker histories and people  
15 filling out where I worked. So, they would  
16 say, for each worker, they could generate an  
17 exposure.

18 We didn't bother to do that. We  
19 said, they said the highest exposures were in  
20 this quadrant. We are going to give those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 highest exposures to people who worked at the  
2 site, rather than trying to chase people,  
3 because don't necessarily have for all our  
4 claimants, we don't really have them chased all  
5 over.

6 MEMBER ZIEMER: So, you considered  
7 those boundings were --

8 MR. HINNEFELD: We considered  
9 those an estimate of it, yes. And so, if we  
10 chose the highest location, we figured we would  
11 be bounding people's rate.

12 MEMBER ZIEMER: Do you know how  
13 those numbers compare with what you would get  
14 if you used the Behling methodology? Or do we  
15 know that?

16 MR. STIVER: It is scaled by about  
17 a factor of 20.

18 MR. HINNEFELD: Scaled up by a  
19 factor of 20.

20 MEMBER ZIEMER: Still a factor of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 20 differential?

2 MR. HINNEFELD: It probably would.  
3 And the reason I say that is because part of the  
4 Pinney -- we have a research paper that Pinney's  
5 research team wrote. And it appears that they  
6 sort of calibrated their track etch detectors  
7 based on the RAC estimate and putting detectors  
8 at a fairly remote, like an environmental  
9 location --

10 MEMBER ZIEMER: Yes, okay.

11 MR. HINNEFELD: -- and said, what  
12 would RAC predict would have been the  
13 integrated exposure here at this remote  
14 location? And they in a sense sort of  
15 calibrated. I think that is what they did. We  
16 do have this paper. And they sort of  
17 calibrated their track etch detector based on  
18 that. So, it sounds like it would just be a  
19 scaling of a factor of 20.

20 MEMBER ZIEMER: But they are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 calibrating a pretty low level, then, if it  
2 is --

3 MR. HINNEFELD: Yes, what they  
4 considered background.

5 MEMBER ZIEMER: Yes. So, then,  
6 your error gets big.

7 MR. STIVER: Yes, you have got your  
8 error --

9 MEMBER ZIEMER: Yes, got you.

10 MR. STIVER: We have a Gaussian  
11 dispersion model.

12 MEMBER ZIEMER: Yes, yes.

13 MR. ROLFES: I did want to add a  
14 couple of things about the data that we do have  
15 available from Pinney. We actually have  
16 printouts of each individual's exposure that  
17 was assigned to them, based upon the air  
18 concentration and the location that they  
19 worked; basically, the work location on the  
20 site, whether they were working during the day

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or night. When there was uncertainty, you  
2 know, people were placed into higher exposure  
3 scenario areas of the site.

4 So, we have those printouts showing  
5 each annual working level exposure value from  
6 the Pinney study by Social Security Number.  
7 And those are SPEDElite-linked into claimants'  
8 files in NOCTS.

9 Now we have also independently done  
10 an update to the environmental TBD. That was  
11 just approved earlier in March, I believe, of  
12 this year. And we have instructed dose  
13 reconstructors to use the Pinney data for radon  
14 dose assignment or in dose reconstruction as  
15 needed. And, also, if there is a higher value  
16 in our TBD for a given year, we have told them  
17 to use the higher of the two values, between the  
18 Pinney and our environmental TBD.

19 To summarize the Pinney values,  
20 there's a couple of excerpts that I was going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to -- let's see -- point out. Let's see.

2 It says, yearly mean worker  
3 exposure attributable to K-65 source term  
4 ranged from 1.04 working-level months in 1973  
5 with a range of .003 to 2.16 working-level  
6 months, to 0.03 working-level months in 1988,  
7 with a range less than .001 working-level  
8 months to 0.093 working-level months. Yearly  
9 mean exposures to workers in the area of the  
10 Q-11 silos ranged from 3.34 working-level  
11 months to 10.99 working-level months during the  
12 years when the silos served as a radon source.

13 And then, there is a separate  
14 excerpt that -- let's see. We have got some  
15 90th percentile cumulative radon exposure  
16 values from the K-65 source. It was 18.06  
17 working-level months and 31.52 working-level  
18 months from the Q-11 source.

19 Without reading the rest of the  
20 context, that will give you an idea of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ranges that --

2 MR. HINNEFELD: What was that  
3 excerpted from?

4 MR. ROLFES: This is from the Radon  
5 and Cigarette Smoking Exposure Assessment of  
6 Fernald Workers, part of the Pinney Report.

7 MEMBER ZIEMER: Okay.

8 MR. ROLFES: And it is in the AB  
9 Document Review folder. I can point it out.

10 DR. BEHLING: Can I make a comment  
11 here because I think it is very important for  
12 me to also make a comment with regard to the  
13 Pinney Report?

14 I think, early on, and this was the  
15 issue of the Pinney Report gave rise to the need  
16 for a White Paper that SC&A wrote for 2010, and  
17 that was requested by Brad for us to do.

18 And the statement up to that point  
19 in time was that the Pinney Report  
20 independently validated the release quantities

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as measured or estimated by RAC. And that is  
2 not the case.

3 And I brought this up in my email  
4 that is a companion document to Stu's White  
5 Paper over the last few days, but it is also  
6 something that I had written about in my second  
7 White Paper dated 2010.

8 And that is in a quote, and whoever  
9 has the email that I submitted a few days ago,  
10 there is a quote from the Pinney Report that  
11 clearly states that the Pinney Report did not  
12 validate the RAC release models from silos 1 and  
13 2, but simply accepted them and, then, coupled  
14 that data of 5 to 6 thousand curies per year  
15 released with data, from meteorological data  
16 for dispersion.

17 So, they did not validate the  
18 numbers. And whatever they came up with in  
19 terms of dose estimates to people onsite were  
20 essentially nothing more than coupling RAC data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to a dispersion model. If the RAC model data  
2 of release of radon releases are in error, then  
3 so are the Pinney expected doses to workers  
4 onsite. Simple as that.

5 And for anyone who questions this,  
6 take a look at what I submitted in my recent  
7 report to Stu, my email, where I take a direct  
8 quote from the Pinney Report as to what they did  
9 and how they used the RAC data. They simply  
10 coupled it.

11 MR. STIVER: Yes, Hans, this is  
12 John.

13 We're all basically in agreement  
14 with that. I think the issue really is, what  
15 is the proper source term to use? Is it the  
16 RAC's source term or is it our source term? And  
17 I guess that is really where it is.

18 I mean, you can model, from that  
19 point on, you can use dispersion modeling to get  
20 just about any kind of an exposure you want,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 depending on the type of parameters used, and  
2 so forth. But I think the starting point is  
3 what really counts here, and that is really what  
4 we are trying to focus in on.

5 CHAIR CLAWSON: I guess I hate to  
6 say this, but I can understand what Hans has  
7 been saying on this from the start now to the  
8 very beginning of it. But the bottom line is  
9 this is what Dr. Ziemer has also said, what do  
10 we do with this? Because this is part of the  
11 SEC time period, correct?

12 MR. HINNEFELD: All except the last  
13 six months.

14 CHAIR CLAWSON: All except the last  
15 six months of it.

16 Radon is only going to affect lung  
17 cancer, if I'm correct.

18 MR. STIVER: It is.

19 MR. HINNEFELD: Yes.  
20 Theoretically, there could be some dose to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 other organs, but it is going to be not very  
2 much. I mean, there's some that theoretically  
3 is distributed through the bloodstream to other  
4 organs. Nothing really concentrates radon.  
5 There is no organ of interest. It is going to  
6 be highest non-metabolic models, which never  
7 really gets you much dose, the non. Unlike  
8 other cases where we have a skin cancer, a skin  
9 dose potential, and you really want to give a  
10 fair shake to the non-presumptive cancers  
11 because skin is a non-presumptive cancer, the  
12 non-presumptive cancers that are going to be  
13 affected by internal dose, you just don't get  
14 very far, and especially not when you've got  
15 highest non-metabolic sort of dose to it.  
16 There might be -- I'm not going to say it is  
17 zero, but I don't know that it is --

18 MR. STIVER: It is pretty close to  
19 zero.

20 MR. HINNEFELD: Yes.

1                   MR. STIVER:   As far as a fraction of  
2                   a millirem.

3                   MR. HINNEFELD:   Yes.    I mean,  
4                   there's not much there, except in the  
5                   respiratory tract and they are SEC cancers.

6                   MR. STIVER:   I guess the question  
7                   is, is it prudent to dismiss the model and go  
8                   with RAC, or whatever, based on the magnitude  
9                   of the dose that might be involved? Or there  
10                  is a question of, you know, find a model that  
11                  has the best science for the particular period  
12                  in time. Because if we didn't have the SEC,  
13                  this would still be very much --

14                  MR. HINNEFELD:   Well, there's the  
15                  SC&A report, which I can't refute necessarily.  
16                  Is it better than the RAC report, which I can't  
17                  refute?

18                  MEMBER   ZIEMER:       And I don't  
19                  think -- I know Hans has mentioned this -- but  
20                  I don't think the issue is that SC&A's report

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was not reviewed by the National Academy. That  
2 is not the issue.

3 CHAIR CLAWSON: No, we don't even  
4 want to talk about the National Academy.

5 (Laughter.)

6 MEMBER ZIEMER: No. No. And I  
7 think if the science is good, then what I would  
8 be looking for would be NIOSH's reason for  
9 disclaiming what Hans has done or else -- you're  
10 not obligated to follow a National Academy  
11 report necessarily. But, also, it is  
12 difficult to ignore it at the same time.

13 So, if we think there's reason to  
14 adopt this other model as being at least a  
15 reasonable possibility, is the science as good,  
16 or whatever we say, I don't know if you need to  
17 look at it anymore or not. You have looked at  
18 it.

19 MR. HINNEFELD: I can't reconcile  
20 that.

1 MEMBER ZIEMER: Yes.

2 MR. HINNEFELD: I really spent a  
3 lot of time on that RAC report and I got to where  
4 I think I understood it. I even got to the  
5 point where I am pretty sure in one of the tables  
6 that should have been at least values for both  
7 silos, it had to be realized the value for one  
8 silo instead of both silos combined. I got to  
9 know it pretty well, well enough that I  
10 understood that. I think I understood it  
11 pretty well. It makes perfect sense except for  
12 the absence of lead-210 in the residues.

13 You know, this is kind of an oddball  
14 suggestion, but we have an option of a  
15 triangular distribution with the upper --

16 DR. BEHLING: Stu, can I weigh-in  
17 on this? I hope you will take my statement  
18 sincerely.

19 When I was asked to look at this,  
20 that calculation was based on the assumption

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           that no one would be covered under the SEC.  If  
2           the SEC extends right through the timeframe  
3           other than the last six months that might be  
4           affected by this, there's no point in doing  
5           this.

6                        As was clearly pointed out, the  
7           issue of radon exposure only affects the lung  
8           dominantly, and that is, obviously, covered as  
9           a presumptive cancer.  And it is covered,  
10          essentially, all the way to the point where I  
11          had estimated these higher doses.

12                       So, there is really no point in  
13          investing a huge amount of effort in rectifying  
14          this problem.  If this had been done at a time  
15          when the SEC had already been granted for this  
16          time period, I probably would have looked the  
17          other way and said, what's the point in  
18          discussing something that has such little  
19          impact?

20                       At this point, I obviously started

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this in 2008, was again asked to do it in 2010.

2 And those time periods predate the assignment  
3 of the SEC Class.

4 And at this point, I would probably  
5 recommend to ignore my model. And I feel  
6 vindicated that at least you have given me the  
7 chance to talk about it and not feel that I was  
8 an idiot for having proposed this.

9 (Laughter.)

10 MR. KATZ: Oh dear, Hans, no one has  
11 ever called you an idiot.

12 MR. STIVER: No one.

13 CHAIR CLAWSON: No. No, actually,  
14 it is a little bit different than that, Hans,  
15 because I did request you to do this. And I  
16 personally believe that the work that you did  
17 is outstanding. And I agree from it. From  
18 just my simpleton way of looking at it, it makes  
19 sense to me, what you are saying.

20 But, also, too, the bottom line is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I want to make sure that the model and the  
2 product that we give to our customers, which are  
3 the claimants, is the best that we can. And I  
4 just want to make sure that -- you know, I know  
5 it is not going to affect anybody really because  
6 the lung cancers have already been taken care  
7 of by the SEC. But the bottom line is I also  
8 want to do due diligence and make sure that what  
9 we do is right.

10 And I'm kind of in a corner with --

11 MEMBER ZIEMER: Well, I heard  
12 something starting to be proposed. If I  
13 understand just from the description, it is you  
14 can use both of those points and make a  
15 distribution, right?

16 MR. HINNEFELD: Yes.

17 MR. STIVER: Something else we need  
18 to keep in mind is our model is really only  
19 applicable to pre-June of 1979.

20 MR. HINNEFELD: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. STIVER:    So, it is kind of a  
2                   moot point.

3                   MR.    HINNEFELD:            Make    sure  
4                   everybody understands here.    In terms of radon  
5                   emissions in the RAC report, there is a period  
6                   of time where your K-65 residues were being  
7                   shipped in from Mallinckrodt and sitting on the  
8                   storage pads in trucks.    And RAC has an  
9                   estimate for that, that release rate.

10                   There is a period of time when the  
11                   silos were being actively filled.    That goes  
12                   from about 1952 or 1953 up through 1958.    They  
13                   have a release estimate for that and have a set  
14                   of assumptions.

15                   There is essentially the dormant  
16                   storage state from 1959 through 1979 or -- 1979  
17                   was --

18                   MR. STIVER:    Yes, 1979.

19                   MR.    HINNEFELD:            The    storage  
20                   unsealed part from 1957 to 1979, and then, there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is the post-sealing, 1979, and their report  
2 only goes to like, I don't know, 1988. Yes, I  
3 think it goes to 1988 or something like that.  
4 But we have the estimate to continue on.

5 So, there are various things like  
6 that. If we use the RAC value -- and Hans has  
7 been most gracious today, and I feel bad that  
8 he feels like he wasn't valued. I couldn't  
9 find anything wrong with this work. It looked  
10 okay to me. I just can't reconcile it with  
11 other stuff.

12 If we stay with the RAC  
13 estimates -- and I proposed in the paper that  
14 maybe we should use the 95th percentile rather  
15 than the median estimate because for modeled  
16 exposures that's often what we do. We often  
17 use 95th percentiles rather than median  
18 exposures.

19 If we propose that, we would have,  
20 then, a consistent basis for those various

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           timeframes and things like that, with just the  
2           six-month difference between the end of the SEC  
3           and of the high radon release area. If we are  
4           okay with that, I think that would be very  
5           palatable from our standpoint.

6                       MR. STIVER:     Yes, I think that  
7           would probably be okay with me.

8                       Hans, would you be willing to accept  
9           that?

10                      DR. BEHLING:    Yes, I will. As I  
11           have said before, the thing that bothered me was  
12           the blanket rejection of my data in previous  
13           discussions and presentations. And I think  
14           what was stated today satisfies my ego at least.

15                               (Laughter.)

16                      CHAIR    CLAWSON:           Well, we  
17           appreciate that.

18                               And, with that, then that is how we  
19           will proceed, if that is all right with you,  
20           Paul.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER:     That is a good  
2                   solution, yes.

3                   CHAIR CLAWSON:     Okay.     Hans, I  
4                   appreciate what you have done there.     It is  
5                   probably one of the first reports that I have  
6                   really been able to understand.     So, I feel  
7                   good about it.

8                                   (Laughter.)

9                   DR. BEHLING:     Well, as I mentioned  
10                  to you, I always go for the simplest approach.

11                                   (Laughter.)

12                  CHAIR CLAWSON:     Thanks, Hans.     I  
13                  appreciate that.

14                  DR. BEHLING:     When you can reduce  
15                  something to the simplest methods of  
16                  explanation, obviously, you usually end up with  
17                  the best results.     And I have to tell you, I do  
18                  not understand how the RAC people whose data I  
19                  used, their own data, failed to understand what  
20                  I was looking at when I looked at their model

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and came to the conclusion that they did nothing  
2 but make one assumption after the other,  
3 inclusive of deficiencies that obviously I  
4 pointed out in my report. And I have a tough  
5 time. Were they that blind to realizing that  
6 they had the data and failed to use it? I just  
7 don't get it.

8 MR. KATZ: Let's just leave it  
9 there.

10 MEMBER ZIEMER: So, I am going to  
11 use that teaching standard in the future for my  
12 students, to make things that even Brad will  
13 understand.

14 (Laughter.)

15 CHAIR CLAWSON: You know, we could  
16 put that into a TBD, so even Brad can understand  
17 it.

18 No, you guys, really, seriously, it  
19 was there.

20 MEMBER ZIEMER: Yes, that is a good

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 teaching method.

2 CHAIR CLAWSON: We may not be able  
3 to make lunch where we are at and be able to eat  
4 some and find food, but I personally would like  
5 to finish this off, if everybody is okay with  
6 that.

7 MR. HINNEFELD: I need a break  
8 sometime somehow. If we are not going to have  
9 lunch, I need to have a comfort break.

10 MEMBER ZIEMER: I do, too.

11 CHAIR CLAWSON: Okay.

12 MR. STIVER: It will only take us  
13 about another 15 minutes to go through the rest  
14 of it.

15 CHAIR CLAWSON: Okay. Well, let's  
16 go ahead and have a break.

17 MR. KATZ: Have another 10-minute  
18 break?

19 CHAIR CLAWSON: Yes, and then, we  
20 will proceed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay. So, at 10 to, we  
2 will reconvene.

3 Thanks, everyone, for hanging in  
4 there on the line.

5 (Whereupon, the above-entitled  
6 matter went off the record at 12:40 p.m. and  
7 went back on the record at 12:46 p.m.)

8 MR. KATZ: Okay, we're back and  
9 we're about ready to get going.

10 And I think you just go, right?

11 MR. STIVER: Okay. What's left  
12 now are the old SEC issues, 3, 4, 5, and 6(b).  
13 And I think this is going to go pretty quickly.

14 SEC Issue 3 is about the default  
15 concentrations of plutonium, neptunium and  
16 other isotopes in recycled uranium. This was  
17 the notion that it might not be bounding for  
18 some classes of workers and activities built  
19 into the time period as well.

20 I think that aspect has been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 resolved for at least the principal three, but  
2 we still have this outstanding issue  
3 potentially of americium-241. So, I would  
4 like to keep that one. Maybe instead of in  
5 abeyance, we should go ahead and change that to  
6 in progress, just to account for the fact that  
7 there is ongoing work here.

8 MR. KATZ: Yes.

9 MR. STIVER: Okay, SEC Issue 4,  
10 this was the radon breath data, radium-226 and  
11 thorium-230. Okay, this is another one; this  
12 is very similar. This is the whole idea of the  
13 thorium-230, unsupported radium -- or excuse  
14 me -- depleted or deficient in radium and  
15 uranium. And this is something you guys were  
16 going to look into in regards to this is very  
17 similar to the issue of 7(a).

18 So, we just keep that in --

19 MR. KATZ: In progress.

20 MR. STIVER: -- in progress as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 well.

2 MEMBER ZIEMER: It was in abeyance,  
3 though, before. So, why is it moving out of  
4 abeyance?

5 MR. STIVER: Well, wasn't this the  
6 guys were going to have to kind of look at a  
7 different approach for the thorium-230 in the  
8 plant 2/3, that issue? Because we talked about  
9 it in relation to --

10 MR. ROLFES: It is what Stu had said  
11 we could look at the DWE data.

12 MR. STIVER: Yes, the issue is  
13 7(a). So, this is the same. We could probably  
14 just go ahead and actually just close this out  
15 because it is no longer an SEC issue. So, we  
16 don't really need to keep both of them open. We  
17 have the Site Profile.

18 MEMBER ZIEMER: You have it in the  
19 other one.

20 MR. STIVER: Yes, and it was moved

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to the Site Profile.

2 MR. KATZ: Oh, yes, that's true.

3 Right.

4 MR. STIVER: Let's go ahead and  
5 just close this one.

6 MEMBER ZIEMER: Yes.

7 MR. BARTON: Although, are we sure  
8 that thorium-230 can be estimated or could we  
9 envision a situation where that has to be added  
10 as not reconstructable?

11 MEMBER ZIEMER: We already have the  
12 SEC. Why are we looking at a --

13 MR. BARTON: Yes.

14 MR. STIVER: Yes. Once it got  
15 moved to the Site Profile --

16 MEMBER ZIEMER: Yes, yes.

17 MR. STIVER: If it was reopened and  
18 there was an issue, I guess just we would have  
19 to take all of those doses as well. That's all.

20 MEMBER ZIEMER: Right. What about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Issue 3? Isn't it the same deal?

2 MR. STIVER: Issue 3, there is  
3 still an outstanding notion of americium-241.  
4 If it turned out to be --

5 MEMBER ZIEMER: That's an SEC  
6 issue?

7 MR. STIVER: Well, if it was a  
8 worst-case scenario, there was a dose  
9 potential, and there was no way to reconstruct  
10 it, then --

11 MEMBER ZIEMER: Oh, okay. Yes.

12 MR. STIVER: Just keep that one --

13 MEMBER ZIEMER: Yes.

14 MR. STIVER: -- on the books for  
15 now.

16 So, 4 we will go ahead and close.

17 Five, radon releases. Now this one  
18 we should have closed a long time ago because  
19 it is captured in Finding 25, which we just  
20 reached agreement on. So, go ahead and close

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 SEC Issue 5.

2 And that brings to our last one.  
3 This is 6(b), and this was the in vivo thorium  
4 model from 1979 to 1988. And we have agreed to  
5 accept that model.

6 MR. KATZ: I'm sorry, which issue  
7 is this?

8 MR. STIVER: This is 6(b).

9 MR. KATZ: 6(b)?

10 MR. STIVER: This was the second  
11 half of the --

12 MR. KATZ: Yes, thanks.

13 MR. STIVER: -- thorium-232 in vivo  
14 monitoring from 1978 to 1988, and we are keeping  
15 that open until such time as we have reviewed  
16 the post-SEC thorium. So, we have reached  
17 agreement on that. And this one can be closed  
18 as well.

19 And that brings us to the end.

20 MR. KATZ: Yay. Congratulations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 That's excellent.

2 MR. STIVER: It's only 12:47.

3 MR. KATZ: Yes. Okay.

4 MEMBER ZIEMER: Future plans?

5 MR. KATZ: Future plans. Oh, yes,  
6 timing, I guess, to wrap up.

7 MR. STIVER: Yes, I guess we  
8 probably want to wait until we have a chance for  
9 NIOSH to produce TBD 5 revisions and for us to  
10 review it.

11 MR. KATZ: Yes. I'm assuming we  
12 don't have a sense right now as to when we would  
13 be ready to meet on these things.

14 MR. HINNEFELD: The same  
15 disadvantage I always am; I've got to plug it  
16 into the project schedule --

17 MR. KATZ: Yes, sure.

18 MR. HINNEFELD: -- and determine  
19 what kind of resources we can get to it.

20 MR. KATZ: Right. So, when you get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to that, if you can send out a note giving a  
2 ballpark for when it would be ready.

3 MR. HINNEFELD: Yes, I will do my  
4 darnedest.

5 MR. KATZ: Right. I mean, there is  
6 no rush on that one.

7 MR. HINNEFELD: I would like to get  
8 this done.

9 (Laughter.)

10 MR. KATZ: Of course, it would be  
11 great to get it behind us. Yes.

12 MR. HINNEFELD: All my team leaders  
13 and my Associate Director for Science are  
14 conflicted on this site. So, I would like to  
15 get this one done and get the heck out of this  
16 business.

17 (Laughter.)

18 Let the people who know how to do  
19 this better than me do this.

20 MR. KATZ: You do okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Okay. So, Brad, are we adjourned?

2                   CHAIR CLAWSON: Yes, we are.

3                   MR. KATZ: Thank you, everyone on  
4 the line.

5                   Have a good rest of your day, and  
6 much thanks for all you have contributed today.

7                   Take care.

8                   (Whereupon, the above-entitled  
9 matter went off the record at 12:52 p.m.)