

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

CENTERS FOR DISEASE CONTROL

+ + + + +

NATIONAL INSTITUTE FOR  
OCCUPATIONAL SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON  
RADIATION AND WORKER HEALTH

+ + + + +

SUBCOMMITTEE ON DOSE RECONSTRUCTION REVIEWS

+ + + + +

TUESDAY,  
APRIL 14, 2015

+ + + + +

The Work Group convened telephonically at  
10:30 a.m. Eastern Daylight Time, David  
Kotelchuck, Chairperson, presiding.

MEMBERS PRESENT:

DAVID KOTELCHUCK, Chairperson  
BRADLEY P. CLAWSON  
JAMES M. MELIUS  
WANDA I. MUNN  
JOHN W. POSTON, SR.  
DAVID B. RICHARDSON

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

ALSO PRESENT:

TED KATZ, Designated Federal Official  
BOB BARTON, SC&A  
HANS BEHLING, SC&A  
KATHY BEHLING, SC&A  
LIZ BRACKETT, ORAU Team  
NICOLE BRIGGS, SC&A  
RON BUCHANAN, SC&A  
GRADY CALHOUN, DCAS  
DOUG FARVER, SC&A  
MARK FISHBURN, ORAU Team  
ROSE GOGLIOTTI, SC&A  
JENNY LIN, HHS  
JOHN MAURO, SC&A  
BETH ROLFES, DCAS  
MUTTY SHARFI, ORAU Team  
SCOTT SIEBERT, ORAU Team  
MATTHEW SMITH, ORAU Team  
JOHN STIVER, SC&A  
ROB WINSLOW, ORAU Team

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## T-A-B-L-E O-F C-O-N-T-E-N-T-S

Welcome and Roll Call	
Ted Katz .....	4
Preliminary Matter	
SC&A's strategy for clearing the backlog of outstanding findings	
Kathy Behling .....	8
Case Reviews Issue Resolution	
DuPont Deepwater Works	
John Mauro .....	27
Pacific Proving Grounds	
Hans Behling .....	37
Discussion of remaining cases (Sets 10-13).	79
Continuation of Blind Case Reviews	
Kathy Behling .....	95
Allied Chemical blind case (Set 17)	
Kathy Behling .....	115
Rocky Flats blind case (Set 17)	
Ron Buchanan.....	177
Fernald blind case (Set 17)	
Doug Farver.....	228
Adjourn	

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
 1323 RHODE ISLAND AVE., N.W.  
 WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

P-R-O-C-E-E-D-I-N-G-S

10:30 a.m.

MR. KATZ: Welcome. This is the Advisory Board on Radiation Worker Health, the Subcommittee on Dose Reconstruction Review.

For everyone on the line, who might be on the line, the agenda for this meeting is posted on the NIOSH website under the Board section, under meeting, today's date. So you can follow along with the agenda there. Basically all of the materials that you have here, most are privacy protected. There are some that have been cleared, but they're not that helpful without all the privacy information, so I don't believe there are any documents that are posted for the public to follow along in that respect, but you can follow along with the conversation.

So let me also as a prerequisite run through -- I don't need to do roll call, but I'm going to address conflict of interest myself because it's easier to do it that way. For the Board Members who are on the line, we have the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Chair, Dr. Kotelchuck, Brad Clawson, Wanda Munn and  
2 Dr. Poston, John Poston.

3 Do we have any other Board Members on  
4 the line?

5 CHAIRMAN KOTELCHUCK: Yes, it's Jim  
6 Melius.

7 MR. KATZ: Oh, welcome. And Dr.  
8 Melius, Chair of the main committee.

9 Any others? Do we have David  
10 Richardson on the line?

11 (No response.)

12 MR. KATZ: Okay. So let me just cover  
13 then conflicts of interest for the Board Members  
14 we have on here, because I know at least one case  
15 we're discussing, or may be discussing, a case  
16 where a conflict comes into play, and that's Wanda  
17 is conflicted at Hanford. And we have a blind Dose  
18 Reconstruction case for Hanford.

19 So for other conflicts that may arise,  
20 I don't believe they will, but they may because they  
21 may be in the set, Brad Clawson has a conflict with  
22 INL. Dr. Melius has a conflict with NUMEC sites.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I'm not sure if we're going to discuss any  
2 specifically today. And Dr. Poston has conflicts  
3 for ANL, BWXT, ORNL, which is X-10, Sandia, LANL,  
4 Y-12, Lawrence Livermore and West Valley. So  
5 that's on the record and those Members will recuse  
6 themselves if we discuss sites for which they have  
7 conflicts.

8 And with that, that covers my issues.  
9 Please, everybody who's not speaking, when you're  
10 not speaking, mute your phone for audio quality.  
11 If you don't have a mute button, press \*6 to mute  
12 your phone and then press \*6 again to take your  
13 phone off of mute. And, please, no one put the  
14 phone on hold at any point, but hang up and dial  
15 back in if you need to leave the meeting at any point  
16 for quality of phone.

17 And, Dr. Kotelchuck, it's your meeting.

18 CHAIRMAN KOTELCHUCK: Okay. So,  
19 folks, first, are there any additions to the agenda  
20 or issues that people want to raise later?

21 MEMBER RICHARDSON: Just for the  
22 record, this is David Richardson.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: David, welcome.

2 MR. KATZ: Okay. Welcome, David.

3 And David has no conflicts whatsoever.

4 CHAIRMAN KOTELCHUCK: Good. Good.

5 MR. STIVER: This is John Stiver. I  
6 just got on, too, a couple minutes ago.

7 MR. KATZ: Oh, I'm sorry. I ran  
8 through the Board Members and I left everybody else  
9 out. Let's get the attendance for NIOSH/ORAU.  
10 Sorry.

11 (Roll call.)

12 MR. KATZ: Okay. And sorry for doing  
13 that in two parts, but it's back to you, Dave.

14 CHAIRMAN KOTELCHUCK: Okay. Very  
15 good. So let's go ahead with the agenda, unless  
16 I hear anything.

17 MS. K. BEHLING: Dr. Kotelchuck?

18 CHAIRMAN KOTELCHUCK: Yes?

19 MS. K. BEHLING: This is Kathy Behling  
20 and I was just wondering if I can briefly just touch  
21 on one relevant topic before we begin the agenda.

22 CHAIRMAN KOTELCHUCK: Surely.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: Okay. Thank you. I  
2 just want to mention that I'm a little bit concerned  
3 that we may not have clearly presented our strategy  
4 for clearing the backlog of outstanding findings.  
5 And I wanted to explain that nothing that we were  
6 recommending is really much different than what  
7 we're doing, with one exception, and that is we  
8 would really like to work with NIOSH to expedite  
9 generating a completed matrix well before these  
10 meetings.

11 We realize that often, for various  
12 reasons, the matrix was only available maybe a day  
13 or two prior to the meetings. And we also realized  
14 that this has handicapped the Subcommittee Members  
15 by putting them in a position that they have to make  
16 decisions, shall I say real-time. You haven't had  
17 a chance to look over that matrix prior to the  
18 meetings.

19 And therefore, if we could get a  
20 completed matrix into your hands to provide you  
21 with sufficient time to review the findings,  
22 NIOSH's responses and our recommendations, then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 during the meeting perhaps the findings closeout  
2 process could be done in -- could proceed much  
3 quicker than it has in the past.

4 In fact, I would actually like to see --  
5 I think it would benefit the Subcommittee if we were  
6 to highlight or even group those findings in the  
7 matrix in a fashion that would draw to your  
8 attention those that appear that they could be  
9 resolved with maybe little or no discussion such  
10 as QA-type findings and observations.

11 And it also appears to me that this is  
12 pretty much or similar to the approach that is  
13 consistent with the manner in which Wanda handles  
14 the Procedure Subcommittee meetings with regard to  
15 issues such as PERs. Prior to the meeting, SC&A  
16 reviews and summarizes the salient elements of a  
17 PER and provides a memo with our recommendations  
18 as to whether we believe it's necessary to conduct  
19 a review. And then during the meeting all the  
20 Members are aware of the recommendations. Wanda  
21 queries the Subcommittee Members and determines if  
22 they agree, and the appropriate tasking decisions

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can be made quickly.

2 And I believe that's what we were trying  
3 to suggest for the Dose Reconstruction  
4 Subcommittee. And I'm not sure if we clearly made  
5 our point.

6 CHAIRMAN KOTELCHUCK: Now, we have the  
7 findings matrix, for example, for Sets 14 to 18.  
8 And what you're saying is that you would like to  
9 fill in the column NIOSH agrees or disagrees in  
10 advance? Is that it? We have the finding matrix,  
11 which always has a last blank column until we talk  
12 about it. Is that what you're suggesting?

13 MS. K. BEHLING: What I'm trying to  
14 suggest is that I would like for us to have the NIOSH  
15 responses, SC&A's recommendations and as complete  
16 a matrix as possible with sufficient time for the  
17 Subcommittee Members to be able to look that over  
18 and be familiar with the findings and our  
19 recommendations so that during the meeting you're  
20 not just -- because sometimes I realize that in the  
21 past we haven't gotten the matrices into your hands  
22 with sufficient time for you to maybe look over

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 everything that we're --

2 (Simultaneous speaking.)

3 CHAIRMAN KOTELCHUCK: Right. Right.  
4 First, NIOSH folks, I mean, what's your response  
5 first?

6 MR. CALHOUN: Well, we can certainly --  
7 we'll respond. As we get the matrices in our  
8 hands, we'll respond. As far as getting more, a  
9 greater number done, then we got to think of what  
10 we're not going to do over here. But as far as  
11 getting the same number done in a -- more timely  
12 between meetings, that seems like something we  
13 could look at, and we'll certainly try. The sooner  
14 we get them, the sooner you can get them.

15 CHAIRMAN KOTELCHUCK: Right. How  
16 about other Board Members? Other Subcommittee  
17 Members, I should say.

18 MEMBER CLAWSON: Well, Dave, this is  
19 Brad. I'm looking at this as -- basically this is  
20 just getting the information to all of us, because  
21 no matter if it's SC&A or if it's NIOSH, when we  
22 leave the last meeting, each side has certain

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 responses that they're supposed to get back with.

2 CHAIRMAN KOTELCHUCK: Right.

3 MEMBER CLAWSON: The sooner they get  
4 them to us, the more time we have to be able to  
5 better understand them, the better off we're going  
6 to be.

7 CHAIRMAN KOTELCHUCK: Right. Right.

8 MEMBER MUNN: Well, the further down  
9 the road that we've gotten in terms of numbers of  
10 cases we're looking at, the more complex the  
11 business of the matrix has become. We're in a  
12 position now where we have so many sets and so much  
13 of a backlog that I certainly appreciate what Kathy  
14 is saying in terms of trying to group our action  
15 items a little bit more effectively. We do spend  
16 a lot of airtime during our meetings just getting  
17 to the next item on our action agenda.

18 CHAIRMAN KOTELCHUCK: Yes.

19 MEMBER MUNN: And it would really be  
20 extremely helpful, I think, if we could pull those  
21 action items that we know we're going to address  
22 forward in some way so that it was a little easier

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for everybody concerned to get to them quickly.  
2 And it's enormously frustrating to know the week  
3 before we're going to have a meeting that we can't  
4 tell whether anything has transpired in terms of  
5 updating the matrix or not.

6 CHAIRMAN KOTELCHUCK: Right.

7 MEMBER MUNN: So, yes, I certainly  
8 think there are some mechanical things that we  
9 could do to make the material that we're going to  
10 deal with in each meeting more easily identifiable  
11 to us. Yes.

12 CHAIRMAN KOTELCHUCK: Right. And  
13 certainly having a deadline of a week in advance  
14 gives all of us on the Subcommittee a chance to  
15 digest and consider what's happening and what  
16 should be happening and what the agreement or  
17 disagreement is.

18 MR. CALHOUN: Something that might be  
19 helpful, and she touched on this, is that, really  
20 define what we want to do in regards to  
21 observations. When we started out observations  
22 were observations, but now we treat observations

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 no differently than we treat findings and whether  
2 we want to say that we've got truly a procedural  
3 non-compliance or not. That's just something to  
4 think about.

5 And the other thing that seems to take  
6 a lot of time is when we get into basically TBD  
7 reviews for a DR. I don't know if we could become  
8 more focused on actually reviewing just the DRs and  
9 if need be push the TBD-type reviews into the  
10 Procedures Subcommittee. Sorry about that,  
11 Wanda.

12 MEMBER MUNN: Oh, thanks a whole bunch,  
13 yes.

14 CHAIRMAN KOTELCHUCK: Right.

15 (Simultaneous speaking.)

16 MR. CALHOUN: -- get more focus.

17 MR. KATZ: This is Ted. I mean, I  
18 think this is just a scheduling matter. I mean,  
19 so long as we know and are clear about what's to  
20 come for the agenda for the next meeting and we  
21 schedule ourselves appropriately, I don't -- it's  
22 just a scheduling -- in terms of setting that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 meeting out far enough that people can get their  
2 work done according to the resources they have. I  
3 just think it's -- it would probably be helpful to  
4 have clear deadlines. When are we going to have  
5 the NIOSH response and then when are we going to  
6 have the SC&A response to the NIOSH response ready,  
7 which is usually how we button up the matrix for  
8 the next meeting.

9 CHAIRMAN KOTELCHUCK: Right.

10 MR. KATZ: So if we have clear  
11 deadlines that are agreed upon, and everybody gets  
12 their work done in time and we'll have these in  
13 advance.

14 I mean, Grady, your suggestion about  
15 the sort of mini-TBD reviews that occur for some  
16 of these, I mean, those are related to sites for  
17 which there isn't already a Work Group that's  
18 relevant, the site is very small, the circumstances  
19 are special. And I think they are really actually  
20 appropriate here in this Subcommittee. But I  
21 mean, obviously they could go to the Procedures  
22 review, but adding another sort of body,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 organization into the mix, if anything, is just  
2 going to slow things down, I think.

3 MR. CALHOUN: Typically what happens  
4 though is that you get this stuff earlier, but it's  
5 been a long time since we've quit a meeting because  
6 we haven't had enough responses from either side.

7 MR. KATZ: Oh, yes, I don't think we  
8 have done that any time in recent memory for that  
9 matter.

10 CHAIRMAN KOTELCHUCK: Not while I've  
11 been Chair.

12 MR. KATZ: So it's not that we don't  
13 have enough work to do during the meeting. I am  
14 sympathetic to Kathy's comment basically, and I  
15 imagine the Board Members are, that really the  
16 matrices are coming in a just-in-time mode, and  
17 really they should be coming in at least a week in  
18 advance so that people can digest --

19 CHAIRMAN KOTELCHUCK: Right. Right.

20 MR. KATZ: -- and think about it. I  
21 think that's a good point.

22 CHAIRMAN KOTELCHUCK: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ: I think we should schedule  
2 to accommodate that.

3                   CHAIRMAN KOTELCHUCK: Right.

4                   MEMBER MUNN: There are two additional  
5 points that have been made, though, that do need  
6 to -- they really need our consideration, I think,  
7 one being the possibility of clustering the data  
8 that we're going to address in a more quickly  
9 accessible manner so that we can see at a glance  
10 exactly what we're going to be addressing.

11                   And the second item has to do with our  
12 consideration of observations. I'm certainly  
13 sympathetic to the fact that we've changed our  
14 horse in the middle of the stream with respect to  
15 how we look at observations. And if we decide that  
16 we are going to give them the same consideration  
17 that we do a finding, then that's I suppose  
18 acceptable, although in point of fact there was a  
19 reason why we made that decision early on, and those  
20 reasons, more than one reason actually -- and those  
21 reasons are as sound today as they were at the time  
22 we made them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK: I feel that I was  
2 looking forward to having a discussion about  
3 observations in the special Work Group that was set  
4 up at our last Board meeting, which I volunteered  
5 to be on, among others, and among other folks here.  
6 So I think I would recommend that we hold the  
7 discussion of observations and how we handle them  
8 to that group. And that group was supposed to  
9 report at the Idaho Falls Board meeting.

10                   However, having the week in advance  
11 seems very useful. And perhaps the clustering --  
12 I'm not as clear how much that will help, but I'm  
13 open to the NIOSH and SC&A people trying to do that,  
14 say, for our next meeting, for example.

15                   But I would say that getting the  
16 materials one week in advance is definitely a plus.  
17 And apparently it's used elsewhere and works well.  
18 So would folks be agreeable to just saying that we  
19 have decided this?

20                   MEMBER POSTON: Yes. We've always  
21 wanted that. The sooner we get that information,  
22 the more time we have to be able to digest what's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 actually there.

2 CHAIRMAN KOTELCHUCK: Good. Any  
3 objections, maybe I should ask, from Subcommittee  
4 Members?

5 (No response.)

6 CHAIRMAN KOTELCHUCK: Okay. Then why  
7 don't we consider that done? That is to say that  
8 whenever we set the next meeting, a week in advance,  
9 we will expect to have the materials available to  
10 us.

11 MS. GOGLIOTTI: Now, this seems like a  
12 good time to segue that we do have the BRS up and  
13 running now for the DR Subcommittee. And that  
14 should greatly expedite the way we are able to  
15 interact with NIOSH, because they can instantly see  
16 our finding responses once we upload them.

17 CHAIRMAN KOTELCHUCK: Yes.

18 MS. GOGLIOTTI: I have it uploaded here  
19 on the screen and you can see --

20 CHAIRMAN KOTELCHUCK: Yes.

21 MS. GOGLIOTTI: -- it's ordered in a  
22 fairly easy-to-follow manner.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Yes.

2 MEMBER GOGLIOTTI: So I do have the  
3 first matrix set up already in the system and this  
4 is how it works. We can go forward using this from  
5 now on.

6 MR. KATZ: Rose, and another thing that  
7 I think would expedite and make it easier for NIOSH  
8 to respond is if you upload as you go and not really  
9 in big batches. But I don't see any reason why you  
10 couldn't upload sort of as you make progress and  
11 then they would have those cases in hand to respond  
12 to as soon as possible. That would expedite their  
13 work and the ease of their managing their resources  
14 to get their responses done.

15 MS. GOGLIOTTI: Absolutely. I think  
16 that's going to be a source of --

17 (Simultaneous speaking.)

18 CHAIRMAN KOTELCHUCK: Another aspect  
19 of getting things done in advance is, I would like  
20 the group to consider the possibility that we have  
21 scheduled meetings for the next year, scheduled  
22 meetings at a regular time. We basically have them

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 quarterly, but we've had problems. We've set up  
2 dates when we had people not able to make it. And  
3 I know things come up, as they have for me, but  
4 that's something that if we had scheduled long in  
5 advance, often it will help us in setting up our  
6 schedules.

7 And I want to consider that because  
8 we're always backlogged. I mean, at least as far  
9 as the time I've been on the Subcommittee, there's  
10 never been a time when we're not the problem that's  
11 causing the delay in -- that is, we have a backlog,  
12 I should say. We always have a backlog and  
13 hopefully we always will. I mean, we are the last  
14 step before final decisions are made.

15 So I would like to think about that. I  
16 don't think we need to decide this now, but I want  
17 to throw this out so that maybe people will consider  
18 this for the next meeting.

19 Are there any thoughts on that? I know  
20 that we do not have an annual schedule for our Board  
21 meetings, but --

22 MR. KATZ: Well, we do actually, Dave.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 We do have an annual schedule for the Board  
2 meetings, and so that's how far we've scheduled out  
3 for the Board meetings. And I think it's perfectly  
4 fine if the Board Members feel like they can do that  
5 and commit to dates further out to not just the next  
6 meeting. I'm happy to do that kind of scheduling.

7 CHAIRMAN KOTELCHUCK: What do our  
8 Subcommittee Members think? You think we could do  
9 that?

10 MEMBER MUNN: This is Wanda. Yes, I do  
11 believe so. And I have two comments to make --

12 CHAIRMAN KOTELCHUCK: Sure.

13 MEMBER MUNN: -- with respect to having  
14 the BRS up and running for the Dose Reconstruction  
15 Subcommittee. The first comment is hallelujah.  
16 And the second comment is thank you so much to Rose  
17 and anyone else who had an active part in getting  
18 us there. It will make a big difference in how we  
19 approach our work.

20 CHAIRMAN KOTELCHUCK: Agreed.

21 MS. GOGLIOTTI: Thank you.

22 CHAIRMAN KOTELCHUCK: Other thoughts

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about future scheduling? Go ahead.

2 MEMBER CLAWSON: I think that's great.  
3 I think that's good. Move them far enough in  
4 advance. Some of us may not have that big of a load  
5 that -- so, it's nice to be able to have those dates  
6 picked out and know that we've got something out  
7 there.

8 MR. KATZ: David Richardson, is that  
9 workable for you, or does it get very unpredictable  
10 for you further out?

11 (No response.)

12 MR. KATZ: Maybe we lost David. Or  
13 you're on mute.

14 CHAIRMAN KOTELCHUCK: We'll wait a  
15 second.

16 MR. KATZ: I'm just thinking I know his  
17 academic -- is that him?

18 (No response.)

19 MR. KATZ: I know his academic, anyway,  
20 sort of, duties are hard to manage at times, so I  
21 don't know whether he's in a pinch compared to  
22 others on this one.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK:     Well, let's  
2     just -- I don't want to spend too long on the  
3     discussion because we have cases to review.

4                   So can I suggest to Board Members -- and  
5     there seems to be general approval.

6                   MEMBER RICHARDSON:    Hi. Can you hear  
7     me?

8                   CHAIRMAN KOTELCHUCK:    Yes, David?

9                   MEMBER RICHARDSON:    Yes, I'm sorry.  
10    I --

11                  CHAIRMAN KOTELCHUCK:     David, we  
12    couldn't hear you, no.

13                  MEMBER RICHARDSON:    Yes, I said, yes,  
14    in general it helps for me to try and block it off.  
15    I mean, things certainly come up, but it's better  
16    to have it on the calendar than not.

17                  CHAIRMAN KOTELCHUCK:     Okay.  
18    Excellent. So we're in agreement on that. I will  
19    ask people to look over their calendars for the next  
20    Subcommittee meeting and be ready to give us some  
21    thoughts about what would be good dates, or  
22    probably first months and day of the week,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something like that.

2 And, Ted, maybe you and I can talk and  
3 we'll see about sending out some emails in advance  
4 of that meeting to try to get a sense from the  
5 various Subcommittee Members.

6 MR. KATZ: Oh, let me just do this the  
7 way I normally do it. And I will send out sort of  
8 date ranges for folks for quarterly, a year out,  
9 and then they can respond to me. We don't need  
10 to --

11 CHAIRMAN KOTELCHUCK: Okay. Alright.  
12 (Simultaneous speaking.)

13 MR. KATZ: -- for me to do.

14 CHAIRMAN KOTELCHUCK: Alright. I  
15 guess I was thinking more of the second Monday of  
16 the third month, or something like that. But  
17 that's right. We can just do on specific dates as  
18 we do for the Board meetings. So, Ted, you'll do  
19 that?

20 MR. KATZ: I'll handle that after the  
21 meeting.

22 CHAIRMAN KOTELCHUCK: That would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fine.

2 MR. KATZ: Sure.

3 CHAIRMAN KOTELCHUCK: Okay.

4 MEMBER MUNN: This is Wanda. I'd have  
5 one request with respect to your doing that.

6 MR. KATZ: Sure.

7 MEMBER MUNN: If at all possible and  
8 it's agreeable with the other Members of the  
9 Subcommittee, I would appreciate your looking at  
10 the dates toward the end of the month rather than  
11 toward the beginning of the month. I don't know  
12 about other people's calendars, but it seems to me  
13 that so many of the meetings that I have routinely  
14 are scheduled the first or second week of each month  
15 and that clutters up the calendar very badly. The  
16 tail end of the month and especially on Tuesdays  
17 for some reason seem to be more open for me --

18 (Simultaneous speaking)

19 CHAIRMAN KOTELCHUCK: Okay. Very  
20 good. Yes, that's agreeable. And let's see what  
21 we can do then, Ted.

22 MR. KATZ: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK:    Okay.    Let's  
2                   move ahead, folks, on the case reviews issue  
3                   resolution.    And we have in front of us the DuPont  
4                   Deepwater Works.    Who would like to speak to this?  
5                   There was a meeting of the AWE Work Group.  
6                   Actually there was one in --

7                   MR. KATZ:    January.

8                   CHAIRMAN KOTELCHUCK:    -- January.

9                   MR. KATZ:    Right.

10                  CHAIRMAN KOTELCHUCK:    And we resolved  
11                  this.

12                  MEMBER STIVER:    Dave, John Mauro is  
13                  probably the closest to this in SC&A.    Maybe he  
14                  might want to say a few words.    But, yes, it was  
15                  the January 22nd meeting that all these issues were  
16                  closed out.

17                  But, John, if you have anything else you  
18                  want to add?

19                  DR. MAURO:    Yes, this is John.    Real  
20                  quick.    Yes, during that meeting -- I went through  
21                  the transcript and everything was resolved.    But  
22                  even more importantly during the Richland meeting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on March 25th, Dr. Anderson gave a summary of the  
2 issues and they in fact all have been resolved.  
3 They have all been closed. In the slide  
4 presentation itself each issue was identified and  
5 how it was -- the finding and also how it was  
6 resolved.

7 And I went back and looked at the  
8 matrix. I have it in front of me, and I went  
9 through each one of the items that we have in  
10 yellow, which are open, and every one of those have  
11 been closed because the Site Profile issues have  
12 all been closed.

13 So I'd like to say that we're in very  
14 good shape here and I know SC&A could recommend that  
15 all of these items are closed as a result of the  
16 January meeting, and more explicitly as a result  
17 of the March 25th meeting in Richland where that  
18 was formally presented to the Board.

19 CHAIRMAN KOTELCHUCK: So this is  
20 closed, right, folks? Well, it is up to our group  
21 to say it is closed. All the outstanding issues  
22 are closed. Is there any comment from a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Subcommittee Member who wants to say anything  
2 further about that? Otherwise, it is closed.

3 MR. KATZ: Well, can I just --

4 CHAIRMAN KOTELCHUCK: Yes.

5 MR. KATZ: This is Ted. Let me just --  
6 a process matter. I mean, what you're trying to  
7 do here is close your Dose Reconstruction cases now  
8 that that's done. And I think you just have to  
9 address for the Site Profile resolution, which of  
10 those applied to findings on the cases. Right,  
11 John?

12 DR. MAURO: Yes, I'd be glad to do that.

13 MR. KATZ: I mean, I think that's the  
14 critical issue because you're going to have to  
15 characterize, right, for the Secretary's report,  
16 the findings, how they came out, right? And so  
17 you're going to have to characterize the findings  
18 for these cases and those should relate where they  
19 do or where they might to those Site Profile  
20 resolutions.

21 DR. MAURO: I'd be glad to do that and  
22 move through them with you, if you'd like to move

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 through each one of the items that are in yellow  
2 on the matrix that's before everyone, and we'll go  
3 through each one, one by one.

4 CHAIRMAN KOTELCHUCK: Okay.

5 DR. MAURO: If you'd like to proceed,  
6 I will do that.

7 CHAIRMAN KOTELCHUCK: Go ahead.

8 DR. MAURO: The first one and the  
9 second one, which I guess is called 1A and 1B, have  
10 to do with the -- this goes back a ways, that there  
11 was at one time TBD-6000 to TBD-6001 whereby the  
12 reference was made back to a number of tables in  
13 TBD-6001, and whereby we had some problems with  
14 that. That was the umbrella document that covered  
15 all of these uranium works facilities. And that  
16 TBD-6001 was withdrawn. The TBD for DuPont was  
17 subsequently completely revised and all of the  
18 tables that were at issue that were in the umbrella  
19 document, TBD-6001. And you could notice that the  
20 first three actually speak to that on the matrix.  
21 With the elimination of TBD-6001 and the  
22 replacement of that with a stand-alone DuPont

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Deepwater Site Profile that issue goes away.

2 MR. KATZ: Okay. John, I'm sort of  
3 handicapped because I can't see Live Meeting, but  
4 can I just explain? I mean, what we need to do here  
5 is apply findings that were relevant to the case  
6 review findings. And that's what we need to  
7 discuss, right? If there was a finding on  
8 resolving DuPont --

9 DR. MAURO: Yes.

10 MR. KATZ: -- the Site Profile, that  
11 actually came up in the case.

12 DR. MAURO: I got you.

13 MR. KATZ: That's what we need to  
14 address --

15 DR. MAURO: Yes.

16 MR. KATZ: -- so that that case, any  
17 issues with that case are resolved.

18 DR. MAURO: Yes, and let's go --

19 CHAIRMAN KOTELCHUCK: Let's scroll  
20 down on Live Meeting.

21 DR. MAURO: Yes. I'm looking at it in  
22 front of me right now, and I'm just looking at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 yellow items.

2 CHAIRMAN KOTELCHUCK: Sure.

3 DR. MAURO: And what I want to try to  
4 point out is that; you're right, the first three  
5 actually were not even addressed as findings in the  
6 last Work Group meeting because they were issues  
7 that were resolved long ago because TBD-6001 was  
8 eliminated. But there are some findings here that  
9 have specificity. For example, I'm looking at --  
10 there is one here, F3 --

11 CHAIRMAN KOTELCHUCK: Yes.

12 DR. MAURO: -- and F3 is a very specific  
13 issue that remained with us. And it had to do with  
14 when the air sampling data were collected and  
15 whether or not the surrogate data that was used in  
16 the Site Profile which was collected in later  
17 years, the late 1940s -- whether or not that data  
18 could be applied and used as surrogate data for the  
19 early 1940s.

20 And this is one of the issues that was  
21 alive and well up until its recent resolution  
22 whereby NIOSH explained that the reason why they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 felt the late 1940 data can be applied to airborne  
2 dust loadings for the early 1940 time period was  
3 the early 1940 time period -- and we confirmed this  
4 -- was really a matter of a shakedown period where  
5 there was some very limited amount of work done.  
6 And the more intensive uranium work was done later  
7 when there was good data basically from airborne  
8 dust loadings from later research done. So NIOSH  
9 made the case. And this would be -- I think it was  
10 item F3.

11 CHAIRMAN KOTELCHUCK: Yes.

12 DR. MAURO: And item F3. And because  
13 of that, there have been circumstances in the past  
14 where there were problems, where we had good  
15 information for the late 1940s, but we did not have  
16 good information for the early 1940s and SECs were  
17 granted up to certain dates like 1944. But in this  
18 case a demonstration was made that there was good  
19 reason to believe that the kinds of things that were  
20 going on in the early 1940s at DuPont really did  
21 not have a very significant potential for  
22 generating airborne uranium. And as a result, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 surrogate data in the late 1940s was prudently  
2 conservative or bounding as applied to the early  
3 1940s.

4 And this is one of the issues -- if we  
5 go back to the slide presentation in fact that Dr.  
6 Anderson gave -- mentioned. I don't have the exact  
7 number here, but I read it earlier. So that's the  
8 reason why we believe F3 can be closed.

9 MR. KATZ: Yes, so does that apply to  
10 a specific -- again, I'm flying blind here. Are  
11 you looking at a specific Dose Reconstruction case  
12 and saying that --

13 (Simultaneous speaking.)

14 DR. MAURO: Oh, yes.

15 MR. KATZ: -- was applicable to this  
16 case?

17 DR. MAURO: Oh, yes, yes.

18 MR. KATZ: Yes? Okay. Thanks.

19 DR. MAURO: I'm sorry. Bear with me.  
20 I'm looking at the matrix. It's 260.

21 MR. KATZ: Okay. Thank you.

22 DR. MAURO: Oh, yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: For the record.

2 DR. MAURO: Yes, I have the matrix in  
3 front of me. It's case Number 260. And the normal  
4 numbering system is here. In effect, what I just  
5 covered fairly quickly was the first three, which  
6 was 1A, 1B. 1A and 1B were really closed long ago  
7 because TBD-6001 was resolved. And it wasn't even  
8 something that was on the agenda for issues  
9 resolution.

10 CHAIRMAN KOTELCHUCK: Right.

11 DR. MAURO: But then when we get to F3,  
12 which is the next item, we actually talk about an  
13 issue that had technical teeth, so to speak --

14 CHAIRMAN KOTELCHUCK: Yes.

15 DR. MAURO: -- whereby as I mentioned  
16 had to do with whether you could use later data,  
17 late 1940s data, as a surrogate for earlier data.

18 CHAIRMAN KOTELCHUCK: Right.

19 DR. MAURO: And that has been resolved  
20 in that yes you can. So that's the reason that item  
21 was closed.

22 Let me see, there's one more. There's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a last one, B4. Let's see what we have here.

2 CHAIRMAN KOTELCHUCK: Let's scroll up  
3 to that. Thank you.

4 DR. MAURO: Yes, and that's the very  
5 last item on the list for DuPont.

6 MS. GOGLIOTTI: John, this one's  
7 already closed.

8 DR. MAURO: Oh, that has already been  
9 closed. There you go.

10 MS. GOGLIOTTI: Correct.

11 DR. MAURO: Okay. Yes, I'm looking at  
12 it right now. So that's not even on the agenda.

13 CHAIRMAN KOTELCHUCK: Right. So we  
14 have closure on all of them. Thank you for  
15 addressing those.

16 And is there anything further that we  
17 need to do for the Work Group, or any concerns that  
18 Work Group Members, Subcommittee Members want to  
19 raise?

20 (No response.)

21 CHAIRMAN KOTELCHUCK: Okay. So all of  
22 those four are closed. Hearing no objection,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's done.

2 And in terms of the remaining cases,  
3 we're ready to go to Pacific Proving Grounds, which  
4 had a meeting in January of this year to try and  
5 resolve some issues that we gave to them.

6 DR. H. BEHLING: I believe that's going  
7 to be my case and it's going to be relatively  
8 quickly resolved hopefully. The case --

9 (Simultaneous speaking.)

10 CHAIRMAN KOTELCHUCK: Oh, who is  
11 speaking? Excuse me.

12 DR. H. BEHLING: This is Hans Behling.

13 CHAIRMAN KOTELCHUCK: Hans, how are  
14 you? Okay.

15 DR. H. BEHLING: This particular case  
16 was given to us back in 2011. And when I reviewed  
17 that, I submitted my audit findings and I believe  
18 there were a total of seven findings. And just as  
19 a quick review, the individual at the time was in  
20 the station at the Pacific Proving Grounds at the  
21 Enewetak Atoll in the early years of the 1950s and  
22 then a second time back in 1958. And our audit of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this particular case identified seven findings,  
2 and most of these issues were based on insufficient  
3 data and the use of surrogate data. And one of the  
4 more important significant findings that I was able  
5 to identify was the beta to photon ratio that was  
6 used.

7           Anyway, we did in fact discuss this  
8 particular case in a one-to-one meeting way back  
9 in that time frame, probably still in 2011. And  
10 then it was subsequently discussed in the  
11 Subcommittee.

12           But one of the key problems that we had  
13 with this particular case involving PPG was the  
14 fact that we had never been asked to review the PPG  
15 Site Profile which occurred subsequent to this  
16 particular audit. And when I looked at the PPG  
17 Site Profile, I realized that there were a number  
18 of findings that were directly related to this  
19 particular case.

20           And as you mentioned, we had previously  
21 discussed the PPG Site Profile audit that SC&A did,  
22 and we also had discussions about some of these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 findings that we had identified, and NIOSH  
2 responded to our findings. And subsequently what  
3 happened was that the Subcommittee was asked to go  
4 backwards in time and establish a committee for the  
5 PPG Site Profile that would then provide some  
6 oversight in the revision of the PPG Site Profile  
7 and the findings that were identified.

8 At this point I believe the revision of  
9 the PPG Site Profile is still undergoing some  
10 changes. And so SC&A at this point has not seen  
11 the revisions to the Site Profile and therefore I  
12 believe the idea of resolving many of these  
13 findings that involved this particular case in  
14 question is really academic because many of the  
15 findings I identified on behalf of our audit of the  
16 PPG Site Profile directly affect this particular  
17 individual's case because of the fact that he was  
18 there early on when there were such things as cohort  
19 badging and missing badges and incomplete  
20 monitoring. And some of the additional data that  
21 became available in the DNA report that would allow  
22 us to assess more accurately the actual exposures

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that would be considered occupational exposure  
2 when in fact it was really fallout that might in  
3 other instances be considered as environmental  
4 exposures.

5 So at this point I believe we had  
6 discussed previously this particular case, but I  
7 believe what is going to happen is that when we  
8 finally get to the point where the revisions of the  
9 PPG Site Profile become available to us, we will  
10 be in a position to look at the revisions, assess  
11 the issues that we had identified in our findings  
12 of the original Site Profile, determine whether or  
13 not these revisions accommodate the issues that we  
14 raised, and then in context with that, there is  
15 possibly going to be -- and I'm speculating -- an  
16 issue of a PER, which then we'd obviously include  
17 revising many of the affected Dose Reconstructions  
18 that occurred.

19 So at this point my recommendation is  
20 to put this particular case in abeyance because the  
21 issue will probably be resolved at some later time  
22 when a PER is issued. And many of these cases,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 including this one, may have to be revisited and  
2 reevaluated, and there's at this point no real  
3 reason to spend a lot of time on something that's  
4 subject to revision at a later time.

5 MR. KATZ: Hans, this is Ted. Can I  
6 just engage you a little bit on this, because I  
7 think it's a little bit different -- I mean, all  
8 the findings at PPG are in abeyance, and I agree  
9 with that. But having gotten them to abeyance in  
10 that Work Group there were agreements made. I  
11 mean, that's why they're in abeyance. Essentially  
12 they're resolved, but we need to see the new  
13 product. But the issues were resolved. And there  
14 was agreement about some of your findings in  
15 getting to that abeyance and those findings, I  
16 believe, like you were saying, pertain to this  
17 case, or these cases.

18 So I think the Subcommittee can, even  
19 though they don't have a new Site Profile for PPG,  
20 since there was agreement between NIOSH and SC&A  
21 and the Work Group about those findings that got  
22 them to abeyance -- I think those findings

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 already -- you already know the outcome as far as  
2 this case is concerned, right? Because like  
3 Findings 2, 3 and 4, those all apply because they  
4 agreed with you about your findings and how they  
5 need to be resolved. And you know then what is  
6 right or wrong about these cases. Not correct?

7 DR. H. BEHLING: Yes. I'm not sure we  
8 went to the level of detail that we subsequently  
9 went to in our review of the Site Profile. Like  
10 I said, one of the most important aspects of that  
11 is the beta to photon ratio, which I'm not sure was  
12 probably discussed at the time. One of the things  
13 that occurred during this particular Dose  
14 Reconstruction case in question was the assignment  
15 of a one to one ratio, which I think was only during  
16 the discussion with the PPG Site Profile personnel,  
17 that they recognized that that particular ratio  
18 could not be applied here because it applied to a  
19 value that was purely derived from data that was  
20 NTS data between 1963 and '87. And we realized  
21 those things did not apply.

22 But it's a little complex. And I would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 say at this point we can probably assume that most  
2 of the issues can be -- if we feel abeyance is not  
3 correct -- we can say they're resolved, but they  
4 will ultimately be resurrected when we --

5 MR. KATZ: Let me explain, Hans. So  
6 for example, Finding 3 from the PPG review, NIOSH  
7 agreed that they would use the 95th percentile.

8 DR. H. BEHLING: Yes.

9 MR. KATZ: And that was the resolution  
10 for skin contamination.

11 DR. H. BEHLING: Yes.

12 MR. KATZ: So I think the issue is, for  
13 the cases you have before you, these Dose  
14 Reconstruction cases, did they use the 95th  
15 percentile? If they didn't, then that's the  
16 resolution; they should have, and you can close out  
17 that finding.

18 DR. H. BEHLING: Well, they used the  
19 50th percentile because --

20 MR. KATZ: Right.

21 DR. H. BEHLING: -- that's really what  
22 the PPG Site Profile at the time specified. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it was only our review of that that they --

2 MR. KATZ: No, I understand. So what  
3 I'm trying to say is that that's then -- to resolve  
4 that finding in these cases where that applies,  
5 that's the finding. They used the 50th. In  
6 reality after scientific review, they should have  
7 used the 95th. I'm not saying they didn't follow  
8 their prescription, their form or procedures, but  
9 they really should have been using the 95th because  
10 they've agreed that they should have used the 95th.  
11 And that's the finding. That's how you resolve and  
12 close out that finding for this case.

13 MEMBER MUNN: That's correct.

14 MR. KATZ: Right. That's all I'm  
15 saying is that I think you can put them to bed in  
16 these cases even though you don't have the new  
17 product from NIOSH because they have clear  
18 agreements about that. Same, Finding 4. They  
19 said they should have used the 95th percentile for  
20 un-monitored doses for Finding 4. If that applies  
21 to any of these cases, then you can close out the  
22 finding in these cases. And maybe then you can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 close out all the findings in these cases even  
2 though you don't have a new NIOSH Site Profile  
3 document yet.

4 DR. H. BEHLING: Ted, if this is what  
5 is the expedient thing to do here, let's just close  
6 them all out.

7 (Simultaneous speaking.)

8 CHAIRMAN KOTELCHUCK: Well, that --

9 DR. H. BEHLING: -- the need for  
10 discussion if in fact those cases would ultimately  
11 be reevaluated anyway.

12 CHAIRMAN KOTELCHUCK: Well, we would  
13 very much like to resolve this. This is the single  
14 outstanding case for Sets 10 through 13. We have  
15 to get a report in. So we want very badly to get  
16 a report in to the Secretary. So if you're saying,  
17 Hans, that can we can resolve it here and now, then  
18 let us do so.

19 DR. H. BEHLING: Yes.

20 CHAIRMAN KOTELCHUCK: And the group  
21 has met. I certainly looked over the transcript  
22 of the group meeting and it seemed to me that issues

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were all resolved. There are things you're  
2 waiting for, but they're not relevant to this case,  
3 as I understand it.

4 MEMBER MUNN: It appears that all we  
5 need to do is actually look at each one of these  
6 findings just momentarily. Yes, this applies.  
7 Yes, this applies. Yes, this applies. And in  
8 each case simply make the notation to that effect  
9 on the matrix and move on from there.

10 CHAIRMAN KOTELCHUCK: Right. I  
11 agree. Let's do that. So let's look at 325.1  
12 that's up on our screen.

13 DR. H. BEHLING: Okay. As I said, I  
14 didn't really expect to do this, but if you choose  
15 to, we will have to do it obviously at this point.

16 MEMBER MUNN: It appears to me that  
17 that applies.

18 DR. H. BEHLING: Yes. During the time  
19 of greenhouses is one of the key events that I  
20 discussed during my review of the Site Profile.  
21 There was a tremendous amount of fallout at various  
22 locations. If you recall, I showed fallout maps

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the time frame for each and none of these were  
2 ever applied here. I think this individual was  
3 issued a 60-millirem dose, environmental dose from  
4 fallout that turned out to be based on more recent  
5 DNA data to be somewhere in the range of anywhere  
6 between 1 and 4 rem depending on the duration and  
7 location where the individual was. So clearly  
8 that would have to be revised. And I believe NIOSH  
9 has accepted the fact that the assignments of  
10 fallout doses were inadequate.

11 MEMBER MUNN: All we need to say I  
12 believe is that the Work Group's finding and  
13 closure are applicable.

14 DR. H. BEHLING: Yes, I mean, on my  
15 matrix it says finding remains open and in process  
16 depending on completion of the Work Group review  
17 of the TBD.

18 MEMBER MUNN: Yes.

19 DR. H. BEHLING: I don't know what that  
20 means, but as I said, this predates the whole issue  
21 of the PPG Site Profile review.

22 CHAIRMAN KOTELCHUCK: Right. Well, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 mean, we are looking at the process. And if NIOSH  
2 agrees to do what you folks have recommended, then  
3 it is closed, and NIOSH will do it.

4 DR. H. BEHLING: And I don't believe that this  
5 particular matrix really addresses the concessions  
6 that NIOSH made with regard to review of the Site  
7 Profile. In particular, this particular first  
8 finding is addressed in much more greater detail  
9 in our audit of the Site Profile. In NIOSH's  
10 response it says, yes, we need to make that change.  
11 So that the action and the response of NIOSH for  
12 this particular case really predates the PPG Site  
13 Profile where certain concessions have been made  
14 in a more definitive --

15 CHAIRMAN KOTELCHUCK: Yes.

16 DR. H. BEHLING: -- manner than it is  
17 right here. And this is why I didn't think it was  
18 really that important to go through this.

19 MR. KATZ: Oh, but, Hans, it is.  
20 That's the point.

21 DR. H. BEHLING: Oh, okay.

22 MR. KATZ: I mean this is how you're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 putting them to bed. And that's all good. So I  
2 think Wanda's right. And you're good, Hans, with  
3 your finding. Your finding did apply. It was  
4 correct. And that finding can be closed.

5 MEMBER MUNN: All that appears to be  
6 necessary for us to do is to identify the correct  
7 wording here. It appears that the wording needs  
8 to say something to the effect that the Work Group  
9 has resolved this issue by agreeing that the 95th  
10 percentile is applicable in all these cases.

11 CHAIRMAN KOTELCHUCK: Good.

12 MEMBER MUNN: And the change will be  
13 made, period. The change will be made. This case  
14 is now -- our finding is in abeyance.

15 MR. KATZ: It's closed.

16 CHAIRMAN KOTELCHUCK: Closed.

17 MEMBER MUNN: Well, yes, it's closed.  
18 Yes, or else it's in abeyance for the Work Group.

19 CHAIRMAN KOTELCHUCK: Okay. So,  
20 folks, this is up on the screen. And I agree. And  
21 unless there is any further comment by a  
22 Subcommittee Member, then we're closed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (No response.)

2 CHAIRMAN KOTELCHUCK: Let's go on to  
3 325.2.

4 DR. H. BEHLING: Yes, the second one  
5 again involves a very critical issue regarding the  
6 beta to photon dose. And as I said, the most  
7 significant deficiency I identified in review of  
8 this Dose Reconstruction report really involved  
9 the assignment of a one to one ratio. As I said,  
10 that particular approach is based on empirical data  
11 at the NTS site that post-dates the Atmospheric  
12 Testing Program and involves empirical dosimeters  
13 that were available between '63 and '87 and on the  
14 assumption that those values -- the beta to photon  
15 ratio is not really applicable to fresh fallout for  
16 the people who were stationed on Enewetak. NIOSH  
17 obviously rescinded that issue.

18 But along with that there were tables  
19 in the NTS Site Profile, both in the body of the  
20 Site Profile as well as in the appendices, that  
21 provide a very different beta to photon ratio that  
22 in the lowest ratio of 10 to one extends all the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 way to 60 to 1 based on the age of the fallout.

2 And for case No. 2, that was again a  
3 statement that involved an assigned number,  
4 because there are no empirical data on behalf of  
5 this that was issued for this individual. And if  
6 we take the actual proposed information that was  
7 contained in the Site Profile that says you may use  
8 that ratio as a minimum of ten to one and as high  
9 as sixty to one depending on the age of the fallout,  
10 then the value that was assigned initially for this  
11 individual would have been significantly greater.

12 But again, these were by and large  
13 guesstimates and assumed values that, as I said,  
14 [were] modified based on the age of the most  
15 previous tests that would have had a much higher  
16 beta to photon ratio. Again, when this particular  
17 DR is reevaluated, they may completely change this  
18 whole issue. It was one of those things that it  
19 seemed claimant-favorable by assigning, but it was  
20 still the wrong assignment based on the duration  
21 of time that had elapsed between the most recent  
22 detonation and the potential assumed exposures,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that was not necessarily monitored, and the  
2 assignment of a beta to photon ratio that would have  
3 been more appropriate had the actual data been  
4 confirmed.

5 So it's hard for me to say whether we  
6 can resolve this issue other than if they followed  
7 their own protocol, they might have ended up with  
8 a higher dose estimate for that particular --

9 CHAIRMAN KOTELCHUCK: What did the  
10 NIOSH people say in response to the concern that  
11 was raised?

12 DR. H. BEHLING: Well --

13 CHAIRMAN KOTELCHUCK: Maybe the  
14 NIOSH -- Grady, or somebody might --

15 MR. CALHOUN: I wasn't at the Work  
16 Group meeting. I wasn't involved in that one for  
17 the actual Procedures Work Group meeting.

18 CHAIRMAN KOTELCHUCK: Yes.

19 MR. CALHOUN: So I don't know what was  
20 discussed there.

21 CHAIRMAN KOTELCHUCK: And --

22 DR. MAURO: This is John Mauro. Maybe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I can help a little bit. And this is more of a  
2 process question. Since they were all placed in  
3 abeyance, the implications are that there was  
4 agreement that in fact, yes, there is a need for  
5 a revision to the Site Profile to address that  
6 particular issue, and there was agreement on how  
7 that issue would be resolved. And given that, in  
8 effect -- and as described by Hans what the issue  
9 was -- and clearly there Hans and NIOSH came to  
10 agreement on the best way to resolve that issue,  
11 and that's why it's in abeyance. So I guess I would  
12 argue that on that basis the item could be closed.

13 What would be interesting I guess, as  
14 a quick aside, is to close the circle it certainly  
15 sounds like -- and this for PPG -- it sounds like  
16 there's going to be a revision to the Site Profile  
17 to address these various issues. And of course  
18 there would be a PER and that this case may or may  
19 not be picked up and have to be redone. There's  
20 a process there.

21 CHAIRMAN KOTELCHUCK: Right.

22 DR. MAURO: Interestingly enough, by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the way, as a quick aside, under DuPont I'd like  
2 to hear -- maybe NIOSH would say something to this.  
3 The issues were of such a manner that there was very  
4 little that needed to change except for where we  
5 agree there was a -- I'm bringing this up. You'll  
6 see why.

7 CHAIRMAN KOTELCHUCK: I hope so.  
8 Because right now we've closed --

9 DR. MAURO: Yes, you know what it is?  
10 It's something I call it closing the circle. In  
11 the case of PPG, it's self-evident that there's  
12 going to be a need for a PER. In the case of DuPont  
13 it's not self-evident because of the nature of the  
14 issues and how they were closed. And I would like  
15 to get a sense of how are we going to close the  
16 circle on these things.

17 CHAIRMAN KOTELCHUCK: Right.

18 DR. MAURO: And once we're done with  
19 going through to closure, there are places where  
20 it's clear that there is a need to revise the Site  
21 Profile, perhaps substantially. In other cases  
22 there really is no need. We've come to agreement

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       what the issue was.

2                   And I guess I just have a question for  
3       NIOSH.    Is there any plan to reissue the Site  
4       Profile for DuPont?  I'm sorry I'm bringing that  
5       up again.  I know we closed it all, but --

6                   MR. KATZ:     But that's not Grady's  
7       charge, because --

8                   DR. MAURO:    Oh, I understand.

9                   MR. KATZ:     But let me cut to the chase.  
10       What does matter that's sort of tangential to what  
11       you said, John, is SC&A is going to have to -- when  
12       they write up the report, right, the findings,  
13       they're going to have to characterize each finding  
14       in terms of its significance, right?  So in effect,  
15       I think what John is saying that's relevant here  
16       is, when SC&A does it for the DuPont case, it may  
17       not have much dose-significance.  And that affects  
18       how they characterize the finding for the DuPont  
19       case.  And with PPG they're going to have to do the  
20       same thing.  They're going to have to characterize  
21       for each of these findings -- you know, we have  
22       these qualifiers on each finding.  They're going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to have to put the correct qualifier depending on  
2 its significance for -- potential significance for  
3 dose.

4 CHAIRMAN KOTELCHUCK: Right.

5 MR. KATZ: So that's the only thing I  
6 think that really matters for this now.

7 DR. MAURO: Yes, that's why I raise it.

8 MR. KATZ: Yes. No, I understand  
9 that. So I think, Hans, you'll have to interact  
10 with Rose to -- or -- and actually it would be  
11 helpful if you -- but, yes, to get the right  
12 characterization on that. And NIOSH probably  
13 needs to see that characterization, too.

14 CHAIRMAN KOTELCHUCK: Right.

15 MS. K. BEHLING: This is Kathy Behling.

16 CHAIRMAN KOTELCHUCK: Go ahead.

17 MS. K. BEHLING: And I think we can also  
18 state NIOSH has agreed that, with this PPG case,  
19 they're going to have to rework this. And so, each  
20 one of these findings will be addressed.

21 And I do like John's idea that there's  
22 obviously going to be a PER that comes out as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 result of the revision to the PPG because it's a  
2 major revision. And then it would be nice during  
3 our, maybe, sub-task portion of that where we look  
4 at some cases. Maybe this could be a case we look  
5 at.

6 But I think we can assure ourselves this  
7 case will be reworked and each of these findings,  
8 whatever wording we want to put in there, will be  
9 addressed under the revised PPG Site Profile. So  
10 in my mind that means we can close all of these at  
11 this Subcommittee meeting.

12 MR. KATZ: Right.

13 CHAIRMAN KOTELCHUCK: And it seems to  
14 me we can, yes.

15 DR. H. BEHLING: Yes, and I had made  
16 that assumption, and I accept Ted's concerns here  
17 about going through each and every one of them, but  
18 at the point when we were trying to obviously  
19 expedite issues, I didn't think we should take this  
20 much time as will probably be needed to go through  
21 each of the seven findings when in fact we've pretty  
22 much come to the conclusion that they will be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 resolved when the PPG Site Profile becomes  
2 available in each of --

3 MR. KATZ: I think you need -- for the  
4 record you need to go through the findings. I  
5 mean, you can do it in a cursory way. And again,  
6 you're going to have to characterize each of those  
7 findings in terms of its importance for the dose  
8 estimates.

9 CHAIRMAN KOTELCHUCK: Yes, I think we  
10 are, I would say, under administrative -- I don't  
11 want to say pressure. That's not the right word.  
12 But we're under -- we feel a mandate to try to close  
13 what we can close now even understanding that at  
14 some point when there is a revised PER, we'll --

15 MR. KATZ: Yes, that's a separate  
16 matter. It just doesn't have a bearing on this  
17 case review.

18 CHAIRMAN KOTELCHUCK: So I believe we  
19 can close this --

20 MR. KATZ: Yes.

21 CHAIRMAN KOTELCHUCK: -- on Finding 2.

22 MEMBER MELIUS: Yes, Dave, this is Jim

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Melius. You are under pressure.

2 CHAIRMAN KOTELCHUCK: Yes. Okay.

3 Fine.

4 MEMBER MELIUS: Don't have to dance  
5 around it.

6 CHAIRMAN KOTELCHUCK: Okay. Very  
7 good. Fine. That sounds good.

8 So let's close this, unless I hear other  
9 concerns or objections from other Members of the  
10 Subcommittee.

11 MEMBER MUNN: No, that's what we need  
12 to do.

13 CHAIRMAN KOTELCHUCK: Okay.

14 MEMBER CLAWSON: This is Brad. I'm  
15 supportive.

16 MEMBER MUNN: It appears the only real  
17 concern is the wording identifying where the  
18 closure occurred, since it didn't occur here.

19 CHAIRMAN KOTELCHUCK: Right. Could  
20 you suggest some wording?

21 MEMBER MUNN: The wording that we had  
22 for the previous one was ideal I think with respect

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to the things that are closed in the Work Group.

2 MR. KATZ: Well, it's closed for the  
3 case here.

4 MEMBER MUNN: Exactly. And as long as  
5 we identify where --

6 MR. KATZ: The Subcommittee closes it  
7 based on the review that was done by the Work Group.

8 MEMBER MUNN: Exactly. Exactly.

9 CHAIRMAN KOTELCHUCK: Okay.

10 MEMBER MUNN: But the review that was  
11 done by the Work Group identifies where this issue  
12 was discussed and closed.

13 CHAIRMAN KOTELCHUCK: Very good.  
14 Okay. That's going up now, and that's fine.

15 Let's go to 3. I don't have this right  
16 in front of me. How many findings do we have here,  
17 by the way? We're on 3. Is this the last  
18 finding --

19 DR. H. BEHLING: No.

20 CHAIRMAN KOTELCHUCK: -- for this  
21 case?

22 DR. H. BEHLING: This one was the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 failure to identify a dose that was in the record.  
2 And I believe the response from NIOSH was one that  
3 it is not a failed dose, but it may be a missed dose.  
4 And I'm not sure. This is an area -- this is one  
5 particular finding I'm not going to stand hard on.  
6 It's a very minor dose that was identified as a  
7 missed dose, meaning that we would assign a dose  
8 of -- for a zero dose of LOD over two. So we're  
9 talking about 20 millirem.

10 But then again, the question arises if  
11 it is a truly missed dose, and that's a photon dose,  
12 a potential dose of 200 millirem could be or even  
13 greater assigned for the beta component. And  
14 since this is a skin cancer, the real critical issue  
15 is: I come back over and over again, for all the  
16 different things that were identified as findings,  
17 it's open that the question of identifying the  
18 correct beta dose which is a driver for the  
19 potential dose of the skin cancer that will either  
20 make or break this case.

21 So Finding Number 3 may be an issue that  
22 has limited value, but if it turns out to be -- from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what I gather, I had identified one of the earlier  
2 records and it was another missed dose that was not  
3 recognized. And it would only be a question of a  
4 20-millirem photon dose. But when converted into  
5 a skin dose, it could potentially be, as a minimum,  
6 a factor of 10 higher.

7 CHAIRMAN KOTELCHUCK: And am I  
8 understanding that you're suggesting that this  
9 will change when we get the new PER?

10 DR. H. BEHLING: Well, it probably will  
11 be changed because of the fact that among the key  
12 elements is the issue of using the right beta to  
13 photon ratio that applies not only to --

14 CHAIRMAN KOTELCHUCK: Right.

15 DR. H. BEHLING: -- the empirical dose  
16 data, but also assumed exposures, as well as missed  
17 doses. Each time you have a photon dose  
18 assignment, you also have to convert that to a beta  
19 component --

20 CHAIRMAN KOTELCHUCK: Yes.

21 DR. H. BEHLING: -- that is, a minimum  
22 factor of 10 or more greater.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Right.

2 MS. K. BEHLING: But I also think --  
3 this is Kathy -- that based on what I'm reading here  
4 on NIOSH's response that NIOSH I guess looked  
5 pretty closely at the detail of records and is  
6 wondering if our interpretation of those records --  
7 I mean, sometimes looking at this data, it's  
8 difficult. And I believe what I'm reading; and  
9 maybe Scott can -- I'm not sure if it's Scott --  
10 can correct me here, if I'm wrong, but that perhaps  
11 when we look closer at the data maybe there was not  
12 a missed dose.

13 DR. H. BEHLING: Yes, it's subject to  
14 interpretation.

15 MR. SIEBERT: Kathy, this is Scott.  
16 You are correct. That's our response, that we  
17 addressed the number of zeros for this specific  
18 portion accurately based on the records as they  
19 exist. And we gave more detail as to how to read  
20 the records.

21 CHAIRMAN KOTELCHUCK: Then that should  
22 resolve it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: I think so.

2 CHAIRMAN KOTELCHUCK: I move for  
3 closure.

4 MS. K. BEHLING: Yes, this is not  
5 necessarily -- this particular finding is not  
6 necessarily something that will be discussed with  
7 the Work Group, but I do think it's looking at the  
8 records a little closer.

9 CHAIRMAN KOTELCHUCK: Yes. Okay.  
10 Any objection to closure on this?

11 MEMBER MUNN: No.

12 CHAIRMAN KOTELCHUCK: Okay.  
13 Subcommittee Members, let's close it. We can use  
14 the wording that we used above.

15 MR. KATZ: Well, Dave, it doesn't sound  
16 like the wording from another is appropriate here.  
17 Here NIOSH contested the reading and you just  
18 concurred with NIOSH.

19 MS. K. BEHLING: That's correct.

20 CHAIRMAN KOTELCHUCK: Okay. You're  
21 right.

22 MR. KATZ: This is a different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 situation.

2 CHAIRMAN KOTELCHUCK: You're right.  
3 Okay.

4 MEMBER MUNN: In which case, the  
5 wording simply says NIOSH concurs with --

6 CHAIRMAN KOTELCHUCK: SC&A.

7 MEMBER MUNN: SC&A concurs with the  
8 NIOSH position?

9 CHAIRMAN KOTELCHUCK: Right. Right.

10 MEMBER MUNN: The Subcommittee has  
11 closed the -- just the Subcommittee closes.

12 CHAIRMAN KOTELCHUCK: Thank you.

13 MEMBER MUNN: Yes.

14 CHAIRMAN KOTELCHUCK: Good. Any  
15 further comments by Subcommittee Members?

16 (No response.)

17 CHAIRMAN KOTELCHUCK: Okay. Good.  
18 Is that the last one? Again, I don't have --

19 DR. H. BEHLING: No.

20 CHAIRMAN KOTELCHUCK: Okay.

21 DR. H. BEHLING: The next one is really  
22 the nature issue here, and that goes to the issue

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the one to one beta to photon ratio.

2 CHAIRMAN KOTELCHUCK: Yes.

3 DR. H. BEHLING: And that was --

4 MR. KATZ: What number?

5 CHAIRMAN KOTELCHUCK: 325.4.

6 MR. KATZ: Okay. Thanks.

7 DR. H. BEHLING: And for this  
8 particular case there were multiple options that  
9 could have been used inclusive of a table that was  
10 identified in the body of the NTS Site Profile and  
11 more definitive values that could have been used  
12 that were defined in one of the appendices,  
13 including the Niels Bohr data, which is a much more  
14 defined approach where you actually -- in addition  
15 to a ratio at one meter, you define it in terms of  
16 the actual height above the contaminated ground.  
17 So there were multiple options. And that was  
18 accepted by NIOSH and will be revised in the future  
19 revision of the Site Profile.

20 CHAIRMAN KOTELCHUCK: Okay. So it's  
21 accepted by NIOSH. Then that resolves the  
22 conflict. And that indeed is what we had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 previously.

2 MEMBER MUNN: Exactly. Yes, the  
3 wording for the previous --

4 CHAIRMAN KOTELCHUCK: Actually it's  
5 NIOSH accepts in this case --

6 MR. KATZ: Right. Right.

7 CHAIRMAN KOTELCHUCK: -- SC&A's  
8 recommendation --

9 MEMBER MUNN: Exactly.

10 CHAIRMAN KOTELCHUCK: -- as opposed to  
11 SC&A findings.

12 MEMBER MUNN: Yes.

13 CHAIRMAN KOTELCHUCK: But there is  
14 agreement. Good. Thank you. Putting that up  
15 now. Good.

16 Okay. Let's go on.

17 DR. H. BEHLING: Yes, the next one is  
18 325.5. And again, it goes back to the same thing.  
19 They used ORAU's OTIB-0017 when in fact the ratio  
20 of beta to photon dose should be defined, that it's  
21 uniquely limited to fresh fallout rather than  
22 OTIB-0017.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Right.

2 DR. H. BEHLING: And so I assume that  
3 NIOSH agrees with that, too.

4 CHAIRMAN KOTELCHUCK: Let's see.  
5 Missed doses. Right. Right. I'm not quite  
6 clear.

7 And how was this --

8 DR. H. BEHLING: Well, I think that  
9 this one -- and you might as well incorporate the  
10 next one, 325.6, because they address the same  
11 issue, the use of ORAU OTIB-0017.

12 CHAIRMAN KOTELCHUCK: Right.

13 MS. K. BEHLING: I believe the Work  
14 Group -- this is Kathy -- the Work Group has  
15 indicated that they are going to be more specific  
16 in the PPG Site Profile in getting direction or  
17 guidance for how to calculate these shallow doses  
18 and --

19 (Simultaneous speaking.)

20 CHAIRMAN KOTELCHUCK: Right.

21 MS. K. BEHLING: -- doses.

22 CHAIRMAN KOTELCHUCK: So we've got --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: They'll be resolved  
2 through the revision of the Site Profile.

3 CHAIRMAN KOTELCHUCK: For all  
4 purposes, this is a closure in terms of process.

5 MEMBER MUNN: And essentially the  
6 wording appears to be the same as the first one  
7 where we said this was resolved in the Work  
8 Group --

9 CHAIRMAN KOTELCHUCK: Right.

10 MEMBER MUNN: -- and closed for our  
11 purposes.

12 CHAIRMAN KOTELCHUCK: Okay.

13 MS. K. BEHLING: And the same with the  
14 next one, Number 6.

15 CHAIRMAN KOTELCHUCK: Yes. Right.  
16 Good.

17 MS. K. BEHLING: Same type of issue.

18 CHAIRMAN KOTELCHUCK: Yes. So let's  
19 go ahead with that. And last?

20 DR. H. BEHLING: The next one is, I  
21 think -- I'm only looking at the matrix. I wasn't  
22 really prepared to look at the original folders in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the Dose Reconstruction, but I believe that refers  
2 to the CATI report --

3 MR. KATZ: Sorry. What number?

4 MEMBER MUNN: Yes, again the number.

5 CHAIRMAN KOTELCHUCK: 325.7.

6 DR. H. BEHLING: Yes. That the Work  
7 Group identified a second melanoma in the CATI  
8 report that was addressed. If it turns out -- and  
9 again, there should be a record in the file, in the  
10 DOL file that would potentially verify the  
11 diagnosis of the second melanoma. And right now  
12 the box that I have where all this data are stored --  
13 I didn't really prepare to see if in fact I actually  
14 had a record or made even an attempt to get that  
15 record. But it's strictly since item that was  
16 identified in a CATI report that was not  
17 acknowledged in the DR.

18 CHAIRMAN KOTELCHUCK: A-ha. Is that  
19 something you could look up during the break? Is  
20 that something that's available to you to take a  
21 look at and report back to us?

22 MR. KATZ: Or can NIOSH respond to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this?

2 MS. K. BEHLING: This is Kathy. I  
3 actually think that this goes back to DOL. I'm not  
4 sure that this was in the CATI report. It may have  
5 been in the DOL files. However, as NIOSH is  
6 correctly responding, they only can address  
7 cancers that the DOL indicate are --

8 (Simultaneous speaking.)

9 DR. H. BEHLING: Well, apparently the  
10 DOL actually regarded the second cancer as a  
11 metastatic cancer, which to [my] mind of thinking  
12 is very difficult. It's like saying if you're  
13 exposed to radiation exposure involving the whole  
14 body skin or to sunlight the potential exists, as  
15 we've observed over the past, that an individual  
16 may end up having multiple skin cancers, a squamous  
17 cell carcinoma, et cetera, that are not going to  
18 have metastatic cancers but potentially two  
19 independent cancers that just happen to be the same  
20 type of cancer. So I wasn't really sure how that  
21 was resolved.

22 When you have a solid cancer and then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you have a subsequent cancer and you find out that  
2 a secondary metastatic cancer identifies the same  
3 cell line, which is easily done, you can easily  
4 then quantify the -- or qualify the second cancer  
5 as a metastatic cancer. But when you have two  
6 melanomas, they could easily occur independent.  
7 So the question is, were they independent or do  
8 melanomas -- when a melanoma cancer metastasizes,  
9 the second cancer is usually a bone cancer  
10 someplace in another location as opposed to the  
11 skin.

12 MR. KATZ: But, Hans, the important  
13 matter here is NIOSH has to live with the DOL's  
14 determinations on these. So the case is done  
15 correctly if they apply the DOL determinations  
16 here. Now they can raise issues about that.  
17 That's independent of this, though. But you can't  
18 find them wrong for having applied the DOL  
19 determinations.

20 DR. H. BEHLING: Okay. And, Ted,  
21 you're right. I didn't have that information at  
22 the time I wrote my findings. All I really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 identified initially, just go back to the initial  
2 record, is that there was a CATI report that  
3 identified second melanomas that were not even  
4 identified in the DR report. Whether or not they  
5 are in truth metastatic cancer based on DOL  
6 assessment was not really the issue for identifying  
7 it as a finding. It was strictly --

8 MR. KATZ: I think in the future the  
9 thing to do with these is to specify them as  
10 observations, because you can't have a finding  
11 where they've done it correctly. But you can have  
12 an observation and we can follow up on this with  
13 DOL in cases like this.

14 DR. H. BEHLING: Okay. I mean that's  
15 okay. I mean, strictly sometimes we identify a  
16 finding when a CATI report was either -- is in  
17 conflict with what was stated in the Dose  
18 Reconstruction report or is even just simply  
19 ignored as an issue. So if you want to convert such  
20 cases, such instances to an observation, that's  
21 fine, too.

22 CHAIRMAN KOTELCHUCK: We are looking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 at our process. DOL may report things correctly  
2 or, in our judgment, not correctly, and may be  
3 revised, but if we're asking are NIOSH and SC&A in  
4 agreement, then -- and then NIOSH has done what they  
5 were supposed to do based on that diagnosis.

6 DR. H. BEHLING: Yes, I'm not even  
7 contesting you. You're correct. My original  
8 finding simply stated that there was no reference  
9 to the CATI report where the individual claimant  
10 had identified other melanomas. And it was  
11 strictly whether or not they were metastatic or  
12 whether they were two independent melanomas was not  
13 the issue.

14 MEMBER MUNN: These things do fall in  
15 the same category, I think, it's our problem with  
16 ICD-9. That designation is often -- is they appear  
17 to be wrong, but it's not our job. It appears that  
18 all we can do in cases like this is to identify that  
19 these -- that it would appear wise for us to call  
20 it to DOL's attention. But as far as our activity  
21 here in the Subcommittee is concerned, this is not  
22 an issue that we can address.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           MR. SIEBERT: This is Scott. I just  
2 also want to point out that during the CATI, if the  
3 claimant brings up additional cancers or things  
4 like that, we do instruct them at that time to  
5 contact DOL with that additional information,  
6 since they are the correct authority for dealing  
7 with that issue.

8           CHAIRMAN KOTELCHUCK: So to my mind  
9 this could be closed because NIOSH carried out its  
10 responsibilities based on the diagnosis of that it  
11 was given.

12          MEMBER MUNN: Yes, the only question is  
13 whether it should be called to the attention of DOL,  
14 just pointed out to them our --

15          CHAIRMAN KOTELCHUCK: Yes. Ted, you  
16 were at the PPG meeting. I thought that they did  
17 say that they were going to bring it to DOL at the  
18 end of the meeting. I just happened to look at the  
19 transcript before this meeting.

20          MR. KATZ: Yes, whatever -- the  
21 follow-up with the DOL, I did that.

22          CHAIRMAN KOTELCHUCK: Okay. Alright.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Dr. Kotelchuck, this is  
2 John Mauro.

3 CHAIRMAN KOTELCHUCK: Yes.

4 DR. MAURO: Just real quick. I  
5 noticed something interesting here. We just went  
6 through a number of findings and observations for  
7 DuPont and for PPG. This is a perfect example of  
8 if we could have had this information in the  
9 Subcommittee's hands a week ago or so where these  
10 were described just the way they were described  
11 here, I think that that would have expedited the  
12 issues resolution. Because these are exactly the  
13 kinds of things that if we could have before the  
14 Board -- before the Subcommittee in writing well  
15 before the meeting, I think there's where we get  
16 a little bit more expedient. Because we spent  
17 about an hour or so --

18 CHAIRMAN KOTELCHUCK: That's right.

19 DR. MAURO: -- doing this. So I'm just  
20 raising this to say I think this is where we're  
21 going to buy some time --

22 CHAIRMAN KOTELCHUCK: Great, and --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking.)

2 DR. MAURO: -- [with] the new method.

3 CHAIRMAN KOTELCHUCK: Very good. And  
4 that's appreciated. Let's buy some time by  
5 closing this out --

6 (Laughter.)

7 CHAIRMAN KOTELCHUCK: -- and getting  
8 on to our blind reviews, folks.

9 It's a question of what's the wording  
10 for the closure? I'm open to suggestions.

11 MEMBER MUNN: This is another one of  
12 those situations where it was addressed in the Work  
13 Group, and for our purposes closed.

14 CHAIRMAN KOTELCHUCK: Yes.

15 MR. KATZ: Well, I think the wording  
16 here is -- I mean, the specification of the melanoma  
17 doesn't need to be addressed in the DR report. So  
18 that's how you close this. It didn't need to be  
19 in the DR report, and it wasn't, and that's fine.

20 MR. BARTON: Ted, could I make a  
21 comment here? This is Bob Barton.

22 MR. KATZ: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. BARTON: Because I think we all  
2 agree that the dose reconstruction was done  
3 correctly in that correct cancers were  
4 reconstructed. I think really what Hans was  
5 saying is that all the information that you gather  
6 in the CATI report, it would be nice if that was  
7 all reflected in the actual DR write-up so that from  
8 the claimant's perspective they know that all the  
9 information that they're providing is --

10 (Simultaneous speaking.)

11 MR. KATZ: Well, I understand that in  
12 general and I agree totally in general. In this  
13 matter, though, which is not a dose exposure  
14 matter, but their cancer. If they're told in their  
15 interview if you have another cancer, go -- that  
16 doesn't have to be reflected in the Dose  
17 Reconstruction, that they should go to DOL for  
18 another cancer. So I mean, I don't think that  
19 belongs in the Dose Reconstruction report. I  
20 totally agree with you when it comes to where they  
21 discussed exposures, other exposures they had and  
22 all that, but this is something where they get told

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 if you have another cancer, go to DOL. They don't  
2 have to write that up in the report.

3 MR. BARTON: Okay.

4 CHAIRMAN KOTELCHUCK: It was properly  
5 evaluated according to DOL report. Closed.

6 MR. KATZ: Yes.

7 CHAIRMAN KOTELCHUCK: Okay.

8 MR. KATZ: Okay. Good. Okay.  
9 Confirmed cancer. Closed.

10 Folks, this closes it out and this  
11 closes out Sets 10 through 13. We spent --

12 MEMBER MUNN: Who has the champagne?

13 CHAIRMAN KOTELCHUCK: Right. Not  
14 quite. We have -- Kathy, did you want to say  
15 something?

16 MS. K. BEHLING: There are actually  
17 several DCAS cases that are still open in that  
18 matrix.

19 CHAIRMAN KOTELCHUCK: A-ha.

20 MS. K. BEHLING: We have two for Hooker  
21 that are awaiting Work Group action and --

22 (Simultaneous speaking.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay.

2 MS. K. BEHLING: -- two for IMC Corp.  
3 and two for Koppers that are --

4 (Simultaneous speaking.)

5 CHAIRMAN KOTELCHUCK: Two for -- would  
6 you please repeat that? I just want to take it  
7 down. Two for Hooker.

8 MS. K. BEHLING: IMC Corp.

9 CHAIRMAN KOTELCHUCK: Pardon?

10 MS. K. BEHLING: Two open findings and  
11 four observations for Hooker.

12 CHAIRMAN KOTELCHUCK: Yes.

13 MS. K. BEHLING: As well as two open  
14 findings for Koppers Co.

15 CHAIRMAN KOTELCHUCK: Yes.

16 MS. K. BEHLING: And two for IMC Corp.

17 CHAIRMAN KOTELCHUCK: Two for IMC  
18 Corp. Okay. Thank you.

19 Now, folks, it's 11:50 East Coast time.  
20 We would normally work until around 1:00 unless  
21 there's a call for a comfort break. We could take  
22 a comfort break if people want for a couple of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 minutes, or we can --

2 MR. CALHOUN: I'll speak up and say a  
3 comfort break would be nice.

4 CHAIRMAN KOTELCHUCK: Okay. That's  
5 all that's needed. We will take six minutes,  
6 folks, and get back at 12:00 and we'll work through  
7 1:00 on the blind reviews. Okay? And then we'll  
8 stop for lunch. How does that sound?

9 MEMBER MUNN: Good.

10 CHAIRMAN KOTELCHUCK: Good. Okay.  
11 Closed until noon.

12 MR. KATZ: That's sounds good.

13 CHAIRMAN KOTELCHUCK: Thank you,  
14 folks.

15 (Whereupon, the above-entitled matter  
16 went off the record at 11:54 a.m. and resumed at  
17 12:02 p.m.)

18 MR. KATZ: Okay. Well, why don't we  
19 just move on. I'll get to that question. I mean,  
20 that's separate, really.

21 CHAIRMAN KOTELCHUCK: Well, I know I  
22 really --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. CALHOUN: Grady is on the line.

2 MR. KATZ: Okay. I was just trying to  
3 follow up with this issue that Rose raised that  
4 there was --- Hooker I can understand. For some  
5 reason, my thinking is, is that the Work Group can't  
6 meet on Hooker, because they don't have ---  
7 materials aren't ready for Hooker.

8 THE COURT REPORTER: I'm sorry. Do  
9 you want this transcribed?

10 MR. KATZ: I'm sorry, yeah. You're on  
11 the record again. Sorry, Charles.

12 THE COURT REPORTER: Thank you.

13 MR. KATZ: Thanks. But then these  
14 other cases that Rose raised, two for Koppers Co.  
15 and one -- two for IMC, Grady, do you have those  
16 on the list to get responses for?

17 MR. CALHOUN: I do.

18 MR. KATZ: What happened there?

19 MR. CALHOUN: I'll do the IMC one,  
20 because that one is easy.

21 MR. KATZ: Okay. Carry on.

22 MR. CALHOUN: First of all, I'm not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sure that --- well, let's just say it's like DOL.  
2 The operational period is the operational period.  
3 Okay.

4 If you look at the DOE website, it goes  
5 to 1961. Okay. And the finding is that we  
6 assigned dose through 1961 and that we shouldn't  
7 have. We should have stopped in 1959.

8 First of all, I'm not --- I don't  
9 believe that that's very questionable, but I'll  
10 explain this further.

11 The point was made that the pilot plant  
12 stopped operations in 1959. And I've got the DOE  
13 website open right now. And, in fact, it did. But  
14 what happened is after 1959, commercial extraction  
15 process moved into there.

16 And what we do with the residual  
17 contamination study, which again I'll say I don't  
18 believe is subject to the review of this  
19 Subcommittee, is that when we can't determine that  
20 commercial contamination or radiation dose is ---  
21 if we cannot determine that the contamination is  
22 distinguishable from AEC contamination, we have to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 assume that the dose is required to be assigned for  
2 that whole purpose.

3 So the residual contamination, in fact,  
4 the period starts in 1962. We assign dose through  
5 1961, because there was dose through 1961. So  
6 that's the end of the story, really.

7 Do you understand that?

8 MR. KATZ: Yeah.

9 CHAIRMAN KOTELCHUCK: Yes.

10 MR. KATZ: That's absolutely correct  
11 as far as I know --

12 MR. CALHOUN: Yes.

13 MR. KATZ: -- in terms of policy and  
14 regulation. Right.

15 MR. CALHOUN: I mean, really, if you  
16 just look at the DOE website, it says that it's an  
17 AWE through '61.

18 Now we can assign less dose based on  
19 what they were doing in 1961, but we can't assign  
20 no dose because we say that we think that the  
21 operations stopped.

22 The only way that can happen is if we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 change the residual contamination period.

2 MR. KATZ: Right.

3 CHAIRMAN KOTELCHUCK: This should be  
4 dealt with. We don't know if this is the right time  
5 in the meeting to try to deal with this, but ---

6 MR. CALHOUN: There's nothing to deal  
7 with it.

8 MR. KATZ: Well, you can close this  
9 finding, Dave. What Grady is saying is correct and  
10 I think SC&A ---

11 CHAIRMAN KOTELCHUCK: It does sound  
12 correct, but I --- fine.

13 MR. CALHOUN: It doesn't seem that this  
14 should be open anymore.

15 CHAIRMAN KOTELCHUCK: Okay. What  
16 case is that? What case number?

17 MS. ROLFES: 281, Finding 1.

18 CHAIRMAN KOTELCHUCK: Pardon? 281.1.

19 MS. ROLFES: One.

20 CHAIRMAN KOTELCHUCK: Okay. And I ---  
21 okay. Do we close that, folks?

22 MEMBER MUNN: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay. Fine.

2 MEMBER MUNN: As long as we have  
3 agreement from SC&A. That's all we have to do ---

4 CHAIRMAN KOTELCHUCK: Yes.

5 MS. K. BEHLING: I think that sounds  
6 reasonable.

7 MEMBER MUNN: -- as long as SC&A says  
8 that's correct.

9 CHAIRMAN KOTELCHUCK: Yes, it does.

10 MS. K. BEHLING: And, in fact, it would  
11 be claimant-favorable either way to assign more  
12 dose.

13 CHAIRMAN KOTELCHUCK: Right. Right.  
14 And for the others, let's handle them  
15 ---

16 MR. KATZ: Well, I thought Rose said  
17 there was a second finding for IMC.

18 MS. GOGLIOTTI: I believe there is.

19 MR. CALHOUN: They're the same, I  
20 think.

21 CHAIRMAN KOTELCHUCK: Okay. Go  
22 ahead.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. CALHOUN: Yeah, the findings are  
2 both the same for IMC, basically. It's just that  
3 we didn't use dates that matched the residual  
4 history of the facility, but, in fact, we did.

5 MR. KATZ: So, what is the number of the  
6 next finding?

7 MR. CALHOUN: 281.2-G3.

8 MR. KATZ: Okay.

9 MR. CALHOUN: The first one was  
10 281.2-F3, is what I have.

11 CHAIRMAN KOTELCHUCK: Wait a minute.  
12 Both of those Hooker cases ---

13 (Simultaneous speaking.)

14 MR. CALHOUN: IMC.

15 CHAIRMAN KOTELCHUCK: No, we're on  
16 IMC, but 281 was Hooker, I thought. The first  
17 281.1 we just finished.

18 MR. CALHOUN: No, that's IMC. 281 is  
19 International Minerals Corporation.

20 CHAIRMAN KOTELCHUCK: IMC, okay.  
21 We've raised a whole new item on the agenda and I  
22 would like to get back to the agenda.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Our position is closing out  
2 your sets 10 through 13, no?

3 MEMBER MUNN: We can close it.

4 CHAIRMAN KOTELCHUCK: Okay.

5 MR. KATZ: I mean, if you want to get  
6 to the Secretary's report, I would close it.

7 MEMBER CLAWSON: Dave, this is Brad.  
8 I think these right here we can take care of  
9 relatively fast and close out this whole set.

10 CHAIRMAN KOTELCHUCK: Okay. That's  
11 the case and I'll --- so be it. Then could someone  
12 summarize for me then what cases we have closed?

13 The 281.1 that we talked about a few  
14 minutes ago, I thought that was Hooker.

15 MEMBER MUNN: No.

16 CHAIRMAN KOTELCHUCK: That was the  
17 extension of Hooker. I'm wrong. It was IMC.

18 MEMBER MUNN: I think IMC.

19 CHAIRMAN KOTELCHUCK: Okay. And the  
20 next one we talked about or were talking about?

21 MS. GOGLIOTTI: We are still talking  
22 about IMC.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay.

2 MR. KATZ: And it's exactly the same  
3 situation, it sounds like.

4 CHAIRMAN KOTELCHUCK: Right. And  
5 that's 281 point ---

6 MS. GOGLIOTTI: Two.

7 CHAIRMAN KOTELCHUCK: Okay. Go ahead  
8 with the next one.

9 MS. GOGLIOTTI: There also are two  
10 remaining open in Koppers.

11 CHAIRMAN KOTELCHUCK: Right.

12 MR. CALHOUN: Yeah, the ones with  
13 Koppers I don't know. Those are kind of weird. I  
14 don't know how we close those out, because  
15 basically there's not a TBD for those. And the  
16 comment basically is that you couldn't --- we  
17 couldn't figure out how we did the DR.

18 So I don't know if you want us to give  
19 you a step by step of how the DR was done, or what  
20 to do.

21 MEMBER MUNN: Apparently more detail  
22 was needed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ:    Yeah, I think that the  
2                   course forward would be for SC&A to get the  
3                   procedure that was applied for the DR.

4                   MR. CALHOUN:  There wasn't one.

5                   MR. KATZ:  No, but there must be --- you  
6                   did a DR.  You must have followed some methods.

7                   I'm not saying you have a published  
8                   procedure, but they need obviously the details of  
9                   the methods so that they can consider and resolve  
10                  them.

11                  DR. MAURO:  This is John Mauro.  Yeah,  
12                  I was involved in Koppers.  I think this goes back  
13                  to the TBD-6001 issue.

14                  And of course they withdrew TBD-6001  
15                  and they did this case.  And I believe as just was  
16                  pointed out, there was no Site Profile for Koppers.  
17                  And I don't think that we were in the position to  
18                  be able to review what was done.

19                  I didn't, quite frankly, I did not  
20                  research this in preparation of the meeting, but  
21                  I believe that we left it off that in light of that  
22                  circumstance that there was no --- unlike DuPont

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that had a new Site Profile after they withdrew  
2 TBD-6001, I don't believe Koppers did.

3 And as a result, SC&A was at a loss to  
4 be able to review it. And I see by my notes here  
5 that NIOSH indicated that they would take a look  
6 at this to see if they could explain this for the  
7 case.

8 So, I mean, that's all I can offer at  
9 this time.

10 MR. KATZ: Right. No, so all I'm  
11 saying is as to proceed, but we don't need to spend  
12 more time on this, but, Grady, if you folks can  
13 provide ---

14 MR. CALHOUN: Yeah, we'll get  
15 something out there.

16 MR. KATZ: -- information to them so  
17 they can do that review, then we can get that done.

18 MR. CALHOUN: And how we failed in this  
19 one is that when we do these, we intend to make the  
20 DR itself detailed enough so that you can tell  
21 exactly what we did, but obviously we did not do  
22 that very well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Right. Right.

2 CHAIRMAN KOTELCHUCK: Okay. So  
3 you'll talk with each other.

4 MR. CALHOUN: Yes, I will.

5 CHAIRMAN KOTELCHUCK: Okay. And  
6 there were two more?

7 MS. GOGLIOTTI: Yes, there was another  
8 Koppers here.

9 THE COURT REPORTER: Speaker, please  
10 identify yourself.

11 MR. CALHOUN: Same thing, I think.

12 MS. GOGLIOTTI: Is it more of what did  
13 you do?

14 MR. CALHOUN: Pretty much.

15 MEMBER CLAWSON: Rose, I think Charles  
16 may need you to identify yourself to make sure we  
17 have the right person.

18 MS. GOGLIOTTI: This is Rose Gogliotti  
19 with SC&A.

20 MR. CALHOUN: Yes, both of these  
21 basically are the same thing. That would be  
22 282.1-C21 --- this is Grady, by the way -- and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 282.2-F3. Both of them are basically saying that  
2 it's lacking -- at a loss for evaluating NIOSH's  
3 response.

4 MEMBER MUNN: And we don't have the  
5 exposure matrix that -- for Koppers that was  
6 available in TBD-6001.

7 MR. KATZ: Okay. So we're good, Dave.

8 CHAIRMAN KOTELCHUCK: Okay. I  
9 thought there were a total of six.

10 MS. GOGLIOTTI: There is also one  
11 observation open here. 314, Observation 2. And  
12 then the two open Hooker and four observations for  
13 Hooker.

14 MS. K. BEHLING: This is Kathy. 314,  
15 what facility? What site is that?

16 MS. GOGLIOTTI: This is ---

17 MR. CALHOUN: What is it? I can't see  
18 that either.

19 MS. GOGLIOTTI: I believe it is  
20 Bridgeport.

21 MEMBER MUNN: Bridgeport Brass.  
22 That's what it says.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. SIEBERT:    I'm sorry.    This is  
2                   Scott.   314 is the uranium mill in Monticello.

3                   MS. GOGLIOTTI:   Okay.

4                   MEMBER MUNN:    Oh, it says right above,  
5                   yeah.

6                   MR. CALHOUN:    I remember this one.   I  
7                   got to get back with you on that one.   That's that  
8                   crazy radon one.

9                   CHAIRMAN KOTELCHUCK:    Alright.

10                  MEMBER MUNN:    And, again, observation,  
11                  not finding.

12                  MR. CALHOUN:    Right.

13                  MEMBER MUNN:    Keep in mind.

14                  MR. KATZ:    Right.    So we don't have to  
15                  put that to bed, but we do need to put the Hooker  
16                  --- so, Dave, what's remaining there now is the  
17                  procedure for finishing out Koppers.    So we can't  
18                  get those two cases.

19                  And then Hooker, and I'm not certain  
20                  about this, but I think the Work Group can't proceed  
21                  because the Site Profile work hasn't been completed  
22                  yet related to Hooker or something.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I'm not sure about that, but I'll follow  
2 up on that.

3 CHAIRMAN KOTELCHUCK: If you would.

4 MR. KATZ: Yeah.

5 CHAIRMAN KOTELCHUCK: Okay. Then  
6 let's go to the blind reviews. We have resolved  
7 some of them. We've resolved the two IMC.

8 We have something ongoing for Koppers  
9 that SC&A and NIOSH will talk. And we're waiting  
10 for the Site Profile on Hooker, which Ted will  
11 follow up on.

12 On the blind reviews, we're coming back  
13 to it after a long time. Kathy was kind enough to  
14 talk about summarizing where we have been and where  
15 we are on that.

16 Kathy.

17 MS. K. BEHLING: Yes. Rose does have  
18 the summary table that I compiled, on the screen.  
19 And I'll just briefly go through where we are to  
20 date.

21 We have been assigned since the  
22 beginning of this project, 14 blind cases. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 first two that I have listed there, I think it was  
2 under the first contract period, the blinds were  
3 actually assigned in 2009 and 2010. And we had  
4 submitted the comparison report of those blinds.

5 The first one there, Portsmouth, was  
6 submitted in November of 2012. And actually  
7 during the November 27th, 2012 Dose Reconstruction  
8 Subcommittee meeting, we did have an opportunity  
9 to present our findings or just to present the  
10 comparison report.

11 However, we thought that since that was  
12 a fairly long time ago and not all of the current  
13 Board Members were probably part of the  
14 Subcommittee at that time ---

15 CHAIRMAN KOTELCHUCK: Correct.

16 MS. K. BEHLING: -- we would give you  
17 just a sort of brief overview or summary of that  
18 today.

19 The second blind during that period was  
20 X-10. We have not discussed that comparison  
21 report, which was sent out to you in January of  
22 2013.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And thereafter as part of the 17th set,  
2 we were assigned six blinds as you see on this list.

3           And I do have to apologize. I  
4 recognized today, actually this morning, I put in  
5 some incorrect PoC values under the Savannah River  
6 Site, the very last one there on the first page.

7           All of those PoCs were greater than 50  
8 percent. And I'll discuss that in further detail  
9 once we get to that, but they were all greater than  
10 50 percent. All three methods determined that  
11 that would have been a compensable case.

12           And I also will go back --- the original  
13 two blinds that we were assigned at that time, NIOSH  
14 --- or SC&A was asked not to assess a PoC value.  
15 So, that's why you see NC, not calculated, for those  
16 first two blinds, but thereafter we have done our  
17 doses and then followed up with a resultant PoC.

18           Then finally this 20th set, again under  
19 the 20th set, we were assigned six blinds. To  
20 date, we have --- and some of these were just  
21 recently like yesterday we got the comparison  
22 reports.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And there are two blind comparisons.  
2           We've completed all of the blinds in the 20th set.  
3           We have changed our methodology a little bit on this  
4           20th set where in the first eight cases we did a  
5           Method A --- SC&A did a Method A, which is trying  
6           to duplicate what NIOSH does using all the same  
7           tools and guidance documents.

8           And we also did what we call a more ---  
9           I don't want to say practical health physics  
10          approach where -- a Method B where we don't use the  
11          workbooks and we make a comparison.

12          Then on this last set, the 20th set, we  
13          were instructed only to do the Method A, which is  
14          more of a direct comparison to what NIOSH does in  
15          their adjudicated cases. And that's what you see  
16          there on the second page.

17          We have completed all of the blinds.  
18          And what we have been instructed to do is once we  
19          complete those blinds, we send out a memo.

20          Prior to this 20th set, we used to  
21          actually send out a formal blind report. But as  
22          was mentioned, it doesn't really say a lot to you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 if you don't know what --- we don't make a  
2 comparison.

3 So with this 20th set and I assume in  
4 going forward if we're assigned any additional  
5 blinds, we'll simply inform you via a memo saying  
6 these were our total doses, this is our resultant  
7 PoC.

8 And then once you get that memo and you  
9 are convinced that we've done our blind, we will  
10 go ahead and start the comparison to our blind  
11 compared to NIOSH's blind --- or NIOSH's  
12 adjudicated case. And that's what we have done for  
13 the 20th set.

14 We're still working on the comparison  
15 report for two of these six blinds under the 20th  
16 set.

17 Now, what we thought we would do today  
18 if you're in agreement with this, is go through ---  
19 and I will try to prepare you. When we go through  
20 these blinds, it's a fairly detailed explanation  
21 that we have to provide in order --- especially when  
22 we see that there are significant differences in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dose and perhaps in PoCs.

2 So it's going to be like almost a  
3 one-on-one process for the dose reconstructions  
4 where we're going to walk you through step by step  
5 what we did and between, you know, our two methods  
6 initially and then what NIOSH did, where there were  
7 similarities, where there was differences and why  
8 those differences existed, if you're prepared to  
9 hear all that today.

10 Are we okay with that? We will try ---  
11 we understand that we have a lot to go through. And  
12 what we were planning on doing between Doug Farver  
13 and Ron Buchanan and myself, we were going to take  
14 turns going back and forth and walking you through  
15 these various cases.

16 CHAIRMAN KOTELCHUCK: Okay.

17 MS. K. BEHLING: If you're in  
18 agreement.

19 MEMBER MUNN: Dr. Kotelchuck, this is  
20 Wanda.

21 CHAIRMAN KOTELCHUCK: Go ahead.

22 MEMBER MUNN: I'd like to before we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 even start talking about this, I really want to  
2 thank SC&A and I suspect that this is Kathy's work  
3 we're looking at, for getting this table of metrics  
4 to us.

5 This is the crystal clear difference  
6 comparison that I personally, me, can see. And it  
7 was great.

8 I was astonished when I first saw it,  
9 but as I started going through it item by item I  
10 realized what an excellent comparison it is.

11 My suggestion would be that before the  
12 Subcommittee begins going through this in a  
13 case-by-case fashion, and I don't see any other way  
14 to get through it, personally, it appears to me that  
15 it would be wise for us to consider establishing  
16 what we consider a significant enough difference  
17 in the metrics that we see to pursue.

18 In other words, we are going to be  
19 looking at total doses as viewed by each of the  
20 methods that were used.

21 And do we consider less than a hundred  
22 millirem worthy of consideration, or are we talking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about doses of one rem and above as being worthy  
2 of our time to discuss the differences?

3 If we don't make some distinction here  
4 as to how large a variation we want to spend time  
5 looking at, then we can spend a lot of unnecessary  
6 time thinking about each of these.

7 CHAIRMAN KOTELCHUCK: Well taken.  
8 Thoughts, folks?

9 MEMBER CLAWSON: Well, this is Brad.  
10 You know, I understand the dose of it. But when  
11 we start taking a look at dose, a little dose here,  
12 a little dose there, it all adds up, or do we need  
13 to take a look at the end process what the PoC comes  
14 out?

15 You know, to me, that's the end result  
16 is what the PoC comes out. We can have a lot of  
17 little doses and they can add up, or do we want to  
18 take a look at the end?

19 But it's just my opinion, Wanda, but,  
20 you know, I guess my thing is looking at the end  
21 process, what got us to that.

22 CHAIRMAN KOTELCHUCK: I really concur

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with you, Brad, that I think first let's look where  
2 the PoCs differ -- or where the decision differs,  
3 in fact, not even the PoCs. The decision differs.

4 MEMBER CLAWSON: Right. And I agree  
5 wholeheartedly with Wanda that, you know, the  
6 little doses, you know, what a big difference.

7 But what I am saying is, yeah, we can  
8 have -- well, they can be off a little bit here and  
9 there on doses, but it seems like, you know, it goes  
10 back and forth who has it and what I am just saying  
11 is I wanted to take a look at the end result.

12 Wanda is absolutely right. We can  
13 argue all day about how they come up with that, but  
14 the end result is what I'm more focused on.

15 And maybe that's wrong, but, you know,  
16 that's kind of how I ---

17 CHAIRMAN KOTELCHUCK: Yeah.

18 MS. K. BEHLING: Excuse me. This is  
19 Kathy and I'll just throw this out for  
20 consideration.

21 When I went through these comparison  
22 reports, I found it very interesting and it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something that I did try to point out in the report.

2 There were times that the doses were  
3 very close, but the methodology to get there was  
4 different.

5 I saw cases where each method used the  
6 same table from the same TBD and came out with very  
7 different doses because of professional judgments  
8 regarding should you use the 50th percentile, how  
9 do you classify this worker? Is he an admin  
10 worker? Is he a laborer? Is he a supervisor?

11 So it was interesting to me to see those  
12 types of differences. And so you might see doses  
13 that look almost identical, but the approach to  
14 getting there in some instances was very different.

15 If I can just --- and, you know, one of  
16 the things as we were preparing to have this  
17 discussion today, Rose had made a comment that  
18 perhaps going forward we would want to deal with  
19 these blinds on --- as we do with the one-on-ones  
20 because they are complex.

21 And from my perspective now especially,  
22 like I said, since I've gone through most of these,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I've done most of these comparisons, it's very  
2 interesting to see what you --- the outcome.

3 And, like I said, it's not always just  
4 the dose. It's just the approach and how we get  
5 there.

6 Now what we were trying to do today was  
7 keep it --- even though we have to work through it  
8 all -- keep it as clear and simple and only point  
9 out to you when there are significant differences  
10 either in methodologies or in doses.

11 And sometimes doses can be fairly  
12 similar. But because of uncertainty factors as  
13 how these data were entered into IREP, the PoCs will  
14 be very different even though doses are the same.

15 So I would just caution you a little bit  
16 for not letting us walk through these.

17 Now, perhaps that's not something  
18 you're willing to do today and maybe we do want to  
19 think about doing our one-on-one-type thing in the  
20 next week. I don't know.

21 MR. KATZ: This is Ted, Kathy. I mean,  
22 I agree. I'm not sure about the one-on-one even

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what you're meaning, because I don't think doing  
2 this just with an individual Board Member or two  
3 is really the way to go at all. It's not really  
4 informative for the larger --

5 MS. K. BEHLING: Okay.

6 MR. KATZ: I do think we invest a lot,  
7 Dave, and the rest of you Subcommittee Members, in  
8 doing these blind reviews. We've invested a lot  
9 of resources, effort, and I think that there is a  
10 lot of insight to be gained by going through these  
11 sort of the way Kathy is saying, irrespective of  
12 where the PoC comes out or what have you.

13 So I would really hate for the  
14 Subcommittee to give short shrift to this sort of  
15 pretty major effort that I think has the  
16 possibility of, you know, at least raising some  
17 useful discussion, insight and understanding of  
18 how dose reconstructions are done currently and  
19 differences and how to think about what's needed  
20 down the road in terms of case reviews. So that's  
21 my pitch.

22 CHAIRMAN KOTELCHUCK: Well, I respect

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that that would be very interesting. But if I may  
2 comment overall with it, I am impressed at how close  
3 the PoCs are. They're all within about two percent  
4 with one exception on the 20th set.

5 That's the one I certainly want to focus  
6 in on, or put it this way: I want to find out when  
7 there is a difference of decision or if those are  
8 the things that we need to look at most actively.  
9 That's to say those are my --- I would say that's  
10 a priority issue.

11 I'm also wondering --- I received ---  
12 Ted, you sent me Kathy's report from February 2015  
13 on Rocky Flats blind dose reconstruction. I  
14 didn't see that here.

15 Kathy, did you mention that or did we  
16 hold on that before? Did I miss it?

17 MR. KATZ: It's covered in the report  
18 I saw.

19 MS. K. BEHLING: I believe you're  
20 talking about under the 20th set lines on the second  
21 page of my summary table. There is the  
22 third -- fourth case down is at Rocky Flats plant.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN           KOTELCHUCK:           Is  
2           that -- that's a different number than I have.   I  
3           have [identifying information redacted].

4                   There it is.   There it is.   Okay.   We  
5           hadn't scrolled down enough.

6                   MS. K. BEHLING:   Okay.

7                   CHAIRMAN   KOTELCHUCK:           I'm   sorry.  
8           It's the 17th set.   We were looking at the 20th.

9                   That was another one that was very  
10          concerning, because the decision was fundamentally  
11          changed depending on which approach [was taken].

12                  I would only say that those represent  
13          priorities, in my mind, for the first ones I want  
14          to go over.

15                  MR. KATZ:   Yeah, I'm not disagreeing  
16          with you at all, Dave, on that.

17                  CHAIRMAN KOTELCHUCK:   Yeah.

18                  MR. KATZ:   I just was, again, pitching  
19          that we really give consideration at the end of the  
20          day to all of them.

21                  CHAIRMAN KOTELCHUCK:   Sure.

22                  MR. KATZ:   Yeah, that's all.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. CALHOUN: This is Grady. I think,  
2                   yeah, I think that's alright. I think that maybe  
3                   we need to make sure we go into this with a very  
4                   open mind, because the -- I've got this feeling that  
5                   we're not going to close any of these out with this  
6                   method.

7                   And although I hate to volunteer it up,  
8                   I mean, maybe we need to -- maybe we're going to  
9                   ultimately need to provide written response back  
10                  on all these so that that can be reviewed before  
11                  the meeting.

12                  I'm all for trying to do it the way you  
13                  want to do it. I just -- I have a tendency to  
14                  believe that this is going to be very, very  
15                  complicated and very long and cumbersome, but I'll  
16                  be open-minded and see how it goes.

17                  CHAIRMAN KOTELCHUCK: Yeah.

18                  MS. K. BEHLING: And I'll also point  
19                  out, this is Kathy again, that we did not make any  
20                  findings as you are used to seeing in our dose  
21                  reconstruction reviews.

22                  We simply laid out the three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 methodologies that were used at least prior to the  
2 20th set, and then the two methodologies, the SC&A  
3 and NIOSH.

4 We didn't really identify any specific  
5 findings. We just laid out this is how one  
6 reviewer -- the approaches that they took, the  
7 decisions that they made, and this is how another  
8 dose reconstruction auditor viewed that same data.

9 CHAIRMAN KOTELCHUCK: Right.

10 MS. K. BEHLING: So there's no specific  
11 findings that can be addressed. Now, as Grady is  
12 saying, there will be -- and one of the things that  
13 I tried to do when I was writing up these comparison  
14 reports and, in fact, the Allied Chemical is a very  
15 good example, I tried to explain why if there was  
16 certain data that was used or there was  
17 percentages, in fact, in that particular case,  
18 NIOSH used a percentage of data in a generic TBD  
19 --- or, no, OTIB, their justification for doing  
20 that and that will become a discussion point during  
21 that particular blind.

22 CHAIRMAN KOTELCHUCK: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: I did try to explain,  
2 you know, their justification for doing what they  
3 did and why SC&A maybe did not make that decision.

4 CHAIRMAN KOTELCHUCK: Okay. Right.  
5 And I ---

6 MS. K. BEHLING: The other thing that  
7 I will make mention of is one of the other things  
8 --- and, again, here we do have to apologize because  
9 we didn't get all of these comparison reports into  
10 your hands.

11 Some of them from the 20th set  
12 especially just came in within the last few days,  
13 although you have had the other eight comparisons  
14 for some time.

15 One of the things I really tried to do  
16 was make it very, very clear, lay out the report  
17 in a very clear fashion.

18 And if there are any changes that you  
19 would like to see in this comparison report, let  
20 us know, but I think that the approach that we took  
21 in writing this up, I tried very hard to make it  
22 very concise and clear so that you could compare

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 apples to apples.

2 CHAIRMAN KOTELCHUCK: I'm going to  
3 repeat what Wanda said before, which is to say I  
4 really appreciate the clarity of these tables.

5 And although you stated that we all had  
6 these before, I do not feel that I had those before.  
7 And I must say I was -- I spent part of the day  
8 yesterday trying to look up what we had done under  
9 the 17th set. And even going into the transcript,  
10 I could not follow it with any clarity.

11 So this is, in a way, other than two that  
12 we discussed in our Subcommittee meetings, this is  
13 the first time, if you will, I've seen them in a  
14 set.

15 MS. K. BEHLING: Okay. You're  
16 correct. The 17th set were all -- comparison  
17 reports were all sent out by the end of February.  
18 They came in, in the December, January, February  
19 time period of this, you know, 2014-2015.

20 CHAIRMAN KOTELCHUCK: Yes.

21 MS. K. BEHLING: So, you're correct  
22 there. And, again, my apologies with that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Well, I  
2 appreciate going -- we're going forward now and I  
3 appreciate having clarity now to move forward.

4 How should we -- let me, perhaps, may  
5 I hear from other Subcommittee Members about  
6 how -- their sense of how we should proceed?

7 Brad and I and Wanda have spoken. John  
8 or David, might you have some comments for us about  
9 what's your sense to how we might go forward?

10 MEMBER POSTON: Well, I've been  
11 sitting here listening. And since I didn't have  
12 anything to disagree with, I didn't think it was  
13 necessary to repeat anything. I agree with what's  
14 been said so far.

15 CHAIRMAN KOTELCHUCK: Okay. Which is  
16 to say focus in on -- I don't want to put words in --

17 MEMBER CLAWSON: David, this is Brad.  
18 I'm going to tell you the truth. I think we've got  
19 to first get into them and see what -- be able to  
20 figure out a path forward for what's relevant and  
21 what isn't.

22 I think we've got to be able to start

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 into them and start evaluating them. And to tell  
2 you the truth, I've been sitting back looking at  
3 all this information, what it's given me, you know.

4 CHAIRMAN KOTELCHUCK: Yeah. Well, I  
5 think that sounds like a sensible approach unless,  
6 David, did you want to say something? Did I cut  
7 you off?

8 MEMBER RICHARDSON: No, you didn't cut  
9 me off. Yeah, and I think Grady's concern is  
10 possibly well founded. I think we'll have to get  
11 into it and see, but potentially [it] could be  
12 pretty complicated.

13 CHAIRMAN KOTELCHUCK: Yeah, that's  
14 what I'm worried about, too. On the other hand,  
15 maybe we should do one, as Brad suggested, in  
16 detail. And then after that, see how, based on  
17 that discussion, how we might move ahead more  
18 rapidly.

19 I would just say as a priority, I would  
20 take one where the PoC, the decision was flipped.

21 MS. K. BEHLING: Okay. In fact ---  
22 this is Kathy again. I was going to suggest the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 same thing.

2 Can we perhaps start with the Allied  
3 Chemical from the 17th set, the first one listed  
4 under the 17th set? I'm prepared to discuss that  
5 and I'll try to keep it brief enough that we can  
6 get that done before lunch.

7 CHAIRMAN KOTELCHUCK: Oh, my goodness.  
8 Oh, yes. Fine. I'm impressed that you think we  
9 can finish it before lunch.

10 MS. K. BEHLING: Well ---

11 CHAIRMAN KOTELCHUCK: But be that as it  
12 may, I think that's a good --- I concur. How do  
13 others feel? Good? Shall we go ahead with that  
14 one?

15 You're ready to talk about it and --

16 MS. K. BEHLING: Yes, I am. And, in  
17 fact, I believe it was Doug and John Mauro who they  
18 initially did --- Doug, I believe, did SC&A's  
19 Method A, and John Mauro did Method B.

20 And then I as an independent, I reviewed  
21 everything, peer reviewed and then put together the  
22 comparison report.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So if during my discussion John and Doug  
2           want to jump in, please don't hesitate. And I see  
3           Rose has this particular case up on LiveMeeting.

4           CHAIRMAN KOTELCHUCK: Yes.

5           MS. K. BEHLING: I'll start as we do  
6           with our dose reconstruction audits. This  
7           particular case was obviously an individual that  
8           worked at Allied Chemical.

9           If we go to Page 7 of the report, we put  
10          together on Table 1.1 a comparison of Method A's  
11          dose, Method B's dose as I did similar in our  
12          overview ---

13          CHAIRMAN KOTELCHUCK: Right.

14          MS. K. BEHLING: -- and NIOSH. And as  
15          you can see, there are significant differences in  
16          dose primarily in the internal dose.

17          And the other thing that was  
18          interesting with this is that SC&A's Method B did  
19          a partial dose reconstruction and only considered  
20          the radon component. And we'll talk about that in  
21          a little bit more detail.

22          MR. SIEBERT: Hey, Kathy. I'm sorry.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 This is Scott Siebert. I just want to clarify for  
2 some that there are multiple Allied Chemicals out  
3 in the complex.

4 This specific claim is dealing with  
5 Allied Chemical and Die Corporation in Delaware.

6 MS. K. BEHLING: Yes.

7 MR. SIEBERT: Probably not the Allied  
8 Chemical that most people think of when we say  
9 Allied Chemical.

10 MS. K. BEHLING: Thank you. And I  
11 should have clarified that. But if we go now to  
12 Page 8, this is Allied Chemical and Die of North  
13 Claymont, Delaware.

14 This individual worked at that facility  
15 from [identifying information redacted] through  
16 [identifying information redacted]. And then  
17 there was a year break and started again in  
18 [identifying information redacted] through  
19 [identifying information redacted].

20 The individual was a [identifying  
21 information redacted]. There were no monitoring  
22 records. And there is no Site Profile or survey

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 data or Technical Basis Document for this Allied  
2 Chemical site. The individual was diagnosed with  
3 a [identifying information redacted] cancer in  
4 [identifying information redacted].

5 Now, since there was no monitoring data  
6 and there's no TBD, I've listed there the various  
7 guidance documents that were used by the three  
8 different methods.

9 CHAIRMAN KOTELCHUCK: Kathy, pardon me  
10 for interrupting. Could somebody scroll to that  
11 page you're talking about, Page 8?

12 MS. K. BEHLING: Page 8.

13 CHAIRMAN KOTELCHUCK: Thank you.

14 MS. K. BEHLING: Okay. Yeah, there we  
15 go. And if we scroll down a little bit further,  
16 we can see that the type of documents were used,  
17 there is a generic OTIB out there, OTIB-43, that  
18 seemed appropriate for this particular case.

19 Also, they used Battelle TBD-6000 for  
20 portions of the doses. OTIB-70, residual  
21 radioactivity at the AWE sites was used. And for  
22 one of the methods, they used surrogate data from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the Blockson Chemical Company, which is the  
2 TKBS-0002 that you see.

3 And then finally for the radon data,  
4 they used the Florida Institute of Phosphate  
5 Research report for assigning the radon dose, which  
6 we'll discuss in just a brief time.

7 If we move on to Page 9, Table 2.1, this  
8 is where I try to lay out a comparison of the data  
9 and assumptions used by the different methods.

10 As you can see, I'm not going to go into  
11 detail on this, because we'll go into detail as we  
12 go through the report, but I try to summarize the  
13 different, like I said, approaches that were ---  
14 and data that were used for each of the dose  
15 elements, but we'll get into more detail as we go  
16 through this.

17 If we can move on to Page 10 and we'll  
18 discuss the external dose and how photon doses  
19 during the operational period were calculated.

20 Now, NIOSH and SC&A's Method A used  
21 OTIB-43 for assessing this particular dose. The  
22 only thing is, their approach to doing this was a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 little bit different.

2 There is a Table 4.1 --- yeah, 4.1 in  
3 OTIB-43 that provides upper bound doses and also  
4 a geometric mean exposure rate.

5 What NIOSH determined they would do is  
6 take 10 percent, use 10 percent of that upper bound  
7 external exposure of 220 millirem per year from  
8 Table 4.1 to calculate the 30 to 50 and greater than  
9 250 doses.

10 And I'll explain a little bit later why  
11 they did that once we get into the internal dose  
12 at least based on communications that I had with  
13 David Allen from NIOSH, because it wasn't clear to  
14 me in the dose reconstruction report why that was  
15 done.

16 They also used a DCF value. They used  
17 the exposure to organ DCF value. And you can see  
18 if you scroll down a little bit, I actually did a  
19 calculation. I did one of the calculations for one  
20 of the years for you as an example.

21 Now, Method A, this method used the  
22 geometric mean value from OTIB-43. Same table,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but different decisions being made here. This is  
2 what I wanted to point out.

3 And, like I said, this 10 percent issue  
4 was something that NIOSH looked at the maximum  
5 value applied at 10 percent where we -- where SC&A's  
6 Method A just used this geometric mean value.

7 As I indicated up front, so you're not  
8 confused by the fact that I'm not talking about  
9 SC&A's Method B, that method only looked at the  
10 radon dose and felt that that was enough --- the  
11 radon exposure was enough to put this individual  
12 over the 50 percent. So they did a partial.

13 If we go on then to Section 2.1.2, which  
14 is on Page 11, this is photon dose during the  
15 residual period which begins in 1970. And this  
16 individual obviously worked throughout the  
17 operational period.

18 And at least up until 1975 of the  
19 residual period, I think the residual period goes  
20 out to '77, again NIOSH used the same methodology.  
21 They calculated based on a 10 percent of this  
22 maximum value.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           SC&A, now is where SC&A picked up  
2 OTIB-70 and looked at the adjustment factors to  
3 account for depletion of the source term based on  
4 methodology in OTIB-70.

5           And if you scroll down a little bit, our  
6 Table 2.2 shows residual doses and based on the  
7 adjustment factors that were pulled out of OTIB-70  
8 for calculating the photon doses during the  
9 residual period.

10           We'll go on and I do provide a little  
11 comparison table there, Table 2.3 of the photon  
12 doses calculated by each of the methods. And you  
13 can see in this particular case with the externals,  
14 the differences are not real significant. They  
15 get much more significant when we start talking  
16 about internal doses.

17           Occupational medical, again, Method B  
18 did not consider occupational medical. Both NIOSH  
19 and SC&A's Method A calculated occupational  
20 medical doses.

21           They assigned annual doses for the  
22 operational --- yeah, here was the difference.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 They both used same documents. The only thing is  
2 that NIOSH signed the occupational medical only for  
3 the operational period while SC&A's Method A  
4 assigned annual occupational medical dose for both  
5 the operational and residual period.

6 So, that's why you'll see in Table 2.4  
7 a little bit of a difference there in dose. That's  
8 why the SC&A dose is a little bit higher.

9 Okay. Going on to the internal doses  
10 now, Page 13. Now here is where I'll try to explain  
11 NIOSH's rationale for, again, they calculated  
12 internal doses during the operational period using  
13 10 percent of a maximizing intake value from Table  
14 4.3 of OTIB-43.

15 And they based that on the fact that  
16 they said due to the fact that this was a bench scale  
17 operation going on at the Allied Chemical and  
18 OTIB-43 is based on a large scale production, they  
19 felt that the assumption of 10 percent was  
20 appropriate. So that's why they made that  
21 decision.

22 While we're at this point, and I'm going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 out on a limb here a little bit, but the only  
2 question that I did have in my mind that I'll just  
3 put out there is do --- and I didn't go back to  
4 verify this. I'm wondering with this particular  
5 case or with this particular site, do all dose  
6 reconstructors use this 10 percent value?

7 And just as I was working through this,  
8 I wondered if there might even be, and NIOSH could  
9 probably answer this for us, they often have these  
10 guidelines or notes, as we used to call them, that  
11 help to guide the dose reconstructors to all make  
12 similar decisions.

13 And in this particular case it just  
14 struck me, was this a professional judgment that  
15 was used just by this dose reconstructor, or do all  
16 dose reconstructors maybe know that this is an  
17 option they should consider using this 10 percent?

18 And we can go on, and then, NIOSH, I  
19 don't think they're probably in a position to  
20 necessarily answer that question today, but I go  
21 through some calculations here as to how they went  
22 about doing their internal dose calculations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Now SC&A in this case for the  
2 operational internal dose, this is where we  
3 decided, well, we're going to use surrogate data.

4                   And so, we went into the Blockson  
5 Chemical site TBD and made a list of assumptions.  
6 I think Doug has about eight different assumptions  
7 here -- if we scroll down between Page 13 and 14  
8 -- as to what went into calculating the internal  
9 doses. And I summarize those for him in Table 2.5  
10 at the bottom of that page.

11                   So, again, two different methodologies  
12 and approaches to calculating internal dose for the  
13 operational period.

14                   As you can see, NIOSH used the OTIB-43  
15 and Doug used a combination of Blockson and OTIB-43  
16 in his assumptions.

17                   If we move on to inhalation doses during  
18 --- oh, and as you can see, okay, one other thing  
19 I wanted to point out -- let me see if I did this  
20 right.

21                   CHAIRMAN KOTELCHUCK: Pardon me.

22                   MS. K. BEHLING: Yes, I'm sorry.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: What is  
2 Blockson?

3 MS. K. BEHLING: Blockson Chemical is  
4 another Site Profile that deals with  
5 phosphogypsum. So it's a similar ---

6 CHAIRMAN KOTELCHUCK: Okay. I hadn't  
7 been aware of the existence of ---

8 MEMBER MUNN: Yeah, a very similar  
9 process and almost identical. We dealt with it at  
10 great lengths prior to your arrival on the Board.

11 CHAIRMAN KOTELCHUCK: Very good.

12 MEMBER MUNN: Several years ago.

13 CHAIRMAN KOTELCHUCK: Very good.  
14 Okay. Well, thank you.

15 MS. K. BEHLING: And I just want to  
16 point out I'm trying to go through this quickly.  
17 So I'm missing some of my notes here.

18 The inhalation dose that was assigned  
19 for the operational period by NIOSH was a little  
20 bit over 15 rem. And then based on SC&A's approach  
21 we determined the inhalation dose to be 93 rem. So  
22 you can see the obviously significant difference

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       there.

2                   The inhalation dose then for the  
3 residual period, again NIOSH based this on the  
4 operational period. I give you an example of a  
5 calculation and they applied settling and  
6 resuspension factors shown on Page 15.

7                   Are we there?

8                   CHAIRMAN KOTELCHUCK: Yes.

9                   MS. K. BEHLING: Okay. And the doses  
10 associated with the residual period as calculated  
11 by NIOSH ended up being 88 millirem.

12                   They looked at the uranium and thorium.  
13 They compared the different solubility types and  
14 rem. CADW to come up with that 88 millirem where  
15 SC&A's Method A for the residual inhalation dose,  
16 they used again the OTIB-70 average depletion  
17 values as is shown in Table 2.6.

18                   And that dose ended up being calculated  
19 as 24.6 rem for the residual period. So, again,  
20 significant difference between the two methods and  
21 their doses.

22                   If we move on to Page 16, the inhalation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dose, only NIOSH calculated a dose associated with  
2 the inhalation pathway. They used guidance in  
3 their OCAS-TIB-009 TIB and again used a 10 percent  
4 value of the OTIB-43 values, as I describe there.

5 And I provide you with an example of the  
6 calculation that they used for the operations and  
7 the residual period. And as I said, neither of  
8 SC&A's methods calculated an ingestion dose.

9 Now, we'll go on to the radon and here  
10 to do the radon exposures, again NIOSH used a 10  
11 percent of the maximum OTIB-43 values that were  
12 cited in Table 4.4 of OTIB-43.

13 Again, as we described, they assumed  
14 that because of the difference in --- the  
15 differences between how OTIB-43 was designed and  
16 what was going on at Allied Chemical, they felt that  
17 that 10 percent was appropriate.

18 SC&A used best estimate value from  
19 Table 4.4 here again using same tables, same OTIBs,  
20 but selecting different values. They pulled  
21 out -- or we used the best estimate value of 0.036  
22 working levels per year for that table.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And then lastly this is where Method B  
2           came in and they used EPA guidance and, again, as  
3           I mentioned, the Florida Institute of Phosphate  
4           Research data to assign exposures to radon based  
5           on a four picocurie per liter limit, which, as we  
6           showed here, translates to a 0.235 working level  
7           months per year at a 50th percent equilibrium.

8           This method also only assigned that  
9           exposure for nine years of the employment rather  
10          than throughout the entire employment. And I  
11          think I've summarized then the comparison of  
12          internal doses in Table 2.7, as you can see.

13          And the summary conclusions on Page 18,  
14          again you can see the total doses, you can see the  
15          total radon exposures. And in both the SC&A cases  
16          --- the SC&A's methodology resulted in a PoC of  
17          greater than 50. And with NIOSH, the PoC was 45.9  
18          percent.

19          So there it is in a nutshell and all  
20          before one o'clock.

21                   CHAIRMAN KOTELCHUCK: Well, very good.  
22           We need to chew on this over lunch.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. CALHOUN: Well, let me add my  
2 little two cents before we chew on it.

3 CHAIRMAN KOTELCHUCK: Please do.

4 MR. CALHOUN: Okay. Because mine is  
5 short and sweet and it's exactly what she said is  
6 that TIB-43 is based on an operational production  
7 level uranium extraction phosphate plant.

8 Allied Chemical and Die, which Scott  
9 pointed out, which should not be confused with  
10 Allied Chemical, was a very small pilot scale  
11 operation that only processed a few pounds of  
12 material and assigning somebody a dose consistent  
13 with a production level facility is just not  
14 appropriate.

15 And we believe that a 10 percent  
16 assigning of that dose of internal and external was  
17 certainly claimant-favorable based on the type of  
18 facility, and even the job classification of the  
19 individual, that one really didn't come into a  
20 whole lot of play, but you can't imagine that given  
21 the fact that this was a pilot scale operation that  
22 a [identifying information redacted] would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 involved in.

2 So that's really the crux of the  
3 difference with the whole dose reconstruction.  
4 And that's our explanation as to why they were  
5 different.

6 MEMBER MUNN: There is one piece of  
7 information that no one mentioned. A question in  
8 my mind, because I have not gone back and read  
9 everything there is to read about this particular  
10 small operation, this was, I believe, a wet  
11 process, correct?

12 MR. CALHOUN: Yes.

13 MEMBER MUNN: It is the same wet  
14 process that we're accustomed to seeing in these  
15 phosphate extraction plants. Minor differences,  
16 but for all intents and purposes it's a wet, small  
17 laboratory almost --- just beyond laboratory  
18 production of a very small amount of radioactive  
19 material over a long period of time in a wet  
20 extraction process. Just wanted to make sure that  
21 I have that correctly.

22 MR. CALHOUN: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER MUNN:        Because that's my  
2 personal reality check about these.

3                   MS. K. BEHLING:   The only other thing  
4 that as I mentioned earlier --- Kathy again --- is  
5 there any --- since there is no TBD and no specific  
6 information associated with the Allied Chemical  
7 and Die Company in doing the dose reconstruction,  
8 is there some instruction out there that would tell  
9 all dose reconstructors who are going to do these  
10 types of cases to use that 10 percent?

11                  MR. CALHOUN:    I don't know that and  
12 you're right that I wouldn't be prepared to talk  
13 of that one.   I just looked quickly and we haven't  
14 comped a single case from that site.

15                  MS. K. BEHLING:   Okay.   And I'm not  
16 disagreeing with using the 10 percent.   That  
17 sounds reasonable to me.   However ---

18                  MR. CALHOUN:    I'm going to check on  
19 that, though.

20                  MS. K. BEHLING:   Okay.

21                  MR. CALHOUN:    There's 18 cases total.  
22 I wouldn't be concerned at all if they didn't use

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a fraction of that for something like a prostate  
2 cancer, but I'm interested in determining if 10  
3 percent was consistently used for the metabolic  
4 cancers.

5 And I also agree that there should have  
6 probably been more discussion in the body of the  
7 DR as to using a fraction of it and why. I think  
8 that could have been clearer.

9 MS. K. BEHLING: Yes, it was not  
10 explained in there and I had to actually contact  
11 David Allen, as I mentioned. And I've included the  
12 memo in our references in order to determine why  
13 that was done.

14 But, like I said, what really stands out  
15 in my mind is a consistency issue and to ensure ---  
16 because as you can see, I mean, SC&A, we've reviewed  
17 a lot of cases and we used data that was available  
18 to us, as you did.

19 And if the dose reconstructors, the  
20 ORAU and NIOSH dose reconstructors aren't all given  
21 consistent data, are they aware that 10 percent is  
22 appropriate in this particular case?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And I agree with that. I'm just saying  
2 they all need to be aware of that.

3                   MR. CALHOUN: Right.

4                   CHAIRMAN KOTELCHUCK: By the way, it is  
5 just after --- I may --- could we perhaps go on,  
6 if people would agree, until 1:15 so that Board  
7 Members can ask questions when this is fresh in  
8 front of them?

9                   So, unless I --- do I hear some  
10 objection to going for another --- until 1:15?

11                  MEMBER MUNN: Well, not if people have  
12 questions.

13                  CHAIRMAN KOTELCHUCK: Yeah.

14                  MEMBER MUNN: I think we ought to  
15 postpone discussion, but, yeah, questions should  
16 ---

17                  MEMBER POSTON: Dave, I've got another  
18 meeting at 12:30. 1:30 your time, but I'll stay  
19 as long as I can.

20                  CHAIRMAN KOTELCHUCK: You have a  
21 meeting at 12:30. 1:30 our time.

22                  MEMBER POSTON: Yeah, it's about a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 15-minute drive.

2 CHAIRMAN KOTELCHUCK: Yeah. So you  
3 will come back later after the meeting -- actually,  
4 John, no need, I mean, you need not in terms of a  
5 quorum. We have a quorum even if you were to leave.

6 So you will leave ---

7 MEMBER POSTON: In about five minutes.

8 CHAIRMAN KOTELCHUCK: Okay. Then in  
9 which case --

10 MS. K. BEHLING: This is Kathy again.  
11 I'm sorry.

12 CHAIRMAN KOTELCHUCK: Yes.

13 MS. K. BEHLING: Can I just be sure  
14 that, if you don't mind, asking Doug and John Mauro,  
15 did I explain things to your satisfaction? Is  
16 there anything that you would like to add?

17 DR. MAURO: Yeah, this is John. I'd  
18 like to add just one point that's really  
19 fundamental. No one talked to each other.

20 In other words, when I worked on Method  
21 B, I did not communicate with the folks at SC&A  
22 doing Method A. And of course we never saw NIOSH.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 So this whole process is extremely interesting,  
2 because what we really have is three -- truly blind.  
3 How would you come at the problem?

4 And even within SC&A we did not talk to  
5 each other. And so it's very revealing. And what  
6 I would like to bring to the attention of everyone  
7 concerned is that what's really interesting here  
8 is the judgment calls that are --- and it's truly  
9 appropriate to leave a degree of discretion, you  
10 know.

11 You can't turn a crank. So you have to  
12 leave a degree of discretion to the dose  
13 reconstructor on how he's going to come at the  
14 problem.

15 And the differences that we see here in  
16 many respects have to do with these kinds of  
17 judgments.

18 And in this case it's particularly  
19 interesting, because the judgments made actually  
20 make a difference between compensation and not  
21 compensation.

22 CHAIRMAN KOTELCHUCK: Well, there --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Not just compensation.

2 CHAIRMAN KOTELCHUCK: There are a  
3 hundred rems of difference. This is huge.

4 DR. MAURO: Yeah, this is ---

5 CHAIRMAN KOTELCHUCK: And upsetting,  
6 in fact.

7 DR. MAURO: This is an astounding case  
8 and this is one that I think that's really worthy  
9 of ---

10 CHAIRMAN KOTELCHUCK: Well, since John  
11 has to leave in a couple of minutes --- that's  
12 Poston --- we will have to come back to this later.

13 And maybe can I give the last word?  
14 John Mauro, you spoke. I hope you finished, or do  
15 you need a little bit more time to finish, and John  
16 Stiver? Did you want to comment, either of you,  
17 on Kathy's presentation?

18 MR. STIVER: This is John Stiver. I  
19 just kind of ---

20 CHAIRMAN KOTELCHUCK: Oh, it was Doug  
21 Farver. Excuse me. It was Doug who I should have  
22 asked because --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. STIVER: I'd just like to say that,  
2                   you know, I think the main value for these is that,  
3                   you know, we can see where these decision points  
4                   are where professional judgment comes in. And  
5                   that's, I think, probably the most valuable aspect  
6                   of these blinds especially in a situation where you  
7                   can actually flip the decision, the compensation  
8                   decision.

9                   Anyway, Doug wants to go ahead and add  
10                  something.

11                 CHAIRMAN KOTELCHUCK: Please do, yeah.

12                 MR. FARVER: Yeah, this is Doug Farver.  
13                 I just wanted to point out I think it's very  
14                 interesting if you look at the big difference in  
15                 the internal dose that NIOSH started with assuming  
16                 10 percent of the value.

17                 So, if you multiply theirs by 10 or the  
18                 SC&A divide by 10, you come up with something much  
19                 closer, but the methods were just entirely  
20                 different, the whole process, and I find that  
21                 interesting.

22                 It's probably not 10 percent, it's not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a hundred percent, and there's probably some  
2 percentage here in the middle where it really is.  
3 Maybe it's five percent. I don't know, and that's  
4 the tricky part. What percentage do you pick?

5 At some point their 45 percent is going  
6 to go over 50. And our 85 percent is going to come  
7 under 50. Now, what percentage is that? I don't  
8 know.

9 MEMBER MUNN: But common sense tells  
10 you in a wet process with a source term that small,  
11 it's not going to be over a hundred rem. Common  
12 sense would tell you that.

13 You couldn't get a hundred rem if you  
14 were drinking the mix.

15 CHAIRMAN KOTELCHUCK: Let's not go  
16 there.

17 MEMBER MUNN: Let's not.

18 MR. KATZ: Can I just check, Dr.  
19 Poston, are you coming back after your meeting or  
20 whatever it was?

21 MEMBER POSTON: I can't. It's a  
22 two-hour meeting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay.

2 CHAIRMAN KOTELCHUCK: Okay. Alright.  
3 David, you'll be back?

4 MR. KATZ: That's David Richardson.

5 CHAIRMAN KOTELCHUCK: David  
6 Richardson. Correct. I just want to assure that  
7 we have a quorum.

8 MR. KATZ: Exactly. Maybe you're on  
9 mute again, David.

10 (Pause.)

11 MR. KATZ: Okay, you know what? I'll  
12 send David an email right after we break just to  
13 make sure he's going to rejoin us.

14 CHAIRMAN KOTELCHUCK: John, also I'm  
15 sorry to say this as the last word, but I had  
16 understood that this was a day that you were  
17 entirely free. And I thought that that was part  
18 of setting the date as we did.

19 I do hope we can set a date where we are  
20 all --- where we are free.

21 MEMBER POSTON: No, I tried to, but,  
22 you know, I have a real job.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: No, I understand  
2 and I'm not --- yes, alright. Let's leave it at  
3 that. Alright. Folks, it's 10 after 1:00.

4 And, look, John, thank you for being  
5 here as long as you have been. And we do have a  
6 quorum. We will continue.

7 I also hope we'll get another Member  
8 soon and we'll be -- it will be easier to achieve  
9 our quorum.

10 MEMBER POSTON: Alright.

11 CHAIRMAN KOTELCHUCK: So it's now 10  
12 after 1:00 eastern time. Let's take an hour and  
13 see you all at 10 after 2:00 Eastern time.

14 MEMBER MUNN: Okay.

15 CHAIRMAN KOTELCHUCK: Okay. And we  
16 will continue discussion of this.

17 MR. KATZ: Thank you, everybody.

18 CHAIRMAN KOTELCHUCK: Thank you, all.  
19 Bye-bye.

20 MEMBER MUNN: Bye-bye.

21 (Whereupon, the above-entitled matter  
22 went off the record at 1:09 p.m. and resumed at 2:16

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 p.m.)

2 MR. KATZ: Okay. Dave?

3 CHAIRMAN KOTELCHUCK: Alright, folks.  
4 Well, we really are now going to start discussion  
5 on 0370690, Allied Chemical and Die case.  
6 Hopefully, why don't we put up -- there we go --  
7 the comparison. And let's see. Let's see.  
8 There we go, Table 1-1.

9 Well, I mean, well, first, I have a few  
10 questions. I wondered how, if we knew how  
11 sensitive the choice was to taking 10 percent due  
12 to the lab work, [why not] 15 percent, 5 percent?  
13 In other words, it seems as if that may -- I looked  
14 through it, and I just feel like, as a number it's  
15 arbitrary. I recognize that if it's a wet process  
16 like that, of course it's much lower than, the  
17 exposure is much lower than if they were working  
18 in a plant for which the original document the TBDs  
19 were made. But I just am -- but it just seems like  
20 a number pulled out of a hat. And given the vast  
21 difference that the results have, they're  
22 disturbing to me.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Dr. Kotelchuck, this is  
2 John Mauro. It's even more disconcerting. I  
3 certainly agree with Wanda regarding, you know, if  
4 it's a low-exposure circumstance. But we're  
5 getting working-level months alone that are at four  
6 picocuries per liter.

7 In other words, I use what they call  
8 Method B, which I didn't look at anything. I just  
9 looked at the case, and I said, listen, I'm going  
10 to put a lower bound concentration of radon this  
11 guy might have been exposed to, looking at some  
12 literature. And the lowest number reported was  
13 around four picocuries per liter. And for those  
14 of you in the radon world, you're probably sitting  
15 in your home right now, and you're probably at  
16 around one picocurie per liter or two picocuries  
17 per liter. Yes, that's where it comes in. The EPA  
18 standard guideline is four, but I know in my  
19 basement I'm at one and that's where I am right now.

20 Now all I assumed was that the person  
21 was at four picocuries per liter, and you're going  
22 to be very surprised. You do get, over a nine-year

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 period, if you're working 2,000 hours per year at  
2 four picocuries per liter, you get 2 working-level  
3 months. Now I'm coming in a factor of 10 higher  
4 than NIOSH, and I'm only assuming four picocuries  
5 per liter.

6 So what I'm getting at is that this is  
7 a perfect example of you get into your protocols,  
8 your procedures, workbooks, and assumptions, and  
9 you all step back and think about it, and I didn't  
10 do that. I mean, I'm the guy that used to do these  
11 Method B things. And I just asked myself, listen,  
12 yes, the lowest number they could assign is four  
13 picocuries per liter. I think everyone agrees  
14 that's a fairly low -- and that's the guys working  
15 outdoors. I'd be the first to admit if he was  
16 working outdoors, you know, it's 3.1 picocuries per  
17 liter or even lower. But assuming he's indoors,  
18 four picocuries per liter is a very low number, and  
19 I come up with, you know, about 2 working-level  
20 months' time-integrated exposure to a radon  
21 progeny, and NIOSH comes in at 0.2. There's  
22 something wrong here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK: Yes, yes. Well  
2 I'm even concerned that if we use, if when you use  
3 Method A that you came in at 0.8 --

4                   DR. MAURO: I agree.

5                   CHAIRMAN KOTELCHUCK: --  
6 working-level months.

7                   DR. MAURO: I agree.

8                   CHAIRMAN KOTELCHUCK: And that's a  
9 factor of four. I will also note that the one that  
10 we're going to eventually get to, one of those that  
11 we're going to eventually get to where there was  
12 a change in compensation or potential change in  
13 compensation from Rocky Flats also had the problem  
14 in the internal dose.

15                   Now I'm keeping my mind, I mean I'll  
16 keep open what specifically was the problem. But  
17 at least two of them where there is a serious  
18 difference or a serious concern, we have internal  
19 dose, although the other one, I have to say, is  
20 plutonium. So that's even further upsetting.

21                   But beyond upsetting, it is, I mean,  
22 we're trying to learn, we hope that the blind

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 results, the NIOSH and SC&A are together. But if  
2 they're not, then it is our duty to understand why  
3 and try to figure out what could be done in the  
4 future so that we do not have differences like this.

5 MEMBER MUNN: Yes, these blind  
6 reviews, especially this one that we're discussing  
7 now and the other one that you made reference to,  
8 are extremely informative because they are  
9 illustrative of a position that I've taken  
10 repeatedly, and not very popularly I might add,  
11 with respect to what we have done here deliberately  
12 in our actions as a Board. We have gone out of our  
13 way to try to be as generous in our compensation  
14 attitudes as possible, and this, if we have a single  
15 issue and only one issue to look at, makes sense.

16 We have, as these cases demonstrate, a  
17 very large number of aspects of the issue that we  
18 must look at. And in every case, we are permitted  
19 to go to abstract extremes. We're urged to do so  
20 often, even though, in this case, as John pointed  
21 out, he didn't really feel he was going to an  
22 extreme and was just taking what he felt was a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 rational number. But the end result of that  
2 stack-up of decision points causes knowledgeable  
3 people with high humanitarian intent to come out  
4 with results that just simply don't make sense.

5 This is what I meant earlier when I said  
6 you can look at that 118 rem and say this does not  
7 make sense. I find myself thinking I wish I knew  
8 less about human biological effects and a little  
9 bit less about dose rates and what they meant  
10 because, if I did, I would just say, boy, look at  
11 that, that's a big difference. But instead I look  
12 at that and I say that's so far off the realm of  
13 possibility that it has to be, it has to be  
14 discarded on the face of it. But that doesn't help  
15 us in our deliberations here about is this okay and  
16 what, if anything, should we be doing something  
17 about it and, if so, what?

18 CHAIRMAN KOTELCHUCK: Right. And,  
19 yes, and I recognize that that is an unpopular  
20 opinion, and it is. On the other hand, the concept  
21 behind workers' compensation is, as we know, not  
22 precise scientific knowledge, but likelihood that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the problem, if likely to have come from the work  
2 that people do. And we have to make decisions and  
3 do all the time in all kinds of workers'  
4 compensation on the basis of is it likely to have  
5 done something. And even within that context, we  
6 are flexible. And it's [as] true for a person  
7 getting ill from working in a dusty trade as it is  
8 for radiation, that, at a certain point, you just  
9 say, well, if there's any doubt, if there is concern  
10 or if there's some evidence that we have to act  
11 generously on behalf of the person who is ill.

12 So it's a clash. Nevertheless, I fully  
13 agree with you in this case. I mean, 120 rems, 93  
14 of which are from uranium thorium, it doesn't sound  
15 right.

16 MEMBER MUNN: There wasn't much  
17 uranium thorium there.

18 DR. MAURO: But the irony of this is the  
19 radon progeny alone is the driver. I'd like to say  
20 that this particular case, I believe, is an  
21 aberration in that I've never seen differences on  
22 this scale. When we get into the others, the other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 blinds, we're going to get a lot more comfortable  
2 because we're going to see the differences are  
3 subtle in most cases. But if we just have to pick  
4 the first one, we'd want it to be the one that I've  
5 never seen such an extreme divergence amongst the  
6 three people because you remember SC&A had the  
7 Method A and Method B, and I did not talk to a Method  
8 A guy and I just did my thing. And this was, of  
9 all of the cases that we were involved in by way  
10 of lines, this is the one that is the most  
11 astonishing.

12 MEMBER MUNN: One has to make some  
13 common sense judgments, as well as the possibility  
14 judgments. And when you're speaking of, in a case  
15 like this, you know you do not have the kind of radon  
16 emissions that you would get in other kinds of  
17 situations. First of all, you know that this is  
18 a wet process and that any materials that you have,  
19 which are only slightly radioactive to begin with,  
20 are in solution. Then you have to know that this  
21 is, after all, an industrial building and you know  
22 that there is air exchange going on there. They're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not working in a closed, shuttered facility. And  
2 these things are the kinds of things that we  
3 encountered when we were dealing with the Blockson  
4 plant and similar phosphate plants since that time.

5 They are mechanical realities that  
6 affect how one can even approach the real science  
7 of this properly. It's just something you have to  
8 take into consideration.

9 MEMBER MELIUS: This is Jim Melius.

10 CHAIRMAN KOTELCHUCK: Jim.

11 MEMBER MELIUS: Yes, I'm not  
12 disagreeing with what Wanda is saying or what may  
13 be the, you know, sort of the real Probability of  
14 Causation here. But I think, again, we are getting  
15 dose reconstructions that speak of what extent and  
16 are we able or can we come up, you know, with the  
17 kind of guidance that's being provided to the dose  
18 reconstructor, to the available information to  
19 them is sufficient for them to come up with  
20 consistent findings. And clearly something is  
21 missing here. I don't believe it's, you know,  
22 necessarily, with these kind of differences, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we can leave it all up to judgment. There should  
2 be some way of reaching a consensus on what's a  
3 proper approach to provide guidance for that. You  
4 may not narrow it down to the plus or minus, you  
5 know, 0.001, whatever, but we ought to be able to  
6 do that.

7 And that's really the purpose of these  
8 audits. It's not the final answer, but are we  
9 providing the kind of information available that  
10 people can come up with, you know, scientifically  
11 valid but also consistent approaches for  
12 evaluating these cases.

13 CHAIRMAN KOTELCHUCK: Well and, in  
14 particular, I would say to John Mauro's thought  
15 that it's an aberration. How would we explain to  
16 the Secretary, not to speak of to the families of  
17 the people who are ill, how this could happen and  
18 why, given the way that we're working, it is not  
19 going to happen again, or we can't call it an  
20 aberration without some rationale as to why this  
21 has occurred and if it isn't -- well, it is our  
22 obligation to try very hard to do this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER MELIUS: We have to carry it  
2 beyond what's been talked about so far and see where  
3 did the differences come about and what is the  
4 information that, you know, either the information  
5 or the methodology, what led to these disparate  
6 findings and --

7                   MR. CALHOUN: This is Grady, and I'm  
8 going to try to --

9                   CHAIRMAN KOTELCHUCK: Grady, yes.  
10 Could you speak a little louder, please, Grady?

11                  MR. CALHOUN: TBD-6000 actually, which  
12 can't be completely related to this, actually does  
13 have some sections that talk about the differences,  
14 and they do use a number of six percent, or ten  
15 percent, I'm sorry, for differences between, say,  
16 even a supervisor and operator. And this guy was  
17 a [identifying information redacted].

18                  And we also have the statement here that  
19 only a few pounds of concentrate were ever  
20 produced. The TIB-43 is based on an operational  
21 facility that's probably processed much more than  
22 that every day, okay? But what we need to find out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is do our guys, in fact, have any kind of guidance  
2 that tells them to use that ten percent? And if not,  
3 we'll put something out there that does.

4 And what Dr. Kotelchuck was mentioning,  
5 how do we prevent this from ever happening again,  
6 I'm not ready to say we need to prevent this from  
7 ever happening again because I'm not convinced it's  
8 wrong. What we need to do is make sure that both  
9 of us or somebody that's looking at the program can  
10 come up with the same flow path to get similar doses  
11 that we've got.

12 So my go-do right now is to go try to  
13 find out what kind of guidance we have for that.  
14 And if we don't have guidance, we can put something  
15 in place. But, remember, we're using 10 percent  
16 of an operational facility, and, you know, if we  
17 used 10 percent of what was really happening at  
18 Allied Chemical and Die, it would even be far less  
19 than that.

20 So this guy was -- we can all put our  
21 reasonable hats on here and realize that this is  
22 a very, very low exposure potential case. But I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do agree that we need to make sure that similar  
2 decisions are made from case to case.

3 MS. K. BEHLING: This is Kathy Behling.  
4 To just add to that, as I've said, our Method A is  
5 trying to, is a direct, you know, direct assessment  
6 or correlation between what NIOSH is doing. And  
7 had there been specific guidance to be used for the  
8 Allied Chemical and Die facility, obviously we  
9 would have used that.

10 So, again, this was my primary concern:  
11 Is the level of consistency that is 10 percent being  
12 used by all dose reconstructors? Is there any  
13 guidance out there? Because this isn't the first  
14 time we have seen situations where there may be a  
15 Word document or something out there that is being  
16 used. We've even seen inconsistencies between  
17 that and Site Profiles, and, luckily, we have  
18 stumbled across this particular case. But I do  
19 think that, as Dr. Melius is saying, the  
20 consistency issue is really important here and I'm  
21 glad that we at least identified that.

22 DR. MAURO: This is John again. If I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was to say what's the root cause of the times when  
2 we run into circumstances where we're so divergent  
3 is when there is a lot of judgment that needs to  
4 be applied. And this is one of those cases where  
5 you really don't have all of the information -- Site  
6 Profile details, guidance, etc. -- available to  
7 you. And the dose reconstructor is left with  
8 having to rely on professional judgment, and then  
9 you can see the differences arise. And that's why  
10 I consider this to be a little bit different than  
11 the others because, most of the time, you do have  
12 quite a bit of guidance available or information  
13 in the Site Profile.

14 MS. K. BEHLING: And this is Kathy  
15 Behling. One more time on this issue. Not only  
16 there, and you'll see that in one of these blinds,  
17 also even judgments when there is a TBD with regard  
18 to, and Hans has brought this up in several of his  
19 reviews, how can we be a little bit more specific  
20 and give a little bit better guidance with regard  
21 to using co-worker data, a 95th percentile versus  
22 a 50th percentile.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So even when there is guidance, you  
2 know, and I realize you can't dictate everything,  
3 nothing is completely cast in stone, but we do need  
4 to help the dose reconstructors as much as we can  
5 to make similar decisions. And that's one of the  
6 things I think that could be incorporated.

7           CHAIRMAN KOTELCHUCK: Let me just, in  
8 reference to that, where there is a lot of judgment  
9 -- and this is certainly, it's a small place.  
10 There was no monitoring and an enormous amount of  
11 judgment. I'd look at the 45.9 percent that NIOSH  
12 came up with. In a very large plant where lots of  
13 industrial hygiene and health physics work has been  
14 done, 45 percent is very rarely, if we look at it  
15 or when SC&A looks at it, generally, they're never  
16 going to go up into above 50 percent. In fact,  
17 they're not going to deviate much from 45.9. But  
18 is there a way of looking at this and saying there  
19 is -- make an estimate of the degree to which there  
20 is judgment involved, a large amount of judgment,  
21 and say at 45.9 you're actually close to 50 percent  
22 because that's really what is happening. This

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would not happen, I think, in a large plant where  
2 there had been measurements where we have something  
3 to hold on to firmly.

4 MEMBER RICHARDSON: Yes, I agree  
5 completely.

6 CHAIRMAN KOTELCHUCK: Yes. And that  
7 may, that may help provide us with guidance if we  
8 had some effective way of assessing what led to the  
9 45.9. In that regard, if we had some idea, then  
10 we'd start playing around with, well, 5 percent,  
11 10 percent, 15 percent, and seeing if that changed  
12 things very much.

13 MR. CALHOUN: This is Grady. I came  
14 across some little nuggets here while we're --

15 CHAIRMAN KOTELCHUCK: Okay.

16 MR. CALHOUN: And I guess, you know,  
17 still, I think we're getting away from the fact that  
18 there is, that if there's guidance, this goes away.  
19 And so what I found here is, at least I haven't found  
20 the actual document, but what some of my co-workers  
21 have been sending me is that TIB-43 is based on a  
22 production rate of 12 tons of uranium per year at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the smallest plant. Twelve tons, okay? So we use  
2 one-tenth of those values for this site, which is  
3 certainly less than 1.2 tons per year. So it says  
4 that this is some guidance that I'm told we have.

5 CHAIRMAN KOTELCHUCK: Okay.

6 MR. CALHOUN: So it does say  
7 specifically to use 10 percent of the values in  
8 TIB-43. So we do have that, so it does exist.  
9 Now, it's not going to be a formal document.

10 Now the other thing that we're going to  
11 get into here is, well, why don't you make them all  
12 formal documents? Well, we've got 18 cases from  
13 this site, and what we should have done and what  
14 I always say this is always our standing is that  
15 we should make the TBD, not the TBD, the DR detailed  
16 enough that you know what we did. Not you in  
17 particular, the claimant or anybody. We're not  
18 going to make TBDs that are approved documents for  
19 235 sites just in case we get DRs in.

20 So I'll see what we can do about, you  
21 know, firming this up a little bit. But it's out  
22 there, so I feel better about it now. I didn't know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it until just now.

2 MS. K. BEHLING: This is Kathy Behling,  
3 and I agree. And like we used to talk about back  
4 in the early days of our audit, we recognize that  
5 there are what we used to call these guidelines or  
6 notes out there that are specific to sites, and this  
7 could be a great example that could be out there.  
8 They're not necessarily formal documents because  
9 we understand you don't have the time to generate  
10 a formal document for every single small site where  
11 there's only 18 Dose Reconstructions. But you  
12 could put together something as simple as one of  
13 these notes, something in the training for those  
14 people that do these sites, and that would help with  
15 the consistency issue. And we used to see them.  
16 In fact, early on, I used to find them on the O:  
17 drive and know what [the dose reconstructors were]  
18 being trained in -- which is a good thing. And  
19 we're not even suggesting that you have to do a Site  
20 Profile for each and every site, but these  
21 workbooks or these notes are guidelines. And now  
22 we even put those into the case files. That was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something that I know Mark had requested.

2 MR. KATZ: This is Ted. Can I just ask  
3 one question, which is sort of the other side of  
4 the equation, about why these decisions are so far  
5 apart or this estimate? Kathy, for your side, John  
6 Mauro and whoever was the other, the A, did you  
7 folks not, at the time, recognize the nature of this  
8 production, or not even production but this  
9 exposure scenario, the difference between that and  
10 the normal Blockson, you know, mass production  
11 scenario? Was that not apparent in the  
12 information available on this site when you guys  
13 did your blinds?

14 DR. MAURO: This is John. I could  
15 answer. I mean, that's why I picked the lowest  
16 number of radon concentration in those  
17 publications that were measured [by] the EPA, to  
18 say, okay, that's how I came at it. I didn't go  
19 with the median; I went with the lowest.

20 And even then, when you're dealing with  
21 radon, you know, one picocurie per liter delivers  
22 2,000 rem to the lining of the lung. So, I mean,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's why I call this an unusual case.

2 MR. KATZ: Of course, you use your own  
3 methodology, which is really quite divorced from  
4 -- but in your situation, I think it's probably  
5 useful for someone to explore why your figure came  
6 out so much higher than theirs because if the  
7 assumption is sort of equivalent, I mean their  
8 assumption that it's a very low exposure and then  
9 they use the 10 percent, your different approach,  
10 using a low number, but I don't know how that  
11 relates to their assumption.

12 It seems like the Subcommittee, if they  
13 understood why your figure comes out so high, even  
14 though you assume a relatively low exposure level,  
15 would be helpful just to put, at least, your side  
16 because the A methodology, I mean, that seems  
17 clear-cut. If you folks had used a 10 percent or  
18 a similar percentage, we would have ended up where  
19 they were and there wouldn't have been any question  
20 with that. Your Method B, though, John, is the one  
21 that sort of blows a lot of mystery into the  
22 situation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Well, let me just say one  
2 thing. The number for the working-level months  
3 that is used here by NIOSH is lower by a factor of  
4 two than is in my house right now, in my basement  
5 where I'm working right now, in other words  
6 one-half. So this is just my residence.

7 So something went wrong in the protocol  
8 with the 10 percent number that was an unintended  
9 consequence. I think Grady's explanation is  
10 understandable, but then what happens when you got  
11 to the radon, the 0.2 working-level months or  
12 whatever over a nine-year period, that means the  
13 concentration of radon is a fraction of 1 picocurie  
14 per liter. And there's where I say you have to be  
15 careful because you're using a protocol, let's say  
16 you're not standardized, though you intended in  
17 this particular circumstance where you're dealing  
18 with radon, you have to ask yourself the question  
19 what radon level does this mean?

20 MR. KATZ: I'm sorry, John. Someone  
21 has got a lot of background noise in their phone,  
22 and it's making it really hard to follow John.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Okay. Now it's quiet. Okay. John, I'm sorry.

2 DR. MAURO: That's okay. I really had  
3 my say. In this particular case, now, clearly, we  
4 have this divergence between the lung dose, not  
5 including radon, just we need to talk about that.  
6 And I think that's going to be important. But all  
7 I'm saying is from a radon point of view because  
8 I didn't even bother looking at the others. I  
9 didn't need to. I was able to get over 50 percent  
10 just by looking at four -- by the way, which this  
11 explains, radon is a very potent radiological  
12 carcinogen for lung cancer.

13 MR. KATZ: Okay. So you're basically  
14 explaining that you would like NIOSH to dig back  
15 into its methodology because you think it may not  
16 be that it's just the 10 percent figure that's  
17 giving them such a low output?

18 DR. MAURO: I think 10 percent maybe  
19 makes sense within a certain context but not when  
20 you're dealing with radon levels in this particular  
21 application. I can't imagine indoors at this  
22 facility that the radon level is what they're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 basically saying is about 0.5 picocuries per liter.

2 MR. CALHOUN: Now, John, you've got to  
3 remember that this is enhanced radon. The radon  
4 in your basement doesn't count.

5 DR. MAURO: No, I understand --

6 MR. CALHOUN: Wait a second now. You  
7 have three pounds of uranium compounds processed  
8 over multiple years give you that much radon  
9 concentration, in excess of what God already put  
10 there.

11 DR. MAURO: Yes, okay.

12 MR. CALHOUN: That's all that counts.

13 DR. MAURO: Yes. Well, I mean, I hear  
14 what you're saying, and the only justification I  
15 have, for better or worse, is that, looking at the  
16 available radon data for these kinds of facilities,  
17 I went with the lowest reported value. Now, that  
18 may be too high for this particular facility, and  
19 that's good that we know that. In other words,  
20 that's why we're doing it. I think this is very  
21 revealing.

22 I went ahead and picked a number based

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on my judgment that I said is a lower bound, and  
2 that was sufficient to give me quite an exposure  
3 for radon. So, I mean, it's important that we know  
4 that happened. That's one of the outcomes of this.  
5 Who's right and who's wrong is almost a separate  
6 question. It's just that it was so different, and  
7 the way we thought about the problem is quite  
8 different because we were allowed a certain amount  
9 of discretion for lack of detailed guidance or Site  
10 Profile or data. So I think it's revealing from  
11 that perspective.

12 MS. K. BEHLING: And this is Kathy  
13 again. With regard to Method A, let's go back to  
14 what the charter is or what the role is under Method  
15 A, is to try and use the guidance documents that  
16 exist for NIOSH and ORAU, and we're trying to match.  
17 We're hoping that we're going to come in exactly  
18 where they are or very close to where they are. And  
19 like John said, in many cases we do.

20 And I'm going to let Doug speak to this  
21 better because he can give more details, but, back  
22 to our report on page 13 and 14, Doug did understand

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that Allied Chemical is a smaller process. He did  
2 use various assumptions. And when he did use the  
3 OTIB-43 data, and, Doug, stop me if I'm -- you have  
4 to understand, NIOSH selected the maximum value and  
5 took 10 percent of that. Doug did what he thought  
6 was reasonable by selecting from those tables in  
7 OTIB-42 a geometric mean value, and it didn't make  
8 as much difference in the external as the internal.  
9 But he used data that he had available that he  
10 thought NIOSH would use, and he used a geometric  
11 mean value, rather than the maximum value.

12 MR. KATZ: But that would be a mean of  
13 big operations with a lot of throughput, right?

14 MS. K. BEHLING: True. That's because  
15 -- yes.

16 MR. KATZ: That's the difference, I  
17 think. That's why it comes out so differently,  
18 right?

19 MS. K. BEHLING: And, Doug, I assume  
20 Doug is still on the line?

21 MR. FARVER: Yes, I'm still here.  
22 It's Doug. Well, I mean, the assumptions are laid

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 out there. And if the only contention is the  
2 intake rate, the daily intake rate, okay, I could  
3 see where that may not be the best number to use.  
4 But the problem was there was no guidance to use  
5 any other number or any number. This is the big  
6 problem with this case. There was no site  
7 information based -- other than a couple of lines  
8 in a document. I mean, there was no survey data  
9 and no, like, NIOSH document even describing the  
10 site. So you're left with almost no guidance to  
11 use.

12 Now, I could see where maybe you  
13 shouldn't use that full number of 44 picocuries per  
14 day. But I'm not sure what number would be a better  
15 number. Where do you stop: 10 percent, 20 percent,  
16 30 percent? I don't know what the right value is.

17 What's interesting is that we used 100  
18 percent, NIOSH used a 10 percent, and pretty much  
19 if you multiply one by 10 or divide the other by  
20 10, you've got much closer doses.

21 MR. KATZ: Yes, that goes to the point  
22 that -- right, exactly. You guys basically use the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 same methods.

2 MR. FARVER: Well, no, we used  
3 completely different methods, which is interesting  
4 that it came out so close if you were to use the  
5 same intake. Completely different methods.

6 DR. MAURO: This is John. What are the  
7 philosophies in terms of doing Dose  
8 Reconstructions? You're always stuck with the  
9 situation when you're dealing with a circumstance  
10 where you have, let's say it's a co-worker. What  
11 you usually end up doing is, if you believe the  
12 person likely received some exposure but, based on  
13 his job, it doesn't look like it could have been  
14 at the high end, the rule of thumb is to go with  
15 the full distribution of the geometric mean. And  
16 you only assign the upper 95th percentile when you  
17 believe that the worker's job category or  
18 circumstances was such that there was a real  
19 possibility he could have been exposed at the high  
20 end.

21 So in this particular circumstance, and  
22 that's why I used the word aberration. That's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 little extreme, but it is an unusual circumstance  
2 in that picking the geometric mean of the full  
3 distribution under these circumstances is not  
4 unreasonable. But, you know, Grady makes a good  
5 point. This is such a much smaller facility that  
6 even the geometric mean is really not a good number  
7 for this facility.

8 So, therefore, you're left with the  
9 judgment of, you know, how far below the geometric  
10 mean do you want to go? And the way, the approach  
11 they used was with this 10 percent effect, which  
12 brings you, at some level, at some percentile  
13 within a distribution. And that's why we've got,  
14 this is an unusual circumstance because of the  
15 limited amount of guidance and data that we have  
16 available. In fact, this is almost like a flagship  
17 indicator of circumstances, especially if you're  
18 coming into 40-percent level and a lot of judgment  
19 had to be used.

20 And you say what do we take away from  
21 this? Well, you know, when you have to use a lot  
22 of judgment and you're coming in in the 40s, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know you're in dangerous waters. I mean, that's  
2 what I take away from this.

3 CHAIRMAN KOTELCHUCK: Yes.

4 MR. CALHOUN: I'm thinking, you know,  
5 when I look at this, it almost seems like, I mean,  
6 maybe our guidance needs to be revised a little bit,  
7 I mean way down, because, you know, three pounds  
8 is 1.25 hundredths of one percent of the lowest  
9 production rate used to come up with the doses for  
10 TIB-43. 1.25 hundredths of one percent.

11 DR. MAURO: Wow. You make a good  
12 argument there, Grady.

13 MR. CALHOUN: And we're using 10  
14 percent. I don't know. We need to look back at  
15 the whole thing. I've got my homework assignment.  
16 I'm ready to do it.

17 DR. MAURO: You know, Grady, I'd be the  
18 first to agree with you. See, what I did, and  
19 again, this is completely judgment, is say, listen,  
20 is four picocuries per liter a low number? Yes,  
21 that's a low, I mean, in the world of radon, that's  
22 a pretty low number indoors, even in a, you know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 -- now, I agree with you that you're saying the  
2 additional contribution above and beyond natural  
3 background in this building may have been  
4 minuscule, and, you know, you might be right.

5 So, I mean, this is good. This is a  
6 good conversation. I rolled with four because it  
7 was the lowest number measured at this facility,  
8 thinking, thinking that I was doing the minimal  
9 dose reconstruction. Just radon. That's all I  
10 looked at. And I was going to do a low end, the  
11 lowest I thought plausible. And I still came in  
12 with consequences that were significant.

13 But you're making a good argument.  
14 You're saying, listen, even that lowest number that  
15 you found in the literature at four picocuries per  
16 liter, which, in itself, in an absolute sense, is  
17 a very low number, even a relatively low number for  
18 a residential structure, you're saying that that's  
19 not low enough here because the amount of uranium  
20 handled and the associated radon from, I guess, the  
21 radium, the tailings part of it, could have been  
22 virtually zero, in effect, your argument would be.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Okay. I mean, I'm ready to have that conversation.

2 CHAIRMAN KOTELCHUCK: Yes. How  
3 about, Grady, you said, I'll take it on. How about  
4 doing that and then coming back to us and not only  
5 seeing what you get but also trying to think about  
6 how we could avoid this or what an analogous  
7 situation would be and how, therefore, we could  
8 avoid coming up with this sort of disparity again?

9 MR. CALHOUN: Here's what I'm going to  
10 do is I'm going to go back and I'm going to try to  
11 find out where this guidance exists because I'm  
12 just getting little snippets by email. I'm going  
13 to make sure that when we do dose -- I'm going to  
14 verify that that's right, but I feel confident that  
15 it is. I'm going to verify that it's right, and  
16 then I'm going to make sure that we start  
17 incorporating that in any new Allied Chemical and  
18 Dye company DRs to be quite specific about what  
19 we're doing.

20 CHAIRMAN KOTELCHUCK: Okay.

21 MR. CALHOUN: I kind of want, I kind of  
22 want to check where John got that big dose, so I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 going to look into that one, too.

2 DR. MAURO: Yes. Well, remember, it's  
3 just four picocuries per liter, and you convert  
4 that to working-level months over a nine-year  
5 period, you know, you get about two. Now, what I  
6 would like to do and I didn't do it is, did I get  
7 the PoC right? In other words, going from four  
8 working level months, you know, over this nine-year  
9 period and coming out with a PoC above 50 percent,  
10 I'd like to go back and do that again and make sure  
11 I didn't do something dumb. But I think my number,  
12 my working-level month number is a good number. I  
13 mean, if you accept four picocuries per liter as  
14 being a lower end of the kinds of concentration this  
15 guy might have been exposed to, then my  
16 working-level month number is a good number and  
17 it's ten times higher than yours.

18 Now, going from working-level months to  
19 the PoC, maybe we better take another look at that  
20 because, you know, that's not something we  
21 routinely do, you know, is derive PoC.

22 CHAIRMAN KOTELCHUCK: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. BARTON: This is Bob Barton. If I  
2 could just make a quick comment here because we seem  
3 to have gotten maybe a little -- it seems like  
4 there's two separate issues. The first one is  
5 which number is the right one to use in Dose  
6 Reconstruction, but the other issue was this idea  
7 of guidance. And I, you know, I think we're all  
8 fully sympathetic to what Grady said about you  
9 simply can't have a Site Profile for every one of  
10 these sites. But I think there is some precedent,  
11 for example, in this Dose Reconstruction. TIB-43  
12 was used. A lot of times, these TIBs, which are  
13 more site-wide, will have an appendix that will  
14 have just little snippets of site-specific  
15 guidance in how you're going to apply the TIB-43  
16 methods to site A, B, and C, and it could be as  
17 simple as saying for this specific site the dose  
18 reconstructor, you know, was instructed to use 10  
19 percent or whatever the correct number ends up  
20 being. And then that way you don't have to write  
21 a whole Site Profile, but you do have specific and  
22 clear guidance that can then be used for every claim

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's processed.

2 MR. CALHOUN: That's something to  
3 consider. I'm open-minded.

4 CHAIRMAN KOTELCHUCK: I wonder also,  
5 in this calculation, that either NIOSH or SC&A --  
6 we're dealing with lung cancer. We're dealing  
7 with alpha particles. It is not our obligation or  
8 -- how should I put it? There is an issue about  
9 smoking and that the process of smoking will bring  
10 unusually large amounts of the alpha particles in,  
11 as happens with other things like asbestos.

12 We do not, the compensation, the law,  
13 as I understand it, in this case, we don't consider  
14 smoking in terms of saying -- this is different than  
15 other workers' comp things where we don't say you  
16 have a certain degree that was caused by smoking  
17 and a certain degree that was caused by work. But  
18 on the other hand, if we're trying to -- pardon?

19 MR. CALHOUN: Dave, actually --

20 MS. K. BEHLING: It's built into IREP.

21 MR. CALHOUN: It's built into IREP, and  
22 then there are several categories of smoking and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the more you smoke the more dose you need to go over  
2 50 percent.

3 CHAIRMAN KOTELCHUCK: Oh, okay,  
4 alright. No, I didn't quite realize that. Good,  
5 good.

6 DR. MAURO: Yes, that and skin color  
7 also has play. So to the degree that they could,  
8 IREP tried to take into consideration confounding  
9 variables that clearly have a significant  
10 implication.

11 CHAIRMAN KOTELCHUCK: Oh, okay.  
12 Well, that's good. Okay, very good. I wasn't  
13 aware because I don't do the calculation as you  
14 folks do. And I'm glad to hear that.

15 So I think we have, we have a procedure  
16 following what Grady said. Is there anything  
17 more? I mean, do folks have any comments about  
18 that, or are we finished with what we can do with  
19 this for the moment?

20 MR. CALHOUN: I don't think we can do  
21 anything else with it until we take a look at it  
22 and decide what a path forward is.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK:    Sounds to me,  
2                   sounds right to me.  Any other comments or input  
3                   from any of the --

4                   MEMBER CLAWSON:       This is Brad.  I  
5                   think we need to look into it a little bit more.

6                   CHAIRMAN KOTELCHUCK:       That sounds  
7                   good.  Then I think it's reasonable to go ahead to  
8                   another one of the blinds.

9                   MS. K. BEHLING:     If you'd like to do  
10                  this, can I suggest that, you had mentioned earlier  
11                  the Rocky Flats plant case under the 17th set.  I'm  
12                  going to let Ron Buchanan discuss that particular  
13                  case.  He's our Rocky Flats person.

14                  CHAIRMAN KOTELCHUCK:    Excellent.

15                  MR. BUCHANAN:       Okay, this is Ron  
16                  Buchanan with SC&A.  This is a Rocky Flat case, and  
17                  I will cover the highlights.  And if you have any  
18                  questions, just stop me.  I'll try to cover what  
19                  we need to know but not go into too much gory detail.

20                  This is an Energy employee who worked  
21                  at the Rocky Flat plants from [identifying  
22                  information redacted] through [identifying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information redacted].

2 MS. ROLFES: Ron, real quick, which  
3 case number are you looking at again?

4 MR. BUCHANAN: Oh, [identifying  
5 information redacted].

6 MR. CALHOUN: You can't talk about that  
7 on the line, that case number.

8 CHAIRMAN KOTELCHUCK: Oh, I'm sorry.  
9 Okay. I was not aware of that. I thought that was  
10 legitimate to quote. Okay. Well, we won't  
11 discuss that [and the information will be redacted  
12 from the transcript]. We have a document from  
13 Rocky Flats.

14 MR. BUCHANAN: Okay. I can talk about  
15 their job description and cancer, correct?

16 CHAIRMAN KOTELCHUCK: Yes.

17 MR. BUCHANAN: Okay.

18 MS. LIN: Well, Ron, can you just use  
19 the documents that have [been] PA-reviewed and  
20 redacted and talk about the case from that -- this  
21 is Jenny with OGC.

22 CHAIRMAN KOTELCHUCK: Good.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: This is Kathy Behling.  
2 I have to be honest, I'm not sure that this was  
3 PA-reviewed, and this was a question that I had  
4 before we decided we were going to discuss these.  
5 And we were told that, I mean, the redacted version  
6 is, there's just so much taken out typically. It's  
7 very difficult to work in a setting like this and  
8 --

9 CHAIRMAN KOTELCHUCK: Yes. Well,  
10 Ted, I think we can't, if it hasn't been --

11 MR. KATZ: Oh, yes, I think it has been  
12 PA-reviewed, but we just went through a whole case,  
13 so I'm not sure why this one differs. We just went  
14 through the Allied Chemical case without really  
15 causing a problem. I don't know why we can't go  
16 through this case. I mean, Ron is not going to get  
17 into enough details for someone to sort this out.

18 MS. LIN: Okay. Well, that's good.  
19 This one with the case number that's being used is  
20 the SC&A's case number that's randomly assigned.  
21 That's not part of the PA system, and that's fine.  
22 But I wasn't sure the case number that you just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 called out, is it the claim number or the SC&A case  
2 number?

3 MR. KATZ: Well, we probably shouldn't  
4 even talk about it anymore.

5 MS. LIN: That being said, just be  
6 mindful of the information you're about to discuss,  
7 particularly, you know, the dose information that  
8 is very specific to the Energy employee. So  
9 anything that, if you want to discuss this, the  
10 cancer, then talk about the cancer in general  
11 terms, as opposed to the specific locations or the  
12 number of cancers associated with the case.

13 MR. KATZ: Yes, right. That's good  
14 general guidance.

15 CHAIRMAN KOTELCHUCK: But in this  
16 case, Rocky Flats with an enormous number of  
17 people, this is not a rare type of cancer we're  
18 talking about and I don't think it would identify  
19 any one individually.

20 MR. BUCHANAN: Okay. Can I --

21 CHAIRMAN KOTELCHUCK: You go ahead,  
22 yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. BUCHANAN: The person had one type  
2 of cancer. Can I state that type of cancer?

3                   CHAIRMAN KOTELCHUCK: Yes.

4                   MR. BUCHANAN: Okay. He had lung  
5 cancer. Okay. Worked there in the 80s. In this  
6 case, we had three methods: NIOSH's method and  
7 SC&A's A and B method, just like on the previous  
8 case. So I'll just refer to it as NIOSH and Method  
9 A and B.

10                   All three methods used the Rocky Flat  
11 TBDs as their main guidance, along with IG-001  
12 information and several others as we get into it.  
13 We see that NIOSH, in Method B, used the best  
14 estimate approach and Method A used the minimizing  
15 approach.

16                   We see that Table 1-1, there it gives  
17 us a breakdown summary of the different doses  
18 assigned. And we had photon and neutron dose we  
19 had recorded, we had missed, and we had unmonitored  
20 or co-worker dose. And then we had medical x-ray  
21 dose, and then we had internal dose from plutonium  
22 and americium. One method we had depleted

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 uranium. We see that what this brings up is the  
2 fact that the doses were similar in case A and  
3 NIOSH's method and in B method it was larger in  
4 dose, quite a bit larger. However, the PoCs were  
5 not, did not really follow that. NIOSH arrived at  
6 about 47 percent, and SC&A arrived at about 56  
7 percent using both methods.

8 So I'll go through and then we'll  
9 discuss it in more detail. We see that Table 2-1,  
10 there are comparison of the methods they used. And  
11 I will go through the differences in the methods,  
12 as opposed to going through all of them. And we  
13 see this is segregated into the types of doses. We  
14 have recorded photon dose. The main difference  
15 there was that Method B used some uncertainties  
16 that the other two methods didn't use for dosimetry  
17 uncertainty factors.

18 We see that the dose distribution was  
19 different. NIOSH used the normal distribution  
20 with Monte Carlo uncertainties. And then A and B  
21 used a constant with no uncertainties.

22 Missed photon dose. We see the similar

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 parameters there. Unmonitored co-worker dose.  
2 Let's see. Can you put that up on Live Meeting,  
3 Rose, or whoever is controlling the Live Meeting?  
4 Yes, you have it here. Can you go down to Table  
5 2-1, okay? And that's where we're at. Okay, I  
6 guess I have control of it.

7 Okay. So we have unmonitored photon  
8 dose, and that is a difference there. NIOSH used  
9 50th percentile. Method A, now, Method A arrived  
10 at over 50 percent without using co-worker dose and  
11 several other doses, so it's not considered there  
12 because you've got a PoC of greater than 50 percent  
13 with it included. And Method B used the 95th  
14 percentile, so this kind of goes back to what's  
15 talked about in differences we see sometimes.

16 We see that the dose distribution was  
17 somewhat different, and I point this out because  
18 this leads to a difference in the end. NIOSH used  
19 some triangle distributions there, whereas we  
20 usually use one type of distribution for any given  
21 part of the dose assignment. We use a normal  
22 deviation of 30 percent.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We can go to recorded and modeled  
2 neutron dose. There, again, we're similar, except  
3 they used the Monte Carlo uncertainty. And then  
4 we go to the missed neutron dose, and we had similar  
5 parameters there and similar distribution.

6           Unmonitored neutron dose. We see that  
7 that is similar there, except, again, NIOSH used  
8 50th percentile and Method B used 95th percentile  
9 co-worker dose.

10           And so if we go to the medical, we see  
11 that NIOSH used the two that was recorded in the  
12 DOE files, whereas Method A and B both assigned  
13 annual doses according to Table 3-1 of the TBD.  
14 The rest of the parameters were the same.

15           Now, one of the main differences in this  
16 was in the internal doses. NIOSH separated out,  
17 the person worked two periods, a long period and  
18 then a short period. And so NIOSH took that  
19 co-worker dose, and all the results were  
20 background. And so they looked at the missed dose,  
21 and then they looked at co-worker dose, and they  
22 assigned the first part as missed dose and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 second part as co-worker dose, whereas SC&A  
2 assigned it totally on missed dose and the intake  
3 and resulting doses. So that did result in some  
4 differences.

5 Tritium dose was less than 0.001 rem in  
6 all methods, so that wasn't included. One of the  
7 largest differences was the depleted uranium, and  
8 that is that NIOSH did not assign dose to that and  
9 neither did Method A. Method B did assign it based  
10 on the americium-241 lung counts, and we'll talk  
11 about more on that when we get into the internal  
12 dose.

13 So if we look at the recorded dose, we  
14 see that we used similar factors there. NIOSH and  
15 Method A used similar factors there to assign dose.  
16 Method B used similar factors except more  
17 conservative. Method A and NIOSH used 100  
18 percent, 30 to 250 keV photons, plus 100-percent  
19 less than 30 keV photons, whereas Method B was more  
20 minimizing in that they used 25 percent less than  
21 30 keV photon and 75 percent 30 to 250 keV photons.

22 And so that gives a little difference

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the assigned dose. If you look there on Table  
2 2-4, you'll see that the doses come out fairly  
3 close. Even though there's some difference in  
4 assignment, they came out at about 1.5 rem in both  
5 cases.

6 So we'll move on to missed photon dose.  
7 At Table 2-5 there, we see that, again, there are  
8 similar doses assigned there and they're small  
9 amount. And so that was similar doses there.

10 So we have the recorded, the missed, and  
11 then the unmonitored periods where there was no  
12 film badge data or it was unreadable. And so  
13 NIOSH, in Method B, assigned a dose for this period.  
14 Method A did not because they didn't need to because  
15 it was already over 50 percent.

16 Now, this is where one of the  
17 differences came in. We see that NIOSH used the  
18 50th percentile, whereas Method B used 95th  
19 percentile to assign co-worker dose. And so this,  
20 of course, resulted in a different co-worker dose  
21 assignment. Plus, NIOSH used the Monte Carlo  
22 method and did a triangular distribution and also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 normal distribution, whereas SC&A assigned it as  
2 a normal distribution with 30-percent standard  
3 deviation. And so this does lead to some  
4 differences in the dose and also in the PoC.

5 So we see that Table 2-6 comparison of  
6 unmonitored photon dose, we see that there is a  
7 difference there because of the 95th percentile  
8 versus the 50th percentile.

9 DR. MAURO: Ron, this is John. Just to  
10 make sure I am tracking you well, so it sounds like,  
11 again, what we are talking about there was a  
12 judgment made and it really relied on whether you  
13 are going to work with the 95th percentile.

14 In each table, it would be good to get  
15 the essence of the difference and it sounds like  
16 in the last two cases, the essence of the difference  
17 was whether the 95th percentile was used or the full  
18 distribution.

19 Would that be your take on these - so  
20 far what we looked at?

21 MR. BUCHANAN: So far what we looked  
22 at, that is correct, John. Plus the fact that they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were assigned different distributions.

2 DR. MAURO: Okay.

3 MR. BUCHANAN: It will be important in  
4 the end, okay. And so we repeat the same thing then  
5 for recorded, missed and unmonitored. Neutron  
6 dose we see that in this case you used similar  
7 parameters. The dose conversion factors and such,  
8 they are illustrated in Table 2-7 and 2-8.

9 Again, NIOSH used Monte Carlo method to  
10 determine uncertainty whereas the SC&A assigned a  
11 given uncertainty. And so otherwise the doses  
12 were similar as you see in 2-8 there.

13 Now, the missed photon dose we see in  
14 2000 there the similar values and on exactly how  
15 many zeroes you feel is correct.

16 Sometimes if you use the best estimate  
17 program it will come up with slightly different  
18 numbers than if you actually physically go in and  
19 count them and insert where the zeros could have  
20 occurred here in the film badge exchanges and so  
21 you get slightly different zeroes and slightly  
22 different dose assignments.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   But they are similar there in 2-9. Now  
2 we come down to unmonitored neutron dose which is  
3 like unmonitored photon dose.

4                   Method A didn't assign it. NIOSH used  
5 the 50th percentile and SC&A method B used the 95th  
6 percentile and, again, there in Table 2-10 you see  
7 a substantial difference there in the dose  
8 assignment for unmonitored neutron dose.

9                   And again NIOSH used the Monte Carlo  
10 methods to determine uncertainty whereas SC&A used  
11 a normal distribution of 30 percent uncertainty.  
12 Again, we don't run the Monte Carlo programs at  
13 SC&A.

14                   That brings us to the occupational  
15 medical dose and in all methods assigned  
16 occupational x-rays doses and mainly using the  
17 TBD-3 Rocky Flats, also consulted Procedure 61 and  
18 OTIB-79 and the difference here is that NIOSH used  
19 the two that were recorded in the DOE records.

20                   There was record of two x-rays being  
21 taken. That is what they assigned dose for. Both  
22 SC&A Methods A and B went to TBD-3 and said okay,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for these years of employment there was perhaps all  
2 of the x-ray information available and so they  
3 assigned an annual x-ray and so you see in Table  
4 2-11 there that makes a difference.

5 A and B came out with the same values  
6 using annual x-rays and NIOSH came out with .084.  
7 That last -- on an absolute basis, it's not a whole  
8 lot of dose but there is a relatively large  
9 difference there.

10 DR. MAURO: Ron, this is John. I'm  
11 sorry to interrupt again but I think there -- I'm  
12 always looking for these themes -- this is one of  
13 the assumptions I've been making in working for all  
14 these years is that for DOE facilities when there  
15 is no explicit record of medical occupational  
16 exposures, we are required to assume that the  
17 person did receive annual exposures.

18 However, I realize also that I have not  
19 been as deeply involved in this dose -- these Dose  
20 Reconstructions as you have.

21 Has that guidance changed where the  
22 default - that is, if you have records that say

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       okay, we know this person -- we have records that  
2       say we know this person received two exposures --  
3       had two exposures, so the differences here that  
4       might be important even though the doses are  
5       relatively small. Am I correct or am not -- do we  
6       still assume for DOE facilities that the --  
7       everyone gets their -- this annual medical x-ray?

8                   Or is the procedure now that are being  
9       used by NIOSH: No, we only use -- we count up the  
10      number of x-rays that's in his records and that's  
11      what we use? Do you see the distinction?

12                   MR. BUCHANAN: Yes, and the way I  
13      understand the present accepted method is that if  
14      there is -- it depends on the site. Some sites are  
15      very explicit about providing x-ray information.

16                   Rocky Flats is not one of them and well,  
17      I mean -- excuse me, about providing yearly or  
18      annual x-ray exams and some sites vary.

19                   It depends on the job title whether they  
20      got it yearly or four years or five years or at  
21      beginning and at end or whatever. Since this  
22      person worked as a --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Telephonic interference.)

2 DR. MAURO: I'm sorry to interrupt,  
3 Ron, but are those sirens coming from your area?

4 MR. BUCHANAN: No.

5 DR. MAURO: Someone is on the line that  
6 has a siren that -

7 MR. KATZ: It's okay. It's gone.

8 DR. MAURO: Okay. Thank you.

9 MR. KATZ: It was a neighbor.

10 DR. MAURO: Okay. I'm sorry. I'm  
11 sorry, Ron. Go ahead.

12 MR. BUCHANAN: Okay. And so in the  
13 particular case of Rocky Flats it depends on the  
14 job duties whether they had an annual x-ray or not  
15 and so in this case I would say generally it would  
16 be accepted you would use the DOE files as opposed  
17 to assigning an annual x-ray. Some sites that's  
18 not true. That's not an across the board thing.  
19 It depends on the sites and depends on the job duty.

20 DR. MAURO: So in this particular case  
21 would you say that NIOSH took the right strategy  
22 in terms of counting up the number of x-rays as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       opposed to these strategies that you and I did,  
2       namely, giving an annual?

3               MR. BUCHANAN:   Probably so.

4               DR. MAURO:     Okay.    No, it's okay.  
5       That's what it is.   Okay.

6               MR. BUCHANAN:   Right.    I would say  
7       that probably that was more of a best estimate.   I  
8       would say Method A and B maybe would be an  
9       overestimate.   If it's less than 40 percent then,  
10      you know, yes, just go ahead and do the annual.

11              When you are around 45, 50, you know,  
12      I would say probably go by the DOE records for just  
13      per case.   That's not an across the board stance;  
14      that's just for this one.

15              MS. K. BEHLING:   Excuse me.   This is  
16      Kathy Behling.   The other thing that we can refer  
17      to here is, there's an Attachment A to PROC-61 and  
18      that has an attachment that identifies each of the  
19      DOE sites and for Rocky Flats, depending on the  
20      approach taken whether it's a best estimate, a  
21      minimizing or maximizing, even a best estimate  
22      approach says frequencies per TBD Table 3-1 or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 actual records if records indicate more procedures  
2 in Table 3-1.

3 DR. MAURO: Okay. So it is all laid  
4 out there. So it's not that there's much  
5 discretion.

6 MS. K. BEHLING: Correct.

7 DR. MAURO: Okay.

8 MR. BUCHANAN: You're saying that if it  
9 says more than Table -

10 MS. K. BEHLING: I am reading - I am  
11 reading from the table from best estimate approach  
12 and that is what I just read, yes.

13 MR. BUCHANAN: Okay. Well -

14 MR. SIEBERT: This is Scott. I can't  
15 let that go.

16 MS. K. BEHLING: Okay. No, no. Go  
17 ahead. Go ahead, Scott. I'm sorry.

18 MR. SIEBERT: It's just the point that  
19 Ron was correct. It depends on the site and  
20 whether we believe that we can get the full x-ray  
21 record or not and Rocky Flats is one of the sites  
22 where we get the full x-ray records.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So we follow the x-ray records as they  
2           are provided by the site in a best estimate case.  
3           Actually, these days we use the records in all  
4           cases. We no longer do any overestimates in x-ray.

5           If you'll remember correctly that came  
6           out of the 10-year review. We use best estimate  
7           actual x-rays at Rocky Flats in all cases.

8           MS. K. BEHLING: I guess I just wasn't  
9           reading that in this Attachment A. But maybe I  
10          need to go back to Table 3.1, or 3-1. Anyway, go  
11          ahead. I'm sorry, John.

12          DR. MAURO: Yes, but for the Board  
13          Members, you notice we're having our own little --  
14          as far as I'm concerned we are all trying to find  
15          the right approach and the complexity and, again,  
16          another takeaway that might be helpful to everyone  
17          is that finding the right approach is not always  
18          that easy.

19          There are a lot of procedures and, you  
20          know, I guess there are workbooks in there. So I  
21          know I, for one, realize it's quite overwhelming  
22          sometimes in working your way through one of these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and it's -- clearly, in this case it sounds like  
2 that there was some guidance out there that clearly  
3 explained the appropriate approach to use in this  
4 case.

5 But notwithstanding that, we did come  
6 away with two different approaches are the ones  
7 that SC&A used, both A and B, and the one that NIOSH  
8 used.

9 And Scott, it sounds like that you  
10 believe the guidance out there is pretty clear and  
11 that NIOSH did have the guidance and in fact did  
12 apply it appropriately.

13 MR. SIEBERT: Right.

14 DR. MAURO: And that's important to  
15 know.

16 MS. K. BEHLING: Well, let me -

17 DR. MAURO: Okay, go ahead, Kathy.  
18 Yes.

19 MS. K. BEHLING: I'm sorry. But as I  
20 just read, it says for best estimate approach  
21 frequency for the TBD Table 3-1 and if I go to the  
22 time period of 52 through 85 the frequency says

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 annual and termination PA chest, all workers.

2 And if that is not what you are  
3 following, if you are only using documented x-rays  
4 then the guidance needs to be changed.

5 DR. MAURO: That's important.

6 MR. SIEBERT: And I - this is Scott. I  
7 am going to have to look at those specifically and  
8 I agree, if our documentation does not direct that  
9 correctly, we would need to update that.

10 DR. MAURO: I like this. I'm sorry to  
11 discuss at length but I like this. See, we're  
12 getting out to the root causes. Who cares who is  
13 right and wrong? And I am not saying -- we don't  
14 have any turf here.

15 What we are doing is saying listen,  
16 there is guidance -- it's a complex program and it's  
17 easy for two people properly trained and, you know,  
18 trying to do the best job they can and many people  
19 -- you still could come up with differences and it's  
20 very important to understand why those differences  
21 are happening and that is what we are trying to do.

22 And right now it sounds to me that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Kathy, your position is that the guidance is - you  
2 interpreted the guidance in a way that was  
3 different than the way in which NIOSH interpreted  
4 and I think it is important that we are getting some  
5 answer out of this whether or not - you know,  
6 whether or not the guidance is in fact clear and  
7 NIOSH, you know, did follow it or for some reason  
8 they weren't - they didn't follow it and that goes  
9 for us too, either way.

10 This way the Board gets a clear picture  
11 of where the vulnerabilities are and that is -- I  
12 think that is why we are all sitting around the  
13 phone right now.

14 MEMBER MUNN: That is why we are doing  
15 these reviews and you are correct, John. The other  
16 thing that we have grown unaccustomed to doing  
17 because of the way we've been forced to do some of  
18 our other things is, we have grown accustomed to  
19 accepting that fact that, contrary to popular  
20 opinion, many of these sites did keep excellent  
21 records and continue to have good records, and when  
22 we have them, good science will dictate that we use

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the appropriate good records we have. Novel idea.

2 CHAIRMAN KOTELCHUCK: Well, now we go  
3 to occupational internal doses, and boom.

4 MR. BUCHANAN: Okay.

5 CHAIRMAN KOTELCHUCK: Big  
6 differences.

7 MR. BUCHANAN: Okay. So you can see  
8 these things do change, too. That is the issue.  
9 Like on x-ray, they change with time. So it's hard  
10 to keep track of them for all the sites.

11 Okay. Looking out for occupational  
12 internal dose, we see that here the DOE records show  
13 that the Employee had in vitro bioassay monitoring  
14 for plutonium, americium and tritium during the  
15 employment period. Also had a chest count for  
16 plutonium and americium.

17 All the results were below the MDA value  
18 for background and so what they would do with this  
19 information, okay. So we will look at what NIOSH  
20 did in this kind of summary form.

21 In this case, they looked at both  
22 co-worker doses from TBD-5 and also the missed dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and compared them and in the long run ended up  
2 assigning a missed dose for the beginning and  
3 co-worker dose for the ending employment period.

4 And so they based it on the MDA values  
5 and also the co-worker from TBD-5 Table B-6 using  
6 the 95th percentile rate of intake and shown there  
7 in Table 2-12 their intakes.

8 And so we will -- from this they  
9 assigned a missed plutonium dose of around 5 rem,  
10 co-worker dose around 40 rem and a missed americium  
11 dose of about half a rem. This is assigned with  
12 constant value of no uncertainty.

13 Now, Method A - SC&A's Method A used a  
14 chronic intake for the whole employment period  
15 based on one half of the MDA, compared uranium and  
16 chest counts and decided that the americium chest  
17 count provided more direct readings than the  
18 uranium and so they used the chest count data just  
19 like NIOSH did.

20 And then in Table 2-3 it shows their  
21 respective intake of the different plutonium and  
22 americium isotopes. And then, of course, there's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 plutonium at Rocky Flats. We had to do an  
2 adjustment for OTIB-49 for Super S plutonium and  
3 that is illustrated there in Table 214 and then the  
4 total down at the bottom there is 38.67.

5 Six rem assigned and now Method B  
6 used - also did a similar comparison and arrived  
7 at a total dose of 57 rem. And all this was  
8 assigned into the IREP tables and that brings us  
9 down into the tritium dose again. All three methods  
10 found at less than .001 rem and wasn't assigned.

11 Now, that brings us to the depleted  
12 uranium. Method A in NIOSH did not assign depleted  
13 uranium and where this -- where this comes from on  
14 the depleted uranium Method B is the only one that  
15 used that and it does state in the Rocky Flat TBD-5  
16 that there was a potential for uranium -- depleted  
17 uranium exposure was plausible at Rocky Flats  
18 during the entire operating period.

19 It doesn't really say who to assign it  
20 to, what conditions and when to assign it to them.  
21 And, in addition, when the whole body count or lung  
22 counts was done there was a column for the results

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the raw data sheet that listed a position for  
2 uranium.

3 They had a plutonium, americium and  
4 then had a thorium, uranium. We determined that  
5 there was no thorium at this time, Method B did,  
6 but there was a potential for uranium.

7 And so they used the ratio of americium  
8 test counts to the DU concentrations and derived  
9 an intake and assigned this in the IREP table as  
10 a separate entity with a normal distribution of 30  
11 percent and it came to 10 rem. And so we  
12 see in a summary of internal doses there in Table  
13 2-17 that we had NIOSH assigning 46 rem, Method A  
14 assigned 38 rem, and Method B assigning a total of  
15 67 rem including the 10 rem from the DU.

16 MS. K. BEHLING: Ron, this is Kathy  
17 Behling again and I just want to go back because  
18 I want to be fair here and let's go back to Page  
19 18 for the plutonium and americium.

20 And one of the things that I wanted to  
21 ensure was included in here was exact verbiage from  
22 the NIOSH Dose Reconstruction report, and as you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 will see I in fact put it in bold indicating that  
2 NIOSH has concluded that in -- the reason they  
3 selected the co-worker model for those shorter time  
4 periods, even though that was a lower dose, is  
5 because they have concluded that with these short  
6 time periods when you use missed dose, it can  
7 significantly overestimate the internal dose.

8 So I -- that is their justification and  
9 reason for doing that and I think that is  
10 appropriate and if you look at your Table 2-12 you  
11 can see for which these short periods in 1985 that  
12 they did assign the co-worker model and probably  
13 appropriately so if the missed dose -- if they have  
14 proved that the missed dose really overestimates  
15 -- incorrectly overestimates the dose. I just  
16 want to point that out.

17 MR. BUCHANAN: Okay. Thank you,  
18 Kathy. So go down to the summary and conclusions,  
19 Section 3, Page 24.

20 We see that Table 3-1 compares the doses  
21 assigned external, medical and internal and,  
22 again, to review we see that the total lung dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was 49 rem, NIOSH 47 - about 48 rem on Method A and  
2 about 72 rem on Method B, and ten of that was due  
3 to the depleted uranium.

4 And so the PoCs come out 47.5 in NIOSH,  
5 56.7 by Method A and 55.75 on Method B, and this  
6 is kind of concerning because here we have a higher  
7 dose than for NIOSH -- and PoC less than 50 percent,  
8 whereas Method A come out with a slightly less dose  
9 but a PoC of 56 percent.

10 So why was that and why was this 71 rem  
11 led to slightly less PoC than this 48 rem? And so  
12 looking over this the main thing we found - we can  
13 go through this and we can say okay, they used the  
14 best estimate or minimizing estimate, 50 and 95th  
15 percentile.

16 But if you look at this the doses come  
17 out similar here because the PoCs are inverted and  
18 reversed.

19 CHAIRMAN KOTELCHUCK: Yes.

20 MR. BUCHANAN: And so why is that. And  
21 so the reason I emphasize and, you know, maybe NIOSH  
22 can shed more light on this, this is kind of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something new that we ran into on this case, kind  
2 of like the last case, is that the main difference  
3 that we could see was the way the uncertainties were  
4 entered.

5 And like I say, SC&A does not have Monte  
6 Carlo capabilities on the uncertainties and stuff  
7 and so we don't assign triangular distributions and  
8 we don't assign varying uncertainties. We use  
9 either like a logarithmic distribution or the GSD  
10 of 3.0 for all the entries or whatever.

11 And so the main difference between  
12 NIOSH, A, B, and C was the way the distributions  
13 were entered and the uncertainties. So that is  
14 where we are at on this case: presented how it was  
15 done and some of the differences and the only thing  
16 we can arrive at is the way they are entered into  
17 IREP and this is kind of, you know, sends up a  
18 question is there a standard way - is this affecting  
19 any other TRs that are -

20 MS. K. BEHLING: This is Kathy again.  
21 If we can scroll down on Live Meeting a little bit  
22 then we can see that we wrote this up in here that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the dose uncertainties were entered into IREP where  
2 82 percent of the total dose was entered as a  
3 constant -- that NIOSH entered 82 percent of a dose  
4 as a constant or the internal co-worker dose and  
5 you can see the differences here.

6 Eighty percent of SC&A's Method A was  
7 a log-normal distribution with a GSD of three and  
8 with Method B, 93 percent of the missed internal  
9 dose was entered as a normal distribution with a  
10 standard deviation of 30 percent.

11 CHAIRMAN KOTELCHUCK: Kathy, Dave. I  
12 -- just since we went through Table 3.1, the Method  
13 A column doesn't add up. It has internal doses,  
14 alpha 38.67. Could we go up to that? And then  
15 there is nine -- roughly nine more rems and the  
16 column up above doesn't have nine rems.

17 MR. BUCHANAN: Yes. That is an error  
18 and I thought that was corrected on there. On  
19 mine, I corrected it. That should be 41.915.  
20 That seven there should be a one.

21 CHAIRMAN KOTELCHUCK: Forty-one -- 41.  
22 Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: Yes, I'm sorry. That  
2 is --

3 CHAIRMAN KOTELCHUCK: But your  
4 calculations were done with that and that's just  
5 --

6 MR. BUCHANAN: Yes. That is just an  
7 error there.

8 CHAIRMAN KOTELCHUCK: Okay.

9 MR. BUCHANAN: And that ties right  
10 there. It's just a typo error.

11 CHAIRMAN KOTELCHUCK: Okay.

12 MR. BUCHANAN: That's 41.915.

13 CHAIRMAN KOTELCHUCK: Yes.

14 MR. BUCHANAN: The relationship is  
15 still --

16 CHAIRMAN KOTELCHUCK: Okay.

17 MS. K. BEHLING: And I'm sorry. And if  
18 you go back to Table 1-1 the correct number -- the  
19 correct value is in there. It didn't get corrected  
20 at the end, 41.915 in Table 1-1.

21 CHAIRMAN KOTELCHUCK: Okay.

22 MS. K. BEHLING: My apologies there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK:     That's okay.

2                   So --

3                   MR. SIEBERT:     This is Scott.     I'm  
4                   prepared to discuss this if you would so desire.

5                   CHAIRMAN KOTELCHUCK:     Sure.

6                   MR. SIEBERT:     I just want to make sure  
7                   that SC&A was -- Ron was done with presenting  
8                   everything he wanted to do on that.

9                   MR. BUCHANAN:     Yes, I'm done.

10                  MR. SIEBERT:     Okay.     Looking through  
11                  this, the comparison, really, the lion's share of  
12                  the difference is the internal, specifically the  
13                  plutonium assessment.

14                  CHAIRMAN KOTELCHUCK:     Right.

15                  MR. SIEBERT:     And we have been having  
16                  discussions right now about distributions and so  
17                  on.     The distributions that are required under the  
18                  process of our project are laid out in, I believe  
19                  it's OTIB-60.

20                  But we've been consistently using it  
21                  since the beginning of the project that missed dose  
22                  is assigned as a triangular distribution for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 internal and fitted dose is assigned as a  
2 log-normal distribution with a GSD of three.

3 Those are the -- those are the two  
4 distributions for when we are basing it on the  
5 bioassay data that we use, which is what was done  
6 in the NIOSH version.

7 There were no positive results in this  
8 claim for plutonium or for americium-241 chest  
9 counts, which is an indicator for plutonium. So  
10 any dose that was calculated, as Ron stated, they  
11 state that it's missed dose that they assigned.

12 However, missed dose should have been  
13 assigned as a triangular distribution, not as a  
14 log-normal with a GSD of there. That right there  
15 makes a huge amount of difference on the PoC  
16 calculation and that drives a lot of the difference  
17 that you are seeing in the PoC.

18 That is one of the issues, and I don't  
19 know if you want to discuss that a little bit more  
20 fully before I go on to another one.

21 MR. BUCHANAN: Okay. Well, repeat  
22 that please, so I can write it down.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           MR. SIEBERT: Sure. When we -- when we  
2 assess missed dose that is a triangular  
3 distribution with a minimum of zero. The mode is  
4 the MDA over two value and the maximum is at the  
5 MDA and we do the calculations based on the bioassay  
6 results at those levels.

7           MR. BUCHANAN: Yes.

8           MR. SIEBERT: When we do fitted dose it  
9 is set as a log-normal distribution with a GSD of  
10 three and it's based on the actual positive  
11 bioassay results.

12           In this specific case, as you mentioned  
13 it's all missed dose that is being assigned so it  
14 should have been a triangular distribution and, as  
15 you said, those distributions really drive a lot  
16 of difference in the PoC calculations.

17           MS. BRACKETT: And Scott, is that --  
18 you say that is incorporated into OTIB-60, the  
19 internal dose reconstruction TBD? Yes.

20           MR. SIEBERT: I believe that is where  
21 we have it, yes.

22           MS. BRACKETT: Okay. Definitely

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           there.  This is Liz Brackett.

2                       MR. SIEBERT:  Thank you, Liz.

3                       MS. BRACKETT:  Okay.  Thanks.

4                       MR. SIEBERT:  And that is something we  
5           have consistently done since the genesis of the  
6           program and, again, it gets documented in 60.

7                       MS. BRACKETT:  Okay.

8                       MR. SIEBERT:  So that -- so that's the  
9           differences from a distribution point of view.  
10          The other major difference that I saw is neither  
11          of the calculations on SC&A's side which -- let me  
12          go on aside for a second and let you know.

13                      I really appreciate doing this process.  
14          It gives me an appreciation for what SC&A does every  
15          day because I had to go back and figure out how they  
16          did their stuff and tried to justify it, which was  
17          very interesting to do.

18                      They did not take into account ingrowth  
19          of americium-241 from plutonium-241 in the  
20          plutonium mixture.  Everything at Rocky Flats,  
21          when you're dealing with plutonium, you don't see  
22          plutonium without americium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           It's always a mixture and it's always  
2 growing in from the plutonium-241 component of the  
3 full mixture. You can see americium all by itself  
4 in urine results because there were times that  
5 Rocky Flats worked with purified americium.

6           However, you will not see plutonium  
7 without americium. So whenever we are doing  
8 calculations for plutonium you have to take into  
9 account the plutonium and the americium in tandem.

10           You can't do the plutonium and the  
11 americium separately. When you do that, you also  
12 must take into account the americium-241 ingrowth  
13 from plutonium-241 because if you take a chest  
14 count -- an americium-241 chest count and  
15 back-calculate an americium intake and then assume  
16 from ratios that the plutonium in the mixture is  
17 what is out of the TBD, if we don't take into account  
18 ingrowth, the plutonium-241 that is part of that  
19 mixture does continue to create and contribute  
20 americium-241 and you'll actually end up  
21 overestimating the chest count based on the intakes  
22 that are assigned.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I looked at the files that we got from  
2 SC&A in the review and without taking that into  
3 account, once I took the americium-241 ingrowth  
4 into account, their intake would have been only 55  
5 percent of what it actually is assigned in the case  
6 itself. It cuts it almost in half.

7 MS. K. BEHLING: Okay. And Ron, maybe  
8 you can give me more details here. But I thought  
9 that we used the RSP plutonium/americium intake  
10 calculation tool.

11 MR. BUCHANAN: Well, okay. Now, Doug  
12 did this -- Doug and John did this. I just did the  
13 comparison. So, you know, I'd have to go back and  
14 research that. I didn't actually do the original  
15 dosage.

16 MR. SIEBERT: The americium -- the  
17 americium ingrowth function is part of IMBA and  
18 needs to be specifically turned on in IMBA to run  
19 that and the ratio of 241 plutonium to americium  
20 needs to be entered into IMBA and the IMBA files  
21 that we received did not have that option enabled  
22 so it did not take into account americium-241

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ingrowth from plutonium-241.

2 MEMBER CLAWSON: This is Brad. You  
3 brought up something very interesting here which  
4 is unique to Rocky Flats: Is there something in the  
5 tools for the dose reconstructors? Do they -- how  
6 do they know to be able to do this? This sounds  
7 to me different than other facilities.

8 MR. SIEBERT: Brad, this americium-241  
9 and plutonium mixture is not unique to Rocky Flats.  
10 We do this at Savannah River, Hanford.

11 Everywhere we are dealing with  
12 americium chest counts we have to take that into  
13 account when we do our assessments of a plutonium  
14 mixture because it does include the plutonium-241  
15 as well as the americium-241.

16 MEMBER CLAWSON: Okay. So this is not  
17 just unique to Rocky Flats. I kind of got that from  
18 you at the beginning because you make the comment  
19 of whenever we do Rocky Flats this is how we do it.

20 So I was just wondering if this is  
21 something unique to them. But this is throughout  
22 all of the sites, the plutonium?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. SIEBERT: Yes. If I -- if I led you  
2 astray, I apologize. Perhaps my wording could  
3 have been clearer.

4                   MEMBER CLAWSON: No. I just wanted to  
5 make sure that there wasn't something there that  
6 we need to make sure that, you know, that people  
7 that do this all the time may have known it but other  
8 ones that didn't. I apologize. Thank you.

9                   MR. SIEBERT: And just to let everybody  
10 know, this discussion of americium-241 is also  
11 covered in OTIB-60, the fact that we deal with  
12 ingrowth as well.

13                   DR. MAURO: And Scott, I'm sorry. I'm  
14 not as quick on my feet as I probably should be.  
15 But you're saying that because of this we  
16 overestimated the dose, and I have to say I'm having  
17 a little trouble following the narrative. Could  
18 you give it to me one more time?

19                   MR. SIEBERT: That's fine. When you  
20 -- when you calculated your americium-241 intake  
21 you used a chest count and you -- and you determined  
22 the intake of americium-241 from -- for the whole

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 missed time frame.

2 DR. MAURO: Okay.

3 MR. SIEBERT: Then we used ratios to  
4 also assign the rest of the mixtures which would  
5 include the plutonium-241 in the mixture.

6 MR. BUCHANAN: Now this is your weapons  
7 grade and time period, right, that's at the table?

8 MS. K. BEHLING: And that is based on  
9 a -- the intake calculator tool? I'm trying to  
10 understand this too. Isn't there, like I said,  
11 that plutonium/americium intake calculator tool  
12 that we should have used?

13 MR. SIEBERT: Yes. But the problem is  
14 the only way you can use that tool appropriately  
15 is if you consider americium ingrowth from the  
16 plutonium-241 when you do your americium  
17 calculation.

18 Otherwise -- because what I did was I  
19 took the americium-241 intake that was assigned in  
20 the methods and if I account for ingrowth from a  
21 plutonium-241 that was also assigned and project  
22 out to the chest counts, the chest count is almost

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 -- it's projected to be almost twice as high as the  
2 actual chest count result.

3 MS. K. BEHLING: Okay. Okay. It's  
4 like we double -- we double count on that?

5 MR. SIEBERT: Correct.

6 MR. BUCHANAN: In Method A we get less  
7 of a plutonium dose. In NIOSH assigned we had 38  
8 rem and NIOSH assigned 46 rem and our total - and  
9 so there must be another factor in distribution  
10 also in comparing A to NIOSH - SC&A Method A to NIOSH  
11 we come out with a lesser dose and greater  
12 probability. So that must be due to distribution  
13 factors as opposed to this americium issue.

14 MR. SIEBERT: Well, it's both of them  
15 combined and what I did as a quick down and dirty  
16 I was only -- I was given the IREP sheet from one  
17 of your -- I'm not positive which one it was but  
18 all I did was I ratioed the alpha dose down to 55  
19 percent, basically almost in half, to account for  
20 the americium-241 because at this point everything  
21 is a straight ratio when you don't include these  
22 things.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           When I reduced the numbers correctly  
2           like that and changed the distribution to  
3           triangular as is described rather than a log-normal  
4           with a GSD of three and reran the PoC, it came out  
5           at approximately -- well, I don't have to say  
6           approximately -- I can give you the actual number  
7           -- 47.38 percent.

8           So those two issues right there are what  
9           accounts for the difference in compensability  
10          decisions, in my mind.

11          CHAIRMAN KOTELCHUCK: Then it sounds  
12          like the SC&A folks, if they agree with this  
13          criticism and they, I guess, properly should double  
14          check, essentially what you have calculated,  
15          Grady, that is -- that resolves that problem  
16          significantly.

17          MS. K. BEHLING: This is Kathy again.  
18          Doug, are you still on the line? Do you want to  
19          weigh in on this at all? Because I - we will look  
20          at this, of course.

21          MR. FARVER: No, I've been looking at  
22          this but I've been trying to figure out where that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ingrowth function is. I haven't found it.

2 MR. BARTON: Could I ask a question?  
3 This is - this is Bob Barton. You mentioned that  
4 the ingrowth correction is mentioned in TIB-60,  
5 which is internal dose reconstruction, which was  
6 just recently updated last Fall but -- and I only  
7 have the current version in front of me -- was that  
8 guidance actually in the previous version, which  
9 I think was several years earlier when we were doing  
10 these blinds? I'm not sure.

11 MS. BRACKETT: This is Liz Brackett and  
12 it's actually not in either version. It is not  
13 addressed in OTIB-60. It is covered in dose  
14 reconstructor training and there is an informal  
15 guidance document for the, in the case when they  
16 are doing cases if they need it. But it's not in  
17 OTIB-60.

18 MS. K. BEHLING: Can we get a copy or  
19 can we see that guidance -- that guidance document  
20 -- that informal guidance document so we can verify  
21 this?

22 MS. BRACKETT: Yes, I will pass that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 through NIOSH.

2 MR. SIEBERT: This is an issue that has  
3 been discussed in the Subcommittee actually in the  
4 past because we have dealt with this.

5 We have been doing this since pretty  
6 much day one of doing plutonium assessments on this  
7 project.

8 And Doug, to help you out or for IMBA,  
9 it's under the advanced options. You go to  
10 advanced options and advanced -- the second  
11 advanced options. It's under bioassay and has the  
12 allowed ingrowth of americium-241.

13 OPERATOR: Speaker, please identify  
14 yourself.

15 MR. SIEBERT: I'm sorry. This is  
16 Scott Siebert yet again.

17 MR. FARVER: This is Doug and that is  
18 right where I was looking and it is grayed out in  
19 my version or --

20 MR. SIEBERT: Well, you probably don't  
21 have -- do you have americium selected as the  
22 radionuclide of interest and then have -- let me

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 see here.

2 MR. KATZ: Well, I mean, you guys  
3 really don't need to settle this on this call. I  
4 mean, that's sort of the clarification of how to  
5 do which you can do offline, you guys.

6 DR. MAURO: By the way, one  
7 other - another takeaway I have here is that it  
8 sounds like that the sophistication of your program  
9 in terms of the training your folks, that the --  
10 and the tools it's a living process and correct me  
11 if I'm wrong, any chance that when you're in a mode  
12 where, let's say, you're training people on your  
13 tools, especially when they are nuanced like this  
14 one, that one of our folks -- our lead internal  
15 dosimetrists -- can join in and --

16 MR. KATZ: Tom, this has been raised  
17 before and I've asked that and I thought Stu or  
18 somebody thought it would be reasonable actually.  
19 I think it's important that your folks who are doing  
20 Dose Reconstruction reviews get whatever training  
21 can be arranged that is being given to other dose  
22 reconstructors.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: Yes, we discussed  
2 during, I think, the last Procedures Subcommittee.

3 MR. KATZ: I think that's a great idea.

4 CHAIRMAN KOTELCHUCK: I am happy to  
5 hear that this discrepancy that appeared at first  
6 may in fact be resolved and that we do not have a  
7 flip-over in decisional compensation.

8 But basically SC&A will redo and make  
9 sure that we have agreement, in which case that's  
10 very hopeful and this is good.

11 MEMBER MUNN: I think you are right  
12 about that, David. And we have -- we have  
13 discussed this question and I've already seen  
14 ingrowth in this forum before.

15 CHAIRMAN KOTELCHUCK: Yes. Oh, yes,  
16 and it makes -- I think it is understandable to me  
17 who wasn't part of that discussion. I did want to  
18 ask before we conclude on this what we should  
19 consider about the depleted uranium, which now we  
20 are not looking at Method B of -- we are really  
21 comparing NIOSH and Method A.

22 But B considered and has ten rem of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 depleted uranium and I would like somebody to tell  
2 me is that something that others missed or is there  
3 new information that depleted uranium is around  
4 that people were not aware of or --

5 MR. SIEBERT: This is Scott. I can  
6 address that one, as well.

7 CHAIRMAN KOTELCHUCK: Please.

8 MR. SIEBERT: There is no indication  
9 that the Employee (EE) was working in any uranium  
10 facility at Rocky Flats. The EE was placed --  
11 anytime we saw data that placed the EE in any  
12 facility at Rocky Flats, it was a plutonium  
13 facility.

14 This was clearly a plutonium worker.  
15 The plutonium and uranium -- it's not the same area  
16 at Rocky Flats, from my understanding. It's a very  
17 clear delineation.

18 So this individual was clearly working  
19 with plutonium as is seen from here -- the  
20 individual's data. We also saw whenever we looked  
21 at any of the indication -- the incident reports  
22 and so on, they are always in areas of plutonium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   They are not in any uranium areas. So  
2           [what] we said in the bottom line is, this  
3           individual does not appear to have any reason to  
4           have been exposed to uranium based on the  
5           information that we have.

6                   CHAIRMAN KOTELCHUCK: Okay. And SC&A  
7           folks, how do you respond to that?

8                   DR. MAURO: We've got to go back and  
9           figure out why we included it. I have to admit,  
10          you know, this is something -- I'm involved very  
11          much in the Method B and I'd have to go and figure  
12          out why we included uranium, perhaps erroneously.  
13          But I think it's --

14                  MR. SIEBERT: Well, John, I can tell  
15          you in the original report -- not the comparison  
16          report but the original report on Page 7, you  
17          clearly do state, see our Method B assigned  
18          potential internal intakes from DU, which was very  
19          claimant-favorable because the EE worked in the  
20          plutonium facility. Just to give you somewhere to  
21          look.

22                  DR. MAURO: Okay. So in other words,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we -- thank you for looking so carefully. In  
2 effect, what you did find is that we made what we  
3 believed to be a claimant-favorable assumption,  
4 which was actually unrealistic.

5 Okay. I accept that. But we'll take  
6 a look at that. I mean, I think we should take a  
7 look at it.

8 CHAIRMAN KOTELCHUCK: Absolutely.

9 DR. MAURO: But I, you know, certainly  
10 accept that criticism.

11 CHAIRMAN KOTELCHUCK: So when we come  
12 back to this, this may be a -- one that's resolved  
13 such that there is now agreement and that is very  
14 good. It is just about four o'clock. Do people  
15 want to take a ten-minute break now?

16 MR. KATZ: Yes, that sounds great.

17 CHAIRMAN KOTELCHUCK: Okay. We'll  
18 take a ten-minute break. Be together at ten  
19 minutes after 4:00 Eastern time and then we'll go  
20 on to another case. This is progress. And I --  
21 Kathy, I leave it to you to which case you'd like  
22 to choose next.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: Okay. Maybe we'll  
2 look at Fernald if Doug is up for it.

3 CHAIRMAN KOTELCHUCK: Very good.

4 MS. K. BEHLING: Thank you.

5 CHAIRMAN KOTELCHUCK: Thank you. See  
6 you in ten minutes.

7 (Whereupon, the above-entitled matter  
8 went off the record at 3:58 p.m. and resumed at 4:15  
9 p.m.)

10 CHAIRMAN KOTELCHUCK: Kathy, as we  
11 begin, you said that you'd like to take a case from  
12 Fernald. Might you be able to show us the very  
13 first slide, the table with all of the different  
14 line --

15 MS. K. BEHLING: The comparison table?

16 CHAIRMAN KOTELCHUCK: Yes, the --

17 MS. K. BEHLING: The summary  
18 comparison, yes.

19 COURT REPORTER: This is the court  
20 reporter. Are we on the record?

21 MS. K. BEHLING: Yes.

22 CHAIRMAN KOTELCHUCK: Just let us take

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a look at it and then ---

2 COURT REPORTER: Sorry. Are we on the  
3 record?

4 MS. K. BEHLING: Of course.

5 CHAIRMAN KOTELCHUCK: We're on the  
6 record.

7 COURT REPORTER: Okay.

8 MS. K. BEHLING: Of course, yes. Of  
9 course. It's your decision as to which case we do  
10 next.

11 CHAIRMAN KOTELCHUCK: But I, as Chair,  
12 I'm going to suggest that you choose it and we will  
13 be happy to do that.

14 MS. K. BEHLING: Okay. And the only  
15 thing, like I said, we --- just because of the  
16 details as you know and as you've recognized that  
17 we're discussing here, as I said, Doug and Ron and  
18 I have decided to split these up. And if Doug is  
19 prepared to do the Fernald case, which is the second  
20 one identified under the 17th set, that would be  
21 fine.

22 And, Doug, you can tell me and, if not,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I can take another case. But I will point out as  
2 we all recognize, especially looking at the Rocky  
3 Flats site that we just discussed, the level of  
4 complexity that, and especially that site, I  
5 believe, but that goes into these dose  
6 reconstructions.

7 And I think one of the things that I  
8 pointed it out when I wasn't sure when it was  
9 happening but at least because of how complex Rocky  
10 Flats is, it does sound like everything is in place  
11 so that the dose reconstructors appear to be doing  
12 this in a consistent manner.

13 And I just wanted to point that  
14 particular aspect out, that even though we may have  
15 -- but it shows you how complex -- and we've been  
16 running this IMBA for all these years and we're not  
17 necessarily made aware or were aware of all of these  
18 level of details and the advanced aspects of even  
19 the IMBA program.

20 But as long as the dose reconstructors  
21 are doing this consistently, and it appears in this  
22 particular case they are, I think that's a good

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sign.

2 CHAIRMAN KOTELCHUCK: Yes, it is.

3 MS. K. BEHLING: So, Doug, would you  
4 like to start on the Fernald case, if the  
5 Subcommittee Members are in agreement?

6 MR. FARVER: I can do that and I can  
7 make it real quick without all the nuances and even  
8 going through the whole document. I mean, if you  
9 really want the short story --

10 CHAIRMAN KOTELCHUCK: Well, we have  
11 not suffered -- no, we can go through it in a little  
12 more detail -- in the same level of detail that the  
13 other two we've gone through. That was quite  
14 informative.

15 MR. FARVER: Okay. Well --

16 CHAIRMAN KOTELCHUCK: We're here until  
17 5:00 p.m.

18 MR. FARVER: Okay.

19 CHAIRMAN KOTELCHUCK: Here.

20 MR. FARVER: I just didn't want to take  
21 up your time unnecessarily.

22 CHAIRMAN KOTELCHUCK: No, no. This is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not -- this has been constructive.

2 MR. FARVER: Okay. If we go to Page 8,  
3 Table 1.1, that will kind of tell you the story  
4 between Method A and Method B and the NIOSH  
5 calculations. And --

6 CHAIRMAN KOTELCHUCK: Okay. Yes.

7 MR. FARVER: Everything above the  
8 recorded shallow dose is similar, all of the photon  
9 doses and the missed photon doses for Method A and  
10 NIOSH. Method B is very similar.

11 CHAIRMAN KOTELCHUCK: Okay. Although  
12 A is our -- it's the comparison of NIOSH and A that  
13 is central to our mission.

14 MR. FARVER: Yes. And they're pretty  
15 much duplicates of each other.

16 CHAIRMAN KOTELCHUCK: Yes. Okay.

17 MR. FARVER: So that's pretty  
18 unexciting. And then you go down to onsite ambient  
19 dose --

20 CHAIRMAN KOTELCHUCK: No. The fact  
21 that they're so similar is exciting to some of us.

22 MEMBER MUNN: Statisticians. Right?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. FARVER:    And the onsite ambient  
2                   dose is where the really big difference in the whole  
3                   case is.  SC&A did not do an onsite ambient dose  
4                   so we'll talk about that when we get to it.  And  
5                   NIOSH did.  And that's where the three additional  
6                   rem come from.

7                   CHAIRMAN KOTELCHUCK:  Mm-hmm.

8                   MR. FARVER:    That was your short story.  
9                   Now we can go on through if we wish.  You know, you  
10                  see the occupational medical dose.  Method A is  
11                  higher than NIOSH but not as high as Method B.

12                  CHAIRMAN KOTELCHUCK:    I would say  
13                  where the calculations are identical as they are  
14                  for the photon dose, the low energy and high energy  
15                  -- higher energy, there's no need to go over that.

16                  MR. FARVER:    Okay.

17                  CHAIRMAN KOTELCHUCK:    And I'm looking  
18                  at A and NIOSH, unless you have something from B  
19                  that you feel should be brought to our attention.

20                  MR. FARVER:    I don't believe so, mainly  
21                  because if you look at the total doses, they're  
22                  pretty similar.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK: They're almost  
2 identical.

3                   MR. FARVER: Right. And the crux of it  
4 is the ambient dose, is where the three rem  
5 additional that NIOSH came up with that we didn't.

6                   CHAIRMAN KOTELCHUCK: Okay. Let's  
7 talk about that.

8                   MR. FARVER: Okay. And we can move on  
9 down to that section, which --

10                  CHAIRMAN KOTELCHUCK: If folks  
11 disagree or other Subcommittee Members want to go  
12 over any of those that are identical there, please  
13 say so.

14                  MEMBER MUNN: No, certainly not I.  
15 This is exactly what I was talking about at outset,  
16 how much of a difference is significant enough for  
17 us to -- yes.

18                  MS. K. BEHLING: I'm sorry, Doug.  
19 This is Kathy. Obviously the doses are identical  
20 and also the methodology used has to be identical  
21 almost in order to come up with those identical  
22 doses.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Right.

2 CHAIRMAN KOTELCHUCK: That is correct.

3 MS. K. BEHLING: So that's important.

4 CHAIRMAN KOTELCHUCK: Yes.

5 MR. FARVER: Right.

6 MS. K. BEHLING: Right.

7 MR. FARVER: Well, if we look at  
8 Section 2.1.6, the onsite ambient doses, SC&A chose  
9 not to assess an onsite ambient dose because the  
10 employee was continuously monitored. NIOSH  
11 assigned an ambient dose after 1984 when the  
12 ambient dose was subtracted out of the measured  
13 dose, out of the dosimeter dose.

14 So that is the difference. They  
15 calculated the dose from 1994 through, is it '97,  
16 I believe. And we came up with the additional  
17 three rem.

18 MS. K. BEHLING: And I think what's  
19 important here is that that is documented, I  
20 believe in PROC-60 as to how to deal with the onsite  
21 ambient for each of the sites.

22 MR. FARVER: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK: Excuse me. I'm  
2 not clear what the difference is. I didn't quite  
3 follow it. Would you mind?

4                   MR. FARVER: Why we did not?

5                   CHAIRMAN KOTELCHUCK: Right.

6                   MR. FARVER: We did not because the  
7 employee was continuously monitored, wearing a  
8 dosimeter.

9                   MR. FARVER: So we did not feel there  
10 was a need to assess another dose on top of that.

11                  MS. K. BEHLING: And generally that is  
12 the rule. However, like I said, there are some  
13 specifics to different sites and those are spelled  
14 out in PROC-60.

15                  MR. FARVER: Correct.

16                  CHAIRMAN KOTELCHUCK: And what are  
17 those specifics?

18                  MR. FARVER: For this site that after  
19 1984, you do start assessing an ambient dose. So  
20 NIOSH is correct in what they did.

21                  MS. K. BEHLING: And that is because at  
22 that facility, they were subtracting out that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ambient dose from the measured dose.

2 MEMBER MUNN: Again, a facility issue,  
3 an onsite. Yes.

4 MS. K. BEHLING: Correct.

5 CHAIRMAN KOTELCHUCK: And NIOSH folks,  
6 what do you say? Grady?

7 MR. SIEBERT: This is Scott. We agree  
8 wholeheartedly that we did it correctly following  
9 the Procedure 60.

10 MS. K. BEHLING: Thank you for that,  
11 Scott.

12 MR. FARVER: Good.

13 MEMBER CLAWSON: This is Brad. I've  
14 just got a question on this because this has come  
15 up at some other sites. So what you're telling me  
16 is that when they started putting a badge, say, out  
17 there where the badges were kept or whatever, when  
18 they read it, they subtracted that from the  
19 people's badges? Is that why you --

20 MR. SIEBERT: That is correct, Brad.  
21 That is what they were doing.

22 MS. K. BEHLING: That's right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER CLAWSON:     Okay.     So, okay.  
2     Just wanted to make sure.   I was just trying to  
3     figure it out.   I had heard that before and I just  
4     wanted to make sure.

5                   CHAIRMAN KOTELCHUCK:     So, but, I  
6     hadn't looked at -- I mean, what happened in '84?  
7     I mean, why the change?

8                   MR. SIEBERT:    It's just a change in how  
9     the site was dealing with their dosimetry program.  
10    Up until '84 they didn't have the ambient badges  
11    being subtracted out.   I don't know if they had the  
12    ambient badges --

13                  CHAIRMAN KOTELCHUCK:    Okay.

14                  MR. SIEBERT:    Once they hit '85, they  
15    decided to start subtracting the ambient doses out  
16    so that they weren't reporting the ambient doses  
17    to the DOE.   But, I mean, if that's conjecture to  
18    me as to why, but there was a clear delineation as  
19    to change in their methodology.

20                  CHAIRMAN KOTELCHUCK:    I'm not clear  
21    who's backing off.   People are laughing, but who  
22    -- and I may have forgotten and it's getting later

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the day, the first slide, but why?

2 MS. K. BEHLING: This is Kathy. NIOSH  
3 was correct in assessing onsite ambient dose after  
4 1984. We didn't do it because, as I said, often  
5 it is an issue of if you were monitored, we didn't  
6 need to do that. We made a mistake by not  
7 consulting PROC-60 in this particular case.

8 CHAIRMAN KOTELCHUCK: Okay. So we  
9 will -- so you accept that NIOSH did it correctly?

10 MS. K. BEHLING: Yes.

11 MR. FARVER: Yes.

12 CHAIRMAN KOTELCHUCK: Okay. And that  
13 effectively, I don't know, I guess, if you will,  
14 you should change it? Or is there any value to your  
15 actually putting this on paper or if this is  
16 something we could just describe, if you will?

17 And there's no change in the decision.  
18 That is to say, a flip over from compensable to  
19 non-compensable or non-compensable to  
20 compensable.

21 MR. KATZ: No. Right. This is Ted,  
22 Dave. I think this is just an example where, in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the narrative, the little summary memo, you know,  
2 they can cover this in a couple sentences how this  
3 issue was disposed.

4 CHAIRMAN KOTELCHUCK: Okay.

5 MR. KATZ: And then we'll have a clear  
6 record for this case of that matter.

7 CHAIRMAN KOTELCHUCK: Okay. That  
8 sounds good. I just want to make sure.

9 MR. FARVER: Okay.

10 CHAIRMAN KOTELCHUCK: Well, then --

11 MR. FARVER: We can move on to the  
12 medical doses.

13 CHAIRMAN KOTELCHUCK: Yes.

14 MR. FARVER: And on Page 15, you can see  
15 Table 2.6 of the two methods and the NIOSH results.  
16 And you'll see some differences.

17 CHAIRMAN KOTELCHUCK: Yes.

18 MR. FARVER: And Method A, pulled the  
19 numbers off of Table 8.9 from OTIB-6. And Method  
20 B pulled the doses from the Fernald TBD, Tables 3.14  
21 and 16. And although it really wasn't specified  
22 where NIOSH got their values from, we believe they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 got them from OTIB-6 but we're not exactly sure  
2 where because OTIB-6, they have 41 different skin  
3 cancer sites.

4 So it's a little tricky to make a direct  
5 comparison.

6 CHAIRMAN KOTELCHUCK: Yes.

7 MR. FARVER: So we don't really know  
8 what was done for that one, but they're all very  
9 similar.

10 CHAIRMAN KOTELCHUCK: And small.

11 MR. FARVER: And small.

12 CHAIRMAN KOTELCHUCK: So NIOSH folks,  
13 what do you say?

14 MR. CALHOUN: I'm being quiet here  
15 because I work at Fernald.

16 CHAIRMAN KOTELCHUCK: Oh, okay.  
17 Fine. Anybody else?

18 COURT REPORTER: Speaker, please  
19 identify yourself.

20 CHAIRMAN KOTELCHUCK: That is Grady.

21 MR. CALHOUN: That was Grady Calhoun.

22 CHAIRMAN KOTELCHUCK: That's fine,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Grady and that's correct. Is there anyone else  
2 that can speak to that or wants to speak to that?

3 MR. SIEBERT: This is Scott from the  
4 ORAU team. I mean, we're going to have to look at  
5 the specifics on what was assigned and why. We  
6 have somebody looking at it but they probably won't  
7 be able to answer it right at this very second.

8 CHAIRMAN KOTELCHUCK: Fine. Why  
9 don't we say that you will have somebody look at  
10 it and report back to us at the next meeting?

11 MR. SIEBERT: We would be happy to.

12 CHAIRMAN KOTELCHUCK: Okay. And then  
13 --

14 MR. SIEBERT: As long as Grady wants me  
15 to.

16 MR. CALHOUN: I always want you to,  
17 Scott.

18 CHAIRMAN KOTELCHUCK: Okay. Very  
19 good. So that would, I believe, conclude this.

20 MR. FARVER: Okay. We can wait until  
21 we get a response back from NIOSH. It looks like  
22 the employee had about seven exams so it should not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be too difficult to track down.

2 CHAIRMAN KOTELCHUCK: Yes. Okay.  
3 And that will be -- one doesn't have to present  
4 anything. Just tell us in words what that  
5 difference is and that will be incorporated into  
6 the report that we write up. Correct?

7 MR. FARVER: Okay.

8 MR. KATZ: Okay, so Scott will report  
9 out -- will send, you know, just like we would with  
10 other matters with matrices and so on. If he'll  
11 just send out what he finds, his response, when he  
12 has it, to the Work Group and to SC&A --

13 CHAIRMAN KOTELCHUCK: That's fine.  
14 Okay. And then that will resolve it without having  
15 to come back to the meeting. Then we will need --  
16 we only have about 15 minutes because we've got to  
17 choose our next meeting. And I think if we don't  
18 have time to go over another one, maybe we should  
19 just talk about our meeting time and any other  
20 concerns and finish up for the day.

21 MEMBER MUNN: Good.

22 MS. K. BEHLING: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Kathy?

2 MS. K. BEHLING: One quick question  
3 here and I'm just -- I should have a better  
4 understanding. I do take notice -- and, again,  
5 it's not significant doses but I was curious for  
6 the internal dose, the total internal, the  
7 difference between Method A and B --

8 CHAIRMAN KOTELCHUCK: I'm sorry. I  
9 did not -- yes, yes. Okay.

10 MS. K. BEHLING: I just want to -- maybe  
11 we could elaborate on that again.

12 CHAIRMAN KOTELCHUCK: By all means.  
13 By all means. That's my mistake. I thought we had  
14 finished everything and we have not.

15 MR. FARVER: This is Doug. I think I  
16 can go on with that.

17 CHAIRMAN KOTELCHUCK: Please do.

18 MR. FARVER: Okay.

19 CHAIRMAN KOTELCHUCK: If we go to the  
20 bottom of Page 15, which we can see that it  
21 discusses NIOSH's uranium dose calculations. And  
22 well, this employee had, like, 217 urine samples.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I mean, it was a lot to look at, a lot of data.

2 But there are only -- there was one  
3 result that was above the MDA and one result at the  
4 MDA. Okay. So that's pretty much what you have  
5 out of over 200 samples. You're looking at maybe  
6 two results of interest.

7 So those are the ones that NIOSH  
8 plotted, assumed the chronic intake, 2 percent  
9 uranium. They assumed that those -- or before  
10 those dates, that those were acute intakes, that  
11 those results were caused by acute intakes.

12 So they assess two acute intakes on top  
13 of a chronic intake that occurs through the entire  
14 employment period. And that is the method they  
15 used to come up with their dose. They also did  
16 assess some thorium on top of that and from a  
17 baseline fecal sample and chest counts. Okay. And  
18 that's included doses. It will come up later.

19 CHAIRMAN KOTELCHUCK: Okay.

20 MR. FARVER: Method A for this, SC&A  
21 assessed an acute intake for the single elevated,  
22 the one that was elevated above MDA.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay.

2 MR. FARVER: So your MDA is at 14.  
3 There is one result of 14, one result of 18. So  
4 we just assessed the 18 as an acute and felt that  
5 the 14 fell in along the MDA line.

6 Okay. And then we assessed a missed  
7 uranium dose for the employee. So we had a little  
8 different approach. They had two acute intakes.  
9 We had one acute intake. And I see where we're at  
10 on live. Okay.

11 Table 2.10 shows the intakes. There  
12 was an interesting thing about this because we used  
13 the CADW and the IMBA software. But the CADW  
14 seemed to come up with some lower doses than the  
15 IMBA doses. And that information was in our  
16 original report.

17 But we did not put the comparison in  
18 here. If I remember right, I believe that the CADW  
19 at the time was doing -- it was doing an estimating  
20 for some of the uranium, thoriums -- I'm not sure.  
21 I seem to recall there was something the CADW was  
22 doing that it's no longer doing now. That's been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 corrected.

2 But that may have been the reason that  
3 we noticed the difference between the CADW and the  
4 IMBA.

5 MS. K. BEHLING: The other thing that's  
6 strange about that is that CADW you have to put in  
7 the full year where the IMBA you can put in your  
8 exact years. So you would almost have expected the  
9 CADW to be higher.

10 I guess maybe that was brought to our  
11 attention. Maybe we should think about -- I don't  
12 know. As long as we know that that's been  
13 corrected and if -- well --

14 MR. FARVER: Yes. And if we go on to  
15 Table 2.11, we also assessed it for recycled  
16 uranium with those intakes. Now, we were off  
17 probably by about a difference of ten in our  
18 internal doses. Let me verify that. Internal  
19 dose of .037 and .302 from the NIOSH and then .292  
20 for our Method B.

21 CHAIRMAN KOTELCHUCK: Could somebody  
22 scroll to where it --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. FARVER: Okay. Well, I was just  
2 flexing back for my own benefit.

3 CHAIRMAN KOTELCHUCK: Okay.

4 MR. FARVER: So Method A and NIOSH are  
5 off by about a factor of ten. And I believe I know  
6 why. I believe that's because we incorrectly  
7 chose Type S uranium and probably if we would have  
8 chose Type M uranium, the skin doses would have been  
9 higher.

10 That's just -- from looking at this  
11 right now, that's kind of my guess on this.

12 MEMBER MUNN: It's possible.

13 MR. FARVER: And I'm the one that made  
14 the mistake so I admit it. But I'm looking at it  
15 now and I'm thinking, why did you do that because  
16 you know that's not going to give you a skin dose  
17 Type S?

18 CHAIRMAN KOTELCHUCK: Right.

19 MS. K. BEHLING: Yes. That is what we  
20 did.

21 MR. FARVER: Yes.

22 MS. K. BEHLING: We did Type S uranium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. FARVER:     So I'm guessing that  
2 probably explains that factor of ten.

3                   CHAIRMAN KOTELCHUCK:   Okay.

4                   MR. FARVER:     Even though we assessed  
5 different intakes, you know, two acutes versus one  
6 acute over top of chronic, a lot of times that  
7 really doesn't matter because your overall dose is  
8 going to be about the same, assuming you choose the  
9 same material class.

10                   And then we went on to assess some  
11 environmental dose but it wasn't much of anything.  
12 But that's kind of the short story and that's kind  
13 of where the two big differences are.

14                   CHAIRMAN KOTELCHUCK:   Okay.

15                   MR. FARVER:     The acute dose and then --

16                   CHAIRMAN KOTELCHUCK:   Medical dose.

17 Pardon.

18                   MR. FARVER:     And then we kind of got the  
19 uranium solubility incorrect.

20                   CHAIRMAN KOTELCHUCK:   That should also  
21 be written up again, not necessarily -- if you would  
22 just do the calculation and bring it to our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 attention.

2 MR. FARVER: I will. Now that I'm  
3 looking at Table 2-12.

4 CHAIRMAN KOTELCHUCK: Good.

5 MR. FARVER: And is that on the screen?

6 CHAIRMAN KOTELCHUCK: It is.

7 MR. FARVER: Okay. Now I'm more  
8 confused. Maybe it's because we didn't assess  
9 thorium. We'll have to look at that.

10 CHAIRMAN KOTELCHUCK: Okay. Then  
11 that one I would urge us to come back to next time.  
12 Okay?

13 MR. FARVER: Okay.

14 MS. K. BEHLING: We can do that.

15 CHAIRMAN KOTELCHUCK: Okay. So  
16 that's what we'll start with next time. And let's  
17 talk about schedule. Ted, could you talk to us  
18 about when we could get together again?

19 MR. KATZ: Sure. Let me just pull up  
20 the calendar so I can see where we are.

21 CHAIRMAN KOTELCHUCK: Right.

22 MR. KATZ: One second, please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Sure.

2 MEMBER MUNN: July is a problem with  
3 us.

4 MR. KATZ: We have a Board meeting in  
5 July.

6 MEMBER MUNN: We have a Board meeting  
7 in Idaho.

8 MR. KATZ: Okay. So, well, July is  
9 further out maybe than we need to be though.

10 CHAIRMAN KOTELCHUCK: I wondered if we  
11 could meet sometime in early June or mid-June.

12 MR. KATZ: I don't know why we can't  
13 meet in June provided that people -- we have a lot  
14 to do with these blind reviews alone.

15 CHAIRMAN KOTELCHUCK: You bet we do.

16 MR. KATZ: So I think we don't have to  
17 worry about getting work done in time. Plus we  
18 have still a lot of work that I think both NIOSH  
19 and SC&A have done on the other sets, which we won't  
20 get to today but we could get through the regular  
21 cases.

22 So I think we have -- is this true, SC&A

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or not? We probably have a full load of material  
2 even if we were to meet tomorrow. Right?

3 MEMBER MUNN: That's pretty close, I  
4 think.

5 MS. GOGLIOTTI: Yes.

6 CHAIRMAN KOTELCHUCK: Well, then let's  
7 --

8 MR. KATZ: That's good. So let's look  
9 at June then and we don't have to worry about being  
10 prepared so much.

11 CHAIRMAN KOTELCHUCK: Alright.

12 MS. GOGLIOTTI: Yes.

13 MEMBER MUNN: How about Tuesday the  
14 23rd? Or do you really want it much earlier? We  
15 have a Board telecon on the 9th.

16 MR. KATZ: What about mid -- I mean, we  
17 have a telecon on the 9th. Right. But what about  
18 that mid-June area? So, for example, the week of  
19 -- I mean, even the week of the telecon, June 10th,  
20 11th. How's that? Or the following week? The  
21 week of the 16th?

22 CHAIRMAN KOTELCHUCK: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ: How are people's calendars  
2 for those dates?

3                   MR. CALHOUN: This is Grady and I'm  
4 going to throw a wrench into it a little bit.

5                   CHAIRMAN KOTELCHUCK: That's okay.

6                   MR. CALHOUN: I'm gone on my annual  
7 fishing trip from the 5th through the 13th.

8                   MR. KATZ: Okay. That's helpful. So  
9 --

10                  MR. CALHOUN: And I've got a joint  
11 outreach task group meeting 16th and 17th in Saint  
12 Louis.

13                  MR. KATZ: So are you alright, for  
14 example, on the 18th, Grady?

15                  MR. CALHOUN: The 18th, 19th and then  
16 the following next two weeks look fine.

17                  MR. KATZ: Okay. So why don't my Board  
18 Members look at that from the 18th forward to the  
19 end of June.

20                  CHAIRMAN KOTELCHUCK: Okay.

21                  MEMBER MUNN: My choice is on the 23rd.

22                  CHAIRMAN KOTELCHUCK: Pardon?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER MUNN: I said, my choice would  
2 still be the 23rd.

3                   MR. KATZ: Okay. But we have those  
4 five whatever --

5                   MEMBER MUNN: Yes.

6                   CHAIRMAN KOTELCHUCK: Right, 18th  
7 would work for me and would be good.

8                   MR. KATZ: So Brad and Wanda, can you  
9 do the 18th?

10                  MEMBER MUNN: I can do the 18th.

11                  MEMBER CLAWSON: Yes. This is Brad.  
12 I can do any of those dates. I'm not that  
13 important, so --

14                  MR. KATZ: You are very important,  
15 Brad.

16                  CHAIRMAN KOTELCHUCK: Oh, yes, you  
17 are. David, is that possible for you?

18                  MEMBER MUNN: He said yes.

19                  MEMBER RICHARDSON: Well, it's -- I'm  
20 going to be a little bit up in the air. I'm going  
21 to be out of the country then. So I'll be on a six  
22 hour time difference.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK:       Let's then  
2 proceed to the next week, if we can.

3                   MR. KATZ:     Are you still out of the  
4 country the next week, David?

5                   MEMBER RICHARDSON:   Yes.  I'll still  
6 be out of the country.  So either I can call in for  
7 part of the time.  If you don't need me for a  
8 quorum, I mean, that would be --

9                   CHAIRMAN KOTELCHUCK:   Right.  I think  
10 it would be reasonable for us to hope that we will  
11 have another Member by June 18th.

12                  MR. KATZ:     Oh, yes.  I think we can get  
13 another Member by June 18th for sure.

14                  CHAIRMAN KOTELCHUCK:   In which case,  
15 if the rest of us can -- now, we don't know about  
16 John Poston's availability.

17                  MR. KATZ:     So let's pick a couple  
18 tentative dates.  I'll query folks and if David  
19 needs to and, you know, you can call in for a small  
20 portion of the meeting or whatever.

21                  CHAIRMAN KOTELCHUCK:   Okay.  Well,  
22 then let's pick the 18th as one option.  How about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Monday the 23rd?

2 MEMBER MUNN: Tuesday the 23rd.

3 CHAIRMAN KOTELCHUCK: Tuesday the  
4 23rd.

5 MEMBER CLAWSON: Yes. Tuesday would  
6 be better than the Monday.

7 MR. KATZ: The 18th and the 23rd. I'm  
8 going to send those out to John.

9 CHAIRMAN KOTELCHUCK: I'll tell you,  
10 the 24th would be better.

11 MR. KATZ: Or 24th.

12 CHAIRMAN KOTELCHUCK: Yes. And  
13 Wanda, that's what you suggested, didn't you?

14 MEMBER MUNN: Sure. Yes.

15 CHAIRMAN KOTELCHUCK: Okay. Let's do  
16 the 18th and the 24th.

17 MR. KATZ: I'll send those out and see  
18 if John Poston can make one of those.

19 MEMBER MUNN: Okay.

20 CHAIRMAN KOTELCHUCK: Great.

21 MR. KATZ: Then I'll be sending those  
22 dates out because I don't think we can have the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 issue of David probably not being able to make the  
2 full meeting and John Poston not as well.

3 CHAIRMAN KOTELCHUCK: Yes.

4 MR. KATZ: Yes. Okay. That sounds  
5 good.

6 CHAIRMAN KOTELCHUCK: Alright.

7 MR. MELIUS: This is Jim Melius. Just  
8 to weigh in a little bit on what I think we need  
9 to do. I mean, I think the blind reviews are the  
10 key step we need to do to get the rest of the letters  
11 off.

12 And we need figure out what the  
13 schedule's going to be for when we are going to be  
14 able to say something about the blind reviews,  
15 meaning we have enough of them resolved that we can  
16 feel comfortable reporting on them, so to speak.

17 CHAIRMAN KOTELCHUCK: We'll have  
18 several that we can report on to the Idaho Falls  
19 meeting.

20 MR. KATZ: Well, I mean, that next  
21 meeting, I think we could knock out any of them if  
22 not all of them. And then get the memos written

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 up after that. If it goes like it went today, we  
2 spent a lot of time on other matters before we got  
3 to the blind reviews today.

4 CHAIRMAN KOTELCHUCK: Yes.

5 MR. KATZ: We could get many of them  
6 done, I think.

7 MR. MELIUS: Yes. No, my  
8 recommendation was just to focus on them and not  
9 worry as much about 14 through --

10 MR. KATZ: Right. Right.

11 CHAIRMAN KOTELCHUCK: That's item one  
12 on the agenda.

13 MR. KATZ: I agree.

14 MEMBER MUNN: Well, and besides, we did  
15 two sticky wickets really, the ones that were  
16 strange like that.

17 CHAIRMAN KOTELCHUCK: That's right.  
18 We started with the worst first.

19 MEMBER MUNN: Yes. We had the tough  
20 ones, I think, knock on wood.

21 CHAIRMAN KOTELCHUCK: Yes.

22 MR. SIEBERT: This is Scott. I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       sorry. Now that we're talking about blind audits  
2       again, is there any way that NIOSH could be  
3       delivered any of the supporting files that SC&A  
4       used in all these? Just having the PDF report is  
5       very hard for us to recreate what was done.

6               MR. KATZ: Yes, Scott. That should be  
7       no problem with that. SC&A can send you the files  
8       for each of those that you want. I think it'd be  
9       helpful if you just request what files you want for  
10       which.

11              MR. SIEBERT: I mean, I'm just  
12       wondering if we can make it part of the normal  
13       process that --

14              MR. KATZ: Okay.

15              MR. SIEBERT: -- Grady gets all the  
16       files. Because NIOSH should really be the  
17       repository. I'll get it from them.

18              MR. KATZ: Yes. Yes. I don't see  
19       that there's any problem. Right? I'm asking  
20       whoever is the holder of the file. Kathy or --

21              MS. K. BEHLING: Yes. That shouldn't  
22       be a problem.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes, great. That makes it  
2 easier for everybody to move forward.

3 CHAIRMAN KOTELCHUCK: Yes. Okay.

4 MR. KATZ: Okay, then. Well, thank  
5 you all for a very productive meeting.

6 CHAIRMAN KOTELCHUCK: It certainly  
7 was. And this was productive and actually  
8 intellectually interesting.

9 MR. KATZ: Yes, it was that too.

10 CHAIRMAN KOTELCHUCK: And that's  
11 always fun. Okay.

12 MEMBER MUNN: What do those big words  
13 mean?

14 CHAIRMAN KOTELCHUCK: Okay. Well,  
15 thank you all. I will call the meeting to an end  
16 and adjourn.

17 (Whereupon, the above-entitled matter  
18 went off the record at 4:46 p.m.)

19

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701