

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

SUBCOMMITTEE ON PROCEDURES REVIEW

+ + + + +

TUESDAY  
APRIL 28, 2015

+ + + + +

The Subcommittee met via  
teleconference at 11:00 a.m. Eastern Time, Wanda  
I. Munn, Chair, presiding.

PRESENT:

- WANDA I. MUNN, Chair
- JOSIE BEACH, Member
- PAUL L. ZIEMER, Member

## ALSO PRESENT:

TED KATZ, Designated Federal Official  
DAVE ALLEN, DCAS  
BOB BARTON, SC&A  
HANS BEHLING, SC&A  
KATHY BEHLING, SC&A  
RON BUCHANAN, SC&A  
STU HINNEFELD, DCAS  
PAT KRAPS, ORAU Team  
LORI MARION-MOSS, DCAS  
STEVE MARSCHKE, SC&A  
JOHN MAURO, SC&A  
DAN MCKEEL  
JIM NETON, DCAS  
STEVE OSTROW, SC&A  
MUTTY SHARFI, ORAU Team  
SCOTT SIEBERT, ORAU Team  
JOHN STIVER, SC&A  
ELYSE THOMAS, ORAU Team

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## T-A-B-L-E O-F C-O-N-T-E-N-T-S

Welcome and Roll Call Ted Katz, Designated Federal Official.....	4
Review BRS status .....	5
OTIB-54	
Finding 5 -- Release Fraction Wording NIOSH, Jim Neton.....	9
Finding 9 -- Workbook Review- SC&A, Ron Buchanan.....	32
Finding 2-Downselect Evaluation- SC&A, Steve Ostrow.....	36
PROC-90 and PROC-92 .....	41
NIOSH, Lori Marion-Moss	
PER-31- Report Review - (Carryover) NIOSH, Stu Hinnefeld.....	50
PER-45 - Proposed Resolution to Responses SC&A, Hans Behling.....	54
PER-43 -- Findings 1, 2, 3 NIOSH, Jim Neton.....	81
PER-52 -- Findings 1 & 2 Response NIOSH, Jim Neton.....	102
PER-47 - Findings 1, 2, 3, 4 NIOSH, Jim Neton and Mutty Sharfi.....	110
Administrative Detail:	
Routine Note of Abeyance, Items Ready for Closing-NIOSH.....	134
Status of Case Selection Recommendations- SC&A.....	136
Determination of PER Tasking for Next Meeting.....	146
Adjourn	

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (10:59 a.m.)

3 MR. KATZ: So, welcome, everyone.

4 This is the Advisory Board on Radiation and Worker  
5 Health. It's the Procedures Review Subcommittee.

6 And in doing roll call, let me just  
7 discuss, since I know my Board Members are on that  
8 are going to be joining us, the ethics part of this.  
9 For any discussion about Hanford, Wanda and Josie  
10 have conflicts. So they'll be recused from any  
11 discussion, if there is any, of any Hanford  
12 matters. I'm not sure that there are.

13 And the same for Dr. Ziemer. Paul will  
14 be recused from any matter concerning X-10 or, I  
15 think, LANL after 2000. And I don't think there  
16 should be any matters there either, but just to  
17 cover that.

18 So, I don't think we need to do roll call  
19 otherwise for the Board Members. We know they're  
20 here. Let's move on to the NIOSH/ORAU Team and do  
21 formal roll call.

22 (Roll call.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ: Okay, then the last thing to  
2 mention is that the agenda is posted on the NIOSH  
3 webpage for this meeting and any related materials  
4 that are available. And Wanda, it's your meeting.

5                   CHAIR MUNN: Thank you much, Ted. And  
6 thank you all for being here so promptly. That's  
7 appreciated. I want to point out that Ted is  
8 operating without benefit of the Live Meeting  
9 screen today. So as we are going along, please be  
10 extra careful to make sure that you delineate  
11 exactly what we're talking about for the record,  
12 and for Ted's ability to follow where we're going.

13                   As you all know, we're going to lose  
14 Josie at 3 o'clock Eastern Time and so we're going  
15 to try our best to get through our fairly  
16 abbreviated agenda before that time.

17                   If we're all set to go, then I'd like  
18 to ask Lori and all of you if you have any additional  
19 comments with respect to where we are with the Board  
20 Review System right now? And thank you to Lori for  
21 getting me to it, with our upgrade on our IT, the  
22 system at CDC. That's helpful. Thank you, Lori.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Anyone have any comment with respect to  
2 where we are, and whether we need to be paying  
3 special attention to something? I think we're in  
4 good shape.

5           MR. KATZ: And before we do that, can  
6 we just ask everyone to mute their phones? Because  
7 someone has a lot of static that's making it hard.  
8 So, press \*6 to mute your phone unless you're  
9 speaking. Then you can press \*6 again to take your  
10 phone off of mute. Thanks, everybody.

11          CHAIR MUNN: Not sure whether that  
12 helped or not. Now, as we were saying, any comment  
13 with respect to the status of the Board Review  
14 System?

15                           (No response.)

16          CHAIR MUNN: Lori has sent us a note in  
17 terms of where the NIOSH updates have taken place.  
18 And Steve, do you have any comment with respect to  
19 where we are?

20                           Now I'm not getting any information at  
21 all. I'm not hearing anything --

22          MR. MARSCHKE: I was muted. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 followed Ted's advice and I was muted. Can you  
2 hear me now?

3 CHAIR MUNN: I can now hear you, yes.

4 MR. MARSCHKE: I haven't used -- to be  
5 honest with you, Wanda, I haven't really used the  
6 BRS very much recently. I don't know if Kathy has  
7 used it more recently, or Steve Ostrow, or somebody  
8 else in SC&A. But I haven't had the opportunity,  
9 or the need, to actually utilize it very much  
10 recently, so I'm not in a good position to give you  
11 much of an evaluation.

12 CHAIR MUNN: As long as we're getting  
13 what we need from it, I think we're fine. Anyone  
14 else with any thoughts on where we are with the BRS?

15 (No response.)

16 CHAIR MUNN: I take that as a good sign.  
17 I'm very happy with it myself. And despite the  
18 fact of our struggling now to get back to Live  
19 Meeting, I'm not quite sure what's going on there,  
20 but hold on just a moment, I'm almost back. Now  
21 I think I'm back. Yes, there's the agenda. Good.  
22 Thank you, all.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Let's start with OTIB-54. I believe  
2                   NIOSH is going to talk to us about Finding 5, am  
3                   I correct?

4                   DR. NETON: Wanda, this is Jim. I'm  
5                   going to lead it off, but I'm going to rely on Dave  
6                   Allen for some support here, because he's a little  
7                   more familiar with some of the intricacies of this  
8                   issue than I am.

9                   CHAIR MUNN: Thank you, I appreciate  
10                  that.

11                  MEMBER ZIEMER: I'm still hearing a lot  
12                  of clicks on this. Are others hearing that noise?

13                  CHAIR MUNN: Yes. It's quite bad.

14                  MR. KATZ: Yeah, I don't know what to  
15                  do other than we can all hang up and dial back in  
16                  and see if that doesn't sort it out. It sounds to  
17                  me like an electronic problem, like someone has a  
18                  cell phone by their phone or something. I don't  
19                  know what it is, but if you want to try that, we  
20                  can all dial by in.

21                  CHAIR MUNN: Perhaps that would be a good  
22                  idea. It's certainly annoying and it seems to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 continuing. So, I would suggest that we all do  
2 that. Let's give ourselves three minutes, hang  
3 up, call back.

4 MR. KATZ: Very good.

5 (Whereupon, the above-entitled matter  
6 went off the record at 11:07 a.m. and resumed at  
7 11:09 a.m.)

8 DR. NETON: Okay. This is Jim. I  
9 guess I'll pick up where I left off.

10 CHAIR MUNN: Yes, thanks.

11 DR. NETON: Finding 5 had to do with the  
12 use of the modification of the release fractions  
13 that were used in OTIB-54. If you recall, we  
14 modified them by a factor of ten for the fission  
15 products, the particulates, with the thinking that  
16 under normal conditions it might more accurately  
17 reflect the conditions of what was available for  
18 release.

19 We issued a White Paper on this in  
20 December 19th, 2014. And everyone, I'm sure, has  
21 had the chance to review. And that White Paper was  
22 a comparison to determine if one set of release

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fractions was more favorable than the other. And  
2 as usual under these analogies, the answer was: in  
3 some situations, yes; some situations, no.  
4 Although the White Paper did a very good job, I  
5 think, outlining --

6 (Telephonic interference.)

7 CHAIR MUNN: Oh, who just did that?

8 DR. NETON: I don't know.

9 CHAIR MUNN: Okay, go ahead. Sorry.

10 DR. NETON: The White Paper didn't come  
11 to any conclusion about what we're going to do.  
12 And I suggested at that meeting that we were going  
13 to stick with the modified release fractions. And  
14 in the BRS we placed a couple paragraphs, although  
15 it only came out as one paragraph in the BRS;  
16 sometimes when you cut and paste, it takes out some  
17 of the formatting.

18 But, anyways, that discussion was an  
19 attempt to draw a conclusion that we were going to  
20 use the normal, the release fractions that we  
21 modified, because they're more representative of  
22 what we believe are the exposures under normal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 working conditions.

2 It talks a little bit about that, under  
3 certain scenarios, we use fission products using  
4 gross beta results that may not be favorable. But  
5 then if you bring in the whole body counts and  
6 compare them, it would reverse the situation, make  
7 them less favorable.

8 So, overall, there's no one-sided way  
9 that you could use this to be claimant-favorable.  
10 And we're sticking with the opinion that, since we  
11 believe that the conditions that these are used  
12 under, which is normal operating conditions, the  
13 release fractions we've used are more appropriate.

14 That's sort of it in a nutshell. I  
15 guess we can discuss it from here.

16 CHAIR MUNN: Alright. Steve's trying  
17 to get to the finding on the matrix here so that  
18 we can read the wording that was actually placed  
19 on the record. We're almost to it.

20 DR. NETON: Yeah, that's it.

21 CHAIR MUNN: There we go. I don't  
22 think we need to read it aloud. We have it on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 screen.

2 DR. NETON: I think I've summarized it  
3 fairly well.

4 MEMBER BEACH: Remember, Ted doesn't  
5 have Live Meeting.

6 CHAIR MUNN: Yes, I'm aware of that.

7 DR. NETON: I wonder if Ted can get to  
8 the BRS, though --

9 CHAIR MUNN: He does have the BRS.  
10 Yes. Is there any comment that needs to follow  
11 Jim's summary?

12 DR. MAURO: This is John Mauro. Jim,  
13 I appreciate your putting it on the BRS. And in  
14 fact, Ron Buchanan and I and Steve Ostrow read it  
15 this morning. It was very brief. And we see what  
16 you're saying and we understand the situation.

17 And we agree with your summary. That  
18 certainly there can be circumstances where, you  
19 know, one mix, one set of release fractions, is more  
20 claimant-favorable than the other. And I know  
21 from the previous White Paper, a very, very  
22 comprehensive analysis was performed to try to get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a grasp on those conditions, you know, what would  
2 be what?

3 And, you know, I guess we're really in  
4 a place where we recognize -- and Ron, please jump  
5 in also. I know that you've looked at it, and I  
6 just read your memo to me related to this. And it  
7 looks like we have a circumstance where we're not  
8 trying to do scientific research and develop some  
9 advanced methods that address every nuance of a  
10 circumstance that we encounter. We have to look  
11 for common sense, workable solutions.

12 I guess the only concern, if that's even  
13 a good word for it, is that if there are -- the  
14 argument that the release fractions, DOE's release  
15 fractions, versus NIOSH's release fractions, the  
16 argument that one's an accident and one isn't,  
17 certainly that's part of the mix.

18 I'd go as far as to say even the DOE  
19 release fractions for accidents are crude  
20 representation of release fractions. So, we're in  
21 an arena, I guess this is where, we're in an arena  
22 that it is -- the way I look at it is you can't --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it would be a mistake to try to guild the lily. I  
2 mean, you really can't do much better.

3 It'd be the knowledge of how these  
4 fission products will release fractions for these  
5 particulates, the rutheniums and the iodines and  
6 the cesiums and strontiums. The reality is, it's  
7 a construct. Whether you use a DOE construct or  
8 you use a NIOSH construct, you've got to pick one  
9 in order to get through the day. And there's no  
10 right answer here.

11 And the only place I come out is that,  
12 in the construct that NIOSH has selected, could  
13 there be circumstances where really the  
14 differences could be substantial?

15 I hate to, you know, keep on this case  
16 given the circumstances we're in, but the only --  
17 I think that going from gross beta measurements in  
18 the urine and trying to reconstruct what the person  
19 might have inhaled at some point in the past is very  
20 difficult. And I have to say that you've done  
21 everything humanly possible to come to grips with  
22 this. And the release fraction issue is one of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 those issues that you really can't say you know what  
2 the right answer is. There is no -- no one knows  
3 what the right answer is.

4 So, I'm in this difficult position to  
5 say, I don't think I'm right, but I don't think I'm  
6 wrong. I think we just have a difficult  
7 circumstance. And as long as, you know, there's  
8 a sense that there might be some circumstances  
9 where the difference in release fractions, let's  
10 say, really could be important. And I think your  
11 previous report, the White Paper that came out, may  
12 have provided some insight to that. You know, that  
13 for this particular organ, for example, a type of  
14 cancer, it really does, it could make a big  
15 difference. I don't know if that emerges from the  
16 previous White Paper. So, I mean, that's my take  
17 on where we are.

18 Ron, is there anything you'd like to  
19 add? Because I know you looked at it also this  
20 morning.

21 DR. BUCHANAN: Yeah, this is Ron  
22 Buchanan, SC&A. I have in the past worked mainly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on the workbook to see that it provided the right  
2 answer, so I was kind of on the sidelines as far  
3 as the main guts of OTIB-54.

4 And so I was brought in on this earlier  
5 this morning, and so I've just taken a very  
6 preliminary look at it. And I guess my question,  
7 and John has brought this up sometimes in the past,  
8 on the release fraction -- and, Jim, I guess I have  
9 this question for you or someone that knows.

10 We're not really contending whether the  
11 DOE fraction or the OTIB-54 fraction is correct or  
12 the best one to use. I guess my question by briefly  
13 looking at this, why weren't the release fractions  
14 based on the amount of material actually in the  
15 fuel, as opposed to assigning them all, like, .01,  
16 or whatever the number is?

17 It looked like that would've been more  
18 representative and not as organ-dependent. Is  
19 that an answerable question?

20 MR. ALLEN: This is Dave Allen. I can  
21 take a stab at that. As I recall with this entire  
22 document, the release fractions are essentially

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the fraction of that isotope that gets into the air  
2 and becomes respirable. But the amount of  
3 nuclides in a particular reactor, that was based  
4 on some ORIGEN runs.

5 DR. BUCHANAN: Well, when we have a  
6 gross beta, let's say we've got 100 counts of beta,  
7 we divide that out, the way I understand this is  
8 done, is that iodine is part of that, and then all  
9 the others -- the cesiums and the rubidiums and  
10 everything -- are assigned an even fraction of  
11 that, where it looked like it should be assigned  
12 on the amount that's available in the normal  
13 operating when they reprocess fuel, not the  
14 accident scenario. We're not talking about that  
15 now.

16 And so that all, like, the cesiums and  
17 such, they would be based on what was in the fuel,  
18 rather than all assigned the same release fraction.  
19 Is that correct?

20 MR. ALLEN: Well, if I understood you  
21 right, then, no, it's not quite correct. Those  
22 release fractions are not a fraction of the gross

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 beta in the air. It is a fraction of, like, say,  
2 the cesium activity in the reactor, or the  
3 strontium activity in a reactor that is released.  
4 Those all add up to make the entire beta activity  
5 available to be inhaled. Does that answer that  
6 question?

7 DR. BUCHANAN: Okay, well, say you got  
8 100 counts per minute, or 100 counts from beta  
9 activity. Is the cesium and rubidium and  
10 everything given the same fraction? Or are they  
11 based on what's present in the air?

12 MR. ALLEN: Well, there's a several  
13 step process, I think is why I'm not quite  
14 understanding the question. As I understand  
15 OTIB-54, what it did was determine the activity  
16 fractions of a lot of different isotopes in a  
17 variety of reactors. And then those release  
18 fractions were applied to each of those isotopes.  
19 Some release easier than others. You know, some  
20 are volatile, some are particulate.

21 And then what was released from each  
22 particular kind of reactor was totaled to come up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with what essentially would be a total activity.  
2 And the amount of each nuclide, the fraction of each  
3 nuclide was determined from that. I believe  
4 that's Table 7-3 in the OTIB. So I still think I'm  
5 not quite getting your question.

6 DR. BUCHANAN: Okay, well, I guess, if  
7 you've got 100 counts beta, is cesium assigned,  
8 like, ten counts, and rubidium ten counts, and some  
9 other ten counts? Or would cesium, if there's more  
10 of it in the air, it would be assigned 20, and  
11 rubidium five. And so are they assigned even, or  
12 depend on what the concentration would be in the  
13 air of that total beta? Because that's generally  
14 what they've been using, it seems.

15 MR. ALLEN: As far as from air, I  
16 believe that is Table 7-3 in the OTIB. There are  
17 activity fractions for a number of nuclides based  
18 on a strontium basis, as well as on a cesium basis.

19 DR. BUCHANAN: Correct.

20 DR. MAURO: This is John. I was  
21 thinking about this. And a way to simplify it so  
22 that you -- because when you think about all these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 isotopes, and they're different; some are  
2 refractory, some aren't. Some are the high  
3 boiling point and a low boiling point. And the  
4 complexity of it and the fact that we're dealing  
5 with ratios, you know, the relative amounts of each  
6 isotope in the air, not the absolute amount.

7 I say, well, let's make this really  
8 simple. Let me try this out, it's a thought  
9 problem. Make believe we're working with material  
10 that is only strontium and cesium. That's it.  
11 And we know that cesium is a little bit more  
12 volatile than strontium.

13 And one could say, well, if you had the  
14 same amount of cesium and strontium in a lump, you  
15 know, that's sitting in front of you in a glove box.  
16 And you wanted to know, well, what do you think the  
17 relative amounts of cesium would be to strontium  
18 in the air?

19 Intuition would say, well, you  
20 probably, given that you started with the same  
21 amounts you know, you probably would expect the  
22 total number of the amount of activity of airborne

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cesium to be somewhat higher than airborne  
2 strontium. Okay?

3 Now, so, therein lies your relative  
4 amount. So, but in fact what you're assuming is,  
5 no, we're going to assume they're both the same.  
6 You know, the total amount or the concentration,  
7 airborne, for both cesium and strontium are the  
8 same.

9 Now, let's think about two different  
10 ways. Let's think the person is inhaling some of  
11 this material. Now, by making the strontium --  
12 you're in effect giving the strontium more weight  
13 than probably it's due. It should have lesser  
14 relative amounts than cesium. But by doing that,  
15 what happens is, and you assume they're 50-50  
16 airborne, and you inhale that. What you're going  
17 to do, is you're going to overestimate the amount  
18 of strontium that's been inhaled. And, of course,  
19 the amount, I know you're starting with the  
20 activity in the urine.

21 And I would agree, right off the bat,  
22 that by doing that you're giving more weight to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 strontium than it's really due in terms of gross  
2 beta. You know, both of them are beta emitters.  
3 Both have betas, you know, and by giving more weight  
4 to the strontium than it's due, you're going to  
5 certainly overstate, overestimate the dose to  
6 both.

7 Then I reverse the question. Okay, so,  
8 no doubt that what you did by giving them both the  
9 .01 release fraction, I think that was the number,  
10 there's no doubt, in this little thought problem,  
11 that you're being claimant-favorable.

12 And if you went the other way, you know,  
13 the DOE way, where the strontium would be .001, it's  
14 less claimant-favorable when it comes to, let's  
15 say, cancers that where strontium goes to that  
16 organ, like bone cancer.

17 Now, let's reverse the question, then  
18 say, okay, let's say we're talking about another  
19 cancer, one whereby the dose is to muscle. And I  
20 don't know, there are, I think it's called,  
21 liposarcoma. I believe that's one of the cancers  
22 that developed in the muscle of the body. And it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the dose to the muscle now that becomes important.

2 And in the circumstance that I just  
3 described, you would be giving relative -- I think  
4 you would end up in a circumstance where you'd  
5 understate the dose to the muscle tissue and the  
6 reconstruction of, I think it's called, the  
7 liposarcoma.

8 And under those circumstances -- see,  
9 I tried to simplify it -- you would probably not  
10 be claimant-favorable because you've given, you  
11 know, more weight to the strontium, which does not  
12 necessarily go to muscle, while cesium does  
13 uniformly go throughout the body, to the muscle.

14 Now, so, when I think about that simple  
15 problem, it tells me that, yes, there can be  
16 circumstances where your mix, as opposed to DOE mix  
17 in terms of the release fractions, could result in  
18 something that's not claimant-favorable.

19 Now, this little story I just told,  
20 which I think conceptually is easy to grasp. Is  
21 it a valid concern? Is it a good example of the  
22 challenge that we're all faced with in dealing with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these release fraction questions?

2 DR. NETON: John, this is Jim. I think  
3 you're going back to the original discussion we  
4 had, which is why we wouldn't use, run it both ways?

5 And the answer we tried to convey here  
6 is that the fraction scenarios that we're using are  
7 more typical, or more appropriate, for the  
8 conditions under which this TIB is being applied,  
9 which is things like fuel handling, dissolution,  
10 waste management operations, where the fuel has  
11 been out of the reactor for some time, and the  
12 short-lived, the gases and the volatiles that are  
13 typically short-lived, are largely gone. They're  
14 not really appropriate to be assigned at that  
15 point.

16 So it's the conditions under which  
17 we're using this is the issue here. Not whether  
18 one set of doses is higher for using more release  
19 for gases and volatiles versus particulate. It's  
20 about what is really in this irradiated fuel that  
21 the workers were handling?

22 And under the conditions for which this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 TIB is applied, again, which is dissolution of  
2 materials, waste management issues, the fuel is  
3 somewhat older. It's not like in the reactor under  
4 a current flux of neutrons generating all these  
5 fissions, you know, gases and volatiles, you know,  
6 that stay there only for short periods of time.

7 DR. MAURO: Well, okay, so --

8 DR. NETON: That's, to me, the way to  
9 look at it.

10 DR. MAURO: And I accept that. That  
11 is, what you're really saying is -- listen, to go  
12 back to my cesium and strontium example, which  
13 simplifies it where you can sort of get your head  
14 wrapped around it. You would basically say it's  
15 not so unreasonable to assume that they would both  
16 have a similar release fraction, because they both  
17 would be there. You know, they're not going to  
18 decay away, they're not going to volatilize away,  
19 because, you know, the differences in volatility  
20 isn't that great.

21 But in general we know that cesium  
22 fundamentally has a lower boiling point than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 strontium. But you're saying under the conditions  
2 that you're working, where it's really what might  
3 become resuspended might be more related to a  
4 mechanical process whereby you're handling the  
5 material. And as a result, it's not the  
6 temperature and the volatility of the element  
7 that's truly of concern, because the more volatile  
8 ones have gone away. I'm trying to make your  
9 argument. I'm trying to help you with your  
10 argument.

11 DR. NETON: You're doing good so far.

12 DR. MAURO: Right. So, okay, we're  
13 going to change the paradigm to say, no, no, no,  
14 the real process that's at work with the guy  
15 handling fuel is the mechanical handling of the  
16 fuel is going to result in some type of airborne  
17 radioactivity. That's going to be the controlling  
18 factor that generates the aerosols, the solids,  
19 more so than the fact that one radionuclide has a  
20 different boiling point than another radionuclide.

21 If that's the case you're making, I'm  
22 okay. In other words, you're going to more of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 mechanical, except for the very volatiles,  
2 everything else you're really going to treat it as  
3 if this stuff is becoming airborne.

4 DR. NETON: And I think we can  
5 acknowledge that there may be circumstances where  
6 this may not be appropriate, and we would deal with  
7 it on a case-by-case basis.

8 DR. MAURO: I'll tell you, if everyone  
9 around the table, so to speak -- see, I'm just  
10 trying to find a way to make it okay with me. And  
11 what I just described makes it okay with me because  
12 you're not working with these -- and this would be  
13 the idea. You're not working with fuel where it's  
14 so hot that the difference in the boiling point  
15 between the different elements, particulates,  
16 solids, is going to be the driver determining the  
17 release fraction.

18 It's going to be more likely the  
19 mechanical handling. And within that context, it  
20 makes sense to me.

21 DR. NETON: And it's more than just the  
22 boiling point, John. It's also the decay of these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 materials. They have typically have shorter  
2 half-lives, the gases and the iodines.

3 DR. MAURO: Well, yeah. They're gone.  
4 What I'm doing really is I'm moving the really  
5 volatiles and the short-liveds out of the picture.  
6 And you just saying, listen -- and I'm going right  
7 to the heart of the matter, in my mind. And the  
8 cesium and strontium are like your perfect example,  
9 because, you know, one is a little bit more volatile  
10 than the other. But maybe volatility is not the  
11 driver here in distinguishing what the release  
12 fractions would be. Maybe the release fractions  
13 is more along the -- for these kinds of  
14 radionuclides, which are solids, you know. And I  
15 think the boiling point for cesium, you've got to  
16 go to 600 degrees centigrade before it becomes, you  
17 know, comes off airborne.

18 All I'm trying to do is find a way to  
19 become comfortable with the simplifying  
20 assumptions that you've decided to adopt, so that  
21 we could create a record that shows that we've given  
22 this some thought. And, I mean, I'm okay with that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 line of thinking if everyone else is okay with that  
2 line of thinking.

3 CHAIR MUNN: Well, our charge as a  
4 Subcommittee here is to try to identify that these  
5 issues are being addressed by the best available  
6 science. The information that I'm hearing tells  
7 me that full consideration is being given to the  
8 science that is being applied to the circumstances  
9 under which this particular OTIB will be utilized.

10 Unless I'm hearing something from  
11 someone that leads our Subcommittee to the  
12 assumption that this is not the best available  
13 science for these circumstances, it sounds to me  
14 as though we're near agreement.

15 MEMBER ZIEMER: Well, I've got one  
16 question I'd like to have clarified. This is  
17 Ziemer. Maybe, Jim, you can clarify this, and it  
18 relates to Ron Buchanan's question.

19 Are the actual fractions that are used  
20 -- I know you're saying the volatiles and all are  
21 gone -- are the actual fractions that are used even  
22 fractions, or are they nonetheless proportional to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be the amount of each nuclide which has been formed  
2 during the --

3 DR. NETON: This is Jim. I think that  
4 -- Dave, correct me if I'm wrong -- it's the  
5 fraction of the amount of radionuclides that was  
6 formed.

7 MEMBER ZIEMER: Which would make more  
8 sense. So you're not doing what Ron said, or  
9 suggested, and that's saying that the amount of  
10 strontium and cesium, everything is equal. It's  
11 proportional to what is actually formed in the  
12 process?

13 DR. NETON: Correct.

14 MEMBER ZIEMER: In that case, I'm fine  
15 with the proposal.

16 DR. BUCHANAN: This is Ron Buchanan.  
17 Yes, I agree. That was my question and that's what  
18 I wanted clarified. Thank you.

19 CHAIR MUNN: Do I hear any  
20 disagreement? If not, can we close Finding 5?

21 (No response.)

22 CHAIR MUNN: Hearing no objection,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Steve, will you please indicate on the BRS that the  
2 Subcommittee has heard the concerns that were  
3 expressed, and agreement was reached and the  
4 Finding is closed.

5 MR. MARSCHKE: Wanda, I've got this  
6 far. Could you repeat?

7 CHAIR MUNN: Oh, yes. Were expressed,  
8 just period. The issue is considered resolved.  
9 The Finding is closed.

10 (Pause.)

11 We're now going to Finding 9, the  
12 workbook review. I trust you have all seen Ron  
13 Buchanan's evaluation that was made available to  
14 you. Ron, I'm assuming you have this?

15 DR. BUCHANAN: Yes. I have this, Ron  
16 Buchanan, SC&A. I'll just give you a very brief  
17 history. The workbook that goes along with  
18 OTIB-54 had some -- we were to evaluate it and  
19 determine if it worked properly. And we had done  
20 this over the last year or so, and we found several  
21 errors. So, NIOSH has been working on that.

22 And we've went back and forth, and I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think at the last meeting the situation was that  
2 it worked okay, except when you wanted to use an  
3 air concentration. And then it gave an  
4 overestimate, because it gave no fractions. It  
5 just put a factor of one for everything.

6 And so they worked on that and then I  
7 tested it again and found out that it did work okay.  
8 And the way I did that was I -- of course, there's  
9 an infinite number of possibilities, and so I  
10 selected the three examples at the end of OTIB-54  
11 which used a minimum processed beta, a maximum  
12 processed beta, urine, and an air concentration of  
13 cesium, I believe.

14 So I went through and I checked those,  
15 and they worked properly. I even went back and  
16 checked the urine ones and they worked okay. And  
17 so, at this point, I find that OTIB-54 Workbook  
18 matches the current OTIB version and had no  
19 problems with it.

20 CHAIR MUNN: Any thoughts or comments?  
21 If not, I am pleased to assume that we may close  
22 this item?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER:    This is Ziemer.    I  
2                   agree we should close it.   I reviewed Ron's paper  
3                   and everything looks to be in order to me.

4                   CHAIR MUNN:    Excellent.    We'll wait  
5                   for Steve to finish our Finding 5 closure.

6                   (Pause.)

7                   MR. MARSCHKE:    It doesn't seem to want  
8                   to close the finding.

9                   CHAIR MUNN:    Oh, really?

10                  MR. MARSCHKE:    Lori, do you have any  
11                  ideas?

12                  CHAIR MUNN:    Status says closed.

13                  MR. MARSCHKE:    Does it?    It won't let  
14                  me out of here.

15                  CHAIR MUNN:    The BRS says captured,  
16                  Steve.

17                  MS. MARION-MOSS:    Steve, I'm not quite  
18                  sure what's going on.

19                  MR. MARSCHKE:    If I go back to the main  
20                  document, if I just break out and go back, it shows  
21                  me that Finding 5 is still in progress. So it's not  
22                  taking what I -- let's see.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I'll take an action item, Wanda, to  
2 close 5, and --

3 CHAIR MUNN: I think that's  
4 appropriate. Yeah, let's see if we have any better  
5 luck with 9.

6 MR. MARSCHKE: Want to try 9?

7 CHAIR MUNN: Let's try 9 to see if it's  
8 a systemic problem or if it's just something odd  
9 about Item 5.

10 MR. MARSCHKE: Based on the SC&A review  
11 of the -- I don't know.

12 CHAIR MUNN: Based on the SC&A review,  
13 the Subcommittee has closed this item.

14 MR. MARSCHKE: Okay, am I doing this  
15 right? No.

16 CHAIR MUNN: It's doing the same thing?

17 MR. MARSCHKE: Yes, it puts me in a loop  
18 here.

19 CHAIR MUNN: We'll go on to the two  
20 procedures that --

21 DR. OSTROW: Excuse me, Wanda. Steve  
22 Ostrow. It turns out we actually have another item

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for OTIB-54. That's Finding No. 2, that for some  
2 reason, probably my fault, didn't get on the  
3 agenda. That's a short one.

4 CHAIR MUNN: That's what?

5 DR. OSTROW: It's Finding 2.

6 CHAIR MUNN: That's what we had  
7 originally, right?

8 DR. OSTROW: Maybe. Maybe I got  
9 people confused on this. This was a question --  
10 this is about the downselect from the initial seven  
11 reactors that NIOSH was considering to the four  
12 reactors then ended up with, four representative  
13 reactors.

14 We reviewed their large reactor report.  
15 And we didn't have any problem with the downselect.  
16 However, we did make the comment that the OTIB  
17 didn't provide sufficient documentation on how the  
18 downselection was done.

19 NIOSH came out with a short White Paper,  
20 a five-page White Paper, that was attached to the  
21 BRS on April 21st, that addressed this issue. And  
22 the White Paper has two tables in it that show the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 isotopic mix for fission products and activation  
2 products for all the reactor cases that were run.

3 And this is back-up to how they did the  
4 downselect from the seven to these four reactors  
5 that are supposed to be representative. I  
6 reviewed it, and I think that this is sufficient  
7 for SC&A to say we did our due diligence and I think  
8 NIOSH provided enough information to justify their  
9 downselection.

10 So I recommend that Finding No. 2 be  
11 closed.

12 CHAIR MUNN: Thank you, Steve. I'm  
13 sorry. I personally did not review anything about  
14 Finding 2 because perhaps I misunderstood the  
15 communications that we were having with respect to  
16 what was considered open on the OTIB.

17 DR. OSTROW: Yeah, I might have created  
18 some confusion on that.

19 CHAIR MUNN: Did anyone else on the  
20 Subcommittee have an opportunity to review that?

21 MEMBER ZIEMER: Well, this is Ziemer.  
22 I didn't review it, but my notes from last time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 simply indicated that NIOSH was to provide summary  
2 information for item. And I guess that's what  
3 they've done here, that's what they have now. I  
4 believe it was just a matter of making sure that  
5 the summary information was practically there or  
6 available.

7 CHAIR MUNN: Yeah, my notes had told me  
8 that we still were going to look at that group of  
9 findings in OTIB-54 but --

10 MEMBER BEACH: Wanda, I reviewed a  
11 paper that -- I believe it was a NIOSH paper  
12 response to SC&A's Finding 2 on OTIB-54 Revision  
13 1. The paper's not dated, so I know it was a recent  
14 paper, but I don't know what date it came out  
15 because I just printed it.

16 CHAIR MUNN: And I don't either.

17 MEMBER BEACH: It was on Finding 2.

18 CHAIR MUNN: Yeah, I saw something  
19 before I started pulling things together for this  
20 meeting, but I have not seen Steve Ostrow's paper  
21 that he's just discussing here.

22 Does anyone have enough concern about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this, based on the information we've just been  
2 given, that you feel additional time is needed to  
3 take a look at the verbiage? We were near enough  
4 to a resolution when we last reviewed this.

5 DR. OSTROW: Yeah, at the last meeting,  
6 after we reviewed NIOSH's really big report, we  
7 issued a fairly big report in response.

8 CHAIR MUNN: Right.

9 DR. OSTROW: We had no problem with the  
10 conclusions for Finding 2, we just requested some  
11 additional backup information, tables of the  
12 nuclides that they looked at. And NIOSH did that  
13 in their short White Paper.

14 CHAIR MUNN: I think that's what I saw.  
15 Alright. If there's any concern about our closing  
16 this now, speak now.

17 MEMBER ZIEMER: I think NIOSH had  
18 indicated verbally what we're seeing in writing  
19 here anyway, so --

20 CHAIR MUNN: Yes, we've already  
21 reviewed that.

22 MEMBER ZIEMER: -- if you'd rather get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that from the record, so I'm comfortable with it.

2 CHAIR MUNN: Josie?

3 MEMBER BEACH: I am too, Wanda.

4 CHAIR MUNN: Very good. Steve, will  
5 you please indicate that all concerns have been  
6 addressed? The Subcommittee has closed Finding 2.

7 MR. MARSCHKE: Wanda, I'm writing down  
8 all the changes I have to make and so I will do that  
9 once I talk to Lori and we figure out what's going  
10 on here.

11 CHAIR MUNN: Good. Alright, thank you  
12 much.

13 DR. OSTROW: So, at this point, pending  
14 NIOSH issuing another revision of the OTIB perhaps  
15 in the future, all the findings have been closed.

16 CHAIR MUNN: That places the entire  
17 OTIB now out of our hands.

18 DR. OSTROW: It's off the list now.

19 CHAIR MUNN: Yeah, that's good.

20 DR. OSTROW: Off the books.

21 CHAIR MUNN: Excellent. And Steve  
22 will see to that too.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Alright. Now, I hope that you all have  
2           the PROC-90 and PROC-92 information from our last  
3           meeting that you've taken a look at. We had to  
4           postpone taking a look at that because of the length  
5           of our agenda last time. I think they're  
6           relatively brief.

7           And NIOSH, Lori, are you going to go  
8           that? Who's doing the presentation for NIOSH on  
9           these two procedures?

10           MS. MARION-MOSS: This is Lori. We  
11           have an ORAU representative online. Pat, are you  
12           on?

13           MS. KRAPS: Yeah, Lori, I just jumped  
14           on.

15           MS. MARION-MOSS: Before we get  
16           started, I just wanted to remind the Committee,  
17           basically an overview of these two procedures,  
18           starting with PROC-90. I believe PROC-90  
19           addresses our CATI process. Currently in the BRS  
20           we have several in abeyance findings that the  
21           Committee had not gotten around to closing out.

22           This procedure has been revised for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 quite some time now where NIOSH has attempted to  
2 address the Committee's concerns and issues. So,  
3 Pat, ready to start?

4 MS. KRAPS: Well, just real briefly,  
5 we've revised both Procedure 90 and Procedure 92,  
6 twice since the first revision, which I believe is  
7 where the findings stemmed from.

8 CHAIR MUNN: Yeah, I believe they were.

9 MS. KRAPS: And in addition to that,  
10 based on the Working Group and comments made on the  
11 CATI script specifically, the script was revised  
12 and approved by the OMB. And the original revision  
13 to that was in February of 2010.

14 CHAIR MUNN: So it's been a long time  
15 since we actually debated any of this?

16 MS. KRAPS: Yes. So, my point being,  
17 between the revision to the CATI script, which you  
18 all went into for several months, in addition to  
19 both procedures having been revised twice since the  
20 original revision, I think we should be in good  
21 shape.

22 CHAIR MUNN: Good. Does anyone have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 any concern? I trust everyone's had an  
2 opportunity now to take a look at the revisions and  
3 see whether anything jumps out at us. They are  
4 markedly different in several respects to the  
5 original issued document, which, as has been  
6 pointed out to us, we chewed on rather thoroughly  
7 for a number of months.

8 As a matter of fact, over a period of  
9 a year and a half, two years, we worked on trying  
10 to make these as claimant-friendly and as easy to  
11 follow as possible.

12 So, do I hear any concerns from any  
13 Member of the Subcommittee with respect to the new  
14 revisions that you've now gone through? Paul?

15 MEMBER ZIEMER: I'm trying to recall  
16 whether we actually did anything side-by-side to  
17 make sure that all the concerns, original concerns,  
18 were in fact taken care of.

19 Actually, it's been a couple months  
20 since I went through this and everything looked  
21 fine to me at the time. But I think we didn't lay  
22 it side-by-side with the old document.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Do you feel that's  
2 necessary?

3 MEMBER ZIEMER: I don't know. I'm  
4 asking the question as to whether we have any  
5 concerns about the original issues and whether we  
6 feel they were taken care of.

7 CHAIR MUNN: Well, I did not review  
8 each of the findings, actually. I felt that we had  
9 gone over them very thoroughly at the time that we  
10 were spending a great deal of focused energy on  
11 them. But by going through --

12 (Simultaneous speaking.)

13 MEMBER ZIEMER: So, to what extent were  
14 all -- the comments that were raised originally  
15 have been incorporated here in some way or another  
16 or addressed, as you understand it?

17 CHAIR MUNN: As I understand it. Have  
18 any of the SC&A folks taken a look at this?

19 MEMBER ZIEMER: I'm not sure they were  
20 actually tasked --

21 CHAIR MUNN: I don't think we actually  
22 asked them to do it. And the question arises for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 us whether we feel that's necessary, do we truly  
2 deem that's necessary. I personally have not  
3 gotten --

4 MEMBER ZIEMER: I think this is a  
5 matter of making sure that the original concerns  
6 actually show up. That's something we can do  
7 ourselves. I'm just saying I haven't actually  
8 done it.

9 CHAIR MUNN: No, nor have I. Have you,  
10 Josie?

11 MEMBER BEACH: No. I've read the  
12 reports, but I wasn't involved originally. I  
13 think it would be a good idea to task SC&A, or to  
14 take the time ourselves to look at it, if tasking  
15 is not what you want to do. But I think we should  
16 take the time to review it.

17 CHAIR MUNN: Well, actually, I don't  
18 think tasking is truly called for, because what  
19 we're doing here is ascertaining that the final  
20 product, which we have in our hands now, in both  
21 cases, that the final products meets our personal  
22 requirements for what we want to see done during

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these interviews. And they've been vetted through  
2 more than one organization. And they've met the  
3 requirements that we stipulated when we first  
4 started going through these.

5 So, the bottom line here is, unless some  
6 Members of this Committee have seen something in  
7 these revised documents that gives them pain, that  
8 does not meet the criteria for interacting with the  
9 claimants that we feel is appropriate, then, from  
10 my perspective, we're done with it.

11 But if anyone saw anything in either of  
12 these two that you felt was inappropriate or was  
13 not adequate, more than adequate, for the purpose  
14 it's derived, then let me know. If I don't hear  
15 anything, then it's going to be my recommendation  
16 that we close these two items on our BRS.

17 MEMBER ZIEMER: Yeah, these two items  
18 are actually a little different than many  
19 documents, in that these go, I think, to OMB and  
20 get approved.

21 CHAIR MUNN: That's correct.

22 MEMBER ZIEMER: And they have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 approved by OMB and are in use.

2 CHAIR MUNN: Yes, that's correct.

3 MEMBER ZIEMER: Were we to make any  
4 changes, it would require, I think, that process  
5 to occur all over again. As I said, I read through  
6 them and I didn't see anything that raised concern.

7 So, although I haven't laid it  
8 side-by-side with the other -- we had concerns with  
9 the original document, but I didn't see those  
10 concerns this time, so I'm comfortable with, in a  
11 sense, closing these. There were not technical  
12 issues on these. These were issues of how we  
13 interacted with the claimants. And I think I'm  
14 comfortable with the new document.

15 CHAIR MUNN: They're fully  
16 administrative and I, too, am comfortable. Josie?

17 MEMBER BEACH: Yes, reading through  
18 the documents, preparing, I am comfortable with  
19 those documents.

20 CHAIR MUNN: Good. Steve, will you  
21 please add these two procedures to your list of  
22 items which have now been closed by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Subcommittee?

2 MR. MARSCHKE: Okay. Wanda, can I ask  
3 a question?

4 CHAIR MUNN: Yes.

5 MR. MARSCHKE: There's a number of  
6 different categories of findings here. A number  
7 of them are in abeyance, which I can close with no  
8 problem whatsoever.

9 Some of them, at least one or two of  
10 them, are identified as "addressed in finding."  
11 And if that's one of the findings that we had in  
12 abeyance, I assume we can close those findings as  
13 well. And then there's at least one finding that  
14 is transferred someplace.

15 CHAIR MUNN: Where does it say it's  
16 transferred to?

17 MR. MARSCHKE: And it's Finding No. 16.  
18 It says it's transferred. I don't know if there  
19 may be other ones or not. And I don't know what  
20 the Subcommittee wants to do with those.

21 MS. MARION-MOSS: Steve, this is Lori.  
22 That particular item is actually transferred to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 other procedure, Procedure 92.

2 MR. MARSCHKE: Oh, it's transferred to  
3 Procedure 92. So if we close them under Procedure  
4 92 then we should be able to --

5 CHAIR MUNN: Then we're closing that  
6 item.

7 MR. MARSCHKE: We can close it here as  
8 well.

9 CHAIR MUNN: Exactly.

10 MR. MARSCHKE: Okay, so there would be  
11 some tracking down, I guess to make sure you know  
12 all the interconnections are correct.

13 CHAIR MUNN: Do we have anything other  
14 than that particular item that says transferred?  
15 Everything else, I believe, is either closed or in  
16 abeyance.

17 MR. MARSCHKE: We have these --  
18 (Simultaneous speaking.)

19 CHAIR MUNN: The "addressed in  
20 finding," as far as we are concerned, we've already  
21 made that determination. And if we're closing the  
22 entire set of findings, then that closes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 automatically.

2 MR. HINNEFELD: This is Stu. At least  
3 one of those "addressed in findings" refers to a  
4 finding in either another finding in the same  
5 procedure, or it refers from 90 to 92.

6 CHAIR MUNN: Yes.

7 MR. HINNEFELD: And so, I mean, I think  
8 the "addressed in findings" probably are all  
9 addressed by closing the findings in the two  
10 documents. I mean, the two are kind of related,  
11 and they relate back to each other.

12 CHAIR MUNN: Yes, they are. And in our  
13 parlance, addressed in some other finding  
14 literally it takes them our plate. It means when  
15 we close the other finding, they're automatically  
16 gone.

17 MR. MARSCHKE: Okay. So, basically  
18 the goal is to go through and make sure that all  
19 the findings in PROC-90 and -92 have been closed.

20 CHAIR MUNN: That's correct.

21 MR. MARSCHKE: And if I have any  
22 questions or anything that stumps me, I will inform

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the Subcommittee.

2 CHAIR MUNN: Right, please do. Very  
3 good.

4 Now we are ready to address PER-31, I  
5 hope. And that was our carryover also from our  
6 last time. NIOSH, who has the action there?

7 MR. HINNEFELD: Well, I mean, we're  
8 just going to give a status report. This is Stu.  
9 We're just going to give a status report on 31.

10 This is the Y-12. Yeah, it's the Y-12  
11 TBD revision. And the finding really that we're  
12 working on is the comment about in vivo results  
13 reported in units of milligrams.

14 And so we've been in contact with Y-12  
15 trying to understand, get some calibration or other  
16 information that may help us understand how they  
17 made that interpretation. And we've had one  
18 back-and-forth. They provided things that were  
19 from a different era; they were more recent, so they  
20 weren't helpful.

21 So we're going back and there's also  
22 potential that there's some air sample data down

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       there that may have to replace the thorium, or that  
2       may be useful for thorium dose reconstruction --  
3       in other words, thorium air sampling data -- that  
4       would be used in place of the in vivo which the Site  
5       Profile now describes.

6                So, that's kind of the nature of the  
7       finding, is that, hey, this is your Site Profile,  
8       which you've just revised, that says you're going  
9       to use thorium in vivo data to reconstruct thorium  
10      doses, but the thorium data are reported in units  
11      of milligrams, and how you going to interpret that,  
12      you know, in terms of what are the radiological  
13      components. And so that's what we're trying to  
14      sort out. And as of yet, our only status is we're  
15      still trying to get the information needed to sort  
16      it out.

17               CHAIR MUNN: Okay. So we really don't  
18      have any change in status here.

19               MR. HINNEFELD: That's correct.

20               CHAIR MUNN: We're still open. And  
21      are we anticipating that we'll have any change in  
22      status by the time we meet a couple months from now?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Frankly, no.

2 CHAIR MUNN: Okay.

3 MR. HINNEFELD: You can leave it on the  
4 agenda and we'll report whether we have any change  
5 in status or not, but I think it would be unlikely  
6 in a couple months that we would have the results.

7 CHAIR MUNN: Alright. Let's see if we  
8 can continue to carry that. I will just assume  
9 that it will show up on our agenda next time and  
10 until we are able to resolve at least some portion  
11 of the concerns we have with that.

12 If that's the appropriate  
13 understanding, we'll just move on to PER-45.  
14 SC&A.

15 DR. H. BEHLING: Yes. Let me briefly  
16 ask John Stiver: are you prepared to show the  
17 different reports that Kathy had forwarded to you  
18 on behalf --

19 MR. STIVER: Yeah, this is John Stiver.  
20 I can go ahead -- which one do you want to pull up?

21 DR. H. BEHLING: Okay, the one that  
22 really is the dominant one is the document that was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 issued back in March 24th, 2015. And that's really  
2 my response to NIOSH's review of the findings.

3 MR. STIVER: Okay.

4 DR. H. BEHLING: For Aliquippa Forge.

5 MR. SIEBERT: I apologize. This is  
6 Scott Siebert. I'm just questioning, is that  
7 report in the BRS somewhere for us to look at?

8 DR. H. BEHLING: I don't think so, no.

9 MR. SIEBERT: Okay, thank you.

10 MR. STIVER: Okay, Hans, the one I have  
11 is pulled up is the one you said was dated August  
12 2014.

13 DR. H. BEHLING: Yes.

14 MR. STIVER: Okay.

15 DR. H. BEHLING: No, that's not the  
16 one. The original review of the TBD, which was the  
17 document that prompted PER-45, Aliquippa, I may  
18 want --

19 MR. STIVER: Alright. I found it,  
20 never mind. Sorry about that.

21 MEMBER BEACH: Hans, you said that's  
22 the March 24th one, correct?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: Yes. Yes, it is.

2 MR. STIVER: Hang on just a second,  
3 I'll get it.

4 DR. H. BEHLING: It was just a few  
5 pages. But I also have -- in that document, I have  
6 NIOSH's response to the eight findings and the two  
7 observations as an Attachment 1. And, John, I may  
8 ask you to at least show one of the pages which  
9 involves the Finding No. 5, which is very critical  
10 to my presentation.

11 MR. STIVER: Okay, can everybody see  
12 the memo?

13 CHAIR MUNN: We can.

14 MR. STIVER: Okay.

15 MS. K. BEHLING: Excuse me, this is  
16 Kathy Behling. Scott, would there be a way to get  
17 this -- probably not, I guess. I apologize for not  
18 posting this on the BRS.

19 MR. SIEBERT: That's okay, I already  
20 tracked it down. Thank you.

21 MS. K. BEHLING: Okay. My apologies.

22 MR. SIEBERT: That's okay. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: This is Hans. As a  
2 quick reminder to John Stiver, I do want to also  
3 ask you to be able to prepare the page that comes  
4 out of the revised TBD for Aliquippa Forge that  
5 shows Table No. 5. Or, if you don't have that, you  
6 can use my response to that review, and it's Table  
7 No. 3. And I can give you the pages.

8 Either one will suffice. Table 5 out  
9 of the revised TBD for Aliquippa Forge, or, in my  
10 review of that document, it's Table No. 3, which  
11 is a facsimile or the duplicate of Table 5.

12 MR. STIVER: I'm not quite sure that I  
13 have that, Hans.

14 DR. H. BEHLING: Let me see, because  
15 that's kind of critical here. Do you have  
16 available the actual review of -- my review of  
17 PER-45?

18 MR. STIVER: Yes.

19 DR. H. BEHLING: If you do have it, it's  
20 on Page 19, and it's Table 3. Just so that you have  
21 it available.

22 MR. STIVER: Yeah, I just want to make

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       sure I've got it cued up here.  Okay.  There's a  
2       Table 3, annual internal and external exposure --

3               DR.  H.  BEHLING:  Exactly.  That's  
4       correct.

5               MR.  STIVER:  Okay, I've got it ready.

6               DR.  H.  BEHLING:  And I will ask you to  
7       put that in in a few minutes.

8               MR.  STIVER:  Okay.  Alright.

9               DR.  H.  BEHLING:  I guess for Ted -- I  
10       don't know exactly when he said he doesn't have  
11       access to the screens.  I'm probably going to be  
12       a little more careful in identifying things that  
13       will obviously be important to you to know.

14               So, let me start out by just giving a  
15       very, very brief piece of information regarding the  
16       background for this.  In August 2014 -- and that  
17       goes to what's currently on the screen.  I'll read  
18       that.  "*In August 2014, SC&A submitted its draft  
19       report, A Review of NIOSH's Program Evaluation  
20       Report  DCAS-PER-045,  'Aliquippa  Forge  TBD  
21       Revision.'*"

22               And in that review we identified two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 observations and eight findings. If it's okay  
2 with everyone, we'll skip the two observations and  
3 really address the eight findings.

4 CHAIR MUNN: Absolutely, please do  
5 that, Hans. The observations are secondary for  
6 us.

7 DR. H. BEHLING: Yeah. In response to  
8 our findings, NIOSH prepared a document that was  
9 dated January 23, 2015, which is enclosed in this  
10 document that's being shown right now as Attachment  
11 No. 1.

12 During a teleconference meeting that  
13 took place with the Subcommittee on February 18,  
14 2015, SC&A was tasked to review and comment on  
15 NIOSH's responses to those eight findings. And  
16 that is, by and large, this particular report that  
17 you are looking at right now.

18 Before I continue on this report, which  
19 is really the protocol for elaborating on how I  
20 propose to resolve these issues, let me just  
21 quickly go back just a little bit and give you some  
22 relevant background information.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Starting in January 1947, Aliquippa  
2           Forge was under contract to the AEC to produce  
3           uranium rods from uranium billets that had also  
4           been produced at this facility by rolling. And the  
5           rolling operation at Aliquippa Forge ended on March  
6           30, 1949. And that's an important date to remember  
7           when I talk later on about what we are doing here  
8           and what the TBD revision really addressed.

9           But the AEC contract, although the  
10          rolling operation ended on March 30, 1949, the AEC  
11          contract ended only in February 28th, 1950. And  
12          that again is a critical date to remember.

13          For dose reconstruction, NIOSH assumed  
14          that the residual period extended from March 1,  
15          which is the day after the AEC contract ended, and  
16          extended from March 1, 1950 through December 31st,  
17          1987. And a second period from January 1, 1989 to  
18          December 31st, 1992.

19          So you have, in essence, a year missing  
20          in those two periods that are considered residual  
21          periods. And the importance of that is that in  
22          1998, beginning in 1998, the storage activities

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 began in Building No. 3, which is really critical  
2 to this whole PER-45.

3 And it was in Building 3 that was  
4 essentially used for the rolling operation and the  
5 likelihood that residual contamination might  
6 remain at elevated levels during the residual  
7 period.

8 And I will briefly read something  
9 quickly here from the TBD. Again, a few statements  
10 that are critical to this whole issue of my review.  
11 And on Page 15 of the TBD I quote the following:  
12 "Interim remedial actions were taken from October  
13 to December of 1988 to enable additional restricted  
14 use of Building 3 for expansion of a small forging  
15 operations." So they continued doing some work  
16 there in Building No. 3. "And the controlled areas  
17 were established to prevent access to  
18 contamination." So they realized there was  
19 contamination in Building 3 in 1988.

20 And the scope of the remediation action  
21 that took place in the later part of 1988 was also  
22 described on Page 22, Section 5, of the TBD. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they state the following: "Interim remedial  
2 activities were conducted by BNI in 1988 by  
3 removing contaminated materials and equipment and  
4 placing a barricade around the remaining  
5 contaminated area. DOE noted that access to the  
6 contaminated areas was not allowed."

7 In subsequent Page 24, the TBD also  
8 states the following: "In addition to general  
9 exposures from residual contamination, two cleanup  
10 efforts, one in 1988 and the second one starting  
11 in 1993 to 1994, were performed and could result  
12 in additional exposure. The 1988 effort was  
13 limited to Building 3, and occurred in November and  
14 December of 1988. Vacuums were fitted with  
15 high-efficiency particulate air, HEPA, filters to  
16 clean the floors and walls. Contaminated bricks  
17 and soil were removed as necessary. In addition,  
18 respiratory protection equipment was used to  
19 reduce the likelihood of inhaling contaminated  
20 particulates. Further, workers were required to  
21 wear lapel air monitoring that were analyzed every  
22 24 hours."

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And then it goes on to say that the  
2           decontamination techniques that were applied in  
3           1993 and 1994 were much more aggressive than in  
4           1988. In addition to HEPA vacuuming, which was the  
5           main method for 1988, mechanical shot blasting,  
6           concrete saws, jack hammering were employed, et  
7           cetera, et cetera.

8           So the point here is that there was a  
9           preliminary or interim remedial action taken in  
10          1988 that, as I've just described, involved  
11          decontamination of walls and floors, removal of  
12          certain equipment, materials, and also vacuuming  
13          and removing soils that could be removed with  
14          limited effort, not by means of jack hammering, et  
15          cetera.

16          And that's important because one of key  
17          elements in my findings was the issue of the 1988  
18          cleanup activity that were not necessarily  
19          considered in terms of the methodology that was  
20          used to approach the effort to establish internal  
21          and external doses for the residual time period  
22          from 1950 through the 1990s.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, anyway, from the foregoing  
2 statements, SC&A concluded that, one, the interim  
3 remedial action of Building 3 may have allowed  
4 Building 3 to be released for at least a limited  
5 scope, not unrestricted use, but for restricted use  
6 only. But also may have also been less than the  
7 extensive contamination that was taking place in  
8 1992 and 1993.

9           So, from what I could divine from these  
10 statements, was that the interim remedial activity  
11 may have resulted in some reduced contamination  
12 activities as was measured in 1992, which is a very  
13 important point in time.

14           Also the removal of contaminated  
15 equipment may also reduce the external dose rates.  
16 And this will obviously be an issue that I'll  
17 discuss in a few minutes.

18           So, let me do something here. John, if  
19 you could identify Table 5-1, or Table 3 in my  
20 report -- I think you said you had Table 3 from my  
21 report -- and bring that up.

22           While we're waiting for John, let me go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 back and say, our review, or my review, of the  
2 revised TBD for Aliquippa Forge identified eight  
3 findings, I'd already mentioned. And that all of  
4 these findings related to assumed model parameters  
5 and methodologies used by NIOSH to devise annual  
6 internal exposures from inhalation and ingestion  
7 and external exposures from both penetrating and  
8 non-penetrating exposures as a result of residual  
9 radioactivity that may have remained between the  
10 time periods of 1950 and 1995.

11 So, when you look at Table 3 here, which  
12 is by and large the same table that appears in the  
13 TBD, you will see four columns that start with 1950  
14 and go all the way into 1995.

15 And the first column is the derivation  
16 of internal exposures resulting from inhalation.  
17 And you see, obviously, the metric there is  
18 picocuries uranium per day. The second column is  
19 ingestion of the material which is due to the  
20 inhalation by a constant. And the third and fourth  
21 columns involve penetrating exposures in rem, and  
22 non-penetrating from beta radiation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And so this is really the entire  
2 revision of the TBD, because it's strictly confined  
3 to the issues of the residual time period that  
4 starts in 1950 and extends into 1995.

5           And so at this point, John, I would  
6 probably ask you then to go back the report that  
7 I wrote, and stay with Page 1 at the bottom because  
8 I want to point out a couple things there.

9           When I looked at the findings and  
10 assessed them, all but Finding No. 4 are really  
11 linked to two things. And they are at the bottom  
12 of the page: NIOSH's derivation of the starting air  
13 concentration that NIOSH defines as 0.211 dpm per  
14 cubic meter in 1950. And, two, the use of this  
15 value for deriving a source term depletion rate of  
16 1.15 times ten to the minus four per day.

17           And those are the two critical findings  
18 that I believe NIOSH, at this point, is going to  
19 concede based on their response to the eight  
20 findings that I had identified.

21           The reason why they are likely to  
22 resolve all of the issues is the following. If we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 go to the next page, you will see the methodology  
2 that NIOSH used. And here's the crux to the issue.

3 On top on that page, I will read for  
4 those who may not have access to the screen. After  
5 the end of AEC rolling operations, a July 1949  
6 survey was performed. The survey indicated that  
7 the maximum air dust concentration, taken during  
8 normal operations in the furnace area, was 5.9  
9 micrograms per cubic meter, which translates to  
10 8.94 dpm per cubic meter.

11 Now, when I looked at that data and I  
12 looked at the actual document from which those  
13 numbers came from, I came to a very different  
14 conclusion that NIOSH did originally in their  
15 write-up. I realized that that value was likely  
16 to represent an air concentration that's  
17 representative of the residual period. This is in  
18 contrast to what NIOSH assumed.

19 They assumed that this was still part  
20 of the operational period, and they in essence then  
21 decided to start the assessment process by deriving  
22 an air concentration that was based on a very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 different number. They actually then used the  
2 number of 8.94 dpm per cubic meter, and then decided  
3 to actually allow that number to represent an  
4 operational air concentration value that would  
5 then be allowed to exist for a period of one year,  
6 settle to the surface, and then by means of  
7 resuspension factor, that is -- I'm now in the  
8 second paragraph there.

9           Actually, by a deposition velocity of  
10 0.00075 meters per second, remain there, and then  
11 using a resuspension factor of one times ten to the  
12 minus six per meter, came up with a derived air  
13 concentration that was identified in that line  
14 there that says 0.211 dpm per cubic meter.

15           So in essence they start out by assuming  
16 that, in 1950, the air concentration based on the  
17 original value of 8.94 dpm per cubic meter, but not  
18 using that number as an air concentration, but  
19 deriving an air concentration by assuming that that  
20 activity was settled for one year, allowed to stay  
21 on the surface, and by means of a resuspension  
22 factor they came up with a value that was 42-fold

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 lower. And in the process, they used that as their  
2 starting point.

3 And then as a back-end, they went, as  
4 you go further down there, they derived a 1992 value  
5 by using a survey measurement that started out at  
6 350 dpm alpha per 100 centimeters squared. And  
7 then, again, converting the activity to per meter  
8 squared, and then using the same resuspension  
9 factor one times ten to the minus six, came up with  
10 the conclusion that in 1992 the air concentration  
11 was now at a value of 0.035 dpm per cubic meter.

12 So, now you have essentially two data  
13 points. One that derived in 1950. And as I  
14 mentioned before, again, you can look at the Table,  
15 the starting point of air concentration 1950 was  
16 0.211 dpm per cubic meter. And in 1992, by way of  
17 a contamination level, they derived an air  
18 concentration in 1992 of 0.035 dpm per cubic meter.

19 So, using these two points, they  
20 derived a source term depletion value -- which is  
21 now towards the bottom of that paragraph, and I  
22 highlighted -- at 1.15 times ten to the minus four

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 per day. So, using two air concentrations, one in  
2 1950 and one in 1992, they derived the depletion  
3 rate, source term depletion rate, and then  
4 calculated the air concentration that would have  
5 existed, based on these two data points, between  
6 1950 and 1995.

7 And that is what John showed you  
8 earlier, Table 5-1 in the TBD, or Table 3 in my  
9 document.

10 Well, as I said, when I looked at the  
11 data, I came to the conclusion that that potential  
12 air concentration in 1950 should have been 8.94,  
13 rather than 0.211, or 42-fold higher.

14 And so not only -- and we can go now go  
15 to, in fact, John, if you can show Page 4 of  
16 Attachment 1. It's NIOSH's response to Finding  
17 No. 5.

18 (Pause.)

19 Now let me locate, there it is. Okay.  
20 Finding No. 5, for those -- I won't read it, unless  
21 the court reporter would prefer me to read it, but  
22 I'll assume everyone can see this. The Finding No.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       5 is my finding that says I personally believe that  
2       the starting air concentration for 1950 should have  
3       been 8.94 dpm per meters cubed.

4               And to that, obviously, you can read  
5       NIOSH's response now that says we agree. And if  
6       anyone wants me to read it for the record, that's  
7       fine, but for those that have access to the screen  
8       you can see what NIOSH's response is. And I'll  
9       give everyone thirty seconds to quickly read it and  
10      convince themselves that my finding was obviously  
11      approved.

12              CHAIR MUNN: Yeah, the key sentence  
13      there is the last one there. NIOSH considers the  
14      1949 air sample itself representative of the start  
15      of the residual period and will revise the Site  
16      Profile to use 8.49 dpm per meter squared as the  
17      starting point for the residual period.

18              DR. H. BEHLING: Yes. And now the  
19      concession really is not just the fact that the  
20      starting air concentration has increased 42-fold,  
21      but also the fact that you now have to realize that  
22      the source term depletion value that was defined

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for the lower value has now to increase obviously,  
2 too. Because you're now going from 8.64 dpm as a  
3 starting point down to the value in 1992, which  
4 means that there is a much increased change in slope  
5 of the linear loss of activity that defines the  
6 airborne concentration.

7 So, by default, by virtue of accepting  
8 that particular value, my starting value, you also  
9 have to agree to the fact that, with the new  
10 starting air concentration, you will have to also  
11 change or revise your source term depletion value.

12 And that's very critical to this whole  
13 issue here, because it affects all -- the entire  
14 Table 5-1 now has to obviously change, because all  
15 of these values are driven by the air concentration  
16 between 1950 and 1992. And that involves the  
17 inhalation dose, the ingestion dose, internal  
18 ingestion dose, and the external expose to  
19 penetrating and non-penetrating, because they were  
20 linked.

21 For penetrating radiation, the  
22 starting point -- or the point, really, of value

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that became part of Table 5-1 was the dose rate that  
2 was the maximum dose rate that was established in  
3 1992. And then that was back-extrapolated by the  
4 same source term depletion rate that now has to  
5 change.

6 So that in essence, between accepting  
7 Finding 5, and the need to revise the source term  
8 depletion value in accordance, you've changed all  
9 four dose estimates of Table 5-1, from internal  
10 inhalation, internal ingestion, external  
11 penetrating, and external non-penetrating.

12 Now the only thing that remains an issue  
13 that I had identified as a finding was the fact that  
14 NIOSH had not addressed the issue that the 1988  
15 interim remediation action has impacted both the  
16 air concentration that they derived for 1992 as  
17 well as the highest maximum external exposure rate.

18 And I have conceded that we may not be  
19 a position to make a change. In other words, if  
20 the 1988 remedial action had actually reduced the  
21 source term contamination levels that became a  
22 major component in deciding what the residual air

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concentration would have been for 1992, as well as  
2 the dose rate, I can't provide you with any  
3 guesstimate as to what the change would have been.

4 In other words, by accepting 1992 which  
5 I'm going to do, we're probably essentially  
6 underestimating the air exposures that were now  
7 believing as 1992 would have potentially been  
8 changed by realizing that in 1988 the remedial  
9 action did in fact cleanup some of that activity.  
10 That if that 1988 decontamination actually had not  
11 taken place, we would have observed both a higher  
12 air concentration and residual contamination  
13 levels for external, but we can't really look back  
14 and make a change to that.

15 And so we'll accept the 1992 value and  
16 linearly extrapolate, straight down, without  
17 stopping at 1988 and saying maybe that would have  
18 been a higher value up to 1998, rather than  
19 defaulting to '92 without considering the changes  
20 introduced by 1988.

21 So that's pretty much what I'm  
22 proposing to do here if NIOSH is willing to accept

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the fact that the starting air concentration is  
2 42-fold higher than the one then had issued.

3 And then using that higher air  
4 concentration for 1950 and revising their source  
5 term depletion rate, I think we will end up with  
6 changes to Table 5-1 in all four categories that  
7 I believe could probably turn out to be a favorable  
8 change and resolve the eight findings that I  
9 identified.

10 As I said, the other finding on the last  
11 page of my write-up addresses briefly the issue of  
12 Finding No. 4, which I had identified as maximum  
13 concentration that was observed in the same  
14 document that established the 8.64 dpm per cubic  
15 meter of air concentration.

16 And that was a measurement that was  
17 taken during the clean-up in 1950. And was  
18 confined to a sweeping activity in a specific  
19 location. And I'm going to concede that that's  
20 possibly a number that's real, but it is an episodic  
21 event that may not apply to the air concentrations  
22 that would have potentially affected the people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 during residual periods.

2 So I feel that Finding No. 4 can be  
3 looked at, as the highest value observed, but it's  
4 not necessarily an activity that is a continuous  
5 activity or an activity that is throughout the  
6 building so I withdraw Finding No. 4.

7 CHAIR MUNN: Okay. NIOSH do you have  
8 a comment with respect to Finding 5?

9 DR. NETON: This is Jim, we have no  
10 problems with making the changes we indicated to  
11 Finding 4, and also the corresponding changes in  
12 the depletion rate, and subsequent doses that are  
13 estimated.

14 It was clearly just an error on our  
15 part, you know, interpreting that sample. This  
16 was listed as an operational air sample, it might  
17 have been taken during uranium operations, when in  
18 fact it wasn't. It was taken after clean-up had  
19 occurred.

20 So Hans is right, we're going to make  
21 those changes.

22 CHAIR MUNN: All right. In my mind,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that puts Finding 5 into abeyance.

2 DR. NETON: Well actually, I thought  
3 all of them went into abeyance.

4 CHAIR MUNN: And withdraw Finding 4.  
5 The others can all go into abeyance.

6 DR. H. BEHLING: As I said when I looked  
7 at Finding No. 4, it was just an observation I made  
8 when I tried to verify that original number of 8.64  
9 dpm per cubic meter. And then I identified that  
10 180 was just very, very high, and an increased  
11 number of course.

12 But I also concede and I agree with  
13 NIOSH that that would probably not be appropriate  
14 to apply here because it's too episodic with floor  
15 sweeping, and it's not likely to really affect the  
16 air concentration and the doses received in the  
17 residual time period.

18 DR. NETON: Yes, maybe we could close  
19 Finding 4. And then put the other findings in  
20 abeyance.

21 CHAIR MUNN: Yes, we will close Finding  
22 4, as having been withdrawn. And we'll indicate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that the others are in abeyance and that NIOSH will  
2 revise the original document accordingly.

3 DR. NETON: Sounds good.

4 CHAIR MUNN: Any problem with those  
5 instructions to do? Steve.

6 MEMBER ZIEMER: This is Ziemer, I agree  
7 with that, also thank Hans for his work in  
8 clarifying these issues.

9 DR. H. BEHLING: I realize this is  
10 somewhat complex and I was hoping that these people  
11 could following the basic narratives in explaining  
12 why I think this could be easily resolved. And I  
13 think NIOSH's willingness to concede the air  
14 concentration in 1950 really let the door open for  
15 resolution of all the other findings.

16 CHAIR MUNN: I certainly appreciate  
17 that. Josie, do you have any comment?

18 MEMBER BEACH: No. No, I agree with  
19 the discussion.

20 CHAIR MUNN: All right, very good.  
21 I'm assuming Steve will work with getting the  
22 appropriate wording with that onto the BRS after

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we find out how we can best do that.

2 The question now is whether, I think we  
3 will go ahead and break for lunch right now. We're  
4 a half hour ahead of schedule and that's very good.  
5 We'll probably need that half hour this afternoon.  
6 Can we split the difference here and give ourselves  
7 and almost 45 minute lunch break instead of either  
8 a 30 minute or a one hour one?

9 We don't want to take the full hour  
10 because of the time limitations we have on our  
11 closing time. But if we can go until 1:15 return  
12 time. Is that agreeable to all concerned?

13 MEMBER ZIEMER: Ziemer here, does that  
14 give Josie enough time?

15 CHAIR MUNN: Back from lunch at 1:15,  
16 that will still give us, yes. Josie's going to be  
17 with us until 3 o'clock your time.

18 MEMBER ZIEMER: Well, thanks.

19 CHAIR MUNN: So eastern time. Is that  
20 correct, Josie?

21 MEMBER BEACH: I actually have until  
22 3:15, so.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIR MUNN:   Okay.   So that's good.   I  
2    think we'll be in good shape.   If we'll return at  
3    1:15.   All right.

4                   MR. KATZ:   Sounds good, thanks.

5                   CHAIR MUNN:   Thanks, much.   Bye-bye.

6                   (Whereupon, the above-entitled matter  
7    went off the record at 12:36 p.m. and resumed at  
8    1:18 p.m.)



1           them up then.

2                         DR. H. BEHLING:    Although, Jim, the  
3           first two findings are interrelated.

4                         DR. NETON:    Yes.

5                         DR. H. BEHLING:    So you could consider  
6           two findings, because finding one and two are  
7           linked to each other.

8                         DR. NETON:    Right.

9                         MR. MARSCHKE:   Which finding do you  
10          want, Jim?

11                        DR. NETON:    The first one.

12                        MR. MARSCHKE:   The first one is closed.

13                        DR. NETON:    Oh, what am I looking at  
14          then?

15                        MS. MARION-MOSS:   Well, the first  
16          finding, this is Lori.  The first finding is two,  
17          in the BRS.

18                        DR. NETON:    Okay, well, maybe there are  
19          just -- well, okay, can we bring up the response?  
20          I think there's a response in there, it's pretty  
21          short, I believe.

22                        CHAIR MUNN:    Yes, I think Lori posted

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 those, I believe --

2 DR. NETON: Yes, but there is a  
3 response. I thought we had a response in here for  
4 this?

5 MR. MARSCHKE: That's the response.  
6 DOE, DOL has the authority to specify cancer, NIOSH  
7 does not.

8 DR. NETON: It's so short, I couldn't  
9 see it. It seems a little bit abrupt but really  
10 this is a very complicated case because this is a  
11 PER that was bringing back cases because some of  
12 the organs that had to be reconstructed based on  
13 ICD-9 code had changed.

14 One of them was, this particular ICD-9  
15 classification of [identifying information  
16 redacted], which is [identifying information  
17 redacted], primary cancer of the [identifying  
18 information redacted]. The new TIB says, it  
19 should be reconstructed for any [identifying  
20 information redacted] surfaces. And keep in mind  
21 this is, [identifying information redacted] is a  
22 primary cancer.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Department of Labor sent this over  
2 incorrectly, with a designation of [identifying  
3 information redacted] as a primary cancer. When  
4 in fact it was actually a secondary cancer from the  
5 original [identifying information redacted]  
6 cancer, the primary [identifying information  
7 redacted] cancer that was identified previously.

8 So in reality, this case should not have  
9 been forwarded up for dose reconstruction, except  
10 that the secondary cancer of the [identifying  
11 information redacted] qualified this case for  
12 compensation under Part B, as part of the SEC for  
13 this site.

14 If it sounds odd, but secondary  
15 [identifying information redacted] cancer is  
16 qualified, it's a qualified cancer hidden under the  
17 SEC in this program. There are reasons for that  
18 I won't go into, but it is.

19 So the second time this was sent over  
20 for dose reconstruction, was really not to see if  
21 it deserved compensation under the Part B for  
22 \$150,000, but if the case also now qualified for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 medical benefits since it was already in the SEC.

2 Again, they sent it over as a  
3 misidentified [identifying information redacted],  
4 even though it was clearly a metastatic cancer.  
5 I'm not sure what the fix is for this though.  
6 Again, we don't make these calls. We do make every  
7 effort to identify, you know, cases where there's  
8 a clear disconnect.

9 In the PER process it was done  
10 correctly. It picked it up as a [identifying  
11 information redacted], and reconstructed as  
12 [identifying information redacted], and it still  
13 wasn't compensable for medical benefits at least  
14 under the program.

15 So I've racked my brains here. I'm not  
16 exactly, I understand the nature of Hans' finding  
17 but I'm not sure what corrective action there would  
18 be on something like this.

19 DR. H. BEHLING: Can I make a comment  
20 here, Jim?

21 CHAIR MUNN: Please do.

22 DR. H. BEHLING: The reason I mentioned

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it, it goes back to my write-up here that involves  
2 Exhibit 3, and Exhibit 4 on behalf of this finding.

3 And Exhibit 3 was part of the record,  
4 and it was written by Dr. Ronald E. Goans, who was  
5 the medical reviewer in Exhibit No. 3. I don't  
6 have it up on the screen here for people to see,  
7 but I'll read it for you where he writes in the memo,  
8 and this was dated April 6th, 2011.

9 He says, "I have reviewed claim  
10 [identifying information redacted] and supporting  
11 documents. In NOCTS we have the following  
12 notations." And he goes on, "In my professional  
13 opinion, the [identifying information redacted]  
14 tumor metastatic to [identifying information  
15 redacted] is a secondary metastatic tumor,  
16 undifferentiated from the primary [identifying  
17 information redacted] tumor of the [identifying  
18 information redacted].

19 I think the ICD-9 code for the primary  
20 appears to be correct and I have not tried to change  
21 the ICD-9 code for the metastatic tumor. I will  
22 be happy to do so if you choose, but I generally

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do not change ICD-9 codes on my own."

2 In Exhibit No. 4, this was --

3 DR. NETON: Well Hans, can you stop  
4 there for one second? I think you're under the  
5 impression that this was a DOL physician?

6 DR. H. BEHLING: I have no idea, but he  
7 was --

8 DR. NETON: Actually he was a  
9 contractor.

10 DR. H. BEHLING: Well I don't know who  
11 he is, but he was obviously the medical reviewer.

12 DR. NETON: Well he's our internal  
13 medical reviewer, because when this case was  
14 originally reconstructed, the [identifying  
15 information redacted] required an internal medical  
16 review.

17 DR. H. BEHLING: Yes.

18 DR. NETON: Not to determine whether it  
19 was metastatic or not, but to determine which part  
20 of the [identifying information redacted] would be  
21 reconstructed.

22 DR. H. BEHLING: Okay. But in Exhibit

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 No. 4, it was a note to reviewer, and I'm going to  
2 ask a question here. A person by the name of Jodie  
3 Phillips on April 7th, 2011, writes the following.  
4 "Note to reviewers. Based on the email from Dr.  
5 Goans stating, the [identifying information  
6 redacted] tumor metastatic to [identifying  
7 information redacted] is a secondary metastatic  
8 tumor, undifferentiated from the primary  
9 [identifying information redacted] tumor of the  
10 [identifying information redacted], the internal  
11 organ applied to the [identifying information  
12 redacted] was the same as that applied to the  
13 [identifying information redacted], i.e.,  
14 [identifying information redacted]." And so my  
15 question is, who was she? Who's Jodie Phillips?  
16 Is she DOL?

17 DR. NETON: A program constructor.

18 DR. H. BEHLING: Oh?

19 DR. NETON: Yes, someone on our dose  
20 reconstruction staff. She followed Goans' advice  
21 saying, I'm going to calculate this as a  
22 [identifying information redacted].

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: Okay. So why was it  
2 given the [identifying information redacted] ICD-9  
3 code?

4 DR. NETON: Well, that was incorrectly  
5 applied by the Department of Labor.

6 DR. H. BEHLING: Okay. I mean, I just  
7 looked at that, and I said this is something that  
8 needs to be looked at.

9 DR. NETON: Yes, I understand what  
10 you're saying. And in all reality, this case  
11 should have never been -- well, it should have been  
12 sent back and said, we're not going to reconstruct  
13 a secondary cancer.

14 If it was properly sent over here as a  
15 secondary cancer, with a secondary cancer ID, we  
16 wouldn't have reconstructed it. It wouldn't  
17 qualify for another reconstruction.

18 But Labor identified it incorrectly  
19 even though they recognized it was metastatic, they  
20 coded it as a [identifying information redacted]  
21 primary [identifying information redacted]. And  
22 that's how the PER was carried out correctly.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Now I do acknowledge there's an error  
2 here. But I'm not sure what the corrective action  
3 is in this situation.

4                   CHAIR MUNN: I think what's being said  
5 is, whether there is or is not an error in terms  
6 of the ICD-9 code, this is established by some  
7 authority other than ours.

8                   And we have no authority to change what  
9 that authority has chosen. We have to assume that  
10 to be the bottom line with what we have to deal with.  
11 That's my interpretation.

12                  DR. H. BEHLING: And I agree Wanda, but  
13 you know this is not the first time I personally  
14 have identified issues that involve the DOL, and  
15 we've been told this is outside of our purview.

16                  But I think in some instances, I believe  
17 Ted has taken upon himself to notify them and say,  
18 there is an issue here that you may want to look  
19 at. We can't resolve it for you, but if you agree  
20 with our concerns, you may change something.  
21 We've done that obviously several times in the  
22 past.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ:       Right, Hans, that's  
2                   correct. I just, the one thing I'm unclear about  
3                   is to whether that is, there's any appropriate  
4                   action to take here is, would it matter anyway?

5                   DR. H. BEHLING:   There really won't.  
6                   Ted, no it would not matter because the chances are  
7                   it would reduce the PoC, because --

8                   MR. KATZ:   Right, that's what I thought  
9                   I understood, thanks Hans.

10                  DR. H. BEHLING:   Yes.

11                  MR. KATZ:   So then in this case there  
12                  is no reason to communicate with DOL about it.  
13                  There's an error in the system, but it's not of any  
14                  practical significance. I think now that we  
15                  understand the whole mess, I think you can just  
16                  close it because there's nothing, there's no  
17                  remediation to do that might help the claimant.

18                  DR. H. BEHLING:   No, there isn't, as I  
19                  say they had an initial PoC for this that went  
20                  significantly up to 35 percent and had the revision  
21                  not been introduced, we would have stayed with the  
22                  original PoC which was much, much lower.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So no, there's nothing you can do at  
2           this point other than to maybe say, there's an issue  
3           here that may affect other cases as well, and I  
4           think maybe the medical reviewer involved in this  
5           maybe we should be more careful about acknowledging  
6           the change or whatever his recommendation was to  
7           change the ICD-9 code.

8           MR. KATZ:    Yes.

9           CHAIR MUNN:  But even --

10          MR. KATZ:  The medical reviewer was  
11          internal, so that's a NIOSH business, but seems  
12          like then really you could just button up, there's  
13          nothing more to do here.

14          DR. H. BEHLING:  Yes.

15          CHAIR MUNN:  I believe that to be the  
16          case.  Paul, do either you or Josie have any  
17          contrary view?

18          MEMBER ZIEMER:  It seems like it needs  
19          no further action.  I think we just close it.  
20          Understand there wasn't but there could have been  
21          an issue particularly if it had changed the  
22          outcome, but I think we let it ride.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER BEACH: Yes, I'm fine with that  
2                   too, Wanda.

3                   CHAIR MUNN: Very good. This being,  
4                   I'm assuming we have not automatically resolved  
5                   something marvelous with respect to the BRS over  
6                   lunch time, and so we will add to Steve's charge  
7                   following our meeting to indicate that agreement  
8                   was reached that we have no authority to change ICD  
9                   codes, and the item was closed, Finding No. 2 was  
10                  closed.

11                  And we go onto Finding No. 3. Who has  
12                  the action with No. 3? We in both cases, I think  
13                  we were expecting a response from NIOSH.

14                  MS. MARION-MOSS: Jim, this is for the  
15                  same case I believe.

16                  DR. H. BEHLING: No, I think we're  
17                  still on the last one here, we have to go one step  
18                  further. This is PER-043-04 Subtask 4, is the  
19                  response from NIOSH.

20                  CHAIR MUNN: Oh, so I'm in error, it  
21                  should be --

22                  MS. K. BEHLING: Excuse me, this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Kathy. There's two additional ones I believe, no?

2 DR. H. BEHLING: No, there's just the  
3 next one. It's PER-0043-04 that --

4 MR. MARSCHKE: What happened to 03,  
5 Hans? The reprogram one --

6 DR. H. BEHLING: I think we just took,  
7 yes that was, that took care of that one.

8 MR. MARSCHKE: Both of them? Two and  
9 three?

10 DR. H. BEHLING: Two and three, yes.  
11 There were as I said up front, you know, the Finding  
12 No. 1 and two, that I had were linked to each other.  
13 That says there was an error here in the assignment  
14 of a metastatic cancer for a revision.

15 And the Finding No. 2 if the intent that  
16 metastatic cancer be recognized as such, there  
17 wouldn't be a need for a dose reconstruction. So  
18 the two were, Finding 1 and two in my write-up were  
19 essentially linked. And I think Jim needn't  
20 address those two together.

21 DR. NETON: Right. I'll agree with  
22 that. Okay, this next one has to do with a medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 review in the new TIB that, I think it was for  
2 [identifying information redacted] cancer, it's  
3 [identifying information redacted]. And could  
4 either go [identifying information redacted] or  
5 [identifying information redacted] cancer, I think  
6 is what that was. And we selected [identifying  
7 information redacted] in this case.

8 I think it said a medical review should  
9 be done. And our position was that in the PER  
10 process, it's expedient to just, if you can do the  
11 dose reconstruction with the [identifying  
12 information redacted] which produces a higher PoC  
13 and the cancer is still below 50 percent, there's  
14 no need to stop the process and go get an  
15 independent medical review to move the case  
16 forward. And that's basically what we've done  
17 here. So that's our position.

18 (Simultaneous speaking.)

19 DR. H. BEHLING: Yes. Can I comment on  
20 that? As I said, I sort of get, I agree with you  
21 if it had gone over 50 percent you would have had  
22 another review of this process, but is that a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       guarantee?

2                       In other words, you know, SC&A's not  
3 necessarily always saying let's go for the highest  
4 dose, if it's not the correct dose or the correct  
5 PoC. I looked at this and I looked at the  
6 [identifying information redacted] that was there  
7 to essentially provide the constructor to say get  
8 a medical review if necessary, if they're  
9 surprised.

10                      And in this case I decided that it was  
11 warranted because it looked like an [identifying  
12 information redacted] type of cancer based on what  
13 I see. And in your response, you sort of said  
14 first, NIOSH disagrees for several reasons. SC&A  
15 has no indication that a medical review would have  
16 resulted in [identifying information redacted] --  
17 well, we don't know that until you have a medical  
18 review.

19                      So your opinion that we don't know if  
20 it would have changed anything, of course we don't  
21 know but a medical reviewer's purpose is to  
22 identify the most appropriate organ that is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 potentially subject to the evaluation of the dose.

2 And secondly, when you say, while SC&A  
3 may emphasize the phrased, appropriate internal  
4 organ in [identifying information redacted] of  
5 OTIB-05, NIOSH would like to emphasize the word,  
6 should. This word indicates it's not necessary in  
7 every situation to obtain a medical review, as the  
8 case here.

9 Well, you know, I looked at -- I'm very  
10 sensitized to the issue of shall, and should, as  
11 it's usually written in various documents. And  
12 first of all I consulted the American Collegiate  
13 Dictionary that says the word, should, denotes  
14 duty, proprietary, and expediency. Also it gave  
15 a synonym and the synonym for should in accordance  
16 to the American Collegiate Dictionary, is the word,  
17 must.

18 So that when you look at again, the  
19 definition of must, it in turn says, "To be bound  
20 by some imperative requirement, to be obliged or  
21 compelled to do something." And then I went  
22 further because I'm very sensitive to the DOE

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 documents that use the word should, shall.

2 And in looking at the DOE documents,  
3 that is the DOE radiation manual that actually has  
4 a very extensive citation of the meaning of should.  
5 And I can read to you the wording that occurs in  
6 the DOE RadCon manual that says, "The word should,  
7 means the contractor has the responsibility of  
8 either following the provisions or demonstrating  
9 technical equivalency by an alternative solution."

10 In other words, unless you have a reason  
11 that is a very strong worded reason why your  
12 approach is superior to the compliance of  
13 directives, that says, or uses the word, should,  
14 you should not simply ignore it.

15 And so I looked at that and both on the  
16 basic of definition, I sort of think perhaps the  
17 medical review of this case should have been  
18 conducted. That would have potentially  
19 eliminated the whole concept of using the liver as  
20 a higher dose and higher PoC value.

21 DR. NETON: Well, I think we need to  
22 look back to the reason -- what's the purpose of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the PER? Is to have the Department of Labor to send  
2 back any claims that would go over 50 percent given  
3 the change in a procedure or document.

4 In this case, it was very easy to triage  
5 this and demonstrate that the case did not need to  
6 be sent back, by using the [identifying information  
7 redacted] instead of going out and getting a  
8 medical review to come back with a lower PoC value.

9 But I think it's just part of the  
10 process here that, you know, there's no reason to  
11 go and get the medical review. The purpose of the  
12 PER had been fulfilled using the higher organ dose.  
13 I mean, the point of the triage of the PER is which  
14 cases need to come back? This case, it was  
15 decided, didn't need to.

16 DR. H. BEHLING: Now, I guess I wasn't  
17 aware Jim, that the medical reviewer first does the  
18 higher organ dose to calculate dose in PoC. And  
19 only if it's over 50 percent is there a real need  
20 to reassess that, to determine whether or not the  
21 right organ had been in fact identified?

22 But in fact it could have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 [identifying information redacted] which would  
2 have meant you would have had to go through the  
3 process all over again.

4 MR. HINNEFELD: This is Stu, can I  
5 offer something here? If this case had come to us  
6 for dose reconstruction, then that's what we would  
7 be under. If this was a dose reconstruction  
8 report, then we should certainly have gotten a  
9 medical review.

10 Because even, we don't want to say it's  
11 an overestimating approach to use [identifying  
12 information redacted], and then a guy gets another  
13 cancer. And then have to explain later on, we  
14 really shouldn't have used [identifying  
15 information redacted].

16 So, had this come over for dose  
17 reconstruction, we should have gotten that review.  
18 That's not why it came over. It came over for us  
19 to determine if there was a chance this could exceed  
20 a greater than 50 percent PoC, given our change in  
21 dose reconstruction approach.

22 If that's the reason it came over, it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 perfectly fine to say, well, what's the highest  
2 dose, and therefore highest PoCs? What's the  
3 highest PoC this thing could have? And if that  
4 comes out less than 50, then you can say we don't  
5 need it back. And we don't need a medical review.

6 So there's a different thought process  
7 since this came over as a PER consideration,  
8 compared to whether, if it came over for dose  
9 reconstruction.

10 DR. NETON: I might just mention that  
11 it really didn't come over to us. I mean, this was  
12 --

13 MR. HINNEFELD: No, I understand that  
14 but that's why we were looking at it.

15 DR. H. BEHLING: Okay, yes I agree.  
16 Now that I understand this fine point that you just  
17 made about, that if it was a straight dose  
18 reconstruction, or forwarded as a result of a PER.  
19 I didn't realize that there is a difference in your  
20 obligation to engage a medical reviewer under those  
21 two different conditions.

22 MR. HINNEFELD: Yes, I think we don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 write it that way anywhere, but from our standpoint  
2 it's clear to us that if our objective in looking  
3 at the case for a PER, and say is there any way this  
4 case can go over 50 percent? And, you know, the  
5 potential organs that could be a target organ. If  
6 the one that gets the highest PoC doesn't put it  
7 over 50 percent, then there's no need to use up the  
8 time and the project's money sending a medical  
9 consultant to look at the case.

10 CHAIR MUNN: Good. Can we say that SC&A  
11 accepts NIOSH's explanation and the finding is  
12 closed?

13 DR. H. BEHLING: This agrees with me.

14 CHAIR MUNN: All right. Any  
15 objection, Paul?

16 MEMBER ZIEMER: No. I can live with  
17 that.

18 CHAIR MUNN: Any objection, Josie?

19 MEMBER BEACH: No, none here.

20 CHAIR MUNN: Fine. Then after the  
21 fact, we will do so. Are other people having  
22 trouble with your Live Meeting? Or am I the only

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 one whose screen has not been functioning properly?

2 MEMBER ZIEMER: I'm seeing okay.

3 CHAIR MUNN: It looks like I've gotten  
4 it back now. But I had it lost for quite a while.  
5 Okay, very good.

6 Thank you. That closes our  
7 outstanding findings on PER-43.

8 The next item I have listed is PER-52.  
9 My notes say we have Findings 1 and 2. Should have  
10 responses to them. Hold on while we pull up the  
11 PER-52, Finding 1.

12 MR. MARSCHKE: Okay.

13 CHAIR MUNN: Reading?

14 DR. NETON: Okay, this relates to  
15 guidance for adjusting intakes based on partially  
16 monitored versus completely monitored. I think  
17 there was a little misunderstanding on SC&A's part,  
18 as to what we were talking about and I think it's  
19 completely understandable.

20 If you look at the, what is this,  
21 OTIB-54? No, PER-52, if you look at that, the  
22 document that assigns internal dose, there's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 table that is based on gross alpha in air, gross  
2 alpha air samples, that assigns intakes by year to  
3 workers.

4 And that is, the table said, it should  
5 be assigned to workers who were unmonitored. And  
6 that's true. However, this site had a unique  
7 situation where there's three potential  
8 radionuclides that they could have been exposed to,  
9 uranium, thorium, and plutonium. And remember  
10 that we only have gross alpha in air.

11 So let's say for example, a person has  
12 monitoring data for uranium, then we would use that  
13 bioassay data to calculate an intake in picocuries  
14 per day, and assign that intake based on the  
15 monitoring data.

16 And then take the difference in the 95th  
17 percentile gross alpha air sample, between the 95th  
18 percentile gross alpha in the table, subtract the  
19 intake that was calculated from the uranium intake,  
20 and assign the thorium, or plutonium exposure based  
21 on the remainder to which ever nuclide gives the  
22 higher dose.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So it's a little confusing, but that's  
2 really what's done here is to accommodate the fact  
3 that really the people in this plant were exposed  
4 to three possible radionuclides.

5           And in some cases, we have what we call  
6 partially monitored exposure, and that would,  
7 partially would typically mean we would have some  
8 uranium data and reconstruct that. But we still  
9 need to reconstruct the balance of the dose that  
10 for intake that would be assigned using the table.

11           There's one other piece of this which  
12 was really an error that was left in the template,  
13 and I forget what that referred to. Can you guide  
14 us a little bit on this, Steve?

15           DR. H. BEHLING: I can take you, Jim,  
16 I can cover that issue. I think it's because that  
17 was part of my confusion. I think you were  
18 referring to a second bulletin on Page 11 of the  
19 document that says, "For completely unmonitored  
20 workers, unmonitored exposure should be based on  
21 the geometric mean intake." There are no  
22 geometric mean values defined in Table 2A, 2B, 2C,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and that's really what threw me off when I --

2 DR. NETON: That was a cut and paste  
3 there on our part, and that's been resolved. The  
4 template, it's already gone from the template, so.  
5 But the other difference between partially  
6 monitored and completely monitored, I think I kind  
7 of explained how that works.

8 DR. H. BEHLING: Yes, and Jim, I agree  
9 with you, had Table 2A, B and C stated intakes for  
10 partially monitored, unmonitored operators, I  
11 would have understood what followed, the guidance  
12 that you just made reference to, or explained.

13 But when I looked at unmonitored, there  
14 was no reference that this also applies to  
15 partially monitored. And then that's what threw  
16 me, in addition to the recommendations using the  
17 geometric mean value when there was no GSD value  
18 identified in the tables.

19 DR. NETON: Okay. Yes, like I said,  
20 we've already, that's already been corrected in the  
21 template, the inclusion of that sentence that  
22 talked about the GSD and geometric mean and the GSD.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: Yes.

2 CHAIR MUNN: So is our status now, SC&A  
3 accepts the NIOSH response?

4 DR. H. BEHLING: Yes.

5 CHAIR MUNN: Item closed for No. 1.  
6 Now can we go to Finding No. 2?

7 DR. NETON: Okay Finding 2, Mutty are  
8 you on the phone? I think, Mutty Sharfi, I think  
9 --

10 MR. SHARFI: I am.

11 DR. NETON: Maybe you could take a stab  
12 at explaining --

13 MR. SHARFI: Sure.

14 DR. NETON: -- this one, please?

15 MR. SHARFI: All right, Finding 2 is,  
16 there's a table in the template that covers the  
17 associated radionuclides, especially with  
18 plutonium intakes. And it seemed that the comment  
19 is, assuming that this is listing the alpha rated  
20 nuclides, within current, really just saying for  
21 gross alpha intake what would you assign in a ratio  
22 to the gross alpha intake?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So though plutonium-241 is listed in  
2           the ratio of how it's assigned to the gross alpha  
3           intake, it's not implying that plutonium-241  
4           itself is an alpha emitter. That's why the sum of  
5           the ratio is actually greater than one, and  
6           otherwise if it was considered a true alpha  
7           emitter, then the sum of the ratios would be one.

8           DR. H. BEHLING: Again, I understood  
9           that right away too. I mean, I looked at the table.  
10          And this table is in reference to the 12th  
11          percentile fuel grade plutonium mixture. And it  
12          lists obviously four radionuclides of which  
13          Pu-238-239, and americium-241 when you add then up  
14          they obviously establish the unity of 100 percent.

15                 And I realize that the Pu-241 alpha at  
16          14.2 couldn't possibly be part of that, so I  
17          realized it was just really a misleading use of  
18          plutonium-241 as an alpha, whatever that means.  
19          And I realized it has to be included in the dose  
20          reconstruction.

21                 So I'm fully aware and then I just  
22          brought it to your attention so that maybe the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 designation of Pu-241 as an alpha emitter is just  
2 something that you want to potentially avoid.

3 MR. SHARFI: I don't think the  
4 intention of the table was ever to insinuate that  
5 Pu-241 is an alpha, though it isn't assigned, the  
6 dose from Pu-241 is assigned as an alpha dose,  
7 because the majority of the dose from plutonium-241  
8 is from the americium-241 indicates it.

9 DR. NETON: Yes. I think, isn't there  
10 a colon there? It's like plutonium-241, colon.  
11 alpha?

12 MR. SHARFI: Yes. Alpha, colon,  
13 Pu-241.

14 DR. NETON: Right.

15 MR. SHARFI: So it's showing the Pu-241  
16 ratio to the alpha intake.

17 DR. NETON: It's a ratio. I mean, it's  
18 a colon, not an alpha, you know.

19 MR. SHARFI: Yes, but the table -- I've  
20 yet to have a DR misunderstand, so I mean, on my  
21 end I haven't had a confusion that we're  
22 insinuating Pu-241 is an alpha emitter.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIR MUNN: Okay. So undoubtedly as  
2 a part of the basic training, that would be  
3 received, that would be seen and understood  
4 correctly. Haven't had a problem with this, it  
5 sounds like.

6                   Is that acceptable, Hans?

7                   DR. H. BEHLING: Yes, as I said, I also  
8 recognized it right away that it can't be assumed,  
9 or it's not assumed to be an alpha emitter just by  
10 looking at the three other radionuclides, which  
11 total up to 100 percent.

12                   So that you know it's obvious, I just  
13 thought that perhaps we could avoid mistaking use  
14 of that particular number, by someone who may or  
15 may not necessarily come to that conclusion.

16                   CHAIR MUNN: An abundance of caution is  
17 always appreciated. Does anyone have any  
18 objection to our closing that with the same  
19 statement as our previous findings?

20                   (No response.)

21                   CHAIR MUNN: If not, then we shall do  
22 so after the fact. And we will move on to PER-47

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       which my notes tell me has four outstanding  
2       findings, one through four.

3               DR. NETON: Right. And I think, this  
4       is Jim, I'm going to rely on Mutty to carry the water  
5       on this one as well.

6               CHAIR MUNN: All right. What's the,  
7       I'm sorry, what's the site on this one?

8               MR. SHARFI: This is the Grand Junction  
9       --

10              CHAIR MUNN: This is the Grand Junction  
11       one, okay. Thank you.

12              DR. NETON: Mutty, are you still there?

13              MR. SHARFI: Yes, I'm here. I'm  
14       trying to re-read the findings on them.

15              DR. NETON: This first one has to do  
16       with the exclusion of the measurable,  
17       non-measurable data for deriving the 95th  
18       percentiles.

19              MR. SHARFI: Okay, so in the findings,  
20       and Kathy can correct me if I misstate what their  
21       point was. In the Evaluation Report they do a  
22       summarizing table of the data, really of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 non-measurable and they provide the statistics of  
2 the above zero reported values.

3 But when we did the full, kind of you  
4 want to call it coworker analysis to do dose  
5 assessment, we looked at the entire data set as a  
6 whole. So of the 528 data points, only the 118 of  
7 those are actually reported above zero. Only  
8 about seven of those are actually reported even  
9 above 50 millirem.

10 So you could already see all the, for  
11 that particular year, that for 1985 which was the  
12 one they gave the example of in their full findings.  
13 They concluded there is a very low exposure  
14 threshold, or not threshold, it's a general  
15 exposure rate that's shown in the dosimetry data  
16 for the site.

17 So to us, it felt that there's no real  
18 basis to stratify the data and exclude the non, the  
19 zero data from this data set, rather than it  
20 indicates that the actual external exposures are  
21 actually probably low. And that the data set  
22 should be looked at as a whole.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So basically the assessment was just  
2 done as a whole data set using OTIB-20's guidance  
3 on how to calculate external coworker exposure.

4           DR. H. BEHLING: Can I make a comment?

5           CHAIR MUNN: Yes, do.

6           DR. H. BEHLING: Well, what really  
7 brought me to this whole issue was, I was aware of  
8 some data involving the Grand Junction issue for  
9 external exposure. And I didn't even know at the  
10 time that I used it, and I made my initial finding  
11 that the data that I was looking at came out of the  
12 Grand Junction SEC Petition Evaluation Report.

13           And that in turn, we used for external  
14 only the 118 people who had exposures of measurable  
15 value. Okay? And when I realized that in the  
16 write-up for, your write-up here, that for Grand  
17 Junction, the data was 118 measurable dosimeter  
18 responses for 1985, were co-mingled with 410  
19 measurements that were below detection.

20           And that's, two things came to my mind.  
21 Why is there a difference? Why did NIOSH for the  
22 purpose of the SEC Evaluation Report, only select

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the 118 cases for 1985 that had measurable? And  
2 then for the TBD decided to include 410  
3 non-measurable below LOD values?

4 And that, so the inconsistency between  
5 what NIOSH reported in the SEC report, and then in  
6 the TBD report struck me as something that needed  
7 to be looked at. Why is there a difference? Why  
8 would you cite one set of data for the SEC Petition  
9 Evaluation Report and another one for the TBD for  
10 dose reconstructors?

11 And sometimes I do have to question when  
12 you look at, and I realize in today's world  
13 sometimes the abundance of caution gets you to  
14 monitored personnel that you know up-front are not  
15 going to be exposed anyway, but they become part  
16 of an average value that tends to dilute the real  
17 numbers for those who probably should be definitely  
18 monitored.

19 And therefore when you do dose  
20 reconstruction on people whose exposure you're  
21 trying to assess in retrospect, you may show,  
22 change then by using data where the majority, 80

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 percent, when 80 percent of the data were used to  
2 calculate the potential exposures involved people  
3 who didn't have measurable exposures.

4 And so this is -- and I went through that  
5 in my exhibits where I looked back -- in fact, the  
6 reason why I came into this whole discussion is  
7 because it was at the urging of Ted, who said, you  
8 can't make these statements without necessarily  
9 looking at these numbers.

10 Because I initially cited this as a  
11 conditional finding, because I couldn't reconcile  
12 those numbers that came out of the SEC Petition  
13 Evaluation Report against the numbers I saw here.

14 And when I guess, NIOSH supplied me with  
15 the original data, and only then I came to the  
16 understanding that the difference resulted from  
17 the inclusion of 410 people out of a total of  
18 possible 528 monitored people, who had exposures  
19 that were non-measurable. And that's the genesis  
20 of this finding.

21 I mean, this is an arbitrary decision  
22 to include or not include. I just wonder why the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 SEC Petition Evaluation Report shows only to  
2 include the 118 people in their assessment?

3 MR. SHARFI: I mean, the Evaluation  
4 Report, that table's just summarizing the positive  
5 data. In the dose reconstruction plan, I can tell  
6 you that the reason why they're all included is,  
7 if you look at the total data even if you talking  
8 about that there's 400 below detection, there's  
9 actually less than, about one to two percent that's  
10 actually barely above 50 millirems, which is barely  
11 above detection.

12 So really 98 percent of the entire  
13 people monitored are right at the detection limit  
14 in 1985. So to exclude, to say that there's you  
15 know, a large source of people that had non-detects  
16 are not part of a distribution, when 98 percent of  
17 the were right around detection, I did not see a  
18 reason to say that I need to disclude these people  
19 from this overall data set.

20 DR. NETON: Yes, this is Jim. I  
21 haven't looked at the Evaluation Report recently  
22 but it sounds like, and I think Mutty's probably

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 correct, and it is correct that to characterize the  
2 data, not necessarily present what we would use as  
3 a coworker model.

4 MR. SHARFI: Correct.

5 DR. NETON: There's a difference  
6 there. I mean, characterize what the exposures  
7 were versus, you know, how are you going to  
8 reconstruct the doses for unmonitored workers?  
9 And we've done this numerous times in the external  
10 world, you know, 50th percentile with or without  
11 the full distribution as applied. I've forgotten  
12 exactly how we do that right now.

13 And if a person were in a job category  
14 that required to be monitored, looked like they  
15 should have been monitored and weren't, then they  
16 clearly get the 95th percentile of dose. They're  
17 not short changed necessarily as you indicated.

18 There's some movement afoot as you  
19 know, in the draft implementation guide there. A  
20 world of how we're going to go back and relook at  
21 some of this stuff. But right now, I don't see  
22 anything inconsistent here with what our current

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 procedures specify.

2 We've done numerous, numerous reviews  
3 of doses of coworker models and we don't, I can't  
4 recall when we've ever thrown away all the zeros,  
5 or the non-detects.

6 CHAIR MUNN: Is that reasonable to you,  
7 Hans?

8 DR. H. BEHLING: Well, again, we're  
9 dealing with something of a subjective issue here,  
10 and I will default to NIOSH's decision to include  
11 them. But I guess one could argue the point, but  
12 it wouldn't resolve anything. So I'll simply  
13 default to their interpretation.

14 CHAIR MUNN: Okay. Any comments from  
15 any of Subcommittee Members?

16 MEMBER ZIEMER: No. Clearly, it's  
17 true that for the coworker models we generally have  
18 used all of the data. Might have been monitored  
19 individuals even which is non-detectables.

20 I don't recall on the SEC Petition but  
21 that was simply a compilation of, I believe it was  
22 just a compilation of what the actual exposed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 individuals had got, was it not?

2 When used to, was it used in an attempt  
3 to bound, or what was the issue there?

4 MR. SHARFI: The DR was just to  
5 summarize the data that was available, it wasn't  
6 to be used at all.

7 (Simultaneous speaking.)

8 MEMBER ZIEMER: Yes.

9 DR. H. BEHLING: Paul, your question,  
10 for the SEC Petition, as I said they only used the  
11 data for the 118 people with positive exposures for  
12 the 1985. As opposed to the full 528 individuals  
13 who were monitored in total, of which 410 did not  
14 have measurable doses.

15 MEMBER ZIEMER: Then how was it used?  
16 Or was it just used to show exposures, or?

17 MR. SHARFI: It was just presented.  
18 It wasn't used at all.

19 MEMBER ZIEMER: It wasn't used. So I  
20 don't see any inconsistency of the actual use of  
21 the distribution for dose reconstruction.

22 CHAIR MUNN: I don't think there's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 anything else we can do with this, correct?

2 DR. H. BEHLING: Yes, as I said, Wanda.  
3 With Jim's and Mutty's explanation, if this is the  
4 policy to interpret data differently between SEC  
5 Petition Evaluation versus dose reconstruction,  
6 then there's no argument left.

7 CHAIR MUNN: All right. We will see  
8 that that one also is closed. Our next finding  
9 would be Finding 2 and the same PER. If we can go  
10 to that one, Steve?

11 Finding 2, here it is. There's a new  
12 response from NIOSH.

13 (Simultaneous speaking.)

14 CHAIR MUNN: Mutty, would you like to  
15 expand on that?

16 MR. SHARFI: Sure. This is a little  
17 bit similar in the sense of the data. This is about  
18 neutron dose. In this case we did a compilation  
19 of the neutron dose.

20 There's very little neutron dose, the  
21 site really mainly had a neutron exposure for  
22 people using, all the geologists that used logging

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sources and stuff like that.

2 I provided some SRDB's to show really  
3 when they actually had a routine operation that we  
4 would likely see neutron exposure.

5 So what we did is we calculated the  
6 geometric mean and the 95th percentile for the  
7 neutron data from the REMS database. And then we  
8 actually apply it site wide to all claimants.

9 Even though most workers would likely  
10 have almost zero with no potential for neutron  
11 exposure, it was difficult to always place them  
12 with somewhere. We give everybody at least the  
13 geometric mean dose.

14 And for geologists that likely would  
15 been actually using that they, but we found that  
16 most geologists would have been monitored for  
17 neutrons. If they happened to be unmonitored we  
18 used the 95th percentile for those exposures.

19 DR. H. BEHLING: This is Hans. I agree  
20 with the fact that this is somewhat similar, but  
21 there are also some differences on this one.

22 In total there were a total of 81 for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the year in question, 1986, that I chose to assess.  
2 There were 81 neutron dosimeters distributed, of  
3 which 15 dosimeters had an exposure that was part  
4 of the compilation of dose with less than LOD over  
5 two.

6 Normally we regard that as something  
7 that in itself would be corrected. Where we say,  
8 when you're below LOD, you end up usually using a  
9 factor of LOD over two, period.

10 And in addition to 15 of the 81 neutron  
11 dosimeters, a total of 14 neutron dosimeters were  
12 below LOD, 40 millirem, and as I said 15 dosimeters  
13 were less than LOD over two.

14 Only 26 out of the 81 neutron dosimeters  
15 had registered doses greater than LOD, or 40  
16 millirem. And one of the things that I looked at  
17 was, that we're really concerned mostly about  
18 geologists.

19 These are the people who carried  
20 obviously the neutron sources for doing  
21 measurements. And based on the fact that for 1986,  
22 we had 26 neutron dosimeters with LOD greater than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 40, and I realized that the geologists at Grand  
2 Junction would have probably been very few in  
3 numbers, so what you're really looking for is a very  
4 select group of people.

5 And I have no idea how many geologists  
6 would have been there at the time, or how many  
7 geologists would have been represented by the  
8 actual dosimeters that we're looking at? Would  
9 they have been part of the 26 that were greater than  
10 the LOD?

11 And if that's the case I would again,  
12 tend to think that you're diluting the potential  
13 exposure to a geologist where we're looking to  
14 assign the 95th percentile. So by including in  
15 among the 81 dosimeters, 40 that were below LOD,  
16 and 15 that were less than LOD over two, and you're  
17 trying to potentially assess the exposure to a very  
18 select and few people who are qualified as  
19 geologists, you're somewhat diluting their  
20 numbers.

21 DR. NETON: But Hans, you're assuming  
22 that all these geologists were unmonitored. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they were trying to reconstruct dose to unmonitored  
2 workers here. With no monitoring data.

3 I find it hard to believe that only  
4 geologists were unmonitored. Or mostly  
5 geologists --

6 DR. H. BEHLING: No, I wouldn't say  
7 that. But in our table as I incorporated into my  
8 write-up. We have obviously geologists pre-1981  
9 and geologists '81 through '85. And the measured  
10 dose is assigned to them at a 95th percentile value.

11 So on the assumption that there were  
12 some geologists, otherwise we wouldn't make that  
13 an issue. You would probably expect a dose that's  
14 possibly at the higher end among the 26 people whose  
15 dosimeters were greater than 40.

16 DR. NETON: But this is a slightly  
17 complicated issue because honestly geologists use  
18 these devices offsite, which is not really covered  
19 exposure. We don't know where they used them.  
20 We're assuming they used them onsite, but by and  
21 large, most of the geologists were doing work  
22 offsite. They're not even really a covered source

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of exposures.

2 So I don't know, it's hard for me to  
3 think that we're missing large exposures to  
4 geologists that had no badging. And you know,  
5 we're defaulting to assigning the entire site  
6 population, some small you know, neutron dose based  
7 on what we have for the monitored people, who were  
8 probably mostly geologists.

9 I don't think it's, I think it's fairly  
10 claimant favorable in my opinion.

11 DR. H. BEHLING: Okay. I just had a  
12 hard spot with using even dosimeters that were  
13 below LOD over two as credible dosimeter readings.

14 DR. NETON: It's all available. I  
15 mean, I don't know whose, that's --

16 DR. H. BEHLING: I mean, normally we  
17 don't accept those numbers even when we do dose  
18 reconstruction for missed dose. We always assume  
19 when there is a registered dose, let's say of five  
20 millirem at a time when film dosimeters had an LOD  
21 of 40, we used to default to LOD over two that says,  
22 instead of getting the registered dose of three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 millirem, we give them 20 millirem.

2 So that we usually do make some  
3 consideration to extremely low values as being not  
4 numbers that you want to necessarily assign to  
5 anybody.

6 DR. NETON: I don't necessarily think  
7 that's true. I mean, if we'd had incomplete,  
8 uncensored data set, I think we would use it.  
9 We've done that in the past.

10 DR. H. BEHLING: Well, I know for a fact  
11 during dose reconstruction when we have someone who  
12 was given a dosimeter dose of let's say ten  
13 millirem, external whole body, and we realize that  
14 at that time there was a LOD value of 40, we usually  
15 default to, in fact I think you've written it into  
16 your procedures, that you default to LOD over two  
17 as opposed to giving a lower value than LOD over  
18 --

19 DR. NETON: There's a difference  
20 between doing a dose reconstruction assignment and  
21 constructing a coworker model though.

22 DR. H. BEHLING: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: So where are we?

2 DR. H. BEHLING: As I said, earlier,  
3 this is strictly my interpretation. And I admit  
4 that I'm not always going to be right, or get my  
5 way.

6 CHAIR MUNN: Well, no I think the issue  
7 here is that, the difference in the applicability  
8 of the approach for different ends.

9 MEMBER ZIEMER: Yes, Jim, if you're  
10 doing an actual dose reconstruction versus doing  
11 a coworker model, for the coworker model you use  
12 the distribution of the dose, right?

13 DR. NETON: Correct. We would really  
14 use, data that was uncensored throughout.

15 MEMBER ZIEMER: But you do an  
16 individual dose reconstruction, then you doing the  
17 LOD over two, right?

18 DR. NETON: Correct.

19 CHAIR MUNN: So I don't want to put  
20 words in your mouth, Hans. Even though, as you  
21 know, I'm always eager to close these. We don't  
22 want them closed if the real meat of the issue has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not been --

2 DR. H. BEHLING: Well, Wanda,  
3 considering the nominal differences in doses, I  
4 don't think it's worth the argument to continue  
5 this discussion. So I will say, let it be as is.

6 CHAIR MUNN: All right. Very good.  
7 Accepted No. 2.

8 And then we go onto Finding No. 3 which  
9 is a question about raw data and documented sources  
10 for 569 air sample measurements associated with  
11 D&D from '89 through 2006.

12 MR. SHARFI: Wanda, this is --

13 DR. NETON: Let Mutty summarize this  
14 one. We provided the reference ID for all the raw  
15 data. So I'm not sure where we go from here with  
16 that. Whether SC&A wants to review these or  
17 whether the implication is that we should have put  
18 the raw data --

19 DR. H. BEHLING:  
20 Well, did you, I'm not going to argue the point  
21 here, but I'm looking at the Technical Basis  
22 Document, and on Page 16 it identifies air  
monitoring data. And in Bullet No. 2, it says,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 "569 air sample measurements were recovered for  
2 onsite D&D work including both general area and  
3 breathing zone samples. These samples can be used  
4 to assign doses to D&D workers and other  
5 supervisor," et cetera. "If individual bioassay  
6 results are not available."

7 Now, I looked at that and I said, okay,  
8 who would go and make -- go through that effort?  
9 And your response, you know, you identify all of  
10 the different sources that you'd have to go  
11 through.

12 And your response, the raw data for 569  
13 air samples are available in the following SRDB  
14 reference IDs, and you list them. There are a  
15 total of 16 documents. Do you honestly expect  
16 someone to go through that and then reconstruct the  
17 doses based on 569 air samples that are contained  
18 in these documents?

19 This was my question.

20 DR. NETON: You raise a good point  
21 here, Hans. I think we're going to have to take  
22 this one back and look at it a little closer.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           MR. SHARFI: Why can't, we've already  
2 done the analysis. So we've pre-did the analysis  
3 for DRs to be able to use that data.

4           DR. NETON: Okay.

5           MR. SHARFI: So in an individual DR  
6 case, they wouldn't have to go and recreate it every  
7 time. That's part of -- you know, I mean, there's  
8 not a TBD for this site, but as part of the template  
9 though to make an official process that we develop  
10 for this site.

11          DR. H. BEHLING: Right.

12          DR. NETON: Mutty, is this part of the  
13 template for that?

14          MR. SHARFI: I'm assuming so. Hans  
15 has been referring to a TBD. I don't think there's  
16 a TBD for this site.

17          DR. NETON: I think it is a TBD.

18          DR. H. BEHLING: I'm sorry, it's a  
19 template. I'm sorry, I shouldn't have referred to  
20 --

21          MR. SHARFI: Yes, I assumed that's what  
22 you're referring to was a DR template.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: Yes, yes I am.

2 MR. SHARFI: And this is a methodology,  
3 so in part of developing the methodology, we went  
4 ahead and did the statistical analysis of all that  
5 data.

6 So that is available to the DRs if they  
7 need it. You wouldn't individually at every DR go  
8 back and recreate it. I agree that would be  
9 inefficient.

10 DR. H. BEHLING: Yes. Should there be  
11 some statement here that says, you have the data  
12 available for those who choose to use that, those  
13 569 air samples? Because there's no indication  
14 here in the template that would suggest that data  
15 are available for use in dose reconstruction?

16 DR. NETON: I tend to, I think I'd like  
17 to look at this a little closer. I wasn't looking  
18 at it from the perspective that you just  
19 communicated.

20 (Simultaneous speaking.)

21 DR. NETON: And I think we'll hold off  
22 on this one discussion maybe if that's okay until

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I've got a better feel for the data have been  
2 summarized, characterized, and are being used. I  
3 really don't have a good feel for that.

4 DR. H. BEHLING: Well, I thank you,  
5 Jim, because you kept me from striking out.  
6 Because I lost the first two. So I'm still in the  
7 ball game.

8 DR. NETON: I think we will eventually,  
9 but I'm saying that I don't know enough to, you know  
10 --

11 DR. H. BEHLING: I may have to take my  
12 thank you back when you get to that point.

13 DR. NETON: No, I just need to look at  
14 it because I'm not comfortable without having seen  
15 what we've done and how we're using it to discuss  
16 this intelligently, I guess.

17 So we'll take a look at it and report  
18 back at the next meeting.

19 CHAIR MUNN: Good, we'll continue to  
20 carry Finding 3, with the work that NIOSH is going  
21 to review it and report next time.

22 DR. NETON: Yes, we'll take a closer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 look at it, because I wasn't looking at it from that  
2 perspective. Okay.

3 CHAIR MUNN: All right. Then we will  
4 move onto to Finding 4, the derivation of intake  
5 rates for radium-226 and thorium-230, NIOSH failed  
6 to employ activity fractions cited in Table 3 of  
7 Attachment A.

8 DR. NETON: Mutty has prepared a  
9 response here and it looks like we do agree that  
10 we're going to be overestimating by a factor of two.  
11 Mutty, is that an oversight on our part, or is that  
12 --

13 MR. SHARFI: Yes. Okay, this was the  
14 one where we ratioed to the total uranium. And  
15 really what we should have ratioed to was just the  
16 234, where the total uranium is the site of all  
17 three. So in reality we end up resulting in a  
18 slightly above factor of two. And we need to go  
19 to five or you know, it's a very small fraction of  
20 the intake is left.

21 DR. NETON: So the total uranium  
22 activity which is a combination of 238 and 234?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           MR. SHARFI:    So that ends up, when  
2           really you should just be, they're in equilibrium  
3           with each other, so they'd be equal to the U-234  
4           intake.  So in respect, we end up over estimating  
5           the radium and thorium by a factor of two because  
6           we're ratioing to the two of them, so.

7           DR. NETON:       That's seems like  
8           something that we could fix.  I mean we --

9           MR. SHARFI:  Oh yes.  That's something  
10          we would fix the template and --

11          DR. NETON:  Yes, because the dose is  
12          going to go down.  But --

13                       (Simultaneous speaking.)

14          DR. NETON:  I think it's a good fight.  
15          I think we need to correct that to be technically  
16          correct.  Yes.  So, we appreciate the finding and  
17          we'll respond accordingly.

18          CHAIR MUNN:  All right.  The template  
19          will be corrected.  And that will put that item in  
20          abeyance, correct?

21          DR. NETON:  I guess, yes.

22          MR. KATZ:       Well that's pretty

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 straightforward. Why don't you just close it?

2 CHAIR MUNN: Well that's easy.

3 DR. NETON: You might want to hold it  
4 in abeyance and make sure that we do follow up and  
5 do it.

6 CHAIR MUNN: So it's --

7 MR. KATZ: Check.

8 CHAIR MUNN: Very good. We will. That  
9 completes PER-47, if I'm not correct someone please  
10 tell me so.

11 And that brings us to our  
12 administrative detail. Lori had notified us some  
13 time ago that we were going to make as routine, our  
14 abeyance items that NIOSH is going to look at on  
15 a regular basis to see if there were more items that  
16 were ready to close.

17 Lori, do you or someone else at NIOSH  
18 have any information for us this time?

19 MS. MARION-MOSS: Yes, Wanda, this is  
20 Lori. Fortunately for this meeting, I don't have  
21 anything to report, to bring forth to the  
22 Subcommittee. Hopefully, I'll have something for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the next meeting.

2 CHAIR MUNN: All right. We will  
3 check. The item is going to be on the agenda every  
4 time until someone tells me otherwise.

5 We were, there was going to be some  
6 activity with respect to case selection  
7 recommendations. Is that correct?

8 MS. K. BEHLING: This is Kathy Behling.  
9 I guess I can refer you to a memo that I had sent  
10 out on March 16th. And that memo included not only  
11 the newer, newly issued PERs, but I also had gone  
12 back into the BRS system, this was several months  
13 ago, just to confirm that we had put in any place,  
14 I looked at all the PERs plus the Subtasks forward.

15 And if we had already completed those  
16 and we didn't have any findings, I wanted to ensure  
17 that we put in a finding of no findings.

18 In that search, I identified -- and if  
19 we have the memo available, I'm going to go the  
20 actual last page of that memo, which is Page 6, I  
21 had identified two PERs that was PER-8 and PER-11.

22 One had to do with the modifications to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the NIOSH-IREP lung model, that was PER-8. And  
2 PER-11 was the K-25 TBD and associated TIBs. We  
3 had actually looked at both of these. We've  
4 completed everything up until the Subtask 4, and  
5 on Page 6, do you all have that memo, Subcommittee  
6 Members?

7 CHAIR MUNN: Yes.

8 MS. K. BEHLING: Okay. And we had  
9 recommended for PER-8 that we may want to review  
10 three cases. And under PER-11, we identified  
11 perhaps four cases and we have two selection  
12 criteria there.

13 And so I don't know if that's something  
14 that you are in a position to task us with today,  
15 or if it's something you want to continue?

16 MR. KATZ: Kathy, can you just remind  
17 me, just the lung model. I thought this was one  
18 where ages ago we said, this is not necessary to  
19 do the case selection for this. But maybe I'm  
20 confusing this with a different PER.

21 Is this something, did we just recently  
22 complete the rest of the work for that PER?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       Because I thought there was lung model one where  
2       there was really no point in the case review, that  
3       related to a lung model one.

4                   MS. K. BEHLING:   Well, this was done  
5       quite a long time ago.   And I can go back and check  
6       again.   I know that initially we had recommended  
7       doing three cases, but perhaps we decided that it  
8       was not necessary.

9                   MR. KATZ:    I'm thinking, I could be  
10      confused, but I'm thinking though that there was  
11      quite a discussion about that.

12                  MS. K. BEHLING:   Yes, there was.   Yes,  
13      there was.

14                  DR. H. BEHLING:   And can I cut in here,  
15      Kathy?

16                  MS. K. BEHLING:   Yes.

17                  DR. H. BEHLING:   Let me shed some  
18      light.   I reviewed the IREP lung model and came to  
19      the conclusion that there was a potential serious  
20      error   there   that   would   overestimate   the  
21      Probability of Causation for all the people who  
22      would be obviously compensated.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And I reported that to I think, either  
2           the full Board, or maybe a Subcommittee group. And  
3           they concluded that this was beyond their scope in  
4           terms of technicality. And that they would  
5           potentially have to go outside the organization to  
6           assess this whole issue.

7           The relative risk model that was used  
8           to assess dose as a functional age. And this is  
9           the area that I questioned in terms of, is this  
10          legitimate? And I think this was obviously  
11          something that was done by SENES or somebody else  
12          outside who was part of this IREP lung model. And  
13          it was never resolved. And so I guess there was  
14          reason to not necessarily select particular cases  
15          for evaluation based on the outstanding concerns  
16          --

17                 MR. KATZ: Hans, but I'm thinking  
18                 actually the reason we had just, if I'm not confused  
19                 about which PER we're talking about, I thought the  
20                 reason we decided there's no point in case  
21                 selection is because implementation was really,  
22                 there was nothing difficult. It's perfectly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 straightforward, the implementation, which is why  
2 there's nothing to be gained by doing a case review.

3 DR. NETON: Ted, this is Jim. You're  
4 absolutely right. I mean, this is a change in the  
5 risk models.

6 MR. KATZ: Right.

7 DR. NETON: And there's no dose  
8 reconstruction involved here. The dose  
9 reconstruction is just run using one risk model,  
10 and another risk model. Because we combined, you  
11 know, we had those two risk models that we now run  
12 in every case. And earlier, we only had one. But  
13 there is no dose reconstruction at all.

14 MR. KATZ: Right. Right. So this is  
15 that, I am remembering the right PER then, whatever  
16 the right situation. So this anyway, the  
17 Subcommittee did talk about this and put it to bed  
18 that we didn't need cases on this.

19 And then this one, because these are  
20 sort of coming by the same mechanism to forward to  
21 the Subcommittee, I would just be worried that the  
22 K-25 is another one of those cases. That was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 already decided, although I couldn't tell you that  
2 for a fact.

3 CHAIR MUNN: And I don't know either.

4 MS. K. BEHLING: I agree, never mind on  
5 PER-8.

6 MR. KATZ: Okay. I mean, by all means,  
7 Kathy, if you want to check on K-25 and see whether  
8 we didn't discuss it already. It just seems like  
9 a good possibility that we had already discussed  
10 it.

11 MS. K. BEHLING: Okay.

12 MEMBER BEACH: Well, Kathy, I was  
13 looking at a document you put out December 8th of  
14 2014, and K-25 and eleven, were both listed in that  
15 as not being, Subtask 4 not being assigned. Along  
16 with Rocky Flats.

17 MR. KATZ: So right, they weren't  
18 assigned but the issue is why they weren't  
19 assigned?

20 MEMBER BEACH: Yes, yes. It doesn't  
21 go into that detail unfortunately.

22 CHAIR MUNN: Yes, it wasn't that they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were not addressed. It was that they were not  
2 assigned. Yes.

3 MS. K. BEHLING: And I can look further  
4 into that.

5 MR. KATZ: Thanks, Kathy --

6 (Simultaneous speaking.)

7 MR. KATZ: -- definitely bring it up at  
8 the next meeting if that one that slipped through  
9 the cracks.

10 MS. K. BEHLING: Right. And I had  
11 simply identified these as I said, as I was going  
12 through the BRS system.

13 MR. KATZ: Yes, I recall that from the  
14 Board meeting materials. Right.

15 CHAIR MUNN: Okay. I think that's  
16 correct.

17 MS. K. BEHLING: Okay. And Wanda, I  
18 don't know if you would like me to go on with,  
19 continue on with this memo that I sent out with  
20 regard to the PERs that had, the newly issued PERs?

21 And as I said, one that I had identified  
22 during this BRS review, namely that was PER-21

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       which is the Rocky Flats plant dose reconstruction  
2       method modifications.

3               And I know that that was one that sort  
4       of caught my attention because I realized that  
5       Rocky Flats is a very complex site and I did know  
6       that Ron Buchanan is very much involved in  
7       everything that's been going on with Rocky Flats.

8               And I asked him if there was any reason  
9       why we had not looked at this PER? And I don't  
10      think he could come up with a reason either. So,  
11      that is added on Page 3 of this memo that I sent  
12      out, along with the newly issued PERs.

13              And I've highlighted those PERs that I  
14      -- and I gave reasons for why I thought they were,  
15      of this seven that I have listed here, I've  
16      identified actually two, three, four, that I  
17      thought might be worth looking at. And let me just  
18      spend a little bit of time and I'll ask for some  
19      assistance here, I believe.

20              On Page 5, I also have listed PER-57  
21      which is General Steel Industries. And if perhaps  
22      either Bob Anigstein or John Mauro are on the line.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       What we had concluded for this particular PER, is  
2       that we were very much involved in the revisions  
3       of the General Steel Industries' TBD.

4               And so we didn't -- this is a little bit  
5       different from what we've done in the past. But  
6       we didn't feel it was necessary for us to do our  
7       typical Subtask 1 through Subtask 3, but just go  
8       to the case reviews.

9               And I believe there's a little more  
10       detail that perhaps either Bob Anigstein or John  
11       Mauro can add, as to why we felt that it was  
12       necessary to at least suggest to the Subcommittee  
13       that we look at a few cases.

14               Is Bob Anigstein on the line?  
15       Apparently not.

16               CHAIR MUNN: I hadn't heard him.

17               MS. K. BEHLING: Okay, John Mauro?  
18       They've all abandoned me.

19               CHAIR MUNN: They left it in your  
20       capable hands, Kathy.

21               MS. K. BEHLING: What I was told is  
22       that, even though there is another revision that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 NIOSH is working on, on the General Steel  
2 Industries, they felt it necessary because they  
3 were, and maybe if Jim Neton and even if David Allen  
4 is on the line, they can add to this.

5 They're working on the new additional  
6 revision however, they recognize because of  
7 changes that have already been made in Revision 1,  
8 there were going to be some cases that were  
9 overturned. And I think there were quite a few.

10 Now, based on, I believe, some meetings  
11 there had been some concerns that all of these cases  
12 are being looked at appropriately, and so that was  
13 why we were suggesting that at this point in the  
14 process, we just pick a few cases and Bob Anigstein  
15 was going to, he said he could present some  
16 selection criteria and suggest the number of cases  
17 that may be worth looking at. If that's something  
18 you would want to consider?

19 MR. KATZ: Can I just suggest, Kathy,  
20 and I think it's a good idea for Bob to -- I mean,  
21 because that'll sort of flesh it out. If he wants  
22 to put forward case selection criteria and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 rationale, then that would give the Subcommittee  
2 or the Board, whoever does this tasking, sort of  
3 full information to judge as to whether they want  
4 to task.

5 MS. K. BEHLING: Right. So we'll put  
6 something in a memo? Would that --

7 MR. KATZ: Yes, that memo would be  
8 great, and I do think it makes a lot of sense exactly  
9 what you're saying if they're going to review, it  
10 would be just jump right to the case review.  
11 Because that would sort of button it up.

12 MS. K. BEHLING: Okay, very good.

13 CHAIR MUNN: It would be very helpful.  
14 Thank you. We'll look forward to Dr. Anigstein's  
15 selection of cases for General Steel.

16 MS. K. BEHLING: Okay. And I don't  
17 know if you all have made any decisions on the newly  
18 issued PERs, and considered our recommendations or  
19 not? If you would like to discuss that.

20 CHAIR MUNN: I think we've all taken a  
21 look at them. And that moves us onto our next item  
22 there. We have had a note from Dr. McKeel about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 one of those, PER-58, which I don't think we're  
2 ready to address. I believe Ted, you had some  
3 information?

4 MR. KATZ: Yes, this is Dow is asking  
5 for, and the SEC Issues Work Group is, we're trying  
6 to schedule a meeting now for that Work Group to  
7 take up the Site Profile. And then, until we've  
8 done that, and looked at the Site Profile, we won't  
9 know whether there's value in going further and  
10 also reviewing the PER.

11 So that's the next step and it should  
12 be coming pretty shortly. I know Jim has already,  
13 Jim, excuse me, Dr. Melius has already just  
14 recently, today queried DCAS on readiness, what  
15 timing for having a group meeting on this matter.  
16 So that should get sorted pretty soon.

17 CHAIR MUNN: Yes, good. That will  
18 work its way out. And PER-55 and -56, both were  
19 going to be on our plate for a decision today,  
20 correct? Am I incorrect in that? I think I got  
21 that out of your memo, Kathy?

22 MS. K. BEHLING: Oh, I'm sorry. I yes,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 actually Page 2 and let's see here, actually there  
2 are Page 2, three, and four I've highlighted in  
3 yellow those. There are one, two, three, four PERs  
4 that SC&A thought you may want to consider tasking  
5 us with.

6 PER 55 was TBD 6000 revision. And there  
7 were numerous changes and they were on numerous  
8 cases. And that was why we recommended that maybe  
9 perhaps selecting that for a review.

10 Also PER-21, as I said that's the Rocky  
11 Flats plant. And then PER-51 is Weldon Springs.  
12 Again there were quite a few changes there and a  
13 significant number of cases involved. PER-53 is  
14 Allied Chemical Corporation and again, numerous  
15 revisions and over 200 claims that were initially  
16 identified.

17 And in some of these, I also want to make  
18 certain recommendations also because sometimes the  
19 selection criteria is not you know, very clear in  
20 these cases because of so many different changes.  
21 I just was recommending that we may want to look  
22 at those, or the Subcommittee may want to task us

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with those.

2 CHAIR MUNN: Do I have any  
3 recommendations from other Members of the  
4 Subcommittee?

5 MR. KATZ: Wanda, thinking of those,  
6 can I ask maybe Kathy if she recalls? The Rocky  
7 Flats, I don't know if this is a very recent one,  
8 because at one point we discussed Rocky Flats PER,  
9 and I thought, and decided it shouldn't be reviewed  
10 until the SEC matters were closed on it. But maybe  
11 that's something else not related to this?

12 CHAIR MUNN: Well, no. I was dragging  
13 my feet too, because I was looking at Rocky Flats  
14 because there's still so much going on with it.

15 MR. STIVER: Ted, this is John Stiver.  
16 I remember that too. We decided to table that  
17 until after the SEC issues were decided.

18 MR. KATZ: Oh, right.

19 CHAIR MUNN: Right. I don't think we  
20 can go that route yet. And good heavens, I just  
21 lost my screen that I had your memo on, Kathy. I  
22 don't know what I did with it, it's gone.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER: I think we have 55, 51  
2                   and 53 work that we can act on?

3                   MR. KATZ: Well, Paul, and then Weldon  
4                   Springs, I'm looking at that and I'm thinking that  
5                   that is awaiting Work Group progress which hasn't  
6                   occurred yet on Weldon Springs. And that's  
7                   ringing a bell too. I'm not sure about that, but  
8                   I think.

9                   CHAIR MUNN: And I don't remember it,  
10                  and I've lost my screen, so I don't even know what  
11                  I'm looking at right now.

12                  MEMBER BEACH: Well, that leaves us  
13                  with 55, and 53 possibly?

14                  CHAIR MUNN: Fifty five, 53, six, no,  
15                  51, 55, and 53.

16                  MEMBER BEACH: Fifty five is the Weldon  
17                  Springs one, and I --

18                  MR. KATZ: Weldon Springs, I'm almost  
19                  certain that that Site Profile review by SC&A, the  
20                  Work Group hasn't met because I think, NIOSH is  
21                  still doing some work to get ready to respond to  
22                  that, I think.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. STIVER: Yes, Ted, this is John.  
2                   We submitted that review pretty recently, so yes,  
3                   I think it was going to be, need to be a Work Group  
4                   meeting.

5                   MR. KATZ: Yes.

6                   CHAIR MUNN: So 55, and 53. We have  
7                   any concerns?

8                   MR. KATZ: Fifty five, we just talked  
9                   about right, isn't that the GSI, the cases where  
10                  we need to get the criteria?

11                  MEMBER ZIEMER: No, that's 57.

12                  MR. KATZ: Okay, okay, sorry, whoops.

13                  MEMBER ZIEMER: I think 57 is --

14                  MR. KATZ: I think 57 is Dow.

15                  MEMBER ZIEMER: Yes.

16                  CHAIR MUNN: Yes.

17                  MEMBER BEACH: Fifty seven is General  
18                  Industries, that's the one that's on, we're  
19                  waiting.

20                  MR. KATZ: Okay, I got them backwards,  
21                  the numbers. Okay.

22                  MEMBER ZIEMER: Fifty five is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 TBD-6000 in general. And that --

2 CHAIR MUNN: We need to assign that.

3 MEMBER BEACH: Yes, so 55 and 53.

4 CHAIR MUNN: Do I hear any objections  
5 to assigning those two?

6 MEMBER ZIEMER: Yes, I agree what you  
7 just named for us.

8 CHAIR MUNN: If not, then let's do  
9 assign those two. Anything else?

10 MEMBER BEACH: And then I've got a  
11 question, it's, Kathy on your memo, that will  
12 include PER-8 and 11 on the status of those? Or  
13 just eight?

14 CHAIR MUNN: I think eight, and 11 are  
15 issues.

16 MEMBER BEACH: That's what I thought,  
17 thank you, eight and 11, yes.

18 CHAIR MUNN: So we've already  
19 dispensed with those, and they woke them up again.

20 If there are no other issues before us  
21 right now, let's take a look at our calendar. And  
22 see when we might expect our next meeting. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 looks as though we do not have a particularly heavy  
2 calendar, and so it doesn't look as though we'll  
3 need to have anything in the immediately  
4 foreseeable future.

5 Is it the general feeling that we can  
6 wait for our next meeting until after the Idaho  
7 meeting? Or do you think we should have one prior  
8 to that, that is a meeting scheduled in late July?

9 MEMBER BEACH: No, I agree with that  
10 Wanda, this is Josie.

11 CHAIR MUNN: Okay. First part of  
12 August perhaps? How is the first week in August  
13 looking for people?

14 Wednesday, the 5th?

15 MR. KATZ: Hang in there, please. I  
16 need to get there. Thanks. Okay, August, yes, I  
17 have no issues with that first week in August right  
18 now.

19 CHAIR MUNN: Okay. Anyone else?

20 MR. HINNEFELD: This is Stu, I don't  
21 have any issues on that week.

22 MEMBER ZIEMER: I'm clear also.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: But I believe that's the  
2 week of the NIOSH Intramural Science meeting. I  
3 don't know --

4 MR. KATZ: Okay.

5 DR. NETON: I have that on my calendar.  
6 That's the 4th through 6th.

7 MR. KATZ: 4th through 6th. Well, why  
8 don't we just go to the next, the week of August  
9 11th?

10 CHAIR MUNN: Let's take a look at that.  
11 How is the 11th? Is that a good day? It is for  
12 me.

13 MEMBER BEACH: That's fine.

14 CHAIR MUNN: All right. Let's say,  
15 August the 11th.

16 MR. KATZ: 11:00 a.m. Eastern time.

17 CHAIR MUNN: 11:00 a.m. Eastern. The  
18 Committee will meet at that time. We'll be  
19 accruing items for the agenda in the meantime.

20 Is there any other thing that needs to  
21 be brought before the Subcommittee at this time?

22 MEMBER BEACH: No. Thank you, Wanda

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for adjusting your schedule to meet mine.

2 CHAIR MUNN: Well, thank you for giving  
3 us a heads up and enough time to do that. We  
4 appreciate it.

5 No other information being  
6 forthcoming, we are adjourned. Have a good week  
7 everybody and we'll see you in Idaho Falls.

8 MR. KATZ: Yes, thank you everybody for  
9 a good meeting.

10 (Whereupon, the above-entitled matter  
11 went off the record at 2:40 p.m.)

12

13

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701