

UNITED STATES OF AMERICA
CENTERS FOR DISEASE CONTROL

+ + + + +

NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

104th MEETING

+ + + + +

THURSDAY
MARCH 26, 2015

+ + + + +

The meeting convened at 8:30 a.m., Pacific Time, in the Red Lion Richland Hanford House, George Washington Way, Richland, Washington, James M. Melius, Chairman, presiding.

PRESENT :

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

JAMES M. MELIUS, Chairman
HENRY ANDERSON, Member
JOSIE BEACH, Member
BRADLEY P. CLAWSON, Member
R. WILLIAM FIELD, Member*
DAVID KOTELCHUCK, Member
RICHARD LEMEN, Member*
JAMES E. LOCKEY, Member
WANDA I. MUNN, Member
JOHN W. POSTON, SR., Member
DAVID B. RICHARDSON, Member*
GENEVIEVE S. ROESSLER, Member
PHILLIP SCHOFIELD, Member*
LORETTA R. VALERIO, Member*
PAUL L. ZIEMER, Member
TED KATZ, Designated Federal Official

REGISTERED AND/OR PUBLIC COMMENT PARTICIPANTS

ADAMS, NANCY, NIOSH Contractor
AL-NABULSI, ISAF, DOE

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

CRAWFORD, FRANK, DOL
 DAVIS, TIKI
 DOMINA, KIRK
 ENGELMANN, RAMONA
 FINDLEY, MITCH, ORAU Team
 FITZGERALD, JOE, SC&A
 GLOVER, SAM, DCAS
 HINNEFELD, STU, DCAS
 KINMAN, JOSH, DCAS
 KNOX, WAYNE
 JOYNT, GABE*
 JOYNT, TOM
 LIN, JENNY, HHS
 LUZZO, MIKE
 MCFEE, MATTHEW, ORAU Team
 NETON, JIM, DCAS
 RHOADS, CARRIE, DOL
 RUTHERFORD, LAVON, DCAS
 SPLETT, GAIL, DOE Hanford POC
 STIVER, JOHN, SC&A
 TAIT JOYNT, MARCIA
 TAULBEE, TIM, DCAS
 WOLZ, GERALD*
 WORTHINGTON, PATRICIA, DOE
 ZINK, BRIAN*

T-A-B-L-E O-F C-O-N-T-E-N-T-S

	<u>PAGE</u>
Welcome and Introduction	
By Dr. James Melius, Chair.....	5
Dow Chemical Corporation SEC Petition	
(1947-1957; Pittsburg, CA)	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

By Mr. LaVon Rutherford, NIOSH.....	8
Statements of Petitioners	
By Marcia Tait Joynt.....	32
By Gabe Joynt.....	37
Reading of Proposed letter to Dow	
By Dr. James Melius, Chair.....	46
Uranium Refining AWEs WG Review of DuPont	
Deepwater Works Site Profile (Deepwater, NJ)	
By Dr. Henry Anderson, WG Chair.....	49
Finish Work Group Reports	
By Dr. James Melius, Chair.....	55
Reading of draft response to public comments	
By Dr. James Melius, Chair.....	62
Discussion regarding timing of meetings	
By Ted Katz.....	65
Dose Reconstruction Subcommittee Review	
Discussion	
By Dr. David Kotelchuck.....	80
Break	96
Grand Junction Operations Office SEC Petition	
(1975-2010; Grand Junction, CO)	
By Dr. James Neton, NIOSH.....	96
Board Work Session	
By Dr. James Melius, Chair.....	140
Lunch	146
Idaho National Laboratory SEC Petition	
(1949-1970; Scoville, ID)	
By Dr. Tim Taulbee, NIOSH.....	147
Remarks, SEC Petitioners	215
SEC Petitions Status Update	
By Mr. LaVon Rutherford, NIOSH.....	233
Adjourn	

1

P-R-O-C-E-E-D-I-N-G-S

2

(8:30 a.m.)

3

CHAIRMAN MELIUS: Good morning,

4

everybody. We're here for our second day of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Meeting 104, the Advisory Board on Radiation and
2 Worker Health. And I'll turn it over to Ted to do
3 the roll call and other work here.

4 MR. KATZ: Thank you. Good morning,
5 everybody. Just a couple of preliminaries before
6 the roll call. The agenda and all the materials
7 for the meeting are in the back of the room here
8 for people in the room. And for people online,
9 those materials could be found at the NIOSH
10 website, under the Board section, under the
11 schedule of meetings, today's date.

12 So you can find all of these documents
13 that we're discussing today there and follow along
14 with the discussion. And you will also see the
15 agenda for today there, and the agenda has on it
16 the Live Meeting link if you want to follow along
17 with the presentation in real time as it is
18 presented here.

19 It'll show on your screen at your
20 computer at home. So that takes care of that.
21 Another thing, for people listening on the line,
22 please mute your phone and keep your phone muted
23 for the meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There is no public comment session
2 today. The petitioners for the petitions we're
3 discussing today will have an opportunity to speak,
4 but there is no general public comment session.

5 In terms of phone etiquette also,
6 please don't put the phone on hold at any point,
7 but hang up and dial back in if you need to leave
8 the meeting for a time.

9 So, roll call. I'll do this
10 alphabetically again and I'll speak to any
11 conflicts that are relevant for today's agenda as
12 we get to them.

13 (Roll call.)

14 MR. KATZ: Great. And then just to
15 note, of all of these, the only conflict today, Mr.
16 Clawson has it, and he'll recuse himself in the INL
17 session. And that takes care of roll call. And,
18 Jim, it's your meeting.

19 CHAIRMAN MELIUS: Did you do the *6?

20 MR. KATZ: I thought I said that, maybe
21 I didn't.

22 CHAIRMAN MELIUS: Okay, good.

23 MR. KATZ: Dr. Poston?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER POSTON: Yes. Mr. Chairman, I
2 move that the Advisory Board on Radiation Worker
3 Health take this opportunity to wish a happy
4 birthday to Josie Beach, and many, many more.

5 MEMBER BEACH: Thank you.

6 (Applause.)

7 MEMBER SCHOFIELD: Congratulations,
8 Josie.

9 MEMBER BEACH: Thanks, Phil.

10 MEMBER VALERIO: Happy birthday,
11 Josie.

12 MEMBER BEACH: Thank you.

13 CHAIRMAN MELIUS: Do I have to prepare
14 a letter for --

15 (Laughter.)

16 CHAIRMAN MELIUS: We did do the
17 birthday card, so I guess that's the letter. And
18 it was approved by the attorney. In fact, they
19 signed it.

20 Okay. The first order of business
21 today is the view of the Dow Chemical Pittsburg,
22 California SEC Petition. And LaVon Rutherford
23 will do the presentation, then followed by Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 questions. And then we'll hear from the
2 petitioners, and then we will have further
3 discussion and possible action on that petition.

4 So I will just tell, just for the other
5 Board Members, I think what we'll do if we finish
6 this up early and so forth -- I guess the next
7 session people may be on. So between then and ten
8 o'clock, until we start the next session, we may
9 catch up on some more of our Board work, so just
10 don't go running off.

11 And, LaVon. We're anxious to hear from
12 you.

13 MR. RUTHERFORD: Alright, thank you.
14 I'm going to talk to you about NIOSH's Petition
15 Evaluation of the Dow Chemical Company.

16 The Dow Chemical Company was an Atomic
17 Weapons Employer facility in Pittsburg,
18 California. It covered the time period from 1947
19 to 1957. Dow was contracted during that time
20 period to do small scale research on uranium
21 recovery from phosphate residues, which I will talk
22 about a little further.

23 A little background. Our petition was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 received on June 12, 2014. The petitioner
2 petitioned that there was a -- as a basis, that
3 there was a lack of monitoring data. We reviewed
4 our records, and from our records we concurred with
5 the petitioner and qualified the petition for
6 evaluation on August 5th, 2014.

7 The Class evaluated was for the entire
8 operational period, 1947 to 1957, and there is no
9 residual period for the Dow site. We are going to
10 recommend a Class today of all workers at Dow
11 Chemical through the entire operational period,
12 and I will get into further discussions on why.

13 A little history. Back in the late
14 '40s, a lot of the domestic ore hadn't -- the AEC
15 was looking for more of a routine supply of uranium
16 ore, and a lot of the domestic ores had not been
17 identified at that time.

18 It was recognized that the phosphate
19 ores contained a small percentage of uranium within
20 that ore matrix. And so what they looked at was,
21 is there a process that we can employ to actually
22 extract that uranium?

23 So they contracted with Dow to look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 various extraction methods with residues and raw
2 materials. That contract lasted more than nine
3 years. It included small scale extraction
4 experiments, bench top and pilot plant scale
5 production operations.

6 The idea was that, after they went
7 through these processes and approaches, they would
8 scale up and put this into a production mode at
9 other sites.

10 The Pittsburg site is 513 acres. Most
11 of that is wetlands. Forty-one of those acres are
12 used by Dow. During the AEC operational period,
13 the second floor of the research laboratory was
14 used for AEC operations. The first floor was
15 commercial activities.

16 Stu, I don't know your pin number.

17 MR. HINNEFELD: Oh.

18 MR. RUTHERFORD: I was right in the
19 flow of things there.

20 Okay, so the AEC used the second floor
21 of the research building at Dow. The first floor
22 was the commercial work.

23 The Dow research portion consisted of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 roughly seven rooms, 4200 square feet. Again, as
2 I mentioned, the commercial work was on the first
3 floor.

4 They employed -- roughly 100 workers
5 were involved in this operation. That did not
6 include support personnel. And then also, you
7 know, that number fluctuated up and down. There
8 was actually indications from Dow reports that they
9 actually got some of their commercial engineers,
10 engineers that were working commercial work,
11 involved in some of the AEC work whenever they
12 needed additional support.

13 Also, in addition, because I know this
14 question is going to come up, the site is -- you
15 know, I've gotten various indications on the actual
16 site population. Today, the site has roughly 350
17 Dow workers and 250 contractor employees. So
18 that's roughly 600 people. And there's one report
19 that indicates that during AEC operations there was
20 up to 800.

21 However, one of our workers was
22 indicating 400. So, that gives you an idea. The
23 population surely adjusted over time during that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 period, 400 to 800, but it does give you a feel for
2 how many people were at the site.

3 The main three processes they looked at
4 in uranium extraction was precipitation, ion
5 exchange, and solvent extraction. There were a
6 number of other activities involved in this, other
7 different analyses that they looked at other than
8 these three main process approaches. But
9 precipitation, ion exchange, solvent extraction
10 were the main ones that they used for recovering
11 the uranium.

12 Precipitation is the actual method that
13 was used at Blockson Chemical. The ion exchange
14 method, they looked at that process and then they
15 recognized ultimately that it was not going to be
16 a very economical process.

17 They moved to solvent extraction.
18 Solvent extraction was the process that became the
19 process of choice and was the one that a significant
20 -- well, a number of sites moved to implement.

21 However, around 1960, or in the late
22 '50s, it was recognized that there was plenty of
23 domestic ore, uranium ore, that the recovery of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uranium through the phosphate process was really
2 not practical. And so around 1960 these
3 operations pretty much ceased.

4 The raw materials involved at the site
5 were residues and ores, phosphoric acid, and
6 phosphate rock. The significance of the
7 phosphoric acid: phosphoric acid was used as
8 basically the starting point for each of these
9 three processes. And the phosphoric acid, because
10 of the process of converting it to the acid from
11 the phosphate rock, it actually removed the uranium
12 progeny. And so the radium and polonium and such
13 were actually moved into another matrix and were
14 not part of the acid.

15 So when we initially looked at this in
16 wet processes we thought we really didn't have that
17 issue to deal with. However, upon further
18 research, we recognized that there was a
19 considerable amount of work with residues and ores
20 and the phosphate rock that contained those items.

21 There is no indication of thorium
22 separations that took place under this AEC
23 contract. Actually, there was documentation that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 indicated that there could've been thorium ores,
2 however we have not seen anything.

3 Okay. Now, this is the Claims Tracking
4 System. We have one claim for this site. It's
5 during the operational period. And it's kind of
6 weird, we have dose reconstruction completed
7 outside of the SEC period. Well, since the SEC
8 period is the whole period it's kind of a moot
9 point.

10 We did attempt to do a dose
11 reconstruction on this one claim. We looked at
12 using Texas City Chemicals, some of the actual
13 modeling that we did at Texas City Chemical.
14 However, after further review, during the SEC
15 evaluation, we recognized that there are so many
16 other processes involved in the work that was done
17 at Dow. Not just the solvent extraction, you had
18 the precipitation, the ion exchange, some
19 additional work on particle sizing of the phosphate
20 rock and so on, that we recognized that Texas City
21 Chemical was probably not a good surrogate data
22 approach for that one claim, nor would it be a good
23 approach for the entire Class.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So the one claim does not have internal dosimetry
2 or external dosimetry.

3 Where we looked for information. We
4 have 166 documents on the Site Research Database,
5 and we looked at the claim file. The petitioner
6 and petitioner's son provided us some additional
7 information, which was very valuable and it
8 actually gave us some different places to pull the
9 string, basically, and look for more information.

10 We contacted Dow Headquarters.
11 Landauer, the reason we went to Landauer is because
12 we interviewed three former workers and one of the
13 workers indicated that they were badged and they
14 believed that it was a contractor that provided the
15 badging and reading the badges.

16 So we assumed that Landauer may be the holder of
17 those records, so we contacted them. However, we
18 did not get anything.

19 Searches on OSTI. We recognized that
20 a number of the Dow reports, if you look at some
21 of the reference documents that are identified, the
22 Dow 162 report identifies a large number of reports
23 that were produced during this operation. And,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you know, it's kind of obvious, because you can look
2 at 162 and you can see there is one statement in
3 162 that mentions over 248,000 uranium analyses
4 were conducted during the contract period.

5 But that report in itself also
6 identifies all these different things that Dow was
7 doing at looking at different approaches,
8 different things to maximize uranium recovery, and
9 all different kinds of things in support of this
10 operation. So we were able to retrieve a number
11 of the documents, the Dow documents, from OSTI.

12 We also did, as I mentioned, the worker
13 interviews which we discussed the operations in
14 itself. And, you know, although the workers felt
15 that the exposure potential was low, they also
16 identified that there was no monitoring. So,
17 nobody knew exactly from an internal perspective
18 what they would be getting.

19 All of the wet chemistry work was
20 conducted in a hood, and with the wet chemistry work
21 you would not expect a major internal exposure
22 anyway, but the reason it was conducted in a hood
23 was because of the flammability. It was not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 conducted in a hood because of potential exposure
2 potential.

3 All the bench scale work, the pilot
4 work, the grinding and operations, all the other
5 things for the product material were conducted
6 outside of the hoods. And when one of the
7 interviewees was asked about respiratory
8 protections, he said "respiratory protection was
9 on the wall in case of an emergency, but we did not
10 use respiratory protection."

11 So, our internal exposure potential, I
12 just kind of identified some of it. Uranium and
13 progeny, if contained raw materials -- and I say
14 "if contained in the raw materials" because, as I
15 mentioned, the phosphoric acid in itself, the
16 progeny, was extracted.

17 Now, there is something to think about
18 with that as well, though. There is indication
19 that they did work with the actual phosphate rock
20 and the ores. One indication, which we don't know
21 for sure was conducted onsite, but up to a ton of
22 ore was worked with on the site.

23 And one of the things that we were doing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was actually taking the phosphate rock and breaking
2 it into different sizes and doing acidification
3 with it, with that phosphate rock, at different
4 sizes to see if they could increase the amount of
5 uranium production based on that.

6 So you had that operation occurring.
7 And, again, other than the dealing with the -- I
8 mean, the grinding and such, all the operations
9 would have been conducted outside the hoods.

10 Thorium and progeny, as I indicated, we
11 have found nothing to support the thorium-bearing
12 ores. Again, this was a FUSRAP report, it
13 indicated that the site did uranium work, worked
14 with uranium ores and thorium-bearing ores. At
15 this time, we have found nothing. That doesn't say
16 that there wasn't something done there, but we have
17 found nothing to prove that there was thorium work
18 at the site.

19 As I mentioned, uranium, polonium, are
20 typically not carried into the phosphoric acid
21 during the phosphate rock process. External
22 exposures would've mainly been from product
23 material, beta and photon.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Our data. We have no internal or
2 external monitoring data. We have no air sampling
3 or air survey data available.

4 Source term information. Without a
5 good idea of the source concentration -- and these
6 phosphoric acids and phosphate rock came in from
7 not only the Florida mining, but also West Coast
8 mines. It came from all over the place.

9 We also have some indication that
10 raffinate, believe it or not, raffinate material
11 from Mallinckrodt may have been processed. And
12 the idea would be such that, I would think, that
13 low amount of uranium in the raffinate, may have
14 been looking to recover that residual uranium, I
15 don't know.

16 But the source term information, we
17 don't have a good feel for the throughput on the
18 product material. There is indication from the
19 reports that they produced UF4 and UF4 was sent out
20 to other sites for further processing. So, not
21 good source term information.

22 Process information. You know, the
23 Dow reports are really good at identifying the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 process and how the process -- I mean, the process
2 of producing, how it actually works. But from a
3 health and safety perspective and quantities and,
4 you know, the throughput, we don't really get that
5 feel, so that makes it very difficult.

6 And there is no medical occupational
7 exposure information available, as well.

8 So our feasibility is, based on the
9 available monitoring records, process
10 information, source data, they're insufficient to
11 complete dose reconstruction with sufficient
12 accuracy for the evaluated work Class.

13 Our evidence reviewed and the
14 evaluation indicates that some workers in a Class
15 may have accumulated chronic exposures through
16 intakes of radionuclides and direct exposure to
17 radioactive materials. Consequently, NIOSH feels
18 health may have been endangered.

19 Our proposed Class is all Atomic
20 Weapons Employer employees who worked for the Dow
21 Chemical Company in Pittsburg, California, from
22 October 1, 1947, through June 30, 1957, for a number
23 of work days aggregating at least 250 days.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Our feasibility table, again, we really
2 felt we couldn't come up with a good approach for
3 reconstruction of any of our internal or external
4 components. However, if any personal or area
5 monitoring does become available we will use our
6 standard procedures and apply them for partial dose
7 reconstructions. We also do feel that we can do
8 occupational medical x-rays. And that's it.

9 CHAIRMAN MELIUS: Okay. Thank you,
10 LaVon. Board Member questions? Yes, Paul?

11 MEMBER ZIEMER: The thought that was
12 occurring to me was that, at least for the external,
13 it would seem, sort of intuitively, that if it's
14 bench top and pilot-types of studies one might be
15 able to bound the source terms in terms of amounts.

16 MR. RUTHERFORD: From an external
17 exposure.

18 MEMBER ZIEMER: Yeah. And knowing
19 that, one might be able to bound external. What
20 are your thoughts on that? Maybe you could discuss
21 that.

22 MR. RUTHERFORD: Well, you know, I
23 definitely initially felt that way. I felt that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I mean, from an external perspective, I mean, we
2 would expect that the external exposures would be
3 low. However, the problem we got into is there's
4 so much information that doesn't give a clear
5 indication of where it was done, meaning that the
6 one indication where we had phosphate ores at the
7 site with up to a ton of phosphate ores. And you
8 say, okay, well, we could bound that, probably come
9 up with a decent bounding number to that. Then you
10 hear, well, there could've been raffinates from
11 Mallinckrodt. Okay, well, how does that change?
12 Then our product materials that were produced. It
13 just becomes, you know, you're almost at a guessing
14 game of where do you put that bound, you know, where
15 do you set it at, from an external perspective?

16 The internal perspective, I don't know
17 exactly where you'd go, you know, because there was
18 just so many different throughputs of different
19 types of materials involved, and you got different
20 exposure points with that.

21 You know, the grinding and crushing of
22 the product, the grinding and the crushing of the
23 phosphate rock, where did the phosphate rock

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 originate from? You know, was this phosphate rock
2 Florida phosphate rock, was it Western part of the
3 United States? There's just a number of different
4 things that we really felt like it was going to be
5 very difficult.

6 Also, if the phosphate rock was there,
7 the radon exposures, you know, would've been an
8 issue as well. We don't know anything about that.

9 MEMBER ZIEMER: Yeah. So you had
10 given some thought to that, because, particularly
11 for those who don't meet the SEC criteria in terms
12 of time or cancer type, it would be useful to be
13 able to at least reconstruct the external.

14 MR. RUTHERFORD: Right. I think that
15 something that we could always -- you know, if
16 additional information or if we feel like a method
17 comes up, we could always revisit that, the
18 external portion of it.

19 You know, and you bringing that up
20 reminded me of another thing, the claims. Because
21 right now we only have one claim. And I know one
22 of the questions would be, why do you think we only
23 have one claim for a site that's, you know, 600 to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 800 people, whatever?

2 And I think that's two-fold. One of
3 them, in our interviews with the workers, the three
4 workers that we interviewed, they did indicate that
5 most of the people were deceased.

6 In fact, the one guy said, "Everybody
7 I knew at the site is deceased." So, you know,
8 that's one issue with the survivors probably not
9 recognizing -- and the other issue was initially
10 the site was identified as Dow Walnut Creek, A.K.A.
11 Pittsburg. In our review, we recognized that
12 actually Pittsburg and Walnut Creek are two
13 separate facilities. And the Walnut Creek
14 facility was not built until around 1960 and the
15 actual AEC work was done at the Pittsburg site in
16 '47 to '57.

17 So, another thought could've been that
18 -- and we've got that changed through the
19 Department of Energy -- but another thought
20 could've been that workers or survivors looked and
21 said, oh, well, my father or grandfather, so on,
22 they worked at Pittsburg. This is a Walnut Creek
23 thing, I can't -- so we may get more claimants that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will come, which would mean that we may need to have
2 a partial dose reconstruction approach for.

3 CHAIRMAN MELIUS: Just to follow up on
4 that, because I think that is the key question that
5 I had when I read the report, and also what Dr.
6 Ziemer raised, and I thought you covered in the
7 report and more here and addressed that issue. I
8 just things there's, you know, just such a paucity
9 of information to be able to do anything with any
10 confidence there.

11 One of the things, though, that may help
12 would be if the outreach group, whatever it's
13 called, could do a session out near there, and it
14 might bring some more people forward. Because,
15 again, I'm not sure the external would, you know,
16 help people that much, but it might, and you might
17 get some more information that would be useful for
18 that.

19 But at least an outreach session would
20 also at least get more people aware of it and maybe
21 do away with this confusion between Walnut Creek
22 and Pittsburg.

23 Any other Board Members? Yes, Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Poston?

2 MEMBER POSTON: LaVon, good report.

3 MR. RUTHERFORD: Thank you.

4 MEMBER POSTON: I just wanted
5 clarification here, because on Slide 14 you say
6 occupational medical X-rays can be reconstructed,
7 but on Slide 10 you said you didn't have any data.
8 So I'm wondering what kind of magic wand you might
9 have. It wasn't clear in the write-up that --

10 MR. RUTHERFORD: We actually have -- I
11 think it's a TIB, OTIB -- a TIB that we used that
12 actually pulls in information from the era and we
13 come up with a dose approach for all of the
14 facilities. So we've been able to get support, I
15 think, from the Board and SC&A to use that approach
16 for reconstructing medical exposures.

17 We don't have any information, you are
18 correct, and that is correct in here, but we have
19 a site-wide kind of OTIB for doing occupational
20 medical exposures.

21 MEMBER POSTON: Okay. You just didn't
22 explain it too well and I said, "Wait a minute, I'm
23 fully awake here, I was just" --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. RUTHERFORD: And I appreciate
2 that. I appreciate that.

3 CHAIRMAN MELIUS: Wanda?

4 MEMBER MUNN: LaVon, refresh my
5 memory, what's the highest concentration of
6 uranium that was actually handled? My memory from
7 the report was that it was exceedingly low.

8 MR. RUTHERFORD: Yeah, I mean, on
9 average, or what they were saying, it was only 0.01
10 percent, very, very low. And you are absolutely
11 right, from an actual amount, that is a very low
12 concentration, but the processing in and of itself
13 and the actual producing of the product we really
14 felt like, you know, we didn't have enough
15 information from the processes, the throughput,
16 the amount of the material that was produced, to
17 really come up with a good bounding approach for
18 it.

19 I agree with you, it is a very low
20 concentration in the actual matrix itself.

21 MEMBER MUNN: Of course, this is not
22 our first rodeo with this kind of process.

23 MR. RUTHERFORD: No.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: And it still is very
2 difficult to come to grips with the possibility
3 that one could be radiologically harmed by this
4 kind of process.

5 MR. RUTHERFORD: Right.

6 MEMBER MUNN: I can understand how a
7 dust inhalation over a period of nine years would
8 affect the lungs, but it's difficult for me to
9 understand, at that concentration, how -- I've
10 never seen any documentation anywhere that would
11 lead me to believe that that kind of low exposure
12 could be detrimental radiologically.

13 DR. NETON: But I think the incoming
14 material had that very low concentration of
15 uranium, but the whole point of this process was
16 to concentrate -- enrich is the wrong word, but to
17 concentrate the uranium. So they ended up with
18 quantities of uranium tetrafluoride and purified
19 uranium that were pure uranium compounds.

20 Now, how large a mass of that material
21 is, we don't know. They did run these pilot plant
22 columns that were fairly large, within the building
23 themselves, to concentrate it. But the fact of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 matter is we just don't know. There was purified
2 uranium there, but the quantity that was generated
3 is unknown.

4 MEMBER MUNN: Yeah, I never saw
5 anything that led me to believe it was really
6 production-level quantities.

7 DR. NETON: That's correct, but you
8 can't think of it as 0.2 percent uranium in a
9 product. It's the purified product that we're
10 worried about.

11 MEMBER MUNN: No. Yes, I know, but
12 that's different than what people were handling
13 generally in the plant.

14 DR. NETON: True.

15 MEMBER MUNN: Yeah.

16 CHAIRMAN MELIUS: Josie?

17 MEMBER BEACH: Yeah, you mentioned
18 that, in the Evaluation Report, neutrons aren't a
19 big issue and were only mentioned on Page 25, but
20 when I was looking at the Evaluation Report under
21 your table for summary of feasibilities, neutron
22 is X'd as not reconstructable, and here it says N/A.
23 So I was just wondering --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. RUTHERFORD: It's N/A. It should
2 be N/A.

3 MEMBER BEACH: So it is definitely N/A?

4 MR. RUTHERFORD: Yes.

5 MEMBER BEACH: Okay.

6 CHAIRMAN MELIUS: Okay. Board
7 Members on the telephone, do you have any
8 questions, comments at this point?

9 MEMBER LEMEN: None for Lemen.

10 CHAIRMAN MELIUS: Okay. Hearing
11 none, I'll assume you're okay. I think next we'd
12 like to hear from the petitioners.

13 MS. TAIT JOYNT: That's me. Thank
14 you. I'm Marcia Joynt, Marcia Tait Joynt, and my
15 father worked at Dow Chemical as a scientific
16 apparatus glassblower. I'm going to read my
17 statement here so I don't forget anything.

18 He worked at Dow at the time on projects
19 and investigation. As a glassblower, he had a
20 background in chemistry and engineering, as well
21 as five years of working as a glassblower at the
22 National Bureau of Standards from 1941 to 1946.

23 I have read the petition and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommendation for adoption, and it is, in whole,
2 the outcome I had requested, I have requested.
3 Although I wanted proof that my Dad died from his
4 exposure to dangerous levels of uranium or thorium,
5 what I have is a thorough investigation by NIOSH
6 that has not revealed any records that will prove
7 or disprove anything.

8 No records of invoices, production,
9 accidents, or incidents, monitoring, safety
10 inspections, deliveries of raw materials by truck,
11 van, rail, or ship have been found.

12 I might point out that the Dow Pittsburg
13 plant is located on a slough where there is rail
14 accessibility and ship. At the time they were
15 bringing things in from all over.

16 No budget records, dose badges, medical
17 reviews, or hazard reports have been located, so
18 I must accept that those records are now gone.

19 The NIOSH report looked at what records
20 are available and clarified the misunderstanding
21 that the physical plant where the Atomic
22 Weapons-contracted work of Dow was performed was
23 in Pittsburg, California, rather than Walnut

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Creek. They are about 13 miles apart.

2 And we've seen aerial photos now that
3 show that the Dow Chemical plant in Walnut Creek
4 wasn't even started until '62, so we know that. I
5 first started my claim almost -- oh, and there's
6 been sort of a misunderstanding about that because
7 a FUSRAP report had said that it was done at the
8 Walnut Creek location.

9 I first started my claim almost three
10 years ago. From the first phone call to EEOICPA,
11 I have been treated with respect and compassion,
12 even when I expressed occasional frustration at the
13 pace of the process.

14 Last year, my son called Josh Kinman to
15 see if a petition to establish Dow Walnut Creek,
16 A.K.A. Pittsburg, might qualify as a special
17 cohort.

18 At this time, I would like to thank Mr.
19 Kinman, LaVon Rutherford, Stuart Hinnefeld, Monica
20 Harrison-Maples, and the whole team at ORAU within
21 NIOSH who put this Petition Evaluation together.
22 And I recognize I may be not clear on all my
23 initials, I may have that wrong.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They have worked on this report for nine
2 months and we, my son and I, have been kept informed
3 as the work progressed. I am very grateful for
4 their work and their expertise.

5 Josh Kinman has been very helpful and
6 gracious when dealing with the many questions and
7 concerns voiced by my son or myself. Thank you,
8 Members of the Advisory Board, for your time and
9 consideration on this petition. Thank you.

10 CHAIRMAN MELIUS: Thank you very much.
11 You actually brought up two more questions. One
12 is that I am hoping the FUSRAP was done in the right
13 area, not 15 miles away, or 12 miles, whichever it
14 is.

15 MR. RUTHERFORD: Yeah. Actually, the
16 FUSRAP, they did not do any decontamination because
17 that wasn't necessary, what they did was they put
18 together a report. And in that report they had
19 identified Walnut Creek but clearly the surveys
20 that were taken, it was indicated they were done
21 at Pittsburg.

22 CHAIRMAN MELIUS: The other question,
23 and you mentioned it in the report, and I've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 forgotten until it just was brought up, but did Dow
2 cooperate with this effort to get information?

3 MR. RUTHERFORD: Yeah, actually, Dow
4 was fairly cooperative, and they had indicated that
5 most of the information had been archived. And
6 they did give us some information that was really
7 kind of proprietary information, so they've been
8 very cooperative.

9 CHAIRMAN MELIUS: Good, because there
10 was mention earlier on that there was some trouble
11 getting information.

12 MR. RUTHERFORD: Well, I shouldn't say
13 it easy. Initially it was tough, so, yeah.

14 CHAIRMAN MELIUS: Okay. But they
15 eventually did, okay. Any other comments or
16 questions on that? If not, do we hear some
17 suggestion for some action by the Board?

18 MR. JOYNT: This is Gabe Joynt. I had
19 a brief comment to make.

20 CHAIRMAN MELIUS: I'm sorry, I didn't
21 realize you were going to also be making comments.
22 Okay, go ahead.

23 MR. JOYNT: Yeah, sorry. I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think I announced myself. So, if it's
2 appropriate, I have a few words to say.

3 CHAIRMAN MELIUS: No, please, go
4 ahead.

5 MR. JOYNT: I'm Marcia's son, you know,
6 representative or co-petitioner on this, and I also
7 wanted to say just thank you, for the Board, for
8 considering the petition, and for NIOSH for really
9 what's been, you know, an impressive amount of work
10 done to work with the evidence available and kind
11 of describe what was going on using the science and
12 evidence available at the time.

13 The effort is impressive, and, you
14 know, I've learned enough about kind of the science
15 involved to appreciate how thoroughly NIOSH has
16 pursued this and appreciate it.

17 As a student of history and kind of my
18 own, you know, family history, I wanted to address
19 a couple comments around the plant that can't
20 really be described based on the scientific
21 evidence and yet I still think are useful contexts
22 to put around the site.

23 And for simplicity, I want to focus this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on just one interview that was conducted with Frank
2 Woods McQuiston, who was the former head of the AEC
3 Raw Materials Division, who oversaw procurement of
4 uranium for the AEC prior to and during at least
5 the beginning of the Dow contract.

6 The document is called "Metallurgists
7 for Newmont Mining Corporation and U.S. Atomic
8 Energy Commission, 1934 to 1982, Oral History
9 Transcript, 1986, 1987." So, this is an interview
10 that was conducted with McQuiston in, I believe,
11 '86 and carried on into '87 shortly before he passed
12 away.

13 To put one thing into context, I'm
14 mainly just going to read directly from it because
15 I don't want to paraphrase it too much, and it's
16 fairly short.

17 Wilhelm Hirschkind was the head of
18 research at Great Western Chemical. It was a
19 company acquired by Dow, and Hirschkind oversaw
20 research basically of this contract. And the
21 plant, the Great Western Chemical plant, was at one
22 point the largest chemical plant in the Western
23 U.S., and it is the site of the current Dow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Pittsburg location.

2 Hirschkind was a German -- or Austrian,
3 I believe, native and he was actually enlisted
4 during -- kind of right after World War II to go
5 and investigate German nuclear facilities and
6 activities. So he was kind of a known expert in
7 this area. And at this point I'll just go into the
8 interview quotes. And I'll try to read this just
9 as directly as I can so it's not me paraphrasing,
10 but if I do paraphrase I'll let you know.

11 So, quoting the McQuiston, he said, "I
12 had a discussion with Dr. Hirschkind, who was a
13 Director for Research at Dow Company at the
14 Pittsburg Plant in California. He was a very
15 brilliant man and was very keen to be part of this
16 project in South Africa." He was talking about
17 kind of the initial procurement of uranium
18 following the war.

19 Interviewer: "Were you still trying to
20 be secretive about all of this also?" McQuiston:
21 "Oh, anybody who worked on it had to be." Swent,
22 or interviewer: "Did you have to go through
23 clearance to talk to these people about supplies?"

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 McQuiston: "Oh, no, I just told them we had to build
2 the plants. They didn't know what kind, but I said
3 they were vital to the U.S. government. But no,
4 we didn't. But we had certain men in certain
5 companies, like Hirschkind, he finally got his
6 clearance, many of them did. We already had
7 clearance for the MIT people at Watertown Arsenal.
8 We had clearance for those at Battelle."

9 Interviewer: "I was wondering if it was
10 an extra delay to have to get these commercial
11 contacts cleared?"

12 McQuiston: "I was fortunate enough to
13 foresee that we would need this, so I went to Dow
14 Chemical, oh, almost nine months before. I went
15 to Dow Chemical with Rohm and Haas, who were leaders
16 in the development of ion exchange," dot, dot, dot,
17 "because I had a feeling, if we couldn't work
18 carbonate in then we would use ion exchange
19 pellets."

20 I'm going to skip here for a moment. And
21 then he says, "The Dow Research people at
22 Pittsburg, California, finally made the
23 breakthrough and we erected a small plant, made

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uranium solutions by using uranium chemicals in --
2 that was a secret plant, very, very secret."

3 Interviewer: "Out here at Pittsburg?"

4 McQuiston: "Yes. And Dr. Hirschkind devoted, I
5 would say, 95 percent of his time, he practically
6 took retirement to devote full-time to this
7 project."

8 So, that's kind of the conclusion of
9 that context, but for me it just painted a picture
10 that this was, especially at the very early part
11 of this campaign, or this research effort, it was
12 very, very close to the head of the kind of raw
13 materials at AEC, and, you know, there's not a shred
14 of scientific evidence in that passage, but it does
15 certainly seem to suggest to me, kind of, from that
16 history lens, that there was potentially a lot
17 going on there.

18 It was very urgent in trying to get that
19 team ready to go deploy a plant in Africa and to
20 do other work that was needed to kind of get this
21 work launched.

22 One other just brief comment, just to
23 echo something that LaVon had said about kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the city. Walnut Creek, California, is an
2 affluent suburb. 2800 Mitchell Drive, where kind
3 of this work had been initially attributed or
4 described to, was one of the first, literally one
5 of the first, suburban office parks built in the
6 Western U.S.

7 It's kind of the place that if you go
8 there now you'd have medical offices, there's a
9 Kaiser facility, there's, you know, a daycare and
10 a storage facility right next to it. It is a
11 tree-lined, quiet little street.

12 If your dad or if your grandfather
13 worked at a busy chemical plant, you would never
14 think that that happened in Walnut Creek. So, it's
15 only 13 miles away, but if you read in the paper,
16 in the USA Today, Wall Street Journal, saw
17 something else that said workers in Walnut Creek
18 are entitled to compensation, and you knew that
19 your dad worked at a chemical plant, there would
20 just be no connection between those two places.

21 Pittsburg is a bustling chemical
22 facility on the Bay of San Francisco surrounded by
23 rail lines and other chemical facilities. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just a fundamentally very different place.

2 It's not just that they are different
3 cities, the character of those communities is quite
4 different. And so if somebody had heard that there
5 was, you know, an ability to file a claim or
6 something, they wouldn't have necessarily
7 connected the two places together even though they
8 were both potentially Dow facilities. That's all.

9 CHAIRMAN MELIUS: Okay. Thank you
10 very much for the comments. I've been to Walnut
11 Creek and I agree with your description.

12 MR. JOYNT: Yeah.

13 CHAIRMAN MELIUS: Josie?

14 MEMBER BEACH: Yes. I'd like to make
15 a motion that we accept NIOSH's proposal to add a
16 Class for Dow Chemical in Pittsburg for the years
17 stated, 1947 through '57, June 30th.

18 MEMBER CLAWSON: I second it.

19 CHAIRMAN MELIUS: Okay. We have a
20 motion and a second to that. Any further
21 discussion?

22 Okay, if not, I'll ask Ted to do the roll
23 call, please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Dr. Anderson?
2 MEMBER ANDERSON: Yes.
3 MR. KATZ: Ms. Beach?
4 MEMBER BEACH: Yes.
5 MR. KATZ: Mr. Clawson?
6 MEMBER CLAWSON: Yes.
7 MR. KATZ: Dr. Field?
8 MEMBER FIELD: Yes.
9 MR. KATZ: Dr. Kotelchuck?
10 MEMBER KOTELCHUCK: Yes.
11 MR. KATZ: Dr. Lemen? Dr. Lemen, are
12 you --
13 MEMBER LEMEN: Yes. This is Dr.
14 Lemen, yes.
15 MR. KATZ: Thank you. Dr. Lockey?
16 MEMBER LOCKEY: Yes.
17 MR. KATZ: Dr. Melius?
18 CHAIRMAN MELIUS: Yes.
19 MR. KATZ: Ms. Munn?
20 MEMBER MUNN: Abstain.
21 MR. KATZ: Dr. Poston?
22 MEMBER POSTON: Yes.
23 MR. KATZ: Dr. Richardson?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER RICHARDSON: Yes.

2 MR. KATZ: Dr. Roessler?

3 MEMBER ROESSLER: Yes.

4 MR. KATZ: Mr. Schofield?

5 MEMBER SCHOFIELD: Yes.

6 MR. KATZ: Ms. Valerio?

7 MEMBER VALERIO: Yes.

8 MR. KATZ: And Dr. Ziemer?

9 MEMBER ZIEMER: Yes.

10 MR. KATZ: And the yeas have it and the
11 motion passes.

12 CHAIRMAN MELIUS: Okay. Thank you,
13 and thank you for your comments and attention.

14 And by the way, on the Dow, I have a
15 letter ready which is being copied, we'll do that
16 later today when we have time and when the letter
17 gets copied.

18 (Pause.)

19 CHAIRMAN MELIUS: Okay. So, let me
20 read it into the record. "The Advisory Board on
21 Radiation and Worker Health, the Board, has
22 evaluated a Special Exposure Cohort Petition 00216
23 concerning workers at the Dow Chemical Company

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Facility in Pittsburg, California, under the
2 statutory requirements established by the Energy
3 Employees Occupational Illness Compensation
4 Program Act of 2000, incorporated into 42 C.F.R.
5 Section 8313.

6 "The Board respectfully recommends
7 that SEC status be accorded to all Atomic Weapon
8 Employer employees who worked for Dow Chemical
9 Company in Pittsburg, California, from October
10 1st, 1947, through June 30th, 1957, for a number
11 of work days aggregating at least 250 work days,
12 occurring either solely under this employment or
13 in combination with work days within the parameters
14 established for one or more other Classes of
15 employees included in this Special Exposure
16 Cohort.

17 "This recommendation is based on the
18 following factors: individuals employed at this
19 facility in Pittsburg, California, during the time
20 period in question worked on research for the
21 production of materials to be used for nuclear
22 weapons.

23 "The National Institute for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Occupational Safety and Health, NIOSH, review of
2 available monitoring data, as well as available
3 process and source term information, for this
4 facility found that NIOSH lacked the sufficient
5 information necessary to complete individual dose
6 reconstructions with sufficient accuracy for
7 internal and external radiological exposures to
8 uranium to which these workers may have been
9 subjected during the time period in question. The
10 Board concurs with this determination.

11 "Third, NIOSH determined that health
12 may have been endangered for employees at this
13 facility during the time period in question. The
14 Board also concurs with this determination.

15 "Based on these considerations and the
16 discussion at the March 25th and 26th, 2015, Board
17 Meeting held in Richland, Washington, the Board
18 recommends that this Class be added to the SEC.
19 Enclosed is the documentation from the Board
20 Meeting where this SEC Class was discussed. The
21 documentation includes copies of the petition that
22 NIOSH reviewed thereof and related materials. If
23 any of these items are unavailable at this time they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 will follow shortly."

2 So, it's in the record. If you have
3 comments or questions let me know we can still make
4 some changes. The lawyers have looked at it.

5 (Pause.)

6 MEMBER ANDERSON: Are we ready?

7 CHAIRMAN MELIUS: Yes.

8 MEMBER ANDERSON: Unlike the others
9 which we're going over today, our SECs, in our
10 Committee, and I think most of the Committees, we
11 give priority to moving SECs as quickly as
12 possible, and, when time allows, get caught up on
13 the Site Profile reviews. And this is one of those
14 at the DuPont Deepwater Works in Deepwater, New
15 Jersey.

16 It's a site that was laboratory
17 research producing UF6 in early 1942. They
18 started production in '43. And you can see that,
19 as with a lot of these sites, they used different
20 sets of processes to concentrate and arrive at
21 uranium.

22 The site operated from, as you see
23 there, at the start of '42, '43, through '48. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's a fairly early site. And site
2 decontamination occurred in 1948. There was a
3 final site survey done at the end of December 1948.
4 And then there's a long residual period from '49
5 through March of 2011.

6 As far as a chronology of our activity,
7 this is one of those that started out as assigned
8 and was being operated and managed through the
9 TBD-6001 with an initial report in January of 2008.
10 Then there was a TBD in February of 2011, when it
11 became a freestanding Technical Basis Document, or
12 Site Profile, replacing the Appendix B. And that
13 TBD was revised in March of 2011.

14 It was assigned to be reviewed, and in
15 August 2011 SC&A did a review of the document and
16 had seven findings. In September of '12, the Work
17 Group met and discussed those findings.

18 In 2013, in March, the SC&A critique was
19 then reviewed by DCAS and they provided a written
20 critique of the findings. Then SC&A reviewed
21 those again and we had a report response in June
22 of 2013. In September, the findings were reviewed
23 at the Work Group meeting, and in October we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reviewed the Work Group reports at the Board
2 Meeting.

3 At that time, on the resolution of the
4 findings, there was some activity to begin to look
5 at the review of some of the procedures, and in
6 December of 2013 there was more comments.

7 By 2014, we were pretty well caught up
8 and had a White Paper on the extent to which the
9 earlier findings have been resolved in the Rev 1
10 of the TBD. And then we had a teleconference where
11 we basically closed out most of the findings.

12 And then one of the issues that had been
13 discussed, and I think we were at one point waiting
14 for the TIB-9 review, but an issue came up that at
15 this work site the length of the work day was more
16 than the typical 8-hour work day. And for the TIB-9
17 procedures, really, the work days' conversion to
18 calendar days was an issue, that for the workers
19 who had longer hours in the work day, some
20 discussion of how were hours assigned, and then in
21 a facility like this where a workday was longer than
22 the standard workday that the TIB-9 was working
23 with.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This resulted in a discussion of did it
2 cover it or not? And the discussion was, yes, it
3 would, and that the conversion would be done on an
4 hour in a workday basis rather than just a generic
5 workday, and that would result in a small increase,
6 about 9 percent, in the daily ingestion rate.
7 Again, this is the residual period with dust and
8 ingestion at the time.

9 So, basically, everything was resolved
10 and now we're just waiting for -- as you can see
11 here, we feel we can close this out, that we would
12 approve it, ask the Board to approve it, with the
13 caveat that the document, Rev 1, would be amended
14 to ensure that this calculation of the ingestion
15 doses would be consistent with the TIB-9 that I
16 think now has been reviewed. I think you folks
17 have reviewed and closed it out, so everything
18 should be copacetic right now between the various
19 Work Groups.

20 And so that's what we are right now
21 asking to close this out, and to accept this with
22 this statement as you see it here. Here is the
23 references. I don't think, unless you'd really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 like to belabor the issue and go through what our
2 findings were and the resolution of those, I think
3 you all received that, so I don't think I need to
4 go through that unless -- I got a couple extra
5 slides at the end here, but I'm not going to go
6 through those unless you have specific issues to
7 raise.

8 It's pretty straightforward, and the
9 issue on the hours was one of unusual wording, I
10 guess, of what's a workday versus a calendar day.

11 So, any questions? I've got you all
12 thoroughly confused after six years of working on
13 this?

14 CHAIRMAN MELIUS: Any Board Members on
15 the phone have questions? I was going to say, it
16 seemed pretty straightforward.

17 MEMBER ANDERSON: Yeah.

18 MEMBER LEMEN: Lemen, no.

19 CHAIRMAN MELIUS: Then I believe the
20 action will be the Board -- the Work Group is
21 recommending to the Board that we essentially close
22 out this Site Profile review.

23 MEMBER ANDERSON: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: So it's a motion,
2 essentially, from the Work Group.

3 MEMBER ANDERSON: From the Work Group,
4 yeah, so you don't need a motion from the floor.

5 CHAIRMAN MELIUS: Unless there's
6 further comments. If not, all in favor, say aye.

7 (Chorus of ayes.)

8 CHAIRMAN MELIUS: Opposed?
9 Abstained? Okay, thank you.

10 Well, you've helped the Board earn
11 maybe a little extra time on the break. What I'd
12 like to do now is start and finish up the Work Group
13 reports.

14 And, Henry, your Work Group, I don't
15 know if you have anything additional for your Work
16 Group besides what you just presented to us?

17 MEMBER ANDERSON: No, that's the last
18 active one. I think we have a couple of other sites
19 assigned to our group and we're waiting for those
20 reports to come out. So we'll be active again once
21 we get those documents.

22 CHAIRMAN MELIUS: Okay. The next
23 group I have that I believe is active is Weldon

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Spring. Dr. Lemen? I think we're also waiting on
2 a report.

3 MEMBER LEMEN: There is nothing new to
4 report on Weldon Spring at this time.

5 CHAIRMAN MELIUS: Okay, thank you.
6 And someone who reminded me yesterday that their
7 Work Group was being forgotten because it's the
8 last in the alphabet, the listing: Worker Outreach.
9 Josie?

10 MEMBER BEACH: Yeah, that's been
11 forgotten before, so I was just assuming. Okay,
12 Worker Outreach, I reported to the Board in July
13 of last year looking for recommendations on what
14 to do with Worker Outreach. And to be honest, that
15 is all I've done since then. So I think, Jim, maybe
16 we'll have a conversation offline and kind of
17 decide where this Work Group will go. That's all
18 I've got, thanks.

19 CHAIRMAN MELIUS: Okay. So that
20 finishes up our Work Group reports.

21 MEMBER KOTELCHUCK: Pardon me. Dave
22 Kotelchuck. We do lots of things, calculations,
23 analyses, that many of the claimants, most of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 claimants, feel not able to understand because it
2 involves perhaps some advanced technical
3 knowledge.

4 And I wondered, in terms of Worker
5 Outreach, if it would make sense, it's a thought,
6 to develop some sort of educational material on our
7 website that would introduce basic ideas in nuclear
8 physics and radiation hazards.

9 Obviously, there are statistical
10 analyses that are done that probably would be
11 difficult. On the other hand, Dr. Neton's paper
12 that he produced yesterday on the coworker data
13 certainly gave me ideas that we could simplify or
14 outline processes of how we do things.

15 Now, I don't think it's a matter of our
16 writing a book. I mean, people teach courses about
17 this, many of us have taught such courses, and there
18 is lots of material around. It may be just a
19 question of identifying some such material.

20 And I wondered, Josie, if your Worker
21 Outreach Committee, whether that's something that
22 might be done. And I think it would be worthwhile.
23 So, I just wondered, it's an idea, and I put it out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for comment, thought.

2 MEMBER BEACH: Thank you. We'll look
3 at that.

4 CHAIRMAN MELIUS: Stu, do you have a
5 comment?

6 MR. HINNEFELD: Yeah, this is Stu
7 Hinnefeld from DCAS. I would just offer that there
8 are some materials like that on our website.

9 There's at least one video. I think two
10 videos: one video series is from all three
11 agencies, DOE, DOL, and ourselves, describing the
12 program and our role in the program.

13 There is an older video that, as far as
14 I know, is still up on our website, where several
15 of our staff talk about various aspects of what's
16 done in dose reconstruction.

17 We have a Worker Outreach contractor
18 who assists us, and lately much of their work has
19 been done in the SEC investigation world. We get
20 them incorporated in the SEC investigation to get
21 worker input during Evaluation Report time. But
22 they also host a dose reconstruction and SEC
23 workshop each year in Cincinnati where we invite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interested parties from around the sites. That is
2 a two to two-and-a-half day workshop.

3 And they have an abbreviated workshop
4 that they will take once or twice a year to
5 interested parties at specific sites. And I
6 believe we're going to Idaho Falls in the spring,
7 later on in the spring. So, we do some things along
8 those lines that may not be readily apparent to the
9 Board.

10 MEMBER KOTELCHUCK: Oh, there's no
11 question that we do such things. I wondered, for
12 example, some of the materials in that course,
13 might they be put online?

14 MR. HINNEFELD: There's probably
15 nothing that would prevent us from putting those
16 on our website.

17 MEMBER KOTELCHUCK: I mean, I assume
18 that, for claimants, many of the claimants never
19 have looked at issues of radiation hazards,
20 radiation physics, and they are brought to it by
21 their claims. And it may then be an appropriate
22 teaching point to have them -- they might be looking
23 for materials then, and if it were onsite it would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be helpful.

2 It's not so much criticism of what we
3 haven't done, but essentially thinking about
4 outreach a little more to claimants.

5 MR. HINNEFELD: Right. There could be
6 some more fundamental information like you
7 described: radioactive decay, radiation versus
8 contamination, some things like that, that
9 probably are not specifically addressed in the
10 training and materials we have so far.

11 So there might be -- I guess we could
12 look into, you know, some topics like that, or if
13 the Board would like to suggest topics to us that
14 we think would be helpful to put public
15 communication or training materials together on we
16 might be able to do that.

17 Like you said, chances are we can just
18 find them and link to it rather than right them
19 ourselves.

20 MEMBER KOTELCHUCK: Oh, yes. I
21 thought maybe the Worker Outreach Committee might
22 be the appropriate place from the Board to take a
23 look at it and talk with you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Okay.

2 CHAIRMAN MELIUS: We'll let Josie
3 follow up next time. I think one -- I'm sorry, go
4 ahead, Gen.

5 MEMBER ROESSLER: As long as we're on
6 the subject, and as far as radiation fundamentals
7 goes, that's been done a lot. I would recommend
8 maybe Josie take a look at the EPA website, and I
9 can show you how to get there.

10 You might think I'm a little biased, but
11 I think on the Health Physics Society website we
12 have an extensive amount of information on the
13 fundamentals. And maybe I can just point it out
14 to Josie and see if some of this could be linked
15 from the CDC website.

16 MEMBER KOTELCHUCK: Excellent.

17 CHAIRMAN MELIUS: Okay. There's one
18 important clarification, Dave, and you may have not
19 been around when we talked about this, though. We
20 got to be a little careful. The Board is not
21 charged with, you know, doing outreach and in the
22 legislation we have particular topics we're
23 supposed to focus on, particular tasks. So I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we have to be a little careful.

2 We're not charged with developing
3 outreach materials for the program. NIOSH can ask
4 us to review materials or ask for advice on what
5 to do, but, really, much of this is outside our
6 scope. And we've struggled with that with the
7 Worker Outreach Work Group, because it is something
8 we're not charged with doing.

9 So, again, we can talk about this more
10 maybe at the next meeting, Josie, but it is a
11 limitation and we need to stay focused on what we
12 are charged with doing, for the most part.

13 MEMBER KOTELCHUCK: Thanks.

14 CHAIRMAN MELIUS: Wanda?

15 MEMBER MUNN: Well, just a comment, a
16 follow-on to what you were saying, Jim. Sometimes
17 it's instructive to go back and read our actual
18 charge.

19 I did that recently and one forgets
20 exactly what we were charged to do here and the fact
21 that we do have some limitations. So it was just
22 a thought, that it surprises me a little when I go
23 back and read what we're actually supposed to do,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and it does limit us.

2 CHAIRMAN MELIUS: Okay. I would like
3 to turn next, which I think will be relatively
4 straightforward. If you go to the materials that
5 you were sent, we had received correspondence to
6 the Board from Bonnie Klea, and also I think that
7 was preceded by a letter, I believe, from Terrie
8 Barrie that was transmitting Bonnie Klea's but in
9 her own letter, I think, is how it came in.

10 They are raising concerns about
11 comments that the Boeing Corporation had submitted
12 after our last meeting near the Santa Susana site
13 objecting to that and wanting the letters in your
14 materials, essentially, we somehow reject that,
15 those comments or something. And so the letter,
16 which I think is relatively straightforward, but,
17 you know, is that we do welcome public comments and
18 we aren't going to, you know, sort of pick and
19 choose in terms of who's allowed to provide those
20 comments and that.

21 So let me just read my draft response
22 into the record. So it would be: "Dear Ms. Klea,
23 thank you for your letter of February 23rd, 2015,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the Advisory Board on Radiation & Worker Health
2 concerning comments submitted to the Board by the
3 Boeing Company regarding NIOSH's and the Board's
4 ongoing evaluation of the Santa Susana Field
5 Laboratory site.

6 "While the Board understands your
7 concerns the Board has long maintained a policy of
8 welcoming public comments about matters before the
9 Board. This submission will be submitted in the
10 same manner as any other public submission. We
11 also appreciate your efforts to provide the Board
12 with information useful for our review and
13 deliberations and hope that this response
14 clarifies the reasons for also accepting these
15 comments from Boeing."

16 And I think we can copy that to Terrie,
17 or a similar letter. So if there are no comments,
18 we'll put that on official stationary and send it
19 out.

20 Ted, while we have everybody here why
21 don't we at least start the discussion on timing.

22 MR. KATZ: Sure. If all of you will pull
23 out your calendars, looking pretty far forward,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but, as you know, we need to do this pretty far
2 forward.

3 I had included possible dates in some
4 annotation I gave you. We're scheduled through
5 the rest of this calendar year. So the next
6 appropriate teleconference date, or period for a
7 teleconference, is approximately the week of
8 January 17th or 24th. So that's what I'd be
9 looking for. Of course, we can move outside that
10 range.

11 CHAIRMAN MELIUS: 2016, yes?

12 MR. KATZ: This is 2016 we're talking
13 about, right. The week of the 17th and the week
14 of the 24th, those two weeks are sort of about the
15 right ballpark, but if those don't work we can move
16 outside that ballpark.

17 We're just talking about a
18 teleconference so we're talking about really an
19 11:00 to whatever, 1:00 or 2:00 p.m.

20 (Off-microphone comments.)

21 MR. KATZ: So the 21st, is that what you
22 are suggesting? Okay, the 20th. Anyone on the
23 line have a problem with the 20th, of January 20,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 2016?

2 MEMBER LEMEN: That works for me, Dick
3 Lemen.

4 MR. KATZ: Thanks, Dick.

5 MEMBER VALERIO: That works for me,
6 that's Loretta.

7 MR. KATZ: Right, that's an 11:00 a.m.
8 Eastern start time. And do we still have you,
9 Bill, on the call? I knew he had to leave, but --

10 MEMBER SCHOFIELD: I don't have any
11 problem with that, this is Phil.

12 MR. KATZ: Okay. So let's say that,
13 then, the 20th, 11:00 a.m. Eastern Time.

14 Okay, then going to the next meeting,
15 and approximately the right dates for that are the
16 weeks of March 14th, 21st, or 28th, those weeks,
17 2016.

18 CHAIRMAN MELIUS: Yeah, I can't do it
19 the week of the 13th into -- between the 13th and
20 the 22nd I'm tied up, but I can do it after the 22nd.

21 (Off-microphone comments.)

22 MR. KATZ: So how is the 23rd, 24th, for
23 everyone, of March?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Very good.

2 MR. KATZ: On the line?

3 MEMBER LEMEN: Did you say the 23rd?

4 MR. KATZ: Yeah, the 23rd or 24th of
5 March of 2016.

6 MEMBER LEMEN: Either one is all right
7 for Dick Lemen.

8 MR. KATZ: Yeah, both days. Okay.

9 (Off-microphone comments.)

10 MR. KATZ: And the dog is welcome, yes.

11 CHAIRMAN MELIUS: Yeah, Easter is
12 early that year, so that's on the 27th.

13 MR. KATZ: Okay. So does that make
14 sense?

15 CHAIRMAN MELIUS: Yeah, the 23rd and
16 24th.

17 MR. KATZ: Okay. The 23rd and 24th of
18 March.

19 (Pause.)

20 MR. KATZ: Wait, do you have a
21 question, Jim, that I didn't hear?

22 CHAIRMAN MELIUS: We just want to
23 review when our next meeting dates are.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Oh, sure, yeah, one second,
2 let me give you that.

3 CHAIRMAN MELIUS: Okay.

4 MR. KATZ: Okay, so, moving out from
5 today --

6 MEMBER BEACH: It's July 22nd or 23rd.

7 MR. KATZ: That's the face-to-face,
8 July 23rd through -- wait, no. July 23rd through
9 24th.

10 MEMBER BEACH: Yeah, I had 23rd, 24th,
11 and then someone else, I think Jim, said he had
12 22nd, 23rd.

13 MR. KATZ: Yeah, it's 23rd through
14 24th. That's this next face-to-face, the 23rd
15 through 24th of July.

16 The teleconference by the way, backing
17 up, is June 9th. June 9th is the teleconference,
18 but then the 23rd through 24th --

19 MEMBER LEMEN: You're back in 2015 now?

20 MR. KATZ: Yeah. We're back in more
21 present time right now, yes.

22 MEMBER LEMEN: Okay, thank you.

23 MR. KATZ: Yeah, sure thing. So those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are the next two meetings coming up. And the 23rd
2 through 24th, should we talk, Jim, about locations?

3 CHAIRMAN MELIUS: Yeah, let's talk
4 about -- we've been talking about locations in
5 terms of where we will be, and there's usually two
6 factors. One, where are we in terms of an SEC
7 evaluation? But also where do we need additional
8 information that would be useful in evaluating an
9 SEC, and particularly public comments and
10 otherwise.

11 We had talked about where we'd be:
12 Denver, Kansas City, and INL. I think I've talked
13 to some of you already about this. We had some
14 discussions, and we'll come to INL later, but I
15 think there's a number of issues related to that
16 site and there are additional reports coming from
17 NIOSH that they have areas of that report that
18 they've reserved.

19 I think at least the consensus of some
20 of us trying to sort of figure this out was that
21 going back to INL would probably make the most sense
22 in terms of being productive for the Board in terms
23 of getting information that we need for making some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 decisions, and particularly some public comments
2 and input from people around the site, because
3 we'll have, I think, some specific questions in
4 particular specific areas and so forth.

5 Not that that will be the only way we
6 will follow up on INL, but it'll be one way. And
7 we weren't as sure of where we would be with Kansas
8 City or Denver, and we've already done a fair amount
9 of outreach in those places, and we have more
10 scheduled. I believe that's gotten clarified now.

11 (Off-microphone comments.)

12 MEMBER VALERIO: This is Loretta.

13 MEMBER KOTELCHUCK: -- perhaps another
14 hotel in Idaho than the one we used last time.

15 MR. KATZ: Oh, my feelings are hurt.
16 No, the trouble with hotels is we have pretty strict
17 guidance about sort of lowest bidder and so on. So
18 we'll do the best we can. I know it wasn't a happy
19 place for everybody. My room was great, but we'll
20 try to do -- there are not a lot of options that
21 can host a meeting, is the problem, in that town.

22 MEMBER KOTELCHUCK: You will note that
23 this is the first time I have raised such an issue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in many meetings. So, enough said.

2 MEMBER SCHOFIELD: There is plenty
3 empty spud cellars.

4 CHAIRMAN MELIUS: Do you want to go
5 through the times for the rest of the year?

6 MR. KATZ: Oh, sure, one second. Right.
7 So, following July, the next teleconference is in
8 September. September 23rd.

9 That's a teleconference, 11:00 a.m.
10 Eastern Time, September 23rd.

11 (Off-microphone comments.)

12 MEMBER VALERIO: Ted, this is Loretta,
13 can you hear me?

14 MR. KATZ: Yes, we can hear you,
15 Loretta.

16 MEMBER VALERIO: Okay. You're
17 breaking up. It kind of fades out. So, the July
18 face-to-face meeting, was that decided on to be in
19 Idaho?

20 MR. KATZ: Yes, that's in Idaho.

21 MEMBER VALERIO: And that's a 2-day
22 meeting?

23 MR. KATZ: That's a 2-day meeting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 probably.

2 MEMBER VALERIO: Okay, got it. Thank
3 you.

4 MEMBER KOTELCHUCK: Dave Kotelchuck.
5 I just checked. The Wednesday the 23rd is the
6 holiday Yom Kippur. I will not attend and I am
7 hoping perhaps others may not be able to. It's a
8 major Jewish holiday and it starts the evening
9 before.

10 So if it were possible to change that
11 day, either the day after or the day before, but
12 not that day. That's a request, otherwise I will
13 just recuse myself.

14 MR. KATZ: Why don't we try to do that
15 right now.

16 MEMBER MUNN: Couldn't we do the 24th?

17 MR. KATZ: How's the 22nd for
18 everybody?

19 MEMBER MUNN: Well, wouldn't the 24th
20 be better since sundown is an issue on the 24th --
21 I mean, the 23rd?

22 MR. KATZ: Well, it's evening on the
23 22nd, right? You're okay the 22nd at 11:00 a.m.?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER KOTELCHUCK: Absolutely.

2 MR. KATZ: Yes. So is the 22nd, does
3 that work for everybody on the line, too?

4 MEMBER LEMEN: Would you say which
5 month again? I'm confused.

6 MR. KATZ: I'm sorry, Dick. It's
7 September 22nd.

8 MEMBER LEMEN: Thank you.

9 MEMBER MUNN: 2015.

10 MEMBER LEMEN: That works for me.
11 This is Dick, that works for me.

12 MR. KATZ: That's super. That's
13 super.

14 MEMBER SCHOFIELD: Works for me.

15 MR. KATZ: Great.

16 MEMBER VALERIO: This is Loretta, that
17 works for me.

18 MR. KATZ: Okay. That's my wedding
19 anniversary, super. Works for me.

20 (Laughter.)

21 MEMBER KOTELCHUCK: I'm sorry, just to
22 double check, I have originally Thursday the 24th
23 for that and you said it was, but you announced

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 verbally that it was Wednesday the 23rd, is that
2 correct?

3 MR. KATZ: That's correct.

4 MEMBER KOTELCHUCK: Yeah, and we've
5 moved it to the 22nd and I thank you.

6 MR. KATZ: Right. Okay, that's super.
7 Okay, then, following that, the next meeting
8 face-to-face is November 18th to 19th, November 18
9 through 19. No location yet.

10 CHAIRMAN MELIUS: We would decide that
11 at our next meeting, next in-person meeting.

12 Okay. I'd like to move on to some
13 discussion on the Dose Reconstruction Review
14 Subcommittee. Dave, first, I don't know if you
15 have an update on the Committee. That would be
16 helpful. And then we'll talk about the going
17 forward issue.

18 MEMBER KOTELCHUCK: I do. Just an
19 update for the Board, we had a scheduled meeting
20 on February 27th that was canceled due to lack of
21 a quorum. We are one Member short, at least in
22 terms of a current Subcommittee. We have our next
23 meeting scheduled for April 14th. Basically, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think I may have indicated yesterday, we have
2 finished 10 through 13. That has gone very slowly.

3 We put that as our highest priority
4 because we wanted to be able to get to a report
5 quickly to the Secretary. But a number of issues
6 were raised yesterday, and important ones, and I
7 personally concur with the notion that we have to
8 rethink the way the Committee is structured and how
9 we go about our tasks.

10 I have the files that were sent to us
11 by SC&A. Really, sets 14 through 21 are really
12 quite valuable. I don't know. They deserve a bit
13 of statistical analysis soon, and also I think we
14 need to do a similar job for 10 through 13 -- that
15 is, the ones that we've already been through -- to
16 see how things have changed.

17 If you are interested, if the Board is
18 interested, I did not type it up, but I did some
19 simple calculations, which is to say I added the
20 columns, and I'm not sure they are absolutely
21 perfect but they're pretty good, I think.

22 And just to give you a sense of that,
23 14 through 21, there are a couple sets for blind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 review. But there are 166 cases. They were
2 evaluated between 2004 and 2014. The number of
3 findings was 305. So the number of findings that
4 SC&A had were, as I say, 305, which is 1.84 per case.
5 So a little under two findings per case.

6 Interestingly, I looked at those SC&A
7 reports that had zero findings and I found that 52
8 of them, which is to say 31 percent of those that
9 were reviewed had no findings, zero findings.
10 Which is at one level fine, it means that there's
11 agreement between the NIOSH review and the SC&A.

12 And on the other hand, that's a lot of
13 work put in for materials where there would be no
14 change in the NIOSH findings. That is, they were
15 fine, and it would be lovely to think of a way of
16 sensing what those might be.

17 Looking at the categorization of the
18 52, is there anything that identifies them to us
19 a priori? In terms of types of finding, you'll
20 remember the Board has set up A, B, C, D, E, F. And
21 I'll do quickly the findings A about location in
22 the plant. There were only two findings, that is
23 1 percent of all, that was in disagreement between

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 SC&A and NIOSH, or DCAS. So that's really yielded
2 very little information.

3 Particle type, B, 17, ten percent.
4 Surprisingly, to me, item C, external exposure:
5 disagreements 86; 52 percent. That is, more than
6 half of those there is a difference in the findings
7 for external.

8 For internal exposures, the
9 differences were 34 in number, or 20 percent. So
10 20 percent of the findings there was a disagreement
11 internally. And, of course, there are multiple
12 findings for different cases, so this is not
13 rigorous, it's just a quick count of the columns.

14 We are debating within the Work Group,
15 and we have not had a chance as a Work Group to go
16 over these. There is an Item E, Quality Concerns.
17 And there were 68 Quality Concerns representing 41
18 percent of the cases. And F, Other, which is very
19 large, not surprisingly. F, 100. Sixty percent
20 of them there is some other difference that is not
21 well classified by A through E.

22 On the other hand, the quality
23 assurance findings, which we are trying to do in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the future, and the Committee has talked with SC&A
2 about that, and there are a total of 206 quality
3 assurance findings that SC&A found.

4 We will discuss them, you know, with the
5 findings with the DCAS, with the Committee and with
6 DCAS. Which is to say there are one-and-a-quarter
7 QA findings per case.

8 And, finally, the number of
9 observations, which the Committee, for the other
10 Board Members, in terms of observations, we simply
11 -- we observe. We do not pass on them, but those
12 are discussed in terms of -- presented and
13 different points of view are presented and then
14 it's so noted. But we don't act on them.

15 There were 146 observations in 14
16 through 21, which is to say 0.9 per case. So about
17 one per case, so one finding per case roughly. So
18 one finding per case -- excuse me, one observation
19 per case and two findings per case, just as a quick
20 summary. So perhaps that's useful to the Board as
21 an outline. Thanks.

22 CHAIRMAN MELIUS: Thank you. Dave,
23 could you give us an update on the blind reviews,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where that stands?

2 MEMBER KOTELCHUCK: There has not been
3 progress on blind reviews. The Committee is
4 focused on getting 10 through 13 finished. We are
5 now ready to consider going forward on the blind
6 reviews.

7 So we just have the six that were done
8 long ago, and it is on our agenda at the next meeting
9 -- and the next meetings, if need be -- to move ahead
10 on that. We admit we prioritized completing 13.

11 MR. STIVER: This is John Stiver from
12 SC&A, and I would just like to kind of expand on
13 that a little bit.

14 We have completed the Set 20 of blind
15 reconstructions, but we have not completed the
16 comparison studies yet at this point. And we've
17 kind of changed up the process a bit to where
18 instead of reporting out our blinds at one meeting
19 and then, you know, sometimes a year later we
20 finally get around to discussing the comparison
21 reports, what we're going to do now is just go ahead
22 and complete the comparison report as soon as we
23 get the information back from NIOSH, and then just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do one report and then we just discuss that. It
2 makes a lot more sense, and it's something that Ted
3 had suggested, and we're going to run with that.

4 CHAIRMAN MELIUS: I guess my comment
5 would be that I think we -- I know we've put this
6 off for a while, but I'd be a little concerned about
7 trying to move forward with a letter to the
8 Secretary without having some possibility of
9 addressing the blind reviews.

10 I mean, they were an important part of
11 our original plans. And lots of reasons that they
12 got the delayed in that, and to me they would, in
13 some ways, be more of a priority than trying to move
14 forward with 14 through 21, though I don't think
15 those are mutually exclusive issues.

16 MEMBER KOTELCHUCK: Our goal was not
17 moving ahead on 14. Our goal was finishing 10
18 through 13, and that was finished. We really have
19 only started 14 and we are ready to move in other
20 directions. And doing the blind reviews, I am most
21 open, and you have mentioned that before and it is
22 a priority, and I think the Work Group perhaps
23 should move that as its highest priority

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immediately.

2 And some of us are working on trying to
3 look at 14 through 21 and looking at the data and
4 comparing it for 10 through 13 to see how we might
5 streamline the process of dose reconstruction for
6 the future. So I agree and I'm open and I trust
7 the Subcommittee is open to that.

8 CHAIRMAN MELIUS: Ted, do you have a
9 comment?

10 MR. KATZ: Well, I just wanted to say,
11 and maybe this is what you intended, but I had
12 always assumed that we would -- because we had it
13 on our agenda we just weren't able to meet -- but
14 I always assumed the six blinds that we have now
15 complete with the Subcommittee, I'd have assumed
16 that we would address those before we write the
17 letter to the Secretary so it would cover those.

18 CHAIRMAN MELIUS: Okay. Yes, Wanda?

19 MEMBER MUNN: Yeah, just a thought with
20 respect to 14 through 21 that's upcoming. It was
21 very heartwarming for me to hear Dr. Kotelchuck's
22 brief overview of just what he saw taking a look
23 at those, because I had only scanned them and hadn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 made any attempt to parse them.

2 If might be worthwhile, given the tenor
3 of what he believes he sees there, for us to make
4 sure that the Subcommittee has an opportunity to
5 at least partially verify some of what he's saying,
6 because although they probably would not
7 appropriately be a major topic of a report to the
8 Secretary, it seems to me that, since our entire
9 objective in the Dose Reconstruction Subcommittee
10 was to try to assure that the dose reconstructions
11 were being done in an appropriate and efficient
12 manner, the raw data that Dr. Kotelchuck just
13 reported indicates to at least the casual observer
14 that the number of findings have decreased markedly
15 over the period of time we've been doing this.
16 Which, of course, would logically be the aim of our
17 Subcommittee.

18 So it might be worthy of at least taking
19 a look at those more closely before we continue very
20 far on the report to the Secretary, just to be able
21 to say that it appears that the fruits of the labors
22 of the Subcommittee are being seen to some degree.

23 CHAIRMAN MELIUS: Paul?

1 MEMBER ZIEMER: Well, I certainly
2 agree with Dr. Melius on the need to get the blind
3 reviews addressed and include that in the letter
4 to the Secretary. And then I just want to
5 reemphasize, you know, we've only addressed this
6 once in the last roughly 15 years to the Secretary,
7 and it's the bottom line of what we're charged to
8 do as a Board. And so I think we just need to keep
9 that letter as a high priority and let's get it
10 done.

11 CHAIRMAN MELIUS: Yeah, I concur,
12 though I agree with Wanda that we need to -- the
13 Subcommittee and the Board need to take a look at,
14 you know, the data on 14 through 21.

15 This was put together fairly quickly.
16 And I thank SC&A for it. This was, what, the last
17 week or so, two weeks, I don't know how long they've
18 been charged. It is helpful. But I also agree
19 with Paul that the focus on the letter ought to be,
20 you know, 10 through 13 and get this moving along
21 and this whole process and so forth.
22 And so to get that complete, along with the blind
23 reviews. And it's not to say that we can't make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other comments, but they will be maybe not as
2 rigorous a review as what we've already done and
3 that should stay as the focus.

4 So I think if we think about this, our
5 sort of priorities, one is to complete what needs
6 to be done for the letter to the Secretary. Number
7 two, we have to figure out how do we resolve 14 to
8 21? Do we change procedures for doing that?
9 They've already been reviewed, but we need that.
10 And number three is, what do we do going forward
11 in terms of do we change the methodology that we're
12 using for doing the reviews?

13 And so I think, in some ways, those may
14 overlap, but they're also are sort, you know, have
15 different -- you probably can't obviously change
16 the methodology if it's already been done, so for
17 what's already been reviewed maybe we look at how
18 we do those reviews.

19 We did get some comments from SC&A
20 suggesting that, for 14 through 21, we only should
21 look at the findings and not look at where there
22 wasn't a finding. And I think that has some merit,
23 but I'm a little concerned that, really, the Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is supposed to be making a finding, not our
2 contractor. We're not hiring, you know, a
3 contractor to make our decisions for us. And so
4 there has to be some way of resolving that, whether
5 it requires as much of a review on how we go about
6 that may be different, but we need to talk about
7 it and make sure we're doing our due diligence on
8 that.

9 Secondly, we also need to, you know,
10 maybe to some extent for resolving 14 through 21,
11 but going forward is there a way that we can focus
12 on what are the more important parts of the dose
13 reconstructions that are more likely to raise
14 concerns that the Board should be paying attention
15 to? Is it a change in the methodology? Is it a
16 site we haven't looked at in detail before? So
17 it's applying maybe, you know, a general OTIB to
18 a new site and, you know, does that apply, you know,
19 to a Site Profile maybe -- or some of these sites
20 we don't have Site Profiles. So it's going to be
21 looking at that.

22 There may be others that I'm not, you
23 know, thinking of off the top of my head. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think we need to see if we can come up with a
2 methodology that would allow us to look at a higher
3 proportion of the cases but in a way that's more
4 likely to be productive. Not to find NIOSH
5 mistakes, but to address and make sure we're doing
6 the right thing and that we're looking at what are
7 the more important exposures, say, for a person at
8 a particular site. Or there may be more
9 inconsistencies where it may be a higher, a more
10 likelihood that, because of the nature of the
11 procedure or something, that a mistake or something
12 would need to be corrected in that.

13 That's not something I don't think we
14 can do sitting here. Yes, Dave?

15 MEMBER KOTELCHUCK: You suggested
16 yesterday that we set up a special subcommittee to
17 look at what were -- a special subcommittee of the
18 Subcommittee to look at what you have said are
19 really Items 2 and 3, how do we go forward, how might
20 we change procedures?

21 And, to me, that's a very good idea and
22 that would allow the existing Subcommittee to
23 complete the letter to the Secretary, which is to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particularly look at the blind reviews. And then
2 also, at our April meeting, we could do -- we want
3 to look as a Subcommittee at 14 through 21, at the
4 results that I just preliminarily went over, and
5 have other people's thoughts to give to the
6 Subcommittee, so that I would see our Subcommittee
7 as doing Item 1 and having a discussion at the next
8 meeting of 14 through 21, how we view the results
9 from SC&A. And then pass on Item 3, how do we go
10 forward and the changes and procedures that follow
11 from that discussion, for the special ad hoc
12 committee to review.

13 To me, that would be a good way of going
14 forward, that we have two groups looking at two
15 rather different tasks.

16 CHAIRMAN MELIUS: Just one correction.
17 My suggestion was a work group that would include
18 some people from the Subcommittee and some other
19 Board Members to look at, I guess, what you're
20 calling 2 and 3 here.

21 MEMBER KOTELCHUCK: Fine.

22 CHAIRMAN MELIUS: But, again, one is
23 because I think the Subcommittee's energies are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 best focused on the other priority, number one.

2 MEMBER KOTELCHUCK: Yes, absolutely.

3 CHAIRMAN MELIUS: And second, I mean,
4 I think other people, you know, Board Members have
5 input into this, and any decision we make is going
6 to be the decision of the whole Board.

7 MEMBER KOTELCHUCK: Right, that's
8 correct.

9 CHAIRMAN MELIUS: I mean, you know, as
10 Wanda has reminded us, this is one of our key
11 charges in the legislation, so it's up to the Board
12 to decide what we need to do. And so we would have
13 to have a process that involves everyone in the
14 Board in that.

15 Again, we also have some timing things.
16 We don't want to spend three years deciding what
17 to do and so forth, and we have a contractor that
18 has some resources and we need to keep moving
19 forward and appropriately utilizing those
20 resources to get our work done. So I think we have
21 to find sort of the right balance between all those
22 and that.

23 And, again, not criticizing what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dose Reconstruction Review Subcommittee has done
2 or not done, or whatever. I think they've been
3 doing excellent work, and it's a lot of work, and
4 a lot of work on the part of SC&A and NIOSH staff
5 to get through these resolutions and do this.

6 I would also just add one more sort of
7 complicating factor. We have to also remember
8 that these are not, you know, random selection of
9 cases. We've been targeting sites. And that's
10 changed over time, the approach that's used, which,
11 again, is appropriate, but so when we're looking
12 at any data from there we have to remember that it's
13 not a random sample.

14 We don't have to get into OPOS
15 statistics or anything crazy, but it has changed
16 over time. And that may be part of the
17 recommendations, too, is how do we sample? It's
18 just not what do we look at, but which sites and
19 which kinds of cases and so forth.

20 So I guess my question would be, to the
21 Board, is the Board -- is there a consensus, does
22 this make sense in general as a way of going
23 forward?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER KOTELCHUCK: And I'm looking at
2 Subcommittee Members, especially, personally, to
3 see how you're feeling.

4 CHAIRMAN MELIUS: Wanda always agrees
5 with me, so --

6 MEMBER MUNN: Always. Absolutely
7 always.

8 MEMBER BEACH: I agree.

9 CHAIRMAN MELIUS: Yes, Josie, okay.
10 So I think we need a motion, then, to form a Work
11 Group to move forward to look at the process of
12 doing dose, how we should move forward on both
13 resolving 14 through 21, as well as how we should
14 go in the future in terms of doing the dose
15 reconstruction review process on that.

16 I would hope that that Work Group would
17 actually be a very short-lived work group. Not all
18 of our Work Groups are as short-lived as we expect,
19 but this one should be.

20 And I would even hope that we could at
21 least provide some recommendations back to the
22 Board at our June 9th conference call, rather than
23 waiting another two months until the Board Meeting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the end of July, or fairly far into July. But
2 first can we get a motion or --

3 MEMBER KOTELCHUCK: Can I?

4 CHAIRMAN MELIUS: Yeah, sure.

5 MEMBER KOTELCHUCK: So moved. That
6 is, that we establish a special Working Group, dose
7 response, to report back to the Board at its next
8 meetings, it's next teleconference, to first
9 report at the next teleconference, and that the
10 existing Subcommittee continue to work on
11 completing the blind reviews and doing their review
12 of 14 through 21.

13 CHAIRMAN MELIUS: Do I have a second to
14 that?

15 MEMBER BEACH: I'll second that.

16 CHAIRMAN MELIUS: Okay. Further
17 discussion on that? I guess the understanding
18 would be the Work Group would be made up of people
19 from the current Subcommittee as well as other
20 Board Members.

21 MEMBER BEACH: And this may not be the
22 appropriate time, but I think maybe one of those
23 new Members should -- well, it sounds like we need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to add another Member to the Subcommittee as well.

2 CHAIRMAN MELIUS: What I plan to do,
3 since not everybody's on the call and so forth, is
4 we need to -- I'll do a solicitation out to the
5 Board.

6 We also, I think, will need a Grand
7 Junction Work Group. We've got someone we need to
8 add to LANL. We need an addition to the
9 Subcommittee, and we also have this new Work Group.
10 So there will be a menu and people can pick, choose,
11 and volunteer. I've already got one volunteer,
12 Gen, but I think it's important we leave it open
13 to everybody, even people that couldn't make it
14 here today.

15 MEMBER BEACH: Oh, absolutely.

16 CHAIRMAN MELIUS: Good. And, also,
17 you know, we can also, once we get the Work Group
18 in place and so forth, charge SC&A with doing some
19 more data evaluation for us. And, again, we would
20 like to keep moving forward on dose
21 reconstructions. And that's one reason, you know,
22 given the resources and personnel at SC&A, I do
23 think we need to try to keep that moving and get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them assigned also.

2 MR. KATZ: We need to vote on that
3 motion.

4 CHAIRMAN MELIUS: Yes, we do need to,
5 thank you. Our parliamentarian reminded us we had
6 not voted on the motion. I think we can do orally,
7 yes. So, all in favor of this new Work Group, say
8 aye.

9 (Chorus of ayes.)

10 CHAIRMAN MELIUS: All opposed?
11 Abstain? Okay, good. I think we have now earned
12 a break until 10:45. Again, try to be back here
13 directly at 10:45 because we have an SEC petition
14 to review and evaluate. So we'll see you back here
15 then. Thanks.

16 (Whereupon, the above-entitled matter
17 went off the record at 10:21 a.m. and resumed at
18 10:47 a.m.)

19 CHAIRMAN MELIUS: Next on our agenda
20 we'll hear from Jim Neton, who will give us an
21 update on -- there he is, I couldn't see you hiding
22 behind the podium. This will be the Grand Junction
23 complex. It's the continuation, a few years

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 later, of the Grand Junction SEC.

2 DR. NETON: All right. Thank you, Dr.
3 Melius. I am indeed here to talk about the Grand
4 Junction Facilities. I should point out at the
5 outset here that the name has been changed as of
6 November last year. The Department of Energy
7 changed it from Grand Junction Project Office, or
8 Program Office, to Grand Junction Facilities. So
9 you'll see several -- it was hard to change them
10 all and be consistent. So you'll see some various
11 different designations here, but they all refer to
12 the same facility.

13 I'd also like to say at the beginning
14 that Tom Tomes is the DCAS point of contact and did
15 most of the work here. I'm just presenting the
16 presentation. So I think Tom may be on the phone
17 in case I get stuck with some difficult questions
18 that I'm not prepared for.

19 The was SEC, originally, SEC 175. And
20 we are going to be discussing today an addendum to
21 that SEC petition. I'll get into that a little bit
22 later, but first a little background information,
23 because we haven't talked about this for a while.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It's the Grand Junction Facilities.
2 It's a Department of Energy facility located in
3 Grand Junction, Colorado. Its covered period
4 covers a wide range: 1943, one of the first
5 facilities, one of the oldest facilities that we
6 have, through the present day. I think in around
7 2001 it became a remediation facility. But it
8 still is on our list.

9 It did a lot of things. They did a lot
10 of things at Grand Junction. But most importantly
11 for our discussion today, they processed a lot of
12 samples, thousands of samples per month over
13 certain periods of time, that included uranium ores
14 and tailings that were, of course, elevated not
15 only in uranium but all the uranium along the
16 progeny that tend to be in ores.

17 Numerous projects use large
18 quantities, as I said, of these ores and tailings
19 for materials. And what's going to be of central
20 interest to us today is to talk about these
21 calibration pads.

22 At one point they started to make, I'll
23 call them elaborate check sources, but they're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really calibration pads for survey instruments to
2 go out into the field. You go out in the field and
3 try to measure and survey for uranium or thorium.
4 And you need to figure out what your sensitivity
5 of your detection instruments are. So they made
6 these large concrete pads that were labeled,
7 radiolabeled, with various isotopes. We'll talk
8 about that a little bit later.

9 As I mentioned, the site started its
10 operations in 1943. The U.S. Army established it
11 as the Colorado Area Engineer Office. It later
12 became the Grand Junction Operations Office. As
13 I mentioned, now it's referred to as the Grand
14 Junction Facilities.

15 The first operations on the site, in
16 '43, was the construction of a refinery to make
17 uranium concentrates for the MED. They took what
18 they called green sludge that was left over from
19 vanadium mining operations and used that to recover
20 significant quantities of uranium, uranium ore
21 concentrates, at that point.

22 The plant only ran a couple of years,
23 1943 to '45. After 1945, Grand Junction became the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 center of uranium ore exploration, procurement,
2 processing and sample activities.

3 Up through '75, they did a lot of
4 assaying of ores. People would produce ore
5 products in the area and samples would come to Grand
6 Junction to be assayed to look at the purity and
7 that sort of thing.

8 Over that time period, through '75, a
9 substantial quantity of concentrates were
10 received, sampled and assayed. This slide says
11 there was almost 350 million pounds of that
12 material. So these weren't like little laboratory
13 samples. Quite of material came through there.

14 But the last of the drums were shipped
15 offsite in January of '75. So all of the major
16 source term was gone by that point.

17 MEMBER ANDERSON: Did it all come in
18 drums?

19 DR. NETON: I believe so, yes. I could
20 be wrong on that. But the majority of it, at least,
21 was in drums. They did operate -- and we'll talk
22 about it a little bit later -- an ore processing
23 plant, a pilot plant. So they may have received

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some ores not in drum state. I'm not sure about
2 that.

3 This next slide talks about these two
4 pilot plants in the '50s. They were trying to
5 develop methods for extracting uranium, trying to
6 improve the efficiency, that sort of thing. The
7 tailings from those ore processing plants were
8 buried onsite, which led to some significant
9 contamination around that facility.

10 Of interest to us today, though, is this
11 last bullet. They managed, between 1974 and '84,
12 the National Uranium Resource Evaluation Program.
13 And that program was targeted at the exploration
14 and sampling of the nation's uranium reserves.
15 They would accept core samples that were taken
16 around various uranium areas to determine the
17 uranium content of those materials. And they did
18 literally thousands of those samples a month during
19 this time period.

20 This slide, I know it's pretty
21 difficult to see on the screen here, but I think
22 you have it in your presentation. It's just sort
23 of a graphic of the various operations that were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 conducted. The long bar you see on the bottom is
2 the laboratory operation that extended from the
3 beginning of the Grand Junction operation's
4 inception all the way through around 2000.

5 The bar right on top of that is green
6 on my computer. I can't tell what color it is up
7 there. It looks sort of orangish. The bar right
8 above the second one from the bottom is the
9 uranium/vanadium assay and brokerage period. And
10 that's the period where they were doing all the
11 assay of those drums, those 300-and-something
12 million pounds of assay.

13 Two up from that, though -- well, all
14 the way at the top you'll see the National Uranium
15 Resource Evaluation Program. And that's really
16 where we're going to focus today, that ten year
17 period where they analyze these core samples. And
18 also, at that same period, they constructed these
19 calibration pads.

20 The other bars on the right-hand side
21 really more refer to remedial action projects that
22 were conducted primarily offsite. They provided
23 offsite support services for the Grand Junction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Project Office's Remedial Action Program, Grand
2 Junction Remedial Action Project.

3 Workers were stationed, located, at the
4 Grand Junction Project Office, but their work would
5 actually be performed in these remediation sites,
6 although some samples would come back to the site
7 for analysis in the laboratory.

8 So, to get into the petition history,
9 SEC 175 was received in June of 2010 and qualified.
10 And the petition requested that it cover all onsite
11 personnel who worked at the operations office from
12 January 1, '43, through July 2010.

13 At the Augusta Board meeting in 2011,
14 I checked this, LaVon actually presented the SEC
15 Evaluation Report where we recommended that we add
16 a Class from the beginning of the plant, the
17 facility's operations, in '45, through January
18 31st, 1975.

19 The Board heard our recommendation and
20 agreed with it. And that Class has subsequently
21 been added to the SEC. So, right now, Grand
22 Junction is covered all the way through the end of
23 January '75.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But at that time, even though our SEC
2 Evaluation Report said that we thought we could
3 reconstruct the remainder of the dose, just prior
4 to the release of the Evaluation Report, we
5 received a lot of additional data.

6 So we informed the Board at the time we
7 delivered the presentation that this new data
8 source was available, had not yet been reviewed by
9 NIOSH, and we would evaluate the data in light of
10 that and report back to the Board.

11 So that's what we're doing today, we're
12 reporting our analysis of where we are in light of
13 -- I think, originally we had something like 675
14 documents. And now we're up to, like, 1,600. So
15 there's about 1,000 new documents that were
16 recovered for us to review.

17 So, after our analysis of all those
18 data, we drafted an Evaluation Report Addendum,
19 which we're talking about today. And we are going
20 to propose a Class that goes beyond 1975. And
21 we'll discuss the rationale behind that, but we
22 believe the Class should go from '75 through
23 December 31st, 1985. And after that we believe we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can do dose reconstructions. So that's a little
2 bit of a preview of what we're going to talk about.

3 So, after 1975, I mentioned all the
4 drums were gone, the drum samplings were gone. So
5 all that was really left at the site was legacy
6 contamination of the soil and the buildings from
7 the prior work. And there was considerable
8 contamination. I think it covered 19 buildings,
9 over 23 acres, or something like that, of
10 contaminated land still existing at the site after
11 '75.

12 Again, the buried uranium ore tailings
13 from the pilot plants was out there. But there
14 were continuing operations that remained. The
15 sampling project for this National Uranium
16 Resource Evaluation program. I'm not sure how you
17 pronounce it. I couldn't figure that out. But
18 anyway, the NURE program was the bulk of the
19 continuing operations that involved radioactivity
20 onsite. Although I did mention that there are a
21 number of other activities that occurred offsite,
22 like these remedial projects offsite that they
23 provided assistance with. But the sample

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 processing at the site provided the greatest
2 potential for exposure.

3 That processing involved the crushing
4 and grinding of samples of ores and tailings. Now,
5 these samples were not very particularly
6 radioactive. The NURE program was not really a
7 uranium exploration program. It was a program
8 that took samples that went out to determine where
9 conditions may be favorable for uranium to exist.
10 So it wasn't really going and taking samples in
11 well-known, established uranium deposits. So the
12 bulk of these samples were barely, you know, higher
13 in uranium than what you would consider to be a
14 normal distribution.

15 So there were exposures associated with
16 these samples that were processed in the
17 laboratories. But, again, the radiological
18 implications of exposures were not that great for
19 those type of samples.

20 The analytical laboratory continued to
21 operate through 2003 to support the various site
22 projects, including the analysis of these samples
23 that were processed in the crushing and grinding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 operations.

2 The bottom three bullets that are on
3 this slide are the ones that really are not relevant
4 to what we want to talk about today exposure-wise
5 because these activities, by and large, took place
6 offsite. They were supported by the site
7 administratively, but the exposures, since they
8 were not acquired on the facility itself, are not
9 considered covered exposures for this program.

10 Okay, a little bit more about the
11 crushing and grinding. It happened in Building
12 7A, which was an addition on to Building 7 in 1956.
13 This was something that we really hadn't considered
14 a lot in the original SEC Evaluation Report. It
15 is the greatest source of internal exposure from
16 onsite operations after '75. It was a very dusty
17 operation. They had these inverted V blenders
18 where they would blend the samples and then dump
19 them, take samples, that sort of thing.

20 And it was a sufficiently dusty
21 operation that they actually had a ventilation
22 system that vented the materials to a baghouse.
23 And they would fill up a couple of 55-gallon drums

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from the dust from that operation every year. So
2 it was somewhat messy of an operation.

3 But as I said, the radiological implications of
4 that dust were not too bad, because these were not
5 particularly highly concentrated uranium samples.

6 But the last bullet, I think, is the
7 most relevant here. They ground uranium ores,
8 tailings and thorium ores to specific mesh size
9 prior to downblending the referenced materials for
10 use in calibration pads.

11 This is what was not understood in the
12 original SEC Evaluation Report. They made these
13 concrete pads and bore hole calibration standards
14 that could vary from five feet in diameter, two feet
15 thick, to 30 by 40 feet, where they actually built
16 four of those large pads and installed them at an
17 airport so that people could actually do flybys and
18 calibrate their detection survey meters from the
19 air. They also supported drive-throughs and that
20 sort of thing.

21 But they made a number of these samples.
22 I think we know of at least 27, I think, that were
23 made during this particular period. And to start

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 making those samples, they actually had to grind
2 fairly highly concentrated ores, five to ten
3 percent uranium-by-weight ores and blend them.
4 And then they would dilute them down to make the
5 calibration standards.

6 They started with thorium ore that was
7 fairly concentrated. And in some cases, they
8 started with monazite sands, which are highly
9 elevated in thorium content.

10 So this is the operation that we were
11 saying we really don't have any idea of what kind
12 of exposure potentials were occurring in this time
13 period.

14 The analytical laboratory, as I
15 mentioned, supported the operations in Building 7.
16 And they did the assay of the ores and such. And
17 they did have an upper concentration of incoming
18 samples. I think they wouldn't accept any samples
19 that were greater than 2,000 picocuries per gram.
20 And so some of these monazite ores and such had to
21 be blended down so that the laboratory could
22 actually assay them. Again, as I mentioned, the
23 samples were prepared in 7A. And the laboratory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was actually permanently closed in 2003.

2 So, our usual list of sources of
3 available information, we use our already existing
4 Technical Information Bulletins and procedures, of
5 course. There were interviews conducted with nine
6 former employees. We've looked through claimant
7 files. There was some documentation provided by
8 the petitioner. And we also looked at the files
9 that we had captured in our Site Research Database.

10 As I mentioned earlier, 1116 additional
11 documents have been added to that Site Research
12 Database since we last presented this site's
13 Evaluation Report in 2011. And that was obviously
14 a result of additional data capture efforts that
15 took place since 2000. Well, some of the data came
16 in prior to that. But there's a lot of additional
17 data here.

18 Of course, we always look, where we can,
19 at the AEC documentation, DOE OpenNet. Internet
20 searches are standard now. CEDR is also a source
21 of information for exposure data. NARA and other
22 DOE sites.

23 As far as claims go, there are 75 claims

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from Grand Junction that have been submitted to
2 NIOSH, 48 that have employment in this time period
3 that we're talking about today.

4 Forty-seven of those were completed, and only ten
5 of those claims had a PC, Probability of Causation,
6 greater than 50 percent. Six of the claims had
7 some type of internal dosimetry data.

8 Some monitoring was conducted. We'll
9 talk about that in a little bit. But it's pretty
10 sparse in the earlier years.

11 And 22 of those claims had some type of
12 external dosimetry data. I think the criteria was
13 at least one film badge measurement, or one TLD
14 measurement.

15 As far as external exposure sources,
16 you could imagine, this is uranium ore and thorium
17 ore type exposure, so you have direct radiation
18 from the handling and processing of the ore and
19 tailings. One could also get exposure from being
20 submerged in a contaminated air cloud, although
21 that's not usually a very high exposure pathway for
22 external anyway. And then one could receive
23 exposures just from walking around the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contaminated grounds and buildings at the site.

2 There were also some sealed sources
3 that were used for data logging. They were used
4 offsite primarily, but they were stored onsite.
5 And there were some potential exposures to those
6 data logging sources.

7 So, from those source terms, the
8 thorium and uranium, of course you have photon
9 exposures from uranium progeny. The largest
10 source, of course, would be the radium.
11 Radium-226 has some shorter-lived progeny that
12 emits some fairly energetic, high abundance
13 photons.

14 That's the main source of exposure
15 there, beta exposures, of course, from uranium
16 progeny, protactinium-234m, most notably. And
17 then, as I mentioned, the neutron exposures would
18 occur from those data logging sources:
19 californium-252, as well as -- this is something
20 new to me - a zetatron, which is a vacuum-tube
21 neutron generator. It's a
22 deuterium-tritium-containing device that
23 accelerates the material and generates neutrons

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 back via that pathway. So, that is, neutrons are
2 legitimate potentials for some exposures at this
3 facility.

4 As far as external dose reconstruction,
5 we have dosimetry data in the claimant files, as
6 I indicated. Twenty-two of the claimants had some
7 type of dosimetry in them.
8 They measured, early on, before '81, I think, with
9 film, and after '81 with was TLDs. We also have
10 access to the REMS database, which gives summary
11 -- you know, summary and categories of exposures
12 for various years. And we can use that.

13 We've modified that to account for
14 missed dose. For example, if you took the 95th
15 percentile in the REMS database and said, okay,
16 it's one rem -- or not one rem, let's say the highest
17 exposed person had 100 millirem, then if we knew
18 that there were, like, so many other badging
19 periods, we would give them the MDA for the
20 remaining badging periods.

21 So we would assume that that annual
22 roll-up occurred in one monitoring period. And
23 then it's not a missed dose. That's a sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standard technique that we use.

2 But beta exposures, we've adopted a
3 beta-gamma ratio to supplement the individual
4 records. I think the beta-gamma ratio used in the
5 ER is 1.5.

6 And, again, neutron exposures,
7 although not many people are exposed to neutrons,
8 it was possible, some people were monitored. The
9 neutron data are in REM starting in 1985. And
10 we're assuming, in prior years, the exposures were
11 pretty similar.

12 So we believe that there's enough
13 information to estimate external doses from
14 operations starting February 1st, '75, all the way
15 through July 31st, 2010, the period that we
16 evaluated. We also believe that we can estimate
17 with sufficient accuracy the medical X-ray dose
18 using our existing program technical
19 documentation.

20 Okay. Now, some of the more fun stuff,
21 in my mind: the internal sources of exposures. You
22 have uranium exposures, of course. But you also
23 have the progeny, thorium-230 and radium-226.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Thorium-232, it says limited quantities. It's
2 limited compared to some sites, but we're talking
3 in the hundreds of kilograms. I think at one time
4 they had a couple hundred kilograms onsite that
5 they were using for these calibration pads.

6 Now, that's a one shot deal. I don't
7 know how many times that was replenished and such.
8 But there was at least a couple hundred kilograms
9 at one time onsite. And you always have the
10 progeny associated with the thorium, including
11 radon or thoron gas, which is one of the short-lived
12 progeny of thorium.

13 So the internal sources would be
14 inhalation and ingestion from the sample
15 preparation of the ore used in those calibration
16 -- they're called models here, but I call them
17 calibration reference sources, or reference pads.

18 They would have to crush, grind and dry
19 those materials in Building 7A. And then they
20 would downblend them and then actually mix them
21 with concrete to create these, you know, five-foot
22 diameter pads that can be used to calibrate these
23 reference instruments.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There was also sources of exposure from
2 residual contamination from previous operations at
3 the site. I mentioned the site was contaminated,
4 so if you weren't working in Building 7 and you were
5 in another facility, there are known levels of
6 contamination around the site. And, of course,
7 from resuspension of those materials, there's
8 another additional exposure pathway.

9 Not much in the way of bioassay data at
10 this facility. None, actually, from '75 to '83,
11 that we found, at least that we had located, and
12 very few samples for onsite workers in '84. Most
13 of the samples appear to have been baseline
14 samples. So they're not of much use if you didn't
15 take a follow-up sample.

16 There are some fecal samples which will
17 become relevant in a little while, for these
18 workers in Building 7A, in 1986. After around '86,
19 the monitoring program became somewhat more
20 robust, and we have some indications that there
21 were time-weighted air samples, some fecal
22 sampling going on. They were very conscious of the
23 potential exposures from some of these higher level

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concentrated thorium and uranium sources.

2 And there are some bioassay samples
3 starting in 1991 when the requirements earlier of
4 10 CFR -- or DOE Order 5480.11 came into effect.
5 There was some very good documentation, Technical
6 Basis Documents, for the site that were written in
7 that time period that described, at least on paper,
8 a pretty substantial knowledge of the hazards and
9 how to go about monitoring for them.

10 Again, not much in the way of air
11 samples in this time period, '75 to '79. There is
12 a maximum air sample result reported for that
13 sample prep lab, that's the Building 7A laboratory,
14 taken in July of 1980, although it's a very low
15 sample. It only measured about three picocuries
16 per gram.

17 As I mentioned, most of the samples that
18 were processed by this laboratory were these sort
19 of core samples that were taken from the field, not
20 necessarily in areas that were highly enriched or
21 highly concentrated in uranium. So it's somewhat
22 deceptive. And this is what we were basing our
23 last presentation on, in '75, that this was the type

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of exposure that occurred there.

2 Now, this is true. This is, in
3 general, the type of air concentrations that one
4 would see. But when you start processing these
5 higher reference materials, the five to ten percent
6 uranium concentrated materials and the monazite
7 ores, you could get much higher -- you know, they
8 used the same equipment, it was the same equipment
9 that was used -- you get much higher air
10 concentrations.

11 And in fact in this next bullet, in
12 1986, they did an MPC hour tracking sample in the
13 prep lab. And for the first quarter of 1986, for
14 this one operation, they estimated up to a 307
15 MPC-hours of exposures.

16 So, those of you familiar with how this
17 works, 520 MPC-hours would be the limit for that
18 quarter. So these people's potential exposures
19 were bouncing up against the limit in that time
20 period.

21 I will say that there is some indication
22 that respiratory protection was used. But it's
23 not clear how often and what type. I mean, it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sort of spotty. We couldn't really determine. We
2 weren't comfortable enough to say that the
3 respiratory protection factor was effective for
4 limiting these exposures. There is also some air
5 sample results in the sample prep lab in 1990 that
6 were pretty good.

7 There's some indication of onsite
8 environmental samples taken in '85. They were
9 discontinued in '94 after the land was remediated.
10 These are of not much use for us in dose
11 reconstructions, though.

12 However, during the site remediation
13 effort that started around 1988, there are records
14 of air monitoring, surface contamination, and
15 worker bioassay that are pretty substantial. I
16 think we have somewhere in the neighborhood of 600
17 air samples taken during this period, as well as
18 a good indication of bioassay and why it was taken.

19 I'll talk a little bit about radon at
20 the site. When you have uranium ores, you're
21 always going to have a radon situation. And not
22 much was taken in the earlier years, up until 1990,
23 as you can see on this slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 However, in 1985, and this is prior to
2 the D&D operations, the after all the drums were
3 gone, the source term, but prior to cleanup, they
4 took 300 air samples in three different buildings
5 which were thought to be the highest buildings
6 where radon could have existed. And we have those
7 values. They aren't very high at all. I think the
8 highest four samples were around four picocuries
9 per liter or something like that.

10 They were actually measured in working
11 levels. I think they were reported about 0.02
12 working levels, which, at 50 percent equilibrium,
13 would come out about four picocuries.

14 So you really don't have evidence of a
15 lot of radon exposure, although we would certainly
16 consider this to be occupationally derived,
17 because it's ADC source term. But, again, they are
18 not very high.

19 So as far as internal dose feasibility
20 goes, the sample preparation processing of these
21 ores and tailings and the reference materials, we
22 just believe there's insufficient data and
23 information to reconstruct internal dose from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 February 1st, '75, through December 31st, '85.

2 We do believe that we can reconstruct
3 exposures, internal exposures and external
4 exposures, from January 1st, '86, through July
5 10th, 2010. And we'll talk a little bit about
6 that, why we think we can do that.

7 As far as intakes uranium, thorium and
8 their associated long-lived -- yeah, so we're
9 saying we can't reconstruct the intakes from
10 thorium and uranium in that time period. And
11 here's the reasons listed why. But we do think we
12 have methods that we can use to estimate radon,
13 radon progeny, after '75 through 2010, for the
14 reasons I just mentioned.

15 We have those 300 radon measurements
16 prior to the remediation period after the drums
17 were taken offsite. And they're fairly low,
18 they're in the maximum four picocurie per liter
19 range. So we would be using those values to
20 reconstruct radon exposures at the facility.

21 As far as uranium, thorium and
22 long-lived progeny after January 1st, '86, again,
23 the most significant exposures were either from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sample prep lab or from the site remediation and
2 building demolition, which actually happened
3 starting in around 1988.

4 So let's just talk about the sample prep
5 lab first. I mentioned we have that one sample in
6 1986, the 300-and-something MPC-hours. They were
7 clearly using the occupational limit to control
8 exposures at that point. So we believe that if we
9 assigned the maximum intake of 520 MPC-hours per
10 quarter during this time period, it would
11 sufficiently bound exposures to workers during
12 this period.

13 I will say that there's only a couple
14 instances where those calibration pads, as far as
15 we know, were produced after 1980, in this time
16 period that we're talking about.

17 Of course, the intakes from the site
18 remediation and building demolition from '88
19 through '91, we have, as I mentioned, a lot of
20 air samples, 600 or so. And we have analyzed those
21 data.

22 We would assign the highest dose to what
23 we call the operator category based on the 93rd

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percentile of those air samples. Other personnel
2 would be assigned using a graded approach as listed
3 in TBD-6000, which is 50 percent for the non-rad
4 worker types and then ten percent for
5 administrative people. That's a fairly standard
6 prescription that we use out of TBD-6000.

7 Okay. After 1992, as I mentioned, DOE
8 5480.11 came in, subsequently followed by 10 CFR
9 835. There's a pretty good Technical Basis
10 Document out there that talks about limiting
11 exposures internally to 200 DAC-hours per year
12 prior to taking airborne -- prior to requiring
13 bioassay samples.

14 And intakes for non-rad workers will be
15 bound and based on a 40 DAC-hour per year trigger,
16 which is pretty standard these days. That would
17 result in 100 millirem internal dose.

18 So this chart is our standard chart that
19 summarizes what we think we can and cannot do at
20 the facility. And you see, from February 1st, '75,
21 through 12/31/1985, we say that dose
22 reconstruction is not feasible for internal
23 exposures, and that would include thorium and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uranium. Radon can be reconstructed, as well as
2 all external doses. And after 1/1/86, we think we
3 can reconstruct both internal and external
4 exposures in all categories.

5 So, health endangerment, we believe
6 that some workers may have accumulated chronic
7 exposures through intakes of nuclides and direct
8 exposures. We are specifying, then, that health
9 may have been endangered for these workers.

10 And our recommendation is for the
11 period March 23rd, 1943, through -- well, that's
12 the last SEC period. So let's just skip to the
13 proposed Class. That's what happens when cut and
14 pasting occurs. Sorry about that. I'm surprised
15 I didn't notice that.

16 So, at any rate, to summarize, our
17 proposed Class here is all employees of the
18 Department of Energy, its predecessor agencies,
19 and contractors and subcontractors who worked at
20 the Grand Junction Facilities site -- and this is
21 correct -- February 1st, '75, through December
22 31st, 1985, for a number of work days aggregating
23 at least 250 days, with the standard caveats after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that. And I think that concludes my presentation.

2 CHAIRMAN MELIUS: Thank you, Jim.

3 That was almost what I -- before the last meeting
4 I had actually sent around a letter for review where
5 I had copied an old letter, and I had not only the
6 wrong dates but the wrong decision, because I had
7 changed it, but I hadn't saved it and I ended up
8 sending out the old, saved letter that I was copying
9 from. I got this real, you know, shock --

10 DR. NETON: What's sad is I looked at
11 this thing at least six times. I must be getting
12 old. That's all I can say.

13 CHAIRMAN MELIUS: Just one thing for
14 the record. I had this question earlier to Jim.
15 There is no Site Profile for this site.

16 DR. NETON: That's correct.

17 CHAIRMAN MELIUS: Right. And so
18 there's no prior review by the Board or SC&A of any
19 of this information, really, other than the earlier
20 SEC. And I think that one we just accepted. So
21 I don't think SC&A has ever looked at this site at
22 all.

23 DR. NETON: They have not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Yeah. Questions,
2 Gen?

3 MEMBER ROESSLER: Jim, as you went
4 through the whole sequence, it looked to me like
5 there were a lot of changes in the mid-'80s in
6 activities and monitoring and so on.

7 And as I look at it, I think you could
8 have picked a date to end in '83, or it could have
9 been '88. And I'm wondering what was the most
10 significant thing that determined the December
11 1985 for the end date?

12 DR. NETON: Yeah. It really was that
13 air sample that estimated the
14 300-and-whatever-it-was DAC-hour or MPC-hour
15 exposures, where they really were consciously
16 monitoring and taking air samples during the
17 processing of some highly elevated ores.

18 And I didn't mention this, I don't
19 think, but they also took fecal samples associated
20 with that. So we have some ways of sort of doing
21 a sanity check. Do the air samples really match
22 up with what the fecal samples are trying to tell
23 us? So they were doing the right things at that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 point.

2 Originally, we were thinking about
3 using that and saying, well, we can go back in time
4 and use that to bound exposures. But we just
5 didn't feel comfortable doing that. But from that
6 point forward, it seems like there was more of a
7 conscious effort to control, or at least monitor,
8 these workers during that time period.

9 CHAIRMAN MELIUS: Henry?

10 MEMBER ANDERSON: Yeah, I just had a
11 question about that 307. That was a single? I
12 mean, to say, well, because the standard and the
13 guideline at the time was 520, to use that as your
14 bounding, if all you ever had is one sample at 307,
15 that doesn't encourage me that they were closely
16 tracking to keep their exposures below what the --

17 DR. NETON: Yeah, we could discuss
18 that, I suppose. But I don't know that it was one
19 single sample. It was for the quarter. So that
20 was a cumulative, you know, MPC-hours. So it was
21 sampling over the quarter. But it was one
22 campaign. I'll grant you that.

23 However, as I mentioned, we only know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of about 27 of these campaigns in this ten-year
2 window. It seems it took about a month for each
3 of these reference things to be made. So, part of
4 the issue is we don't know. I mean, in the case
5 of 27, were there more that we don't know about?
6 That sort of thing.

7 MEMBER ANDERSON: Are there any
8 letters or documentation that they were paying
9 close attention to the 520?

10 DR. NETON: Oh, yes. There's a memo
11 associated with this, actually --

12 MEMBER ANDERSON: Okay, okay. I'm
13 just looking for, you know, is that a reasonable
14 thing to use, in the light of this? Or was it just
15 serendipity that it was only 307?

16 DR. NETON: No, no. There was a memo.
17 And remember, they did use some sort of respiratory
18 protection. But it's somewhat vague, to me, as to
19 what they used.

20 They had one type of respirator they
21 were recommending at that point. Then they
22 switched to another one. And, you know, we
23 certainly don't have any indication of any kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a respirator fit program or that sort of thing.

2 And typically in our program, we don't
3 have any of that information. We just assume it
4 didn't happen. So, in all likelihood, the
5 exposures are less than that 307. But it
6 certainly, in our opinion, is the maximum.

7 CHAIRMAN MELIUS: Any Board Members on
8 the phone have comments or questions?

9 MEMBER VALERIO: This is Loretta. I
10 have a question.

11 CHAIRMAN MELIUS: Go ahead.

12 MEMBER VALERIO: It's on that same
13 slide. And it was breaking up a little bit. I
14 don't know if you were stepping away from the
15 microphone. But I'm not clear on the air sample
16 results that were reported for the sample prep lab
17 in 1990. Are those sample results reported for
18 that MPC-hour tracking that was done in 1986?

19 DR. NETON: No, no. The air samples
20 that were taken in 1990, I don't exactly remember
21 now what they -- I don't believe that they were the
22 MPC-hour tracking.

23 By 1990, they were switching over to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 5480.11 implementation. But honestly, I can't
2 tell you the nature of those samples off the top
3 of my head. If Tom Tomes is on the phone and he
4 knows, maybe he can chime in.

5 MR. TOMES: Yes. This is Tom Tomes.
6 We don't have any indication they were MPC-hour
7 tracking samples in 1990. But there was a -- we
8 have a table of results that they were looking for,
9 mostly thorium-230 was the isotope of concern.
10 But they were not MPC-hour tracking results.

11 MEMBER VALERIO: Thank you.

12 CHAIRMAN MELIUS: Thanks, Tom. Dr.
13 Ziemer?

14 MEMBER ZIEMER: I don't recall is you
15 mentioned this in the original document or not, but
16 in the Grand Junction case, unlike many other DOE
17 facilities or AEC facilities, the operations
18 office and the operational stuff seem to be sort
19 of combined. But there clearly are administrative
20 people on this site. Could you clarify the extent
21 to which people have access to all the facilities?

22 DR. NETON: Yeah. That's a good
23 point. I meant to include that in the presentation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't think it's mentioned in the ER. But much
2 like many other sites that we encounter, we're not
3 aware of any controls that would prohibit anyone
4 from entering these areas.

5 So we're not going to be able to exclude
6 anybody, you know, from the Class. It's going to
7 have to be all employees, just because we really
8 don't know who had access to which areas or when.
9 I meant to include that. Thank you.

10 CHAIRMAN MELIUS: Any other Board
11 Members on the phone have questions?

12 MEMBER LEMEN: None for Lemen.

13 CHAIRMAN MELIUS: I would just have one
14 comment to sort of follow-up to my earlier question
15 to Jim.

16 Given that we have not reviewed this
17 site at all, other than the original SEC, while I'm
18 comfortable with their SEC recommendation, I think
19 a little more due diligence on the follow-up period
20 would be helpful.

21 I don't have any specific doubts, but
22 I think there's enough uncertainty there that we
23 ought to pay some attention to that. I don't think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it would necessarily take a lot of effort, but it
2 should take some to make sure that, given some of
3 the questions that have been asked and given some
4 of the changes that have occurred at the site and
5 so forth.

6 But that's just my sort of personal
7 sense from when I looked at the report last week
8 and wrote the letters.

9 MEMBER ANDERSON: Kind of focusing on
10 the end point area.

11 CHAIRMAN MELIUS: The end point and
12 sort of the methods. Since we haven't done a Site
13 Profile review, or they haven't done a Site Profile
14 and we haven't done a Site Profile review, again,
15 not that it was necessary, but I think this is our
16 one opportunity to sort of review the site other
17 than the SEC.

18 MEMBER ANDERSON: I think we should
19 send it to the 6000 group. Paul, I think they --

20 CHAIRMAN MELIUS: I had actually
21 suggested a new Work Group. I wasn't going to
22 burden --

23 MEMBER ANDERSON: This is going to pile

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on Paul one more time, right?

2 CHAIRMAN MELIUS: He did kick me
3 several times when I started mentioning a further
4 Work Group review.

5 MEMBER BEACH: So, I agree with a Work
6 Group for this. But can we still do the tasking
7 today?

8 CHAIRMAN MELIUS: Yeah. No, I think
9 there's no reason we can't do that. But first,
10 let's go back to the -- my understanding, by the
11 way, there is a petitioner, I'm not sure they are
12 even -- they may or may not be on the line. But
13 my understanding is that they don't wish to comment
14 today. So, just that for the record. And Josh is
15 indicating that's correct.

16 PARTICIPANT: I wish to make at least
17 a brief comment.

18 CHAIRMAN MELIUS: No, sir. You're not
19 a petitioner on this site.

20 PARTICIPANT: Okay. Oh, not on this
21 site, I'm sorry.

22 CHAIRMAN MELIUS: Yeah. It's just the
23 petitioner on this site. Those are the rules.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm sorry.

2 So, having said that, do I hear a
3 suggested action from Board Members?

4 MEMBER ANDERSON: Sure. I would move
5 that we accept and then create a Work Group to --

6 CHAIRMAN MELIUS: Why don't we do them
7 separately?

8 MEMBER ANDERSON: Okay, fine.

9 CHAIRMAN MELIUS: Reading your intent,
10 one would be to recommend the SEC and the second
11 we'll move on and --

12 MEMBER ANDERSON: Yes, yes.

13 MEMBER BEACH: Jim, I'll second that.

14 CHAIRMAN MELIUS: Any further
15 discussion or comment? Then if not, go ahead, Ted.

16 MR. KATZ: Dr. Anderson?

17 MEMBER ANDERSON: Yes.

18 MR. KATZ: Ms. Beach?

19 MEMBER BEACH: Yes.

20 MR. KATZ: Mr. Clawson?

21 MEMBER CLAWSON: Yes.

22 MR. KATZ: Dr. Field, I believe, had to
23 leave. He hasn't returned, right? Dr. Field?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: I think he's up in an
2 airplane.

3 MR. KATZ: Okay, that's right. Dr.
4 Kotelchuck?

5 MEMBER KOTELCHUCK: Yes.

6 MR. KATZ: Dr. Lemen?

7 MEMBER LEMEN: Yes.

8 MR. KATZ: Dr. Lockey?

9 MEMBER LOCKEY: Yes.

10 MR. KATZ: Dr. Melius?

11 CHAIRMAN MELIUS: Yes.

12 MR. KATZ: Ms. Munn?

13 MEMBER MUNN: Yes.

14 MR. KATZ: Dr. Poston?

15 MEMBER POSTON: Yes.

16 MR. KATZ: Dr. Richardson?

17 MEMBER RICHARDSON? Yes.

18 MR. KATZ: Dr. Roessler?

19 MEMBER ROESSLER: Yes.

20 MR. KATZ: Mr. Schofield?

21 MEMBER SCHOFIELD: Yes.

22 MR. KATZ: Ms. Valerio?

23 MEMBER VALERIO: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: And Dr. Ziemer?

2 MEMBER ZIEMER: Yes.

3 MR. KATZ: And the motion passes, and
4 I'll collect a vote from Dr. Field post-meeting.

5 CHAIRMAN MELIUS: The second part of
6 that, Henry, if you want to continue with that now?

7 MEMBER ANDERSON: The second part was
8 to form a Work Group and task SC&A to review the
9 documentation that we have, specifically focusing
10 on, you know, the appropriateness of the end of this
11 period and the utility of the data and the
12 monitoring. Not a full-blown, but a careful look.

13 MEMBER BEACH: I'll second that.

14 CHAIRMAN MELIUS: We have a motion and
15 second. Any further discussion on that?

16 (No response.)

17 CHAIRMAN MELIUS: Okay. And then we
18 can do, yeah, just a -- we'll do a voice vote here.
19 So all in favor, say aye.

20 (Chorus of ayes.)

21 CHAIRMAN MELIUS: Opposed? Abstain?
22 Good.

23 MEMBER ANDERSON: And we do have SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 allocation time to work on this?

2 CHAIRMAN MELIUS: Yeah. No, we've
3 tasked them that as part of the motion.

4 MEMBER ANDERSON: Good, okay.

5 CHAIRMAN MELIUS: Okay, yeah, Work
6 Group and task SC&A. So Ted will follow-up with
7 SC&A and work that out.

8 In the meantime, I will send out an
9 email to all the Board Members asking for
10 volunteers for this Work Group, as well as the
11 others we've talked about earlier.

12 So I don't believe we have any more
13 Board work to do. I think we've completed our
14 Board work period.

15 MEMBER ANDERSON: We missed the letter
16 from yesterday --

17 CHAIRMAN MELIUS: Actually, we can do
18 the letter, I can do that. And then, yeah, let me
19 do that now. Let me first start with the Grand
20 Junction letter.

21 So, the Advisory Board on Radiation and
22 Worker Health, the Board, has evaluated Special
23 Exposure Cohort, SEC, Petition 000175, concerning

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 workers of the Grand Junction Facility site in
2 Grand Junction, Colorado, under the statutory
3 requirements established by the Energy Employees
4 Occupational Illness Compensation Program Act of
5 2000, incorporated into 42 CFR, Section 83.13.

6 The Board respectfully recommends that
7 SEC status be accorded to "all employees of the
8 Department of Energy, its predecessor agencies,
9 its contractors and subcontractors who worked at
10 the Grand Junction Facility site in Grand Junction,
11 Colorado, during the period from February 1st,
12 1975, through December 31st, 1985, for a number of
13 work days aggregating at least 250 work days
14 occurring either solely under this employment or
15 in combination with work days within the parameter
16 established for one or more other Classes of
17 employees included in the Special Exposure
18 Cohort."

19 This recommendation is based on the
20 following factors. Individuals employed at this
21 facility in Grand Junction, Colorado, during the
22 time period in question worked on research and
23 production for materials used in the production of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 nuclear weapons. The National Institute for
2 Occupational Safety and Health, NIOSH, review of
3 available monitoring data, as well as available
4 process and source term information for this
5 facility, found that NIOSH lacked the sufficient
6 information necessary to complete the individual
7 dose reconstructions with sufficient accuracy for
8 internal radiological exposures to thorium,
9 uranium and their progeny, to which these workers
10 may have been subjected during the time period in
11 question. The Board concurs with this
12 determination.

13 NIOSH determined that health may have
14 been endangered for employees at this facility
15 during the time period in question. The Board also
16 concurs with this determination.

17 Based on these considerations and
18 discussion at the March 25th and 26th, 2015, Board
19 meeting held in Richland, Washington, the Board
20 recommends that this Class be added to the SEC.

21 Enclosed is the documentation from the
22 Board meeting where this SEC Class was discussed.
23 Documentation includes copies of the petition, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NIOSH review thereof and related materials. If
2 any of these items are unavailable at this time,
3 they will follow shortly.

4 (Pause.)

5 CHAIRMAN MELIUS: While Ted passes
6 this out, I'll start reading it into the record.

7 The Advisory Board on Radiation and
8 Worker Health, the Board, has evaluated Special
9 Exposure Cohort, SEC Petition 000226, concerning
10 workers at the Hanford Site in Richland,
11 Washington, under the statutory requirements
12 established by the Energy Employees Occupational
13 Illness Compensation Program Act of 2000,
14 incorporated into the 42 CFR 83.13.

15 The Board respectfully recommends that
16 SEC status be accorded to "all employees of the
17 Department of Energy, contractors and
18 subcontractors (excluding employees of the Hanford
19 prime contractor during the specified time
20 periods: Battelle Memorial Institute, January 1st,
21 1984, through December 31st, 1990; Rockwell
22 Hanford Operations, January 1st, 1984, through
23 June 28th, 1987; Boeing Computer Services,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Richland, January 1, 1984, through June 28th, 1987;
2 UNC Nuclear Industries, January 1, 1984 through
3 June 28th, 1987; Westinghouse Hanford Company,
4 January 1st, 1984 through December 31st, 1990; and
5 Hanford Environmental Health Foundation, January
6 1st, 1984 through December 31st, 1990) who worked
7 at the Hanford site in Richland, Washington, during
8 the period from January 1st, 1984, through December
9 31st, 1990, for a number of work days aggregating
10 at least 250 work days either solely under this
11 employment or in combination with work days within
12 the parameters established for one or more other
13 Classes of employees included in the Special
14 Exposure Cohort."

15 This recommendation is based on the
16 following factors. Individuals employed at this
17 facility in Richland, Washington, during the time
18 period in question worked on research and
19 production for materials used in the production of
20 nuclear weapons.

21 The National Institute for
22 Occupational Safety and Health, NIOSH, review of
23 available monitoring data, as well as available

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 process and source term information for this
2 facility, found that NIOSH lacked the sufficient
3 information necessary to reconstruct internal
4 radiological exposures and thus unable to complete
5 individual dose reconstructions with sufficient
6 accuracy for the Class of employees as described
7 by the proposed Class Definition. The Board
8 concurs with this determination.

9 NIOSH determined that health may have
10 been endangered for the Class of employees as
11 described by the proposed Class Definition. The
12 Board also concurs with this determination.

13 Based on these considerations and
14 discussion at the March 25th and 26th, 2015, Board
15 meeting held in Richland, Washington, the Board
16 recommends that this Class be added to the SEC.

17 Enclosed is the documentation from the
18 Board meeting where this SEC Class was discussed.
19 The documentation includes copies of the petition,
20 the NIOSH review thereof and related materials.
21 If any of these items are unavailable at this time,
22 they will follow shortly.

23 And I would add, at the next Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meeting we're going to have a quiz on that Class
2 Definition to see if we can recall it from memory.
3 And we'll include the NIOSH staff in that.

4 (Laughter.)

5 CHAIRMAN MELIUS: I was very happy when
6 I was able to cut and paste that Definition.
7 Because I know if I had tried to type it out I would
8 have messed up.

9 So, I think we're all set with letters.
10 And I think that completes our work session items.
11 But we may have more later.

12 So we have another presentation at 1:30
13 on the Idaho site. And then we have our favorite
14 presentation of the day, of each meeting. LaVon
15 will give us the SEC update, status update, and so
16 forth. And then we'll see if we have other tasks
17 to do.

18 So we'll take a break now. We will
19 reconvene promptly at 1:30. We do expect to have
20 petitioners either on the line or here, I'm not sure
21 which. I think they're on the line for the Idaho
22 presentation.

23 So we would very much like to start

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 promptly. So make your post-lunch nap short.
2 We'll have Ted call everybody's room if you're not
3 here. Anyway, thank you. And we'll reconvene at
4 1:30.

5 (Whereupon, the above-entitled matter
6 went off the record at 11:41 a.m. and resumed at
7 1:35 p.m.)

8 MR. KATZ: Good afternoon. I was about
9 to get started again. Let me check on the line and
10 see which Board Members I have with us.

11 (Roll call.)

12 CHAIRMAN MELIUS: Okay. We will start
13 with our afternoon session. And we have two
14 presentations left. The first one will be on the
15 INL site. And as you all know, a long report. I've
16 been kidding with Tim a little bit about the length
17 of his presentation and so forth. But we will bear
18 with him for a reasonable amount of time. We've
19 worked that out and so forth.

20 And he told me he thought we weren't
21 kidding and we were going to put him on a timer.
22 But he checked through his PowerPoint before he got
23 up there, so we're all set.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, no, this is a very thorough report
2 and one we're going to be working with for a while.
3 And there are parts of it that are reserved in terms
4 of decisions and so forth. So it's something that
5 we'll be using.

6 And for those of you that did notice,
7 there are two sets of presentations. One was his
8 earlier planned one, and we're getting the slightly
9 abbreviated version, 35 less. But we appreciate
10 everybody's effort on this site. And go ahead,
11 Tim.

12 DR. TAULBEE: Thank you, Dr. Melius,
13 Members of the Board. The presentation today will
14 be the Idaho National Laboratory Special Exposure
15 Cohort Petition Evaluation Report.

16 And before I get started here, I want to
17 recognize my ORAU evaluation team. There were
18 four health physicists working with me on this.
19 The lead health physicist was Mitch Findley, Mike
20 Mahathy, Jason Davis, Brian Gleckler. And then we
21 had a large data capture support team: Bill
22 Connell, Jennifer Warner, Art Gutzman, Guy Babin
23 and Sally O'Neil.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We conducted five data captures on-site
2 from September through January of this year, as
3 well as one in the Seattle Federal Records Center.
4 So this was a very large effort. And the team did
5 a fantastic job with this report. And I just have
6 the privilege of presenting it to you today.

7 I'd also like to recognize the Department
8 of Energy, the Idaho National Laboratory site.
9 They did a phenomenal job of reviewing and clearing
10 our documents, documents that we would select
11 during data capture.

12 Since September, the data captures,
13 they've cleared somewhere between 80,000 to
14 100,000 pages of information that my team captured.
15 And they were able to get it to us so that we could
16 evaluate it and then present this report to you.

17 In particular, I'd like to thank Craig
18 Walker there at the site. He was the one who was
19 kind of feeding everything there. And so I really
20 want thank the site for that effort.

21 A little bit of an overview of this
22 petition. The petitioner is an authorized rep for
23 an energy employee. We received this petition

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 back in July of 2014. The petition qualified on
2 September 16th.

3 We sent a notification to both the
4 petitioner and the Advisory Board that we were
5 going to be exceeding 180 days due to site
6 complexity and the need for multiple data captures
7 at multiple locations, again, Seattle as well as
8 on-site.

9 We got the Evaluation Report here sent to
10 the Board about two weeks ago, on March 12th. And
11 then after the final ADC clearance was received
12 from DOE, we sent it to the petitioner just one week
13 ago. So this has been a really crunched timeline
14 in order to meet this particular schedule.

15 The preliminary Class that was proposed
16 by the petitioner was all employees who worked in
17 any area of the Idaho National Laboratory from
18 January 1st, 1949, through December 31st, 1970.

19 So the initial Class suggested by the
20 petitioner does not include the full site history.
21 It was just up through 1970. And the petitioner's
22 basis was that, to their knowledge, there was no
23 internal monitoring for plutonium, neptunium or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fission products.

2 And what we found when we were doing our
3 qualification process, there is a lot of fission
4 product bioassay for the site. But we did find
5 that there's very limited plutonium and neptunium
6 bioassay and monitoring. And so that was why we
7 qualified this particular petition.

8 The current dose reconstruction for
9 plutonium within the Site Profile and the TBD is
10 to use mixed fission product bioassay and apply a
11 ratio off of that, with the assumption that any
12 plutonium exposures would be associated with mixed
13 fission products, and so you could use this ratio
14 to bound what the plutonium exposures were.

15 What we found during the evaluation is
16 that's not necessarily the case. And so to jump
17 to the end here, what we're actually recommending
18 is a Class of workers for the Idaho National
19 Laboratory, in particular the Chemical Processing
20 Plant.

21 And so I'll read the first part of this
22 proposed Class Definition, then I'll explain why
23 or how we came to this conclusion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Our proposed Class Definition is: All
2 employees of the Department of Energy, its
3 predecessor agencies and their contractors and
4 subcontractors who worked at the Idaho National
5 Laboratory in Scoville, Idaho, and were monitored
6 for external radiation at the Idaho Chemical
7 Processing Plant.

8 And for an example, at least one film
9 badge or one TLD dosimeter from CPP between January
10 1st, 1963, and December 31st, 1974, for a number
11 of work days aggregating at least 250 work days.

12 So what you will immediately notice is our
13 initial evaluation period was '49 to '70, and we
14 are starting the proposed Class in 1963 and
15 extending past our initial evaluation period to
16 December of 1974. And hopefully it will become
17 clear as to why we did that by the end of the
18 presentation.

19 One of the first things that we learned,
20 much to our surprise, was how complex the Idaho
21 National Laboratory site is. The original
22 petition included both INL and ANL West. The
23 energy employee who worked the majority of his

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 career at Argonne National Laboratory West, in
2 particular the early 1960s through 1995.

3 So, what we found was that, due to the
4 covered facility Definitions, we actually had to
5 break this into two petitions, one for the Idaho
6 National Laboratory and then one for Argonne
7 National Laboratory West. And the reason was,
8 again, due to these covered facility Definitions.

9 In 2005, the two sites were combined. So
10 when you talk about Argonne National Laboratory
11 West, to the current people at the site, there is
12 no Argonne West. It's all one site, INL.

13 The petitioner was gracious enough to
14 submit a new petition for Argonne West so that we
15 could evaluate that one. We received that on
16 December 4th.

17 The petition, the slide, it needs to be
18 updated here that it's no longer in the
19 qualification process. It has qualified, and we
20 are beginning the evaluation. That was published
21 in the Federal Register this week.

22 And, in fact, next week the evaluation
23 team that I listed on that second slide there will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be headed back out to Idaho next week to begin the
2 evaluation of Argonne National Laboratory West.

3 The current evaluation for SEC 219 is just
4 the INL facilities. So, what am I referring to as
5 the Idaho National Laboratory facilities?

6 The boundary here, the black boundary, is the Idaho
7 National Laboratory as it is today.

8 But within this site, traditionally, this
9 little area here with EBR-II was considered Argonne
10 National Laboratory West. However, in 1949, that
11 area didn't exist. That site didn't come into
12 existence until around 1957. And so this area down
13 here was Argonne National Laboratory West back in
14 the early years of 1952, being EBR-I, and ZPR and
15 BORAX.

16 So what were actually evaluating in this
17 petition are these blue boxes. This would be Test
18 Area North, Test Reactor Area, CPP and
19 miscellaneous reactor areas, Central Facilities
20 and the burial grounds. So that's what this
21 Evaluation Report is covering, is these facilities
22 that we're considering Idaho National Laboratory.

23 This red dot here in the center is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Naval Reactor Facility, which is not even covered
2 under EEOICPA. So what you've got here is two
3 covered facilities and one facility that's not
4 covered, all within this boundary of Idaho National
5 Laboratory. So that was why we had to break this
6 out for the evaluation.

7 So the areas I'm going to talk about today
8 are the six main areas that I just showed you on
9 the map: the Chemical Processing Plant, Test
10 Reactor Area, Test Area North, miscellaneous
11 reactors, central facilities and burial grounds.

12 The bulk of the presentation I'm going to
13 focus on the central or Chemical Processing Plant
14 because that's where we're recommending a Class.
15 And so a lot of the slides that got cut, from the
16 75 slides down to the 47 that we're at today, is
17 due to other things within these other areas where
18 we're not recommending a Class.

19 So, the Chemical Processing Plant, it's
20 comprised of multiple buildings, but the main
21 processing buildings would be the enriched uranium
22 reprocessing facility, the analytical
23 laboratories, there was a fuel storage building

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where they received fuels from offsite before they
2 were dissolved down and the uranium extracted.
3 There's a remote analytical facility, there was a
4 solvent burning building, as well as the
5 calcination building.

6 Unlike Hanford and Savannah River, as far
7 as storing waste from the chemical separations
8 process, Idaho took that liquid waste and turned
9 it into calcine. So they went through that whole
10 process.

11 So I'm going to start here with the
12 reprocessing facility of 601. The top floor is --
13 this is a diagram starting with, actually, the
14 operating floor. It was a very modest building
15 that was a process makeup area where they would
16 store chemicals and add into some of the tanks.
17 The operations corridors where the workers
18 primarily worked as far as making sure fuels were
19 being dissolved as they were going through the
20 process and then manipulating different valves.

21 Around this outer ring from this first
22 floor is what's called the sampling corridor. And
23 this was where the operators, as well as physics

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 technicians and others, would go.

2 And there were sampling stations where
3 they could monitor for each of the cells what was
4 going on inside the tanks. They could extract
5 chemicals, they could extract what the solution
6 was. And they would send them to the analytical
7 laboratories for analysis.

8 Below that is the service corridor where
9 piping changes would be done to move materials
10 between cells if they needed to modify something.
11 And then the bottom was an access corridor.

12 All of these cells -- these are tall,
13 vertical cells, roughly three stories -- the access
14 to them was from the bottom. And that's where the
15 access corridor was. And I will get into that a
16 little bit more.

17 So, unlike the canyons of PUREX and
18 Savannah River, where nobody went back into the
19 canyons, they could go into these particular cells
20 and do modifications. It was designed to do
21 hands-on type of maintenance and reconfiguration,
22 if you will.

23 So, the picture down here at the bottom

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is a worker in the operating corridor. This
2 happens to be L cell. It was the only cell that
3 had a window to it. But you see a lot of valves
4 and changes, things that they could do from that
5 operating corridor. So that was the main job of
6 the operations people there.

7 The general process of extracting uranium
8 was to first dissolve the fuel. And then there was
9 a first stage separation where the mixed fission
10 products primarily went away. And you were left
11 with a solution of uranium, some mixed fission
12 products, plutonium, neptunium and other
13 transuranic radionuclides.

14 Generally, after the second and third
15 stages, the uranium was extracted. That was the
16 product. The product here was not plutonium at the
17 Chemical Processing Plant. It was just uranium,
18 enriched uranium.

19 So, generally, the raffinates then were
20 sent to the tank farms, recombined with the mixed
21 fission products. It would go to the calciner
22 then. And so from the Technical Basis Document
23 standpoint of our dose reconstruction method, using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the mixed fission products to estimate the
2 plutonium, it works quite well for the calciner and
3 for the general process that was going on here.

4 Where it didn't work well is for the one
5 campaign where they did separate plutonium and
6 neptunium. That took place from 1965 to 1972. And
7 so in talking a little bit about this campaign, in
8 this case that raffinate that normally went out to
9 the tanks was actually collected and stored in N
10 cell.

11 It took about six years to reach the
12 capacity that they had there of leftover capacity
13 within N cell. They could have built more, but they
14 actually wanted to just get rid of it. They weren't
15 accumulating a lot of it, and so it wasn't a big
16 product for the particular facility.

17 Through interviews with workers, some of
18 the activities that were conducted during that six
19 years was they would be sampling the neptunium and
20 plutonium out of the tanks. With every different
21 uranium-235 batch of fuel that was dissolved, they
22 would then go, after the campaign was done, resample
23 from those tanks and analyze what was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concentration change of the neptunium and the
2 plutonium.

3 They also did some chemical separations
4 work there in the analytical chemistry laboratories
5 in order to extract this. You've got people that
6 would be going into cells to do maintenance where
7 they would have this particular material.

8 And when they got ready to do the final
9 extraction, they did some of these experiments. And
10 we interviewed the chemist who did them. And he
11 indicated it was a short duration project to him.
12 You know, by 1972 they pretty much knew how to
13 extract plutonium from uranium. The question,
14 really, that he was trying to solve at the time was
15 more of, what's the most efficient way for CPP to
16 do that?

17 So, this campaign was conducted, the
18 actual extraction of plutonium and neptunium, was
19 conducted during a three week time period in June
20 of 1972. The solution was pumped between various
21 cells and eventually to the multi-curie cell where
22 it was loaded into L-10 bottles, about 140 liters,
23 so it took 14 bottles to fill up this solution of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neptunium, plutonium and uranium.

2 And what you can see here is the actual
3 recovery of neptunium was about five kilograms. To
4 put this into a little bit of perspective as to why
5 they only did this once and didn't do it more --

6 (Technical difficulties.)

7 DR. TAULBEE: Sorry about that. From
8 interviews with the workers when they did this
9 recovery, one worker actually did the actual
10 bottling of all of the solution, the 140 liters.
11 But there were a lot of observers, and health
12 physics was present, so it was kind of a big
13 production at the time.

14 So that was one of the potentials for
15 plutonium exposure there at CPP that didn't
16 accompany mixed fission products, that we ran into.
17 Another one that turns out to not be a significant
18 exposure potential but is worthy of mentioning here
19 was what was called the umpire qualification
20 program. And we ran into this by looking at
21 material transfers between different sites.

22 And the thing that really caught our eye
23 was 13 bird cages of plutonium being shipped from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Hanford to CPP. And we are, like, where is this
2 material going and what are they doing with it?

3 This was part of a round robin testing
4 qualification process where they were getting
5 differences between receiver and shipping
6 laboratories. So they awarded a contract to CPP in
7 May of 1965 to manage this program and qualify
8 laboratories.

9 And so CPP and K-25 prepared uranium
10 standards; Rocky Flats and Hanford prepared
11 plutonium standards. And they were all sent to
12 CPP. And they were sent out from there to different
13 laboratories.

14 And the exposure potential appears to be
15 minimal, because --

16 (Telephonic interference.)

17 MR. KATZ: Excuse me, Tim. Folks on the
18 line, someone has not muted their phone, at least
19 one person. Can you please mute your phone.
20 Someone on the line? Is that me, an echo?

21 (Off-microphone comments.)

22 DR. TAULBEE: All right. And so we
23 haven't uncovered any evidence that they analyzed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 any of the plutonium coming from Rocky Flats or from
2 Hanford. It was more of receiving these standards
3 and sending them out, is what this appears to be.

4 But, of note, there were several people
5 in that analytical laboratory that were monitored
6 for plutonium exposure during this particular time
7 period. Not many, but a few.

8 So, now, I hope I've established that
9 there is a potential for exposure to plutonium or
10 neptunium without associated mixed fission
11 products associated at CPP.

12 And so I want to talk a little bit about
13 what I'm going to call the degradation of
14 radiological control. And what we found from the
15 RAD surveys from the 1950s -- 1961 here as an example
16 -- they had good control of contamination there
17 within the processing building.

18 This particular slide here is showing,
19 the note here in the center is less than RCG for
20 beta, gamma and alpha, which would be less than the
21 radiation control guideline. And the guideline at
22 the time period was 20 dpm per 100 square
23 centimeters, which is the current standard in 10 CFR

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 835 today.

2 So they were controlling contamination
3 very well here in this time period, 1961 and
4 earlier. There will be time periods where they
5 would have a spill, and they would mop it up, clean
6 it up, and we would see, again, back to completely
7 clean areas.

8 And the reason that this was important is
9 that they were not doing routine bioassay for
10 plutonium, neptunium or any transuranics. They
11 were doing it based upon incident-based issues, to
12 where if an incident happened, then they would do
13 follow-up bioassays. So we do see some bioassay, but
14 it's really incident driven. And so they were
15 relying on identifying these incidents.

16 But by 1963, there doesn't appear to be
17 any severe contamination issues, but perhaps the
18 beginning of a slow degradation. And what you'll
19 see here is this small, little area here. And if
20 you zoom in on your slides, you'll see that that says
21 60 dpm alpha.

22 So now we're about three times what the
23 rad control guideline was, from 20 up to 60. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a note there that they suggest cleaning this. So,
2 again, there's times of it being clean and times
3 where it was contaminated.

4 By 1965, we're beginning to see a spread
5 of contamination in this access corridor. And what
6 you'll see here now is, instead of a small area,
7 you've got this whole area between the cells. And
8 the alpha level down here is now 80 to almost 2,000
9 dpm per 100 square centimeters.

10 So now you're looking at four to 100 times
11 that rad control guideline that was going on. And
12 so this is just, you know, a short four years after
13 that previous time period when everything was
14 clean.

15 When you jump to 1970, you'll notice the
16 whole area is contaminated. That SC means shoe
17 cover area. The only area here in the entire
18 corridor that was less than RCG is this small,
19 little area off to the right. That's the only clean
20 area within that entire corridor.

21 So we see this continual spread of
22 contamination and it getting worse over time. So
23 there was a slow degradation of the radiological

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 control.

2 And like I said, in general, if you've got
3 good control and you're using incident-based
4 bioassay that Jim was talking about earlier, you can
5 identify the incidents, and you can do appropriate
6 follow-up.

7 What ends up happening is, as you get
8 continuous contamination, if you don't have a
9 routine bioassay you lose the ability to identify
10 those incidents and do proper follow-up bioassay.

11 And so what they've got is this noise
12 level coming up of contamination control, and they
13 didn't institute a routine bioassay monitoring
14 program.

15 What this led to was, in November of 1972,
16 there was a plutonium intake in the analytical
17 laboratories. Contamination was found, rather
18 severe contamination levels. And so they did
19 follow-up bioassay among the workers. And those
20 that were positive, they did further analysis to do
21 isotopic to figure out the plutonium-238 to -239.

22 And what they found was one of the workers
23 had a different ratio than the other workers. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it didn't match the material that was actually there
2 in the lab that was available for intake that the
3 other workers inhaled. And so they started doing
4 an investigation of what caused this. Where did
5 this worker work? What caused this intake?

6 And they narrowed it down to that they
7 concluded the intake occurred six months
8 previously, in May of '72, in another part of the
9 plant, in the X cell, during a cleanup activity that
10 was going on in one of those other cells in another
11 part of the building area.

12 So we went back and we looked at the survey
13 logs in X cell in that time period. And what we
14 found was the cell was severely contaminated with
15 alpha, and it was cleaned up. "Cleaned up," I say,
16 because after mopping there was still a few thousand
17 dpm of alpha in the cell. So the background levels
18 were so high there, they couldn't identify that an
19 incident occurred and a worker was actually
20 exposed.

21 And I am not doing that.

22 (Telephonic interference.)

23 DR. TAULBEE: Okay. So the site took

1 this particular incident rather seriously, that
2 they knew they had a problem. They hired in some
3 health physicists to come back and help them conduct
4 the evaluation and see what they could do to improve
5 radiation control in that area. Stu?

6 (Technical difficulties.)

7 DR. TAULBEE: Okay. So the site hired
8 health physicists back, and then they began to
9 evaluate and propose upgrades of the radiation
10 contamination control for CPP.

11 This particular committee issued a report
12 in October of 1974. So if you think about the
13 timeline there, that incident happened in November
14 of '72. By the time they got the analysis, you're
15 looking at 1973.

16 (Technical difficulties.)

17 DR. TAULBEE: So this particular
18 committee went through and evaluated the program at
19 CPP and was to make recommendations to management
20 to improve their radiation safety. And so I want
21 to read a couple of the excerpts that were in that
22 report from 1974.

23 One of them was the access corridor is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contaminated routinely to several thousand dpm per
2 100 square centimeters. That I showed you from the
3 radiation surveys.

4 They also indicate here at the bottom,
5 significant levels, greater than ten to the fifth
6 dpm per 100 square centimeters of plutonium
7 contamination, have been identified recently in a
8 number of cells.

9 And so they begin to recognize that they
10 have a plutonium contamination issue here that they
11 didn't know about previously, that was getting out
12 of control, effectively.

13 Other issues were that they were working
14 with higher levels of radioactivity on open bench
15 tops and in hoods where they should have been using
16 more glove boxes, at least compared to other
17 facilities.

18 And then, finally, they indicated that
19 bioassay samples, both fecal and urine, are
20 collected and analyzed presently only when an
21 exposure incident is suspected.

22 So a routine bioassay program hadn't been
23 instituted yet. They were still on that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incident-based monitoring. This is why they
2 missed that one particular exposure, at least one
3 anyway.

4 They were adding a routine bioassay
5 program. It was being developed. And a draft of
6 that program was being submitted to management at
7 this time, in October of 1974.

8 So our Class recommendation for January
9 of '63 through December of 1974 is because there is
10 known alpha contamination in the analytical
11 laboratories, the processing cells, that access
12 corridor in the 1963 time period with very few
13 workers being monitored for plutonium exposure,
14 plutonium and transuranics. There is a potential
15 for routine exposure to these transuranics during
16 that campaign that was going on from '65 to '72 where
17 workers would be pulling samples from those sample
18 blisters around in the corridor.

19 You have, at the same time, this
20 degradation of the radiation control program where
21 before areas were clean and now they can't identify
22 these particular incidents.

23 So the potential for exposure continued

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 past 1970. When we identified this particular
2 deficiency, we started looking for what's a logical
3 end date for now, at this standpoint. And so we
4 went through the end of 1974, based upon the review
5 committee's published report. Because prior to
6 that, there didn't seem to be any recognition by
7 management that they had a major issue that they
8 were going to be dealing with. After that
9 particular report, things began to change.

10 And so what we will do is, from our
11 standpoint, we're very confident that nothing began
12 to change before the end of 1974. That's why we've
13 designated the Class now from January 1963 through
14 December of 1974, with the intent of looking at the
15 years beyond that and potentially expanding the
16 Class through the 83.14 process.

17 But since we've identified this
18 discrepancy or this issue in feasibility, we didn't
19 want to hold up any potential claims while we tried
20 to figure out a real end date for this potential
21 exposure.

22 Somewhere between 1974 and the 1980s,
23 operations began to improve. Now, whether that was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 '75, '76, '78, '80, '85, I don't know. We only were
2 evaluating through 1970, and then we were looking
3 for a reasonable cut off for the Class to evaluate
4 further under 83.14.

5 Some of the things that changed that will
6 make this process more difficult, not as
7 straightforward, is that after 1974 we begin to see
8 more routine bioassay.

9 At the same time, there is significant
10 effort to decontaminate facilities. And we heard
11 about that through the interviews that were
12 conducted this past summer and in November where
13 there was concentrated efforts to clean up the
14 buildings and get the contamination back under
15 control.

16 So it's not going to be a very quick, very
17 easy evaluation to find a good end date for this.
18 So, that covers the Chemical Processing Plants.
19 Now, briefly I'm to try and go through the remainder
20 of the areas.

21 Test Reactor Area, you've got three main
22 reactors: the material test reactor, engineering
23 test reactor and advanced test reactor. They

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 operated from May of '52 through present. ATR is
2 still running. But the main goal of these, they
3 were all materials test reactors that were
4 operating at various power levels of increasing
5 intensity so that you have a higher neutron flux
6 amongst those reactors.

7 Other facilities within the Test Reactor
8 Area that were of significant was the neutron
9 chopper. And for nuclear engineers, this is where
10 a lot of the neutron cross-section data came from.
11 There was a beam coming off of the side of MTR where
12 they would do cross-sectional measurements for
13 reactions.

14 There's a gamma spectroscopy laboratory
15 that was operating next to MTR. And those of us who
16 are health physicists, in all of our initial
17 radiation measurements laboratories, we went
18 through looking at spectroscopy. We all used
19 Heath's simulation spectroscopy catalogue.
20 That's where it was developed, right next to MTR.

21 And then you've got chemistry labs that
22 did some exotic radionuclides. There was a gamma
23 building for cobalt-60 irradiations. And they had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an alpha hot cell which is a cave. And that started
2 operating around 1960.

3 Over 200 exotic radionuclides have been
4 identified as being produced at MTR and ETR.
5 Pretty much anything that they could irradiate,
6 they irradiated. The vast majority are beta-gamma
7 emitters. There were some actinides produced and
8 were separated in the alpha laboratories.

9 This particular picture is a chemist
10 that's working there at the alpha cave. You can see
11 its remote manipulator arms. It's not a hands-on
12 through a glove box type of operation.

13 So our recommendation for TRA is that
14 there's minimal potential for internal alpha
15 exposure. There were a few workers exposed to
16 alpha materials. However, we do have plutonium and
17 other actinide bioassay available for these few
18 workers that we've identified.

19 So from the reports and other survey
20 records, we know some of the chemists that were
21 working in there. We went and pulled their
22 records, looked at it, and we see some plutonium and
23 other actinide bioassay. So we feel those workers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are covered, and we could reconstruct their dose.

2 Internal exposures throughout the Test
3 Reactor Area were generally controlled through
4 smear surveys and continuous air sampling. The air
5 samples accounted for both alpha and beta. And so
6 they were trying to keep tight control and keep
7 alpha at bay, such that they didn't see any alpha
8 contamination at all throughout the facility.

9 There were times when there were
10 incidents that did occur from that standpoint, and
11 there was significant bioassay follow-up
12 associated with those incidents.

13 Mixed fission products, we believe, can
14 be reconstructed. However, a coworker model is
15 needed for the post-1967 time period. And let me
16 try and explain why we believe this.

17 If you look at the whole body counting
18 procedure in 1963, and this is very difficult to
19 see, and I apologize for that, but it's an exposure
20 potential based sampling scheme.

21 And so what you'll see is welders, and
22 fitters, as well as operators, in the far left
23 column, are monitored four times a year for whole

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 body count in 1963, whereas machinists in the
2 machine shop were only monitored once per year.
3 And clerks and secretaries were not monitored at
4 all.

5 So it's clearly those that had a higher
6 potential for exposure were being monitored from
7 the whole body count standpoint up until 1967. At
8 that point, it changed. The sampling methodology
9 went from exposure-based potential to one quarter
10 of the workforce per year.

11 So if a supervisor was to take his number
12 of workers, select one fourth of them, send them for
13 a whole body counting, the next year a different
14 quarter, with the goal of a complete monitoring over
15 a four year period for mixed fission products.

16 So this is why we need a coworker model,
17 because if you have somebody that comes in and only
18 works two years, and they weren't monitored at any
19 time during that, we've got to rely upon the
20 coworker model test to make their dose.

21 They were more using this to make sure
22 that they were below the maximum permissible type
23 of limits at the time, is what they were doing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So now I'll jump up to Test Area North
2 which is about 30 miles north of the rest of the
3 facility. And just as a little bit of a reference
4 here, actually Mound and Fernald are about the same
5 distance as Test Area North and the burial grounds
6 here. So these facilities are really not that
7 close together.

8 MR. KATZ: Excuse me. Someone's
9 listening, but they're not, they haven't muted
10 their phone. If you press *6, that'll mute your
11 phone.

12 (Off microphone discussion.)

13 MR. KATZ: Well, there is no comment
14 right now. So please, whoever is on the phone and
15 is talking, mute your phone. Press *6. Thank you.

16 DR. TAULBEE: Okay. With Test Area
17 North, you've got the initial engine tests which
18 were run by GE. These are the aircraft nuclear
19 engines that were tested there in the 1950s.
20 You've got Test Area North hot shop. You've got an
21 actuator building, a low power test facility and a
22 shield test facility.

23 The pictures here, the one to the right

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is actually the initial engine test. The reactor
2 was pulled into that building, hooked up to the
3 exhaust so that the exhaust came out a stack.

4 The workers were actually shielded here
5 inside a bunker. And the lower picture is the
6 workers looking through a periscope to look at the
7 operations that were going on. So the reactor
8 wasn't shielded, the workers were shielded, kind of
9 the inverse of what you typically see at a reactor.

10 The left hand picture happens to be the
11 Test Area North hot shop where they could roll these
12 large aircraft engines in and do maintenance on them
13 remotely.

14 The key with Test Area North is that
15 fission products and actinides were not separated,
16 and they always appear to be together from the
17 radiological standpoint. So that methodology in
18 the TBD should be applicable for this particular
19 area because of the ratio.

20 And to illustrate this Test Area North hot
21 cell, this is a survey of it. And if you look at
22 some of these survey results, if you can zoom in,
23 and looking at them, what you'll see is, like, 14

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 counts per minute alpha and 800 counts per minute
2 beta. So you can definitely see that these two are
3 tied together from an exposure standpoint.

4 So the one exception here appears to be
5 the actuator building which was built in 1956 for
6 testing prototype control mechanisms. So think of
7 a building to just simply test control rod drives
8 going in and out of the aircraft engine.

9 Sometime after 1961, it was renamed to the
10 Test Area North Fuel Handling Facility. So between
11 '61 and '63, they handled some fuel in that
12 particular building. Because by '63, during the
13 turnover from GE to Phillips Petroleum, it was found
14 to be contaminated with uranium.

15 And so we're reserving judgement on this
16 facility, because here we have an alpha exposure of
17 uranium not associated with mixed fission products.
18 And we don't really understand the full range of
19 this exposure or when this facility was
20 decontaminated and returned to clean.

21 We do know in later years that this
22 building was not contaminated with alpha. So this
23 is why we're reserving the judgement on this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particular area.

2 So our recommendation for Test Area North
3 is no appreciable exposure to actinides without
4 mixed fission products. The actinide exposures
5 can be bounded using the ratio methodology.

6 But given the decrease in urinalysis and
7 whole body counting from '67 to 1970 and beyond, by
8 the way, until they got more of a routine program
9 reestablished, we recommend the development of a
10 coworker model to estimate the mixed fission
11 product doses to these workers.

12 We'll prepare an addendum to the
13 Evaluation Report for the actuator building from
14 the '61 through '70 time period once we can get to
15 evaluate that further.

16 Miscellaneous reactor areas, this will be
17 the special power excursion reactor tests,
18 auxiliary reactor area, which consisted of ARA-1
19 hot cell. There was not a reactor there. It was
20 just a hot cell.

21 ARA-2 is stationery low power where SL-1,
22 as most people have heard about, it operated from
23 '58 until January of 1961 when it had a catastrophic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 accident. ARA-3 was the gas cooled reactor
2 experiment. ARA-4 is mobile low power unit, ML-1.
3 And then you have the organic moderated reactor
4 experiment which was the predecessor to the Piqua
5 Reactor.

6 Special power excursion tests were to
7 investigate the safety of water cooled reactors.
8 What's important here is that you have a central
9 control point in the center here. And then you've
10 got SPERT-1, 2, 3, 4, all about a half mile or so
11 away from where the central control room was.

12 Personnel were evacuated from each of the
13 areas during the operation. There were continuous
14 air monitors on the facility exhaust for each of
15 these. And health physics was involved during the
16 re-entry during this.

17 So we believe dose reconstruction in
18 SPERT is feasible since the exposure is limited to
19 mixed fission products, and the workers were
20 monitored. ARA-2 through 4, dose reconstruction
21 we think is feasible because, again, it is limited
22 to mixed fission products. OMRE is the same thing.

23 ARA-1 is the exception here. Dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconstruction is feasible with the possible
2 exception of 1968 for protactinium-233 work, and
3 we're reserving it.

4 And the issue here is we ran into some
5 facility modifications that were taking place in
6 1968 to handle thorium fuels coming in and being
7 dissolved down to extract the protactinium-233.

8 And so we feel we need to evaluate that
9 one further because of what was done with the
10 thorium, the waste, as well as the protactinium-233
11 at that hot cell. We need to investigate further.

12 Central facilities, the main potential
13 for exposure there would be the laundry. The
14 clothing coming in from all the facilities was
15 segregated by type and contamination level. It was
16 cleaned, and dried and monitored again, and each
17 type of clothing had a permissible contamination
18 level.

19 Any item over the limit was re-washed.
20 If it still wasn't clean, they would let it decay
21 for 30 to 90 days. If it's still not good, they'd
22 send it back to the site for disposal.

23 The laundry had a radiation detector over

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the receiving room door and a CAM in the working
2 area. So any large, highly radioactive clothing
3 coming in would be caught by this radiation detector
4 over the door. And if either of those two alarms
5 sounded, the room was evacuated.

6 And let me wrap up here with the burial
7 ground. And for those who've been out to Idaho
8 National Laboratory and have been to the
9 Radioactive Waste Management Complex, the burial
10 ground in the first 20 years is nothing like what
11 it is today, absolutely nothing.

12 The initial burials were dig a trench, put
13 waste in it, cover the trench up, dig another
14 trench, put waste in it, cover the trench up. So
15 that was the general process.

16 And the same thing with the Rocky Flats
17 waste. The waste would come in, they would stack
18 the barrels or, in this case in 1957, the first bulk
19 items were arrived in large glove boxes. They were
20 put into pits. And then once the pits were full,
21 they were covered up.

22 In 1958, the drums were actually stacked
23 by hand, and we've got photographs. If you look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 through the extended slide version, you'll see
2 that, where they're actually rolling them out and
3 stacking them.

4 In 1961, they're using a crane to move
5 them around and stack them neatly. 1963 they
6 decided to stop stacking them and start dumping
7 them. And so their method of dumping was a land and
8 sea container that you back up to a pit, grab a hold
9 of the front of it with a crane and dump it out the
10 back into a pit.

11 So that continued on through 1968 and
12 1969. But at the end of 1969 was the first
13 retrieval of plutonium drums from Rocky Flats. And
14 so things began to change at that point. And I'll
15 get to that more here in a minute.

16 The last burial there of 1970 burial PU
17 waste was discontinued. That just means they
18 weren't putting it into the ground. They were
19 still receiving it and putting it on storage pads.

20 At the burial ground in these early years,
21 there was restricted access. There was a locked
22 gate that people could not go in through without
23 health physics accompanying them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There's a 1959 memo that indicates
2 workers were required to wear a film badge going
3 into the area issued out of Central Facilities.
4 They had to wear anti-contamination clothing, and
5 they had to work under a safe work permit.

6 Workers were monitored by health physics
7 before leaving. And health physics was always
8 present during these dumpings. Air sampling
9 during the drum dumping was conducted during the
10 dumping of Rocky Flats waste. And then there were
11 radiological surveys of the burial ground.

12 So I want to go back to this drum retrieval
13 in 1969 to explain a little bit of why we're
14 reserving this particular operation. And what you
15 can see here from this photo is there are no
16 buildings here at this time period.

17 The first buildings for RWMC were built
18 in the early 1970s. So this was really an open
19 field that was covered up with dirt. But here they
20 went to extract some drums. So they had to dig
21 down, and then people get down in the holes in order
22 to pull them out. That's a very different exposure
23 potential compared to the previous operations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so now you're extracting drums that
2 have been rusting for 15 years or likely breached
3 in some cases. You've got contaminated dirt.
4 This is very different than taking a drum off of a
5 truck that's been surveyed and cleaned on the
6 outside and stacking it. And so as a result, we
7 feel we need to evaluate this potential exposure
8 further.

9 In the 1970s, the first buildings were
10 erected, and then you begin to have continuous
11 worker presence there at the Radioactive Waste
12 Management Complex or the burial ground.

13 So we do believe doses can be
14 reconstructed in the period '53 through '68. We're
15 uncertain about the '69 drum retrieval and forward
16 from that standpoint.

17 We will prepare an addendum to the
18 Evaluation Report when we get into looking more
19 closely at these exposures in '69 and '70. And if
20 we end up recommending a Class during the addendum,
21 then we will certainly evaluate further the
22 post-1970 years and may expand the Class through an
23 83.14 type of process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So this is the summary of the feasibility.
2 The dark green here is the areas where we feel we
3 can reconstruct doses. The light green off the
4 right, '67 to '70, is all governed based upon that
5 coworker modeling and that decreased sampling from
6 exposure potential to one quarter of the work force.

7 The red is CPP, where we're recommending
8 a Class. The yellow here is that actuator building
9 in Test Area North with the uranium fuel handling
10 that was going on. ARA, that one block of yellow,
11 is that protactinium work. And then the burial
12 grounds are '69 and '70.

13 This is just another version of that same
14 feasibility summary in the form that you all are
15 familiar with.

16 And so for SEC Petition 219, we do feel
17 that some workers of the Class may have accumulated
18 chronic exposure through intakes of radionuclides
19 at CPP.

20 Therefore we're specifying that their
21 health may have been endangered, and those workers
22 monitored at CPP who were employed at least a number
23 of work days aggregating 250 should be included in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Class.

2 What about employees that worked at CPP
3 and not included in the SEC? There is some
4 plutonium bioassay for some of the lab workers but
5 not many of them, just a handful. If we have
6 bioassay data, we will use that data to try and
7 reconstruct their doses if they have a non-SEC
8 cancer.

9 And again, our proposed Class is all
10 employees of the Department of Energy, its
11 predecessors agencies, and their contractors and
12 subcontractors who worked at Idaho National
13 Laboratory in Scoville, Idaho, and were monitored
14 for external radiation at the Idaho Chemical
15 Processing Plant, CPP.

16 As an example, at least one film badge or
17 TLD dosimeter from CPP between January 1, 1963 and
18 December 31st, 1974 for a number of work days
19 aggregating at least 250 work days occurring either
20 solely under this employment or in combination with
21 work days within the parameters established for one
22 or other Classes of employees in the SEC.

23 And with that, thank you very much. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'll be happy to answer any questions.

2 CHAIRMAN MELIUS: All right. Despite
3 our best attempts, that made it through, so good.
4 Thank you, Tim. You bet.

5 So next up we'll have questions from the
6 Board Members. And then we will give, I believe,
7 the opportunity for the, I believe the petitioners
8 on the line would like to make some comments. But
9 first we need to hear any questions from the Board.
10 And Paul, you're up first.

11 MEMBER ZIEMER: The requirement of one
12 external dosimeter is a little unusual. Why do we
13 require any external monitoring?

14 DR. TAULBEE: Idaho National Laboratory
15 is unique from the rest of the DOE complex, in that
16 to go into any of the areas you had to monitored.
17 You had to wear a dosimeter. And so it was governed
18 to the standpoint to where if you worked at CPP and
19 you went down to the Test Reactor Area, when you left
20 CPP you left your badge there. And you got a new
21 badge down at TRA.

22 And then if you went from there up to Test
23 Area North, you got another badge. And so we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this issue of multiple badging in the same cycle,
2 if you will. So from the missed dose standpoint
3 when we do dose reconstruction, we see multiple
4 dosimeters for a single person that did bounce
5 around.

6 You couldn't have done work, especially
7 in those cells or the analytical laboratories
8 without being monitored. Because you couldn't get
9 in through the gate.

10 The reason that we require one badge is
11 that, in the 1960s when they switched to TLDs, some
12 workers, secretaries in particular, didn't wear
13 monitors with a dosimeter, but they were on an
14 annual exchange frequency.

15 So they could have one dosimeter and have
16 been in that area for the entire year. There wasn't
17 anything to really restrict them from going into CPP
18 or into the processing building, 601. And so that
19 is why we have this unique language.

20 MEMBER ZIEMER: Well, could I follow-up
21 on that? And I sort of understand the rationale,
22 because basically it confirms that they worked
23 there in a sense.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Yes.

2 MEMBER ZIEMER: Would there never have
3 been anyone that worked there that was given, for
4 example, only a pocket dosimeter?

5 DR. TAULBEE: No. From our interviews
6 with workers, it's the one thing that's been very
7 consistent, is that every worker going into the area
8 said that they were monitored by wearing a film
9 badge dosimeter.

10 CHAIRMAN MELIUS: And how many
11 interviews was that?

12 DR. TAULBEE: About 60.

13 MEMBER SCHOFIELD: And how many
14 thousand?

15 CHAIRMAN MELIUS: Please, if you hold
16 your comments, you'll have a chance in a little
17 while. Oh, I didn't recognize the voice, Phil.
18 Other Board Members here? Yes, Dave?

19 MEMBER KOTELCHUCK: Dave Kotelchuck.
20 Might it be, you gave convincing evidence why one
21 film badge or dosimeter was absolutely required.
22 Might it not be safe to say that you have to have
23 at least one a TLD or otherwise be able to establish

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the presence in the plant? That's really all that
2 it's there for, is to establish that they're in the
3 plant.

4 Now, that would of course be a violation
5 of the rules and should not have happened. But that
6 doesn't mean it didn't happen.

7 And that allows, if the person can
8 establish, somehow, through records, that they were
9 in there, they would be compensated. Because they
10 satisfy the criterion that they worked in the plant
11 for 250 days. Might it not be wise to do that?

12 DR. TAULBEE: I don't disagree with that,
13 from that standpoint. You do run into one
14 particular issue. And that was when they were
15 doing some additional buildings that were not part
16 of that reprocessing facility, they would actually
17 move the fence line in and put dosimeters on the
18 outside of that fence line, so the construction
19 would not have been monitored.

20 But those construction workers, if they
21 went inside the fence, would have to be monitored.

22 So you could have some people that were
23 established at CPP doing this new construction work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that were not going into the process cells or not
2 going down into that access corridor and not in
3 these analytical laboratories. So they wouldn't
4 have been exposed.

5 So that's the only downside I can see with
6 your particular recommendation there. But it is
7 another way that this could be done, I think. I
8 don't think it would be that difficult.

9 With the particular dosimetry reports,
10 they were issued by area. So in talking with the
11 Department of Energy and the Department of Labor,
12 this seems to be the easiest way for us to identify
13 workers, is to look at these area dosimetry reports
14 which will have construction trades on there,
15 Kaiser, as well as Fluor, and the operating
16 contractors and then other visitors coming in as
17 well. But even visitors though would have to
18 establish 250 days of employment type of scenario.

19 So really the best way, in our opinion,
20 was to use these dosimeters, to use that gatekeeping
21 that was done by the health physics, actually the
22 security guards were the ones who were checking it.

23 Health physics wasn't continuously there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the gate. But if somebody needed to go in,
2 didn't have a dosimeter on the board, then they had
3 to go get a dosimeter.

4 CHAIRMAN MELIUS: Henry?

5 MEMBER ANDERSON: I mean, I can see that
6 everybody had to have it. Have you done some
7 quality control to see, yes, they have it? Were
8 they all measured? I mean, there's not a single
9 page of records that was lost? Or, you know, was
10 there a log of people going in and out? I mean, how
11 certain are you that they got a badge and every badge
12 that was assigned actually was recorded and read?

13 DR. TAULBEE: We asked the Department of
14 Energy, their records, as to how complete they were.
15 And they believe they're complete all the way
16 through. There's 3,000 pages of these dosimeter
17 records, and there's about 25 people per page on
18 this. So this is about 80,000 dosimeter readings
19 during this particular time period. So we feel
20 it's pretty complete.

21 MEMBER ANDERSON: But pretty complete
22 isn't enough. I mean, if you have any --

23 DR. TAULBEE: I do not have any sense that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is not complete, let me put it that way.

2 CHAIRMAN MELIUS: But you've not done any
3 evaluation of that. That's the question.

4 DR. TAULBEE: No, we have not.

5 MEMBER ANDERSON: I mean, from that
6 standpoint --

7 (Simultaneous speaking.)

8 CHAIRMAN MELIUS: I mean, I think you
9 understand our --

10 DR. TAULBEE: We asked the Department of
11 Energy if all visitors were included on those
12 reports, and the answer was yes.

13 CHAIRMAN MELIUS: But that's a
14 statement, not any sort of evaluation of that.
15 And, you know, I think you understand why we're
16 skeptical. We've, you know, revised previous SEC
17 Class Definitions, we went through what we did at
18 Savannah River where we had pretty good evidence,
19 at least on the construction workers, that they
20 didn't fit the Definition there. Because records
21 were incomplete. And we will --

22 DR. TAULBEE: I would --

23 CHAIRMAN MELIUS: Let me finish, Tim.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we will, you know, we are extremely skeptical,
2 at least I am, of any statement that's based on what
3 was policy without very much evidence that that is.

4 And it is, you know, difficult to prove
5 that records are perfect. But I think some
6 evaluation of that would be much more convincing
7 than just a policy, given our experience at many
8 other DOE sites.

9 And maybe this site was different. And
10 I hope it is, for the sake of the workers and others
11 involved. But at the same time, we want to be
12 careful on that.

13 DR. TAULBEE: I understand. I would
14 like to just clarify one particular point. You
15 brought up Savannah River for example. We have
16 since gone back and looked at some that.

17 And during the time period where you have
18 these electronic records and we issued a report
19 about this, that we did not see any of the
20 discrepancies in the post-1960 time period there at
21 Savannah River.

22 All of the issues that were identified by
23 SC&A were prior to 1960. And so this Class that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we're recommending is in the mid-1960s through '72
2 where you've got better record-keeping than what
3 was conducted that caused some of the issues there
4 at Savannah River.

5 So it's just a clarification. But I
6 understand your hesitance, and I understand the
7 need to do this type of verification. And we're
8 certainly willing to do so.

9 CHAIRMAN MELIUS: Henry, then Wanda.

10 MEMBER ANDERSON: Follow-up. I mean,
11 another way to look at this would be how many of the
12 claims that people filed said, you know, a
13 determination was made, well, you didn't work there
14 because you didn't have a badge.

15 I mean, do we know that all of, I mean,
16 the practical reality, yes, you could have missed
17 some. But if a person didn't develop disease and
18 file a claim, you know, then it, kind of, no harm
19 done sort of.

20 I shouldn't say no harm done, but no
21 claim. So do you have any sense of any of those that
22 have applied where they, you know, denied or told
23 no because they wouldn't have met this criteria?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: I do not have a sense from
2 that standpoint as to how many of those would be --

3 MEMBER RICHARDSON: This is David
4 Richardson. Can I follow-up on that?

5 DR. TAULBEE: Sure.

6 CHAIRMAN MELIUS: Yes, go ahead, Dave.

7 MEMBER RICHARDSON: In Table 42 in the
8 report which has 1,000 claims that match the
9 Definition of the Class that was being evaluated,
10 of which approximately 71 percent of the claims had
11 external dosimetry records obtained for the years
12 in the evaluated Class Definition, I mean, it's not
13 directly addressing Henry's point.

14 But it seems to me this appears, because
15 there aren't complete dosimetry records for all the
16 people who were filing claims, certainly.

17 DR. TAULBEE: Well, I guess I would
18 disagree a little bit there, David, in that you've
19 got the Central Facilities area which had a large
20 number of people that were not required to be
21 monitored. So you have all of your maintenance
22 shops and that type of operation going on at Central
23 Facilities.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 What we're talking about is going into CPP
2 and that those people from, like I said, all of the
3 interviews we've conducted, they were required to
4 be monitored.

5 So how to tease out that 30 percent that
6 Dr. Richardson was just pointing from whether they
7 should have been monitored or not is not trivial.

8 CHAIRMAN MELIUS: Wanda, then David,
9 David Kotelchuck, I should --

10 MEMBER MUNN: I hesitate to ask this
11 question, because I don't know the answer to it.
12 And I've been told you should never ask a question
13 unless you know the answer.

14 CHAIRMAN MELIUS: That's lawyers.

15 MEMBER MUNN: Yes. I should have gone to
16 law school, right? The question that I have is
17 whether we have any indication from any source other
18 than this Board that there might be people who are
19 being overlooked in this way?

20 DR. TAULBEE: I do not have any
21 indication other than the discussions here. But
22 the discussions here make sense in our experience
23 at other sites. And it is potentially something we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should look at from that standpoint.

2 But please keep in mind that Idaho was
3 different from the rest of the sites, that they had
4 multiple badging for each area that you went into.
5 Other sites you would wear your dosimeter into a
6 different area. Here it was a different badge
7 there at CPP.

8 CHAIRMAN MELIUS: We're not saying it's
9 impossible. We're just saying --

10 MEMBER MUNN: And one comment having
11 absolutely nothing to do with this, thank you so
12 much for the completeness of your presentation and
13 especially for the horizontal colored bar graph
14 which finally made sense to me about where things
15 were and which people were monitored and which were
16 not. That was most helpful. Thank you.

17 DR. TAULBEE: You're welcome.

18 CHAIRMAN MELIUS: David Kotelchuck, I
19 think you're next.

20 MEMBER KOTELCHUCK: Yes. My thought was
21 if we simply -- I was going to suggest that, I was
22 initially going to suggest that we simply delete,
23 e.g., at least one film badge or TLD dosimeter.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Because that's an operational thing for us to
2 decide.

3 That doesn't have to be in the wording of
4 who was in the Class. But the problem is it does
5 say they have to be monitored for external
6 radiation.

7 We could, let me just see, if we talk about
8 who worked in the Chemical Processing Plant at the
9 Idaho National Laboratory in Scoville, and it
10 leaves it to the Department to decide if that
11 happened. It doesn't force us into saying you must
12 have a badge or not, just in case. Would that take
13 care of it?

14 DR. TAULBEE: I would have to defer to Stu
15 Hinnefeld and our OGC. Because this particular
16 Definition was vetted through the Department of
17 Labor. And so to change that Definition as to
18 whether they could administer the Class that way,
19 I don't know.

20 MEMBER KOTELCHUCK: Yes.

21 MR. HINNEFELD: Yes. The question, this
22 is Stu Hinnefeld, the question comes down to have
23 we written a Class Definition that can be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 administered?

2 And so before we come, you know, before
3 we present the evaluation, you know,
4 recommendations, we provide our Class Definition to
5 the Department of Labor. And oftentimes the
6 Department of Energy assists in those discussions.
7 And they determine that, yes, with this Class
8 Definition, we can administer it.

9 If we're going to change the Definition,
10 it might be in our best interest to run that
11 Definition past the Department of Labor to see if
12 they can administer the Class.

13 And typically, the Department of Labor
14 has told us that the Class Definition is what they
15 rely on to administer the Class. They're not
16 really particularly interested in other
17 communications which wouldn't have the same
18 official weight as a Class Definition to sort of
19 work out the details of determining the Class.

20 MEMBER KOTELCHUCK: Thank you. I see
21 what the complication is.

22 CHAIRMAN MELIUS: Do Board Members on the
23 phone have questions or comments?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SCHOFIELD: Yes. This is Phil.
2 I've got some questions here. One of the big ones
3 is it concerns me that , if you have people that don't
4 have security clearances at times or if you're
5 bringing in people from another area who typically
6 wouldn't work in the plant but because of their
7 expertise, whether it's some form of chemistry or
8 whether it's a craftsperson, they could be brought
9 in and out of there under an escort. And maybe only
10 the escort is given a badge? I mean, has this been
11 vetted or not?

12 DR. TAULBEE: All indications that we
13 have at this time, Phil, is that each individual
14 person was given a badge to go into that area. So
15 a visiting person, a visiting chemist or something
16 to help out with a particular process would be given
17 a badge to do that.

18 And again, from the 60 or so interviews
19 that we've conducted in June and July, or June and
20 November, we asked every single person whether they
21 were required and whether they wore their film badge
22 going into the area. And all of them indicated yes,
23 they had to wear a film badge dosimeter to go into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the area.

2 MEMBER SCHOFIELD: What about people
3 working on the perimeter of the facility? I know
4 we know they've had spills, they've had
5 contamination that has gotten outside of the
6 building. I'm curious about those people who
7 worked on the perimeter who didn't necessarily go
8 in the building, but they still have that potential.

9 DR. TAULBEE: Well, the potential for
10 exposure is really in the process cells in the 601
11 Building where those separations were conducted
12 down in the access corridor, the process cells, the
13 analytical laboratories.

14 Around the perimeter, the alpha
15 transuranic radionuclides are associated with the
16 mixed fission products that would be coming from the
17 calcine operations and the others that were going
18 on.

19 CHAIRMAN MELIUS: Any other Board
20 Members on the phone have questions or comments?

21 MEMBER VALERIO: This is Loretta. I
22 have a couple of questions.

23 CHAIRMAN MELIUS: Go ahead.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER VALERIO: My questions have to do
2 with the burial ground. It states that the health
3 physics was always present during dumping. Was
4 this prior to that 1959 memo as well? Or was this
5 as a result of that memo, that the health physics
6 were there?

7 DR. TAULBEE: No. Our indication is
8 that they were there from the very beginning to
9 always be present while dumping was going on. We
10 have some photographs of some of the dumping that
11 was going on in, like, 1953 or something like that
12 -- I believe it's there in the extended slides --
13 that shows a health physicist standing beside the
14 truck measuring radiation levels during the dumping
15 process.

16 So our indication is that actually on gate
17 entrance it clearly says to gain access you had to
18 contact health physics at the central facilities in
19 order to get in.

20 MEMBER VALERIO: Okay. So then that
21 brings me to my second question. If they were
22 required to wear a film badge that was to monitor
23 for external radiation, what about internal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radiation when they were stocking these by hand?

2 DR. TAULBEE: The procedure was for the
3 drums to be surveyed. And we have some photographs
4 of health physicists or health physics technicians,
5 rad techs, climbing into the trucks and taking
6 surveys of the drums before they were coming out to
7 make sure there weren't anything leaking or any
8 problems at that standpoint.

9 After they were done removing the drums,
10 the trucks were also surveyed. And in those
11 particular instances, there were a few occasions
12 where the trucks were found to be contaminated.
13 They were sent to CPP for cleaning. And health
14 physics was doing additional monitoring on those
15 particular workers that were involved during that
16 process.

17 Typically, we're looking at between three
18 to four workers during one of these unloading type
19 of operations, I guess, maybe as much as five.

20 MEMBER VALERIO: Okay. All right, thank
21 you.

22 CHAIRMAN MELIUS: Anybody else on the
23 phone, Board, any other Board Members on the phone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have questions?

2 MEMBER LEMEN: No. All of my questions
3 have been answered, thank you.

4 MEMBER SCHOFIELD: I have another
5 question on that. When the RCTs were checking
6 things, were those smear samples? Did they just
7 take random smear samples, or were they using their
8 wands on their Pee-Wees, or exactly how were they
9 sampling for contamination?

10 DR. TAULBEE: Based upon what we can see,
11 it looks like they were monitoring for
12 contamination based upon hand held instrumentation
13 and that if they began to see something, then they
14 might take a smear. I believe there are some smear
15 data for the burial grounds, but it's pretty
16 limited.

17 CHAIRMAN MELIUS: Okay. Jim Lockey, did
18 you still have a question?

19 MEMBER LOCKEY: I was just curious. You
20 know, I asked you, Jim, this question going forward.
21 Because NIOSH has other work to do on this site and
22 the other sites. If they find that, in fact, where
23 people who worked at CPP who may not have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 badged, can this be modified going forward in the
2 future?

3 CHAIRMAN MELIUS: We've done that in the
4 past, I think. So the question is sort of the
5 timing involved and the effort to go up to the
6 Secretary, get this approved and then, you know, to
7 come back, you know, in a short period of time, it
8 doesn't sort of make sense. If it was something
9 that was going to take two or three years or
10 something --

11 MEMBER LOCKEY: That's my concern.

12 CHAIRMAN MELIUS: Yes. Then I think we
13 do. And I'm not sure we can judge on that time
14 period right now.

15 MEMBER LOCKEY: And that's what I'm
16 concerned about. This is a complex process, and
17 site. And this could take more than months. And
18 it's a balancing act here.

19 CHAIRMAN MELIUS: Yes. Just, again,
20 hypothetically, if we decide not to take action
21 today doesn't mean we couldn't take action on our
22 Board call or the next meeting. I mean, nothing,
23 you know --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER LOCKEY: But I don't want it to go
2 out three years --

3 CHAIRMAN MELIUS: No, no. I don't think
4 any of us would want to do that. But again, there's
5 sort of due diligence. And, you know, again, the
6 Class Definition is sort of the end of the process.

7 And in fairness to everybody involved,
8 both us, and NIOSH and so forth, this is, you know,
9 they really haven't had time to do a lot of the kind
10 of vetting and the kind of questions we're asking
11 them to do. And I think we have to decide what is
12 it going to take to do that and how long, what's
13 appropriate. And we have a Work Group formed and
14 so forth, again, sort of the next step. David?

15 MEMBER KOTELCHUCK: After the discussion
16 of how, Stu's discussion and the time that it will
17 take, I don't want the perfect to be the enemy of
18 the good.

19 And therefore, I'm going to support the
20 Class as it stands so that the people who worked in
21 the site, were badged, will be able to get their
22 compensation and hope that somehow we could
23 communicate to DOL that the people who are turned

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 down, if they feel that this missed them
2 inadvertently, then we can make a change in the
3 future.

4 But for the moment, let's get it, I say
5 let's get it done. This is a good resolution to
6 help lots of people, hopefully almost everybody.

7 CHAIRMAN MELIUS: Paul? And after Paul,
8 I want to turn it back to the, we need to give the
9 petitioners an opportunity to talk.

10 MEMBER ZIEMER: I think on this issue,
11 there's two possibilities. One might be a little
12 -- I have a little angst about one is, was everyone
13 truly badged? That's one part of it.

14 The other is if they were all truly
15 badged, is some of the information lost? Two
16 different questions. But I'm willing to go ahead
17 and say, yes, everyone truly was badged.

18 And if we have a claimant for whom there
19 is not a badge, I think the claimant would be in a
20 position of saying, yes, but I had a badge. And
21 then it would be a matter of establishing that
22 either the information was lost or some other thing
23 occurred.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I don't think the Definition, as it
2 stands, will necessarily exclude someone if truly
3 everyone had a badge. Because the claimant would
4 say, yes, I had a badge, if truly that was the case.

5 But I suppose what we're looking for at
6 some point, if we're going to have follow-up, is to
7 demonstrate, in fact, from the actual data that
8 there is this correspondence. You don't find any
9 cases where people didn't have a badge that were in
10 CPP.

11 DR. TAULBEE: If I could add also to this
12 document, to follow on with what Dr. Kotelchuck was
13 saying, in that if we were to go and evaluate this
14 further in order to try and satisfy some of the
15 questions that you've been raising here, keep in
16 mind the evaluation team is the same team that's
17 currently working on the Argonne West SEC petition
18 which we're under timelines to try and produce and
19 the petitioner himself actually worked at the
20 Argonne National Laboratory during the bulk of this
21 time period that we're talking about currently.

22 CHAIRMAN MELIUS: Okay. All right. If
23 the petitioners are on the line and wish to speak,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you may speak now.

2 MR. ZINK: This is Brian Zink. I'm the
3 petitioner. Can you hear me?

4 CHAIRMAN MELIUS: Yes, we can.

5 MR. ZINK: I was listening, and I just
6 received the report a week ago or so. So I don't
7 have a specific comment on any of the details that
8 Tim talked about.

9 On this question that's been bantered
10 about with the badge issue, I would comment, and I
11 certainly don't want the Class not to go forward as
12 it's described. As many of you have said, it's
13 better to have the folks that would fit into that
14 category and can prove that they had a badge to be
15 paid.

16 From a practical standpoint, as an
17 authorized representative for many cases, not just
18 at Idaho but all over the United States, the most
19 difficult process for a claimant, or even me as the
20 authorized representative, to prove is actually the
21 employment unless, by some circumstance, the worker
22 kept a copy of his badge, or wrote it down or had
23 it on some document.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I really don't, being a suspicious sort,
2 I am a little concerned. And I'm not as confident
3 that all of those records still exist. You know,
4 whether it's one claimant that I get that says, yes,
5 I worked there, but he's not on the list, trying to
6 approve that, in knowing how it works with the
7 Department of Labor, becomes very difficult.

8 Because I know that, you know, the
9 Department of Labor is going to strictly scrutinize
10 the Class as identified. And without the proof of
11 that badge, that person would be eliminated.

12 Now, whether there are ancillary
13 documents to establish that he was there, you know,
14 they often ask about coworker affidavits, stuff
15 like that, certainly those would be out there as
16 possible sources of proof.

17 But I just wanted to add my two cents in
18 terms of the authorized representative coming in
19 and looking at a case and saying, okay, now we have
20 to establish that you were monitored. And what is
21 your badge number, et cetera, et cetera? That
22 tracking, from a practical standpoint, oftentimes
23 that can be more difficult.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And it would be, I guess, the question
2 would be how well would the claims examiner or the
3 Department of Labor be able to access this list or
4 whatever it is that identifies every badged worker
5 that went in there. Those are some of my concerns.

6 I appreciate Tim's report. It was hard
7 to hear some of it. So that might be my own
8 telephone problem. But I just wanted to make sure
9 that you knew I was on the line, heard it. I believe
10 the actual worker, Mr. Wolz, has been listening.
11 But I don't know for sure. He may want --

12 MR. WOLZ: I'm on.

13 MR. ZINK: -- to comment.

14 MR. WOLZ: I'm listening.

15 CHAIRMAN MELIUS: Okay, Mr. Wolz, do you,
16 first of all, thank you for those comments. And I
17 think you did summarize up one of our concerns. And
18 I think we have to remember that if they cannot
19 verify under the Class Definition, that means we
20 have to go through the whole 83.14 process. And
21 you're putting the burden on the worker to prove
22 that it's wrong.

23 And it's not an automatic, not even the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 affidavit would help him in that particular case in
2 terms of getting into the Class. It would, I think,
3 refer back to NIOSH, and there would be a process.
4 It's not going to be ignored. But it's not a
5 straightforward process.

6 Mr. Wolz, do you wish to make any comments
7 at this point?

8 MR. WOLZ: No. I've had trouble
9 listening. It seemed like a good report, and I
10 appreciate the comments the Board has. The early
11 part of the presentation that had to do with CPP was
12 quite interesting to me, because I worked at CPP
13 during the years in the analytical lab and
14 particularly in the X cell in the years '55 to '58,
15 in those years. And it was a good presentation.

16 I note that most of my radiation that I
17 received throughout the course of my employment for
18 nearly forty years at the site, I worked at CPP and
19 at MTR, ETR facilities. And then further, starting
20 in '94, you know, not '94, it'd be about '62, '63,
21 I was at Argonne National Laboratory.

22 My INL radiation dosimetry summary shows,
23 that they came up with over the multiple years, 40

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 years, and loss of badges, it shows a 6,671 deep and
2 a 11,440 shallow.

3 It doesn't show anything about internal
4 contamination I might have received. I know there
5 was some urinalysis. And I went through several
6 decontamination processes that, you know, as a
7 result of working in different capacities.

8 But I don't know what the records are as
9 far as urinalysis, and thyroid monitoring and
10 things like that. So I just, I don't have a record
11 of that.

12 And somebody mentioned on the Board,
13 which I appreciated, it's hard for people going back
14 from young man back in '55 to reconstruct and
15 remember all that.

16 But anyway, I know that we were young guys
17 and total trustworthy on the system. And we really
18 didn't know what we were getting into or how
19 effective the monitoring was and so forth.

20 But having worked there over the years,
21 I understand a lot about geometry now, and where the
22 film badges are worn and where the radiation sources
23 were coming from in the streams.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I just don't know how, with certainty,
2 we can always know whether the doses which we
3 received would have or would have not caused cancer.
4 So that's all your question. I recognize we went
5 into it with taking risks, so I guess we were
6 innocent to the fact we didn't know what they really
7 were.

8 Anyway, I appreciate your time. I hope
9 that my effort, most of all, would be helpful to
10 others in the future and they improve the systems
11 where they could be improved.

12 CHAIRMAN MELIUS: Okay. Thank you very
13 much. And I would just add, if you weren't
14 listening earlier, which you may not have been, was
15 that our next meeting, which will be towards the end
16 of July, will be at INL. So we will be gathering
17 more information at that meeting and looking for
18 people to help us out. But thanks again for your
19 input.

20 MR. WOLZ: You're welcome.

21 CHAIRMAN MELIUS: Before we go forward,
22 I'd like to have some information so I understand
23 a little bit. We have an Argonne West SEC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evaluation which is mentioned, and I think it's in
2 LaVon's upcoming presentation. But do we have a
3 time on that, estimated?

4 DR. TAULBEE: Yes. Our current schedule
5 is projecting that that report will be delivered to
6 the Board the middle of September, is what the
7 current schedule for the Argonne National
8 Laboratory is.

9 CHAIRMAN MELIUS: And how about the
10 reserved portions of this petition?

11 DR. TAULBEE: Our timeline is to finish
12 the Argonne National Laboratory West petition
13 first. Because that's the one that really affects
14 the current petitioner as far as this next
15 evaluation. And he's the one who filed this
16 particular petition.

17 CHAIRMAN MELIUS: Right.

18 DR. TAULBEE: So after that report was
19 done, at that point we would go back and probably
20 start around the beginning of September. Because
21 the last few weeks are a lot ADC type of reviews that
22 we would start initiating the completion of the
23 addendum to this particular petition.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Thank you. We have
2 suggested action. Are there are any more comments
3 or questions from Board Members? And I think we
4 need to make a decision on what to do. Paul?

5 MEMBER ZIEMER: Is there an INL Work
6 Group --

7 CHAIRMAN MELIUS: Yes.

8 MEMBER ZIEMER: -- that has reviewed
9 this?

10 CHAIRMAN MELIUS: No. There is an INL
11 Work Group, Phil Schofield's in charge. I'm a
12 Member of it. I believe Josie, and Loretta and --

13 MEMBER BEACH: Loretta and John Poston.

14 CHAIRMAN MELIUS: And John Poston. Six.
15 Oh, wow. Gen, oh no, I mentioned Gen.

16 And given the timing and the uncertainty
17 about the timing of this report, that Work Group did
18 not meet, it has not had a chance to review the
19 report. And actually it's not met for a fair amount
20 of time because of getting the Site Profiles and
21 everything updated. And this has been an extended
22 time period with this whole site.

23 MEMBER ZIEMER: I am not necessarily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wanting to slow down the process, but I'm wondering,
2 particularly since the petitioner is going to be
3 awaiting the other site materials anyway, if it
4 would be useful to have the Work Group take a look
5 at this and maybe address the issue also on the film
6 badge requirement?

7 CHAIRMAN MELIUS: What I would suggest is
8 that we postpone decision on this particular
9 petition today, that we convene the Work Group
10 meeting for at least a brief meeting to work out a
11 plan for going forward on that and to try to
12 prioritize what needs to be done, and particularly
13 this issue of the Class Definition.

14 We include NIOSH in that meeting
15 obviously, Work Group meeting, and so then we would
16 be able to come up with a plan. And I think NIOSH
17 has to make some decisions about how it would
18 prioritize its resources going into this effort
19 also.

20 I don't think it's necessarily
21 appropriate that we wait until September and then
22 start working on this Class Definition. I think a
23 number of us have expressed concerns about that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I don't see any reason why it couldn't
2 be addressed earlier. But I think we have to look
3 at the scope of the amount of work involved. I
4 don't want to, you know, judge prematurely.

5 But I think if we get that Work Group
6 together and get a focus and then have SC&A start
7 doing some work, even now we can task them to start
8 working on it. And then we can meet relatively soon
9 to make sure we've got this issue coordinated in
10 terms of timing and so forth. Does that make sense
11 to you, Paul? Josie, I'm sorry.

12 MEMBER BEACH: Well, I initially had mine
13 up, because I was going to say that we could go ahead
14 and still task SC&A to start their review. And you
15 mentioned that at the very end. So I put it down.
16 But I do agree with that path forward.

17 MEMBER SCHOFIELD: You know what, Josie,
18 I back you on that one 100 percent. This is Phil.

19 CHAIRMAN MELIUS: Stu?

20 MEMBER SCHOFIELD: That was Phil.

21 CHAIRMAN MELIUS: Yes. Stu to come to
22 the --

23 MR. HINNEFELD: Okay. I 'm here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: It's hard on the phone,
2 Phil. I know, and we understand.

3 MR. HINNEFELD: Well, we would like, I
4 mean, I tend to want to defer to the Board's wishes
5 on priorities. You know, we can prioritize in
6 accordance with your desires.

7 I think it certainly, coming out here
8 today, it certainly seems like the first thing we
9 want to do is investigate this Class. And that's
10 clearly first.

11 And then beyond that, we can see, I mean,
12 you know, Tim is working under the assumption that
13 the resources available from our contractor are the
14 resources available and that we have to accomplish
15 all of this work. And so he's kind of laid it out
16 in that way.

17 We can look at what does it do to other
18 things in the program if we get our contractor to
19 add, and perhaps have two teams sort of working
20 collaboratively at Idaho to see if we can accelerate
21 some of this.

22 We also have to bear in mind that the
23 people at DOE Idaho who will be helping both teams,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's a person, that's the same set of people at
2 Idaho who now, instead of assisting one of our
3 teams, would be trying to assist two of our teams.

4 So I don't know, that may actually be the
5 rate limiting factor as opposed to how much staff
6 we can put on the thing. So we can sort that out.

7 But in the meantime we can do some
8 investigation, and perhaps collegially with SC&A,
9 because they're being tasked to work on this. I
10 think they probably will have some specific
11 questions about what kind of evidence can we pursue
12 that would either support or not support our
13 proposed position about how to define the Class.

14 So, I mean, that might even be something
15 best left to them, because they do, you know, they
16 are kind of the well, yes, but sort of people in
17 terms of the devil's advocate position. Well, they
18 are.

19 CHAIRMAN MELIUS: We weren't sure how
20 many T's there were in but there.

21 MR. HINNEFELD: It's no surprise to
22 anybody, right? So I think that might be actually
23 an avenue, is for them to say, you know, what holes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can you poke in this? I mean, what's the story
2 here?

3 And so I think we can kind of work out how
4 to do this. And we'll work, certainly, as quickly
5 as we can on this.

6 CHAIRMAN MELIUS: And I would just add,
7 I mean, I think what we were, or at least I was trying
8 to propose was that we task SC&A. The first thing
9 that SC&A comes up with is sort of a plan. How do
10 we, you know, I hesitate to use validate, but
11 evaluate this Class Definition?

12 We then have a quick Work Group call to
13 get everybody onboard and discuss. Because I think
14 we're also concerned that if that evaluation is
15 going to take years, which I don't think it will,
16 but I think we need to have some estimate of how long
17 that will be. Because I think that would affect
18 what actions we might take on this petition in the
19 meanwhile and so forth.

20 I had also, when I first read the report,
21 I had asked some questions. And I understand
22 better now the reserved section. I was sort of
23 hoping, well, do the areas that are reserved, would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they cover enough of the site in the same time period
2 and get resolved quickly enough that they might sort
3 of obviate having to look at just this area.

4 And I'm not sure that they're going to be
5 quick to complete either, judging from what Tim was
6 saying. So I think it's just, this is where our
7 focus should be. Are Board Members on the phone
8 satisfied with that approach?

9 MEMBER SCHOFIELD: I can live with that,
10 Jim.

11 CHAIRMAN MELIUS: Okay.

12 MEMBER LEMEN: I can too, Jim.

13 CHAIRMAN MELIUS: Okay.

14 MEMBER VALERIO: This is Loretta. I
15 agree.

16 CHAIRMAN MELIUS: Okay. Thank you.
17 And thank you very much, Tim, and your team
18 involved. They've been a helpful and a very
19 thorough report. And we appreciate the effort and
20 the number of slides. And we didn't do it, I didn't
21 do it to your computer.

22 And the next item on our agenda, and I
23 think we can actually say last but not least -- Where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is he hiding?

2 Search party underway. We called the
3 airport.

4 MR. RUTHERFORD: After you heard those
5 schedules from Tim, I wasn't sure I wanted to tell
6 you the other schedules. No, I'm just kidding.

7 CHAIRMAN MELIUS: We're testing. We
8 know what's there. We're going to start a new Q/A,
9 Q/C evaluation, you know, competing presentations,
10 and see which one has more credibility.

11 MR. RUTHERFORD: All right, ready?
12 Okay, this is a final presentation. I'm going to
13 give the Special Exposure Cohort update. By the
14 way, I'm LaVon Rutherford. You probably heard that
15 from Dr. Melius while I was outside.

16 CHAIRMAN MELIUS: Are you going to give
17 me a chance to introduce -- no. Go ahead. Go
18 ahead, LaVon. We all know who you are.

19 MR. RUTHERFORD: All right, thank you.
20 So I'm going to talk about Special, I'm going to give
21 a summary of the current petitions, petitions that
22 are outstanding, evaluations, again, talk about
23 petitions qualification, petitions under

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evaluation, petitions currently with the Board for
2 review, potential SEC 83.14. And we do this to
3 update the Board to prepare for future Work Groups
4 and Board meetings.

5 Our summary table, we added a little star
6 to the summary table. And I'll explain why. If
7 you look at the summary table, these numbers were
8 put together as of March 16th, 2015.

9 We actually received Petition Number 227
10 shortly after this was prepared. And that is
11 another petition for Rocky Flats. It's for the
12 years post-84 to 2005, and so it is in the
13 qualification phase.

14 So it won't show up on the rest of the
15 table or the rest of the slides, I'm going to put
16 up but just to let you guys know, so you can see we
17 have three petitions in the qualification phase.

18 Petitions that were qualified, 138,
19 various phases, and 85 petitions that did not
20 qualify. So our petitions in the qualification
21 phase, we actually have another petition for Grand
22 Junction Operations Office.

23 I know there is probably people that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reviewed our summary report, it kind of threw them
2 off, just wondering where this one came from.

3 But this was a petition that was for the
4 later years, '86 to '90. But it was really for some
5 of the calibration pads were actually moved out by
6 the airport. So currently, the portion of the site
7 that they were requesting a petition for is
8 currently not covered in the program.

9 We are providing that information to the
10 Department of Labor, Department of Energy, to see
11 if either another site would be established or what
12 they would do with that.

13 We have a Carborundum Petition
14 Evaluation. And it is now qualified, I believe.
15 It was just qualified. And that will be moving
16 forward.

17 And we have a Blockson Chemical petition
18 that is in the residual period. And it is in the
19 qualification phase. Again, like I said, the
20 Blockson Chemical in the residual period, it is in
21 the qualification phase.

22 We have a few petitions under evaluation
23 right now, Westinghouse Electric Company, this was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a petition that we received some time ago that was
2 for the residual period. However during our
3 evaluation we uncovered some information that
4 supported that there was actually some operational
5 work that occurred during this residual work.

6 We presented that information to the
7 Department of Energy, the Department of Labor. And
8 we had a small portion of operational period added,
9 so that kind of pushed our evaluation out. We're
10 almost complete with that. We expect completion in
11 April of that report to present at the July Board
12 meeting.

13 Lawrence Livermore National Lab, this
14 one, it's qualified. And I know the 180 days are
15 somewhere, you know, June/July timeframe.
16 However, what we found is that, as most of you Board
17 Members know, a lot of the work that was conducted
18 at Lawrence Livermore National Lab has
19 classification issues such that it's going to be
20 required a lot of review.

21 There was a lot of different operations
22 that took place there. For example, we went to the
23 site, I can't remember exactly, recently, that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 did the data -- end of January, and identified a
2 number of documents there for the evaluation. And
3 we've just found out recently that the ADC is not
4 going to start reviewing those documents for
5 another month.

6 So we anticipated completion of the
7 Evaluation Report in November. The site's been
8 very cooperative, but it's, you know, it's going to
9 be a challenge.

10 Argonne National Lab, I think we've
11 already talked about that, so I won't go into that
12 much more.

13 CHAIRMAN MELIUS: There's a little
14 discrepancy, I think, here. I thought I heard
15 September for --

16 MR. RUTHERFORD: For? Oh, you know --
17 Yes.

18 PARTICIPANT: The report will be
19 completed in September. But we're proposing to
20 present it in November.

21 MR. RUTHERFORD: Yes, instead of the two
22 weeks. So now we get reports --

23 CHAIRMAN MELIUS: The Advisory Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 goes into shock.

2 (Laughter.)

3 MR. RUTHERFORD: We've got three
4 Petition Evaluations there. Well actually, this
5 is not true now. But Petition Evaluations that
6 were waiting on initial Board action, Kansas City
7 Plant, Grand Junction we presented today, and
8 actually the Board moved forward on that.

9 Dow Chemical was presented today, and the
10 Board moved forward on that one as well. And then
11 Idaho National Lab was presented today. That has
12 been delayed. And the Hanford was presented
13 yesterday. And the Board took action on that one
14 as well.

15 Sites with remaining evaluation periods,
16 Fernald, I think we're working hard to get that
17 closed out as Brad and Stu had talked about
18 yesterday.

19 Hanford, the issues are working through
20 there as well. Los Alamos National Lab, the
21 challenge of dealing with the site, and we're
22 working on a path forward with that one. Rocky
23 Flats, I think Dr. Kotelchuck summarized very well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yesterday where we are with that one, a few
2 remaining issues.

3 Sandia National Lab, I believe that Sam
4 talked about it a little bit yesterday and where we
5 are with that one. Santa Susana, we have one short,
6 I want to say 1965.

7 That was the initial Petition Evaluation
8 that we approved for review went through 1965. So
9 we have a 1965 year for that Petition Evaluation
10 that's still left out.

11 There still, as Jim Neton presented and
12 Phil Schofield presented, there are still a number
13 of issues that we're working on at Santa Susana as
14 well, and Savannah River.

15 And 83.14s, again, we discussed this one,
16 Sandia National Lab early years, they're still
17 waiting for a litmus claim for that one. It appears
18 that the claims are being pretty much moved forward
19 as an SEC under Los Alamos National Lab.

20 So if we ever get one, we will move forward
21 in 83.14 there as well. Dayton Project Monsanto,
22 will move forward if we get a claim there as well.
23 And that's it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Questions for LaVon?
2 Are you going to make a correction or --

3 MR. RUTHERFORD: No. Tim said I should
4 add to that, Idaho potentially 83.14s, yes, where
5 there are, because Tim had mentioned, we could end
6 up with doing it additional 83.14s for that.

7 CHAIRMAN MELIUS: All right. I guess
8 this goes back to the INL issue. I think, since
9 we'll be in Idaho in July before the Argonne West
10 report, if you can, Tim and the ORAU team could
11 identify issues where we need more input on that
12 would be helpful, I think that's helpful in terms
13 of both conducting interviews and what SC&A will be
14 doing there, but also when we have the, you know,
15 public comment period and so forth.

16 I think we can at least help to identify
17 people that have information, get some input from
18 people working on the site and a little bit better,
19 more involvement last time. We were up there last
20 July and hopefully get more interest this time also.
21 And so it would helpful, given the weather there,
22 we probably won't be back until the following
23 summer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Okay. If there are no more questions,
2 Board Members on the Board have any questions for
3 LaVon -- excuse me, on the phone? I've talked to
4 much.

5 Okay. That's it. And we have one more
6 Board action to take. Do I hear a motion to
7 adjourn?

8 MEMBER ZIEMER: So moved.

9 MEMBER LEMEN: So you know I'm still on
10 the phone, I'll second that.

11 (Laughter.)

12 MR. KATZ: Goodbye, Dick.

13 MEMBER LEMEN: Goodbye.

14 (Whereupon, the above-entitled matter
15 went off the record at 3:18 p.m.)

16

17

18

19

20

21

22

23

1
2
3
4
5
6
7
8