

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

SEC ISSUES WORK GROUP

+ + + + +

TUESDAY
MARCH 10, 2015

+ + + + +

The Work Group convened by
teleconference, at 1:00 p.m. Eastern Daylight
Time, James M. Melius, Chairman, presiding.

PRESENT:

JAMES M. MELIUS, Chairman
JOSIE BEACH, Member
GENEVIEVE S. ROESSLER, Member
PAUL L. ZIEMER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
TERRIE BARRIE
BOB BARTON, SC&A
LIZ BRACKETT, ORAU Team
NANCY CHALMERS, ORAU Team
HARRY CHMELYNski, SC&A
JOE FITZGERALD, SC&A
TOM LABONE, ORAU Team
JENNY LIN, HHS
JOYCE LIPSZTEIN, SC&A
ARJUN MAKHIJANI, SC&A
DAN MCKEEL
JOHN MAURO, SC&A
JIM NETON, DCAS
DANIEL STANCESCU, DCAS
JOHN STIVER, SC&A
TIM TAULBEE, DCAS

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (1:01 p.m.)

3 MR. KATZ: Welcome, everyone. This is
4 the Advisory Board on Radiation and Worker Health,
5 the SEC Issues Work Group.

6 For our meeting today, we're talking
7 about coworker modeling implementation
8 guidelines, and we also are, because it's related
9 to that sort of by example talking about one of the
10 coworker models for the Savannah River Site. So,
11 when I go through roll call for all Agency-related
12 personnel, please also speak to conflict of
13 interest when we do that. So, let's get started with
14 roll call starting with the Board Members, please.

15 (Roll call.)

16 MR. KATZ: All right. So, the agenda is
17 on the NIOSH website, as well as the two documents
18 related to the agenda today. It's guidelines, draft
19 guidelines for coworker modeling, and also
20 Savannah River Site -- a couple of documents

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 related to Savannah River Site. And, Jim, it's your
2 meeting.

3 CHAIRMAN MELIUS: Okay. Thank you, Ted,
4 and thanks, everybody for joining us.

5 We're going to separate the meeting
6 into two parts. The first part we'll talk about the
7 --- it's called Revision 4 of the Draft Criteria
8 for the Evaluation and Use of Coworker Data Sets.
9 And there's a document that Jim Neton and others
10 have put together, but it came out of a number of
11 discussions within this Work Group, and with this
12 Work Group, and NIOSH, and SC&A about how best to
13 approach the evaluation of coworker data sets. So,
14 I believe probably the easiest way we've dealt with
15 these before is if, Jim Neton, you want to walk us
16 through sort of what the updates are. We don't have
17 to go through every detail but sort of generally
18 where you've made changes since the last time, and
19 I think that'll open it up for discussion.

20 DR. NETON: Okay, Dr. Melius, thanks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Can everybody see the document on their monitors,
2 or no?

3 MEMBER BEACH: I have the document in
4 paper form. Do we have to see it on the monitor?

5 DR. NETON: Well, if anybody's on Live
6 Meeting can they see it?

7 MR. KATZ: Yes, it's there, Jim.

8 DR. NETON: Okay, that's all I want to
9 know. I couldn't tell if it got up there. My
10 computer went wild and started making like multiple
11 iterations of the same file.

12 MR. KATZ: Right, I saw that, but it's
13 there. It's fine right now.

14 DR. NETON: Okay. So, those are on Live
15 Meeting. I want to just kind of use it as a
16 background template for us to speak from, but
17 everyone should have a copy of Revision 4 that was
18 issued February 26, 2015.

19 I went back and reviewed the
20 transcripts of the last Board meeting where we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presented Revision 3 and didn't see a lot - didn't
2 receive a lot of comments on that document.
3 Actually, I don't think I received any from the
4 Advisory Board. I got a few internal NIOSH
5 comments, so I took it and revised this from Rev.
6 3 which was issued October 30th, 2014.

7 Not a ton has changed here. I did move
8 some things around and added a few new pieces,
9 though. Mostly, the first thing I did was I moved
10 Section 2.3, the old Section 2.3 which was titled,
11 bear with me, "Applicability of Monitoring Data to
12 Unmonitored Workers." I moved it to Section 3.1
13 because I felt that it fit better there, and I
14 thought it improved the readability, so that just
15 in total moved over there. And then I added some
16 language to Section 3 at the beginning of --- where
17 did I do that? At the very beginning of Section 3
18 there's some new language, some introductory
19 language. It talks about the finalized coworker
20 data sets and sort of a configuration control

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 section.

2 We had some internal discussions within
3 NIOSH and felt that this is a pretty important
4 aspect. It's sort of what you see in epidemiologic
5 research where they would call it cleaning the data
6 set or something to that effect where you go through
7 the data set, especially if it's electronic, and
8 start looking at outliers and things that don't
9 belong there, like maybe chelated samples. You need
10 to do that, but we also need to be very systematic
11 in how we control those various sets so that we can
12 go back in time later and at least figure out what
13 we did to get where we are. I think it's a very
14 important piece of that, so that whole first
15 introductory section was added there. I don't know
16 if you've had a chance to read it. Not very long,
17 but I think it's important.

18 And, finally, in Section 4, I debated
19 a bit about this. I indicated the last time we met
20 that I need to think about this evaluation of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 stratification and what we're going to do about
2 data analysis.

3 The statistics -- I think in looking at
4 this document, I went back and looked at the
5 original review of ORAU Report 53 which is how to
6 analyze for stratification, and SC&A came back and
7 had five findings -- or eight findings. And I think
8 in this document now I've addressed --- we've
9 addressed at least five of those findings to some
10 extent. Not that we've addressed them, but we've
11 formally put the criteria in here that they
12 believed were lacking in the analysis of
13 stratification, such as the data completeness and
14 such. The three remaining findings that have not
15 been addressed relate to the statistical analysis.

16 Now, I think that this document itself
17 sort of walks you through a qualitative analysis
18 of a data set such that you really end up
19 stratifying in a lot of locations where we might
20 not have previously. For example, when it talks

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about stratification of incident versus routine.
2 That's sort of a --- that is a default
3 recommendation in the document. So, as you go
4 through this you end up stratifying for various
5 reasons other than doing a statistical test.

6 I do believe, though, at some point
7 we're going to get down to the situation where we
8 have a remaining set that, for instance, is all
9 routine data that we still believe that
10 stratification could be necessary. An example may
11 be reactor operators at Savannah River who were
12 exposed to tritium versus others. And I've outlined
13 in this section now the three criteria where we may
14 --- the three evaluation criteria where we may end
15 up requiring some test of stratification.

16 There's no way around doing some sort
17 of statistical tests. I didn't want this document
18 to be the holdup in moving forward with the good
19 stuff that's preceded in here, so I took and made
20 the statistical evaluation criteria a little less

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prescriptive. I said that it needed to be done, but
2 I didn't include in here the exact statistical test
3 that needs to be done to demonstrate that these data
4 sets should be stratified, so that's where I ended
5 up with this document. That in a nutshell is where
6 we are at this point.

7 CHAIRMAN MELIUS: Very good, Jim. And,
8 actually, I think I --- I more than think. I do
9 agree with your approach. I'm worried about this
10 becoming overly prescriptive because I don't think
11 that would be appropriate given the, sort of, wide
12 range of situations which we encounter at different
13 sites and so forth. And I think it's very hard for
14 us without specific example, or a set of specific
15 examples to really under --- you know, we don't
16 want to be overly prescriptive because I think we
17 have to look at the situations. And I think, again,
18 like we did with our SEC evaluation documents, I
19 think what we're trying to put forward is sort of
20 what's the general approach, and then what should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be considered in developing and evaluating
2 coworker models, and then those should be addressed
3 in whatever report would come from NIOSH, and then
4 we can evaluate it on a sort of a case by case basis.
5 So, I think the approach you've taken is the best
6 approach.

7 DR. NETON: Thank you.

8 CHAIRMAN MELIUS: And I agree, some of
9 the statistical considerations, I almost would
10 think that, you know, it might be best dealing with
11 them on an individual basis. You know, is this
12 --- or something meets the other criteria and
13 you're coming down to where you have to make a
14 statistical analysis as to whether or not the
15 stratification of a coworker model is appropriate.
16 Then we would, you know, address that, you know,
17 as that specific example. I think it's going to be
18 hard to generalize on that because there are just
19 so many different situations that might change our
20 evaluation of that statistical analysis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes, I agree. I think the
2 first three sections are going to sort of triage
3 out the easier ones that don't --- we will stratify
4 without even the requirement for a statistical
5 test.

6 CHAIRMAN MELIUS: Yes.

7 DR. NETON: You know, such as the
8 incident-driven construction trades monitors,
9 ones that are monitored that way versus the overall
10 routine monitored workers. I think it will address
11 a lot of the issues that we've had, but it still
12 does allow for us to get down to the statistical
13 analysis at the very end of the day, if that needs
14 to be done.

15 CHAIRMAN MELIUS: Yes, and my favorite
16 example is the --- a little bit different than
17 yours, but it's the one of where there's only a few
18 missing data points, a very small percentage are
19 missing. You're sort of using a coworker model to
20 fill those in, then I'm not sure that we need to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 worry a lot about the statistical force of that;
2 whereas, if it's a huge gap that we're trying to
3 fill where there's been no data, or very little data
4 collected, then I think we have to give a lot more
5 scrutiny to what we're doing because statistically
6 that's a lot more difficult to do, or may be a lot
7 more difficult to do. It depends on lots of other
8 factors there. But I think we would get that from
9 the beginning of this, so the one through three.

10 MEMBER ROESSLER: Jim, this is Gen. I'd
11 like to make an overall comment on the paper. I read
12 it in its revised form, and probably from my
13 perspective as an editor, or maybe a has-been
14 editor, I'm always looking for things that have
15 scientific value, and this is so well-written, it's
16 so precise, and it outlines things I think so well
17 with regard to using coworker data.

18 You know, people can say well, you
19 really can't do it, or you can do it, but this goes
20 through and it outlines the situations where it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could work, and how it can be accomplished. And I'm
2 just wondering if it would have --- could be
3 published somewhere in the broader literature. It
4 would seem there may be other places where this
5 information really would be useful.

6 DR. NETON: I appreciate those
7 comments, Gen. It's certainly within the realm of
8 possibility that we could do something like that.
9 I hadn't really thought about that. You know, there
10 aren't many programs that do what we do. This is
11 a very sort of specific little niche business that
12 we're doing, but let's think about it.

13 CHAIRMAN MELIUS: Yes. This is Jim
14 Melius, again. I was going to say there's probably
15 a fair amount written in the epidemiological
16 literature, the occupational epidemiology where
17 people are, you know, developing exposure models
18 and so forth. But really the criteria there are
19 different than in a compensation program.

20 MEMBER ROESSLER: There may be other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 compensation --- I mean, there are other
2 compensation programs ---

3 CHAIRMAN MELIUS: Yes.

4 MEMBER ROESSLER: --- so that this
5 might be general enough that it could be used. This
6 is --- it seems NIOSH is putting so much in with
7 the review by SC&A, and the Board, and so on.
8 There's so much effort going into this, and when
9 you come up with something that to me is so
10 well-written and has so many important points in
11 it, it's a shame to just have it buried somewhere.

12 CHAIRMAN MELIUS: Yes. No, I agree with
13 you, Gen. Other Board Member comments?

14 MEMBER ZIEMER: This is Ziemer. I'll
15 make a general comment. Again, I concur with Jim
16 Neton's approach on this, particularly on Section
17 4. I think that's where you've got to land. This
18 is a criteria document where you lay out kind of
19 a roadmap and the individual statistical analyses
20 needs to be very site-specific in almost every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 case, so I think this is the right approach. I also
2 would concur with Gen's comment, but probably not
3 a decision this Work Group can make on whether to
4 publish this. But, anyway, I'm very comfortable
5 with what the NIOSH approach here is on this
6 particular document.

7 CHAIRMAN MELIUS: Well, I don't know,
8 Paul, we're always giving Jim Neton lots of work
9 to do, so ---

10 DR. NETON: Yes.

11 MEMBER ROESSLER: It's just a
12 suggestion.

13 CHAIRMAN MELIUS: No, no, it's good. It
14 was a good suggestion.

15 MEMBER BEACH: This is Josie. I agree
16 with all the comments. I thought it was
17 well-written, and it explained a lot for my
18 benefit.

19 CHAIRMAN MELIUS: Okay, thank you.
20 SC&A, you have so many people on the line, I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know where to start.

2 MR. BARTON: This is Bob Barton. It's
3 not so much a comment, but I guess just a clarifying
4 question. During previous discussions on the
5 stratification issue, notably the meeting we had,
6 teleconference last October, it seemed like, you
7 know, based on the transcript and what I
8 recollected from that meeting that the approach is
9 almost --- it's almost sort of a qualitative
10 approach to whether you stratify. And I think the
11 discussion sort of went something along the lines
12 of if you have a legitimate reason to believe that
13 you have two groups of workers that are different,
14 and you have enough samples to build two
15 distributions, and it meets all the other criteria
16 that's spelled out in Sections 1-3 of the
17 Implementation Guide, then just go ahead and build
18 two coworker models, and see where the chips fall.

19 And then you still have the statistical
20 comparisons for situations where maybe it's not as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clear, or you have a population of workers who are
2 all routine, for example, where you would apply the
3 statistics. But when you had situations such that,
4 you know, for example, I think you mentioned
5 earlier on this call, you know, a routine monitored
6 population versus an incident-driven, that you
7 wouldn't even need to apply the statistics,
8 necessarily. If you have a legitimate reason to
9 believe these two groups are different, just go
10 ahead and build the two coworker models. At least
11 that was my impression. So I guess, one, is that
12 a correct impression? And, two, is it still NIOSH's
13 sort of feeling on the matter?

14 DR. NETON: Bob, I think you've hit it
15 just right. I believe somewhere in here I mention
16 that --- in the applicability section --- it's
17 certainly our --- oh, yes, here. The second full
18 paragraph on page 9 talks about different sites who
19 have been monitored for different purposes. It
20 talks about construction trades workers who were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 intermittently monitored or only when an incident
2 occurred, while those employees involved in
3 routine operations would have been routinely
4 monitored.

5 In this case, it would not be
6 appropriate to combine the monitoring data for
7 these two groups into a single coworker model that
8 assumes a chronic exposure pattern; rather, the
9 default in this case should be to consider separate
10 coworker models. So, that's definitely prescribed
11 in here pretty clearly, I think.

12 The idea is that, you know, if we go down
13 this sort of criteria, one, two, three, and then
14 you end up and you say okay, I'm at step four, and
15 I still have what looks to be a routinely monitored
16 workforce, and I have a very wide range of job
17 descriptions, I tried to spell out what needed to
18 be in place to consider stratification, and that's
19 the first three items in here in Section 4 where
20 it talks about first, you have to have accurate job

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 categories and descriptions for all those workers
2 that make up the data set. There also needs to be
3 some reason to believe that, you know, there's a
4 high-end exposure. And then, third, I put in here
5 that we also have to have some knowledge that there
6 were unmonitored workers in the higher-end job
7 categories, or in this job category.

8 For instance, it's possible that for a
9 small set of workers that you have a coworker model,
10 all the workers were monitored. Maybe not routinely
11 that way, but it's possible. So, those are the three
12 criteria. And if those three things are fulfilled,
13 then you would consider doing some sort of
14 statistical analysis, but that would be at the very
15 end of the process.

16 I think what's going to happen, as I
17 mentioned earlier, is we're going to end up having
18 separate models for some workers now that we didn't
19 previously, because you have to evaluate the types
20 of the monitoring programs themselves. That

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 typically, at least in my mind, has been our major
2 issue. We're comparing apples to oranges, and this
3 document forces you to compare apples to apples.

4 I don't know if I answered your
5 question. I think I did.

6 MR. BARTON: No, you did. I mean, again,
7 that was sort of a clarifying question to make sure
8 that at least I was understanding where we were on
9 that.

10 I guess a follow on, if you had an
11 incident-based monitored population, I mean, maybe
12 this is getting beyond the scope of discussions
13 today, but if you did have --- decided that we have
14 an incident-based population, I mean, would the
15 actual coworker model itself for that
16 sub-population we'll call them, wouldn't it have
17 to change since you're not longer in a situation
18 where you can safely assume chronic exposures over
19 some intake regime time period? I mean, I'm just
20 --- I'm trying to think this through. If you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ---

2 DR. NETON: Yes. That's going to have to
3 be an implementation issue, but I do agree with you
4 that needs to be considered. A chronic exposure
5 model might not necessarily be sufficiently
6 accurate. It might be bounding in that situation,
7 but it needs to be considered.

8 Yes, it's not in here, but I think that
9 would have to be taken into consideration on a case
10 by case basis. I just don't know any other way to
11 do it. You have --- you know, if you can ---I think
12 there's some verbiage in here that talks about if
13 you have a very well-controlled monitoring
14 program, a very well-defined project, for example,
15 with some very good monitoring, you know, whether
16 it's good air sampling, good alpha CAMs,
17 contamination control, and you can demonstrate
18 that all the upset conditions would have been
19 detected, you know, maybe you don't need a coworker
20 model in that situation, even though there may have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been an incident. But it's certainly --- all the
2 incidents would have been detected, that sort of
3 thing, so there's a number of different variations
4 on this theme, I think.

5 MR. BARTON: I was going to say, sort of
6 the corollary to that is like where there were
7 relatively few incidents that occur. We may know
8 there's some but, you know, is it really going to,
9 you know, matter? We know that those weren't
10 extremely high incidents. I mean, I think you sort
11 of got that covered in your criteria but ---

12 DR. NETON: Right.

13 MR. BARTON: --- it is going to be ---

14 DR. NETON: And you also have a
15 situation where you'll have incident-driven
16 bioassay on a project that's short duration, but
17 then you may have closeout bioassay samples on
18 everyone, which adds another dimension to it.

19 MR. BARTON: Yes.

20 DR. NETON: But the main message is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that should not be lumped in with the routine
2 monitored population.

3 MR. BARTON: Right, thank you. I
4 completely agree with that.

5 CHAIRMAN MELIUS: Other SC&A comments?

6 DR. LIPSZTEIN: Yes, may I?

7 CHAIRMAN MELIUS: Sure.

8 DR. LIPSZTEIN: This is Joyce. Can you
9 hear me?

10 CHAIRMAN MELIUS: Yes, we can.

11 DR. LIPSZTEIN: Okay. I'm going to
12 repeat what's already been said, that this is an
13 extremely good document. We appreciate it a lot.
14 It touches in many problems that we had discussed
15 before, and now we have it written in a very good
16 way, and attempts many of the things that I've seen
17 SC&A had been observed and discussed all along, so
18 it's a very, very good document.

19 Just one point that I would like to
20 --- I don't know if it could make it more clear,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is when you talked about the missed dose and results
2 below the lower limit of detection, the limit of
3 detection. There is just one paragraph talking
4 about the missed dose, and I don't know if I
5 understood it well. But if I understood it well,
6 Jim, is on page 5, the final paragraph in 2.1.
7 "Finally, the amount of dose that could have been
8 received but not detected by a routine monitoring
9 program must be evaluated to determine the
10 magnitude of this missed dose is within the
11 plausible bounds of exposure received by the
12 workers."

13 Does this mean that when you have
14 results below the limit of detection, we are going
15 --- what is going to --- the standard would be to
16 apply the limit of detection and not results lower
17 than the limit of detection?

18 DR. NETON: I think that will take us
19 probably into our next discussion on the trivalent
20 actinide analysis, Report 55. But the idea that I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had here was that if, for instance, you only had
2 the MDA reported for all the measurements, and it
3 turned out that, you know, the missed dose was so
4 large --- and I had in mind here a thorium intake
5 which, as you know, has very poor ability to detect
6 intakes for various reasons, but if the missed dose
7 --- if you tried to just build a coworker
8 monitoring based purely on missed dose and it ended
9 up --- and the samples were very far apart, and you
10 ended up with very high implausible doses, it's not
11 --- it would not be considered sufficiently
12 accurate. That's what I was trying to say.

13 I did not intend, though, to say that
14 we would not use data below the MDA in the analysis
15 of coworker models, and particularly in the OPOS
16 analysis. And that --- I purposely didn't mention
17 that in here. I think that's an implementation
18 issue, and I think we can discuss that maybe at the
19 second part of this discussion. That's why I really
20 wanted to discuss that today, because in my mind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that is the only outstanding issue related to the
2 use of the OPOS technique.

3 DR. LIPSZTEIN: Okay.

4 DR. NETON: That's where we're at.

5 DR. LIPSZTEIN: Okay, thank you.

6 CHAIRMAN MELIUS: Any other comments
7 from SC&A? Okay, hearing none, any further comments
8 from Board Members or reactions?

9 MEMBER ROESSLER: This is Gen. I have
10 one comment on Section 4. This is very short and
11 you jump into it very quickly. I think it would help
12 --- this is editorial, I'm sorry, but in that first
13 sentence if the last part were brought up to the
14 beginning to say "the distribution of," so on and
15 so forth, and then list the situations, it would
16 be easier to understand.

17 DR. NETON: I'm sorry, Joyce, I'm not
18 following.

19 CHAIRMAN MELIUS: Gen.

20 DR. NETON: Gen. Where ---

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ROESSLER: I can just send that
2 to you. It's just a rewording that I think would
3 help.

4 DR. NETON: The first sentence for
5 situation where accurate job categories obtained
6 for all workers ---

7 PARTICIPANT: Move the distribution of
8 potentially highly to the front part of the
9 sentence and then say --- give examples, make it
10 clear.

11 DR. NETON: Oh, yes, sure, that could be
12 a rephrasing of that sentence.

13 CHAIRMAN MELIUS: Okay.

14 DR. NETON: Yes, we can do that.

15 CHAIRMAN MELIUS: Open for discussion,
16 obviously, but what I would like to do is suggest
17 next steps for this document is that we get it to
18 the Board Members, the Full Board, and that we
19 --Jim, if you can present it at the Board meeting,
20 hopefully, we'll get continued engagement on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I think once we tell them it's almost final,
2 that if anybody has any residual concerns or
3 questions they will come up. And we, obviously,
4 already put it on the agenda, so ---

5 DR. NETON: That sounds good to me. I can
6 distribute this to the Full Board once I --- I'll
7 make this one change the Gen recommended because
8 I do agree with it. I think I'm still going to leave
9 it at Rev 4. I don't want to make a separate revision
10 for a change in a sentence, but I'd be happy to
11 present it. And I'm hoping that we're nearing this,
12 because we're anxious on our end to start to try
13 to implement this.

14 CHAIRMAN MELIUS: Okay, and that was my
15 next suggestion, and you may have to give this some
16 thought as to the example, but I think it would be
17 helpful to this Work Group, our SEC Work Group here
18 which is, you know, sort of work through this
19 methodology with you, and guidance with you, that
20 we then take and go through an example that would,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you know, sort of follow these guidance in terms
2 of addressing these particular issues.

3 DR. NETON: Yes, we can do that.

4 CHAIRMAN MELIUS: And that would be the
5 step to implementation. I mean, so the --- I think
6 it's ready for implementation, in general. I think
7 --- what I'm concerned about is that we make sure
8 that we --- that as you're implementing it, that
9 you --- that we, sort of, reached agreement on what
10 would be the appropriate ways of, you know,
11 outlining this in a document, that when you first
12 present, you know, a coworker model, you would walk
13 through these steps.

14 DR. NETON: Yes.

15 CHAIRMAN MELIUS: In terms of what had
16 been considered, and in terms of presenting
17 information. I think that would be --- I think
18 that's helpful in terms of ensuring, you know, sort
19 of consistency, and making sure that there's no
20 --- you know, you're not, sort of, sent back to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 drawing board, or something is missed that people
2 are expecting to see, or to understand better, and
3 you have to go back through and revise the report,
4 and so forth. And I think we could do that in a
5 --- hopefully, in a timely way, which is why I say,
6 you know, let you sort of think about what would
7 be a good example to start with.

8 DR. NETON: Yes. I was just talking
9 offline with Tim here a little bit. I think Savannah
10 River is the obvious choice to start. I mean,
11 there's other sites but we're ---

12 CHAIRMAN MELIUS: Yes.

13 DR. NETON: --- so far along with this
14 site. We certainly won't have this by the next Board
15 meeting.

16 CHAIRMAN MELIUS: Well, no, I'm not
17 expecting it by the Board meeting. And I would do
18 it, I think, as a --- I think we can do it as a Work
19 Group effort.

20 DR. NETON: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: I don't know, it might
2 take longer, but if there's something that would
3 be between now and our follow-up Board meeting.

4 DR. NETON: Yes, I don't think the whole
5 thing could be done, but we could certainly start
6 with the pieces and parts of the data completeness,
7 that sort of thing. And maybe that's the best way
8 to approach it, is one step at a time.

9 CHAIRMAN MELIUS: Yes, that's a good
10 idea.

11 DR. NETON: Sort through it logically.

12 CHAIRMAN MELIUS: Yes. And I'm not sure
13 we have to go through the whole --- all the steps,
14 but ---

15 DR. NETON: Yes, I totally agree. I
16 mean, to me it's sort of like, you know, any new
17 law that's written, no one knows what it means until
18 you try to implement it, and follow it ---

19 CHAIRMAN MELIUS: Yes.

20 DR. NETON: --- and it gets tested in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the courts, so to speak.

2 CHAIRMAN MELIUS: Yes. I also think it
3 would then --- you know, the extent that you have
4 to go back and re-look at previous coworker models,
5 it would sort of clarify the steps for that.

6 DR. NETON: Yes.

7 CHAIRMAN MELIUS: And we may come up
8 with some other guidance or criteria that would
9 come out of that. Again, I think you've covered
10 everything well in a general fashion, but you, sort
11 of, never know until you encounter it.

12 DR. NETON: Yes, and maybe once we do it,
13 then we --- you know, sort of proceduralization can
14 happen a little ---

15 CHAIRMAN MELIUS: Yes.

16 DR. NETON: That sort of thing.

17 CHAIRMAN MELIUS: Other Board Members
18 think that would be helpful?

19 MEMBER ROESSLER: Yes, I do.

20 MEMBER ZIEMER: Yes, that makes sense to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 me. This is Ziemer.

2 CHAIRMAN MELIUS: Yes, because I ---

3 MEMBER ZIEMER: Are you going to present
4 that criteria at the next Board meeting?

5 CHAIRMAN MELIUS: Yes. I want to get
6 input that any other Board Members have and sort
7 of explain our next steps to them.

8 DR. NETON: I'm hoping that maybe, you
9 know, the Full Board would see this and agree, and
10 then we could sort of just finalize this sometime
11 shortly thereafter.

12 CHAIRMAN MELIUS: Yes. No, that's why
13 we'll be asking for it, and so forth. And if you
14 can --- yes, what I would suggest, if you can get
15 the small revision done and then, Ted, if you can
16 get it out to the entire Board with sort of a note
17 to the effect that we're going to, you know
18 --- we're intending to try to finalize this at the
19 Board meeting.

20 MR. KATZ: Yes, absolutely.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: So, do we need a formal
2 recommendation from the Work Group that we are
3 recommending adoption then?

4 CHAIRMAN MELIUS: Yes, I think that
5 would be in order, I think. I hadn't thought of it
6 ahead of time, but I think you're right, that it
7 would be helpful to have.

8 MR. BARTON: Dr. Melius?

9 CHAIRMAN MELIUS: Yes?

10 MR. BARTON: This is Bob Barton. I
11 actually just thought of sort of a follow-on
12 question on this document. It's broad, it's not
13 necessarily ---

14 CHAIRMAN MELIUS: Okay.

15 MR. BARTON: --- the prescriptive type,
16 but it's this notion of, you know, you have to take
17 a look at the actual detection levels of the system
18 and see if you have missed doses that are just
19 completely implausible. Jim gave the example of,
20 you know, perhaps doses based on thorium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitoring. And I was just kind of wondering to
2 myself if we headed down that road in a specific
3 instance where, you know, all you have is values
4 that are, you know, less than the detection limit
5 of the system, and when you apply missed dose
6 calculations you end up with implausibly high
7 doses. I guess my question is, where do we head to
8 from there?

9 DR. NETON: Well, I think, Bob, it's
10 probably SEC.

11 CHAIRMAN MELIUS: Yes.

12 DR. NETON: Can't do anything with a
13 coworker model. That's usually the pathway towards
14 an SEC if you can't reconstruct the unmonitored
15 workers' doses. That would seem logical to me.

16 MR. BARTON: Okay. I just wanted to
17 clarify that point because it stuck out a little
18 bit.

19 CHAIRMAN MELIUS: So, I'm looking for a
20 motion from the Work Group that we move this forward

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as a recommendation that the Board adopt this.

2 MEMBER BEACH: Jim, this is Josie. I'll
3 go ahead and make that motion ---

4 CHAIRMAN MELIUS: Okay, thanks.

5 MEMBER BEACH: --- that we adopt the
6 new---

7 CHAIRMAN MELIUS: And, again, as with
8 anything, it's a living document. All of our
9 documents are living documents we've changed as
10 we've gone along, but I just --- I actually think
11 it would help as much with sort of the impetus to
12 make sure that all of our Board reviews this and
13 thinks about this as we go through it. Obviously,
14 there'll be other opportunities with specific
15 examples, but we'd like to get this closed out.

16 MEMBER ZIEMER: I'll second the motion.

17 CHAIRMAN MELIUS: Okay, thanks.

18 MEMBER ROESSLER: Yes. And I think that
19 by doing that, that puts this in a position for the
20 Board to know that it's an important document, so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we could almost call it required reading.

2 CHAIRMAN MELIUS: Yes.

3 MEMBER ROESSLER: If we could that
4 subtly.

5 CHAIRMAN MELIUS: I can tell former
6 academics.

7 MEMBER ROESSLER: Can't help it.

8 CHAIRMAN MELIUS: Should we say there
9 will be a quiz at the end of it?

10 MEMBER ROESSLER: Yes, right.

11 CHAIRMAN MELIUS: And those are your
12 first --- day one of our meeting. I'm not sure what
13 the --- you have to buy us breakfast or something
14 if you fail, or something like that. I'm assuming
15 since I've heard from everybody that we all agree,
16 so the motion passed. So, Ted, could you do that
17 communication after getting ---

18 MR. KATZ: Absolutely, yes.

19 CHAIRMAN MELIUS: --- the document from
20 ---

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes, and I'll do that
2 communication. I'll let them know that the Work
3 Group is recommending this for adoption, and you'll
4 be putting that to them in the meeting.

5 CHAIRMAN MELIUS: Good. Okay. I think
6 we're ready to move on to the second part of our
7 agenda, which is dealing with the --- it's an SC&A
8 report on the ORAU Report 0055 on, I call it the
9 exotic nuclides report. It sounds a little sexier
10 than just comparison of exotic trivalent
11 radionuclide coworker models. So, we have that
12 review, and I think we focused --- I believe it was
13 8-13, if I remember right, in specific parts. And
14 then yesterday or Friday, I can't remember which,
15 Jim Neton also sent us out --- it was a draft of
16 some of the responses to a different special
17 exposure Evaluation Report that ORAU and Tim
18 Taulbee put together. And, again, there were
19 specific parts of their response, numbers 13-16,
20 19 and 20 that were sort of NIOSH's response to the,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sort of, the same issue that came up with a
2 different report.

3 So, Jim, I don't know how you wanted to
4 walk through this part.

5 DR. NETON: Yes, I'll try. This has been
6 going on for a while, so it's a little bit
7 convoluted, but I'll try my best to explain where
8 we are, what we want to do here.

9 It turns out that SC&A had reviewed
10 Addendum 3 to the Savannah River Site Evaluation
11 Report some time ago, and I had that written down
12 but I can't find it immediately. Here we go. It was
13 back in 2012 where SC&A reviewed Addendum 3.

14 Addendum 3 had a number of issues it
15 dealt with, including the tritium reconstruction.
16 It also talks about the trivalent actinide model,
17 and SC&A in their review came up with a number of
18 findings on that trivalent actinide model. In the
19 meantime, NIOSH has issued Report 55, which was the
20 trivalent actinide model, sort of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 formalization of what was in the Evaluation Report.
2 And SC&A reviewed that document, and not
3 surprisingly they came up with the same findings
4 in the area of what we want to talk about today,
5 the six findings.

6 I will say that I looked through all 18
7 findings, I believe, and it looks to me like
8 findings 1-7, Report 55, really relate to issues
9 that are related to the coworker model, and will
10 in one shape or form be dealt with when we revise
11 the coworker models in response to what's in the
12 MDA, so I feel pretty good about that.

13 And then you're left with these 8-13
14 findings that really --- and I want to talk about
15 these today because I mentioned sort of our last
16 area of discussion on the interpretation of
17 individual measurement values that go into
18 coworker models. And, specifically, these findings
19 deal with data below the MDA. All five of these
20 findings actually --- all six of these findings are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in that general area. I'd just like to focus on that
2 a little bit.

3 In the trivalent actinide set, there
4 are two kind of sets of data. There are the logbook
5 entry data, and then there are the OPOS values that
6 were calculated from the logbook value, so you have
7 a person that may have been sampled three times in
8 one year, in some cases she'll have one analysis
9 that was run 10 separate times to get 10 values,
10 but that's one urine sample. And then there may be
11 two more samples that were taken during the year.
12 Well, then you have actually three values that will
13 go into the OPOS calculation. The value that was
14 run 10 times on that one sample is kind of a
15 different situation, but it's --- SC&A is still
16 discussing the use of values below the MDA, and I
17 think it's good to start with the repeat
18 measurements, the repeat analyses of the
19 individual samples. To me, it's the simplest case
20 to start with.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In those repeat measurements, we have
2 values that are below the MDA in many cases, and
3 what we've done, our approach is to use all those
4 values to calculate the average, and then that
5 average value would be the OPOS --- one of the
6 values that goes into the OPOS calculation.

7 SC&A seems to be arguing that you can't
8 use those values that are less than the MDA, but
9 I strongly believe that at least in this case you
10 should, because if you don't, you end up having a
11 biased estimate of the mean value.

12 I put forth on the screen our response
13 to Finding 13, which is the same as Finding 8 in
14 the Review of Report 55, the same finding. And I
15 wanted to highlight our response where there's a
16 NUREG document, NUREG-1156 that specifically
17 addresses this issue. And it's pretty clear if you
18 read the couple of paragraphs that we've excerpted
19 from that document that you should use values below
20 the MDA to do mean value calculation. There's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meaning in those values, so that's our position.

2 Now, that's for the repeat analysis of
3 the individual --- of a single sample. We
4 extrapolate that and also use value below the MDA
5 when you're calculating the OPOS value that uses
6 multiple samples. And I believe that's also
7 correct, but I'd like to start with a simple
8 analysis where you've done say 10 analyses of the
9 same sample. I appreciate, you know, SC&A's
10 discussion on why we wouldn't use those 10 values
11 to calculate the mean value.

12 Am I on mute?

13 CHAIRMAN MELIUS: No, you're not on
14 mute.

15 DR. NETON: Maybe I confused everybody.

16 CHAIRMAN MELIUS: No. Anybody from SC&A
17 want to respond?

18 MR. BARTON: Sure. This is Bob Barton.
19 Yes, Jim, as you know, I mean, our original concern
20 going down to the fact that we were even seeing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 negative OPOS values in some situations. Now,
2 that's largely --- at least the negatives part of
3 that is addressed in the most recent Report 53,
4 which basically instructs that if it's negative,
5 you're going to set it to a censored value of less
6 than zero. So, that is how the negative values are
7 being adjusted.

8 We still sort of question --- you know,
9 like I understand what you're saying about, you
10 know, these values may have some meaning, but in
11 the context of an actual dose reconstruction, we
12 still have concerns about that. And I was kind of
13 thinking about it, I was trying to put it in sort
14 of simple terms to, kind of, get out of the weeds
15 a little bit and see if, you know, it makes a little
16 bit more sense from a broader view. And this is sort
17 of how I thought about it.

18 We all agree that the gold standard for
19 coworker modeling would be if we had the
20 wherewithal, the time, and the resources, is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we'd reconstruct every monitored worker's intake,
2 and from that we develop a distribution of intakes.
3 And from that distribution of intakes, we would be
4 able to assign some level, whether it be 50th or
5 95th of intake values, but we've agreed that that's
6 just simply not feasible. So, in actuality what
7 we're doing is we're taking all these monitored
8 workers and almost assuming that their OPOS values
9 belong to that one monitored worker. You see what
10 I'm saying? You know, it's a surrogate process,
11 obviously. That's the very nature of coworker
12 models.

13 So, when we take these data points, the
14 raw data and calculate an OPOS value, and then you
15 have, say a 50th percentile OPOS value for each
16 period you're looking at, each year, and then we're
17 going to go ahead and run IMBA to fit that to an
18 intake for a chosen intake regime, and then we get
19 whatever the intake is based on those bioassay
20 samples, which we're assuming belong to the single

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 unmonitored claimant.

2 But if you go and look at an actual dose
3 reconstruction, never are values that are less than
4 one-half of the MDA, at least that I've seen, used
5 in an actual dose reconstruction. If you have a
6 claimant who was monitored for let's say plutonium,
7 and all of their results are zero and negative and,
8 you know, less than one-half the MDA, the procedure
9 is you assume on that last day of sampling a value
10 of one-half the MDA, and then you calculate a
11 chronic intake that will result in that bioassay
12 sample, the last bioassay sample to the claimant.
13 So, in an actual individual dose reconstruction
14 we're not taking these values, to my knowledge, and
15 I might be wrong on this, and using them at face
16 value. We are, in fact, truncating them at some sort
17 of potential level, in most cases it's one-half the
18 MDA.

19 So, for my money I'm wondering why would
20 we do it differently in the coworker setting where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we're really trying to do the same process but using
2 surrogate data from the entire monitored
3 population?

4 Now in the case of this SRS exotics
5 model, when you look at the derived excretion rates
6 which are based on the OPOS, and they're in TIB-81,
7 and you look at the 84th percentile for the period
8 of interest, I believe every single one of those
9 data points at the 84th percentile excretion rate
10 is less than the minimum detectable activity. Not
11 all of them are less than one-half, but a lot of
12 them are less than one-half. So, what you have is
13 you have a distribution of OPOS values, the worker
14 excretion rates for the monitored population that
15 indicate that the dose was missed. So, I mean, I
16 guess we're wondering wouldn't it be more
17 appropriate to assess the missed dose based on just
18 the bioassay samples we have?

19 You would still be using, potentially
20 using those negative, not negative but less than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MDA values in developing the OPOS, but when you go
2 and start picking off percentiles by year for the
3 entire monitored population, if those samples are
4 indicating the monitored population has missed
5 dose because of whatever reasons, limitations of
6 a detection system or whatnot, then if the
7 monitored population will be getting missed dose,
8 I don't see why the unmonitored population who
9 should have been monitored wouldn't also be
10 assigned missed dose.

11 DR. NETON: You raise a good point, Bob.
12 A couple of things. First, you're right that we have
13 decided, and we did revise Report 53 to not include
14 negative values in the OPOS calculation. And that
15 was done principally because we implemented the,
16 you know, the backwards time-weighted average
17 approach, and it didn't make much sense to start
18 integrating over a short period of time for a
19 negative intake, so I do agree with that. And I
20 think we're on board with that concept.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But as far as how we do individual dose
2 reconstructions and missed dose versus developing
3 coworker models, I think they're two separate
4 issues. You're right that we will always use
5 probably the MDA over 2, I'm guessing, as the best
6 estimate of the intake, or the best estimate of
7 excretion in an individual dose reconstruction,
8 but when you're dealing with a coworker model,
9 you're talking about potentially hundreds, if not
10 thousands of data points of OPOS values. And those
11 tend to even out over time over the large group of
12 data when you're putting them together, so that you
13 don't want to start having biased estimates of
14 intakes in these coworker models.

15 You know, there's --- you know, if you
16 just --- it's a distribution, just as you said,
17 it's not an individual dose reconstruction itself.
18 So, the distributions themselves when you piece
19 them together will on average come out with a less
20 biased model.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, as far as affecting people's dose
2 reconstruction, I would say that you've got to look
3 at the population we're trying to reconstruct, the
4 unmonitored workers. Now, there's various reasons
5 why people were unmonitored. In the extreme case,
6 though, maybe a worker was --- should have been
7 monitored and they lost his bioassay records and
8 he was highly exposed.

9 I would suggest that truncation --- use
10 of data below the MDA would not affect the 95th
11 percentile, very little in those cases, so those
12 workers would not be disenfranchised by use of this
13 --- use of the values below the MDA.

14 The second point I bring up is that the
15 MDA is really not the value that should be used in
16 evaluating the data points because that's an a
17 priori statistic. That value is calculated prior
18 to having done any measurement. Once you've done
19 a measurement, you need to implement the a
20 posteriori statistic which is the decision level.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It's called other things but let's call it the
2 critical level, decision level. That's typically
3 one-half of the MDA, but there is value below even
4 the decision level.

5 Again, if I took a blank --- a person
6 had no plutonium in his urine, he was never exposed,
7 and I measured it 10 times the subtracted
8 background, I would expect on average half of the
9 value to be negative, half of the value to be
10 positive, and the mean value to be zero. If you do
11 not do that, if you just only accept the positive
12 values, then you're going to bias the result high.
13 Typically, it just makes sense to us that that's
14 the way we deal with these averages. And we don't
15 use averages in individual dose reconstruction.

16 I would go back, though, and --- I tried
17 to focus on these repeat measurements of the same
18 sample because I think it's most clear in that
19 situation, though. If I measure the same urine 10
20 times, I take 10 aliquots of the same urine and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measure it, and let's say it was a control, the
2 average value has to be zero if it was not exposed.
3 And if I don't use the data level below zero, then
4 I'll get the wrong number. And that's very clear
5 in this situation where you've got multiple
6 analyses of the same aliquot, or multiple aliquots
7 of the same sample.

8 MR. BARTON: Well, yes, I know you maybe
9 perhaps didn't want to get into it today, but part
10 of these findings are a corollary to the findings
11 about observations we had where samples that were
12 --- are aliquots of samples that were well above
13 the MDA still showed a lot of variability which is,
14 of course, an adequacy concern. But, no, here's I'm
15 talking about, it's almost a question of parity.
16 I mean, I under --- it almost seems like we are
17 biasing towards the monitored worker, which to some
18 extent makes sense.

19 DR. LIPSZTEIN: Bob?

20 MEMBER BEACH: Yes, I'm sorry, go ahead.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: No, no. I'm
2 interrupting you, but I just wanted to say that in
3 all my years of lab work dealing with urine samples
4 which was the gaze of SRS that we were discussing,
5 it's very rare that you really have below zero
6 results. You generally have sort of --- if it's
7 below the MDA, it's below the detection limit,
8 you'll have something which is denied of the
9 instrument. Very rarely you are going to find those
10 results that are below zero.

11 And another thing that I was going to
12 say is that we are working with claimant-favorable
13 assumptions, so I wanted to read a text from
14 NCRP-164 which --- because NUREG-1156 is from '87,
15 and NCRP-164 is a more recent one. And that's what
16 he --- the document says about bioassay data less
17 than the limit of detection.

18 It says that bioassay data less than the
19 limit of detection can lead to biases and they
20 affect doses in values weight and things in excess.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This is because that's the main reason we are
2 looking at the urine samples, because we want to
3 determine the dose. So, what NCRP-164 says is that
4 the biases are under --- it's underestimated if
5 data less than the limit of detection are set to
6 zero, overestimated if data less than the limit of
7 detection are set equal to the limit of detection,
8 overestimated if data less than the limit of
9 detection are ignored, and only data greater than
10 the limit of detection are used, and biased by an
11 uncertain amount if the data less than the limit
12 of detections are set to an arbitrary fraction of
13 the limit of detection."

14 So, no place here is talking about the numbers that
15 are less than zero.

16 You know, the underestimate is already
17 written here very specifically, "The bioassay data
18 is underestimated if data less than limit of
19 detection are set to zero." Imagine below zero.

20 So, what NCRP-164 suggests is that it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to do a cause between zero and the LOD, the limit
2 of detection. And if you want to be, you know,
3 claimant-favorable, use the LOD because it
4 overestimates -- or some number in between, but
5 then there are the uncertainties undetermined, the
6 bias is very undetermined.

7 DR. NETON: Joyce, I think --- I don't
8 disagree with what's in NCRP-164. I didn't hear
9 anything in there that said we couldn't use
10 values, measured values that were less than the
11 detection limit.

12 DR. LIPSZTEIN: I'm not saying less than
13 the detection limit. You are calling negative
14 values, the ones below zero, but that's an
15 important distinction.

16 DR. NETON: Well, we're not using
17 negative values in the OPOS calculation any more.
18 That ---

19 DR. LIPSZTEIN: Okay, so that's good.
20 Okay. That's one point of discussion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: But we are using ---

2 DR. LIPSZTEIN: We don't want negative
3 below zero results. So the results will be between
4 zero and the limit of detection. Right?

5 DR. NETON: We will not use a bioassay
6 value average that is less than zero in the OPOS
7 calculation. That is true. But we still believe
8 in the value in data points that are less than the
9 detection limit, because when you're doing
10 averaging one needs to use all the data to calculate
11 the average value, whether it's an OPOS
12 time-weighted average, or whether it's the average
13 of 10 repeat measurements of the same sample. You
14 still ---

15 DR. LIPSZTEIN: Don't you agree that if
16 you have values below zero, then suppose there is
17 something --- in other words, it's below even the
18 noise of the instrument, it's very rare that you
19 will have --- we got this at SRS because I don't
20 believe the data there is good. I think the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difference ---

2 DR. NETON: I've measured a lot of
3 samples of environmental samples, plutonium in
4 human autopsy samples, hundreds, and I can tell you
5 for certain that many values were below zero
6 because people didn't have any plutonium in their
7 system.

8 So, 50 percent of them --- not 50
9 percent, but a large number of them -- ended up
10 being less than zero just by the way, you know, you
11 subtract background. I mean, if it's background and
12 you measure it 10 times, background is going to be
13 below zero half the time, it's going to be above
14 zero half the time. That's just the way it works.

15 DR. LIPSZTEIN: I'm not --- okay. I
16 never went into this situation. I always have
17 numbers that are a little bit more zero. But anyway,
18 they can't have negative intake, so either zero or
19 some number above zero. Right? So, when you
20 translate it into those, it can't have a negative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 value.

2 DR. NETON: That's exactly what we're
3 doing.

4 Well, I guess I'd like to look at 164
5 because I don't have it in front of me, but I didn't
6 hear anything in there that contradicted what we
7 would be doing, or what we're doing.

8 MR. BARTON: Well, I think --- this is
9 my rudimentary understanding of the passage Joyce
10 read -- but I think what they're saying is that when
11 you have samples that are less than the detection
12 limit that you really want to use more of a
13 probabilistic curve ---

14 DR. LIPSZTEIN: Exactly.

15 MEMBER BEACH: --- which I believe in
16 the program is a triangular distribution. If your
17 minimum is zero, your mode at one-half, which you
18 mentioned is probably the decision level, and then
19 the maximum of the triangular is the limit of
20 detection. So that's sort of, I guess, a sampling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 way to do it, but the easy way to do it just say,
2 okay, we'll assume it's one-half the MDA, which,
3 like I said, is done in dose reconstructions.

4 Which leads me back to something you
5 said. You said, well, yes, we use that in
6 individual dose reconstruction, but it doesn't
7 apply to coworker modeling. You know, we all agree
8 that the best way to possibly do a coworker model
9 is to a best estimate dose reconstruction, which
10 would not use values that are less than one-half
11 the limit of detection.

12 DR. NETON: I don't know. We disagree
13 here, I guess. I'm not sure where we --- we're not
14 going to solve this, I guess, this afternoon on this
15 call.

16 DR. TAULBEE: I think this is kind of an
17 important part for us ---

18 DR. NETON: Yeah.

19 DR. TAULBEE: --- the coworker models to
20 move forward on that next step as you're running

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them, Dr. Melius, is taking it -- starting to go
2 through it. You really need to come to some type
3 of an agreement with regards to how we calculate
4 the OPOS.

5 MEMBER BEACH: Well, it may not come to
6 that, actually. I mean, if you think about it, if
7 you use the raw data set, taking out the negatives
8 for the reasons you stated, using pre-weighting,
9 it just really mucks things up. I mean, you could
10 still calculate your OPOS value but, I mean, if you
11 look at it and you see that the OPOS value for a
12 given year at whatever percentile is less than half
13 of the limit of detection, I mean, it just seems
14 logical to me that you would assign a missed dosage.
15 Because your monitored population is simply
16 indicating that they would receive missed dose.
17 And, in fact, that's what they're going to be
18 getting in their own dose reconstruction. Of
19 course, we can't do dose reconstruction for all of
20 them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: If you have a thousand
2 measurements, you can actually almost calculate
3 what people were receiving. I mean, they weren't
4 receiving missed dose. You have a lot of data. If
5 you have 84 percent of your values below the MDA,
6 I can guarantee you statistically it's not possible
7 all those people receiving the MDA. It's not
8 possible. They could not all be at the MDA and
9 --- it's not statistically possible.

10 MR. BARTON: No, I understand that.
11 You're saying ---

12 DR. LIPSZTEIN: That ---

13 MEMBER BEACH: Go ahead, Joyce.

14 DR. LIPSZTEIN: That's exactly what
15 NCRP-164 says. If you put everybody equal to the
16 LOD, you are overestimating the data. But if you
17 put everybody, you go to zero, you are
18 underestimating it.

19 DR. NETON: We're not. We're estimating
20 the best statistic we can, which is an unbiased

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 estimate using the data that was measured. Once
2 you've done a measurement, I have a value. All you
3 can say, if it's less than the decision level, is
4 that I'm 95 percent sure that it wasn't a real
5 sample. That's all you can say.

6 DR. LIPSZTEIN: I think we're saying the
7 same thing. I don't know.

8 DR. NETON: I think we are. I think, if
9 you look in OPOS, it kind of works out where, you
10 know, there will be a period of time where the one
11 OPOS sample will be less than the MDA, but then for
12 another monitoring period it'll be maybe greater
13 than the MDA. But you can't compare the OPOS value
14 to the MDA; you have to compare individual samples
15 to something. And I think we use the individual
16 results if we have them, because if you ---

17 DR. LIPSZTEIN: Yeah, you are going to
18 use the individual results, but you are not going
19 to use below zero results.

20 DR. NETON: For an individual OPOS

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 value, that's correct. Or not an OPOS ---

2 DR. LIPSZTEIN: Now, to calculate the
3 OPOS you're not going to use below zero results.
4 Is that what you are saying?

5 DR. NETON: There will be no results in
6 the OPOS calculation below zero. That's correct.

7 DR. LIPSZTEIN: Okay. Okay.

8 DR. CHMELYNSKI: This is Harry
9 Chmelynski. I'd like to make a comment also on this
10 discussion, which is: the reason why we're seeing
11 these negative numbers is because we made up the
12 procedure where we think we know what the
13 background that we ought to be using for this
14 measurement is, and we subtract and, hey, we get
15 a negative, now we're going to pretend that those
16 negatives mean something.

17 I find it very hard to believe any of
18 this discussion unless I know how the background
19 varies from individual to individual, and how well
20 it was measured, and what did they actually do to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get those negative numbers.

2 DR. NETON: Well, we have the procedure
3 at Savannah River. I could show you that. But I
4 want to go back to the example of the repeat
5 measurements of the same sample, though.

6 DR. MAKHIJANI: This is Arjun. Could I
7 say something on the OPOS question, as a general
8 matter, not Savannah River, before you move on,
9 please?

10 CHAIRMAN MELIUS: Sure. Go ahead,
11 Arjun.

12 DR. MAKHIJANI: Jim, I think you and
13 Joyce were saying different things. Joyce was
14 asking whether you're going to use negative values
15 in your calculation of OPOS. And I heard you say
16 that you would not have negative OPOS results.
17 Those are two different things.

18 DR. NETON: What I meant to say, Arjun,
19 was we would not use zero values in calculating an
20 OPOS result.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: So, maybe it was my
2 understanding, but you're saying that any negative
3 result would not be used in the OPOS value
4 calculation.

5 DR. NETON: Correct.

6 DR. MAKHIJANI: Thank you.

7 DR. NETON: That's already in the
8 procedure. We revised the procedure a while ago to
9 state exactly that.

10 DR. MAKHIJANI: Thank you.

11 DR. CHMELYNSKI: Arjun, I'd like to
12 point out that I'm not quite sure that everybody
13 --- I'm sorry, am I on mute now?

14 DR. NETON: No, you're live.

15 MR. KATZ: Harry, we hear you.

16 DR. CHMELYNSKI: Okay. There's two ways
17 to say that it's not being used in the OPOS
18 calculation. You can say that it's not being used
19 in this time-weighted averaging, or you can say
20 it's not being used at all in the OPOS calculation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Well, if you're going to use them when
2 calculating individual day and time averages then
3 you're still using them in the OPOS calculation.

4 DR. NETON: Well, yeah, let's talk about
5 that. I mean, if we have an individual value, an
6 excretion value, one measurement, and it's less
7 than zero, it would not be used in the calculation.
8 It would be zero.

9 But I want to get back to the --- this
10 is sort of unique to this trivalent actinide data
11 set where you have multiple measurements of the
12 same sample. That's a very different situation.
13 You've taken the same sample, I've taken, say, five
14 aliquots and I chemically processed it five
15 separate times and measured it five times. It's the
16 same exact sample. That sample has to follow some
17 distribution, and if you throw away the negative
18 values in that analysis, you're throwing away
19 usable data.

20 Now, if that average value comes out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 less than zero, we wouldn't use it as less than
2 zero; it would be zero. But this is only probably
3 going to occur in this example of the trivalent
4 actinides. I can't think of any other data set --
5 there probably are -- where we have multiple
6 measurement of the same sample.

7 Okay, maybe plutonium at Savannah
8 River. But, to me, if I measure something ten times
9 to get the meaningful average, if I did the same
10 analysis ten times, I have to use all the data. That
11 just makes common sense to me. How could it not?

12 DR. CHMELYNSKI: If we talk about, then,
13 the use of OPOS as a time-weighted average, are you
14 saying that if I use these negatives on a given day
15 and they do give me a negative OPOS value, what are
16 we going to do with that value? I think I understand
17 you to say we're going to set it to zero?

18 DR. NETON: For an individual
19 measurement that goes into the OPOS calculation it
20 would be set to zero. That's correct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CHMELYNSKI: Why not the MDA?

2 DR. NETON: Well, less than zero, but
3 effectively we would be using zero.

4 DR. CHMELYNSKI: But why not the MDA?

5 DR. NETON: Because we're using the
6 actual results, the values that are --- we're using
7 results that are less than the MDA in the
8 calculation of OPOS. The MDA is an a priori
9 measurement. It's established before you ever
10 measure the sample. Once you measure the sample,
11 it's a different number. It's the decision level,
12 not the MDA, so ---

13 DR. CHMELYNSKI: So you're saying it's
14 a number --- it could be any number greater than
15 zero.

16 DR. NETON: Yes. Yes.

17 DR. MAKHIJANI: This is Arjun. Let's
18 take another example than radiation. Suppose you
19 make ten length measurements and then you have two
20 negative measurements. It's impossible. You have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to throw out physically impossible results from
2 your average. You cannot use physically impossible
3 results in creating an average because it makes the
4 average meaningless, I think.

5 DR. NETON: No, that's not a good
6 example, Arjun. You're assuming you have a positive
7 measurement to start with. If you don't have any
8 length at all, you measure it, sometimes it's going
9 to be minus-.1 inch, sometimes it'll be plus one.
10 It's the net value you're looking at. If I have a
11 ruler and I measure one inch, and then I measure
12 it ten times and I'll get 10 measurements,
13 sometimes it'll be 9.9 inches, sometimes it'll be
14 0.1 inch. I have to average all those values to get
15 the true value, which is one inch. If I don't, if
16 I throw away everything that's less than one inch,
17 it's going to bias the value. It's a net value that
18 you're talking about here, net above background.
19 You measure background.

20 DR. MAKHIJANI: I can't agree. Anyway,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doesn't ---

2 DR. NETON: You tell me if I measure one
3 inch, and I measure it ten times, you're always
4 going to have values that are larger than one inch.

5 DR. MAKHIJANI: No, no. You should have
6 always values greater than zero.

7 DR. NETON: No, not if I subtract them.
8 I want to get the net measurement. I have one inch,
9 and now I measure something else, and I say is this
10 bigger than that one inch? And I measure it, and
11 it's exactly one inch, I'll get a distribution
12 about the one inch. I subtract the two, and I get
13 zero with a distribution about it. It's statistics,
14 that's the way it works.

15 DR. LIPSZTEIN: Yeah, but your --- I
16 agree with it, but you are going to underestimate
17 the data when you use ---

18 DR. NETON: No, you won't underestimate
19 the data. You'll get closer to the real value.

20 DR. LIPSZTEIN: No, I don't think so. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think you are sometimes underestimating the data.

2 Anyway, let me come back to SRS, the
3 repeated measurements. Our problem is much bigger
4 than having negative values. The problem is that
5 the difference in these results are so big that you
6 can't really use them. It's not something that is
7 around the detection level.

8 DR. NETON: No, that's another issue,
9 Joyce.

10 DR. LIPSZTEIN: Yeah, yeah. Well,
11 right. And you'll have that on the draft.

12 DR. NETON: That's a different
13 situation. In fact, I think it's somehow related
14 to chemical recovery of the measurement technique,
15 myself, but that's ---

16 MR. STIVER: This is Stiver. I might be
17 able to jump in a second here. It seems like we're
18 kind of conflating a couple of different concepts.
19 I think what Jim is talking about really is the idea
20 of a null distribution where you're doing multiple

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sampling with your accounting system. And you're
2 going to get a distribution, if you do a normal
3 distribution, centered around zero.

4 And I think Arjun seems to be talking
5 more about the situation where you actually some
6 positive analyte that may be --- you know, it's
7 greater than zero but may be less than the detection
8 limit.

9 So I can kind of understand Jim's point
10 of view when you have multiple aliquots of a single
11 sample. You're basically --- you know, if there
12 was nothing in there whatsoever you'd expect it to
13 be zero. If it was a little bit more than --- you
14 know, you might have a few negative values, you'd
15 have quite a few more positives.

16 You're kind of getting into a situation
17 where you're moving away from that null
18 distribution and into a situation where you have
19 something that's less the MDA, maybe, but still
20 greater than zero.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Well, I think we're on
2 different sides on this. I don't know how to
3 proceed. Maybe we need to ---

4 DR. LIPSZTEIN: You said you were going
5 to take a look at NCRP-164, I think.

6 DR. NETON: Well, I can look at that, but
7 I just don't see us agreeing on this phone call.
8 I mean, we both spoke our positions and it's
9 relatively clear, I think.

10 DR. LIPSZTEIN: Okay.

11 DR. NETON: I'll look at 164. I don't
12 know that it's going to change our opinion, based
13 on what I think you read.

14 DR. LIPSZTEIN: It clearly says you
15 underestimate the dose if that's less than the
16 limit of detection ---

17 DR. NETON: Yes, for a single sample
18 that may be true, but when you take averages, that's
19 a different situation. That's what I'm trying to
20 say. When you're taking average values, you will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bias your results high if you throw away values that
2 are below the detection limit. I don't know. It's
3 what I learned in my first year of graduate school.
4 I don't think it's wrong, I'm sorry.

5 DR. MAURO: This is John. I'm conflicted
6 on SRS, but I want to raise a question which is more
7 generic, that Arjun asked, and I think I want to
8 ask it again in a different way. If I have a person
9 that has quarterly urine samples and one of them
10 --- and you want to do your OPOS on that, and we
11 know the procedure.

12 Now, if one of those urine samples
13 comes back negative, are you going to assume that
14 negative number is not negative, but it's zero,
15 when you do your OPOS calculation?

16 DR. NETON: That's correct.

17 DR. MAURO: You've answered my
18 question. I, for one, from a purely theoretical
19 basis and from the same statistic course that
20 you've taken, I think that's the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 claimant-favorable way to proceed.

2 If your OPOS ends up, at the end of the
3 process of doing this, which is less than one-half
4 the MDL, let's say you end up with that as my OPOS
5 number for that person for that year, you're going
6 to use that less than --- it'll be someplace within
7 zero --- if you do that, that means the number that
8 you're going to use has the single value that
9 represents that person for that year, it'll be
10 someplace between zero and one-half -- well, it
11 would be someplace between zero and the MDL.

12 However, it's very possible that it
13 could come out at some level that's less than
14 one-half the MDL. Are you going to use that as your
15 OPOS value? Not zero, but it's not one-half the MDL
16 either, it's someplace in between there. Is that
17 what you're going to use?

18 DR. NETON: Yes.

19 DR. MAURO: Yes. Okay, so it's possible
20 that, in the development of your OPOS value for a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 single person, that the outcome will never be zero.
2 Well, it'll never be zero, but I guess it'll be some
3 --- it could theoretically be someplace between
4 zero and one-half the MDL, and you will use that
5 value.

6 DR. NETON: That's correct.

7 DR. MAURO: Okay. I just wanted to
8 understand your position. Now I understand it, and
9 I'll leave it to everyone else to decide whether
10 they're comfortable with that or not.

11 MR. BARTON: Well, you know, I certainly
12 --- I think I understand where you're coming from,
13 Jim, and I think you understand the position here,
14 that in any given year, if the upper percentile of
15 the average urine concentration for the monitored
16 workforce in a given year -- for the sake of
17 argument we'll just say the 50th percentile, that's
18 most often implemented.

19 At the 50th percentile of the monitored
20 worker population, you would have an annual average

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 urinalysis result that is less than one-half of the
2 MDA. I simply feel that the claimant-favorable
3 thing to do in that situation, even though the
4 calculations completely reflect the numeric
5 results of the data we have, I think when you go
6 to reconstructed dose from that 50th percentile
7 annual average urine sample, that you should be
8 applying it at likely the decision level because
9 that is consistent with individual dose
10 reconstruction procedures.

11 I guess that's my piece. I don't want
12 to harp on it too long.

13 DR. NETON: Remember, Bob, we don't
14 assign the 50th percentile, we assign the 50th
15 percentile with the geometric standard deviation
16 of the distribution with a minimum of three.
17 There's where additional --- you know, it's not
18 favorableness, but we try to account for the
19 uncertainty in our value. So, whatever that value
20 comes out you're going to have a GSD at a minimum

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of three, if not five, or six, or whatever the
2 distribution ends up being. So, that's what we do.

3 If you all of a sudden say I can't have
4 anything less than the MDA, and then I start putting
5 GSDs of five on top of it, you start getting into,
6 I think, some silly statistics. You're putting
7 statistics about values that were sort of contrived
8 to begin with. We're dealing with averages here,
9 not individual samples.

10 CHAIRMAN MELIUS: This is Jim Melius.
11 So, my question is, how much of a practical
12 difference is this going to make?

13 DR. NETON: That's a good question, Dr.
14 Melius. I don't know.

15 CHAIRMAN MELIUS: Yeah, and I'm not sure
16 we can know until --- you know, it depends on what
17 situations we encounter.

18 MR. BARTON: Well, it could be
19 considered comparable to the urinalysis values in
20 OTIB-81, which were calculated based on just a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standard OPOS, not the time-weighted. So that's
2 obviously going to change things. Setting zero OPOS
3 values, or negative OPOS values to zero, is going
4 to change those numbers, but based on how they stand
5 right now, the 50th percentile and the 84th
6 percentile OPOS urinary excretion rates are well
7 below the detection limit. And most of them are
8 actually below one-half the detection limit, or as
9 Jim referred to it, the decision level.

10 So, I mean, the way the data stands now,
11 what we're applying is going to be intakes based
12 on urine results that are much less than one-half
13 the detection limit.

14 Now, that could change based on, you
15 know, normalizing negative values to zero and such.
16 We really can't know at this point.

17 DR. NETON: Right.

18 DR. LIPSZTEIN: When we have, for
19 example, as the case of SRS, when we had the
20 americium-241 on the disk samples, a result equal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the limit of detection, which is .3 dpm, would
2 result in a dose to the bones of the face, a 20-years
3 dose to the bones of the face of around 14 rem. So
4 if you use half of it, it would be 7 rem. So it
5 may make a difference for nuclides like americium.

6 DR. NETON: Yeah. You know, you have to
7 look at these situations where people aren't really
8 exposed very much. And like Bob has pointed out,
9 a large percentage of the samples are less than the
10 MDA, many more than above the 50th percentile. You
11 still have to extrapolate back somehow to figure
12 out what your 50th percentile value is, and we've
13 been doing that by extrapolating backwards, you
14 know, fitting the distribution to it and saying
15 here's the 50th percentile.

16 DR. LIPSZTEIN: I understand. I was just
17 answering what, in practical, does it mean. I think
18 we've come, you know, to the way you have pointed
19 out in the draft, that sometimes the detection
20 limits go to unrealistic doses, or could not. In

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 realistic terms, in terms of dose, for example, it
2 could make a big difference depending on the
3 nuclides. And, of course, on the limit of
4 detection. So, in practical terms, it could be
5 meaningful.

6 DR. NETON: Yes.

7 MR. BARTON: Could I ask, maybe someone
8 on the NIOSH side, I don't know this off-hand, but
9 you kind of mentioned that perhaps the data set that
10 we're using here, the trivalents, might be a rather
11 unique situation.

12 How often do we actually have these raw
13 data measurements, as opposed to them simply
14 reported as less than the detection limit? Because
15 the reason I point this out is we looked at
16 individual claimant files provided by DOE of
17 monitored claimants who are in this database, and
18 those logbook files that contain the raw results
19 weren't even included. So I would assume it would
20 be included in the dose reconstruction.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm just curious as to how many
2 situations do we come across this where we have raw
3 data points that sort of run the spectrum between
4 zero and the MDA, in which you would be calculating
5 OPOS results that would really run the gamut
6 between zero and the MDA.

7 I don't know if that's information
8 that's on hand, or if this is a special situation,
9 or this is something that we might encounter
10 somewhat frequently?

11 DR. TAULBEE: It really depends upon the
12 sites. This is Tim Taulbee. It really depends upon
13 the site where we're working on a coworker model.
14 And it will completely run the gamut. You are
15 absolutely correct. In some sites all we have is,
16 you know, a less than value, and we have no other
17 information, in which case, from the OPOS
18 standpoint, we treat it as a censored value.

19 But at some of the sites, thinking of
20 INL, for example, we have the original worksheets,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 like we do at Savannah River, where you can go
2 through and you see what the background value is,
3 and you see what the gross count value is, and
4 you've got a net value count.

5 So it really runs the gamut across the
6 facilities and the sites as to what level of detail
7 that we have. So I really can't give you a better
8 feel than that. You know, I can name two big sites
9 where we do have it, but other sites I'm sure we
10 don't. Sorry.

11 MR. BARTON: I'm looking at RPRT-0053,
12 and maybe this statement, either I'm not
13 understanding it, or it's an error. I can throw it
14 up on the screen if people want, but it's in
15 Attachment C on page 43. And Attachment C, it's
16 Time-Weighted OPOS Method, and it says, "The OPOS
17 method was designed as the MPM, the Maximum
18 Possible Mean, of the face values for all censored
19 and uncensored excretion results for one person in
20 a year. By face value of a measurement, it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understood that measurements reported below the
2 censoring level are replaced by the value of the
3 censoring level."

4 And I look at that, and on first read
5 it sounds like we're talking about exactly that:
6 you have numerical values, even if they're below
7 the censoring level, which the censoring level in
8 this case is 0.3. That's even on the logbook cards,
9 it's the report value. You have your individual
10 disk results, you have your normalized disk
11 results, and then the final column is reported. And
12 if the average of those normalized disk results was
13 less than the MDA, it was reported as less than 0.3,
14 and that's what's contained also in the claimant
15 records.

16 So, based on that sentence, it would
17 sound like the censoring level could be considered
18 .3, or it could be considered the decision level,
19 or half that at .15. And that values less than that,
20 based on the opening sentences of Attachment C,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 seems like the intent was in fact to assume those
2 values are at some censoring level, which in this
3 case could either be .3 or .15 depending on whether
4 you want to use the MDA or decision level.

5 DR. NETON: I'm confused by this. I'll
6 be honest with you.

7 DR. TAULBEE: Bob, one thing, and I
8 don't --- I'm trying to figure out if this was
9 playing a role into why we wrote that in there.
10 There is MDAs, there's decision levels and there's
11 reporting levels, as well. So, one of the things
12 I know, at some facilities, we'll have data that
13 is actually above an MDA but below a reporting
14 level, where reporting level is considered a
15 significancellevel. And so what you'll see in some
16 of the records will be like a less than what the
17 reporting level was. So, I'm not sure, I'm thinking
18 this is ---

19 DR. NETON: I think what we're seeing
20 here is the difference between OPOS and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 time-weighted OPOS. If you look at the next
2 paragraph. Sorry, Tim. It says, "In a time-weighted
3 OPOS method, the computed statistic is defined as
4 the maximum possible weighted mean of the face
5 values for all the censored and uncensored
6 results."

7 MR. BARTON: So you're saying in the
8 original OPOS value we would have reset values
9 below the censoring level, but in the time-weighted
10 we're using them as-is?

11 DR. NETON: I don't know if that's true
12 in the original OPOS. I haven't thought about the
13 original OPOS in a while. We don't intend to use
14 it unless there's no other way around it.

15 Can someone from ORAU speak on this?
16 Because I don't remember what the approach was.
17 Nancy or Tom, since this is not an SRS-specific
18 question.

19 MR. LABONE: Can you summarize the
20 question? This is Tom LaBone.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yeah, Tom. I'm looking at
2 Attachment C, which was part of the revision to
3 RPRT-0053, and it says, when it's talking about
4 calculating an OPOS value, not the time-weighted
5 OPOS. It says, by face value of a measurement it
6 is understood the measurement reported below the
7 censoring value are replaced by the value of the
8 censoring level. I don't recall. I'm not sure why
9 that's in there.

10 MR. LABONE: Well, what that means is
11 that if you have less than 10 that's reported to
12 us and we go to average it, we basically lose the
13 less than ---

14 DR. NETON: Oh, that's right, that's
15 right. It says, "reported below the censoring
16 level."

17 MR. LABONE: Well, if you haven't
18 reported below the censoring level, then you don't
19 have to worry about the censoring because you have
20 the actual value.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: That's what I'm saying,
2 that's not what this sentence appears to say.
3 That's what's bothering me.

4 MR. LABONE: I don't have it in front of
5 me.

6 DR. NETON: Yeah. But at any rate, Bob,
7 the concept was that if we had the actual value,
8 we would use the actual value.

9 DR. TAULBEE: Tom, are you on Live
10 Meeting?

11 MR. LABONE: No.

12 DR. TAULBEE: Okay, never mind.

13 DR. NETON: Anyway, the idea, Bob, is
14 that we would not use the value below the --- if
15 you have the actual value, it would be used in
16 either situation. I'm not sure, that sentence does
17 not seem to say that, but that's not what we're
18 doing.

19 DR. CHMELYNSKI: It would seem to me
20 that the term itself, maximum possible mean, says

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that that's exactly what we should be doing, is
2 replacing anything with a face value below the
3 limit of detection with the value of the censoring
4 level.

5 DR. NETON: What we're doing, Harry, is
6 we're replacing -- anything that's a less than
7 value is replaced with that value itself. In other
8 words, if it's less than .3 we would use .3 in the
9 calculation.

10 DR. CHMELYNSKI: Well, what if it says
11 on --- to the column to the left of less than .3,
12 if it says .2, that's the case we're talking about.

13 DR. NETON: Right. We would use .2
14 because we have the actual measured value.

15 DR. CHMELYNSKI: Well, then why would
16 you call it the maximum possible mean? I mean, just
17 because they quote some number less than the limit
18 of detection, it doesn't mean that number means
19 anything.

20 DR. NETON: It's the measured value, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 when you're taking averages of measured values ---

2 DR. CHMELYNSKI: How can you use the
3 measured value below your limit of detection? It's
4 not a measured value, it's a created construct that
5 you decided to call a value.

6 DR. NETON: Harry, if you have ten
7 measurements --- I'll go back to my ten
8 measurements -- of the same sample ten times, you
9 would not take the mean of all those values to get
10 my average value. You wouldn't do that. If I had
11 ten ---

12 DR. CHMELYNSKI: Well, why are we ---
13 excuse me. Then why are we using the word ``maximum
14 possible mean''?

15 DR. NETON: What?

16 DR. CHMELYNSKI: Why are we using this
17 catchphrase, ``maximum possible mean,'' if indeed
18 it is such a subtle difference?

19 DR. NETON: To replace all of the values
20 that are less than --- that are listed as less than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the MDA with the MDA if we don't know what the
2 measurement was. That's what we're doing.

3 DR. CHMELYNSKI: I understand what
4 you're saying you're doing, but I would get rid of
5 this term, "maximum possible mean," because I
6 think that measure value has nothing to do with the
7 value that could have been measured. We could have
8 had any number between zero, negative, up to the
9 limit of detection. You just happened to get that
10 one number.

11 DR. NETON: Harry, if that's the only
12 objection, I would be happy to do that, and then
13 we can move forward.

14 DR. CHMELYNSKI: Anyway, yeah, you're
15 right. We've beaten this to death, so I'm going to
16 lay off.

17 CHAIRMAN MELIUS: This is Jim Melius.
18 Can I suggest a way forward?

19 DR. NETON: Please.

20 CHAIRMAN MELIUS: Okay. And there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some, I'll say, urgency here, but we need to be
2 expeditious about this, I think. In responding to
3 what Tim was saying before, we do need to be moving
4 ahead on Savannah River, and that's obviously going
5 to be affected by the new set of guidance that we
6 will be talking about at our Board meeting in a
7 couple of weeks, that we also want to pick an
8 example to sort of test the guidance, or I guess
9 pilot test the guidance may be the way of putting
10 it. And we had talked about possibly that being
11 Savannah River, but I think Jim and NIOSH staff need
12 to think about what would be the best one, an
13 appropriate one to use, and so forth.

14 So, what I would suggest we do is that
15 we --- well, the other thing that --- this Work
16 Group will be meeting sometime in the near future.
17 I'm not sure exactly when, but we also have one
18 other task we need to deal with based on when an
19 SC&A report comes out having to do with the Dow
20 Madison site, so we will be scheduling meetings,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anyway.

2 What I was thinking of is when we're in
3 the State of Washington in a couple of weeks,
4 everyone will have had time to think about this
5 issue more, maybe clarify things, and we can either
6 come back to it in a Work Group, but I think we need
7 to be able to at least give NIOSH enough --- if the
8 pilot of the guidance is going to involve looking
9 at a site where this issue is going to come up, I
10 think we need to be able to give NIOSH some guidance
11 on going forward on that.

12 So, I guess my suggestion is we, when
13 we're out by Hanford, that we talk and sort of
14 figure out specific steps at that point in time.
15 It'll give everyone a chance to think about, one,
16 NIOSH, what would be the appropriate pilot. And,
17 secondly, to what extent it's going to involve or
18 not involve this issue.

19 And I think we're going to need to
20 figure out a schedule to expeditiously resolve this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 issue, if we can. But I think we all need to think
2 about it some more and decide. Does that make sense
3 to the other Work Group Members?

4 MEMBER ZIEMER: Yeah, that's fine with
5 me.

6 MEMBER ROESSLER: Fine with me.

7 MEMBER BEACH: Yeah, that works for me,
8 also.

9 CHAIRMAN MELIUS: I mean, I think the
10 discussion has been useful. I'm not sure it's
11 readily resolvable, but we usually manage to
12 resolve these things.

13 DR. NETON: Dr. Melius, do you suggest
14 that I bring this up as part of a discussion point
15 to the full Board, or we just reserve that as a sort
16 of internal deliberation for the Subcommittee --
17 or the Work Group?

18 CHAIRMAN MELIUS: I would think the
19 latter, but ---

20 DR. NETON: Yeah, I guess until we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a path forward maybe it's not ---

2 CHAIRMAN MELIUS: Yeah, I mean, I just
3 think it's hard --- I mean, it's hard to discuss
4 this even on the phone. I think it's just one of
5 these issues that ---

6 DR. NETON: Yeah, I agree.

7 CHAIRMAN MELIUS: --- an in-person
8 meeting is better, and with some more examples, and
9 sort of agreement on what documents we're going to
10 see and so forth. I mean, it's a little hard ---

11 DR. NETON: And that's why I wanted to
12 bring this one up specifically today, because in
13 my mind it's the last hurdle.

14 CHAIRMAN MELIUS: No, I fully agree with
15 looking at this, but I'm not on the SRS Work Group,
16 and so, you know, some of this, it's the first time
17 I'm seeing some of this.

18 DR. NETON: Yes, exactly.

19 CHAIRMAN MELIUS: And I don't know about
20 all the others involved, between the conflicts and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 everything. So I don't think it needs to be
2 there, I mean, at the Board meeting presented, but
3 I do think we sort of need to figure a step forward.

4 And, John Stiver, if you can talk to
5 your group and sort of think about this some more
6 also, it would be helpful.

7 MEMBER ROESSLER: Jim Melius and Jim
8 Neton, this is Gen. It would be helpful to me to
9 see the pertinent pages in NCRP-164 that Joyce was
10 reading from. And I looked on my shelf, I don't have
11 it. I think it's one of those that's online only.
12 So if you find those pertinent pages could you
13 forward them to the Work Group?

14 DR. NETON: Is that the uncertainty in
15 bioassay --- I mean, internal dosimetry?

16 DR. LIPSZTEIN: Yes, that's the one.

17 DR. NETON: I've got it here somewhere.

18 MR. STIVER: I know we have that here at
19 the office. This is Stiver.

20 DR. NETON: Yeah, I don't have it on my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 shelf right now. We could get that out. Joyce seems
2 to have it handy. Actually, maybe SC&A could send
3 out that page?

4 MR. STIVER: Yeah, I think we might even
5 have the electronic version. I'll have to check.

6 CHAIRMAN MELIUS: Good.

7 DR. TAULBEE: Can you submit that to the
8 SRDB?

9 DR. NETON: Well, he could submit it to
10 us, I guess. I don't know. It's probably too big
11 to email. We'll figure it out.

12 MR. BARTON: I'm having a hard time
13 hearing you guys. You're kind of breaking up here.

14 DR. NETON: Okay, sorry. Yeah, we'll
15 take a look at 164. I suspect that it's only a
16 paragraph or so in there, and my gut feeling is it's
17 not specific to what we're trying to do here, but
18 we'll look at it.

19 DR. LIPSZTEIN: It's Chapter 4.

20 CHAIRMAN MELIUS: Okay. I think we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 adjourn. Ted, any further ---

2 MR. KATZ: No, all good.

3 CHAIRMAN MELIUS: I wanted to make sure
4 you're still here, Ted.

5 MR. KATZ: I'm still here. Riveted.

6 CHAIRMAN MELIUS: Riveted, good. We
7 riveted you to the floor. Right.

8 Anyway, thanks, everybody. I mean, I
9 think it has been helpful, even if we didn't reach
10 full agreement. We did reach agreement on the
11 other document, so that's good.

12 DR. NETON: I thought the first part
13 went swimmingly well.

14 CHAIRMAN MELIUS: Not unexpectedly, the
15 second part is difficult.

16 MEMBER ZIEMER: Well, we're halfway
17 there, anyway.

18 CHAIRMAN MELIUS: Yeah. And I do think
19 it's progress. Anyway, again, thank everybody for
20 taking the time and joining in, and many of you I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will see in Hanford in a couple of weeks. And some
2 of you I think we have a Hanford Work Group going
3 in a couple of weeks just before the meeting also.

4 MEMBER ZIEMER: Right. Okay.

5 CHAIRMAN MELIUS: Okay, thanks, again.

6 MR. KATZ: Thanks, everybody.

7 (Whereupon, the above-entitled matter
8 went off the record at 2:43 p.m.)

9
10
11
12
13
14
15
16
17
18
19