

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON ROCKY FLATS

+ + + + +

TUESDAY
JULY 14, 2015

+ + + + +

The Work Group convened via telephone
at 10:00 a.m. Eastern Time, DAVID KOTELCHUCK,
Chairman, presiding.

PRESENT:

DAVID KOTELCHUCK, Chairman
R. WILLIAM FIELD, Member
WANDA I. MUNN, Member
PHILLIP SCHOFIELD, Member

ALSO PRESENT:

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

TED KATZ, Designated Federal Official
TERRIE BARRIE
JIM BOGARD, ORAU Team
LIZ BRACKETT, ORAU Team
RON BUCHANAN, SC&A
ZAIDA BURGOS, NIOSH
NANCY CHALMERS, ORAU Team
JOE FITZGERALD, SC&A
JOYCE LIPSZTEIN, SC&A
JOHN MAURO, SC&A
JIM NETON, DCAS
JUDY PADILLA
ROBERT ROTHE
LAVON RUTHERFORD, DCAS
CHARLES SAUNDERS
MUTTY SHARFI, ORAU Team
DAN STEMPFLEY, ORAU Team

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

CONTENTS

<u>Description</u>	<u>Page</u>
Roll Call.....	4
Data Falsification Issue.....	6
Critical Mass Laboratory	39
Tritium Exposures	84
Neptunium Exposures	115
Work Group Discussion/Recommendations	125
Petitioner Comments	131

P-R-O-C-E-E-D-I-N-G-S

(10:02 a.m.)

1
2
3 MR. KATZ: Welcome, everyone on the line.
4 This is the Advisory Board on Radiation and Worker
5 Health, the Rocky Flats Work Group. There is an
6 agenda posted on the NIOSH website under the
7 meetings page, scheduled meetings, July, today's
8 date. And there you will see the agenda for this
9 meeting and, presently, four different papers.
10 They're all posted there so you could read them as
11 they get discussed. There should be two more
12 papers being added there. Maybe they were on and
13 they fell off. I'm not sure.

14 But, anyway, let's do roll call now. And
15 for all of Agency-related people, including the
16 Board, please speak to conflict of interest since
17 we're speaking of a specific site. And let's get
18 started with the Chair and the Board Members that
19 are on the line.

20 (Roll call.)

21 MR. KATZ: Let me ask everyone on the
22 line. We have quite a few people on this call.
23 Please mute your phones except when you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 addressing the Work Group. If you don't have a
2 mute button on your phone, just press *6. That'll
3 mute your phone for the call. And then you press
4 *6 again to take your phone off of mute. But please
5 keep your phone on mute as it'll improve the audio
6 quality for everyone else and eliminate all the
7 background noises.

8 And, also, please no one put this call on
9 hold at any point, but hang up and dial back in if
10 you need to leave the call for some time, because
11 putting the call on hold will add noise for everyone
12 else on the line. So, thanks for that.

13 And, Dr. Kotelchuck, it's your agenda.

14 CHAIRMAN KOTELCHUCK: Very good. Okay.
15 Welcome, folks. And just to also alert you on my
16 phone, if for any reason you hear me fading out,
17 please alert me and I will change my phone
18 connection such that it will work better.

19 MR. KATZ: And, folks, identify
20 yourselves for the court reporter when you speak,
21 too, please.

22 CHAIRMAN KOTELCHUCK: Okay. The first
23 item on the agenda is the data falsification, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 first White Paper from LaVon Rutherford. LaVon,
2 would you like to begin?

3 MR. RUTHERFORD: Yes, I will. First
4 thing I want to -- I just got indication that, of
5 the documents that are on the website, all of them
6 are listed on there and links to them. I see the
7 Critical Mass Laboratory, that White Paper,
8 revision to the tritium paper and the data
9 falsification paper. So I'm not sure what's being
10 missed but they all appear to be there. So I'll
11 start there.

12 CHAIRMAN KOTELCHUCK: Good.

13 MR. RUTHERFORD: Okay. First --

14 MEMBER MUNN: Oh, Bomber [Mr.
15 Rutherford]?

16 MR. RUTHERFORD: Yes?

17 MEMBER MUNN: Perhaps it's only my phone,
18 but you're very faint.

19 MR. RUTHERFORD: Very faint? Okay.
20 Let me see if I can make --

21 CHAIRMAN KOTELCHUCK: Yes, you are.

22 MR. RUTHERFORD: Alright. Is that
23 better?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Yes, it is.

2 MEMBER MUNN: A little, yes.

3 CHAIRMAN KOTELCHUCK: And as we do this,
4 let's put on the Live Meeting with the White Paper
5 on data falsification.

6 MR. RUTHERFORD: Okay. I didn't
7 actually add those White Papers to there.

8 CHAIRMAN KOTELCHUCK: Okay.

9 MR. RUTHERFORD: White Papers were sent
10 to all the Work Group members and to the --

11 CHAIRMAN KOTELCHUCK: Right.

12 MR. RUTHERFORD: Didn't put it on the
13 Live Meeting.

14 CHAIRMAN KOTELCHUCK: Okay. I expected
15 it to be here, but that's okay. Do go ahead then.

16 MR. RUTHERFORD: Okay. First, I'd like
17 to apologize to the petitioners and Work Group and
18 SC&A for the reports coming out late. There's a
19 number of reasons for that. I won't go into that.
20 I realize it makes it very difficult for the
21 petitioners to prepare, especially considering
22 those documents were only released from ADC review
23 late last week, at least one of those documents.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I know that made it very difficult.

2 Let's see. Again, for all interested
3 parties, the White Papers, all the White Papers are
4 available on our website now. The first White
5 Paper we will be discussing is a paper titled,
6 "Evaluation of Petitioner Concerns About Data
7 Falsification and Data Validation in Rocky Flats
8 Plant Building 123 Based on Worker Allegations and
9 Issues Relating to the FBI Raid."
10 This is Revision 3. We'll not go into a lot of
11 detail on information provided in previous
12 revisions.

13 The first thing I want to address is a
14 statement in the report that was brought up to me,
15 and it's on Page 12. If you look at the report and
16 you look at the third bullet -- or the last bullet
17 at the bottom, there's a statement in the report
18 that says, "Although Rockwell did plead guilty as
19 a company to five felony charges and five
20 misdemeanors and was assessed a fine, it appears
21 that the decision to settle was based on the
22 company's desire to close the long, drawn-out
23 litigation."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That statement, when I had seen that, I
2 thought that was a quote out of one of the papers.
3 And after further review, it doesn't appear that
4 was a quote. I think that that statement -- you
5 know, documents did imply this. However, this
6 statement has no value in the report and is not a
7 factor in our determination that dose
8 reconstructions are feasible.

9 This statement also follows on Page 29,
10 as well, or a similar statement to that. We do plan
11 to revise this report and remove that statement
12 because the statement adds no value.

13 CHAIRMAN KOTELCHUCK: Yeah. Agreed.

14 MR. RUTHERFORD: Okay. Alright. This
15 is a relatively long report. It started out, you
16 know, as a fairly short report. But after numerous
17 data captures, interviews and so on, it grew. So
18 we added a table of contents to this document.

19 Our initial revision was issued in June
20 of 2013 and it responded to potential data
21 falsification based on a document they provided to
22 NIOSH and the Work Group, which was an interview
23 conducted by the FBI and EPA.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The interviewee identified a number of
2 concerns with respect to sample analysis at
3 Building 123. We reviewed these issues and
4 responded to the issues. The conclusion from our
5 review at that time was that nothing identified by
6 the interviewee would affect our ability to
7 reconstruct dose.

8 That was Rev 0/Rev 1. It went to Rev 1
9 because we made a short, quick change after it had
10 gone to Rev 0. And so there was nothing
11 substantial changed between Rev 0 and Rev 1. Rev
12 2 was issued on October 10th of 2013 after
13 additional data captures and interviews. All the
14 previous revisions of the Rev 2 are covered in
15 Section 1 of the report. Section 2 and 3 were added
16 in this revision with a concluding section in
17 Section 4.

18 After the 2013 decision to add a Class,
19 we continued our investigation of the post-'83
20 period, focusing on before the 1989 raid. During
21 subsequent Work Group meetings and through emails
22 from the petitioner, additional questions were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 identified which required additional review, not
2 so much with that original interview but more with
3 respect to the FBI raid and whether other
4 information from the raid may support a data
5 falsification/data destruction issue.

6 So additional data captures and
7 interviews were completed in December of 2013. I
8 want to go over a couple of the key interviews that
9 were conducted. One interview provided
10 information on personal involvement and shredding
11 of documents. And they indicated that direction
12 came from Rocky Flats management.

13 If you look at Page 13 and 14, that initial
14 interview is discussed at the bottom of that page.
15 Again, the individual interviewed indicated that
16 documents had been destroyed at the direction of
17 Rocky Flats management. They indicated that they
18 felt some of these documents included monitoring
19 data and incident reports.

20 And then you will look on Page 14 of that.
21 We took a look at the -- after the interview, we
22 did get some sample documents from that interview

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and we looked at how those documents may affect our
2 ability to reconstruct dose. And you'll see our
3 response at the bottom of Page 14, the first bullet.

4 It says, "While the documents being
5 destroyed could have been some kind of field
6 surveys, it does not appear that those surveys have
7 an impact on NIOSH's ability to bound or
8 reconstruct dose for the Class, as long as the
9 personal monitoring data exist. Based on a review
10 of some of the files that were provided as examples
11 of documents, you know, that were shredded, "NIOSH
12 found that records did exist in the associated
13 personnel files in NOCTS."

14 So some of the records may have been
15 destroyed, but [in] the records that were provided
16 to us, we did find examples in NOCTS and we had no
17 indication of actual personal monitoring records
18 being destroyed.

19 Another key interview that was conducted,
20 and there were roughly 13 interviews, I believe,
21 that were conducted over a period of time. There
22 were actually a significant number of people that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were contacted. Some of those people chose not to
2 respond. Either they just didn't want to
3 participate or for other reasons.

4 The second interview that was conducted
5 -- another interview that was conducted that I
6 thought was pretty important to discuss is the
7 classified interview that was conducted out at
8 Idaho Falls with a former employee. This second
9 interview, there were a few items that were
10 identified. Again, you can look at Page 15. That
11 goes through that discussion of that second
12 interview.

13 The individual relayed information they
14 felt was pertinent to our ability to reconstruct
15 radiation dose, some concerns with penciling in
16 bioassay and personal monitoring data and
17 misplaced or lost bioassay samples, as well as
18 contamination incidents.

19 We reviewed all of the information. We
20 had no real indication, from our review, that
21 actual personal monitoring data in the form of, you
22 know, external badge readings or internal bioassay

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 samples had been penciled in. However, we believe
2 that it could have been penciling in of survey
3 information such as direct-reading dosimeters and,
4 you know, pocket ion chambers and items like that.
5 There was no indication that any of the personal
6 monitoring data, dosimetry data had been penciled
7 in.

8 Another issue was concerns with bioassay
9 issues, that there were possible variations in
10 bioassay results. We looked at this. The concern
11 did not raise any issues that invalidate the use
12 of personal monitoring data. We do make
13 adjustments in personal and bioassay data, you
14 know, based on the techniques that were used,
15 correction factors and so on. So we had no
16 indication that that issue would possibly affect
17 our dose reconstruction process.

18 And another incident with personal
19 contamination problems and other contamination
20 incidents. Contamination incident and survey
21 data are used to supplement personal monitoring
22 data in the performance of dose reconstruction.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Personal monitoring data are, again, considered
2 our primary source of data. We would only look at
3 contamination incidents and only for the
4 information involved to look at if there's
5 potential exposure scenarios that we may need to
6 address further. So, again, we did not feel that
7 this issue impacts the dose reconstruction
8 process. The interview also touched on other
9 issues, such as tritium bubblers and the
10 Criticality Lab, which are addressed in other
11 reports.

12 A third interview that I feel is very
13 important because it provides the meat of a lot of
14 the review is the interview that was conducted with
15 the FBI agent-in-charge. The FBI -- again, there
16 was a raid that occurred in 1989. We interviewed
17 this FBI agent who was in charge of this raid.

18 MR. KATZ: Could I ask, while he's
19 pausing, some people have not muted their phones
20 and I hear truck noises and so on in the background,
21 or traffic noises, and it's making it difficult.
22 It's probably making it difficult for the court

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reporter, too. Could you all mute your phones,
2 please? Thanks.

3 CHAIRMAN KOTELCHUCK: Shall do.

4 MR. RUTHERFORD: Okay. Again, so we
5 interviewed the FBI agent. And the FBI agent
6 discussed the raid and provided a number of
7 documents associated with the raid. A significant
8 number of documents were stamped indicating they
9 were not for public release.

10 We were concerned with this, and after our
11 General Counsel reviewed the documents, a
12 determination was made that we should ask for
13 formal release from agencies involved, which was
14 the FBI and EPA. This took a significant amount
15 of time before these documents were released for
16 use. And so that significantly delayed this
17 paper.

18 The FBI agent also provided additional
19 names of people to interview. We interviewed a
20 number of these people, and attempted to interview
21 all of them. And after our review of the documents
22 provided and our interview notes, we concluded, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you can see if you look at Page 22 of the report,
2 at the top, again, the information provided by the
3 agent, including the interview information and
4 associated documents, support the idea that the
5 basis of the raid was for environment issues:

6 "While some information collected and
7 assessed at the time of the raid does cross over
8 into occupational radiological issues, nothing was
9 discovered that supports a data falsification or
10 destruction issue that would impact the ability to
11 reconstruct dose for the Rocky Flats Plant worker
12 Class being assessed."

13 The review of the flyover, there was an
14 indication from the agent that there was a flyover
15 looking at a potential criticality that may have
16 occurred at Rocky Flats. He indicated that there
17 may have been support for the raid -- okay. I
18 apologize. Again, as I mentioned, there was a
19 flyover and there was a survey that was conducted
20 and indication that a criticality event may have
21 occurred.

22 We reviewed information as well as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 follow-up assessments that were performed and
2 everything concluded that there was no potential
3 criticality that occurred at Rocky Flats for that
4 period.

5 Okay. So after that, if you look at
6 Section 2.3 of the report, it's "Review of
7 Petitioner-Identified Technical Safety Appraisal
8 Issues." During our review and during our, you
9 know, follow-up on this evaluation, the petitioner
10 brought up a number of additional items that we
11 continued to look at. One of them was this general
12 accounting report.

13 (Telephonic interference.)

14 MR. KATZ: I'm sorry. Somebody's not
15 muted. Can you mute your phone? Someone from the
16 public, I think. Press *6 to mute your phone so
17 that it doesn't interrupt this call. Thank you.

18 MR. RUTHERFORD: Alright. So Section
19 2.3 of the report is "Review of
20 Petitioner-Identified Technical Safety Appraisal
21 Issues." A GAO report was referenced, which also
22 referenced a Technical Safety Appraisal. That

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Technical Safety Appraisal was reviewed for issues
2 identified by the petitioner.

3 If you look at the three bullets at the
4 bottom of Page 22, one of the issues, "radiation
5 monitoring is adversely affected by poor quality
6 instrumentation, inadequate calibration
7 techniques, and improper use of equipment. The
8 Radiological Health Quality Assurance Program is
9 ineffective as evidenced by some of the preceding
10 concerns."

11 The second issue is, "During the past few
12 weeks, several SAAMs were turned off without
13 notifying either radiation monitoring or the
14 instrument technician. These instruments were
15 operational when turned back on. There is no
16 electronic method to automatically display their
17 operational status in the monitoring office."

18 And the third issue being, "The health
19 physics instruments used for personal protection
20 do not all conform to appropriate performance
21 requirements of applicable standards."

22 These were very, you know, damning

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 statements that we definitely felt that needed
2 further review. So we went back into the actual
3 Technical Safety Appraisal and looked into the
4 details. And the first issue of the poor quality
5 instrumentation and inadequate calibration issue,
6 we looked at that and all of the focus on that was
7 for field instrumentation. And that field
8 instrumentation has no bearing on our actual dose
9 reconstruction approach, and, therefore, would not
10 affect our ability to reconstruct the dose.

11 And similar with the SAAMs'
12 instrumentation. Although it is critical and
13 those are bad practices for those to be turned off
14 and is not something that you would want for your
15 radiological field program, for them to be turned
16 off and not turned back on, they do not affect our
17 ability to reconstruct the dose.

18 The third item is the health physics
19 instruments for personal protection do not all
20 conform to appropriate performance standards.
21 Again, those items are items that would not be used
22 for actual personal monitoring data, and therefore

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do not affect our ability to reconstruct the dose
2 for workers.

3 Section 3 of the report, what we felt was
4 one of the key items for this -- you know, this data
5 falsification, data destruction -- because, you
6 know, some -- a lot of this is subjective in our
7 view. And so we felt the key item is to actually
8 go back and look at what personal monitoring data
9 do we have available? Do we have, you know,
10 internal/external monitoring data? Do we see gaps
11 in that data that would possibly indicate a
12 destruction in records, a destruction of data?

13 Also, looking at the amount of data over
14 time periods, do we have indications that, you
15 know, data picked up significantly after the --
16 after the raid, which would possibly indicate that
17 the raid drove -- the raid and concerns from the
18 raid changed the radiological monitoring program
19 approach?

20 And so that was -- we thought that would
21 be something that was more, not subjective but gave
22 us a real quantitative look from a technical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 perspective. Can we look at this and see we've got
2 this information? If we've got this information,
3 we see no real gaps that we can't account for and
4 we can follow this through. And, if we have no
5 clear indication that the monitoring data has been
6 falsified, then we should be good for dose
7 reconstruction.

8 So Section 3 looked at that and we looked
9 at both the external and internal monitoring data.
10 We provided tables on that data and our conclusion
11 was that that personal monitoring data is available
12 and has not been destroyed. And so we have no --
13 and we have no indication that it's been falsified.

14 So, after all the review, the data
15 captures, the interviews that were conducted
16 throughout this process and SC&A was involved in
17 those interviews. The Board was -- some of the
18 Board Members have been involved in the interviews
19 through the process. Our conclusion is that none
20 of the issues associated with data falsification
21 or destruction or issues associated with the raid,
22 the FBI raid, would prevent us from completing dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconstructions.

2 That kind of summarizes the report.

3 MR. KATZ: Let me -- this is Ted. Let me
4 just break in here just to note that Phil Schofield
5 joined us a little while ago. So he's on the line.
6 So we have all of our Work Group members on the line.

7 CHAIRMAN KOTELCHUCK: Welcome, Phil.

8 MEMBER SCHOFIELD: Thanks.

9 CHAIRMAN KOTELCHUCK: Alright.
10 Questions from Working Group Members? Well,
11 should we -- actually, we should go to the SC&A
12 response, should we not?

13 MEMBER MUNN: Yes.

14 CHAIRMAN KOTELCHUCK: Next. Okay. Let
15 us do that. Who will -- who's going to give that
16 report?

17 DR. BUCHANAN: Okay. This is Ron
18 Buchanan, SC&A, and I'll give that report.

19 CHAIRMAN KOTELCHUCK: Good.

20 DR. BUCHANAN: Okay. I won't go over the
21 summary because they did a very good job of
22 summarizing their White Paper, which we received

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the end of June. And our task was to evaluate
2 the White Paper from a technical point of view.
3 And so we sent out a summary report recently and
4 Section 1 essentially just summarizes what they
5 talked about and Section 2, also.

6 So I'll go right into Section 3 of our
7 report on Page 2. What I did is I broke this down
8 and tried to pull out all -- there's two really
9 separate items here. It was the interviews and the
10 articles on paper, NIOSH's 32-page paper. So I
11 tried -- they were interlaced.

12 And so what I tried to do is go out and
13 separate those out and do a summary for the reader
14 so they could see. Really, the core of it is shown
15 in Table 1 there. On Page 2 are the interviews.
16 There were about 13 interviews conducted since
17 about December of 2013, about a year -- last
18 year-and-a-half.

19 And SC&A sat in on most of those
20 interviews and got firsthand knowledge of them.
21 And we went back then and read NIOSH's report and
22 looked at the references. Now they list about 140

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 references in the Site Research Database and we
2 looked at the pertinent ones that would apply.

3 And so in that table I list the interview
4 number and the page that NIOSH's report talks about
5 that interviewee and also the main Site Research
6 Database reference number. Now there are some
7 others that go with that but those are the main
8 interviews. So those of you that want to look at
9 that can follow that out in more detail.

10 Now, we -- like I say, we were on the
11 interview and also we went back and reviewed what
12 NIOSH's evaluation was and what we were looking for
13 was things that would actually, perhaps, impact the
14 recorded external dose or the bioassay data in our
15 evaluation. In other words, like just previously
16 stated, it might be bad practice to turn the air
17 monitors off or to pencil in data or something but
18 we want to look and see if it would affect the
19 ability to do dose reconstruction.

20 And going through these interviews and
21 the pertinent documents, we found out that,
22 presently, our conclusion is that there was no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 indication that there was essential individual
2 personal monitoring data that was altered or
3 destroyed or that bioassay samples that we could
4 find would not be useful for the dose
5 reconstruction process.

6 And I'd like to clarify that we did, on
7 Interview Number 6 in the table, we did not have
8 the example of the actual documents sent into NIOSH
9 from that interviewee. However we did look at the
10 summary of the documents in the referenced Document
11 132787 and drew our conclusions from that. And so,
12 essentially, we found nothing that would indicate
13 lack of ability to do dose reconstruction.

14 Now that was for the interviews. We did
15 the same thing for the related articles. You see
16 Table 2 there on Page 4 lists the main articles as
17 a separate -- as separated out from the reference
18 documents used for the interviews.

19 We had about eight documents that were
20 brought forward by the petitioner and NIOSH and we
21 looked at those and I list there a brief indication
22 of the contents, the page number in NIOSH's recent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 report and then the Site Research Database
2 reference number.

3 And, again, we went through these
4 documents with the same purpose: to look and see
5 if it would indicate any data falsification, record
6 destruction or bioassay data procedures that would
7 hinder dose reconstruction. And we did not, at
8 this point, identify any.

9 Now, there is one other issue that has not
10 been brought up and that's in Section 4 of our
11 report on Page 4. And that is the fact that it
12 appears that the FBI raid mainly was centered
13 around environmental issues and not directly
14 connected to personal monitoring. However I would
15 like to point out in TBD-4 for Rocky Flats there
16 are some tables in there that are used for dose
17 reconstruction that perhaps were drawn from data
18 that was collected, say, prior to 1989.

19 And so I -- our conclusions concerning
20 this, TBD-4, is that it should be looked at to see
21 if there are any environmental-data issues
22 identified in the raid and that we've been talking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about recently that would impact the validity of
2 the data that's contained in TBD-4 that is used
3 directly for dose reconstruction when a person has
4 environmental dose assigned.

5 And so that summarizes our evaluation.

6 CHAIRMAN KOTELCHUCK: Okay. Any --
7 LaVon, did you want to respond particularly to the
8 last item?

9 MR. RUTHERFORD: Yes, I do. In fact, an
10 issue that SC&A brought up in a previous
11 (Telephonic interference) and we I think at that
12 time even said that that was something that we would
13 go back and look at because --

14 CHAIRMAN KOTELCHUCK: We're having some
15 interference.

16 MR. RUTHERFORD: Are you -- are you
17 getting -- still getting interference?

18 CHAIRMAN KOTELCHUCK: I am but that may
19 be -- that may be a problem, by the way, on my line.
20 Anybody else having problems?

21 DR. NETON: This is Jim, Bomber, you're
22 breaking up. You're just appearing on and off

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 periodically.

2 MR. RUTHERFORD: Okay. Well, let me
3 switch over my -- a section here maybe away from
4 the monitor. Maybe that will help. Is that
5 better?

6 CHAIRMAN KOTELCHUCK: Yes.

7 MR. RUTHERFORD: Okay. Again, the
8 environmental issue was -- TBD issue was brought
9 up by SC&A in a previous review and we agreed that
10 the environmental TBD will have to be revised. At
11 that time, we -- it was also indicated by SC&A that
12 this was not an SEC issue. It was more of a dose
13 reconstruction issue and so, at that -- we had
14 anticipated that we would have to revise that
15 environmental report and that we would -- or
16 environmental TBD, recognizing this issue.

17 CHAIRMAN KOTELCHUCK: Okay. So this
18 would have to be a follow-up. That is, you will
19 follow up on that and is that -- that's something
20 that -- would that have to be sent to the Work Group
21 or come back to the Work Group again or --

22 MR. RUTHERFORD: Well, you know, in my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 opinion this is a -- and as previously stated, I
2 think this is a TBD issue that we'll have to
3 address. But, ultimately, the Work Group will
4 review the TBDs as well, the final TBDs after
5 revisions are made with the SC&A -- or with the SEC
6 and the close-out of all our reports.

7 TBD revisions -- all the TBDs will be
8 revised and so I'm sure that review will take place.

9 CHAIRMAN KOTELCHUCK: Okay. Any
10 questions? Comments?

11 MEMBER SCHOFIELD: Yes. This is a
12 question for LaVon. This is Phil. I -- I've got
13 just one question. That is, I understand --
14 correct me if I'm wrong -- but my understanding is
15 they do actually have data sheets or logs of some
16 -- a lot of the monitors for the stacks and for the
17 rooms and stuff. Those do exist?

18 MR. RUTHERFORD: That's correct.

19 MEMBER SCHOFIELD: Okay. That's what I
20 need clarified. Thanks.

21 CHAIRMAN KOTELCHUCK: Okay. Further?

22 MEMBER MUNN: And this is Wanda. My only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question is how far along are you with reviewing
2 that environmental data?

3 MR. RUTHERFORD: Well, actually, it was
4 kind of put on the back burner because we were
5 trying to work on the SEC issues to close out the
6 SEC issues on this before we move forward with
7 revising the TBD.

8 MEMBER MUNN: So, essentially, it --
9 you're ready to go?

10 MR. RUTHERFORD: Yes. Once we're done
11 with the -- yes. Close out the issues and we'll
12 start moving forward with the TBD revisions.

13 MEMBER MUNN: What's your assessment of
14 how likely that's to be -- going to be, to take very
15 long?

16 MR. RUTHERFORD: Wow. Now, you've --
17 that I cannot answer. You know, one of the
18 difficulties we face is the ever-moving [fee?]
19 list. You know, right now we're doing SEC
20 evaluations on Argonne National Lab, Lawrence
21 Livermore and a new evaluation on Blockson. All
22 of these take significant resources.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We have teams working on Savannah River
2 Site to close out the SEC in Savannah River Site,
3 Hanford, and open issues with the Idaho National
4 Lab. We've got co-worker issues that we're
5 working through the modeling on or finalizing our
6 implementation guide and moving forward with that.

7 So there is a -- it's -- what I'm basically
8 saying is there's so many things going on and we've
9 got to do dose reconstruction, which is our
10 priority, that it's hard to define exactly or give
11 you a good estimate on a -- when the TBDs could be
12 revised.

13 I think what we have to do is, once we
14 close this out, close these issues out and move
15 forward. We'll put together a schedule based on our
16 current resources and priorities and, you know --
17 and we always adjust the priority based on what,
18 you know, the Board's looking for at the time and
19 what seems to be the highest priority at the time.
20 So --

21 MEMBER MUNN: Well, I certainly
22 understand that and I'm not asking how long is it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to take to bring this other rock but, in view
2 of the fact that this seems to me -- perhaps I'm
3 looking at it incorrectly but this looks to me to
4 be one of the very few outstanding questions that
5 remain for us at Rocky.

6 They've had such a rough time and they've
7 had such an excellent record with respect to the
8 care and keeping of the safety of the employees,
9 I just -- it seems a shame, unless it's going to
10 be a really rigorous requirement of large amounts
11 of personnel time, it -- from the outside, it looks
12 as though that shouldn't be too difficult a thing
13 to wrap up. That's the only reason for the
14 question.

15 MR. RUTHERFORD: I agree. I don't
16 anticipate it being a difficult thing to close out
17 and move forward.

18 MEMBER MUNN: That's just one of those
19 things which because -- especially because Rocky
20 has received so much publicity and because there's
21 been so much interest focused on it, if this is --
22 this is something that's keeping us from reaching

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an endpoint, it might be wise for us to consider.
2 If we're not going to have a massive commitment of
3 personnel time, it might be worth taking a look at
4 that.

5 MR. RUTHERFORD: Yes.

6 CHAIRMAN KOTELCHUCK: Okay. But I think
7 that there's agreement -- well, there is agreement
8 between NIOSH, ORAU and SC&A on the fact that this
9 does not impact the dose reconstruction except for
10 the possibility that some of the environmental
11 problems have fed back into our dose
12 reconstruction. So there's that -- just simply
13 that one item to go.

14 But the basic conclusion appears -- there
15 appears to be agreement and there does -- there does
16 not appear to be disagreement among our Working
17 Group about that overall conclusion with the
18 exception of that one point. Is that -- is that
19 a correct statement?

20 MEMBER MUNN: I believe so.

21 CHAIRMAN KOTELCHUCK: Okay.

22 MEMBER FIELD: I -- this is Bill Field.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I have one question for LaVon.

2 CHAIRMAN KOTELCHUCK: Good.

3 MEMBER FIELD: LaVon, on page -- let me
4 see what page this is here. I guess this is
5 Page 19. There's a statement, there is a
6 contention that a flyover -- that the flyover data
7 indicated the presence of cesium-137 and
8 strontium-90. Could you just provide a bit more
9 detail about what -- what's the contention? Was
10 this reported by several people are, or -- I just
11 -- contention's kind of a big word. I'm just
12 wondering if you have more detail?

13 MR. RUTHERFORD: Yes. Statement made by
14 the FBI agent and it was -- we were -- we were as
15 surprised as you sound with that statement. And
16 there was -- you know, the concern was brought up.
17 So there was a detailed investigation into the
18 potential of a criticality event occurring. And
19 there was no abnormal -- abnormally high fission
20 product activity in the environment or area that
21 would indicate that a potential criticality
22 occurred.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There was a detailed assessment that was
2 done by the -- and I'm trying to remember who
3 sanctioned the assessment, if it was Department of
4 Energy. Dan Stempfley may be able to correct me
5 or Jim Bogard. But the assessment went in, looked
6 at the data, reviewed data from different areas and
7 ultimately, the conclusion was that there was no
8 criticality event that occurred.

9 MEMBER FIELD: Okay. And then, in
10 addition to no criticality, there was also no
11 flyover as far as you know, is that correct?

12 MR. RUTHERFORD: Well, we have an
13 indication of one flyover that did occur. We did
14 not get the second flyover. But the flyover
15 appeared to focus more on a heat sensor -- sensing,
16 basically looking for was there -- and this is what
17 we were told in the interview, that, you know, were
18 they potentially using the -- were they using the
19 furnace when the furnace was not supposed to be used
20 at night. And so it was more of a heat-sensor type
21 of review.

22 MEMBER FIELD: Okay. So the bulleted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 part where it says, there is a contention that the
2 flyover data, that's referring to the 1989 flyover
3 data?

4 MR. RUTHERFORD: Correct.

5 MEMBER FIELD: Okay.

6 MR. BOGARD: This is Jim Bogard. There
7 was a review by the State of Colorado and we
8 interviewed one of the participants in that review
9 who did an assessment of the flyover data that
10 claimed to have found the cesium-137 activity.
11 And he said that there is no indication of a
12 criticality and that was the conclusion of his
13 panel.

14 MEMBER FIELD: Okay. Is any of this
15 published anywhere or are there documents stating
16 that?

17 MR. RUTHERFORD: Yes. All of the
18 documents that we've received and including that
19 assessment are in our Site Research Database.

20 MEMBER FIELD: Okay.

21 CHAIRMAN KOTELCHUCK: Okay. Alright.
22 Further questions, comments? Shall be go on now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the report on the Critical Mass Lab?

2 MR. KATZ: So, Dave, this is Ted. That
3 issue is closed as far as the Work Group's
4 concerned?

5 CHAIRMAN KOTELCHUCK: It's closed except
6 as I said. It's closed except for that one item
7 about the -- how the environmental -- any
8 environmental problems fed back into the
9 occupational radiation reconstruction.

10 MR. KATZ: Right. So the Work Group's
11 concurring that that's a TBD issue. So the --

12 CHAIRMAN KOTELCHUCK: Right. In fact, I
13 thought I just said that, yes, that we do concur
14 --

15 MR. KATZ: Okay.

16 CHAIRMAN KOTELCHUCK: -- on the basic
17 agreement that the data falsification has no impact
18 on our dose reconstruction except for that one item
19 which will be taken care of later.

20 MR. KATZ: Thank you.

21 CHAIRMAN KOTELCHUCK: Okay. Critical
22 Mass.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. RUTHERFORD: Okay. Our second
2 document is the assessment of sealed radioactive
3 sources and fission and activation products as
4 radiological exposure sources in the Rocky Flats
5 plant at the Critical Mass Laboratory. This White
6 Paper looks at the radioactive materials present
7 at CML -- and I will refer to the Critical Mass
8 Laboratory a number of times as the CML -- the
9 exposure potential and how these exposures can be
10 reconstructed if necessary.

11 The first section goes through the
12 history of the CML. And this -- as Dr. Rothe was
13 on the line and mentioned, a significant amount of
14 the information that was in this report was
15 received from Dr. Rothe, and a document that he
16 authored called A Technical Usable History of the
17 Critical Mass Laboratory at Rocky Flats, which
18 provides significant detail into the analyses --
19 the different analyses that occurred, the time
20 periods, incidents that occurred as, you know, a
21 number of activities up through pretty much the end
22 of operations at the Critical Mass Laboratory.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: LaVon, may I
2 interrupt?

3 MR. RUTHERFORD: Yes.

4 CHAIRMAN KOTELCHUCK: One second. I do
5 not see -- I'm on the DCAS website. I do not see
6 that White Paper on the website.

7 MR. KATZ: I looked, too. It's not
8 there.

9 CHAIRMAN KOTELCHUCK: Could somebody put
10 it on while we're speaking or can it be put on the
11 --

12 MR. RUTHERFORD: Live Meeting?

13 CHAIRMAN KOTELCHUCK: -- on the Live
14 Meeting? Thank you.

15 MR. RUTHERFORD: Yes. I can get it put
16 on the Live Meeting. I'm trying to -- I'm
17 surprised because I got an email that shows it --
18 shows it being there. But I will put it on the Live
19 Meeting right now.

20 CHAIRMAN KOTELCHUCK: Okay. Good.
21 Thank you.

22 MR. RUTHERFORD: One moment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Sure.

2 DR. ROTHE: This is Dr. Rothe. May I
3 unmute my phone now, since I think I may have some
4 things I may want to add?

5 MR. KATZ: Dr. Rothe, why don't you -- if
6 you would just wait and unmute your phone when it's
7 time for you to make a comment, that would be great.

8 DR. ROTHE: Okay. You will ask me for
9 that, right?

10 MR. KATZ: Sure. The Work Group Members
11 or LaVon or Joe Fitzgerald from SC&A or -- yes.

12 DR. ROTHE: Okay. So I will go back to
13 mute then.

14 MR. KATZ: Thank you, Dr. Rothe.

15 MR. RUTHERFORD: One moment. I'm still
16 trying to --

17 CHAIRMAN KOTELCHUCK: Right. That's
18 okay. It always takes a few more moments doing
19 something live, in real time. No problem.

20 We also could adjust the agenda and go on
21 to the next item, if you'd like a little extra time.

22 MR. KATZ: LaVon, it's -- if you just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bring -- if you just bring it up on your screen and
2 bring up your -- and then share your desktop,
3 everybody will see it.

4 MR. RUTHERFORD: Okay. Hold on. I was
5 actually trying to pull it from another area but,
6 yes, I can do that.

7 MR. KATZ: Yes. That's the quickest
8 thing to do.

9 MR. RUTHERFORD: Can you see it now?

10 CHAIRMAN KOTELCHUCK: Yes, indeed.
11 Thank you.

12 MR. RUTHERFORD: Okay. Alright. Let
13 me -- I have to do one other thing.

14 CHAIRMAN KOTELCHUCK: Sure.

15 MR. RUTHERFORD: I couldn't see my notes
16 that way. Okay. So, again, the Critical Mass
17 Laboratory -- I've got it pulled up. If you look
18 at -- this is the review I just pulled up.

19 CHAIRMAN KOTELCHUCK: What I see is the
20 SC&A comment.

21 MR. RUTHERFORD: Hold on. Yes.

22 CHAIRMAN KOTELCHUCK: Not the original

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NIOSH report.

2 MR. RUTHERFORD: Okay. Maybe you guys
3 were right and I looked at this wrong and it's --
4 the review's not there. I'll pull it right up and
5 out of my files.

6 CHAIRMAN KOTELCHUCK: Sure. Our
7 Working Group Members certainly had access to it
8 and looked at it and reviewed it before the meeting.

9 MR. RUTHERFORD: Sure. I'm really
10 surprised it was not sent to you. I was gone last
11 week, as you know, so I --

12 CHAIRMAN KOTELCHUCK: Yes.

13 MR. RUTHERFORD: Yes. I promise you one
14 more minute I'll have it.

15 CHAIRMAN KOTELCHUCK: Okay.

16 MR. RUTHERFORD: Oh, I know where to
17 look. I'm in the wrong area. Here's data
18 falsification. Critical Mass Laboratory.
19 Finally. Can we see that?

20 CHAIRMAN KOTELCHUCK: Yes. Thank you.

21 MR. RUTHERFORD: Alright.

22 MEMBER MUNN: Magic.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. RUTHERFORD: Yes. Well, you know,
2 you guys put me on the spot. It made it difficult.

3 MEMBER MUNN: Sorry about that.

4 MR. RUTHERFORD: So our second paper, as
5 we indicated, is an assessment of sealed
6 radioactive sources and fission and activation
7 products as radiological exposure sources at the
8 Rocky Flats plant Critical Mass laboratory,
9 Building 886. Okay. We issued on June 9, 2015.

10 We were basically -- our review was to
11 look at the sources at the Critical Mass
12 Laboratory, you know, the different experiments
13 that occurred, fission and activation products
14 that could be generated into fuels and whether
15 there was a potential that those fission and
16 activation products created an exposure -- or had
17 an exposure potential and, if there was, was there
18 monitoring data or was there a way that we could
19 assess the exposure potential from the fission and
20 activation products.

21 The first section is history of the
22 Critical Mass Laboratory and it goes into some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussions. As I mentioned, a significant amount
2 of the information that we received in this is from
3 a history report of Critical Mass Laboratory that
4 goes into the experiments that occurred.

5 They conducted 1600 to 1700 experiments
6 and, if you look on Page 2, you'll see the different
7 types of -- or some of the discussion on those
8 experiments that occurred. If you follow on and
9 you -- one of the key things to note is that
10 experiments did not resume after the FBI raid in
11 1989. And another key thing to note is -- and I'll
12 get into that a little bit more -- is the types of
13 analyses that occurred after the 1983 up to the 1989
14 period.

15 If you look at the following page under
16 radioactive materials used in the CML, you'll see
17 a Table 1. You see high-enriched uranium
18 hemishells and rods, low-enriched uranium oxide,
19 packet briquettes, plutonium ingots,
20 high-enriched uranium, uranyl nitrate solution,
21 plutonium in the form of metal hemishells and
22 machined plutonium cylinders sealed in double

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 containers.

2 As you move on into the report, we
3 actually look at the sealed radioactive sources in
4 use at the Critical Mass Laboratory, in addition
5 to not only the experiments that occurred but
6 you'll see that you have californium sources,
7 cobalt-60 sources in Table 2. There was
8 plutonium-beryllium from a -- most of the
9 californium for the neutron activation for
10 providing a neutron source for the analyses.

11 So our big concern, the concern that was
12 brought up to us about the Critical Mass Laboratory
13 is do we have a concern with fission and activation
14 products and -- that was generated from these
15 low-level criticalities or bringing things to
16 criticality at the CML that would create a
17 potential exposure that we have not actually
18 evaluated.

19 So, if you go down to Page 5, you'll see
20 a timeline of experiments or actually Table 3.
21 There's a timeline of experiments that happened at
22 the Rocky Flats Critical Mass Laboratory. You can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 see a lot of the work that occurred in the early
2 years. We also give you reference numbers and
3 stuff that you can look it up, the number of events
4 that occurred through that period.

5 But, if you go down to the bottom of that
6 table, you'll notice that after 1983 the only thing
7 that really -- all the experiments were focused
8 around uranyl nitrate and uranium solution at that
9 time period. So this is, in our opinion, the
10 critical time period. Prior to 1982, it's -- or
11 '83, it's already an SEC. And so we were focusing
12 on the time period from the '83 until the end of
13 operations in -- at roughly '89.

14 Again, Table 4 has a number of experiments
15 for each different material, physical and chemical
16 form that occurred. And so, again, the HEU
17 represents the most likely source of -- in our
18 opinion, the HEU represents the most likely source
19 of internal contamination by the fission and
20 activation products because, one, it was handled
21 in open tanks -- open tanks and because several
22 spills occurred requiring cleanup and recovery.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And that was what was occurring during this
2 specific time period in 1983 to 1989 as well.

3 If you look at the Page 7, you'll notice
4 that the top four incidents involving facility
5 contamination by solid material reported plutonium
6 metal sealed in a can reacted with water in a can
7 outside and plutonium pushing up from the can,
8 another, a can containing compressed low enriched
9 uranium powder, two almost identical incidents
10 with uranyl nitrate salts.

11 So, again, we were looking at the
12 exposure, either an acute or chronic personal
13 exposure that could be generated by some of these
14 events that occurred.

15 So what we looked -- what we tried to do
16 was, taking all these experiments that occurred in
17 Building 886 and, since there was significant
18 detail that was provided in that History of the
19 Critical Mass Laboratory, we looked at coming up
20 with a model that would identify the activity
21 concentrations of the fission and activation
22 products based on the source materials that were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 used in Building 886.

2 We knew we had approximately 1600
3 criticality experiments that occurred during that
4 period and we estimated the amount of fissions from
5 the high-powered experiments and then, using a
6 code, we simulated a code being ORIGEN-S. We
7 simulated fission and activation product buildup
8 in the uranium solution over time.

9 And so -- okay. So, if you look at,
10 again, on Figure 1, we have a time distribution of
11 the HEU critical experience over the history of
12 time. We used that to come up with an average
13 solution experimental rate in -- for developing our
14 model. You can see that. And we assume that each
15 experiment took one hour and was conducted at a
16 power level of 10 milliwatts.

17 If you look at Table 5 from our model that
18 we developed, the total activities -- the
19 activities -- activity dosimetry, important
20 fission and activation products ten days after the
21 end of CML operations.

22 So, basically, we developed this looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at buildup over time, including decay. And then
2 we came up with an activity concentration for each
3 of the activation products that would -- fission
4 and activation products that would occur.

5 And then, from that we assumed that --
6 came up with the position that these inventories
7 would have been fairly uniformly distributed
8 within the total volume of the solution at the CML
9 and they would be transferred back to the tank farm
10 for storage. So our exposure potentials were both
11 from re-suspension of any spills that occurred and
12 contamination that occurred at the facility as well
13 as during the decommissioning and demolition of the
14 facility.

15 So we took a couple different approaches
16 on this. For the decontamination and
17 decommissioning of the facility, we actually took
18 in, if I remember correctly here, we captured a
19 number of different surveys that occurred for D&D
20 of the facility. We had a -- we looked at the
21 different dose rates that were emitted from that.
22 This is, again, on Page 11. We looked at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 activity counts or the actual smear surveys that
2 were done as well for this.

3 Let's see. There it is, Contamination
4 Levels. I'm going to get down to the final. Hold
5 on here. So our final thing was to look at -- do
6 an assessment of the unmonitored radiation dose at
7 the CML. So, if we go to Table 8, you'll see that
8 you'll look at the high enriched uranium solution
9 spills over the history of the CML. So what we did
10 was we looked at all the different spills that
11 occurred over the time period.

12 Again, these are what we indicated were
13 the most likely source of internal contamination
14 because it was spill material. It was from tanks
15 that were open tanks and plated out into the surface
16 or onto the surface. So, as you can see on Table 8,
17 the recorded amount of high enriched uranium
18 nitrate solution is under 30 kilograms. Almost
19 all the spilled fuel was recovered and surfaces
20 decontaminated.

21 So what we ultimately ended up doing was
22 we took the contamination area limit of 2,000 dpm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 per 100 square centimeters, which is the -- and we
2 -- and we took the total floor area of the room
3 that's approximately 220 meters square and came up
4 with an amount of 1,980 microcuries of HEU.

5 And, from that we developed bounding
6 intakes from this. The intake would be based on
7 a concentration spill fraction, resuspension
8 factor, breathing rate, intake period and our
9 combined surface area.

10 And, if you look at Table 6, our intakes
11 from this, you can see the actual intake
12 concentration in becquerels on the right and for
13 each radionuclide in the total inventory. And
14 Table 6 identifies the intakes of dosimetry --
15 dosimetrically important fission and activation
16 products, and resuspension of contaminants at the
17 CML. And that narrows it down to roughly six or
18 seven items.

19 And then, from that we took the largest
20 total organ dose and thyroid from the soluble I-131
21 and you can see that the committed dose in that --
22 from that in sieverts is 3.7 times ten to the minus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 seven and for bone surface and the lungs 4.0 and
2 lungs for insoluble at 6.1, which is, you know,
3 basically a negligible -- a negligible dose from
4 that event or from the prompt resuspension of those
5 materials.

6 So our summary was that we concluded that
7 the -- we have external monitoring data from the
8 individuals. Each individual had external badge
9 readings. The internal monitoring data, you know,
10 all -- everyone was -- had bioassay monitoring and
11 for uranium, plutonium, americium. And the
12 fission and activation products that were
13 generated during these routine experiments did not
14 generate enough activity to create a potential --
15 an exposure that would provide a measurable dose
16 to the individuals.

17 I want to see if our ORAU team members want
18 to add anything on that, too, because I got kind
19 of lost in that process there.

20 MR. BOGARD: This is Jim Bogard. Now,
21 only clarification back on the Figure 1 that showed
22 the frequency. Yes. Figure 1 on Page 9, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 average HEU solution expendable rate is actually
2 an artifact. We originally did the analysis using
3 that average rate, but we decided to go back and
4 try to use the -- an assessment that considered the
5 experiments in the time period that they occurred.
6 So the average rate actually wasn't used in the
7 final analysis. It was the number of experiments
8 in the particular time period. That's all I wanted
9 to add.

10 MR. RUTHERFORD: Yes.

11 MEMBER FIELD: LaVon, this is Bill Field.

12 Do you have like two Table 6s and 7s?

13 MR. RUTHERFORD: Hold on.

14 MEMBER FIELD: Because I see them in
15 both. I see where different tables below are
16 labeled 6 and 7.

17 MR. BOGARD: Yes. You're right. There
18 are two -- at least two Table 6s.

19 MEMBER FIELD: Yes.

20 MR. BOGARD: And then come back for Table
21 8.

22 MR. RUTHERFORD: I can see we're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have to fix that.

2 MR. BOGARD: So the tables need to be
3 renumbered.

4 MR. RUTHERFORD: Okay. Yes. Yes. I
5 see the Table 6 after Table 8. Okay. I don't know
6 how I missed that one.

7 MR. BOGARD: What's a misplaced table
8 among friends?

9 MR. RUTHERFORD: Okay. Any questions --
10 other questions?

11 CHAIRMAN KOTELCHUCK: This is -- this is
12 Dave Kotelchuck. I was off the line for a while,
13 got cut off and I'm back. Was that Dr. Rothe
14 speaking just before?

15 MR. KATZ: No, that was LaVon speaking.

16 CHAIRMAN KOTELCHUCK: I mean LaVon was
17 giving the report. I thought I heard another
18 person speaking. Do we want to give the SC&A
19 response or -- and I gather that Dr. Rothe is on
20 the line and will be asked to join us at some point.
21 I'm not quite sure what's the right time.

22 MR. KATZ: Yes. So I think you're asking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for SC&A to give its response?

2 CHAIRMAN KOTELCHUCK: Yes.

3 DR. BUCHANAN: Okay. This is Ron
4 Buchanan again of SC&A. And we reviewed NIOSH's
5 very detailed White Paper concerning the CML. And
6 they did a good job of identifying the source terms
7 and everything. And we looked over those and we
8 agreed with most of their basis and we set out a
9 White Paper which outlined it again, summarized it
10 and then really addressed it.

11 We looked at source terms, which was the
12 sealed sources, the fission activation products
13 and the fuels and looked at, of course, the external
14 dose that was recorded by the dosimeters, internal
15 doses and -- was what we were concentrating on.
16 And, of course, the sealed sources, by definition
17 you wouldn't have any internal. So we were looking
18 at the fission activation and fuel internal
19 exposure potentials.

20 And so we addressed it in kind of like
21 where the rubber hits the road. Okay. They did
22 a very good job outlining the source terms and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 stuff. We looked at it and said, okay, was this
2 data available for the workers that were there.
3 And so I went into the actual database for the
4 workers and the NOCTS and looked at some of the
5 claims.

6 Now, this was -- what I did was I took the
7 book or article on the history of the CML and looked
8 at some of the people's names in there. And there
9 were about 25 names in that publication that would
10 indicate that they could have some potential
11 uptake: experimenters, technicians, nuclear
12 engineers, those sort of people that were working
13 around the material and would be involved in the
14 daily operation and cleanup.

15 And so I looked at those 25 people and I
16 looked them all up on the database and four were
17 claimants, fortunately. And so I looked in detail
18 at their data and see what was available. In other
19 words, were we looking at bioassays that would
20 allow for dose reconstruction regardless of the
21 source terms as long as the bioassays were
22 appropriate for the source terms and analyzing what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NOISH puts forth as the source terms and the other
2 articles that I've read and looking at the
3 bioassay data and they -- there was an appropriate
4 match there.

5 And so what we wanted to look at was the
6 number and the pattern of the bioassays that were
7 recorded and will actually be used in the dose
8 reconstruction. And so I looked at those and,
9 fortunately, there was data for the operational
10 period -- and for the people that fit some of these
11 categories that would be potentially exposed.

12 And so I looked at the pattern and the
13 number and the types and there were -- there were
14 urinalysis for uranium and plutonium, there's
15 whole-body count for fission products. There were
16 several different types of analysis. And so I
17 looked to see what the pattern was there and I
18 listed in Table 1 kind of the summary of our
19 investigation.

20 And what I was looking for was, was there
21 at least annual bioassays indicating that they
22 would pick up the doses, the impacts that were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 received and, number two, was there more than one
2 or two per year because you could have a whole-body
3 [count] and a urinalysis. That would be two a
4 year. That would be still considered an annual.

5 And so I looked at those that had three
6 or greater indicating that, if something happened,
7 they were they were bioassayed. And so I have the
8 cases there, A, B, C and D, the four cases, the
9 number of years they worked at the CML, the number
10 of bioassays in that -- in the operating period '64
11 to '82, average bioassays per year and the range
12 of the number of bioassays per year and the number
13 of years that had more than three bioassays per
14 year.

15 As you can see there, there was,
16 generally, at least an annual bioassay in most
17 cases. Sometimes if the person started late or
18 left early in the year, there was -- there was no
19 response that would fill the bill. But most of the
20 time there were annual bioassays. And there was
21 -- and about 30 percent of the time there was about
22 three or more bioassays in a year. And so -- and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these were bioassays that would look at the source
2 terms that were just explained by NIOSH.

3 And so we concluded that they did do
4 regular bioassays. There was probably -- although
5 [we] had no direct proof, there was probably some
6 special bioassays because, unfortunately, Rocky
7 Flats didn't label a lot of their bioassays as to
8 whether they were routine or special or
9 event-driven. So you just have to kind of try to
10 look at the frequency and see if that's what it
11 might be.

12 Now, in addition, we said, okay, what if
13 a person didn't have a -- had an intake and wasn't
14 -- and didn't have a special bioassay, say, from
15 a liquid fuel spill or something? So, I used the
16 IMBA program to run some generic cases where a
17 person [was] having a plutonium or uranium intake,
18 say, between annual bioassays and say six months
19 into it, halfway in between, would we be able to
20 see it?

21 And what I found out was depends on the
22 isotope solubility and some, you know, other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 variables. We'd still be at around 50 percent of
2 the original concentration. Forty-five to 85
3 percent of the original concentration would be
4 there to detect then when the next annual bioassay
5 came up.

6 And so, in summary, we found it most
7 likely that, in fact, reading a lot of articles
8 around CML -- Dr. Rothe's history was very helpful
9 in this -- and some other articles, that CML was
10 fairly well represented as far as bioassays. And
11 so we did not find an issue that would indicate that
12 people that worked there would be missed assigning
13 dose during the dose reconstruction. So that --
14 that's the summary.

15 CHAIRMAN KOTELCHUCK: Okay. Questions
16 or was there -- was there something that Dr. Rothe
17 would comment on or wants to comment on?

18 DR. ROTHE: This is Dr. Rothe. Yes. Do
19 I -- may I have the time now to -- I have seven major
20 points that I would like to discuss concerning the
21 White Paper. And it --

22 CHAIRMAN KOTELCHUCK: I guess so. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 don't know how long that will take but --

2 MS. BARRIE: Dr. Kotelchuck, this is
3 Terrie.

4 CHAIRMAN KOTELCHUCK: Yes.

5 MS. BARRIE: Charles Saunders and I are
6 willing to give up our petitioner comments at this
7 time in order for Dr. Rothe to speak and we'll take
8 care of our comments at the full Board meeting next
9 week, if that's okay with you.

10 CHAIRMAN KOTELCHUCK: Well that's very
11 nice of you. Well, good. Good. Then --

12 DR. ROTHE: I don't think my comments
13 will take that long anyhow.

14 CHAIRMAN KOTELCHUCK: Thank you. And I
15 don't know. I think we may have time for your
16 comments later anyway, Terrie.

17 MS. BARRIE: Okay.

18 CHAIRMAN KOTELCHUCK: But, Dr. Rothe,
19 please do go ahead.

20 DR. ROTHE: Alright. Thank you very
21 much. I have, as I said, seven major points.
22 Point number one, a very important point, there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 absolutely no way whatsoever that anyone can even
2 guess at a power level for the super -- slightly
3 super-delayed critical experiments at the CML.

4 It is not even conceivably possible to
5 reconstruct a power level from the data that was
6 collected for every experiment. Therefore, the 10
7 milliwatts assumed in the White Paper is certainly
8 wrong, simply wrong.

9 I've given this a lot of thought since
10 reading the White Paper and have come up with one
11 possible alternative that would allow you to at
12 least estimate a possible lower limit on the power
13 level and that methodology -- I have not done the
14 calculation myself but there are physicists around
15 that can do that.

16 My suggestion is that we take credit for
17 the fact that, at the CML experiments, we never
18 needed to dissipate heat generated. That is,
19 there was no thermal -- no significant thermal
20 expansion due to heat from an experiment.

21 And, therefore, I would like to suggest
22 that someone calculate the following: consider a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 60-inch-square slab of high concentration uranium
2 solution that can -- that attained criticality at
3 12.64 centimeters. I'm recalling this from a
4 specific experiment that I did early in my career.

5 Then, once you calculate the wattage that
6 would have increased that height by thermal
7 expansion by a two times ten to the minus three
8 centimeters -- that is a few hundredths of a
9 millimeter -- the nine-hour-long experiment that
10 is referenced in the White Paper proved the
11 sensitivity because, in that particular
12 experiment, a few seconds of the slow pump, which
13 added solution at the rate of one-third of a gallon
14 per hour, gives us a very sensitive measure of the
15 -- of the height increase because you can easily
16 measure two seconds.

17 And it turns out that two seconds of that
18 slow pump would increase the solution height five
19 times ten to the minus four centimeters. Still at
20 that small change in solution height, a very
21 significant and noticeable, measurable change in
22 the positive reactor period. I'm assuming

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 everybody knows what a positive reactor period and
2 a negative reactor period is.

3 About 30 seconds worth of such an addition
4 would produce a short reactor period but still
5 within the allowable limits by technical
6 specifications.

7 One preliminary thermodynamic
8 calculation, done on the back of an envelope we
9 might say, suggested that the two-second pump
10 change in period corresponded to about 4 watts.
11 But we could pump or -- we could make that small
12 pump addition -- slow pump addition for 30 seconds.
13 So that's 15 times longer than the 4 watts. So,
14 if things are linear, you could assume 15 times 4
15 -- or 60 watts. That's a little bit larger than
16 10 milliwatts.

17 Now, if -- when one is doing this
18 calculation, since you won't have the thermal
19 expansion of uranium solution, I'm suggesting that
20 you use the thermal coefficient of thermal
21 expansion for nitric acid or for water.

22 CHAIRMAN KOTELCHUCK: Sir, this is hard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to follow. You're giving a lot of numbers that I
2 trust are written down or you can write down?

3 DR. ROTHE: Yes. I have written them
4 down.

5 CHAIRMAN KOTELCHUCK: Good.

6 DR. ROTHE: And I will forward them
7 whenever anybody wants them.

8 CHAIRMAN KOTELCHUCK: Okay. Okay.

9 DR. ROTHE: Now, my suggestion is -- in
10 fact, my -- I very strongly encourage that whatever
11 wattage you come up with there, somewhere between
12 10 and 20 watts, probably, to be used in the
13 ORIGEN-S calculation in order to determine the
14 impact of radiologic exposure from CML's almost
15 1,000 or so critical experiments. And we did
16 attain delayed criticality on every one of these
17 experiments that I claim went critical.

18 Now, if it turns out that 10 or 20 watts
19 of power yields the same results as the
20 10 milliwatts, so be it. If it's the same
21 conclusion in the White Paper, I won't argue any
22 further.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay.

2 DR. ROTHE: Okay. Point number two, no
3 experiment -- no experiment ever lasted only an
4 hour. No critical experiment anyhow lasted over
5 an hour -- only an hour. If we attain criticality,
6 at least 20 to 30 minutes would be spent without
7 making any change in solution height, establishing
8 a positive reactor period. Then, after that, a
9 little bit of solution would be drained away,
10 allowing another 20 to 30 minutes to establish a
11 negative reactor period such that we could linearly
12 interpolate the critical height between the
13 positive period and the negative period.

14 In addition, both of these were preceded
15 by 45 to 60 minutes approaching criticality where
16 fission fragments were still being created. To be
17 on the safe side, when reconstructing dose
18 exposure, I suggest that you assume at least
19 two-and-a-half hours. Thus, in summary to this
20 point, two-and-a-half hours instead of one hour and
21 10 to 20 watts instead of 10 milliwatts.

22 CHAIRMAN KOTELCHUCK: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ROTHE: Now I'm moving on to point
2 number three.

3 CHAIRMAN KOTELCHUCK: Yes.

4 DR. ROTHE: The White Paper totally
5 underestimates the fission fragments built up
6 during our experiments. The radiation monitor
7 working with us often told us to avoid proximity
8 to the fissile fuel, be that solid metal or the
9 solution, after an experiment because of the
10 elevated radiation levels.

11 Often we were told to stay out of Room 103,
12 the solution storage room, after a solution
13 experiment. Sometimes that would be for two or
14 three days, such as over a weekend. The radiation
15 monitors wanted the fission fragment inventory to
16 decay away.

17 Now, you guys probably know this better
18 than I do but I recall a rule of thumb someone told
19 me that fission fragment radiation would decay
20 according to t to the minus 1.4 in days.

21 Okay. Point number four, the age of the
22 plutonium metal cylinders, we got these metal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cylinders for experiments in the 1970s sometime and
2 we returned them to the production stream in 1983.
3 By that time, the plutonium metal was about 25 years
4 old and would -- there is a natural process that
5 inbreeds americium-241 into the plutonium-239.
6 And that -- and that makes the resultant plutonium
7 metal cylinders much more hazardous to handle or
8 deal with.

9 The fifth point I want to mention briefly
10 is that, of the bullets listed on Page 7 of 24, I
11 think Bullet Number 1 referring to the January 1983
12 incident minimizes the -- minimizes the impact of
13 that particular incident. That's when the
14 plutonium's solid cylinder got contacted with
15 water and there's not room for the oxide of
16 plutonium or sub-oxide of plutonium and the
17 plutonium metal in the sealed can. So it popped
18 the lid off the can and put plutonium compound on
19 the floor of the experiment.

20 The second bullet on Page 7, which deals
21 with that can that was accidentally pushed off on
22 the floor and fell, rupturing the can and spilling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the powder, I think that way overstates the
2 significance of the -- of this insignificant
3 incident. The can was even salvaged and used in
4 subsequent experiments and the small contamination
5 was easily cleaned up.

6 Now, I have four unrecognized incidents
7 of greater significance that I think should have
8 been included as bullet points. One is, when you
9 don't take -- you don't recognize that the
10 plutonium hemishells -- that one plutonium
11 hemishell was found decomposed upon opening its
12 pressure cooker.

13 When we took the -- took the pressure
14 cooker off its shelf and rested it on the downdraft
15 table, opened up the lid, instead of a fairly large
16 diameter plutonium hemispherical shell, we found
17 just a pile of powder. So that should be bullet
18 number four.

19 Bullet number five should be the spill in
20 May of 1969 wherein there was 60 gallons of uranyl
21 nitrate solution put on the floor of Room 103. And
22 I confess to you all that that was totally my fault

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because of an oversight on my part. That should
2 be bullet number five.

3 Bullet number six should --

4 MEMBER MUNN: How was that responded to
5 again?

6 DR. ROTHE: -- be the spill in the
7 trenches of Room 101 in February of 1968. And
8 bullet number seven should have been the vent
9 overflow into the exhaust duct system which caused
10 problems through the early 2000s.

11 Even when they were decommissioning the
12 facility, I got frequently asked about the
13 disposition of the uranium contamination inside
14 that buried 10-inch diameter duct. And, of
15 course, that had never ever been cleaned up. So
16 whoever was decommissioning that certainly got
17 some exposure from that.

18 Then bullet point number six -- not bullet
19 point number six, my sixth comment of the seven is
20 -- talks about close calls on Page 7. Page 7, I
21 think, says something like we never had any close
22 calls towards criticality.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I would like to point out, however, that,
2 in the Christmas Tree Experiment, one of the arms
3 fell when it was half full of solution. When that
4 arm -- what was holding up the arms were two little
5 blocks of wood, one at the outside and one at the
6 inside. Only fortuitously did the -- did the
7 outside block fall allowing the outside of the arm
8 to fall down with all of the solution in that arm
9 going to that end.

10 Had the inner block fallen such that the
11 solution all sloshed towards the -- towards the
12 other end of that arm with the central column being
13 full of uranium solution, a prompt criticality
14 would almost certainly have occurred. But it did
15 not happen.

16 Finally, any solution spills, so forth,
17 could have attained prompt criticality if they had
18 not been detected in time.

19 My final comment concerns the mass of
20 plutonium shells omitted from the -- from Table 1
21 of the White Paper. I submit to you that 280
22 kilograms could be entered on Table 1 without being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 off more than a few kilograms. And this is derived
2 from my mental record -- recalling that these
3 plutonium hemishells were about 10 to 12 inches in
4 diameter and we had enough of those shells to make
5 -- one-sixth of a centimeter thick, each one --
6 to make two full hemishells or two full hemispheres
7 such that you put one hemisphere on top of the other
8 and you would have a full sphere.

9 Obviously, you could never put all of
10 those 280 kilograms together because you'd have
11 way, way more than a prompt criticality. Okay.

12 I have a number of other small comments
13 but I won't take time to talk about them because
14 they're sort of small criticisms. But I'd be glad
15 to answer any questions. I'd also be glad to be
16 instructed how to get all of this information
17 transmitted to whoever wants it and go from there.

18 CHAIRMAN KOTELCHUCK: Well, thank you.
19 Thank you very much. I don't know. I suspect
20 LaVon at NIOSH --

21 DR. ROTHE: Who's -- I -- who's talking
22 right now?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: This is Dave
2 Kotelchuck, Chair of the Work Group.

3 DR. ROTHE: Oh, yes.

4 CHAIRMAN KOTELCHUCK: I suspect that,
5 when you -- what you have written should go, when
6 it's written up, should go to LaVon Rutherford at
7 NIOSH and then he will both look at it and,
8 obviously, distribute it to SC&A as appropriate.

9 But, LaVon, I don't know -- I have no idea.
10 I did not know Dr. Rothe would be on the line. So
11 I don't know if there -- you have any comment that
12 he's -- have -- did you -- have you previously
13 interviewed him?

14 MR. RUTHERFORD: Yes. We interviewed
15 Dr. Rothe and, you know, I could respond to some
16 of these now but I really think what would be
17 appropriate, because he -- I mean these -- I was
18 unaware that we were going to get these comments.
19 And no problem at all because petitioner didn't
20 have any time and I actually, you know, appreciate
21 Dr. Rothe's comments on the document.

22 What I'd like to do is get his comments,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 respond to them. I do -- I do believe a number of
2 these aren't issues because of the time period of
3 concern. But I -- I'm not going to respond -- I'm
4 not going to say that formally until I get the
5 information, go through it, we've had a chance to
6 respond to it and I -- I'm very interested in seeing
7 Dr. Rothe's suggested calculations, too, and how
8 they may affect.

9 I -- you know, just generally looking at
10 it, I don't think it's going to have much of an
11 effect because of the significant load exposures
12 that were previously identified. But until we've
13 got the numbers, run them, run them through our
14 code, we're -- I really can't say for sure.

15 CHAIRMAN KOTELCHUCK: That's okay.

16 DR. ROTHE: Well, I have to question --
17 it's [an] effect of a thousand from 10 milliwatts
18 from --

19 CHAIRMAN KOTELCHUCK: Well, let's --
20 Dr. Rothe, this is -- this is a meeting of the Work
21 Group. We have -- we want public input and your
22 input is, in particular, important since you were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a leader in that area. But I do think we should
2 give Mr. Rutherford time to see and digest what
3 you've said. I trust that after he does that, he
4 will be in contact with you and, of course, with
5 the Committee to see what follow-up is appropriate.
6 And then we will proceed.

7 DR. ROTHE: I think I know, if I may say,
8 one more thing.

9 CHAIRMAN KOTELCHUCK: Okay.

10 DR. ROTHE: I think I know where the
11 10 milliwatts may have originated.

12 CHAIRMAN KOTELCHUCK: Alright.

13 DR. ROTHE: And that was during the
14 interview, telephone interview that was conducted
15 of me several months ago I think it was. Someone
16 said -- somehow, this topic of the power level came
17 up and I tried to explain. I think I did explain
18 that the senior experimenters -- there were only
19 three senior experimenters: Rothe, me, Doug Hunt
20 and Grover Tuck. The last two are deceased. I'm
21 the sole remaining senior experimenter and we were
22 the only ones ever authorized to do experiments at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Rocky Flats Lab.

2 But the U.S. Department of Energy told the
3 Critical Mass Lab, we want you to come up with a
4 document. And I think I'm referring to what was
5 later called The Technical Specifications. And
6 they said we need you to refer to a power level for
7 your experiments. And the three of us talked.
8 We're all good scientists, physicists. And we
9 discussed this and said we don't know how to come
10 up with a power level.

11 And someone said, well, I'm sure we're
12 very low power. After all, we're categorized as
13 a zero-power reactor, which itself is kind of
14 misleading. But -- and someone said, well, I'm
15 sure we're not more than a few milliwatts. And,
16 evidently, the NIOSH people took the few milliwatts
17 and made it 10 milliwatts. So there -- at least
18 that's my guess.

19 So now that prompted me to think about
20 this alternative approach, by looking at the
21 thermal expansion of the solution. So, anyhow --

22 CHAIRMAN KOTELCHUCK: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ROTHE: That's enough from me.

2 CHAIRMAN KOTELCHUCK: Okay. Well,
3 thank you.

4 DR. ROTHE: How will I know how to get in
5 touch with Mr. Rutherford or will he call me?

6 MR. RUTHERFORD: Dr. Rothe, I will call
7 -- contact you and what we'll end up doing is, one,
8 getting your written notes and then I'm sure we'll
9 want to have a follow-on interview with you, if
10 that's possible.

11 DR. ROTHE: Sure. Of course.

12 CHAIRMAN KOTELCHUCK: Excellent. Okay.

13 DR. ROTHE: I'm wondering, Mr. Kotel --

14 CHAIRMAN KOTELCHUCK: Kotelchuck.

15 DR. ROTHE: -- Kotelchuck, am I needed
16 for any of the rest of this tritium, neptunium?
17 Can I leave this conference?

18 CHAIRMAN KOTELCHUCK: Oh, by all means.
19 You are -- you are all -- you and anyone else from
20 the site is our guest to listen in, ordinarily.
21 And there is normally a little bit of time for
22 discussion from the -- from the petitioners toward

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the end. But, no, you are -- you are not needed.

2 DR. ROTHE: That's okay.

3 CHAIRMAN KOTELCHUCK: You are not
4 needed. If you wish to stay on, you are most
5 welcome.

6 DR. ROTHE: I don't think I have much to
7 say as far as tritium or neptunium exposures are
8 concerned.

9 CHAIRMAN KOTELCHUCK: Okay. Well --

10 DR. ROTHE: Except to say that, of
11 course, we did create neptunium --

12 CHAIRMAN KOTELCHUCK: Right. Right.

13 DR. ROTHE: -- in an experiment.

14 CHAIRMAN KOTELCHUCK: Well, okay.
15 Well, thank you very much.

16 DR. ROTHE: I just -- I'm going to drop
17 out of the meeting altogether.

18 CHAIRMAN KOTELCHUCK: Okay. Very good.
19 And Terrie Barrie and Mr. Saunders, if you -- there
20 may well be time for comments when we get toward
21 the end of the meeting. So --

22 DR. ROTHE: Can you call me back if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 need to?

2 CHAIRMAN KOTELCHUCK: Oh, I don't
3 believe we will need to call you back.
4 Mr. Rutherford has to -- you have to get him the
5 written -- your written comments and then he will
6 go over them and then get back to you.

7 DR. ROTHE: Yes. Okay.

8 CHAIRMAN KOTELCHUCK: Okay. Thanks.

9 DR. ROTHE: Yes.

10 CHAIRMAN KOTELCHUCK: Okay.

11 DR. ROTHE: Okay. I'll say goodbye to
12 all of you.

13 CHAIRMAN KOTELCHUCK: Goodbye and thank
14 you. Alright. Folks, it is now 11:42. We -- I'm
15 trying to think what might be a short -- normally,
16 we would take a break at around 12:00, east coast
17 time, which would be 9:00 for our colleagues on the
18 west coast. So we have another 15 or 20 minutes.

19 I wonder if there -- I don't -- the tritium
20 exposures I believe will be a more lengthy
21 conversation. I'm not sure about the discussion
22 on the neptunium, if that is -- we could do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 something useful in the remaining 15 minutes or so.

2 MEMBER MUNN: We could get a cup of
3 coffee.

4 CHAIRMAN KOTELCHUCK: Well, we'll -- but
5 we're going to -- we're going to -- we're going to
6 break for lunch here. Would people just want to
7 break for lunch now, at 11:45 a.m. and get back
8 together at 1:00 p.m. on the east coast [time]?
9 That would certainly -- we could certainly do that.

10 MR. RUTHERFORD: I'll go ahead and get
11 the tritium paper put [it] up on the Live Meeting.

12 CHAIRMAN KOTELCHUCK: Okay. Very good.
13 So, good. So it sounds like we should just take
14 our break, if you will, our mid-day break.

15 MR. RUTHERFORD: Let me ask our ORAU Team
16 and Dr. Neton, are you going to be able to support
17 that?

18 DR. NETON: Yes. This is fine. I need
19 to get off and call somewhere around 2:00 today.

20 MR. KATZ: So how about if we -- how about
21 if we restart then at 12:30 or 12:45 instead, try
22 to move it up a bit?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Yes.

2 DR. NETON: I think that would be better.

3 CHAIRMAN KOTELCHUCK: That would work,
4 if somebody has to leave. So that's going to give
5 ourselves 45 minutes --

6 MR. KATZ: Yes. Yes.

7 CHAIRMAN KOTELCHUCK: -- for lunch and
8 break.

9 MR. KATZ: Yes. So let's -- if we could
10 make it convene at 12:30 I think that would be --

11 CHAIRMAN KOTELCHUCK: That sounds
12 excellent.

13 MR. KATZ: Okay.

14 DR. NETON: LaVon, can we find out if the
15 ORAU people are there and I'll bring up the Tritium
16 White Paper to be available then?

17 CHAIRMAN KOTELCHUCK: Alright.

18 MR. KATZ: Okay. We can reorder things
19 if they're not available then and they're available
20 a little later, if that works.

21 DR. NETON: I would like to be on, myself.

22 MR. RUTHERFORD: Okay. Got it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. BRACKETT: This is Liz Brackett. I
2 can be back then. I prefer earlier than later
3 myself because I have other commitments.

4 MR. KATZ: So, Liz, is 12:30 okay?

5 MS. BRACKETT: Yes. I should be able to
6 make that.

7 MR. KATZ: Okay.

8 CHAIRMAN KOTELCHUCK: Great.

9 MR. KATZ: Okay. So why don't we break
10 now so people can grab their lunches and --

11 CHAIRMAN KOTELCHUCK: Okay. Very good.
12 Or breakfast, as the case may be.

13 DR. NETON: And we'll reconvene at 12:30.
14 Is that what I'm hearing?

15 CHAIRMAN KOTELCHUCK: 12:30. Yes,
16 indeed. Alright. Thank you folks very much and
17 now we'll break.

18 DR. NETON: Alright. Bye.

19 CHAIRMAN KOTELCHUCK: Bye.

20 (Whereupon, the above-entitled matter
21 went off the record at 11:45 a.m. and resumed at
22 12:31 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CHAIRMAN KOTELCHUCK: Okay. I think we can begin.

MR. KATZ: Okay.

CHAIRMAN KOTELCHUCK: LaVon, you -- would you like to start?

MR. RUTHERFORD: Sure.

CHAIRMAN KOTELCHUCK: -- the Tritium Paper?

MR. RUTHERFORD: Yes. I did put the Tritium Paper up on Live Meeting. Do you guys have it?

CHAIRMAN KOTELCHUCK: Yes.

MR. RUTHERFORD: Okay.

CHAIRMAN KOTELCHUCK: Thank you.

MR. RUTHERFORD: Alright. After the last Work Group meeting, there was still some disagreement on how exposures from a 1973 tritium incident should be handled as well as there were still questions on the post-1973 and how -- you know, how much information we had about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitoring program for tritium.

2 We had closed out at the -- at the last
3 Work Group meeting -- and come to an agreement
4 that the pre-1973 exposure model that we had
5 proposed could be used, recognizing that we have
6 an SEC currently up until 1983.

7 So what we did this revision, if you look
8 at Page 2 at the bottom, this revision focused on
9 -- and we revised our best approach for the tritium
10 dose assignments for 1973 and provide additional
11 explanation of the reconstruction of organically
12 bound tritium using uranium bioassay and IMBA.
13 This is all in [the] appendix -- in Appendix I in
14 the back -- I believe that's I or 1.

15 And the -- another issue was to close out
16 the issue of tritium exposure after 1973 by
17 evaluating the evidence of a robust workplace
18 monitoring program. And that's in Appendix 3. I
19 will briefly go over our changes. I'll let SC&A
20 respond because I think we need to have as much time
21 as possible to get into the technical discussion
22 to make sure that Jim Neton and Liz Brackett are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 available.

2 So our approach for 1973 originally took
3 basically what we thought was the highest exposed
4 individual. back calculated an intake based on his
5 exposure and came up with a dose estimate based on
6 that individual.

7 After looking at it, we revised our
8 approach for 1973. Basically what we did was we
9 said, okay, the site took the position that we're
10 going to do a -- an initial bioassay on individuals
11 that we believe have the potential to have had
12 tritium exposure.

13 And they roughly did 200 tritium bioassay
14 samples with a -- basically, a limit or a set point
15 to do further evaluation of 10,000 picocuries per
16 liter. And those 2,000 -- 200 people, you know,
17 they came out with roughly five individuals that
18 had exposure and they moved it -- I can't remember
19 if it was five or not. They moved forward.

20 Our -- as I said before, our previous
21 approach was to use the high -- basically the
22 highest dosage we came up with of those individuals

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that had greater than 10,000 picocuries per liter.

2 What we did now is we went back and we said
3 really the exposure to the entire workforce, at
4 worst case, would be taking the roughly 200 people
5 that were monitored using that 10,000 picocuries
6 per liter limit and coming up with a dose based on
7 that and we could apply that to everyone over 1973.

8 And the issue of whether -- what's the
9 right value and when should we choose an intake date
10 for the other workers is really a dose
11 reconstruction issue from those single dose
12 reconstructions. They're not -- it's not an issue
13 for all the workers for the entire workforce or the
14 entire Class.

15 That's really addressed by those 200
16 individuals that were monitored with the 10,000
17 picocuries per liter. So that's what we did and
18 we came up with an intake or a dose to be used for
19 1973 and I believe that was 49 millirems, if I
20 remember correctly. And so that's how we handled
21 that.

22 Additionally, we went back at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitoring program in Appendix 3 and we looked more
2 at how much actual data do we have during the period
3 of concern. We looked back at that and we found
4 a number of locations that had routine tritium
5 samples taken, which indicated a routine
6 monitoring program did exist.

7 And we kind of analyzed that data and drew
8 our conclusion that, one, from that data, there are
9 very low concentrations. The highest
10 concentration was around 7,000 picocuries and I
11 can't remember exactly but all of the
12 concentrations were low and there was a routine
13 monitoring program. And, basically, we came to
14 the same conclusion that we did before that any,
15 you know, individual that had personal monitoring
16 data post-1973, we'll use that data to evaluate
17 their tritium exposure. But no other dose would
18 be assigned.

19 And that's pretty much our addition.
20 Jim, do you want to add anything to that? Liz?

21 DR. NETON: This is Jim. I don't really
22 have anything to add. I think SC&A might comment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and then we can discuss it from there.

2 MS. BRACKETT: I don't have anything
3 either.

4 CHAIRMAN KOTELCHUCK: Okay. SC&A?

5 MR. KATZ: John Mauro?

6 DR. MAURO: Yes, I'm here. Joe, would
7 you like me to --

8 MR. FITZGERALD: Yes, just go ahead. We
9 had broken this thing up into the three periods and
10 Joyce, John and I had tackled each period. But
11 I've asked John to sort of just keep it smooth just
12 to choreograph all three periods. And then the
13 rest of us can jump in as needed.

14 DR. MAURO: Yes. I'd be glad to do that
15 and certainly, Joyce and Joe, help me out a bit.
16 First, let me say that I believe that the changes
17 that were made, the new way of approaching the
18 problems, some of these problems since the March
19 meeting are a real improvement and also a
20 clarification. So I'm optimistic that we're going
21 to be able to receive closure on these matters.
22 And let me -- and I'll explain why.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 First, let's begin with the 1973 dose, the
2 one that is associated with that April 1973
3 incident, a very special circumstance. And I
4 think that the new strategy adopted by NIOSH where
5 -- basically, what's going on now -- so we've got
6 all these people that were -- may or may not have
7 been exposed to this incident in April of '73.

8 And then we have all this data, 200 -- I
9 remember I saw in the literature it was more like
10 250 urine samples collected several months later
11 in September/October. And virtually all of them
12 show that the concentrations we're seeing in urine
13 are less than 10,000 picocuries per liter.

14 And, up until that point, we really
15 ignored that. We were focusing in on these five
16 or so individuals where the values were above. And
17 so the light dawned. I think it dawned on all of
18 us during the meeting in March that, you know, let's
19 not -- and there was a lot of quibbling over what
20 did it really mean, each of those five individuals
21 and when do they have their intake and was the data,
22 you know, reliable and to the point where we said,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hold the presses, maybe they're not -- maybe we
2 shouldn't be looking over there.

3 Let's go look at those other individuals,
4 the large numbers that have less -- that had less
5 than 10,000 picocuries per liter. And I think that
6 was a great move. And we completely agree with
7 that change of looking at the problem, which brings
8 us to the second half.

9 Well, there's really three elements to
10 it. Okay. Let's agree that we're going to try to
11 base our reconstruction of the 1973 doses from the
12 April event on these 200/250 people. And then we
13 have to say, oh, well, what dates are we going to
14 use? And I have to agree that NIOSH has picked very
15 claimant-favorable dates: early April to
16 mid-October.

17 In other words, the acute intakes occur
18 in I guess it's mid or early April and the bioassay
19 samples that were taken, were taken in October,
20 even though some of them were taken in September.
21 So, on those two levels, very favorable.

22 The last one is the one that takes a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 touch and that has to do with, okay, now you're
2 going to try to back calculate what the intake was
3 in April of two people -- where 200/250 people were
4 -- got urine samples collected sometime in October
5 and they all came in under 10,000 picocuries per
6 liter. Okay. That's just great.

7 Now, how do we go backwards in time? And
8 herein lies where we've always had some difficulty.
9 And, in this particular -- the new paper, NIOSH has
10 come up with a new way of doing that, something that
11 is creative. But I have to say we're troubled by
12 it.

13 And we find that it would be more
14 acceptable -- this is -- here's where the -- where
15 we need to talk a little bit. We find that it would
16 be more acceptable to use the classic
17 two-compartment model, 97 percent/three percent,
18 you know, ten day/40 day half-life model. We
19 realize and we all acknowledge that that model was
20 really good for up to 100 days and now we're -- but
21 extending it to 180 days is preferable to going to
22 this new construct really which has, in our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 opinion, very little pedigree.

2 It's a new construct while the original,
3 what I call the 93 -- 97 percent/three percent
4 classic tritium model has a rich pedigree. And now
5 there's another element that goes in the soup now
6 and the other element is that the -- there is --
7 clearly gives precedent prior to this meeting and
8 during this meeting that you have to balance in the
9 kind of doses we're talking about, the magnitude.

10 And Dr. Kotelchuck -- I actually quoted
11 him in the write up about these. You know, you have
12 to moderate your judgments when -- depending on the
13 circumstances we're dealing with. And we all know
14 we're dealing with doses in the millirem range, in
15 the tens of millirem range.

16 So, taken together, our takeaway is, one,
17 we really like the 10,000 picocurie per liter
18 approach with the 200/250 people. We really like
19 the dates you picked for doing the back
20 calculation. The only place we're a little
21 troubled by is the construct for the new model based
22 on OBT and we find it more acceptable. You know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we don't especially like it but we would find it
2 more acceptable, especially given these context,
3 to go with the classic 97 percent/three percent
4 approach.

5 And so -- and, by the way, the difference
6 is, when you do it that way, the -- go back to the
7 classic model, we get I think 94 millirem. While
8 you folks, using your construct of the model, you
9 get 49 millirem. I have to say that I think, in
10 terms of defensibility of the four tiers, I think
11 the 94 sits on a more solid ground than the 49 that
12 you would get.

13 And I also say, at the same time, the two
14 numbers are so close and so small that it should
15 not stop our ability to achieve consensus and
16 agreement and closure on this issue. And I think
17 it's probably a good idea for us to talk about that
18 before we move on to the other two segments -- time
19 periods.

20 DR. NETON: John, this is Jim. I think
21 we're more in agreement than you might think here.
22 Let me -- let me just speak one second, Joyce. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think you might --

2 DR. LIPSZTEIN: Okay. Okay.

3 DR. NETON: We did not have a new model.
4 I mean we -- Tom LaBone attempted -- I think he
5 applied the --

6 DR. LIPSZTEIN: Yes.

7 DR. NETON: -- the two-compartment
8 model. It's the 10 day and the 40 day compartment,
9 so the three percent and 97 percent, 97 percent for
10 ten-day and three percent for the 40 day. The only
11 difference in those two models is the fraction of
12 the organically bound tritium, the soft-tissue
13 component that is excreted in urine.

14 I don't -- I didn't look at Tom's model
15 in detail but I think what's happened is he has
16 chosen to -- they did excrete 100 percent of that
17 soft-tissue compartment directly in the urine,
18 when really it -- I agree with you. It should be
19 50 percent. So, if you make that modification, in
20 effect, you have the same two models. There's no
21 difference at that point. With the exception of
22 the bladder component, it really doesn't make any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difference at 180 --

2 (Simultaneous speaking.)

3 DR. LIPSZTEIN: Jim?

4 DR. NETON: Yes.

5 DR. LIPSZTEIN: Jim, that's exactly what
6 I was going to say. The only difference is because
7 when the modification on the -- on OBT model and
8 IMBA, the difference is on the excretion rate
9 because, if it goes 100 percent from compartment
10 two, the compartment of the organic tritium --

11 DR. NETON: Yes. And then I --

12 DR. LIPSZTEIN: -- that's 50 percent.
13 And, because after that the -- it's more
14 claimant-favorable both to use the 60 or 55 percent
15 as MCNP-161, for example, uses 55 percent from both
16 compartments. And then you get a higher dose
17 because you get less excretion. So --

18 DR. NETON: Yes. It took me a bit to
19 realize that but --

20 DR. LIPSZTEIN: Yes.

21 DR. NETON: -- what happens is not all the
22 tritium is excreted in urine. You've got other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 modes that you don't normally think about like
2 sweat --

3 DR. LIPSZTEIN: Oh, yes.

4 DR. NETON: -- through feet, through the
5 breath, that sort of thing. So, if we modify that
6 second compartment for it to be 50 percent, I think
7 we're on the same page here.

8 DR. LIPSZTEIN: Yes. Yes, exactly.

9 DR. NETON: In my mind, that issue is
10 resolved.

11 DR. LIPSZTEIN: Yes.

12 DR. NETON: It took me a while, but I
13 think I'm there.

14 DR. MAURO: I love it. That's where we
15 come out also. I think this is very good news
16 because we've been struggling with this for a long
17 time, as you know.

18 DR. NETON: Yes. And I really think it's
19 an oversight, that compartment, because you can't
20 be 100 percent. It's not consistent to be a -- for
21 organically-bound tritium to enter the transfer
22 compartment and be 100 percent excreted in urine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 while the inorganic component only goes 50 percent.

2 So I think it's just a tweak and we're
3 there. And, of course, this 90 millirem or
4 whatever it ends up being will have a GSD included
5 on it to account for the uncertainty in the models
6 themselves.

7 DR. LIPSZTEIN: Yes.

8 DR. NETON: So the upper bound of that
9 will be somewhere around, I don't know, a factor
10 of six higher at the 95th percentile. So I think
11 that we're good. I think that -- I think that's
12 where we're going to end up here.

13 DR. LIPSZTEIN: And, actually, I -- even
14 just for opinion as already did the -- what would
15 be the dose if we used the new -- newest model that
16 we don't want to use, you might see the difference
17 is not so big. So the uncertainty factor of three,
18 it's okay.

19 DR. NETON: Yes, I agree.

20 DR. MAURO: I think we can put that one
21 to bed.

22 CHAIRMAN KOTELCHUCK: Very good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: I mean we will respond and put
2 that in writing that, you know, the -- I need to
3 talk to Tom LaBone as well. Tom developed the
4 model and I -- at least -- I agree with this and
5 I think -- I don't see any reason why we wouldn't.
6 But we'll put that response in writing and that
7 should close out that issue.

8 By the way, I believe that that is a Site
9 Profile issue anyway because 1973 where this model
10 is only applied is already an SEC. So it would be
11 a matter of whether the dose was zero, 45, 90,
12 whatever. So it is a Site Profile issue. So I
13 think -- I don't think the Working Group has to wait
14 for this to be dispositioned to move forward with
15 their -- the final analysis of the SEC.

16 CHAIRMAN KOTELCHUCK: Right. Does
17 anybody in the Working Group have any concerns
18 about this particular item? It seems to me there's
19 agreement and --

20 MEMBER MUNN: This is Wanda. I don't
21 dare have any disagreement about it. I've already
22 -- I made this -- I've made the statement in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 past that tritium is such a soft-beta emitter, it's
2 hard to imagine in ordinary circumstances that it
3 would create any serious dose reconstruction
4 problem for our part.

5 CHAIRMAN KOTELCHUCK: Right.

6 MEMBER MUNN: Dose-effective problem for
7 any of the recipients. And that's gotten me in
8 trouble but I hope to state on the record here.

9 CHAIRMAN KOTELCHUCK: Okay. Indeed,
10 you have said that before. So unless there are
11 some other comments or concerns, let's continue on
12 with the other parts of the tritium report.

13 DR. MAURO: Okay. This is John Mauro
14 again. I'll pick it up and the next thing I'll take
15 on is the -- and briefly go through the 1973 period.

16 As you all know, NIOSH has elected to use
17 less than 1 millirem, or effectively 0 millirem per
18 year of the tritium exposures post-1973. Of
19 course, there are incidents like the 1974 -- April
20 -- the November 1974 incident. They deal those on
21 a case-by-case basis. So it's important to think
22 in terms of, well, what are we going to assign to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 everyone else as sort of like the chronic exposure
2 of post-1973. And NIOSH has adopted 0 millirem per
3 year. Less than one is effectively zero.

4 And the only issues that SC&A raised
5 during the March meeting was, well, you know,
6 that's based on two sources of data. And this is
7 another interesting observation. One is that all
8 the bubbler -- the model I had in my head was that,
9 gee, the bubblers were not necessarily, you know
10 -- this is part -- you basically have two sets of
11 data. You have bubbler data -- well, three:
12 bubbler data, swipe data and bioassay data
13 collected post-1973.

14 And, if you recall, I had mentioned that,
15 well, I'm a little concerned about the bubbler
16 data. Maybe the people weren't really aware the
17 bubbler data was being collected. Well, it turns
18 out I was wrong. After the response provided very
19 nicely in the June -- the July 1st White Paper that
20 we just received, it was pointed out that, no, there
21 were bubblers located in the hoods. There were
22 bubblers located beneath the downdraft tables or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in association with the downdraft tables.

2 And, not only that, something I didn't
3 know is that there are several rooms in -- over and
4 above that that had bubblers, rooms where there was
5 a potential for elevated concentrations of
6 tritium. So, in effect, the coverage of the
7 bubblers was a lot better than I thought it was.
8 So now we have a lot better set -- well, an
9 understanding that the bubbler data set was a lot
10 broader than we originally thought -- I originally
11 thought.

12 And the second thing that I think is
13 important is that -- and, actually, to look at the
14 75 urine samples that were collected, part of this
15 1-in-10, we called it, bioassay samples, my concern
16 was that, when you go closer -- you maybe can
17 remember my mentioning this at the last meeting --
18 when you take a closer look at it, you say, well,
19 you know, you've got 75 -- you basically have 75
20 samples.

21 But they really are single samples taken
22 from individuals per -- one sample per year per

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 person. And we -- knowing tritium and how clearly
2 -- how quickly it clears, that was a little
3 troubling to me because it wasn't very good
4 coverage. And, if you were really building a real
5 tritium program for if they really have tritium
6 problems, you know, you'd sample more often than
7 once a year per person.

8 But then this came up during the meeting.
9 I think Dr. Kotelchuck suggested they had mentioned
10 this or it emerged during the conversation during
11 the March meetings. Well, take a look. Look at
12 it this way. They grabbed 75 samples, different
13 people's, and none of them showed a spike.

14 Well, when you look at it that way,
15 collectively, you say to yourself, what are the
16 chances that it could have been a spike and we
17 missed them all? So, now, all of a sudden we're
18 building a weight of evidence that says, you know,
19 between the bubblers, which are a lot -- which our
20 understanding now is they were a lot better -- more
21 coverage. And this came out subsequent to the
22 meeting in March.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And thinking about that -- those 75 urine
2 samples in a different way, we come down saying
3 that, from a weighted evidence perspective, we
4 accept that less than 1 millirem per year as being
5 the chronic exposure post-1973. Good. And, you
6 know, if anyone else wants to weigh in, this --

7 CHAIRMAN KOTELCHUCK: This is the time.

8 DR. MAURO: -- on whether I told the story
9 the right way or you're comfortable with that --

10 CHAIRMAN KOTELCHUCK: Well, I'm
11 certainly comfortable with it and it is much
12 clearer now than it was -- it was appropriate, the
13 less than 1 millirem, which comes out to calling
14 it zero. Any other comments from Working Group
15 Members?

16 MEMBER SCHOFIELD: Yes. This is Phil.
17 I've just got one question. Do we have identified
18 all those who had samples taken for tritium? Is
19 there a specific group of people that were targeted
20 because maybe they handled site returns or
21 something coming in?

22 DR. MAURO: The post 19 -- this is John.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 For post-1973, the 75 measurements of urine were
2 people -- really it was more like a random sample
3 where what they were doing is everyone that was on
4 the plutonium bioassay program, every time their
5 urine sample was collected for plutonium, one out
6 of ten of those individuals had a urine sample
7 taken. So it was really a random process.

8 And -- but -- and, as I said before, I was
9 concerned that that ended up being one per -- one
10 sample per year per person. But, when you take it
11 collectively, as far as identifying those 75
12 people, I haven't checked. I suspect sure. I
13 suspect -- I don't know, Jim, were the folks there,
14 in terms of really identifying who they were and
15 what they were doing, is that kind of information
16 available?

17 MR. RUTHERFORD: I think what they were
18 looking at, specifically, was they took the people
19 that were monitored for plutonium, as you'd
20 mentioned --

21 DR. MAURO: Right.

22 MR. RUTHERFORD: -- and they did the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 random sampling of those.

2 DR. MAURO: Yes.

3 MR. RUTHERFORD: But I think on top of
4 that is, as you had mentioned, the weight of the
5 evidence of the additional bubblers that were used
6 that -- and specific locations where they had the
7 high probability of potential tritium release.
8 And so it's not so much the bioassay samples. I
9 mean it is in the fact that they were all alone and
10 really didn't see anything. But it's also the
11 program was looking at the actual concentration in
12 the areas where you would suspect it.

13 DR. MAURO: Right. Right. That's sort
14 of my -- how I walk away from it. It's a weight
15 of evidence. You pile all of that together and
16 you've got a pretty good story.

17 MEMBER MUNN: I'm pleased to hear
18 additional information about the bubblers.
19 That's helpful and thanks for whoever tracked that
20 down.

21 DR. MAURO: With regard to the bubblers,
22 there's always a fly in the ointment. One of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 questions we raised at that time during the meeting
2 that I do not believe was addressed in the -- not
3 necessarily a critical issue but something that
4 would be nice to achieve closure on is bubbler
5 efficiency.

6 You may recall that I asked that question
7 during the March meeting and, in the July 1st report
8 that came out recently, there really wasn't any
9 discussion of that matter. And if there is some
10 -- if there is some material in the record on that
11 subject, I think that would be helpful but I don't
12 see that as a show stopper.

13 MR. RUTHERFORD: Yes. I'm not sure if we
14 found anything or not. Jim Bogard, did you see
15 anything on that?

16 DR. MAURO: Well there was -- well, just
17 -- you know, this type of sampler is widely used
18 for a long time. My guess is there's literature
19 on it and, you know, where there's a collection of
20 information on how efficient these bubblers are of
21 this particular make or model or how they used it
22 to bubble the amount of water and the airflow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 frequency. So it may not -- there may not be data
2 I would say specific to these bubblers at that time
3 but there may be information about these bubblers
4 in general.

5 MR. BOGARD: This is Jim Bogard. There
6 probably is. I know at least that there was a study
7 comparing use of water to ethylene glycol in these
8 bubblers and there may be some efficiency
9 information from that study at least and there may
10 be others as well.

11 MEMBER MUNN: Do we feel that's necessary
12 for us to proceed?

13 MR. RUTHERFORD: This is LaVon. I don't
14 think it's necessary. I think John had mentioned
15 that he doesn't feel it's a show stopper but I
16 believe that we can -- we can work on providing that
17 information.

18 DR. MAURO: That's basically how I see
19 it, also. Yes. I agree.

20 MR. KATZ: Dave, this is Ted. Dave, are
21 you still on the line?

22 CHAIRMAN KOTELCHUCK: I am. I have to --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I like being on the line most of the time but there
2 are so many trucks in the background that I was on
3 mute.

4 MR. KATZ: Okay.

5 CHAIRMAN KOTELCHUCK: And, actually, I
6 spoke a few times forgetting that I was on mute.
7 So I've already -- I've already called. I don't
8 say that we have agreement and the Working Group
9 has agreement unless I hear concerns. And I don't.

10 DR. MAURO: This is John Mauro.

11 CHAIRMAN KOTELCHUCK: Yes.

12 DR. MAURO: There's one other minor item
13 that I think is worth mentioning.

14 CHAIRMAN KOTELCHUCK: Okay.

15 DR. MAURO: When you look at the
16 post-1973 data, you do notice that there are some
17 numbers where there are acute values, where they
18 have some large values. I see a 1978 value here,
19 for example. And this was discussed during the
20 meeting in March.

21 But it would be worthwhile, I think.
22 There could be a little bit of confusion here and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I want to try to avoid confusion. And maybe it
2 would be good to get this on the record. Under
3 those circumstances where you do have observed
4 elevated levels, such as the -- I think Bob Barton
5 brought it up at the last meeting -- a 1978
6 situation that arose where there was an elevated
7 level, those are dealt with on a case-by-case
8 basis.

9 And, if you -- and for those individuals,
10 you would assign a dose that's appropriate because
11 they caught it.

12 CHAIRMAN KOTELCHUCK: Right.

13 DR. MAURO: But all the others that --
14 where you don't have the data, you've got to go with
15 that zero. And they swabbed -- I think that it may
16 not be immediately apparent that those occasional
17 spikes really are special circumstances and they
18 are dealt with on a case-by-case basis, like the
19 August '74 one or the other one, of course.

20 CHAIRMAN KOTELCHUCK: Right.

21 DR. MAURO: Yes.

22 CHAIRMAN KOTELCHUCK: And there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sufficient data. There's an -- even though data
2 was not collected on tritium before '73, we know
3 enough about those incidents to make some
4 reasonable estimates of exposure.

5 MR. RUTHERFORD: I think what John's
6 referring to is post-'73 --

7 DR. MAURO: Yes, this is post-'73
8 incident.

9 CHAIRMAN KOTELCHUCK: Oh, right. Okay.
10 Okay.

11 MR. RUTHERFORD: And I think -- I think,
12 as you mentioned, John, I think that those are
13 samples that were taken on individuals clearly that
14 they had suspected had a potential tritium exposure
15 because, as you know, after 1975, there was no
16 routine monitoring program other than for special
17 circumstances --

18 CHAIRMAN KOTELCHUCK: Right.

19 MR. RUTHERFORD: -- pre and post-job and
20 when incidents occurred. So, yes, I agree with
21 you. I think those were samples for individuals
22 that -- where they --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Telephonic interference.)

2 DR. MAURO: Is everyone there? It went

3 --

4 MR. KATZ: So I think David said this
5 issue is closed.

6 MEMBER MUNN: Well, we lost our speaker.

7 CHAIRMAN KOTELCHUCK: I did.

8 MR. KATZ: Yes. So moving on I guess.

9 CHAIRMAN KOTELCHUCK: I would like to
10 move on, yes, to the neptunium.

11 DR. MAURO: We still have -- but, see, we
12 have a pre-'73, right? Did we do that?

13 CHAIRMAN KOTELCHUCK: Yes. I thought we
14 did that before.

15 DR. MAURO: Okay. I mean I'm getting
16 old. Okay. We've got it all covered. Okay.

17 CHAIRMAN KOTELCHUCK: Yes. Yes, pre and
18 post now. And we discussed neptunium at our last
19 meeting. Am I on, folks?

20 MR. KATZ: Yes.

21 CHAIRMAN KOTELCHUCK: Okay. We
22 discussed neptunium at our last meeting. I'm not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sure, LaVon, whether we need to go over the White
2 Paper from before or whether we should just respond
3 to the Rocky Flats -- excuse me -- to the SC&A.

4 MR. RUTHERFORD: I would think we would
5 just respond to the SC&A.

6 CHAIRMAN KOTELCHUCK: Yes.

7 MR. RUTHERFORD: There were some
8 clarifications I (telephonic interference) at the
9 Work Group meeting. But I think with the --

10 MEMBER MUNN: LaVon's phone is cutting
11 out on my phone. Am I the only one?

12 MR. RUTHERFORD: Oh, my phone's cutting
13 out again?

14 Is that any better?

15 MEMBER MUNN: Yes, it's fine but you were
16 just being dropped -- large portions of what you
17 were saying.

18 MR. RUTHERFORD: I'll try it again.

19 MEMBER MUNN: Yes.

20 MR. RUTHERFORD: Hopefully, this will
21 work. What I said was that I would think that we
22 could go straight to SC&A's response. There was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some additional items brought up by the petitioner
2 during the Work Group meeting in a response. We
3 can follow-up on those as well. So --

4 MR. FITZGERALD: Yes. That's fine.
5 This is Joe Fitzgerald and I did brief out orally
6 at the last Work Group meeting. The paper that you
7 now have was in draft at that point but we held it
8 pending I think NIOSH's addressing the comments
9 that LaVon was just referring to that we received
10 from the petitioner.

11 So we didn't want to really submit the
12 final paper until we at least had, you know, those
13 answers and were able to review what they were --
14 what the responses were to the petitioner. So
15 that's the reason this went out May 29th.
16 Actually, it had been prepared a few months before
17 that.

18 At any rate, I won't give too much more
19 background. This addresses the post-'83 time
20 period of neptunium at Rocky Flats. Of course, the
21 SEC covers neptunium up to '83. And we looked at
22 the White Paper from the standpoint of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assumption and the research showing that it was
2 essentially one operation post-'83 that handled
3 and processed neptunium. In this case, a
4 separations process to separate plutonium from
5 neptunium.

6 And look at some of the basic premises
7 behind the conclusion by NIOSH that you didn't have
8 the same conditions post-'83 that you had before
9 '83, which was the exposure pathway to pure
10 neptunium, that potential. Even though you did
11 have neptunium at Rocky, it wasn't in the form where
12 you would have that exposure to the pure form.

13 So I'm going to go to -- and you have the
14 paper in front of you but -- since we did cover the
15 summary on that pretty well. We pretty much came
16 down to five basic questions that we felt needed
17 to be addressed by NIOSH and we assessed what we
18 saw as their analysis or response to those
19 questions.

20 And the first key question was, was there
21 only one single neptunium operation after December
22 31 of '83? And we pretty much attended the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interviews held in Denver as well as collected
2 documents for the SRDB. So we were involved in
3 that process for about two years, from about 2012
4 to 2014. So we were doing this in conjunction with
5 NIOSH.

6 And there were a number of interviews and
7 a fair amount of documentation that was retrieved
8 that described the various operations. And we
9 were unable to establish another operation beyond
10 the separations one. We did have a lot of
11 interviews where individuals would point to D&D
12 where neptunium was present. But this was
13 neptunium present in conjunction with plutonium.

14 Obviously, there were pure forms on site
15 that were inventory and there was actually some
16 documentation in NMSS that the neptunium was on
17 site in pure form. But, again, this was in
18 metallic form, held in inventory, not being
19 processed or fabricated. So -- and, again, no
20 evidence of an exposure pathway there.

21 And, also, of course, in waste management
22 activities where D&D proceeded and wastes were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 accumulating and these wastes were packaged and
2 shipped out. But, again, no evidence that this was
3 neptunium in pure form.

4 The one operation where you did have a
5 fair amount of neptunium present was in this one
6 separations operation. But therein, again, you
7 did have plutonium present, which was the defining
8 difference because, as we'll cover a little later,
9 the presence of Pu in conjunction with neptunium
10 enabled one to actually, through the monitoring of
11 plutonium, bound exposures to neptunium. And
12 that's another key to the premise behind the NIOSH
13 analysis.

14 So, on the first one, after interviewing
15 a number of former workers, after looking through
16 the SRDB documentation, we agree that the one
17 operation that involved the separations process
18 was the one operation of any note after '83.

19 In one of the petitioner comments, there
20 were some legitimate questions raised about the
21 time frame of that particular operation, whether
22 it was 12 months, 18 months, even longer and some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concern over the ambiguity of that.

2 We looked into that issue in some detail
3 and found that it is a little ambiguous as far as
4 the official termination of the operation because
5 apparently the management wanted to write a final
6 assessment report and the dates seem to differ
7 depending on if you, you know, were using the dates
8 for the actual processing itself or the official
9 end of the program, which was the management report
10 at the termination of the project, which was almost
11 two years after the actual initiation.

12 So there were a number of dates and we
13 covered that in a footnote that you'll see on the
14 first page. So, certainly, there were a number of
15 dates that were flying around but I guess there was
16 an explanation of why you saw those dates.

17 The second question that we wanted to pose
18 was what kind of -- you know, is there routine
19 exposure potential that would be associated with
20 this one operation or any other operations? And
21 we interviewed a number of workers and it was noted
22 that the operation -- the separations operation --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was essentially a closed operation, meaning that
2 it was a glove-boxed operation with entry ports
3 that were sealed entry ports.

4 Liquids were piped in that, in terms of
5 withdrawing items, they were backed out of the
6 glove box and you had full radiological controls
7 including out air monitors and rad tech coverage.
8 So this, unlike the earlier operations and unlike
9 the production operations that we're familiar with
10 at Rocky, this operation was a -- was a fairly
11 closed operation. There was no evidence of
12 routine exposures.

13 We did identify one instant that involved
14 a tank where there was a leak. But, then again,
15 it was identified early on and there was no evidence
16 of anyone being exposed by that leak. And we
17 didn't find any other evidence of non-routine
18 exposures or even routine exposures from this
19 operation. So that was one thing that we wanted
20 to establish.

21 The third item which I mentioned a little
22 earlier is this whole question of was neptunium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 always in combination with plutonium after '83 such
2 that you would have a means to bound neptunium by
3 plutonium bioassay?

4 That's the central question because the
5 premise behind I think the NIOSH assessment was,
6 after '83, you did have neptunium in quantities at
7 the site, but it was always present with the
8 plutonium, which enabled, you know, monitoring and
9 ultimately dose reconstruction.

10 Again, we looked at D&D operations. We
11 looked at waste management operations and this one
12 single operation. In all cases, you did have a
13 fraction. And this makes some sense because
14 you're handling -- particularly in D&D and waste
15 management, you're handling a lot of piping, glove
16 boxes that may have handled neptunium but also
17 handled Pu. So the monitoring would have been
18 tagged with Pu in all those cases.

19 The only pure forms, again, of neptunium
20 post-'83 that we were able to establish by virtue
21 of inventory were the metals that were held on site
22 as inventory from previous production through the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 '80s, actually into the early '90s. But, again,
2 they were held in inventory and were shipped out
3 as needed to other sites that were using neptunium
4 source.

5 So the fourth item that we've looked at,
6 or question, as you can read down on Page 5, were
7 all the workers having exposure potential from this
8 one operation, again, the separations operation
9 bioassays and would those results have encompassed
10 any intake of the neptunium?

11 And this operation took place in Building
12 771 and was '85 to '87. And all the workers that
13 were involved in the operation were bioassayed for
14 Pu. And, again, given the specific activity
15 differences, that would have definitely covered
16 neptunium and would have bound neptunium when we're
17 tagging for plutonium.

18 The only, I guess, only asterisk or
19 parenthetical question is whether the office
20 workers in 771 were also bioassayed as well. I
21 couldn't get a clean answer on that, even though
22 they were in the building but they weren't a part

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the operations. But that was a relatively minor
2 question. But that -- again, we've looked at the
3 scope of coverage and the coverage was there.

4 Number five, that question we wanted to
5 look at whether there were any post-'83 incidents
6 where you might have had the exposure potential to
7 neptunium. And the only one that we could find
8 outside of what might have been some potential
9 exposure on D&D and waste management was this
10 plutonium nitrate tank leak out of the separations
11 operation. And, again, there was no reported
12 worker exposures associated with that. So nothing
13 from the incident standpoint.

14 Finally, we wanted to just validate the
15 fact that if, one, we're going to rely on bioassays
16 to account for neptunium intakes, were there any
17 technical issues or concerns associated with that
18 assumption or conclusion. And we reviewed the
19 relevant document from the SRDB and went back to
20 an old document, the Rad Health Handbook, and a
21 number of other things.

22 And really, even though the resulting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neptunium dose is about equal to plutonium on the
2 basis of B per M per intake, it would be almost a
3 hundred times -- a hundred times less on a per mass
4 basis. So you're -- you're really counting all the
5 alpha in terms of plutonium. And that's going to
6 be very much bounding of any neptunium that would
7 be involved. So the premise behind using Pu to
8 bound any neptunium intakes is sound.

9 So, again, our conclusion, and this sort
10 of echoes what we said back in March, we went ahead
11 and, frankly, scrubbed down the notion of the
12 single operation, the presence of Pu with
13 neptunium, and any incidents that might have been
14 at the site just to verify or validate that --
15 post-'83 that we were comfortable that there was
16 a means to dose reconstruct any potential neptunium
17 exposures and whether there was any neptunium
18 exposures that would have been prominent. And, in
19 both cases, we did not see any issues with that
20 approach.

21 And, finally, we held up the paper wanting
22 to see NIOSH's most recent responses to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 petitioner questions that were mentioned at the
2 last Work Group meeting. We reviewed the piece
3 that NIOSH put together. It was an email response
4 to those questions. These questions and answers
5 are attached to the report. You have already seen
6 these probably but, just to be complete, we wanted
7 to go ahead and attach those. And we did review
8 all the responses and concur with NIOSH's position
9 on those questions.

10 And that's -- that's pretty much it. Any
11 questions?

12 CHAIRMAN KOTELCHUCK: Any questions,
13 anybody? That was a very nice report, really clear
14 and, basically, put into writing what you more or
15 less indicated at the last Working Group meeting.

16 MR. FITZGERALD: Yes. I think I was
17 reading from my earlier draft.

18 CHAIRMAN KOTELCHUCK: Yes. Right.
19 Okay. Very good. Any comments, folks?

20 MEMBER MUNN: Good report, Joe. Thank
21 you.

22 CHAIRMAN KOTELCHUCK: Yes. Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Hearing none, I think we can say that the Working
2 Group is in agreement that this is now resolved.
3 And we finished our basic reports. We have
4 basically the issues remaining on the table -- for
5 data falsification, the folks are going to look at
6 the TBD-4 revisions, which should not be a serious
7 problem. It's just a matter of doing it and
8 checking out the impact of the environmental -- any
9 environmental faults on the dose reconstruction.

10 And then, on the Critical Mass Lab, Mr.
11 Rutherford is going to take a look at Dr. Rothe's
12 comments. He will submit them and then will go
13 over them and I think that is it in terms of where
14 we stand now, for the Working Group. So we have
15 two, probably one small and one probably small item
16 to continue on. Not clear whether we will need
17 another meeting but let's leave that for a while.

18 I think that's where we are. We're
19 moving along but not quite finished. And, of
20 course, we do want to hear the petitioner's
21 comments if they want to make some now. But,
22 first, in terms of the summary of where we're at,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is that correct, folks?

2 MR. KATZ: Well, Dave, can I just -- this
3 is Ted. I just need clarification I guess for my
4 understanding. The first issue is a TBD issue, so
5 it's really not in the way of your closing out and
6 coming up with recommendation on the SEC, right?
7 The TBD issue, the environmental dose, that's not
8 a question of whether dose can be reconstructed.
9 It's just a question of what your actual
10 measurements are going to be, right?

11 CHAIRMAN KOTELCHUCK: Right. In that
12 sense, there's no -- there's no basic issue there.

13 MR. KATZ: Right. Right.

14 CHAIRMAN KOTELCHUCK: Okay. So, in a
15 way, one could say that this will not need to come
16 back to the Work Group.

17 MR. KATZ: Yes. So my question that I'm
18 not clear about but the Work Group needs to be clear
19 about is the critical mass. Is that -- is that an
20 SEC issue still until it's resolved this new
21 information, or is that a TBD issue? I don't know.

22 CHAIRMAN KOTELCHUCK: I don't know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 either because we really -- there was so much that
2 was gone over and was very hard to -- for me at
3 least, to put my -- to wrap my mind around it all.
4 I think that may be -- let's wait until LaVon gets
5 the material, reviews it and emails the members of
6 the Work Group as to what he thinks needs to be done
7 on that. I think it may not require -- we may end
8 up just closing it without having to have another
9 meeting.

10 MR. KATZ: Well, okay. So that -- I mean
11 that makes sense. I think it makes sense that it's
12 a little bit murky as to what the import of that
13 is. But then I'm just trying to help you out here
14 with respect to the Board meeting coming up. I'm
15 not sure that that'll get resolved before -- in time
16 for the Work Group to consider it and close that
17 out. So --

18 CHAIRMAN KOTELCHUCK: I doubt it.

19 MR. KATZ: Alright. So I'm just trying
20 to understand. So it sounds like the Work Group
21 will be ready -- I mean it will be ready to give
22 a -- an update on everything but, with that matter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 outstanding, I'm not sure whether the Work Group
2 will be ready to give a recommendation. Is that
3 -- is that your feeling, the rest of you, the Work
4 Group?

5 CHAIRMAN KOTELCHUCK: Well, with respect
6 to --

7 MR. KATZ: The SEC Petition.

8 CHAIRMAN KOTELCHUCK: Yes. I guess not,
9 if there's still an issue outstanding.

10 MR. KATZ: Don't know the importance of
11 that for -- unless you can get clarity about that
12 in this meeting. And I don't think the Work Group
13 -- I mean the Work Group can correct me on this.
14 I don't think the Work Group will be ready to give
15 a recommendation because you won't know what
16 consequence that might have.

17 CHAIRMAN KOTELCHUCK: That is correct.
18 I think we've read it but I think that, because in
19 terms of the agenda that we laid out, everything
20 has been done except for now new input --

21 MR. KATZ: Right.

22 CHAIRMAN KOTELCHUCK: -- from another

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 person but one who has some authoritative
2 information. But I think it's not impossible that
3 we'll be able to make a recommendation.

4 MR. KATZ: Well, I mean we don't have
5 another Work Group meeting. I mean that --

6 CHAIRMAN KOTELCHUCK: No. We won't have
7 another Work Group meeting before the end of July.

8 MR. KATZ: Right. So, unless -- I mean
9 staff or other Work Group Members, unless you have
10 thoughts about this, I'm just -- I need to know this
11 to be able to prepare for the Board meeting --

12 CHAIRMAN KOTELCHUCK: Right.

13 MR. KATZ: -- for you all. So --

14 CHAIRMAN KOTELCHUCK: Right. What do
15 others think? I mean I don't -- I don't see that
16 we'll be able to make a recommendation -- a final
17 recommendation on the SEC.

18 MEMBER FIELD: This is -- this is Bill.
19 I agree. I think, with the information presented,
20 I don't even know how much is applicable to the
21 period of the consideration. So I think we have
22 to wait for LaVon to get the information and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get a response. But it would be nice to go next
2 week but I don't think it's possible.

3 CHAIRMAN KOTELCHUCK: Okay. Yes.

4 MEMBER SCHOFIELD: I agree with that.
5 This is Phil.

6 CHAIRMAN KOTELCHUCK: Yes. Okay.

7 MR. RUTHERFORD: Thank you.

8 CHAIRMAN KOTELCHUCK: Alright. So
9 there's agreement on that. But we're pretty
10 close. We're pretty close to closure and
11 certainly the meeting after this next one I'm
12 certain that we'll be able to make a final
13 recommendation. I'm confident, not certain.
14 Nobody's certain. I'm confident that we'll be
15 able to make a permanent -- a final recommendation.

16 MR. KATZ: Okay. Thanks.

17 CHAIRMAN KOTELCHUCK: We do have a little
18 -- we have some more time. Particularly, we really
19 talked about Item 6 now. We've just finished
20 concluding Item 6. Is there any -- and 7. Is
21 there any petitioner comment, Ms. Barrie or Mr.
22 Saunders, that you wish to make now? It's not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 necessary and you will certainly have time at the
2 July Board meeting to do that but, if there is
3 something you would like to say now, we certainly
4 have time for that.

5 MS. BARRIE: Okay. This is Terrie
6 Barrie. I really appreciate it. I won't keep you
7 long because I'm quite thankful that you took the
8 time to listen to Dr. Rothe. But I do have a few
9 things that I want to mention.

10 Number one is I am so thankful that you
11 did not make a decision today or a recommendation
12 because there is a lot of issues from the
13 interviewees for the various papers. At least I
14 thought there would be, who have issues with the
15 interpretation done by NIOSH, especially on the
16 data falsification paper.

17 The other thing I want to mention is, in
18 one of the papers -- and I am so confused about what
19 papers I have read. I'm not organized at all. I
20 just jotted down notes. NIOSH, in one of its
21 papers, noted that they finally located Building
22 123 procedure manuals. And, fortunately, one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the advocates that I work with also obtained a
2 manual for Building 123.

3 And, on Page 10 of that, it says that 123
4 monitored environmental water samples for
5 beryllium-7, which is the radioactive isotope of
6 beryllium. And I don't think that workers were
7 monitored for that kind of exposure.

8 The other thing is we recently -- another
9 advocate recently --

10 CHAIRMAN KOTELCHUCK: And you're talking
11 about the post-'83 period -- the post-'82 period?

12 MS. BARRIE: I believe so. Yes.

13 CHAIRMAN KOTELCHUCK: Okay.

14 MS. BARRIE: Yes. Like I said, you know,
15 all of this information came in to me. I will be
16 more organized next week when I make public
17 comments.

18 CHAIRMAN KOTELCHUCK: Okay.

19 MS. BARRIE: Yes. So that's one issue.
20 Another advocate recently found a soil sample.
21 It's a government assured soil sample, that shows
22 some kind of really -- strontium and cesium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reading and he is still working on comparing that
2 to background to see if that's an issue. So I'm,
3 again, thankful that this is not being closed out.

4 And, for neptunium, I'm confused and I
5 don't expect an answer right now. But the ten-year
6 review identified the need for the Board to be
7 consistent with SEC decisions and I still don't
8 grasp the -- why plutonium is used for post-1983
9 when it couldn't be used for pre-1983. And I don't
10 think -- and I don't have the time right now to do
11 -- research this thoroughly yet. But I don't --
12 there's a discussion for Savannah River on
13 neptunium exposure and I don't understand why
14 they're not using plutonium exposure for that.

15 And, because you've been so patient with
16 me, I'm going to end it with that. But I'll have
17 a lot more detailed discussion for next week.

18 CHAIRMAN KOTELCHUCK: Okay. And the
19 data falsification paper, the NIOSH draft paper,
20 when was it released to you? We were able to see
21 earlier drafts before it was -- so we have had a
22 chance, the Working Group, to look it over and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evaluate it well, I think, by this meeting. But
2 I don't when it was released for public
3 consumption.

4 MS. BARRIE: I don't know exactly but I
5 want to say it was about a week ago.

6 CHAIRMAN KOTELCHUCK: Yes.

7 MS. BARRIE: Yes. And then -- because I
8 did get some feedback from people.

9 CHAIRMAN KOTELCHUCK: Yes. Well, if
10 that's the case, certainly, if you're happy that
11 we did not make a decision because you believe there
12 are issues about data falsification paper that
13 should be considered by the Board, I do trust that
14 you will present those at the Idaho meeting.

15 MS. BARRIE: I most definitely will.

16 CHAIRMAN KOTELCHUCK: Yes, because
17 that's important and we are really moving towards
18 a final decision on that.

19 MS. BARRIE: Yes.

20 CHAIRMAN KOTELCHUCK: Just not so much
21 for your sake but for other -- everybody's sake,
22 Board Members and others, I regret that I will not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be able to be present at the Idaho Falls meeting
2 but I will be on the phone and participating and
3 reporting.

4 MS. BARRIE: And I'll have a written
5 summary also and I'll make sure everybody gets it.

6 CHAIRMAN KOTELCHUCK: Okay. Very good.
7 Mr. Saunders, anything?

8 MS. BARRIE: He was having trouble with
9 his cable today --

10 CHAIRMAN KOTELCHUCK: Okay.

11 MS. BARRIE: -- so I'm not sure if he's
12 still on.

13 CHAIRMAN KOTELCHUCK: Well, then, I
14 think, folks, we have completed our task for today.
15 Is there -- are there any things left undone that
16 could be done right now?

17 MR. RUTHERFORD: I've got one quick
18 question.

19 CHAIRMAN KOTELCHUCK: Sure.

20 MR. RUTHERFORD: I know we discussed
21 we're not moving forward. There's no
22 recommendation. So, under that agenda item, what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do you expect for that portion of the meeting, Ted
2 and Dr. Kotelchuck?

3 CHAIRMAN KOTELCHUCK: Well, that -- I
4 mean I -- certainly, we have made progress closing
5 the items and are in agreement on all the items now
6 with one exception and that will be resolved soon.
7 Obviously, if people are going to raise issues
8 about the data falsification paper, that -- those
9 issues will be brought up and will be responded to.

10 MR. RUTHERFORD: Yes. I agree. I agree
11 with that. I was just trying to figure out whether
12 we were putting together a presentation, what we
13 were going to do for that portion of the --

14 MR. KATZ: This is Ted. Right. We
15 thought we would -- we might be ready and we're not
16 quite ready. So I mean there are two ways to go.
17 I think what I would -- and I'm open to everyone's
18 input on this but I think what makes sense is to
19 hold on and make a full presentation when all the
20 issues have been resolved or the Work Group has come
21 to conclusion on all the issues I'd say --

22 CHAIRMAN KOTELCHUCK: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: -- rather than making a 90
2 percent presentation at this meeting and then
3 holding off on a piece for November. Does that
4 make sense?

5 MR. RUTHERFORD: It makes sense to me.

6 CHAIRMAN KOTELCHUCK: It does to me -- to
7 me as well.

8 MR. KATZ: So I think what we would do
9 then is just, Dave --

10 CHAIRMAN KOTELCHUCK: Yes.

11 MR. KATZ: -- would give an update to the
12 Board, a more -- a very summary update to the Board
13 during the Work Group presentations but we wouldn't
14 have a Rocky Flats session at this meeting --

15 CHAIRMAN KOTELCHUCK: Right.

16 MR. KATZ: -- given that we're not quite
17 ready.

18 CHAIRMAN KOTELCHUCK: Right.

19 MR. KATZ: So there would be no -- there
20 would be no proper session with a presentation by
21 NIOSH and SC&A and the petitioner's session. And,
22 Terrie and others from Rocky Flats who have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comments would certainly be welcome to comment
2 during the public comment session. We would
3 expect that for sure. But we wouldn't have a
4 separate Rocky Flats session for that.

5 CHAIRMAN KOTELCHUCK: Right.

6 MR. KATZ: And is that -- is that clear
7 for you, Terrie?

8 MS. BARRIE: Yes and that's what I was
9 planning on.

10 MR. KATZ: Okay. Very good.

11 MS. BARRIE: Thank you.

12 CHAIRMAN KOTELCHUCK: Good. Good.
13 Remind me, Ted, of course I have it written down
14 somewhere but what is the date of the November
15 meeting?

16 MR. KATZ: Oh, it's Thursday and Friday
17 the 23rd and 4th, I believe, if my dates -- hold
18 on a second. I need to look at a calendar to --

19 CHAIRMAN KOTELCHUCK: Okay.

20 MR. KATZ: Yes. It's the 23rd and the
21 24th.

22 CHAIRMAN KOTELCHUCK: Good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: And, typically, the -- since
2 you're with me on the phone, we try to get a lot
3 of the Work Group work done the first day. So we'll
4 probably get a lot of that done on the 23rd, the
5 Work Group updates, in other words.

6 CHAIRMAN KOTELCHUCK: Right. Right.
7 Okay. Very good. Alright, folks. We've had a
8 productive session and we've accomplished a lot and
9 we're well on our way. And it's 1:30 east coast
10 time, so folks have plenty of time to do other
11 important things this afternoon. Okay.

12 MR. KATZ: Thank you, everybody.

13 CHAIRMAN KOTELCHUCK: Thank you. Bye
14 bye, folks.

15 MS. BARRIE: Thank you.

16 MR. RUTHERFORD: Thank you.

17 MR. KATZ: Bye.

18 CHAIRMAN KOTELCHUCK: Bye.

19 MEMBER MUNN: Bye bye.

20 (Whereupon, the above-entitled matter
21 went off the record at 1:30 p.m.)
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10