

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

WORK GROUP ON URANIUM REFINING  
ATOMIC WEAPONS EMPLOYERS

+ + + + +

MONDAY  
AUGUST 3, 2015

+ + + + +

The Work Group convened telephonically at  
10:00 a.m. Eastern Time, Henry Anderson Chairman,  
presiding.

PRESENT:

HENRY ANDERSON, Chairman  
R. WILLIAM FIELD, Member  
DAVID KOTELCHUCK, Member

ALSO PRESENT:

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

TED KATZ, Designated Federal Official  
BOB BARTON, SC&A  
RON BUCHANAN, SC&A  
MARK FISHBURN, ORAU Team  
ROSE GOGLIOTTI, SC&A  
LARA HUGHES, DCAS  
JOHN MAURO, SC&A  
JIM NETON, DCAS  
MUTTY SHARFI, ORAU Team  
MATT SMITH, ORAU Team  
JOHN STIVER, SC&A  
DENNIS STRENGE, ORAU Team  
TOM TOMES, DCAS  
JOE ZLOTNICKI, SC&A

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

C O N T E N T S

	<u>Page</u>
Welcome and Roll Call .....	4
NUMEC (Apollo and Parks Township, PA) Technical Basis Document Review .....	7
Lunch Recess .....	131
W.R. Grace (Erwin, NT) Technical Document Review .....	139
Adjourn	

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

P-R-O-C-E-E-D-I-N-G-S

10:06 a.m.

MR. KATZ: So welcome, everyone. This is the Advisory Board on Radiation and Worker Health. The Uranium Refining AWEs Work Group.

And we're meeting today to address Site Profile reviews on two sites. Well, three in a sense. But NUMEC, Apollo and Parks Township, PA, Pennsylvania that is. So that's the NUMEC site. And then W.R. Grace in Erwin, Tennessee.

And for people on the line, the agenda for the meeting, which is that simple, is on the NIOSH website. Together with documents related to these sites from their reviews.

So, if you go to the NIOSH website and you go to the Board section, today's -- you go to scheduled meetings and today's date, you'll be able to follow along with the documents that people will be discussing today.

So, and then the only other thing to note for people listening in, is to please put your phone on mute so we don't have any issues there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Press \*6 if you don't have a mute  
2 button, and \*6 again to take your phone off of mute.  
3 But please, folks, mute your phones.

4 For roll call here, please speak to  
5 conflict of interest related to both the NUMEC site  
6 and W.R. Grace as we go through roll call. And  
7 let's start that with Board Members.

8 (Roll call)

9 MR. KATZ: Okay then. Henry, it's  
10 your meeting.

11 CHAIRMAN ANDERSON: Okay. Well, the  
12 first on the agenda, we -- it's been a while. But  
13 we have a NUMEC Technical Basis Document that we  
14 reviewed, that SC&A reviewed.

15 The SC&A issues were identified and  
16 sent to NIOSH. And the middle of May we received  
17 the NIOSH responses to the SC&A review.

18 And really what we want to go over today  
19 is those NIOSH responses and comments from SC&A as  
20 to -- as well as other Board Members, if we're  
21 satisfied with those NIOSH responses.

22 They're fairly comprehensive. I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they've addressed most of the issues. But, I'd  
2 like to get the idea or the comments from SC&A, ask  
3 if they feel this is settled. And to put the  
4 comments together, what the -- if they're satisfied  
5 with these responses. Or whether we need to have  
6 -- if there's continuing events and we need to have  
7 further discussion.

8 So, SC&A, do you want to go over those  
9 -- your review and the NIOSH responses, please?

10 DR. MAURO: Hi everyone, it's John  
11 Mauro. Yes, we all had an -- we have our team on  
12 the phone.

13 Joyce Lipsztein is not here. She's  
14 unable to connect. I believe she's in Israel at  
15 this time. But, she did send me some written  
16 material.

17 We have all read through the responses,  
18 and we have discussed them. But, I guess the way  
19 we're looking at it right now, is certainly there  
20 are areas where we would like to have additional  
21 discussion on some of these items.

22 But also, I think that many of us felt

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that we -- to some of the responses where there's  
2 considerable information, we would like a little  
3 more of an opportunity to review them.

4 And if it's acceptable to the Work  
5 Group, we could actually submit a formal response  
6 to each of the 24, in some places explaining, yes,  
7 we reviewed your proposed changes, for example --  
8 there are many like that -- and we concur, or we  
9 may have some additional questions.

10 So, I can't say that we're in a position  
11 today to say yes or no, we agree or don't agree and  
12 what the issues are. I think we're more in a  
13 position to get clarification, identify places  
14 where we'd like to look a little more closely at  
15 some of the responses. And then get back to you  
16 folks formally. If that's acceptable to everyone.

17 MR. KATZ: Well, go ahead and proceed  
18 John. I mean, that's where we are, so.

19 DR. MAURO: Yes.

20 CHAIRMAN ANDERSON: I mean, there may  
21 be -- what I'd like to try to do, is can we narrow  
22 them down? I mean, like on Finding 1 there, now

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we've got a tremendous increase in the amount of  
2 information provided.

3 Are there any of these that we can close  
4 out?

5 MR. MAURO: I think you pointed out the  
6 first one that I agree with.

7 MR. KATZ: Well, can we -- I mean, for  
8 the record, so we have a decent record here. Can  
9 we have a presentation of the finding and then the  
10 response? And then discussion of whether that's  
11 satisfactory?

12 So, I don't know, I think, John, if you  
13 want to present what the finding was in the first  
14 place. And then you can either summarize or NIOSH  
15 can address how they responded and so on.

16 DR. MAURO: I'd be happy to if that's  
17 the way to go. And if we'd like to begin, we might  
18 as well get started.

19 It would always be helpful, you know,  
20 what I could do is just reiterate our original  
21 concern.

22 MR. KATZ: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: And quickly summarize our  
2 understanding of NIOSH's response. And it would  
3 be helpful though if NIOSH went a little bit into,  
4 you know, what went into, for example, we'll see  
5 the first one in a moment, putting together their  
6 response.

7 I think that it was a very thorough  
8 response as Andy pointed out. So, if you'd like  
9 to begin, I can open by first giving SC&A's  
10 perspective on Number One. Finding Number One.

11 CHAIRMAN ANDERSON: Okay. Let's do  
12 that.

13 DR. MAURO: Very good. When we  
14 reviewed the two, I guess, Site Profiles, we found  
15 that there seemed to be some conflict and confusion  
16 regarding start and end dates. It's a complex, two  
17 sites.

18 And we just wanted clarification where  
19 there seemed to be some contradiction regarding the  
20 start and end dates for the operations. And NIOSH  
21 came back in their response in the overview that  
22 I presume everyone has in front, with a very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 detailed annotation of the different operation  
2 periods for different types of activities that took  
3 place, in this case it's Parks Township.

4 And I mean, in reviewing all of that  
5 material, it certainly seems to be a thorough  
6 response. And I have no comments and I didn't see  
7 anything there that was lacking.

8 We did -- our team did have a chance to  
9 look it over. And I did not get any feedback that  
10 they felt that there was any concerns here.

11 So, the way I see it right now, this is  
12 an issue -- and we can document this all in writing  
13 if that's, you know, because there will be other  
14 places where we're going to want to prepare some  
15 material and do some work.

16 But on this one, I feel as if we're okay.  
17 And we would recommend closing.

18 MEMBER KOTELCHUCK: This is Dave.  
19 Just, this was all the Parks Township. The Apollo,  
20 apparently in the early reports, that the data was  
21 similarly quite accurate. Yes?

22 DR. MAURO: The dates, yes. This has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to do with the operation dates. And the concern  
2 was Parks Township had that concern.

3 MEMBER KOTELCHUCK: Okay.

4 DR. MAURO: And this, as you can tell,  
5 a very thorough annotation of the -- operational  
6 dates of the different activities that took place  
7 in Parks. And it certainly satisfies our needs.

8 CHAIRMAN ANDERSON: And there were a  
9 few that were added there, the underlining, that's  
10 very helpful --

11 DR. MAURO: Yes.

12 CHAIRMAN ANDERSON: For NIOSH. So, it  
13 certainly was worth having them go back over and  
14 come up with these revisions. There aren't too  
15 many.

16 So, but I think -- any other Board  
17 Members have questions or comments? Bill?

18 MEMBER FIELD: No, nothing. No  
19 comments.

20 CHAIRMAN ANDERSON: I mean, so I -- my  
21 -- just to keep us moving along here and not, you  
22 know, create more work than we need, I looked it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 over as well. And looked at the case documents.

2 And as long as these revisions actually  
3 get into the TBD, I would think we would -- I don't  
4 know if we close this or how we do it.

5 MR. KATZ: Yes, Andy, it's Ted. You  
6 can just go ahead and close it. I mean, it won't  
7 be reflected until they -- I mean, it's the same  
8 thing to put it in -- well, it's just a -- it's fine.  
9 I think you can close it. Set one up and they will.

10 MEMBER KOTELCHUCK: Right. Write  
11 approve/close.

12 MR. KATZ: And then SC&A doesn't need  
13 to do any more on that, right.

14 CHAIRMAN ANDERSON: Right. Okay, any  
15 -- and well, with that, I guess all the Board  
16 Members, do you approve closing out Number 1?

17 MEMBER KOTELCHUCK: Fine.

18 MEMBER FIELD: Fine, yes.

19 CHAIRMAN ANDERSON: So, let's go on to  
20 Finding Number 2 then John.

21 DR. MAURO: Okay. Yes, Finding Number  
22 2, the issue had to do with uranium enrichment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 The original material provided in the Site Profile,  
2 there was not very much said regarding -- see, when  
3 you're reporting on uranium, in bioassay samples  
4 or air samples, you could do it either in, you know,  
5 milligrams per liter or you could do it in dpm per  
6 liter.

7 When you're dealing with the  
8 milligrams, it's important that you specify the  
9 enrichment because the conversion into picocuries  
10 or becquerels per liter, it depends very much on  
11 the level of enrichment.

12 And I believe there was some ambiguity  
13 or incompleteness in the description of the level  
14 of enrichment in U-235 in some of the samples. So  
15 we just simply asked, could you give us a little  
16 more information. That would be helpful.

17 And they did. NIOSH has some  
18 explanatory material here related to those samples  
19 where they used fluorometric analysis, which would  
20 give you milligrams. And it seems to me that they  
21 were, I guess their plans are to provide some, a  
22 new section to the Site Profile, as I understand

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the response. A new section 5.2.2.4, which talks  
2 about this.

3 And it certainly looks very  
4 claimant-favorable because where the information  
5 is lacking, they're going to assume, and please  
6 clarify if I got this wrong, but it looks like  
7 you're prepared to assume a 93 percent enrichment  
8 is going to be a default when you don't have other  
9 information.

10 And as far as SC&A is concerned, that  
11 certainly is a claimant-favorable and appropriate  
12 approach, and fully responsive to our concerns.

13 CHAIRMAN ANDERSON: Any other  
14 questions or comments by NIOSH?

15 (No response)

16 CHAIRMAN ANDERSON: So the 5.2.2.4,  
17 that verbiage there is now going to be added in as  
18 I understand it.

19 DR. HUGHES: Yes, this is Lara. Yes,  
20 it would be added to the next iteration of the  
21 Technical Basis Document.

22 CHAIRMAN ANDERSON: And any other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 comments or questions by the other Board Members?

2 MEMBER KOTELCHUCK: No. Approve.

3 That's absolutely claimant-favorable.

4 Generously claimant-favorable, and that's fine.

5 MEMBER FIELD: They look fine.

6 CHAIRMAN ANDERSON: Okay. It does

7 seem to me that in the last paragraph there that

8 they frequently used highly enriched certainly

9 would support -- I mean it's claimant-favorable.

10 The question that I would have is, you

11 know, is it a reasonable set of assumptions? I

12 think that was the only thing to put in a little

13 more quantitative if there is any information on

14 why you would assume that 93 percent.

15 While that is claimant-favorable, it

16 would be nice to have that it is firmly, you know,

17 a good foundation information on it. With that I

18 would say let's close this one out. I think

19 because the statement is -- certainly covers the

20 area. It will help in the dose reconstruction for

21 individuals.

22 So, everyone is in agreement, we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to close out Finding Number 2 as well?

2 MEMBER FIELD: That sounds good.

3 MEMBER KOTELCHUCK: Yes.

4 CHAIRMAN ANDERSON: Okay. Finding  
5 Number 3.

6 DR. MAURO: Number 3 is the -- the  
7 concern that SC&A expressed has to do with  
8 performing dose reconstructions prior to 1959.  
9 And NIOSH correctly responded well.

10 Prior to 1960, internal doses cannot be  
11 reconstructed with sufficient accuracy. And  
12 therefore, the approach to be used, you know, as  
13 usual, if you have some data on a person, certainly  
14 it will be used.

15 But, other than that, the position is  
16 their internal exposures, the doses, you know,  
17 cannot be reconstructed. And so I guess, you know  
18 -- but the only confusion I had, and I could use  
19 a little help here from NIOSH is, in getting -- in  
20 preparing for this meeting, I went back to look at  
21 the position regarding the SEC for external  
22 exposure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And I have to admit that on -- I could  
2 use a little clarification on what the SEC position  
3 is on that. I quite frankly, I didn't dig deep  
4 enough to just -- to tease out Parks from Apollo  
5 and your position regarding dose reconstruction  
6 for external exposure.

7           Can you help me out a little bit with  
8 that?

9           DR. HUGHES: This is Lara. Yes, the  
10 external for Parks at the point where it's not  
11 thoroughly evaluated during the SEC evaluation  
12 because the infeasibility was clearly driven by the  
13 internal infeasibility.

14           And since both sites shared the  
15 monitoring program, we already knew when we did the  
16 Apollo evaluation, that the same issues would  
17 translate to the Parks facility.

18           So, our position is that external can  
19 be done if monitoring data is available. Which in  
20 some cases there is, especially in the later years,  
21 in the 70s, there is a number of workers that had  
22 external data.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Okay. So, I am correct  
2 then. Because I'm looking over the -- our review.  
3 And I really, right in the beginning summarize the  
4 reasons for assigning an SEC.

5 And they were all -- except for neutron,  
6 like some neutron exposures, there was a  
7 uranium/beryllium statement. It appeared that  
8 the reason for the SEC was virtually entirely due  
9 to internal.

10 But, I may have missed that. So,  
11 you're saying that external -- inability to  
12 reconstruct external exposure at both facilities  
13 is also the reasons for the SEC?

14 Because I wasn't sure whether you were  
15 saying that, yes, we believe we can reconstruct or  
16 cannot reconstruct external exposures. And what  
17 I just heard you say is that your position is that  
18 you cannot.

19 And, but you will of course when you do  
20 have data. Would that be a correct statement?

21 DR. HUGHES: Yes. In a sense, the  
22 infeasibility is driven by the internal. And then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 at this point, we're kind of left to decide what  
2 to do with the external.

3 In some cases we can do the external.  
4 But there's also cases where we can't do it.

5 DR. MAURO: I think that from that --  
6 let me help clarify. You will see as we move  
7 through, we will have lots of questions. And we  
8 have had and we continue to want to discuss a number  
9 of questions regarding external/internal.

10 But, I think it's important that we all  
11 understand is within the context of granting SEC,  
12 that an SEC has been granted for both reasons:  
13 external and internal. So, our questions are  
14 going to be more along the line of when you do have  
15 data, and you do plan to reconstruct the doses for  
16 people when you can, which is, by the way,  
17 commendable.

18 That is, every effort clearly -- I want  
19 to make it clear to everyone, that this is one of  
20 the -- I believe this might have been one of the  
21 Site Profiles where NIOSH really did everything  
22 they possibly can to try to explain how we're going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to reconstruct doses when we think we can.

2 In other words, given that there's a  
3 broad SEC granted, nevertheless, a great deal of  
4 attention was given to how are we going to do  
5 though, internal and external exposures when we do  
6 have some data?

7 And so, it's within that context, which  
8 is an important context. And so, most of our  
9 comments and the responses have to be viewed within  
10 -- with a perspective that everything is being done  
11 on both -- all of us are trying our best to find  
12 when you do have data, what's the best approach to  
13 use.

14 And so, but I think that's to be  
15 commended. And that is really a concerted effort  
16 is being made here to try to find ways to -- to  
17 assign some dose, at least as much as you can, to  
18 these workers who are not covered by the SEC.

19 So, now that being the case, Finding 3,  
20 we agree with NIOSH that the -- it's not needed.  
21 In other words, we could withdraw or close out  
22 Finding 3, simply because it goes towards guidance

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on how doses would be performed prior to '59.

2 But quite -- you know, and it appears  
3 to me, if I'm correct that what you're really saying  
4 here is that, you know, an SEC has been granted.  
5 And what the -- it's not that you -- the answer says,  
6 you know, well, since an SEC was granted, there's  
7 no need for us to address this question.

8 But, in reality is you do plan to  
9 reconstruct doses when you can. And really, it's  
10 the remaining, starting from 4 on, where you get  
11 into quite a bit of detail on how in fact you are  
12 going to reconstruct doses.

13 So, I guess Finding 3 is just -- and I  
14 don't know if anyone else wants to weigh in on this,  
15 is really not needed within the context with which  
16 we're reviewing and discussing this particular  
17 Site Profile.

18 DR. NETON: Yes, John, this is Jim. I  
19 just want to point out one thing related to the  
20 external feasibility, which seemed to be one of the  
21 issues you had with this.

22 The SEC Evaluation Report for NUMEC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Apollo was an 83.13. Which means that it was a  
2 petition that came in that we evaluated.

3 And in those type of evaluations, we do  
4 all modes of exposure and feasibility analysis.  
5 And you will see on page 18 of that report, it  
6 clearly says reconstruction is not feasible for  
7 both internal and external from this.

8 Now, when you get to the SEC evaluation  
9 for NUMEC, it was an 83.14. And those are treated  
10 somewhat differently in a sense that, you know,  
11 those are self-initiated by NIOSH. We find a  
12 litmus case and the SEC proceeds from there.

13 DR. MAURO: I'm sorry to interrupt Jim.  
14 When you said NUMEC, did you mean Apollo or did you  
15 mean NUMEC?

16 DR. NETON: In this 83.14 for Parks  
17 Township.

18 DR. MAURO: Parks. Okay. I see.  
19 You said -- yes. Okay. So for Parks it's a -- so  
20 Parks is a -- I'm sorry, I'll let you continue.

21 DR. NETON: An 83.14. So in those  
22 83.14s, we don't normally evaluate, we just go as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 far as it can to determine the infeasibility. In  
2 this case it was driven by internal.

3 DR. MAURO: Okay.

4 DR. NETON: But, if you look under  
5 Section 6.2 of the feasibility of estimated  
6 external exposures in the NUMEC Evaluation Report,  
7 it says that -- I'll just read the paragraph.

8 As mentioned in Section 5.2, NIOSH has  
9 external monitoring data starting in 1961. NIOSH  
10 intends to use any available external monitoring  
11 data that may reside in an individual's file and  
12 that can be interpreted using existing NIOSH dose  
13 reconstruction processes and procedures to support  
14 partial external dose reconstructions for  
15 claimants not qualifying for inclusion in the SEC.

16 In that paragraph, I think it's pretty  
17 clear that the external was also considered, that  
18 we would just use what was in the files to do dose  
19 reconstructions.

20 I think it's as Lara said, the origin  
21 of the external was from the same source.

22 DR. MAURO: Are the implications then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that no attempt is made to develop a coworker model?  
2 I mean, when all is said and done, once you move  
3 into SEC world -- and we may get into this a little  
4 bit more.

5 But, it was my understanding that --  
6 well, that once we're in SEC world, you don't really  
7 try to develop a coworker model. You say, well  
8 listen, we'll do it when we can.

9 Is that the circumstances we're dealing  
10 with here?

11 DR. NETON: That's the situation here.

12 DR. MAURO: Okay. Very good. By the  
13 way, for every -- other people's benefit, there are  
14 -- there have been circumstances where -- I have  
15 seen coworker models attempted in SEC world.

16 But, it doesn't apply here. So, this  
17 is a subject for, I guess, a future discussion.  
18 Under what circumstances would you try to build the  
19 coworker model for performing certain doses, you  
20 know, when it, let's say for internal exposure?

21 Well, anyway --

22 MR. KATZ: This is Ted. John, this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Ted. I think you're mistaken.

2 DR. MAURO: Go ahead.

3 MR. KATZ: Where there's an SEC granted  
4 for say internal, we don't do -- they do not do  
5 coworker models for that dose that is infeasible.

6 DR. MAURO: For that particular one.

7 MR. KATZ: So, it's always -- and they  
8 always do, though, they always use whatever records  
9 they have in the files.

10 DR. MAURO: Yes.

11 MR. KATZ: For people who actually, you  
12 know, have recorded dose and so on. But they --  
13 this is just standard business really for any site.

14 DR. MAURO: Okay.

15 MR. KATZ: Yes.

16 DR. MAURO: Well, you're -- I may be  
17 jumping the gun. But, I think there is one place  
18 here where we found that there is considerable data  
19 that we're going to talk about.

20 Whether or not -- I don't know what to  
21 do with something like this where it looks like  
22 perhaps there is a possibility of a coworker model.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And I don't know what quite. You know, but we'll  
2 discuss that one.

3 MR. BARTON: Yes, John, this is Bob.  
4 Maybe while this is on the table right now --

5 DR. MAURO: Okay.

6 MR. BARTON: We could kind of get some  
7 clarification on this point. Because I guess I was  
8 not aware of or had never seen a case where the  
9 external dose feasibility wasn't necessarily  
10 explicitly evaluated but was a priori assumed to  
11 be infeasible. And that's the case with Parks.

12 DR. MAURO: Yes.

13 MR. BARTON: It was external was  
14 evaluated for Apollo. And then I guess, and thank  
15 you, Jim, for the clarification about 83.13 versus  
16 .14.

17 In the case of Parks, they evaluated the  
18 internal and found it infeasible. And then, it  
19 sort of stopped there. But maybe an unintended  
20 side effect of that is, it's quite poss -- we just  
21 don't know about the external because it was never  
22 actually evaluated.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But, we're sort of assuming that it's  
2           infeasible. Which pretty much takes any chance of  
3           a coworker model off of the table. And I guess I  
4           had never seen that before where -- and the  
5           justification is not a bad one necessarily that,  
6           listen, these sites were kind of sister sites.  
7           They were -- it was the same health and safety  
8           programs. So, one can expect that if at one site  
9           the external dosimetry was not good enough that it  
10          would be also not good enough at the other site.

11           But, the fact that it was never  
12          evaluated was rather strange to me. And I wasn't  
13          aware of any situations where that had necessarily  
14          had come up before.

15           DR. HUGHES: This is Lara. I may add  
16          that when we're reviewing health and safety files  
17          from Parks and Apollo, we can't actually -- often  
18          we can't even tell which site they're on.

19           It's basically we look at the entirety  
20          of the health and safety records for Parks and  
21          Apollo. These sites were operated by the same  
22          contractor.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, I wouldn't go as far as saying they  
2 were not evaluated. We've already done the  
3 evaluation for the Apollo site, and we knew that  
4 the Parks site was faced with the same issues,  
5 internal and external because we did look at the  
6 data that was available at the time.

7           MR. BARTON: And maybe this is simply,  
8 I guess, maybe an administrative or paperwork  
9 thing. But, the actual recommendations from the  
10 Advisory Board and the official report from HHS  
11 only says internal for the Parks.

12           And I guess maybe that needs to be  
13 revised. And perhaps with the position statement  
14 that you just made. That, listen, the first time  
15 around we didn't explicitly say that no external,  
16 because I mean, I'm looking at the official HHS  
17 report and point tests is the last point.

18           It says NIOSH can reconstruct external  
19 dose, occupational medical dose and certain  
20 internal dose. That's for Parks.

21           So, as the paperwork I guess stands  
22 right now, external is still on the table even

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       though, you know, for good reasons, one could  
2       assume that it's probably infeasible to do.

3               But, I'm not sure that it's ever, I  
4       guess officially been documented that it was  
5       evaluated and found infeasible.

6               MEMBER KOTELCHUCK:       Let -- Dave  
7       Kotelchuck.   Let me ask.   There may well be  
8       situations in which we grant an SEC and there are  
9       no partials that come up.

10              And implicit in what you're saying is  
11       that we should at the committee level, we should  
12       go ahead and plan for partials, and make the  
13       decision that needs to be made for the dose  
14       reconstruction on partials.

15              And it just seems to me adding a layer  
16       of work that may not be necessary.   The Committee  
17       will always be there.   And if partials come up,  
18       where issues come up that we haven't dealt with,  
19       then it seems to me we could talk about those.

20              But to do it for every single case, when  
21       in many cases, for particularly smaller shops,  
22       there won't be partials.   It happens that there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 won't be partials.

2 Then, I would say we shouldn't worry  
3 about having the partials done. Or how we would  
4 do the partials if there were partial claimants  
5 that we came upon.

6 MR. BARTON: I think I understand what  
7 -- I guess our main concern was that since the way  
8 the SEC is worded for Parks, it does not include  
9 an infeasibility necessarily for external that it  
10 still leaves open a possibility that you could  
11 create a coworker model for unwanted or external  
12 portions of --

13 DR. NETON: Well, I think if you look  
14 at 83.14s in general, you're going to see that's  
15 a fairly consistent pattern. I mean, you know, the  
16 SEC has been added and we end up, as the language  
17 usually says, doing what we can do.

18 DR. MAURO: You know, this is an  
19 interesting policy decision. And I think well, we  
20 may get to it again I guess later on when we get  
21 down to this issue.

22 But, we have an interesting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       circumstance.       And I'm not saying we're  
2       conclusionary here. I think this is -- we're in  
3       the mode of discussion right now.

4               But, Bob, you had looked pretty closely  
5       at the data that was available for Parks, I believe.  
6       And I understand the comment you just made, namely  
7       well, to just leave and say well, because Apollo,  
8       you know in one case is 83.13.

9               Now, when you get an 83.14, let me see  
10       if we get this right now. You get an 83.14, there's  
11       a -- and I guess what triggers that is an individual  
12       that you were not able to reconstruct the dose, and  
13       therefore it triggers an SEC for that particular  
14       scenario. Let's say it's an external dose.

15              Now, you're going to have to help me  
16       with this, and bear with me. But if it turns out  
17       that, you know, you look at that one individual and  
18       you can't do it.

19              But let's say you look at collectively,  
20       let's look at all the data for Parks. And say wait  
21       a minute. Hold the presses. There's a lot of data  
22       out there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Is it possible we could build a coworker  
2 model, which would pick up this person who perhaps  
3 you're having a problem with. So, could you help  
4 me out a little bit with that? And in other words,  
5 when you conclude an 83.14, --

6           DR. NETON: John, there's a couple of  
7 flavors there. One is, as Ted mentioned earlier,  
8 it's say for instance we can't reconstruct thorium  
9 exposure.

10           Then it's all thorium exposures for  
11 everybody regardless of who they are unless they  
12 have specific monitoring data available. That's  
13 been consistent from the beginning of the process.

14           DR. MAURO: Yes. Yes.

15           DR. NETON: Now, if you're talking  
16 about, you know, it's an SEC based on thorium, and  
17 then can we reconstruct external, that's a  
18 different issue.

19           DR. MAURO: Yes.

20           DR. NETON: But, until now, we have  
21 done the best we can do for those types of  
22 exposures. But the Board has typically not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 evaluated every single modality of exposure, you  
2 know, to see if it can be or cannot be  
3 reconstructed.

4 DR. MAURO: You know, it's  
5 interesting. I mean, with thorium from our  
6 experience, it's often -- well, it becomes clear  
7 that thorium was problematic.

8 And you know, when you find it for one  
9 person, there's a very good chance, you know, that  
10 you don't have data for everyone. The  
11 circumstances under which the exposures occurred.

12 So, I can see an 83.14 going --  
13 triggering -- being triggered for the inability to  
14 reconstruct internal exposures in thorium.

15 I guess I would like a -- one of the  
16 things we'd like to talk about some more, and again,  
17 remember, I'm not being conclusionary here. Is  
18 that if you did an 83.14 for a person on external,  
19 let's say at Parks. But then we went ahead, and  
20 Bob, you can help me out a little bit here, just  
21 took a look at. Well, let's see, you know, what  
22 kind of data are there for external?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Because, you know, very often for  
2 external, unlike thorium, for external, you may  
3 have a considerable amount of data that will allow  
4 you to build a coworker model once with the internal  
5 thorium, we know that that doesn't happen, or  
6 certainly I haven't experienced it.

7           But, the external is a different beast.  
8 And I guess I just want to talk a little bit more  
9 about that. When you decided to -- in a funny sort  
10 of way what I'm saying is my only concern is this,  
11 when you trigger an SEC, let's say in this case  
12 external, certainly, you know, that's very  
13 favorable for the petitioners and the claimants.

14           But, at the same time, if there's any  
15 aspect to it that perhaps maybe you, you know, you  
16 can build a coworker model. And here's where  
17 things get interesting. You know, in effect what  
18 you're saying is well, reality is, maybe there's  
19 sufficient data out there to build a coworker  
20 model.

21           And that picks up all the people with  
22 the other cancers that are not covered. If you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think you can build a coworker model.

2 So, we're in a place right now that  
3 we're looking at the other data. And we're seeing  
4 a considerable amount of other data on external.  
5 And I guess we're -- that's one of the areas where  
6 we'd like to follow up a little further with you  
7 on, you know, whether or not, you know, there is  
8 such a deficit in external dosimetry data that that  
9 really can't be done and a coworker model can't be  
10 built. And I guess at this point in the process,  
11 we're in a funny position in saying that we'd like  
12 to take a little closer look at that.

13 CHAIRMAN ANDERSON: Yes, let's --  
14 we're having a -- this is an interesting discussion  
15 on -- but I think I really want to get us back to,  
16 we're looking at the TBD here, the Site Profile.

17 And is there something that needs to be  
18 added or modified in the Site Profile to provide  
19 that guidance, or identifying what data is  
20 available and, you know, how that is then applied  
21 is somewhat of a different issue that's the use of  
22 the TBD.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, the thing is for me is for the --  
2           is this response where you say on how to perform  
3           the dose reconstruction.    The Finding 3, in  
4           response to it, but does that mean there's going  
5           to be some modification within the TBD to provide  
6           greater detail?

7           Or, I mean, I agree with what the  
8           statement is, and that's how it's -- I think that's  
9           a discussion that's done that.   How it is done and  
10          how it's applied.

11          But, the question to me is, is it  
12          sufficiently descriptive in the TBD so when  
13          somebody picks it up to start to do -- use it for  
14          dose reconstruction, they have the guidance  
15          written down that they need rather than just the  
16          -- this is how we've done it in other circumstances.

17          DR. MAURO:    Yes, and I think that  
18          Finding 3 can be withdrawn or closed.   And the  
19          reason I'm saying that is if the next series of  
20          findings that actually go toward this question.  
21          So, effect --

22          CHAIRMAN ANDERSON:   Well, let's do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that then. Board Members have -- I mean, this is  
2 -- it's a start and I see where you're going on this,  
3 John. That now you get into each of the individual  
4 older areas.

5 MEMBER FIELD: Andy, this is Doug. I  
6 just question and I was curious about it. The  
7 methodology is going to be based on evaluating the  
8 plutonium that was processed and then reviewing  
9 existing claims.

10 I was just curious, is there a good  
11 cross-section of existing claims with plutonium  
12 bioassay?

13 CHAIRMAN ANDERSON: Anyone answer  
14 that?

15 DR. NETON: This is Jim. I don't know.  
16 I mean, I've not looked at that in detail. I'm sure  
17 -- what was your question related to though? I  
18 mean, is there a lot of people that would have  
19 plutonium bioassay?

20 MEMBER FIELD: Yes, in Number 3 here,  
21 it says that the methodology is going to affect the  
22 quantity of plutonium processed, evaluate all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 monitored exposures as well as reviewing existing  
2 claims with plutonium bioassay.

3 I was just wondering how many claims,  
4 like there will be a cross-section of claims with  
5 plutonium bioassay? If this methodology is going  
6 to be based on that information.

7 DR. NETON: Where are you reading from,  
8 Bill? I'm confused. This is Finding 3?

9 MEMBER FIELD: Yes.

10 DR. NETON: I don't see our response  
11 saying we're evaluating existing plutonium  
12 bioassay.

13 MEMBER FIELD: Right. You don't see  
14 that?

15 DR. NETON: No, I'm on page four of our  
16 response.

17 MEMBER FIELD: Maybe I'm in the wrong  
18 place.

19 CHAIRMAN ANDERSON: You may be on the  
20 next one.

21 MEMBER FIELD: I probably am. Okay,  
22 well that's -- hold off on that one then.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: And I understand what John  
2 is getting at here. And you know, we probably  
3 would want to do the best we can for the claimants,  
4 given the bioassay data available.

5 I think this is a unique situation in  
6 the sense that even though these are physically  
7 different facilities -- and they're different  
8 facilities because they have physically different  
9 locations, they shared the same radiological  
10 monitoring program.

11 They had a single dosimetry program at  
12 NUMEC. There wasn't one for Parks and one for  
13 Apollo that I'm aware of, at least.

14 And so this is sort of a unique  
15 situation. And how much one could tease out the  
16 exposures at Parks versus Apollo given that, I  
17 think is -- it could be interesting to pursue.

18 I don't know if at the end of the day  
19 it's going to work out that we can do it. But, I  
20 understand what you're saying, John. And we'd  
21 certainly be interested to hear your thoughts on  
22 that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But, again, this is a fairly unique  
2 situation where you've got a site with one single  
3 Site Profile, one single radiological program.

4           CHAIRMAN ANDERSON:    And two sites,  
5 yes.

6           DR. NETON:    And two sites.

7           CHAIRMAN ANDERSON:    Two physical  
8 locations.

9           DR. NETON:    Two physical locations  
10 with the same program, monitoring program.  So,  
11 I'm interested to hear this cache of data that  
12 you've discovered that you feel is uniquely  
13 applicable to Parks Township.

14          DR. HUGHES:    This is Lara.  We're  
15 looking at a similar situation with the Santa  
16 Susana sites where, you know, we found it's very,  
17 very difficult to do a coworker model for one site  
18 and not the other.

19                 At the Santa Susana site, it's another  
20 with a Health and Safety Program that's shared by  
21 four sites, I believe.  So, we have found from that  
22 point that it's very difficult to do that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: And so, if you in fact can't  
2 tell which workers were in which location and  
3 getting which exposures, then I would suggest that  
4 it's not doable.

5 But, again, we're open to hearing  
6 SC&A's --

7 CHAIRMAN ANDERSON: Yes, well let's  
8 close out Number 3 then. It seems to be the next  
9 four or five findings that really just elaborate  
10 on Number 3, so.

11 DR. MAURO: Yes.

12 CHAIRMAN ANDERSON: So, let's close  
13 out Number 3 if everyone agrees and move onto Number  
14 4.

15 MEMBER KOTELCHUCK: Agreed.

16 CHAIRMAN ANDERSON: Okay.

17 DR. MAURO: Okay. Then I'll pick up on  
18 4. Four has to do with the reconstruction of the  
19 internal dose of uranium. And when you look at  
20 that, there really are two sides to that coin.

21 One is during operations. And one is  
22 during the residual period. It is our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 understanding it is NIOSH's position that you can  
2 reconstruct uranium intakes and doses after 1960  
3 for operations. And of course also during the  
4 residual period.

5 And one -- we've sort of confounded two  
6 things here, and I want to tease them apart. When  
7 it comes to, let's just talk about the residual,  
8 because that's the easy one.

9 The residual period, one of our  
10 findings is -- and which is, you know, and I believe  
11 NIOSH agrees with this, is that you know, once  
12 you're into the residual period and you have  
13 general air sampling data, airborne concentrations  
14 of uranium, not breathing zones, but general.  
15 That's the number you should use during the  
16 residual period.

17 So, we're fine with that, and it looks  
18 like NIOSH is fine with that.

19 But this question goes to more than just  
20 the residual period. It actually goes toward the  
21 operational period post 1960.

22 Now, and please clarify if I get this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 wrong, but it was my understanding that one of the  
2 things you're going to do is take advantage of  
3 breathing zone samples. I think you have bioassay  
4 and breathing zone samples.

5 And you take advantage of breathing  
6 zone samples and come up with intakes. And under  
7 those circumstances, we just simply raise the  
8 question regarding the uncertainty.

9 And we've been through this quite  
10 extensively if you remember on Fernald. And Davis  
11 and Strom addressed the question of uncertainty in  
12 reconstructing internal doses from daily weighted  
13 exposure from breathing zone.

14 And I believe your answer answers this,  
15 but I just wanted to make sure I understood it. So,  
16 when it comes to reconstructing internal exposure  
17 to uranium post 1960, during operations, you will  
18 be using, you know, the breathing zone DWAs where  
19 applicable.

20 And also a GSD of five to account for  
21 uncertainty. If that's the case, as far as I'm  
22 concerned, this issue has been resolved.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. HUGHES: Yes, I do believe that's  
2 correct.

3 CHAIRMAN ANDERSON: I think that's  
4 what it says, yes.

5 DR. MAURO: Well, that's what it says,  
6 but you know why? Because there's a  
7 cross-pollination between general air and the  
8 residual period. And it's not very clear that that  
9 distinction is being made here.

10 That's the only confusion. And I don't  
11 think the two different aspects, operation versus  
12 residual, has been separated.

13 And in one case you're using general  
14 air, residual period. In the other one, you're  
15 going to use breathing zone. And when you use  
16 breathing zone, and that would be during operation,  
17 you will use a GSD of five.

18 And that was my -- you know, that was  
19 what I interpreted from reading this. I just  
20 wanted to make sure that was clear. And then that  
21 was confirmed.

22 MR. STRENGE: This is Dennis Strenge.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think that's not quite clear in our revised TBD.  
2 I need to take another look at that. And make sure  
3 that's spelled out specifically.

4 DR. MAURO: Yes, by the way, just for  
5 my -- again, my own information, for the post '60  
6 period, are you heavily relying on bioassay or  
7 breathing zone? I'd have to go back and look  
8 again.

9 MR. STRENGE: Well, we use whatever we  
10 have.

11 DR. MAURO: You use what you have.

12 MR. STRENGE: And it's usually not  
13 much.

14 DR. MAURO: Yes. Okay. Okay. But  
15 this is one of the areas now, uranium intake post  
16 '60 that is one of the areas where you can  
17 reconstruct the exposures.

18 And it doesn't fall under this where you  
19 would build a coworker model if need be. In other  
20 words, you know, if you need -- if you don't have  
21 complete data, but you are claiming that you can  
22 reconstruct uranium intakes and exposures post

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 1960 and also during the residual period.

2 And this is the approach you plan to  
3 use. Is that a correct statement?

4 MR. STRENGE: Yes, I believe so.

5 DR. MAURO: That being the case, do you  
6 think there's a need, and now, you may have done  
7 this. But, I mean, for a coworker model. And we  
8 -- okay, we're going to do it.

9 And unlike when, you know, when you're  
10 doing -- when you're in SEC world you don't build  
11 a coworker model. But for this particular aspect  
12 of it I believe there might be a need for a coworker  
13 model.

14 And forgive me if you've already  
15 addressed this and it's already there in detail.  
16 But, is there a coworker model for post 1960 uranium  
17 when you don't have complete data for a particular  
18 worker for example?

19 DR. HUGHES: There is currently no  
20 coworker model that is planned.

21 DR. MAURO: Okay. Let's talk a little  
22 bit about that. Because I think this is an issue

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that we're interested in. Given that uranium  
2 intakes can be -- you know, is not covered by the  
3 SEC post '60, that is -- this is something that  
4 you're going to do if you had to.

5 The implications are very often, do you  
6 need a coworker model? And the answer usually is,  
7 well, you really don't need a coworker model if you  
8 have a complete set of data for the workers that  
9 might have been exposed to uranium.

10 And when you don't, now -- so, the issue  
11 then becomes, is it NIOSH's position that you  
12 really don't need a coworker model here? Or  
13 something that you can maybe you should take a look  
14 at?

15 DR. HUGHES: Based on the Apollo  
16 Evaluation Reports where the -- it stated that  
17 uranium is feasible. There's also disclaimers  
18 that that's for the -- the reconstruction for  
19 uranium internally is feasible for the time periods  
20 where uranium bioassay data is available.

21 DR. MAURO: No, I understand that.  
22 Which means of course when you have the data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 available, you could reconstruct the person's  
2 doses.

3 But, in many cases there could be  
4 workers that don't have data. We don't have  
5 bioassay data or breathing zone data and you're  
6 confronted with the circumstance of how are we  
7 going to assign doses to this worker?

8 And, you know, if it's your -- I'm not  
9 being, again, I'm not being conclusionary. I'm  
10 just saying that this is something we would want  
11 to look at.

12 If you don't have a coworker model for  
13 uranium, one of the things we will be doing, here's  
14 an area where, Ted, the reason I had to preface some  
15 of my remarks, that there are going to be certain  
16 areas where we're going to want to look a little  
17 more closely at.

18 And this is one of them. Namely, if  
19 it's NIOSH's position that they don't have a  
20 coworker model for uranium and they don't need one,  
21 we're going to want to look a little more closely  
22 at that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: John, this is Jim again.  
2 And this is an area where I think we've had this  
3 discussion in the past.

4 DR. MAURO: Yes.

5 DR. NETON: Just because there are  
6 bioassay data doesn't mean there is sufficient data  
7 to develop a coworker model that's sufficiently  
8 accurate. I mean, you know, we have not gone to  
9 great lengths to establish coworker models when  
10 there's an SEC granted based on, say, thorium or  
11 plutonium.

12 A lot of it has to do with the amount  
13 of available data. I mean, there are some sites  
14 where, you know, let's take Fernald is probably not  
15 a good example because I'm conflicted there.

16 But there are sites that have an  
17 abundance of uranium monitoring data. And they  
18 happen to work with some thorium and we can't  
19 reconstruct for thorium.

20 And we have a huge database where you  
21 can develop, you know, geometric means and GSDs and  
22 we've done that in many instances. But in cases

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 where we don't have an abundance of monitoring  
2 data, we just have some workers, we don't know if  
3 it was the highest-exposed workers.

4 In other words, if we tried to use the  
5 criteria of the implementation, the draft  
6 implementation guide against those data, it would  
7 fail. And so, are those sufficiently accurate  
8 coworker models? And you know, --

9 DR. MAURO: You can see then why my  
10 concern is, because then that means that one of the  
11 reasons for the SEC is you can't reconstruct  
12 internal exposures post 1960 with sufficient  
13 accuracy. You wouldn't need -- and I would accept  
14 that.

15 That is, if you're position -- but right  
16 now it's my understanding that that's not one of  
17 the reasons why. Yet --

18 DR. NETON: Well, this gets into an  
19 issue we've discussed before. Does an SEC have to  
20 identify every single infeasibility? You know,  
21 you can't grant another SEC for uranium since  
22 there's already an SEC based on plutonium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           It's just not possible, I don't think.

2           DR. MAURO:     Okay.     Well, you're  
3 helping me out a little bit.   Because you see, the  
4 way I was looking at it is, if you claim you can  
5 reconstruct internal exposures to uranium, the  
6 implications are that, you know, for every worker  
7 that had the potential to be exposed to uranium,  
8 you could reconstruct those exposures.

9           And which might very well mean that --  
10 you see, I'm thinking about the guys --

11          DR. NETON:    I don't know if that's  
12 necessarily true.    I guess maybe that's the  
13 central issue here.

14          DR. MAURO:   Yes.   That is the central  
15 issue.   See, I'm thinking about the guy who's not  
16 covered by the SEC.   And you're going to have to  
17 do your best to reconstruct his exposures.

18          And one of his exposures may very well  
19 be post-1960, the inhalation of uranium.   I mean,  
20 you're not going to do thorium.   But, your position  
21 is that you think, you know, you can do uranium.

22          And so I -- say what you are you going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to -- you know, how are you going to assign the  
2 various I guess, ET-1, ET-2, prostate, skin and  
3 others? Some of which, perhaps uranium intake  
4 could be not an insignificant contribution.

5 DR. NETON: Well, if we're not,  
6 remember, these are non-presumptive cancers that  
7 we're talking about.

8 DR. MAURO: Right.

9 DR. NETON: And they're not -- you  
10 know, most of the metabolic cancers are covered in  
11 the SEC. So you're going to reconstruct doses with  
12 almost no dose for the numbers.

13 It doesn't mean you shouldn't  
14 reconstruct it, but the doses are going to be very  
15 low for those.

16 DR. MAURO: Yes. You may very well be  
17 correct. But is ET-1 and ET-2 also part of -- is  
18 not covered by SEC, right?

19 DR. NETON: Any of those pharyngeal?

20 DR. MAURO: Yes, I think that --  
21 correct me if I'm wrong, I know prostate and skin  
22 are not covered by the SEC. But I seem to recall

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ET-1 and ET-2.

2 DR. NETON: I think they are, but --

3 DR. MAURO: They are? Okay. Then --

4 DR. NETON: Let's not talk about that --

5 DR. MAURO: Well, that -- but that's

6 not -- that's not really -- well, you're right.

7 MEMBER KOTELCHUCK: Dave Kotelchuck.

8 Question for John Mauro. Do we have such cases for

9 NUMEC now? I'm asking concretely, not abstractly.

10 Do we have such cases where we need to

11 do a partial reconstruction? And we may well have.

12 But I want to be sure this isn't --

13 MS. GOGLIOTTI: There's definitely

14 cases that had to do a partial. Let me pull up the

15 exact numbers here.

16 MEMBER KOTELCHUCK: Okay. So this is

17 a substantial issue here? If we have some, that's

18 --

19 DR. MAURO: The issue really goes to are

20 there a number of cases where we have a worker who

21 was exposed to uranium post-1960, but he was not

22 -- his doses were not reconstructed because we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't have any data for him, but we suspect that  
2 he might have been exposed. That's really the  
3 issue. If you have data then you're going to  
4 reconstruct it.

5 But, our position is that well, if there  
6 are a number of workers that perhaps did get exposed  
7 to uranium, but you're not going to reconstruct  
8 those doses post-1960 because you can't do thorium.

9 MEMBER KOTELCHUCK: I guess, so my  
10 point of view, if there are cases and we can do a  
11 reconstruction, then we should do it. We have to  
12 do it.

13 But, I'm just concerned that there are  
14 many situations in which, for small or moderate  
15 size facilities, we don't have such claims.

16 DR. MAURO: I understand what you're  
17 saying. If it's not relevant, it's not relevant.  
18 I mean, we don't have that circumstance.

19 And Rose, if you could help us out a  
20 little bit, that would be good. But, even if, you  
21 know, this is something that again, that we'd like  
22 to look at a little bit.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And I don't -- you know, I want to make  
2           sure that everyone sees that there's some wisdom  
3           to this, some virtue to try to look into this.

4           And if we do have a number of workers  
5           that could very well have been exposed to uranium,  
6           but you don't actually have any data that will allow  
7           you to reconstruct his doses, do you try to build  
8           a coworker model for him so that you can at least  
9           assign some doses to him for uranium post-1960?

10           And that's really the question.

11           MEMBER KOTELCHUCK:   Yes.

12           DR. MAURO:   And we would, you know, --

13           DR. NETON:   John, I would submit that  
14           the coworker models you would reconstruct would  
15           have to meet the same standards as you would for  
16           a coworker model where SECs are not granted.  And  
17           then that becomes problematic.

18           You get a lot of these sites with small  
19           amounts of data.  And you can't really develop a  
20           coworker model.

21           DR. MAURO:   Well, and then I would  
22           agree with you if that was one of the reasons why

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they granted the SEC.

2 DR. NETON: I'm say that I don't think  
3 that every infeasibility needs to be identified in  
4 the SEC that way. I mean, what you're suggesting  
5 is every single nuance must be identified before  
6 the SEC Class can move forward.

7 And what we've been doing for a number  
8 of years now is identifying the major ones. Or  
9 identifying what we can and cannot do. And doing,  
10 as we always say, the best we can do given the data  
11 that are available for the other nuclides.

12 DR. MAURO: Well, let me postulate a  
13 circumstance while Rose is checking these. Let's  
14 say we have a large group of workers post -- in this  
15 case post-1960, where you suspect it could very  
16 well have had some uranium exposure, especially at  
17 a site like this.

18 But, you're not going through -- and  
19 he's not covered by the SEC because of the type of  
20 cancer, your position is that well because we  
21 granted an SEC based on thorium, there's no need  
22 to try to build a coworker model.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: That's not what I said.

2 DR. MAURO: Okay.

3 DR. NETON: I said the coworker model  
4 has to pass the same litmus test or criteria as we  
5 would for a non-SEC site.

6 DR. MAURO: Okay. Then I would --

7 DR. NETON: Then you would say, let's  
8 develop a coworker model --

9 DR. MAURO: Yes.

10 DR. NETON: Because we want to be nice  
11 people. It has to pass certain scientific tests.

12 DR. MAURO: Okay. Are you saying now  
13 that right now you don't believe you can construct  
14 a coworker model for the uranium workers, then?

15 DR. NETON: I'm not sure exactly what  
16 we're doing at Parks. I thought I heard some  
17 indication that we're taking these samples and  
18 applying a GSD of five. Is that not correct?

19 DR. MAURO: That would be the breathing  
20 zone. Right, yes. That would be a cowork -- I  
21 mean, it started --

22 DR. NETON: Help me out here, I thought

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's what we said we were doing?

2 MR. STRENGE: Well, that's if we have  
3 the breathing zone data for a particular  
4 individual.

5 DR. NETON: Exactly.

6 DR. MAURO: But what about just in  
7 general? I know in the past you've used breathing  
8 zone data to say well, you know, for a Class of  
9 workers we've applied this geometric mean,  
10 geometric standard deviation and it would be a  
11 coworker model.

12 And that -- so there is where I guess  
13 a little clarification --

14 DR. NETON: No, I think that the -- at  
15 the end of the day, I think what has to happen, John,  
16 is someone, maybe this is where we're missing.

17 We have to look at the data to determine  
18 whether or not coworker models are feasible that  
19 way.

20 DR. MAURO: Fair enough.

21 DR. NETON: Okay. I would agree with  
22 you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: That's all I'm saying.

2 DR. NETON: You can't just -- I agree  
3 that you can't just throw up your hands and say  
4 well, it's an SEC, we're not doing anything. But  
5 in many of these cases, and I think there's a number  
6 of them, there aren't sufficient data to develop  
7 coworker models.

8 DR. MAURO: And you see why if -- and  
9 I understand. Would it be acceptable to the Work  
10 Group for -- as part of SC&A's response to this set  
11 that we look into this a little bit?

12 MR. KATZ: No. This is Ted. No, this  
13 is -- I mean really, so I think you got clarity now  
14 about the situation that -- I mean, yes, if there's  
15 an element that's not addressed by the SEC  
16 evaluation and then there's a question raised by  
17 SC&A in this case about, well, would it be feasible  
18 to develop a coworker model for that element since  
19 it's not addressed in the SEC evaluation.

20 DR. MAURO: Yes.

21 MR. KATZ: I think it falls to NIOSH  
22 though to do that evaluation and determine whether

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it's feasible or not. And not SC&A to try to do  
2 follow-up.

3 DR. MAURO: Well okay, then we -- but  
4 then do we agree, though, that this issue will be  
5 explored a little further?

6 MR. KATZ: Yes, no, I think it's a valid  
7 question. I think it's a valid question if there's  
8 some element that's not addressed by the SEC  
9 evaluation, then there are going to be partial dose  
10 reconstructions.

11 And if some element of the partial dose  
12 reconstruction potentially could be addressed by  
13 a coworker model, it's not ruled out until NIOSH  
14 looks at it and says it's feasible or it's not  
15 feasible.

16 And then of course SC&A -- you know, the  
17 Board can evaluate that and determine whether it  
18 agrees with NIOSH or not.

19 DR. MAURO: I'm right with you 100  
20 percent. And I agree with that completely. It  
21 does not have to be SC&A that looks at this.

22 MR. KATZ: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Just as long as it's looked  
2 at.

3 CHAIRMAN ANDERSON: And it really  
4 isn't -- I mean, part of that -- I mean, we're on  
5 the TBD here. And the question is, does the Site  
6 Profile need to be modified to give that guidance  
7 or not?

8 MR. KATZ: Right.

9 DR. MAURO: You got it.

10 MR. KATZ: That's the question exactly  
11 right, Andy.

12 DR. MAURO: Exactly.

13 CHAIRMAN ANDERSON: To me, it's a much  
14 broader issue of those -- you know, how you do the  
15 dose reconstruction. But, you know, are there  
16 things missing in the TBD or --

17 MR. KATZ: Right.

18 CHAIRMAN ANDERSON: Is it sufficiently  
19 vague somewhere that it needs to be clarified? And  
20 kind of following on that, Ted. Is this review and  
21 the responses, does that become part of the TBD?

22 So, dose reconstructor would see the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 responses here?

2 MR. KATZ: So, not quite. They would  
3 have to revise the TBD. But in this situation,  
4 they would have to address the question that SC&A  
5 has raised, what about, can a coworker model be  
6 developed for this period where it's not addressed  
7 by the SEC evaluation?

8 So, that would be a, you know, we'd that  
9 we'd need a response from NIOSH and then we'd need  
10 a -- the Work Group to consider it. And then  
11 depending on how that all works out, if NIOSH  
12 decides that in fact it is feasible based on the  
13 review, then they would have to revise the TBD.

14 But no, for a dose reconstruction they  
15 wouldn't refer to anything from the Board.

16 CHAIRMAN ANDERSON: Okay. So if it's  
17 none, kind of the question becomes, if we -- and  
18 I think the conclusion here was some assessment of,  
19 you know, coworker models or whatever, a response  
20 NIOSH may be needed.

21 MR. KATZ: Yes.

22 CHAIRMAN ANDERSON: Do we need to make

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           that another finding?

2                         MR. KATZ:   Yes, so I mean, I think SC&A  
3           has raised the issue.   And now it's just for NIOSH  
4           to consider it and provide a response.

5                         CHAIRMAN ANDERSON:   Okay.   Then we  
6           don't need to do -- I'm just trying to do the nuts  
7           and bolts of how do we move on here.

8                         So, okay.   So, I think that's an issue  
9           that we'll ask NIOSH to take a look at and respond  
10          back to us before we close out the whole TBD thing.

11                        But, back to Finding 4, it sounds like,  
12          have we -- we're satisfied with the NIOSH response  
13          then?   Or do we want to identify and put this on  
14          -- in abeyance until we hear back on the coworker  
15          model?

16                        DR. MAURO:   If I may offer SC&A's  
17          perspective on this, it seems that there's the  
18          possibility there might be a need for a coworker  
19          model.   And that judgement has to be made for  
20          uranium post 1960.

21                        And if there is -- and what does that  
22          coworker model look like, and the basis for it seems

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to be something that needs to be addressed.

2 Now, we're going to get to Finding 5 in  
3 a minute, which is related to all this. And decide  
4 do you have a coworker model and the data and how  
5 do you use it to reconstruct intakes of uranium.

6 So, I think that it's not an item that  
7 -- in abeyance --

8 MR. KATZ: Yes, this is Ted. So, Andy,  
9 for an item that's not resolved in principle, you  
10 just keep that in fact as in progress.

11 CHAIRMAN ANDERSON: Okay. Well,  
12 Number 4 then is in progress.

13 MR. KATZ: Correct.

14 DR. MAURO: Good, thank you. That's  
15 what we were hoping to be the outcome of this.

16 CHAIRMAN ANDERSON: Okay. Moving  
17 right along to Number 5.

18 DR. MAURO: I'm sorry for going on.

19 CHAIRMAN ANDERSON: Oh, no, I mean,  
20 it's a -- it's a good discussion. And I don't want  
21 to lose, you know, we can talk about these things  
22 and then time goes by and then we come back and talk

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about the same things.

2 We really kind of just want to be sure  
3 we're moving forward on them.

4 MEMBER KOTELCHUCK: So, we're waiting,  
5 we're not approving SC&A doing this.

6 CHAIRMAN ANDERSON: Right.

7 MEMBER KOTELCHUCK: We're waiting for  
8 a response by NIOSH to the concerns raised by SC&A.

9 CHAIRMAN ANDERSON: Right. Right.

10 MEMBER KOTELCHUCK: And then at that  
11 point, the committee will decide.

12 CHAIRMAN ANDERSON: Right.

13 MEMBER KOTELCHUCK: Okay. Working  
14 Group, yes.

15 DR. NETON: This is Jim. My concern is  
16 that SC&A's -- an issue does not seem to be captured  
17 in Number 4 to me.

18 DR. MAURO: Yes. You're right. That  
19 comes later.

20 DR. NETON: Yes. So maybe 4 -- Four is  
21 a different issue. I mean, we kind of morphed into  
22 a --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Yes, we did.

2 DR. NETON: And then what Finding 4 was  
3 really all about.

4 DR. MAURO: We did. We did. And but,  
5 you'll see, I believe it will come up again.

6 DR. NETON: Well, I understand that.  
7 But I don't want 4 to be held in progress if there's  
8 nothing to start --

9 DR. MAURO: I see what you're saying.  
10 Yes. It's almost like transfer. Make that --  
11 we've done that before haven't we? Well 4 really  
12 is part and parcel to something a little later. I  
13 don't know what number it is.

14 So, but you know, as far as explicitly  
15 addressing 4, we are going to, you know, there's  
16 nothing about 4 right now that I see is unique for  
17 4. It actually is part and parcel to something  
18 we're going to be talking about later.

19 Do you see what I'm getting at?

20 DR. NETON: No, I really don't see  
21 that. I just see 4 is talking about using a GSD  
22 of five on the breathing zone air samples.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Right. Yes.

2 DR. NETON: And so you're going to do  
3 this.

4 DR. MAURO: And you're going to do  
5 that. All right.

6 DR. NETON: This is Jim. I think that.

7 DR. MAURO: Okay. I give up. I give.  
8 I yield. You're right. You're right.

9 DR. NETON: I don't see the need.

10 DR. MAURO: Yes, we did morph into a  
11 different item.

12 MR. KATZ: All right, so you can close  
13 this one.

14 DR. MAURO: So you can close 4, yes.  
15 We'll get to this other issue later on. And then  
16 we'll --

17 CHAIRMAN ANDERSON: Okay.

18 DR. MAURO: Okay, good.

19 CHAIRMAN ANDERSON: Okay. Four is  
20 closed.

21 MEMBER KOTELCHUCK: Okay.

22 CHAIRMAN ANDERSON: We'll just assume

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 then the later discussion we've had here.

2 DR. MAURO: Yes.

3 CHAIRMAN ANDERSON: I mean, we got 16  
4 more to go here.

5 DR. MAURO: Yes. Yes, let's move.  
6 Yes.

7 CHAIRMAN ANDERSON: Well, I mean not  
8 move. But I mean, some of those, this breaks it  
9 up into smaller parts about the same broader issue.

10 So, you know, we've got the concept of  
11 coworker model may be needed and NIOSH is going to  
12 look at that and where we're at as far as in  
13 progress. So, we'll come to those later.

14 So, let's look -- go to Number 5.

15 DR. MAURO: Number 5, again -- it goes  
16 toward again uranium intake. And it almost  
17 appears that this is a coworker model, I mean,  
18 that's what is unusual about all this.

19 And let me explain the issue. When we  
20 reviewed the Site Profile, it appeared that our  
21 understanding was that there were data on the, I  
22 believe, it's the airborne activity of uranium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And there was a number of measurements,  
2           a fairly large number of measurements that were  
3           made. And NIOSH took -- I believe the lowest value  
4           of those measurements and the highest value of  
5           those measurements and multiplied them together.

6           And took the square root, which  
7           effectively is a definition of a geometric mean.  
8           And that's one way to come to a geometric mean when  
9           you have limited data. And you're trying to get  
10          the best you can.

11          And -- but it -- and so we were concerned  
12          that there were a couple of matters related to this.  
13          One is that well, there really is a lot of data out  
14          there, a considerable amount of data out there  
15          where you could -- you didn't have to just work with  
16          the two extremes. You could actually take the data  
17          and fit it. And then actually see what the  
18          distribution is.

19          And I'd like to hand the ball off to Rose  
20          Gogliotti who has looked a little closer at this  
21          in preparation for this meeting. And maybe could  
22          give a little richer explanation of our concerns.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Rose, could you take it from here?

2                   MS. GOGLIOTTI: Yes, I can step in. To  
3 answer Dave's question real quick, it looks like  
4 there's at least 90 claims that were not  
5 compensated for this. So, there are quite a few  
6 partials that were done.

7                   And going back in, for this NIOSH  
8 eventually developed a default air concentration  
9 to the fumes of breathing zone air concentration  
10 fumes when a claimant is not clear where they worked  
11 and they don't have breathing zone samples.

12                  And initially our concern was that we  
13 weren't able to replicate their data. But, they  
14 were calculating mean a different way than we were  
15 calculating mean. So, we weren't ever going to get  
16 the same answer.

17                  But, they provided some additional  
18 clarification. And we were able to match their  
19 numbers. And looking at the HASL studies in  
20 general, it looks to be that it's fairly well  
21 representative -- or very claimant-favorable even  
22 for most occupations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But, I do have some concerns with it.  
2           When you look at the HASL studies, there's a clear  
3           indication that anyone who works in the ceramics  
4           lab and ceramics fabrication had just  
5           astronomically higher intakes than anyone who  
6           worked in another area of Apollo.

7           And that's our first concern. And when  
8           I look at the HASL data and tease out those values,  
9           the average for those two areas are significantly  
10          higher than the two 10 dpm per cubic meter.

11          We also have some concerns when looking  
12          at the SEC Evaluation Report, which indicates that  
13          there's breathing zone uranium samples for Apollo  
14          from '61 to '82. And the HASL studies really only  
15          cover two years of employment, which are the  
16          earliest two years.

17          So, we're not sure necessarily that the  
18          default model that was developed is representative  
19          of all time periods. Now I haven't been able to  
20          find the remaining breathing zone samples.

21          But we do have some concerns that they  
22          might not be representative of all time periods.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ANDERSON: Comments? Anyone  
2 else have something to add?

3                   DR. MAURO: This is John. Just one  
4 more point. Isn't this a coworker model? We've  
5 been talking about coworker models but it seems to  
6 me that in spite of the fact that the position is  
7 there is no need for one. But this in effect is  
8 one.

9                   That sort of, you know -- so I guess in  
10 a way what we're saying is, it appears that this  
11 Item Five is actually talking about a coworker  
12 model. And the discussion we're having is, is that  
13 coworker model sufficient to make sure we don't  
14 underestimate the doses of some of the workers.

15                   And has all the data been used and used  
16 in the best way to capture things like the ceramic  
17 area where the exposures were clearly unusually  
18 high. And whether or not -- so, there's a lot of  
19 clarification that we need a little bit here.

20                   One is, is this description that we're  
21 looking at here effectively a coworker model? So  
22 at all time periods post-1960 for uranium,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           apparently, the data that we did look at was data  
2           primarily that represented the 1960s?

3                       And also, within the time frame, within  
4           that data set, there appears to be certain  
5           locations that that broad data set really would  
6           underestimate the exposure for some workers that  
7           happened to be located in the ceramic area.

8                       And so we're in a situation where we're  
9           saying, you know, when a worker does show up where  
10          you need -- you don't have data, so what's going  
11          to be done? Are we going to try to assign some  
12          intake for him for uranium using this approach?

13                      So, which means that it is a coworker  
14          model. And second, do we agree that maybe there's  
15          some deficiencies in the strategy that's been  
16          described here. As Rose just explained there may  
17          be some problems with this -- these certain areas  
18          within the facility. I think it's called the  
19          ceramics area.

20                      MR. STRENGE: This is Dennis. This  
21          whole analysis here was done specifically for the  
22          residual period just to get a starting point in air

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concentrations.

2 DR. MAURO: Yes.

3 MS. GOGLIOTTI: But this is during  
4 operational periods but it seems like.

5 MR. STRENGE: I know, that's the data  
6 we used to get one -- to get a claimant-favorable  
7 estimate of the concentration at the end of the  
8 operating period.

9 MS. GOGLIOTTI: But the recommendation  
10 is to apply it during the operational period.

11 MR. STRENGE: Well, I guess that's  
12 something we and NIOSH need to consider.

13 DR. NETON: Yes, we need to look at this  
14 a little closer. I mean, I'm looking, there's a  
15 lot of bioassay data listed for uranium in urine.  
16 But a lot of that was CEP which we had discounted  
17 in numerous situations.

18 You know, I'd have to go back and look  
19 at this. I haven't looked at this in a while.  
20 But, I understand what you're saying, we have some  
21 HASL data in those years. Is it representative of  
22 all the years? Probably not.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Could it be used for some partials?  
2       Maybe. So, I guess we'll have to wait to -- defer  
3       until we can look at this a little closely.

4           DR. MAURO: To add a little -- to help  
5       out the situation a little bit, the '60s data that  
6       are available, appear to be -- and Rose is the one  
7       that explained this to me, it certainly appears to  
8       be in your high end time period.

9           So, if exactly we're somehow going to  
10       use the '60s data and apply it for the broader time  
11       period, I guess up to the -- into the 1980s, which  
12       is the -- it would certainly be claimant-favorable.

13           DR. NETON: But if you looked at the '60  
14       data I think for uranium, they were processed by  
15       CEP I thought?

16           DR. MAURO: Uh-huh.

17           MS. GOGLIOTTI: This is the breathing  
18       varying data we're talking about.

19           MR. STRENGE: Yes, the CEP didn't start  
20       until 1976.

21           DR. NETON: Well, that's not what I saw  
22       here, but -- and we've got some lapel samplers from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 '66 to '67.

2 Yes, well, we'll have to look at that.  
3 I mean, I don't know.

4 DR. MAURO: Yes. That's -- you know,  
5 that's the -- we're bringing these up because you  
6 know, we read this material, we get our  
7 impressions. We do a little homework.

8 And this is where we help clarify the  
9 issues. And so, what I'm hearing is this is  
10 another open item that we need to revisit a little  
11 later.

12 CHAIRMAN ANDERSON: Okay. Let's hold  
13 the -- any other comments from the Board Members?  
14 So it sounds like we're going to hold this one in  
15 abeyance.

16 MEMBER KOTELCHUCK: No.

17 MR. KATZ: In progress.

18 CHAIRMAN ANDERSON: In progress,  
19 that's what I mean, yes. And NIOSH will relook at  
20 it and expand on their findings, on the response  
21 I guess.

22 So to Number Six?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Okay. I think -- yes,  
2 Number Six has to do with, I believe when you're  
3 dealing with -- we're talking about  
4 reconstructing, I believe we've got plutonium  
5 intakes.

6 Now, plutonium is one of the  
7 radionuclides that you can't reconstruct. So,  
8 we're not talking about a coworker model or  
9 anything like that.

10 So we're talking about when you -- now  
11 somehow when you can reconstruct or you're going  
12 to try to reconstruct the internal doses from  
13 plutonium, some descriptive materials provided in  
14 the Site Profile on how you're going to do that.

15 And it turns out, when you do that, you  
16 have to make certain assumptions what the mix is.  
17 Whether it's a weapons grade, commercial grade.

18 Like I said, there's other grades of  
19 plutonium that come out of, I guess, the Hanford  
20 complex as being the type of plutonium now. So,  
21 the question goes toward all right, you know, which  
22 type of plutonium is going to be used when you do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reconstruct the doses?

2 And there's an answer here. And it  
3 looks like quite a comprehensive answer that you,  
4 you know, is satisfactory.

5 Now I can't speak to the technical  
6 substance of this in terms of -- but it certainly  
7 looks like a complete answer. And you know, one  
8 fact it's going to be done and why. And it  
9 certainly looks reasonable to me.

10 But, I have to admit that I'm not a  
11 person that could read this material and say yes,  
12 it looked really, you know -- all I could say is  
13 that what I'm reading here looks like it's a very  
14 comprehensive review of the issue. And NIOSH has  
15 described in substantial detail what they plan to  
16 do.

17 I don't know if there's anyone on the  
18 phone, and Ron, I can certainly look to you a little  
19 bit. Is there anything about here that we would  
20 want to look into further to convince ourselves,  
21 yes, this is it? You've answered the question?  
22 Or are we pretty satisfied with this?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: This is Ron Buchanan  
2 with SC&A.

3 DR. MAURO: And I don't want to put you  
4 on the spot Ron. You may not have had the chance  
5 to look clearly at this. I just -- I read it and  
6 I said my goodness, they certainly have given the  
7 information.

8 But, I wouldn't want to jump to the  
9 conclusion that it's SC&A's position that we can  
10 close this issue right now, because this may  
11 require a look at in greater detail by some of the  
12 folks that are, you know, especially familiar with  
13 this particular subject.

14 DR. BUCHANAN: No, I read over it. But  
15 I didn't go into the details of it. And so, you  
16 know, at this point I do not see any red flags.

17 But, I would not say that we can close  
18 this yet. This would require some further review  
19 to give an okay on this.

20 And so, you know, we haven't had this  
21 too long. So we need to look into more of the  
22 details of it before we could do that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: So Board Members,  
2 any questions?

3 MEMBER KOTELCHUCK: Well, sounds like  
4 it's in progress.

5 MEMBER FIELD: Yes, another in  
6 progress.

7 CHAIRMAN ANDERSON: Yes, I -- it looked  
8 to me like it's quite a comprehensive response.  
9 NIOSH, any comments you have? Or at this point  
10 we're --

11 DR. NETON: It looks like it's  
12 definitely an SC&A action item.

13 CHAIRMAN ANDERSON: Yes. So, it's in  
14 progress. And what we're waiting for here is SC&A  
15 to read it and give us more than just we looked it  
16 over.

17 Okay. Next?

18 DR. MAURO: This is also the case of --  
19 let me -- Finding 7 has to do with the MDAs for,  
20 I guess americium and plutonium.

21 And our -- in the Site Profile and in  
22 the response, NIOSH has addressed what they believe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to be reasonable, minimum detectable activities  
2 for americium and I believe it's also for  
3 plutonium.

4 And what I did do in preparation for  
5 this meeting is I asked Joyce Lipsztein, who's, you  
6 know, really an expert on the subject to take a look  
7 at this material. And does it, you know, is it  
8 responsive to our original concerns.

9 And she was hoping to be in the meeting  
10 but she couldn't because she couldn't connect in  
11 from Israel. But, she did send me an email  
12 summarizing her concerns.

13 And the bottom line is she still has  
14 some concerns. And the concerns go toward this,  
15 some of the MDAs, she's particularly mentioned  
16 americium, do not seem to be compatible with MDAs  
17 that she has reviewed herself for other sites under  
18 other circumstances.

19 And that the MDAs might be here too low.  
20 And the reason that's important is, if you don't  
21 reconstruct the doses to a worker where you do have  
22 data, and you have to go with one half the MDA as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 your default value, because you know, you measured  
2 it, but you didn't see anything, you go with one  
3 half the MDA. Now, depending on what you pick as  
4 the MDA, that could be a substantially different  
5 dose. And Joyce felt that the MDAs in some cases,  
6 it might have been too high. And therefore, not  
7 claimant-favorable.

8 But again, we would like an opportunity  
9 to have a -- you know, look at this and have a --  
10 Joyce did write something up, but it was relatively  
11 brief. It's about a page or so of material that  
12 she sent to me over the weekend.

13 And so, this was one I'd recommend that  
14 we leave in progress until we can actually put  
15 something together in writing on the reasons why  
16 we feel that perhaps the best MDAs have not been  
17 selected.

18 CHAIRMAN ANDERSON: So do you have any  
19 comments? At the end of the NIOSH response, talk  
20 about added guidance there for the MDAs are quite  
21 different than rather lower --

22 DR. MAURO: Yes. No, --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ANDERSON: I mean, it -- I  
2 mean we can hold this. But --

3                   DR. MAURO: The reason I'm bringing  
4 this up is yes, NIOSH has provided us substantial  
5 additional information like the previous one. And  
6 in this case, unlike the previous one before that,  
7 you know, dealing with this -- these different  
8 mixes, we have had a chance to have one of our  
9 specialists, Joyce, look at it.

10                  And she read through it. And she  
11 responded back. So, notwithstanding the fact that  
12 NIOSH is planning to revise the Site Profile and  
13 provide this additional information, Joyce had a  
14 chance to look at this information. And she still  
15 felt some concerns.

16                  So, you know, the fact that -- it's good  
17 that we have a dialog going and NIOSH is revisiting  
18 this and has their perspective. We did have an  
19 opportunity to look at this. And we still think  
20 there's some problems here that we wanted to talk  
21 about.

22                  DR. NETON: Yes, this is Jim. I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 looking at the data here. And I think the  
2 americium numbers don't look too bad to me. But  
3 I would agree that the plutonium numbers look  
4 somewhat small since the time period of those in  
5 vivo MDAs were developed, I think the thinking of  
6 plutonium has evolved quite a bit over time given  
7 the, you know, development of the Livermore phantom  
8 and such to really get a more accurate detection  
9 limit.

10 I think -- I could see some room for  
11 increasing the plutonium MDAs. I just don't see  
12 what --

13 CHAIRMAN ANDERSON: Okay. Well,  
14 thank you. That's helpful. As it looked like you  
15 had adjusted them somewhat. But --

16 DR. NETON: And the plutonium -- the  
17 americium numbers don't look too bad to me.

18 CHAIRMAN ANDERSON: Yes, well that's  
19 what I -- that's -- most of John's comments was on  
20 the americium. And I looked at that.

21 DR. NETON: Yes, and the plutonium  
22 number though, you know, it's very chest wall

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thickness dependent. Every five millimeters of  
2 chest wall reduces your signal by about 50 percent.

3 So if you get a real heavy guy like me,  
4 it's not going to be 35 nanocuries, it's going to  
5 be probably 100 nanocuries. You know, it needs to  
6 be looked at I think a little closer in light of  
7 the current development of MDAs and plutonium lung  
8 counting.

9 CHAIRMAN ANDERSON: Okay. That one is  
10 in progress. Seven.

11 MR. KATZ: So, can I just have  
12 clarification about that? Jim, from what you were  
13 saying, is this something NIOSH can relook at based  
14 on the quarrel comments you have? Or do you need  
15 more detail from SC&A?

16 DR. NETON: No, I think since Joyce has  
17 already gone to the trouble of putting together her  
18 thinking on this, I would rather look at what her  
19 opinion is before we proceed.

20 MR. KATZ: Okay. Good.

21 DR. MAURO: Yes Jim, I think --

22 DR. NETON: I think plus I pretty much

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have the same wave length. I did my whole PhD  
2 dissertation on in vivo counting. So I'm pretty  
3 familiar with this literature here.

4 And Joyce has got the same data set I'm  
5 sure, so. I'd just like to see what she's  
6 summarized already before reinventing the wheel  
7 here I guess.

8 DR. MAURO: Yes, I'd be happy to vote.  
9 Joyce sent me a rather informal write up. It won't  
10 take very much on our part just for me to package  
11 that up and send it in.

12 DR. NETON: Okay. Let's do that.

13 MR. KATZ: Okay. Right, then John  
14 would you please copy the Work Group when you do  
15 that please, and me.

16 DR. MAURO: Absolutely. And whatever  
17 I -- yes, I'll be -- what I'm going to do is it sounds  
18 like there are a few action -- at the end of this  
19 meeting, it would be helpful if we could go through  
20 which ones we have the ball.

21 MR. KATZ: Yes.

22 DR. MAURO: And we'll owe you some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 material. That will be helpful so we're all on the  
2 same page.

3 MR. KATZ: Okay. Thank you, John.

4 DR. MAURO: Yes. The next Item, I  
5 think the next Item, you know, we agree. What I'm  
6 getting at is that in effect, this is almost a  
7 subset of the previous one.

8 We agree that, you know, NIOSH will --  
9 what we're really saying here is yes, NIOSH is going  
10 to reconstruct the doses, two internal doses from  
11 plutonium when the data are available.

12 And so, this is really a subset of the  
13 previous Item. And so I would say let's withdraw  
14 Finding 8. Because for all intensive purposes  
15 Finding 8, unless I misunderstand this and misread  
16 it, is a subset of the material that we just talked  
17 about, namely Joyce's concerns.

18 If that's -- if everyone agrees that  
19 that's a proper interpretation. That's how I read  
20 Eight. And now that we've discussed Joyce's  
21 material, I -- maybe we don't need Eight anymore.

22 CHAIRMAN ANDERSON: Other comments?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (No response)

2 CHAIRMAN ANDERSON: Well, we can close  
3 this then.

4 DR. MAURO: Yes. That's what I see.  
5 Unless anyone else sees something different.

6 CHAIRMAN ANDERSON: Okay.

7 DR. MAURO: Good. Let me go onto  
8 Finding 9. The point that was being made here by  
9 SC&A is that when we read the Site Profile, we felt  
10 that the plan was to use OTIB-54, which is mainly  
11 designed to reconstruct internal doses when you've  
12 got gross beta or gross gamma data on urine samples.

13 And we pointed out that -- and that  
14 there are many, many circumstances where even  
15 OTIB-54 agrees that you really can't use OTIB-54  
16 once you start to separate the fuel and to digest  
17 it. Like after the digestion process.

18 And you can't really use it. And  
19 NIOSH's response is, I believe, very much  
20 consistent with our thinking. Namely, you know,  
21 they reinforce the fact that no, we're not going  
22 to use OTIB-54 when it's not appropriate.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And so, you know, I can't -- you know,  
2                   there's nothing more to say as long as this really  
3                   becomes a what happens here is as long as there is  
4                   not -- as long as you don't have guidance in the  
5                   Site Profile that is telling the dose reconstructor  
6                   to do this, this and this, you know, without taking  
7                   into consideration, hold the presses, don't do that  
8                   under certain circumstances, you can't use  
9                   OTIB-54.

10                   And in effect, that's what's being said  
11                   here. NIOSH is stating that they will modify the  
12                   guidance to caution the dose reconstructor. You  
13                   know, only use OTIB-54 when it's, you know, when  
14                   it's applicable.

15                   And I'm fine with that. So, as far as  
16                   I'm concerned, Finding 9 can be closed.

17                   CHAIRMAN ANDERSON: Board Members, any  
18                   comments?

19                   MEMBER KOTELCHUCK: No comment.

20                   MEMBER FIELD: No.

21                   CHAIRMAN ANDERSON: Well, for me the  
22                   only issue is how are we going to ask to keep an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 eye on this is when revision comes out to see that  
2 in fact. Hopefully we can get a red-lined  
3 strikeout version so we can see what changes were  
4 made.

5 Okay. So we'll close out Number Nine.

6 DR. MAURO: Moving onto Number Ten.  
7 Number Ten goes toward recycled uranium. When we  
8 reviewed the Site Profile, we were the  
9 beneficiaries, SC&A, of experience that was gained  
10 from our review of Fernald.

11 And one of the things that came out of  
12 Fernald was a reconsideration of the mix of, I  
13 believe and please correct me if I'm wrong, the mix  
14 of other radionuclides, transuranics and maybe  
15 some fission products, that might be associated  
16 with recycled uranium.

17 And you must take into consideration if  
18 you're going to reconstruct the person's dose for  
19 uranium, as you folks claim you will. And you have  
20 the, you know, making use of that data.

21 And what all we are point out here is  
22 that there is new -- the experience that we went

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 through regarding RU for Fernald should be factored  
2 in here.

3 And I guess we found at the time of our  
4 review that the approach being used for recycled  
5 uranium here predated the experience that -- what  
6 we've learned when we did our recycled uranium work  
7 on Fernald. I believe that's the case.

8 And as a result, maybe you wanted to  
9 take another look at the mix or the -- what the --  
10 how you're going to approach recycled uranium.

11 CHAIRMAN ANDERSON: So --

12 DR. MAURO: And I think you had  
13 indicated you will be updating this. So there will  
14 be an update. So, maybe we're okay.

15 MR. STIVER: Hey John, this is Stiver.  
16 Let me just kind of add a little to that. Fernald  
17 remember, the main issue is that we had plutonium  
18 out of specifications that came out of the Paducah  
19 gaseous diffusion plant in 1980.

20 DR. MAURO: Uh-huh.

21 MR. STIVER: And so we really, most of  
22 the debate centered around, you know, how to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 account for that. And I'm not sure that in this  
2 situation they handled that type of material.

3 DR. MAURO: Uh-huh.

4 MR. STIVER: So, you know, it may be  
5 worth looking at. But I don't think that we're  
6 going to be able to basically take, you know, the  
7 Fernald approach and fit it in.

8 DR. MAURO: Okay.

9 MR. STIVER: But, you know, it's  
10 certainly worth looking into the, you know, what  
11 -- you know, the source of the, you know, the very  
12 contraries and everything of the material  
13 processing and that.

14 You know, a lot of it just is, you know,  
15 we're not looking at these sites in isolation. I  
16 mean, there is a lot of cross-pollination going on  
17 I guess you could say for lack of a better word.

18 But yes, I think it would be worth look  
19 at. But, anyway, that's.

20 CHAIRMAN ANDERSON: Yes, but what does  
21 that mean, worth looking at? And what -- so what  
22 would be the action here?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. STIVER: I would say to kind of see  
2 if we could find what the inventories were, where  
3 they came from. The different batches had  
4 different constituent concentrations.

5                   Most were actually quite low, less than  
6 10 parts per billion. But, you know there were  
7 some that were quite elevated.

8                   CHAIRMAN ANDERSON: Okay.

9                   MR. KATZ: So is that -- is that an --  
10 this is Ted. But does NIOSH have a response to  
11 this? Is this a matter for NIOSH to look further  
12 into?

13                   DR. HUGHES: I don't have anything to  
14 add other than what's in the response.

15                   CHAIRMAN ANDERSON: And the Fernald  
16 issue is still underway. But, I mean, that's when  
17 I -- you know, it's you've raised the issue. And  
18 I think NIOSH is aware of it.

19                   I'm just not sure what --

20                   DR. NETON: Yes, this is Jim. I don't  
21 know what more we could do.

22                   CHAIRMAN ANDERSON: I don't know what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you would do. That's what I'm asking.

2 MR. STIVER: Yes.

3 DR. NETON: Right, we clearly said the  
4 source of uranium used at NUMEC is not known for  
5 many activities.

6 CHAIRMAN ANDERSON: Right.

7 DR. NETON: I don't know what benefit  
8 there would be in going back and trying to find  
9 additional sources we already know that we don't  
10 have. We do say we're using guidance in the  
11 Fernald Site Profile.

12 MR. STIVER: Mm-hmm.

13 DR. NETON: Or the activity for  
14 actions. Unless someone can point to a wrong.

15 MR. STIVER: Well, the activity for  
16 actions came from the DOE reports that came out  
17 about 2000. And so that would be the source that  
18 I would go look at to begin with.

19 So, you may have already done that, you  
20 know.

21 DR. NETON: Wait a minute. I'm sorry,  
22 I'm missing what you're talking -- you're saying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 go look at the --

2 MR. STIVER: I'm saying maybe you guys  
3 have already looked at the DOE reports that came  
4 to basically the same conclusion that, you know,  
5 DOE used on Fernald. That it was all based on the  
6 DOE 2000 reports.

7 DR. NETON: Right. But what else  
8 would we use if we didn't use --

9 MR. STIVER: I wasn't referring to  
10 anything else out there. That was pretty  
11 comprehensive, so.

12 DR. NETON: That's my point. I mean,  
13 so what benefit would there be to look at it. I  
14 mean, we're using what we have.

15 MR. STIVER: Yes. I wasn't aware that  
16 you had already, you know, looked at it.

17 DR. MAURO: Yes, this could be on us on  
18 namely our response was, you know, based on, at the  
19 time, you know, when we made our review, the concern  
20 was, are you using the best available information?  
21 Are you? And what I'm hearing is that you did.

22 And you know, and John, you know, based

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on your look at it, and thanks for, you know,  
2 helping us out here. To know that they did use the  
3 most recent information, then we're fine.

4 CHAIRMAN ANDERSON: Well, I mean, that  
5 was kind of my sense of when you say here might need  
6 to. Well, I think if we've talked about a looked  
7 at Item --

8 DR. MAURO: Yes.

9 CHAIRMAN ANDERSON: It probably  
10 doesn't.

11 DR. MAURO: Yes.

12 CHAIRMAN ANDERSON: So, my sense here  
13 would be I would suggest we close Item Ten.

14 DR. MAURO: Yes, premised on the  
15 discussion we just had, I would agree.

16 CHAIRMAN ANDERSON: Okay. Any other  
17 comments?

18 MEMBER KOTELCHUCK: Fine.

19 MR. STIVER: This is Stiver. I'm okay  
20 with that.

21 CHAIRMAN ANDERSON: Okay. Finding  
22 11.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Okay, let's see. In this  
2 one apparently there was a -- there's a certain --  
3 chest count data were compiled using the Helgeson,  
4 I guess is one of the chest count units, a piece  
5 of equipment that are used to do chest counts.

6 I'm presuming that's for looking for  
7 things like plutonium or americium. I have, you  
8 know, may need a little help here. And that this  
9 Helgeson I guess is a chest count unit, there were  
10 some problems apparently.

11 Oh yes, here it is, it's plutonium as  
12 I suspected. But NIOSH's response is that well,  
13 hold the presses. There really is no problem here.  
14 Because if anything, the -- this Helgeson protocol  
15 overestimated.

16 And not, you know, will tend to  
17 overestimate the intakes of plutonium. And so  
18 it's claimant-favorable. And as a result NIOSH  
19 does not plan to make any changes to the Site  
20 Profile related to this issue.

21 And I'm fine with that if there are  
22 other folks on the phone who are a lot more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 familiar. As I said, you know, we all had a chance  
2 to sort of read through this, but not do any  
3 analysis.

4 But, I mean, I guess query folks like,  
5 you know, Ron Buchanan, who have a -- maybe a little  
6 more familiarity with this. And whether that, you  
7 know, that being the case, we can close it.

8 But I don't want -- again, Ron, I'm  
9 putting you on the spot. This matter of the  
10 Helgeson chest count protocol. Does that in fact  
11 result in an overestimate of the body burden?

12 Or is this something we better hold off  
13 a little bit and make -- and try to convince  
14 ourselves?

15 DR. BUCHANAN: This is Ron Buchanan  
16 with SC&A. I'm not familiar with this method, the  
17 Helgeson method. I would say, you know, if what  
18 -- if we can verify what NIOSH has stated here, then  
19 I have no problems with it.

20 If it increases the false positives,  
21 then it would be claimant-favorable and wouldn't  
22 be an issue for this site. So, you know, I see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 nothing wrong with it.

2 We could look at the Pantex and see what  
3 they say about it if we wanted to verify that. But  
4 I had no other issues with it.

5 DR. MAURO: If it is acceptable to the  
6 Work Group, just give us a little bit of time to  
7 just sniff this out a bit. The folks that I guess  
8 are working Pantex but may not have all necessarily  
9 been brought in on this particular NUMEC issue.

10 And it may become -- we may be about to  
11 just get to this and put this to bed pretty quickly.  
12 But, I hate to shut it down without having that  
13 feedback.

14 MEMBER KOTELCHUCK: Okay. It's Dave.  
15 It sounds like another in progress, but really  
16 subject to SC&A review.

17 CHAIRMAN ANDERSON: Yes. We just need  
18 a response.

19 DR. MAURO: Yes.

20 MEMBER KOTELCHUCK: Okay.

21 CHAIRMAN ANDERSON: Short response  
22 from SC&A. Okay. Number 12.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Okay. You have to give me  
2 a minute. I did go through this. But there are  
3 a lot of them. I just have to refresh my memory.  
4 It takes me a moment.

5 The -- yes, this goes toward there's a  
6 sort of criticality foils I believe, which have  
7 absolutely no relevance to the dose  
8 reconstructions.

9 And unless anyone else feels, you know,  
10 my sense is that the answer is satisfactory, we  
11 could close it. I believe that that's our  
12 recommendation.

13 MR. ZLOTNICKI: John?

14 DR. MAURO: Yes?

15 MR. ZLOTNICKI: John, this is Joe  
16 Zlotnicki here I'm with SC&A.

17 DR. MAURO: Please?

18 MR. ZLOTNICKI: No, I think the answer  
19 that was provided by NIOSH addressed one of many  
20 points on criticality, which in and of itself may  
21 be fine. But there were a number of other issues.

22 For example, I should preface it by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 saying that the external dosimetry sort of overall  
2 collection of badges and dosimeters that were used  
3 at these two sites is extraordinary. That's a very  
4 comprehensive list of just about every badge  
5 Landauer provided and many other types of badges  
6 from other vendors.

7 So, it's a very complex table if you  
8 will of all the badge types. But there was an error  
9 in the table which indicated that the in fact  
10 something wasn't being done when it was.

11 And that is, they were using CL-39 for  
12 neutron monitoring. Even though it says in the  
13 text and in the table 62, that it wasn't. And that  
14 was pointed out.

15 But for some reason that was not picked  
16 up in the response. It just said everything was  
17 reviewed and looked fine. So I was a bit puzzled  
18 by that.

19 So, anyway, I would say that the number  
20 of dosimeters that were used was very large and  
21 there seemed to be one or two errors in there as  
22 to how those dosimeters are the subcomponents.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And then the second part of that is how,  
2           you know, we have data, but what happened when one  
3           -- when you had multi-component badges, you often  
4           have a situation where two or three components are  
5           okay and one isn't. Or one of three -- only one  
6           of three has data.

7           And so clearly, there needs to be  
8           something in the profile that instructs the, you  
9           know, what to do in those fairly complex situations  
10          when someone was wearing these multi-component  
11          badges. What to do when you're doing a dose  
12          reconstruction.

13          So, those are the two parts of that  
14          beyond the criticality.

15          DR. MAURO: If it's acceptable to the  
16          Work Group, it sounds like that we need to  
17          articulate this in the response.

18          CHAIRMAN ANDERSON: NIOSH, anything  
19          further?

20          MEMBER KOTELCHUCK: Agreed.

21          CHAIRMAN ANDERSON: Okay.

22          DR. MAURO: By the way, I -- Joe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Zlotnicki was able to review as best he could, I  
2 asked him to take a look at this late last week.  
3 And he had a chance to read through the response.

4 And as you folks know, Joe specializes  
5 in the various types of dosimeters, extendable  
6 dosimetry. And he particularly looked at 12, 13,  
7 14, let's see, 15.

8 And it would be -- and he sent me a  
9 report that I received over the weekend on his  
10 observations and concerns regarding those  
11 particular responses to our findings.

12 And Joe, if it's okay, would you help  
13 me out here a little bit here and perhaps take the  
14 lead on the next few items that you had a chance  
15 to look at? I realize that you didn't spend too  
16 much time on it.

17 But you did have a chance to read it and  
18 get a sense of the adequacy of the response. Could  
19 you take over, if that's okay?

20 MR. ZLOTNICKI: Sure. So, on Number  
21 13, the issue would be to starting for the last  
22 couple of hours whether or not there's data. But

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of course having data is not the whole issue.

2 For example, if someone wore a  
3 dosimeter in a plastic pouch to protect it from dust  
4 and dirt and water, the dosimeter's response is  
5 going to be very different. Especially for low  
6 energy x-ray and for betas.

7 And it may or may not be calibrated in  
8 a pouch. Or workers may or may not have been  
9 wearing lead aprons, et cetera, et cetera.  
10 There's hundreds of situations like that where just  
11 having a dosimeter result isn't sufficient.

12 Another one would be, were you wearing  
13 a wrist badge or a badge on the tip of the finger  
14 in glove box work. And if you were wearing a wrist  
15 badge, was that representative of the highest dose  
16 of the extremity?

17 And I saw no information on any of these  
18 issues such as I just mentioned in the Site Profile.  
19 And so the question arises, is this information  
20 available? And if it isn't, what does that imply  
21 in terms of the ability to reconstruct doses?

22 Many other situations like were people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 wearing the right badges? Or were they assigned  
2 the correct badge for the neutron field they were  
3 in for example, and so on.

4 Clearly, given the types of badges that  
5 were in use, one can make a statement that overall  
6 there seems to have been a real effort to provide  
7 the best dosimetry technology available. But I  
8 don't know that that applied down to the  
9 individual.

10 So, that was the -- with 13. I felt  
11 that the response from NIOSH, we just went around  
12 in circles and we did not sort of move forward on  
13 sort of acknowledging the issue or addressing the  
14 issue.

15 CHAIRMAN ANDERSON: NIOSH, any  
16 follow-on comments?

17 DR. NETON: Yes. Think this falls  
18 under the same category as we discussed for the  
19 uranium exposures is, can we really do a coworker  
20 model here? And I think a number of the reasons  
21 that were just enumerated may play into that  
22 analysis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But yes, I think it's going to be in  
2 progress and we need to respond.

3           CHAIRMAN ANDERSON: Okay.

4           MR. ZLOTNICKI: Good. So Item 14, the  
5 -- where am I? I don't have the -- let me see if  
6 I can pull that up.

7           There was a detailed response for  
8 Finding 14 regarding the NTA and the neutron fields  
9 that people were in. And the suggestion of moving  
10 to a neutron to photon ratio methodology.

11           Like some of the other findings, I think  
12 that the response is thorough. I haven't had a  
13 chance to go through and see if it makes technical  
14 sense.

15           But it certainly looks like it's a very  
16 solid proposal. But I haven't gone in technically  
17 just to confirm that it is sufficient or not.

18           CHAIRMAN ANDERSON: So, do we want to  
19 put that in progress and we'll expect a response  
20 from SC&A confirming what you just said, that it's  
21 okay?

22           DR. MAURO: We -- the strategy to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 applied in a neutron to photon ratio, and I'm  
2 looking at these ratios. I guess, we'd just like  
3 an opportunity to look at that a little more  
4 closely.

5 And just to check. Because in the past  
6 neutron to photon ratios have always been a bit  
7 controversial. We've run into that in the past.

8 And I guess the best I can do right now  
9 is say that if you could give me just a little time  
10 to take a look at those ratios and where they come  
11 from and their rationale and justification, then  
12 we could get back to you. That would be our  
13 preference.

14 CHAIRMAN ANDERSON: Okay. So that's  
15 their responsibility to get back to us. Okay, 15.

16 MR. ZLOTNICKI: Okay. In Item 15,  
17 there were a couple of different issues. One of  
18 them was the fact that beta energies were listed  
19 for americium-241.

20 And so I had a sort of general question.  
21 The response was that they were listed because with  
22 the Auger electrons associated with americium-241

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and then they were listed as greater than 15-KeV.  
2 Because those would be more likely to be an external  
3 problem.

4 So, I had several questions about that.  
5 Do we list beta energies for all alpha emitters  
6 because they'll all have Auger electrons? I  
7 hadn't seen that before. And that puzzled me.

8 And in addition, even on high energy  
9 Auger electron is way below the energy that could  
10 possibly penetrate the skin. And thus is only an  
11 internal problem, not an external one. So I was  
12 a little puzzled by the whole response.

13 There must be a miscommunication  
14 somewhere between SC&A and NIOSH. Or within  
15 NIOSH. I don't know quite where. But the whole  
16 thing was a little odd to me.

17 CHAIRMAN ANDERSON: So NIOSH, any  
18 clarifying?

19 DR. HUGHES: I would have to check and  
20 get back to you.

21 CHAIRMAN ANDERSON: We'd probably need  
22 to get something in writing from SC&A too. In this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sense there's also part of the fact that they did  
2 so much different measurements and monitoring.  
3 It's really pretty complex.

4 Board Members, do you have comments or?

5 MEMBER FIELD: This is Bill. No  
6 comment.

7 MEMBER KOTELCHUCK: No, no comment.

8 CHAIRMAN ANDERSON: I mean, so we'll  
9 put this in progress. But -- so, who is -- SC&A  
10 going to write something up for it, is that what  
11 it's going to be? A guide to us?

12 DR. MAURO: We'd be -- that was our  
13 expectation is that we would prepare something in  
14 writing for you. And for those where we don't have  
15 a -- where we still have some concerns and I think  
16 it's appropriate for us to communicate some of  
17 those concerns to you.

18 And really, there are two categories.  
19 For the Items that we don't close out, clearly some  
20 of these -- and then NIOSH agrees, yes, we better  
21 take a look at it.

22 This, but there are some places where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in order for NIOSH to take a look at it, they'd like  
2 to hear a little bit more about some of the, you  
3 know, some of the concerns we have.

4 So yes, that's why -- I was hoping that,  
5 you know, we would sort this out a little bit  
6 because it's getting complex. And we, you know,  
7 what is the information, when is the ball in SC&A's  
8 court?

9 It sounds like that we need to provide  
10 a little written material here that might help  
11 NIOSH respond.

12 CHAIRMAN ANDERSON: Yes.

13 MR. KATZ: Right.

14 CHAIRMAN ANDERSON: What I'm saying is  
15 we need to be sure that NIOSH understands what your  
16 issues are.

17 DR. MAURO: Yes.

18 CHAIRMAN ANDERSON: So, otherwise it's  
19 very hard to respond.

20 DR. MAURO: No, no, clearly. And in  
21 some cases we were able to, you know, everything  
22 was clear. But not necessarily in this case. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there may be others like that.

2 Okay. In fact, maybe at the end of this  
3 meeting, SC&A could put together its understanding  
4 of its action items. Or Ted, if you could --

5 MR. KATZ: And I can run through them  
6 when we're done.

7 DR. MAURO: If you could run though,  
8 that would be great. That would really be helpful  
9 to me too. Thank you.

10 CHAIRMAN ANDERSON: Keep it running,  
11 that's why.

12 DR. MAURO: Yes. I'm over here.

13 CHAIRMAN ANDERSON: I'm in.

14 DR. MAURO: I started to take notes,  
15 but it got away from me. You know, I couldn't keep  
16 up.

17 CHAIRMAN ANDERSON: Okay. So Number  
18 16 then?

19 DR. MAURO: Yes, 16 are we -- are we in  
20 -- Bob Barton, are we in your territory here?

21 MR. BARTON: Yes John, that's me.  
22 We're kind of circling back around on what we had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 our first discussion on.

2 DR. MAURO: Yes, exactly. Good, good.

3 MR. BARTON: And I guess just to  
4 summarize I guess how my impression of it was that,  
5 again the finding was related to whether NIOSH  
6 would consider a coworker model for NUMEC.

7 And obviously as it stands now, Apollo  
8 is off the table. Because it's included as part  
9 of the SEC.

10 But it sounded like where we kind of  
11 left off earlier in this discussion was that when  
12 you have a component that's not explicitly covered  
13 by the SEC, then it in most cases it's probably  
14 going to be appropriate to evaluate whether a  
15 coworker model could potentially be developed.

16 Or at least, I suppose make an official  
17 statement as to why it's believed that no coworker  
18 model is possible and doesn't need to be evaluated.  
19 And I guess that was my impression.

20 I guess I'd ask NIOSH, you know, do they  
21 intend to look at the Parks site and see, make a  
22 determination whether a coworker model is first of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 all feasible under the current guidelines that, you  
2 know, have been developing over the past year or  
3 two about how you could actually make a coworker  
4 model.

5 Or, is NIOSH's position that based on  
6 the fact that it was the same health and safety  
7 program, that their position is that no coworker  
8 model is then feasible because it had already been  
9 evaluated at Apollo. So it doesn't need to be  
10 evaluated here.

11 So I guess I'd ask NIOSH what their  
12 position is on it?

13 DR. NETON: Well, this is Jim. I think  
14 we're going to look at it. It may end up being the  
15 later of what you just stated. But we need to look  
16 at it a little closer and provide some more detailed  
17 rationale behind why we believe or do not believe  
18 that coworker models are relevant for external  
19 doses at Parks.

20 MR. BARTON: Okay. And if I might,  
21 because I hope I'm not the only one who was a little  
22 confused by this, but if I could ask a clarifying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 question about the differences between 83.13 and  
2 83.14. Because based on the earlier discussion,  
3 it seemed like there's a different, I guess,  
4 process that goes into each.

5 For the 83.13, which comes from the  
6 claimants, it seems like the major pathways are  
7 evaluated, as was the case at Apollo were both  
8 internal and external were evaluated and found to  
9 be infeasible.

10 But it seems like with the 83.14, it's  
11 a little bit different where you begin the  
12 evaluation and then as soon as you hit one  
13 infeasibility, it's sort of, you know, pencils  
14 down.

15 MR. KATZ: Well, Bob, this is Ted. The  
16 83.14 arises because you have a claim and you  
17 determine that some part of the dose cannot be  
18 reconstructed generically, not just for that  
19 individual. But, so, it's a different genesis.  
20 And once you determine that, then really the whole  
21 process is to expediently deal with that to get a  
22 Class added so that other people in that worker's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 same situation don't have to wait and can have their  
2 claims adjudicated as soon as possible.

3 So, that's why you don't go through the  
4 process of looking at all other exposures and their  
5 feasibility. Because you're trying to get that  
6 claimant, and claimants in the similar  
7 circumstances, their claims addressed as soon as  
8 possible.

9 MR. BARTON: Okay. And I certainly  
10 understand that. It's efficient and the best way  
11 to handle it. I guess my only concern was that it  
12 seems to -- if you're not going to evaluate the  
13 other pathways, essentially what you're saying is  
14 we're not going to evaluate the feasibility of  
15 creating a coworker model. I just want to make  
16 sure that that's not actually the case.

17 And that further down the line, such as  
18 this situation, where we say, well, maybe you  
19 should look at creating a coworker model, then that  
20 process institutes after that. But it doesn't  
21 necessarily need to happen right away so that you  
22 can administer the SEC quickly.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Right.

2 MR. BARTON: Okay. Alright, thank  
3 you. I just wanted to clarify that.

4 MR. KATZ: No, you're quite welcome.

5 CHAIRMAN ANDERSON: Okay. So, 16 is  
6 in progress and it's a NIOSH activity to look at  
7 the coworker issue again. Seventeen?

8 DR. MAURO: I think maybe I can pick it  
9 up again, unless, certainly, Joe, if there's  
10 anything that you'd like to weigh in on.

11 But, Joe, my sense is that the concern  
12 was, did NIOSH take appropriate consideration of  
13 external exposure from beta emitters associated  
14 with surface contamination?

15 In other words, during the residual  
16 periods, you got contamination on the floor, on the  
17 ground or whatever, surfaces, where a person is  
18 going to be exposed to both photon and beta. And  
19 the concern was, did they take into consideration  
20 beta?

21 And the answer is, as I understand from  
22 reading this answer, I believe that you will. I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not quite sure if you're saying you already have  
2 -- maybe I misunderstood it -- or that you will.

3 In either case, the approach for taking  
4 external data exposures into consideration is well  
5 established. It is clearly explained in TBD-6000.  
6 And there are tables, work-up tables for doing all  
7 that.

8 So, as far as I'm concerned, this -- and  
9 if NIOSH has included their protocol already in the  
10 write-up, you know, I have to say that, you know,  
11 maybe we missed it. Or are they claiming here that  
12 you will include it?

13 Either way, as far as I'm concerned,  
14 this issue could be closed. Or I guess maybe in  
15 abeyance if you need to include it. But I don't  
16 see anything about this that there's an in progress  
17 issue. It's just a matter of whether or not the  
18 appropriate material is currently contained in the  
19 Site Profile. And with that I'll sort of turn it  
20 over to you folks.

21 DR. HUGHES: This will be added to the  
22 TBD.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: It will be added. Okay,  
2 very good. Then as far as I'm concerned, I guess  
3 that's an in abeyance. You know, we have no issues  
4 with that. Once it's inserted, it's done. But  
5 usually we put that in abeyance until it's actually  
6 done.

7 MR. ZLOTNICKI: Yeah, John, Joe  
8 Zlotnicki here. I agree with everything you said.  
9 That sounds fine. I'm going to have to drop off  
10 the phone now.

11 DR. MAURO: Okay. Joe, thanks for  
12 joining us and helping us out with this.

13 MR. ZLOTNICKI: Okay. The timing  
14 worked out perfectly. Thank you.

15 DR. MAURO: Great. Bye-bye.

16 CHAIRMAN ANDERSON: So, since it's  
17 going to be included, do we close it?

18 DR. MAURO: Well, that's your call. I  
19 mean, in some circumstances when we agree, we  
20 close. Or we leave it in abeyance until the actual  
21 change is made and then we close it.

22 MR. KATZ: So, I mean, if there's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       uncertainty about how this would be carried out,  
2       then you'd keep it in abeyance, Andy.  But if it's  
3       a clear path --

4                   CHAIRMAN ANDERSON:  No, I think it's  
5       clear what you're going to -- you know, it's a  
6       matter of it's going to get in.

7                   MR. KATZ:  Then you can just close it.

8                   CHAIRMAN ANDERSON:  I think we can  
9       close it, yeah.

10                  DR. MAURO:  Fine.  Yeah, that's fine.

11                  CHAIRMAN ANDERSON:  Eighteen.

12                  DR. MAURO:  Okay.  Oh, I think this is  
13       an issue that had to do with the use of breathing  
14       zone versus general air samples for the residual  
15       period.  And all we were recommending here was that  
16       you go with general air samples since it makes more  
17       sense for the residual period than the breathing  
18       zone samples that were, I guess, collected during  
19       operations.

20                  Which sort of relaxes the way in which  
21       it's done.  But in our opinion, that's the way it  
22       should be done during the residual period.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And then, let's see, and I think you've  
2                   come up with -- breathing zones, they are higher.  
3                   I'm reading real quickly. Am I correct that you  
4                   are going to be going to the general air samples  
5                   in this case?

6                   DR. NETON: Yes.

7                   CHAIRMAN ANDERSON: That's what it  
8                   says, yeah.

9                   DR. MAURO: Yeah, yeah. Like I said,  
10                  I went through it all, but there were so many they  
11                  sort of get blurred. And that was my recollection.  
12                  And that's fine. As far as I'm concerned, this  
13                  issue is resolved.

14                  MS. GOGLIOTTI: John?

15                  DR. MAURO: Yeah? Oh, please help me  
16                  out, Rose.

17                  MS. GOGLIOTTI: My concern here was  
18                  that the maximum median value that they're going  
19                  to use, as they say, it's 222 dpm per cubic meter.  
20                  Which is actually higher than the operational  
21                  period from breathing zones, which seems strange  
22                  that your starting point during the residual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 periods would be greater.

2 DR. MAURO: Oh, okay. Well, if that's  
3 the case, then that's the case. Is there anything  
4 about shifting to the breathing zone, Rose, that  
5 you feel maybe -- or not breathing zones, I'm sorry,  
6 to the general air samples, that could be  
7 problematic?

8 MS. GOGLIOTTI: I agree, they should be  
9 using general air samples. But I do find it  
10 strange that you would use a higher value during  
11 residual periods and operational periods.

12 DR. MAURO: Do you folks -- that would  
13 be a first for me, I have to say, that your general  
14 air samples are found to be higher than, let's say,  
15 your breathing zone samples. Has anyone at NIOSH  
16 looked into that? Or do you find that surprising  
17 or not?

18 MR. STRENGE: This is Dennis. The 222  
19 is the highest value found and it was for 1966 at  
20 the hammer mill. And to be claimant-favorable, we  
21 used the maximum.

22 MS. GOGLIOTTI: It's the median

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 maximum. Not the highest value.

2 MR. STRENGE: Right. Oh, yes, that's  
3 correct. I guess that's just the way the data came  
4 out. Now, maybe we should have used a median over  
5 all of the working facilities rather than just a  
6 median, the highest median.

7 MS. GOGLIOTTI: Well, these values  
8 just call into question the values from 25. And  
9 I realize these are general air sampling data  
10 versus breathing zone data. But you would expect  
11 the breathing zone data to be greater. Especially  
12 in the earlier time period.

13 DR. NETON: Yeah, this is Jim. I'm  
14 wondering, if we look closely at those GA samples,  
15 sometimes HASL had a habit of looking at process  
16 measurements and then calling them Gas. I'm just  
17 wondering if that might not have been a process  
18 sample. We might want to go back and look at these  
19 data just to make sure that we're comparing apples  
20 to apples.

21 DR. MAURO: Yeah.

22 DR. NETON: I've seen GA samples

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 listed. And if you look at it, it's a process  
2 sample. They just stuck it right in, close in to  
3 get a high value. I'm not saying it is, but it does  
4 look a little bit odd to me.

5 I think we ought to go back and just take  
6 a look at that and assure ourselves that we're using  
7 the appropriate samples.

8 CHAIRMAN ANDERSON: Well, you're  
9 following a prescribed method. It just gives you  
10 an odd result. So, yeah, I would agree, I think  
11 you ought to --

12 (Simultaneous speaking)

13 DR. NETON: -- much higher values for  
14 the GA than the breathing zone. We'll look it at.  
15 It shouldn't take long just to make sure.

16 CHAIRMAN ANDERSON: Other questions,  
17 comments? Board Members?

18 (No response)

19 CHAIRMAN ANDERSON: Okay. Finding  
20 19.

21 DR. MAURO: Nineteen, this has to do  
22 with the residual period. And it's the classic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 question of, you know, you've got residual  
2 radioactivity on surfaces and you want to do a  
3 resuspension factor to get the airborne dust  
4 loading from resuspension.

5 And our concern was that NIOSH had  
6 employed, I believe, in the original write-up, a  
7 resuspension factor of 10 to the minus six per  
8 meter. Which is fine when there was some cleanup  
9 that might have occurred prior to the residual  
10 period.

11 And NIOSH has agreed that, well, in the  
12 case, I believe, at one of the locations, it might  
13 have been Apollo. I forget which one was which.  
14 But in one case there was cleanup; in one case there  
15 wasn't. And NIOSH has agreed to revise the one  
16 that there was no cleanup to get the resuspension  
17 up to 10 to the minus five per meter. And we are  
18 completely satisfied that that's the appropriate  
19 approach.

20 CHAIRMAN ANDERSON: Other questions,  
21 comments?

22 (No response)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: So, 19 we can  
2 close.

3 DR. MAURO: SC&A agrees.

4 CHAIRMAN ANDERSON: Okay. As long as  
5 it gets into the final spot.

6 DR. MAURO: Yeah. Yeah.

7 CHAIRMAN ANDERSON: Okay, 20.

8 DR. MAURO: Okay. You know, right  
9 now, I'm at the point where I'd have to read this.  
10 Could I ask NIOSH to help me out a little bit here?  
11 And maybe you could get out in front on a couple  
12 of these. You know, this is quite a load. Could  
13 you give us a -- I find myself reading them again  
14 to try to catch up. And perhaps to help me out a  
15 little bit, could NIOSH take the front end of this  
16 and just help me and go through a little summary  
17 and I'll listen?

18 DR. HUGHES: Sure, I can.

19 DR. MAURO: Okay, thank you.

20 DR. HUGHES: The issue was regarding  
21 the radionuclides other than uranium during the  
22 residual period. The majority of the activity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that was processed was uranium.

2 I apologize, I'll have to go back  
3 through it again --

4 CHAIRMAN ANDERSON: Yeah, I mean, the  
5 response talks about thorium.

6 DR. HUGHES: Right. But there is a  
7 suggestion to add some of the additional values  
8 from the recent data captured to the Site Profile.

9 MEMBER KOTELCHUCK: Dave, maybe we're  
10 approaching a break time for lunch? And that would  
11 give people an opportunity to take a quick lookover  
12 and get back to us on this after lunch?

13 DR. MAURO: Thank you. That would be  
14 very helpful for me.

15 CHAIRMAN ANDERSON: So, the question  
16 is -- I mean, it's the same issue on 21, too. Ted,  
17 are we going to take lunch?

18 MR. KATZ: Well, Andy and Bill, is it  
19 okay? Can we take maybe a 30 or 45 minute break  
20 for lunch and then resume?

21 MEMBER FIELD: That sounds good.

22 DR. MAURO: Yeah, and this is John. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 will blitz through 20 through 24 during the break  
2 and just refresh my memory. Because I do need to  
3 do that. And maybe Lara, could you --

4 CHAIRMAN ANDERSON: We end at 21.

5 DR. MAURO: Oh, we end at 21? Where do  
6 we go to?

7 MR. KATZ: We have 20 and 21.

8 DR. MAURO: Oh, that's it? Geez,  
9 we're in the home stretch. Okay.

10 MR. KATZ: But then we have Grace after  
11 that.

12 DR. MAURO: That's wonderful. We'll  
13 get through these.

14 MR. KATZ: So, is 1:00 -- does that give  
15 everyone time enough for a lunch break?

16 MEMBER KOTELCHUCK: Sure.

17 CHAIRMAN ANDERSON: Okay.

18 MR. KATZ: If that's okay with  
19 everyone, then let's break and resume at 1:00.

20 DR. MAURO: Very good.

21 MR. KATZ: Thanks everyone.

22 (Whereupon, the above-entitled matter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 went off the record at 12:12 p.m. and resumed at  
2 1:05 p.m.)

3 MR. KATZ: So, this is the Uranium  
4 Refining AWEs Work Group. We're resuming after a  
5 lunch break. And we have folks from NIOSH and SC&A  
6 on the line?

7 DR. MAURO: Yes, John Mauro's still  
8 here.

9 DR. KATZ: Great.

10 DR. NETON: Jim Neton's here.

11 DR. KATZ: Okay.

12 DR. HUGHES: Lara Hughes is here.

13 MR. TOMES: And Tom Tomes.

14 DR. KATZ: Great. Okay. Well,  
15 forward, John.

16 DR. MAURO: Oh, do you want me to pick  
17 it up?

18 MR. KATZ: Sure.

19 DR. MAURO: Yes, Finding Number 20,  
20 this has to do with the residual period and with  
21 the fact that there's some surface contamination  
22 of uranium. But there's also other radionuclides,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 specifically thorium, that was handled.

2 So, when we reviewed the Site Profile,  
3 we saw that explicit consideration was given to  
4 uranium, but not for thorium. However, in the  
5 response that was provided by NIOSH, they made a  
6 nice detailed description of what the expectation  
7 should be and what I believe to be revisions or  
8 additions that will be made to the TBD to explicitly  
9 include thorium as part of the resuspension  
10 material during the residual period.

11 Just want to confirm that. And I don't  
12 think that was there at the time of the original  
13 Site Profile. But am I correct that this is  
14 material that will be added?

15 DR. HUGHES: Yes, that would have to be  
16 added. The approach needs to be refined and there  
17 needs to be some guidance as to how it's applied.  
18 And then it needs to be added to the Site Profile.

19 DR. MAURO: Excellent. And as far as  
20 we're concerned, we recommend this issue be closed.

21 CHAIRMAN ANDERSON: Okay. Any other  
22 comments?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (No response)

2 CHAIRMAN ANDERSON: Well, as long as it  
3 gets in, then we should be okay. So, closed it is.  
4 Twenty-one?

5 DR. MAURO: Okay, 21 has to do with the  
6 need to, I guess, include a little bit more  
7 descriptive material on the isotopic mix of  
8 radionuclides. Namely, apparently there is a mix  
9 of americium, plutonium, of different isotopes  
10 that need to be dealt with during the residual  
11 period. And right now I think it just refers to  
12 total alpha in the Site Profile. But in the  
13 response it was made clear that the Site Profile  
14 will be amended to make reference to the mix.

15 And more importantly, when they're not  
16 quite sure what the mix is, they'll make use of the  
17 most limiting assumptions. And SC&A finds this to  
18 be a great response. And we're recommending  
19 closing this item.

20 CHAIRMAN ANDERSON: Other comments?

21 (No response)

22 CHAIRMAN ANDERSON: Okay. We're on a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 roll. So, we're going to close 21.

2 DR. MAURO: Yes, that's our  
3 recommendation.

4 CHAIRMAN ANDERSON: Okay. And I would  
5 agree. And others? I don't hear any objection.

6 So, should we review where we're at here  
7 on the NUMEC site?

8 MR. KATZ: Yeah, I can run through the  
9 actions, if you'd like.

10 CHAIRMAN ANDERSON: Sure. Why don't  
11 you.

12 MR. KATZ: Okay. So, let's see, well,  
13 if I don't mention it, let me just skip the ones  
14 that are closed and get to the ones that have action  
15 items.

16 CHAIRMAN ANDERSON: Sounds good.

17 MR. KATZ: So, that starts with Finding  
18 5. NIOSH was going to provide further response.

19 Finding 6, also in progress. SC&A owes  
20 a complete review, a written response.

21 Seven, SC&A again. Finding 11, SC&A.  
22 Finding 12, SC&A.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: What about seven?

2 MR. KATZ: No, Finding 7 was SC&A. I'm  
3 sorry if I don't say that.

4 CHAIRMAN ANDERSON: Okay.

5 MR. KATZ: So, 7, 11, 12, all SC&A.  
6 Thirteen is NIOSH. This is the coworker issue.  
7 It's really the same as whatever it looks like.

8 Fourteen, SC&A. Fifteen, SC&A send  
9 comments. Sixteen, NIOSH. Eighteen, NIOSH.  
10 And that's it.

11 CHAIRMAN ANDERSON: And what did you  
12 have for 11?

13 MR. KATZ: For 11, I had SC&A owes  
14 comments.

15 CHAIRMAN ANDERSON: And 12, the same?

16 MR. KATZ: Twelve was SC&A.

17 CHAIRMAN ANDERSON: Yes. Okay, then I  
18 got them all.

19 MR. KATZ: Okay.

20 CHAIRMAN ANDERSON: Good. I think  
21 we've got it.

22 MR. KATZ: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER KOTELCHUCK: Andy?

2 CHAIRMAN ANDERSON: Yes

3 MEMBER KOTELCHUCK: Just for a number  
4 of those that are SC&A, where it's just a matter  
5 of their going over and confirming that it was as  
6 was said by NIOSH and that they just wanted to  
7 double check it, I think we should just -- if SC&A  
8 agrees with NIOSH, I would like to just consider  
9 those closed.

10 And then the next time we meet, we  
11 really don't have to consider all ten of these. I  
12 leave that to your judgement. As a Committee  
13 Member to the Chair, I leave it to your judgement  
14 as to whether we think we need to go over all of  
15 these or whether some can be resolved essentially  
16 by email and your confirming that, "Fine, okay."

17 MR. KATZ: Okay, but, Dave, all of  
18 these that I just went through, were ones where  
19 NIOSH needed the SC&A write-up or SC&A really  
20 hadn't looked at it in detail.

21 MEMBER KOTELCHUCK: That's correct.

22 MR. KATZ: I think we need a written

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 response from SC&A on all of them.

2 MEMBER KOTELCHUCK: Oh, I don't doubt  
3 that. I was saying if the written response says  
4 that we agree with NIOSH after, and as several of  
5 them suspect that that would be the case, then we  
6 can just resolve that and the Chair can just say,  
7 "That's fine, we've resolved it."

8 MR. KATZ: I mean, that's fine. But  
9 we'll need to do that when we're in a meeting  
10 anyway. So, the Chair can run through those. But  
11 we'll need to address them in a meeting.

12 MEMBER KOTELCHUCK: Okay. Well,  
13 okay, if we do. I was just hoping to shorten  
14 things.

15 CHAIRMAN ANDERSON: It may be a very  
16 short call.

17 MR. KATZ: Yeah, I mean, for those  
18 items, I mean, you can just check them off as we  
19 go through, but we're going to need a call to finish  
20 all this up anyway.

21 MEMBER KOTELCHUCK: Okay. Alright.

22 CHAIRMAN ANDERSON: Sorry.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Laughter)

2 MEMBER KOTELCHUCK: Yes. Well, we  
3 have 10 out of 21 to go over. That's why I was  
4 looking. I mean, it's a large number. But  
5 they're not really large.

6 MR. KATZ: Yes, but then we can go over  
7 it really quickly. It's all in order.

8 MEMBER KOTELCHUCK: Okay. Let's move  
9 on.

10 MR. KATZ: Okay.

11 CHAIRMAN ANDERSON: Okay. So, now  
12 we're going to go to W.R. Grace. Is that correct?

13 MEMBER KOTELCHUCK: Yes.

14 CHAIRMAN ANDERSON: Okay. So, what we  
15 have is an issues resolution matrix for W.R. Grace,  
16 findings, and NIOSH response. So, do we want to  
17 just go through these findings?

18 MR. KATZ: Yes, I think we should do it  
19 in the same fashion. Summarize what the finding  
20 was and then where each party stands on it.

21 CHAIRMAN ANDERSON: Yeah.

22 DR. BUCHANAN: Okay. This is Ron

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Buchanan. I'll take lead on that, if you'd like,  
2 from SC&A.

3 MR. KATZ: Good. Thanks, Ron.

4 DR. BUCHANAN: Okay. Just a real  
5 quick background on this. The W.R. Grace facility  
6 handled uranium and plutonium for the AEC from '58  
7 through '70. And there's a SEC for that period  
8 with a thorium bioassay, I think. And the first  
9 revision to the latest TBD was issued in September  
10 2011.

11 We visited the site, SC&A did, in the  
12 fall of 2012. We sent out a review of the TBD in  
13 about January of 2013. And then NIOSH gave a  
14 response. We received it in July, the middle of  
15 July, of this year.

16 And as far as I know, there's been no  
17 other committee meetings on it. This is the first  
18 Work Group meeting that I'm aware of on the W.R.  
19 Grace Site Profile.

20 And we had a number of issues. And they  
21 weren't really large issues, but they're ones that  
22 need some discussion. And I will just briefly go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 over the finding description and what I understand  
2 NIOSH's response is and our present verbal  
3 response.

4 Now, we've only received this about two  
5 weeks ago, so we haven't had a written response.  
6 So, what I'd like to do is to discuss anything with  
7 NIOSH that we need to discuss, and then write up  
8 a formal response and send it in to the Work Group.  
9 And in the meantime, a lot of these findings are  
10 going to be addressed by NIOSH getting further data  
11 from the site.

12 And so I think that a lot of that is  
13 still on hold until we get more of the data and we  
14 see how that affects the dose reconstruction and  
15 how it's going to appear in the TBD before we can  
16 really sign off on it. Most of the suggestions  
17 seem reasonable.

18 And so I'll start with Finding Number  
19 1. And like any site, we looked at the accuracy  
20 and completeness of the bioassay records. And we  
21 did not find that that had been done. We did not  
22 find any red flags. But we did not find any V&V

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 being performed on it.

2 And so I understand NIOSH's response is  
3 that they are going to do further work on reviewing  
4 and analyzing the completeness of the claimant  
5 uranium bioassay data during the burial ground  
6 remediation. This site had work during '58 to '70.  
7 Then they buried a lot of this material. And then  
8 recently they've dug it up and shipped it off.

9 In the meantime, they're still  
10 processing uranium on a commercial basis. And so  
11 it mixes those two together. And so that's some  
12 of the issues with separating out what's AEC and  
13 what's commercial.

14 But the burial grounds was one place  
15 that they buried a lot of this AEC and commercial  
16 material. And now they've dug it up and shipped  
17 it out. And so still some questions on how the dose  
18 is being assigned.

19 And so we agree with NIOSH's suggested  
20 approach, and we'll be willing to review that data  
21 when they have it available.

22 Is there anything that NIOSH would like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to add to that?

2 MR. TOMES: No, that sounds correct to  
3 me.

4 DR. BUCHANAN: Okay. And that's going  
5 to include the plutonium data. And that's another  
6 issue we'll get to, is the plutonium usage.

7 Okay. Item Number 2 or Finding Number  
8 2, this was the uranium bioassay data and intake  
9 during the SEC period, the '58 to '70. And about  
10 the only data available was a 1961 air sample, '58  
11 and '61 air samples. And we would have liked to  
12 have seen more data. But this is the SEC period.

13 And so we reviewed this again and  
14 decided, you know, we could not find additional  
15 information. And then NIOSH agreed to reevaluate  
16 Table 315 for the different workers.

17 And we will review that when we -- when  
18 that becomes available. Is this correct, then,  
19 NIOSH?

20 MR. TOMES: Yes.

21 DR. BUCHANAN: Okay. Okay, this is  
22 Finding Number 3 then. And we see that this comes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to the use of plutonium.

2 And there are some questions, I believe  
3 the first revision of the TBD included plutonium  
4 as an AEC material. And then the revision that's  
5 currently out there Rev 2 disallowed the plutonium,  
6 and now it looks like they are going to reconsider  
7 that and have the plutonium back in. And this is  
8 one of our main issues with the whole TBD.

9 And this not only affects the  
10 operational period, but then the residual period.  
11 If it is AEC material, then that carries over to  
12 the residual period. If it wasn't, then it  
13 wouldn't.

14 And so, NIOSH is going to include this,  
15 a plutonium AEC material and look and see how it  
16 changes dose reconstruction and the TBD. And we  
17 agree with this. And will evaluate it when it  
18 becomes available.

19 Is that correct at NIOSH?

20 MR. TOMES: Yes. We're looking at how  
21 to reconstruct plutonium doses. We currently --  
22 the TBD has specified just for the AWE period only,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 if a worker has bioassay data for plutonium.

2 But now we're going to reevaluate it for  
3 the residual period as well.

4 DR. BUCHANAN: Okay. Thank you.

5 DR. NETON: Yes, this is Jim. This  
6 took quite some effort on our part to make the  
7 determination that that plutonium was AEC-derived.

8 Tom well knows, we went back and forth  
9 on this quite a bit. But ultimately ended up  
10 concluding that it was AEC-derived.

11 DR. BUCHANAN: Okay.

12 DR. NETON: That will be included in  
13 the residual period now.

14 DR. BUCHANAN: Okay. And then will  
15 there be a PER for that?

16 DR. NETON: Yes, I imagine so.

17 DR. BUCHANAN: Okay. Okay, then we'll  
18 look at that also when that becomes available.

19 So we can come to Item Number Four,  
20 Finding Number 4. And this is lack of neutron dose  
21 assignment. And most of these uranium processing  
22 facilities had a neutron dose assigned using some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 N over P ratio or something.

2 TBD -- the present TBD had stated that  
3 there would be no attempt to assign neutron dose.  
4 And so we contend that that should be considered  
5 further.

6 And I understand NIOSH agrees that  
7 further investigation is necessary of a -- and use  
8 some sort of ratio value. And we agree with that  
9 approach. And we'll evaluate it when it comes  
10 available.

11 Is that correct, NIOSH?

12 MR. TOMES: Yes. We're going through  
13 that in our evaluation.

14 DR. BUCHANAN: Okay. Okay, the fifth  
15 one is probably the one that is the most unresolved  
16 one. The lack of dosimetry calibration.

17 Apparently, W.R. Grace just farmed  
18 their dosimetry out. And so they had Nuclear  
19 Chicago do it in the early years and had Landauer  
20 do it later.

21 And there is no real documentation on  
22 what the -- number one, what the field exposure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 gamma ray energies were. And number two, on who  
2 processed them when and what the calibration was,  
3 and any feedback from the vendor.

4 And so NIOSH's response was that there  
5 wasn't much available, that one reference number,  
6 23570, was the back sheet of a Landauer processing  
7 probably in the '70s, and they didn't plan on any  
8 additional efforts on this. And we would like --  
9 I really don't know where the Work Group wants to  
10 go with this.

11 Most sites look at the photon energy.  
12 And I went back and looked at some uranium  
13 processing, plutonium processing sites like Weldon  
14 Spring, Fernald and some of the others, Hanford,  
15 even at Oak Ridge, the dosimetry methods and stuff.

16 And some of them say, okay, it's okay  
17 the way it is. And some of them say, well no, we  
18 missed some of the lower energy photons and so we'll  
19 increase it by 10 percent.

20 And so there seems to be a number of  
21 different ways it's been addressed. And what is  
22 correct for this facility, I'm not sure because of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the lack of information.

2 But, it seems that this subject has not  
3 been really approached in a technical basis to say,  
4 yes, the data recorded is correct. Or no, the data  
5 recorded at certain energy, at certain times, by  
6 certain processors perhaps needs an adjustment  
7 factor.

8 And so that's where we're at right now.  
9 We feel that it has not been satisfactorily  
10 resolved. NIOSH states they're not going to do  
11 anything else on it.

12 So, I guess really, I'll leave it to  
13 NIOSH if you want to make a comment at this point.

14 MR. TOMES: Yes, this is Tom. The W.R.  
15 Grace dosimetry records and claims generally have  
16 Landauer reports back to the late '50s. I'm not  
17 sure exactly which year.

18 But it's -- and I think the '58 maybe  
19 there's no real name on who it was that -- who was  
20 furnishing the data. But, I believe in '59, I may  
21 be off by a short period of time.

22 But approximately around that time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 frame, all the results are on the Landauer forms  
2 that were -- that we have seen and from other sites,  
3 and from what I understand, we have not really been  
4 successful at getting that kind of detail from the  
5 Landauer processing. Jim may know more about this  
6 then I do.

7 DR. NETON: Tom, I can't add any more  
8 to that, really.

9 DR. BUCHANAN: Is there anyone there at  
10 the site now that could shed any, you know, any of  
11 the health physicists working there now, could shed  
12 any light on the history of it?

13 Was this asked when you were there? Or  
14 do you recall if they didn't know?

15 MR. TOMES: I don't -- I would have to  
16 go back and look at the records after that. I  
17 haven't looked at that from the -- from previous  
18 conversations.

19 So my memory doesn't really remember  
20 that. But, I do not believe there was any health  
21 physicists down there from the period that we would  
22 be concerned with, which would be the '58 through

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 1970 period.

2 For the residual period, we have  
3 default dose rates that we go by in the TBD. So  
4 we're talking about the 1958 through '70 period  
5 that would be in question.

6 And that is the SEC period. And I do  
7 not believe there was anyone down there who was  
8 working there at that time.

9 DR. NETON: Yes, this is Jim. My other  
10 concern here is that even if we understood the  
11 technology that was used and any correction factors  
12 that might have been applied, I'm not sure how we  
13 would be able to correlate that with the workers'  
14 actual exposures to the type of external radiation,  
15 you know, they encountered.

16 You know, you could argue that there may  
17 have been different levels of energy that they were  
18 exposed to, such as plutonium, americium versus  
19 higher-energy photons. But, I don't know how you  
20 would even begin to correct for those type of  
21 various exposure geometries in themselves.

22 DR. BUCHANAN: Well, I know some of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sites that say, you know, if there's an --

2 DR. NETON: And those are sort of more  
3 single type sites where you might have, you know,  
4 a lot of uranium processing going on or you know,  
5 a single type thing.

6 But, this site had a number of different  
7 operations ongoing. Thorium, plutonium, uranium.  
8 I think it would be difficult to parse out those  
9 various exposure scenarios at this site.

10 DR. BUCHANAN: Well, sometimes they'll  
11 go -- if they don't know, they'll go ahead and  
12 adjust it by a certain factor if they suspect that  
13 it will.

14 DR. NETON: Well, right. But then you  
15 start getting into plutonium versus thorium and  
16 your order is a magnitude difference. And I'm not  
17 sure that would be appropriate here.

18 DR. BUCHANAN: Well, it just seemed  
19 like this site lacked information from that  
20 subject. And when that happens, I don't, you know,  
21 like I say, all we can identify it and the Work Group  
22 can, I guess, decide whether they want to, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pursue it any further.

2 Or, it's going to be a small amount.  
3 Usually it's 10 percent or 25 at the most, would  
4 be adjustment to the lower-energy photons.

5 And you know, could make a difference  
6 in a few cases. But really don't know what cases  
7 or what periods or when it would actually affect  
8 the man.

9 CHAIRMAN ANDERSON: Other comments,  
10 questions on that?

11 MEMBER KOTELCHUCK: Dave. We now --  
12 but if we do know -- we do know fairly accurately  
13 when Landauer was used and when the other company  
14 was used, yes?

15 MR. TOMES: This is Tom. I was looking  
16 at the TBD. The other company is listed in the TBD  
17 as through 1960 and Landauer started in 1961.

18 So that's -- I just now looked at that.  
19 I was --

20 MEMBER KOTELCHUCK: Yes.

21 MR. TOMES: And that's consistent with  
22 records I was looking at recently.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER KOTELCHUCK:        Okay.        And  
2                   Landauer is generally, I mean, it is assumed that  
3                   they're -- they do an accurate job.    And we've used  
4                   them in many other places.    And there's not been  
5                   any question about the reliability of their  
6                   calibration.    Is that not correct?

7                   MR. TOMES:        I have not heard any  
8                   problems associated with that.

9                   MEMBER KOTELCHUCK:    Yes.    I mean, I  
10                  don't know what the other firm is.    Or what, but  
11                  --

12                  DR. BUCHANAN:        Well, they have it  
13                  listed as Nuclear Chicago.    And your statement is  
14                  true of Landauer in later years.    And if they  
15                  matched the energy field.

16                  Now, that was, you know, the question  
17                  was there didn't seem to be any photon energy  
18                  measurements done --

19                  MEMBER KOTELCHUCK:    Okay.

20                  DR. BUCHANAN.    Like at Mound.    There  
21                  was a lot of data there to compare.

22                  MEMBER KOTELCHUCK:    Aha.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: But, you know, being a  
2 contract facility, W.R. Grace was just producing  
3 the product. And using an outside vendor to do the  
4 dosimetry.

5 And apparently, you know, I almost have  
6 to assume from lack of documentation, that they  
7 sent their badges in. Landauer processed them and  
8 sent the data back.

9 And there's a void there that if there's  
10 any communication or any determination of what they  
11 recalibrated to that the Landauer facility matched  
12 the operations at W.R. Grace.

13 MEMBER KOTELCHUCK: One could, just to  
14 be claimant-favorable, simply put in a 1.25 factor  
15 on the Landauer results, and that's at the most the  
16 worst that the Landauer would be off, right?

17 DR. BUCHANAN: Well, yes, I'd say even  
18 Nuclear Chicago back then, you know would probably  
19 cover both of them. But I have no technical basis  
20 for that.

21 MEMBER KOTELCHUCK: Right. Okay.

22 DR. BUCHANAN: But that would require

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a complete rework of all the claims.

2 DR. NETON: Yes, this is Jim. I'm  
3 reluctant to just sort of willy-nilly start adding  
4 25 percent increases in doses for no real technical  
5 known basis.

6 I understand it would be  
7 claimant-favorable, but we'd have to have some  
8 indication that there was a technical disconnect  
9 between the result and the calibration.

10 MEMBER KOTELCHUCK: Right. And all,  
11 really, we know is we don't know.

12 DR. BUCHANAN: Right. There's just a  
13 void there.

14 MEMBER KOTELCHUCK: Yes. Yes. Well,  
15 that's a concern.

16 DR. NETON: And again, these are  
17 partial dose reconstructions. There's no --

18 MEMBER KOTELCHUCK: Right.

19 DR. NETON: What is this -- does this  
20 cover for thorium, Tom?

21 MR. TOMES: Yes, it did.

22 DR. NETON: Yes. And there would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 uranium actually, exposure.

2 DR. MAURO: So, Jim, this is John.  
3 Just a question of my own inquisitiveness here. If  
4 there's some question whether the -- you have an  
5 open window and a closed window.

6 And you're not quite sure how they  
7 calibrated the dosimeter. And you know that the  
8 facility was working with thorium and plutonium.

9 If it turns out it's thorium, I presume  
10 you're assuming that you have progeny with  
11 relatively strong gammas? And with the plutonium  
12 you have progeny -- or thorium itself with  
13 relatively weak photons where the open window would  
14 over-respond, depending on how it was calibrated.

15 DR. NETON: Right.

16 DR. MAURO: Am I on the right track  
17 here? You can see where I'm heading.

18 DR. NETON: You're on the right track.  
19 Depending on what badges were used, I'm not sure.  
20 I haven't looked at this myself in a long time.

21 But yes, if you had an open/closed and  
22 the lower energies, of course, the photoelectric

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would predominate and over-respond.

2 DR. MAURO: Yes.

3 DR. NETON: But we don't really know.  
4 I guess that's the problem here.

5 DR. MAURO: Yes.

6 DR. NETON: Is we don't know. And I'm  
7 reluctant to just make up --

8 DR. MAURO: Yes.

9 DR. NETON: Some value here. Because  
10 again, you have a wide range between -- I don't know  
11 if they were working with plutonium in this time  
12 frame, Tom, were they? Or were they not?

13 MR. TOMES: Plutonium work started  
14 approximately 1967. But they would have been  
15 working with uranium, thorium and --

16 DR. NETON: If they were working with  
17 uranium --

18 MR. TOMES: I think it started in '67  
19 approximately.

20 DR. NETON: My opinion is, you wouldn't  
21 be too far off. I mean, if it was -- uranium, 63,  
22 93, 185. But most of the uranium gamma exposures

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is actually due to the Bremsstrahlung, not to the  
2 protactinium-234, which is pretty high energy.

3 DR. MAURO: Yes. Yes.

4 DR. NETON: So, I don't know that  
5 there's a real disconnect here. I mean, if it's  
6 mostly uranium work, I think this is probably okay  
7 for uranium and thorium.

8 CHAIRMAN ANDERSON: Okay, so what do we  
9 do?

10 DR. NETON: Well this is Jim. I don't  
11 know what more we can do. I mean we --

12 CHAIRMAN ANDERSON: Well, that's  
13 what's my -- yes, I mean, it's an issue.

14 DR. NETON: It doesn't appear that the  
15 materials they were working with would warrant a  
16 very large correction factor. And given that  
17 there's no indication that they're incorrect, I  
18 would agree we just stay with what we have.

19 DR. MAURO: If -- this is John. If in  
20 fact, I mean, let's say we have some information  
21 on what -- the count they used for the calibration  
22 at the time for these things. Which theoretically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 may or may not be available to us from Landauer now.

2 In all likelihood, they would have  
3 calibrated with a relatively strong gamma emitter  
4 unless they were explicitly requested to calibrate  
5 for some other energy distribution. They would go  
6 with either a cesium or a radium or a cobalt source.

7 I mean, just our -- I mean we worked with  
8 Landauer for so long, I mean, as one of the  
9 companies that have been providing us the data. We  
10 probably have a pretty good feel of, you know, what  
11 their standard practice was in those years. And  
12 let's say the 1960s.

13 And just this is -- so let's for a  
14 moment, if we were to assume that they used a  
15 relatively strong gamma emitter to calibrate their  
16 film badge, I don't know what they do about  
17 open-window.

18 Wouldn't the results, if you didn't do  
19 any correction, wouldn't you have an  
20 over-response? I mean, you would be predicting  
21 doses that were probably higher than they actually  
22 were.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: In some energy range.

2 DR. MAURO: Yes.

3 DR. BUCHANAN: And depending on the  
4 filters and where the filters are read.

5 DR. MAURO: Okay.

6 DR. BUCHANAN: It was changing in the  
7 '50s and the early '60s at the national labs. It  
8 was going from an open window. And then it's going  
9 to two elements. And then it's going to three  
10 elements.

11 And so, you know, none of that  
12 information is available, coupled with we don't  
13 know what Landauer was using for calibration. And  
14 we certainly don't know what Nuclear Chicago was  
15 using.

16 We can kind of back-extrapolate with  
17 Landauer, but with Nuclear Chicago we don't know.

18 I looked up -- tried to look up on the  
19 internet some information on them, and see if it  
20 said anything about their calibration procedures  
21 or anything, and there wasn't anything available.

22 So, you know, I agree it's during the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 SEC period. It's into 20 percent, which we don't  
2 have a basis to base that change on.

3 But I did -- I did want to point it out  
4 to the Work Group. And you know, it's just an issue  
5 that comes up at most of the sites and some sites  
6 adjust it differently than others.

7 Some of them it's not an issue. Some  
8 of them over-respond enough that it compensates for  
9 it. There's those that don't make an adjustment.  
10 Some of them make adjustments.

11 But, in this case, we -- and usually  
12 they have some basis to it where -- especially at  
13 the national labs. Where they've done, you know,  
14 all these measurements. Whereas this commercial  
15 company didn't do that.

16 And so, you know, that kind of puts us  
17 in the position of not having anything documented  
18 one way or the other on it.

19 DR. NETON: Yes, this is Jim. I don't  
20 recall, I know we've made adjustments where we've  
21 had the sort of the DOE complex badges, which there  
22 are a few different varieties out there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But I don't recall, and I could be  
2 wrong, but I don't recall, especially at AWEs,  
3 adjusting the Landauer badge results based on any  
4 technical parameters that we have. I just don't  
5 think we've done that.

6           DR. BUCHANAN: In AWEs?

7           DR. NETON: Yes, AWEs are typically the  
8 ones that had a lot -- if they had monitoring, they  
9 would have been an outside vendor, not in-house.  
10 And where the AWEs are, I don't recall adjusting.  
11 Particularly they're uranium type facilities.

12          DR. BUCHANAN: Weldon Spring, they --

13          DR. NETON: Well, that's not an AWE.

14          DR. BUCHANAN: Yes. But they  
15 processed uranium there, and they added 10 percent.

16          DR. NETON: Right. But they had their  
17 own in-house badge I'm sure.

18          DR. BUCHANAN: I'd have to go back and  
19 look.

20          DR. NETON: Yes. I mean, any DOE-type  
21 facility that has -- that use what I call the DOE  
22 badge. I mean they were the ones that had the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 multi-elements and such, I can see adjustments for.  
2 But, Landauer, since we don't know anything about  
3 their calibration methods and such, again, I don't  
4 remember doing that correction.

5 So, if we did start adjusting Landauer,  
6 we'd be a little inconsistent with what we've done  
7 in the past, is what I'm saying.

8 DR. MAURO: If I could -- this is John.  
9 If I could help a little. Since we're dealing with  
10 an SEC and what we're really saying is NIOSH is  
11 trying to do the best they can to at least assign  
12 some dose. But, in doing that, and this is a lot  
13 like we talked about earlier, you know, when we  
14 talked about NUMEC. You know, what do you do when  
15 you're not quite sure.

16 But within the context that you're  
17 doing the best you can to assign the dose. You  
18 know, to me that already is an effort that, you  
19 know, you are trying to give somebody some dose  
20 that's not covered by the SEC.

21 If we really can't get some information  
22 on the standard practice for Landauer let's say,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and then I guess we're talking the 1960 time frame,  
2 then NIOSH has done everything, you know, in my  
3 opinion, reasonable to try to assign some dose,  
4 external dose, given the information they have.

5 But, if it is possible to find out what  
6 standard practice was for Landauer in processing  
7 commercial film badges, that would be helpful to  
8 show that -- a degree of due diligence. I know  
9 that, you know, whenever we're in a circumstance  
10 like I go to Joe Zlotnicki who was the vice  
11 president of Landauer for 25 years.

12 And very often he goes back, gives the  
13 current vice president a call and says listen,  
14 could you help us out a little bit? And let us know  
15 what the standard practice was back then.

16 And often, they do have some answers.  
17 But, is this something that's worth doing now, or  
18 is it overkill? I'm not sure.

19 But, in the past, we did take advantage  
20 of our relationship with Joe Zlotnicki.

21 DR. BUCHANAN: The thing is I'd be more  
22 concerned with Nuclear Chicago.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Oh.

2 DR. BUCHANAN: From '57 to '60.

3 DR. MAURO: Oh, before then, I see.

4 DR. BUCHANAN: Yes.

5 DR. MAURO: Okay.

6 DR. BUCHANAN: Yes, that would be a  
7 good suggestion, you know, if we thought it was  
8 worth the effort to go back to '61 with Landauer.  
9 However, since there's no measurements made in the  
10 facility, we'd have to kind of say, well, guess at  
11 what the uranium, you know, and plutonium and  
12 thorium gamma ray energies were in the field since  
13 there wasn't any made.

14 And so, you know, that'd be kind of half  
15 of the puzzle.

16 DR. NETON: And my other thought here  
17 is, I wonder how large these doses are? I mean,  
18 given that it was uranium, which is a fairly low  
19 gamma rate, you know, low-dose-rate material. The  
20 thorium I guess could have been high. But I don't  
21 know if they processed that much.

22 Tom, do you have a feel for what the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 magnitude of these doses are that we're assigning  
2 at --

3 MR. TOMES: Well, I just happen to have  
4 one open on my computer looking at it while we were  
5 talking about the records. This particular  
6 individual here, he had in 1968, he had quarterly  
7 results that ranged from 316 millirem to 130  
8 millirem.

9 DR. NETON: Yes.

10 MR. TOMES: And I know there's numbers  
11 a lot lower than that. I don't know if there's many  
12 much higher.

13 DR. NETON: I was going to say, my gut  
14 feeling here is that these doses are not really that  
15 large. Or shouldn't be that large given the source  
16 term I'm thinking that they worked with from an  
17 external exposure perspective.

18 So making 10 percent adjustments on a  
19 pretty small dose with no technical basis doesn't  
20 seem to be warranted, in my opinion.

21 DR. BUCHANAN: Well, I think that SC&A,  
22 you know, has no heartburn with not making an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 adjustment. We just wanted to point it out to the  
2 Work Group that there seemed to be a void there.

3 And nothing to really base any --  
4 leaving it as it is or changing it.

5 MEMBER KOTELCHUCK: Well, why don't we  
6 get the -- Dave. Why don't we get the information  
7 from Mr. Zlotnicki if it's available, and it can  
8 be just checked by folks at SC&A, to just find out.

9 Well, it might be helpful.

10 DR. MAURO: Yes. Well, you know what  
11 it just is, John. It's just a matter of getting  
12 it on the record that we did everything reasonable  
13 to try to say something about this.

14 MEMBER KOTELCHUCK: Yes.

15 DR. MAURO: And I think a call into Joe.  
16 He may get back and say no, he's been through this  
17 before. And we really can't help you. And that's  
18 the end of it.

19 MEMBER KOTELCHUCK: Yes.

20 DR. MAURO: And if we get something  
21 well, then we deal with it then. But, I know that's  
22 it good to try to cover these things the best you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can.

2 MEMBER KOTELCHUCK: I agree. I think  
3 that would be a good idea.

4 DR. MAURO: I'll email Joe right now,  
5 right after we break. And see if he can help us  
6 out a little bit. It's not going to be a big deal.

7 And he's done this before and he knows  
8 the folks real well. You know, he could call up  
9 the President of Landauer and he'll get back to him  
10 right away.

11 MEMBER KOTELCHUCK: Good.

12 MEMBER FIELD: You know this is -- this  
13 is Bill. I can tell you it would be worthwhile too,  
14 to look for some of the folks that had pretty  
15 consistent monitoring over the periods where you  
16 had both vendors just to see if there's any  
17 discernible differences.

18 A single process that stayed the same  
19 between the two vendors, for instance a big  
20 increase or a big decrease in the vendor's over.

21 DR. NETON: Yes, this is Jim. Well, we  
22 could do that. But then you know, if you do see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a difference, you don't know when the source term  
2 changed. If you don't see a difference --

3 MEMBER FIELD: Right.

4 DR. NETON: But, I'm not sure what it  
5 would really accomplish.

6 MEMBER FIELD: Well, I mean, I'm  
7 talking about, you're saying the doses are probably  
8 low anyway. But, it would be at least something  
9 to look at.

10 You may not be able to explain it or it  
11 could be that process has changed. But, you could  
12 also look at it for when Landauer came onboard, was  
13 that -- how much -- how often was there a change?  
14 Was it pretty consistent exposures for workers that  
15 were monitored the whole period, or was there  
16 variation, you know, month to month even within  
17 those workers?

18 DR. NETON: Yes.

19 MEMBER FIELD: But I'm just  
20 speculating on some ends.

21 DR. MAURO: You're looking for a weight  
22 of evidence, you know, that you --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER FIELD: Right.

2 DR. MAURO: You know, you add it all  
3 together and you say well, you know, everything is  
4 telling us there's really no need to make an answer.

5 MEMBER FIELD: Right.

6 DR. MAURO: Yes. I agree.

7 CHAIRMAN ANDERSON: Okay, so where do  
8 we stand on this one?

9 DR. NETON: Well, it seems to me that  
10 SC&A is going to get with Zlotnicki and try to get  
11 some idea of what kind of calibrations Landauer  
12 used. And we're going to look at any differences  
13 in doses over time between the two vendors.

14 CHAIRMAN ANDERSON: Oh.

15 MEMBER KOTELCHUCK: And it sounds as if  
16 -- this is Dave. It sounds as if we're likely not  
17 to make a correction. But that we will pursue  
18 every avenue and get it on the record to assure that  
19 we've done more than due diligence.

20 MR. TOMES: This is Tom. I'm looking  
21 at these records, comparing them. You don't  
22 expect a large study. I was thinking a -- just a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 small number of claims that have data. Does that  
2 sound reasonable?

3 MEMBER KOTELCHUCK: Yes, to me.

4 DR. NETON: I would -- I think so.

5 DR. MAURO: Would you actually follow  
6 one person? I mean, or a few people over time that  
7 crosses from the earlier vendor to the later vendor  
8 and just sort of see a trend?

9 If you all of a sudden see a step  
10 function break, is it some -- is it, you know with  
11 the same person. Or maybe, like, three or four  
12 people, that kind of thing.

13 I mean, that's how I would come at it.

14 MR. TOMES: Yes, I can do that.

15 MEMBER FIELD: Yes, you just wonder, I  
16 would imagine that they would change vendors, it's  
17 something strange. You never know. I mean that  
18 -- who knows.

19 CHAIRMAN ANDERSON: Okay. So, both  
20 NIOSH and SC&A are going to do the checking of that  
21 one. So, how about next?

22 DR. BUCHANAN: Okay. This is Ron

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Buchanan with SC&A again. And we're on Finding  
2 Number 6. And this was the question of onsite or  
3 offsite medical x-rays required for work.

4 And this is one of those issues where  
5 again, there wasn't documentation one way or the  
6 other. NIOSH deferred to OTIB-79 that it would  
7 therefore assign as being taken onsite.

8 The only issue I had -- SC&A had was when  
9 we did the worker interviews, they stated that they  
10 discussed it among themselves and agreed that --  
11 the workers agreed that the x-rays were done  
12 offsite in the urban hospital.

13 And so, this is where we have, where the  
14 workers say one thing and that -- but there's no  
15 documentation. And so it's, you know, it's  
16 claimant-favorable to go ahead and use OTIB-79 and  
17 assign it as if it was taken onsite.

18 And so, at this point, SC&A has not come  
19 up with any information to document other than what  
20 was said during the interviews, that the  
21 claimant-favorable thing would be to follow  
22 OTIB-79 and leave it as it is, assigning the x-rays

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as if they were taken onsite.

2 So, at this point, unless the Work Group  
3 has a different view on that, we would recommend  
4 closure.

5 CHAIRMAN ANDERSON: Well that seems  
6 kind of frustrating that workers say they went to  
7 the hospital and you can't document it. But we  
8 will -- we need to go with what the protocol is.  
9 And that's the --

10 MR. TOMES: This is Tom Tomes. The --  
11 I believe the reference was not clear enough for  
12 us to form the basis for assuming they were done  
13 offsite.

14 The record of the transcript indicates  
15 a present tense, and there's no reference to what  
16 period of time the workers were talking about, or  
17 what this could have been referring to.

18 And there was just -- it just is not --  
19 that did not meet the requirement of having a good  
20 reference that it was done offsite.

21 CHAIRMAN ANDERSON: Okay. That helps  
22 clarify that. Okay. So, Seven?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: Okay. We're going to  
2 move on to 7 there, and this is the environmental  
3 dose. A question about the TBD not adequately  
4 covering the environmental, an internal  
5 environmental dose.

6 And so NIOSH has stated that they will  
7 do some data-capture efforts in order to properly  
8 address this environmental issue during the middle  
9 period. And get back with us and we will review  
10 that information.

11 Is that correct, NIOSH?

12 MR. TOMES: Yes, it is.

13 DR. BUCHANAN: Okay. So, that was the  
14 primary findings. Now the Secondary Findings were  
15 ones that, you know, could affect the way the dose  
16 is assigned mainly, more so than the methods.

17 And so we go to Secondary Finding A,  
18 which is this question, it's kind of a mathematical  
19 question, in that some of the tables in the TBD  
20 listed for 250 workdays a year.

21 And then Table 513 lists it as 365  
22 calendar days. And NIOSH -- well, we thought that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it should all be adjusted to 250 workdays. NIOSH  
2 came back and said it -- showed some calculations  
3 from a calendar, and that they would add some text  
4 to clarify that in the TBD.

5 And I guess my question is, okay,  
6 mathematically we agree with that. We just didn't  
7 know why one table was 250 and the next one was 365,  
8 which kind of complicated the issue and could add  
9 some confusion.

10 Is there a reason for doing that?

11 MR. TOMES: I don't think there's a  
12 good reason for it to be confusing. But, sometimes  
13 it's just that in the course of doing this, some  
14 of the -- some of that just comes up less clear to  
15 maintain it, and we'll fix that.

16 DR. BUCHANAN: Okay. We'll review  
17 that to make sure we agree with it, and evaluate  
18 that.

19 Okay. Secondary Finding B, the AEC  
20 material was removed from the ponds and the  
21 grounds. It said in the original TBD that this was  
22 well documented.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We could not find documentation.  
2           During the site visit, we couldn't find  
3           documentation at that time to say what was -- what  
4           the material was being removed from the burial site  
5           and ponds.

6           I understand that NIOSH plans to do  
7           additional data capture to determine what  
8           bioassays needed to be performed. The reason that  
9           it's important is, did the bioassays that were  
10          performed cover the material that the workers were  
11          handling during this period?

12          And I understand that they are going to  
13          try to provide additional information on that.  
14          And we'll evaluate that again when it's available.

15          Is that correct, NIOSH?

16          DR. NETON: Tom, are you on mute? This  
17          is Jim. I believe that's correct. I don't know  
18          what happened to Tom, though. I was hoping he'd  
19          be able to --

20          MR. TOMES: Sorry, I had my mute button  
21          on. That -- yes, that's similar to Finding Number  
22          1. What we're going to evaluate to actually the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 bioassay data for those workers.

2 DR. BUCHANAN: Okay. That brings us  
3 to Secondary Finding C, burial ground workers and  
4 definition. The problem with some of that is the  
5 operator could be anything.

6 This is a small facility. So the  
7 workers get a lot of different tasks and stuff.  
8 And so, we need to determine how the dose  
9 reconstructor can determine who worked at the  
10 burial grounds.

11 And so, this is going to probably be one  
12 of those cases where if it isn't documented or  
13 sometimes they work there, then it's going to have  
14 to be by default to include them.

15 But I understand NIOSH is going to  
16 provide some more guidance for the definition of  
17 burial ground workers, and we will evaluate that  
18 change.

19 Is that correct, NIOSH?

20 MR. TOMES: Yes. I think this is also  
21 related to our evaluation of exposures from that  
22 work.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: Okay. And then the  
2 last one is Secondary Finding D, which is the  
3 external exposure to -- the external exposures in  
4 the TBD at Table 5-5.

5 We get the front end a little bit where  
6 the exposure started. And then we get the -- this  
7 is during the residual period, I believe.

8 And then some settling rates that we  
9 didn't really see how the non-penetrating and  
10 penetrating external exposure was derived when it  
11 was put in the Table 5-5.

12 And so I understand NIOSH is going to  
13 provide some steps in between so we can better  
14 evaluate that, and we will when that's available.

15 And that's correct, NIOSH?

16 MR. TOMES: Yes.

17 DR. BUCHANAN: Okay. So, that's our  
18 evaluation of that. We plan on putting this in  
19 writing, what I spoke today, and send that to the  
20 Work Group.

21 And then when we receive additional  
22 information, which most all of these involve that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from NIOSH, we will reevaluate it. And then either  
2 present it to the Work Group or put it in formal  
3 writing then, or both.

4 CHAIRMAN ANDERSON: Sounds good.  
5 Any, questions or comments from the Board Members?

6 MEMBER FIELD: No, it sounds good.

7 MEMBER KOTELCHUCK: Yes. No,  
8 comment.

9 CHAIRMAN ANDERSON: Most all of these  
10 are in process.

11 MR. TOMES: Are we going to close out  
12 the Finding Number 6?

13 MR. KATZ: Yes. You did decide to  
14 close that.

15 CHAIRMAN ANDERSON: Yes. Yes. Okay.  
16 Anything further on W.R. Grace?

17 (No response)

18 CHAIRMAN ANDERSON: Well then --

19 MR. KATZ: Well, that's taken care of  
20 it. If for these follow-ups, NIOSH, if we could  
21 just -- once you sort it out, if you can give the  
22 Work Group, and then we'll be getting from SC&A

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 their follow up -- but for you, if you can just have  
2 a rough estimate of when we'll have responses for  
3 these matters that you have to look into further,  
4 that would be great.

5 MR. TOMES: This is Tom. We have a  
6 data capture that is -- it's got, unfortunately,  
7 a fairly long schedule on when the data is going  
8 to be available from NFS.

9 MR. KATZ: So, what is that? It's  
10 open?

11 MR. TOMES: I don't know when that's  
12 going to happen. It's months, not weeks.

13 MR. KATZ: No, I'm not -- yes, I'm not  
14 pressing. I just -- but are we talking about, do  
15 you have it already scheduled? Do you know when  
16 that is?

17 MR. TOMES: I don't have the exact date  
18 in front of me.

19 MR. KATZ: Okay.

20 DR. BUCHANAN: So you're going to site  
21 to do the data capture?

22 MR. TOMES: I believe it's going to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 available. I'm not so sure how much -- some of it  
2 is going to be available electronically. I don't  
3 know how much of it we have to go down there and  
4 capture.

5 DR. NETON: Yes, this has more to do,  
6 right Tom, with the plutonium during the residual  
7 period. We never bothered to collect bioassay  
8 data during the residual period because plutonium  
9 wasn't covered, and now we need to establish some  
10 sort of methodology to do that.

11 MR. KATZ: Right. So, all I'm asking  
12 is, if you just send a -- once you sort out your  
13 path forward, if you would send a note to the Work  
14 Group.

15 I mean, just so that everyone knows  
16 where things stand. And has a sense for the  
17 schedule going forward.

18 MR. TOMES: Okay.

19 MR. KATZ: Thank you.

20 CHAIRMAN ANDERSON: Okay.

21 MR. KATZ: All right Andy.

22 CHAIRMAN ANDERSON: Okay, any other?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think we've been through our discussions at least  
2 to -- and that W.R. Grace, we're going to wait for  
3 some kind of a time line.

4 I guess on the NUMEC, I think we're  
5 pretty close on quite a few of these. Do we have  
6 any kind of a time line for SC&A getting back to  
7 us on there as to the NIOSH part?

8 DR. MAURO: This is John. Are you  
9 referring to NUMEC now?

10 CHAIRMAN ANDERSON: Yes.

11 MR. KATZ: Yes.

12 DR. MAURO: Well, let's see, I mean,  
13 I'll stick my neck out and say we'll get a write-up  
14 to you in about two weeks.

15 MR. KATZ: That sounds good, John.

16 DR. MAURO: Yes. I'll just get the  
17 crew to work. And we'll get it. Because I don't  
18 think there's a lot here. Just a matter of putting  
19 it all together.

20 CHAIRMAN ANDERSON: It will be nice to  
21 get this closed out.

22 DR. MAURO: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ANDERSON: We had -- Ted, do  
2 we have anything else?

3                   MR. KATZ: No, that's good. That  
4 takes care of all the business we had on our plate.

5                   CHAIRMAN ANDERSON: Okay. I don't  
6 know if we have public on that want to --

7                   MR. KATZ: We don't have any members of  
8 the public on, or at least we didn't before.

9                   CHAIRMAN ANDERSON: Okay. So we don't  
10 need to have any additional comments. So, with  
11 that I guess we can pretty well say, have lunch now.

12                   MR. KATZ: Yes, for those folks in the  
13 Midwest and adjourn.

14                   CHAIRMAN ANDERSON: Okay.

15                   (Whereupon, the above-entitled matter  
16 went off the record at 2:00 p.m.)

17

18

19

20

21

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701