

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND WORKER HEALTH

+ + + + +

ROCKY FLATS PLANT WORK GROUP

+ + + + +

WEDNESDAY  
OCTOBER 28, 2015

+ + + + +

The Work Group convened via teleconference at 10:30 a.m. Eastern Daylight Time, David Kotelchuck, Chairman, presiding.

PRESENT:

- DAVID KOTELCHUCK, Chairman
- R. WILLIAM FIELD, Member
- WANDA I. MUNN, Member
- DAVID B. RICHARDSON, Member
- PHILLIP SCHOFIELD, Member

ALSO PRESENT:

- TED KATZ, Designated Federal Official
- LAVON RJUTHERFORD, NOSH
- TERRIE BARRIE, Petitioner
- DANIEL W. MCKEEL
- JUDY PADILLA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## A-G-E-N-D-A

Welcome and Roll Call .....	3
CML Update .....	4
Response to Petitioner's Paper on Data Falsification .....	19
Response to Petitioner's Concern with Co-60 Sources .....	23
Work Group Discussion Toward RF Recommendation and/or Path Forward .....	33
Discussion of WG Presentation at November Board Meeting .....	34
Petitioner's Comments .....	39
Adjourn	

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 10:33 a.m.

3 MR. KATZ: Okay, well, why don't we get  
4 started with roll call since it's 10:30. I can  
5 circle back and ask for Bill again at the end of  
6 that. Since we're speaking of a specific site,  
7 when we're doing roll call, everybody speak to  
8 conflict of interest that's Agency-related,  
9 please.

10 And the agenda for the meeting and one  
11 document are posted on the NIOSH website for  
12 everybody's information under the Board section,  
13 under Meetings, today's date. So you can find the  
14 agenda and follow along.

15 So, let's begin.

16 (Roll Call)

17 MR. KATZ: Very good. Okay, so if  
18 everyone then would mute their phones. If you  
19 don't have a mute button, please press \*6 except  
20 for whoever happens to be speaking at the time and  
21 press \*6 again to come off of mute. And please  
22 nobody put the call on hold. Hang up and dial back

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in if you need to leave for a piece.

2 And Dr. Kotelchuck, it's your meeting.

3 CHAIRMAN KOTELCHUCK: Okay. Well,  
4 actually, it's my meeting, but the first few  
5 reports are going to be by LaVon.

6 First, let's talk about CML update. We  
7 had a discussion with [identifying information  
8 redacted] the other day. I was on it. LaVon was  
9 on it. I don't know if anybody else was won the  
10 line. Well, some of the people, the staff people,  
11 excuse me, some of the SC&A people were on, and  
12 NIOSH. But I don't think any other members were  
13 on.

14 MEMBER MUNN: I don't believe I was  
15 aware of it.

16 CHAIRMAN KOTELCHUCK: Oh, well, hm.

17 MEMBER MUNN: I wasn't online.

18 CHAIRMAN KOTELCHUCK: Okay. Anyhow,  
19 LaVon, would you give us an update, a report? I  
20 know you don't have a formal written response  
21 because the meeting was just about a week ago,  
22 right?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. RUTHERFORD: Yeah, that's correct.  
2                   And we have to go through a process with that  
3                   interview where that interview will be sent to  
4                   [identifying information redacted] to review to  
5                   make sure that what we wrote down in the formal  
6                   writings from the interview was what he intended  
7                   or what he said.

8                   We get agreement on that and then we'll  
9                   actually issue the interview notes to the Work  
10                  Group. So that does take a little while.

11                  And basically what I'm going to be doing  
12                  is giving an update on, okay, you know, get some  
13                  background, giving a little briefing on the  
14                  interview, what I can say about the interview. And  
15                  then based on what we heard in the interview, where  
16                  we're going to go from there.

17                  As Dr. Kotelchuck and everyone else  
18                  remembers, we did issue a report back in July on  
19                  the Critical Mass Laboratory. We presented that  
20                  paper. It addressed potential exposures to  
21                  fission and activation products during the  
22                  operations and D&D activities at the CML.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The paper modeled a buildup of fission  
2           and activation products. It focused on exposures  
3           from the post-1983 period. We focused that way  
4           because, as you remember, we're already in the SEC  
5           up through 1983.

6           SC&A reviewed the White Paper and  
7           everyone was basically in agreement with the  
8           modeled approach. The conclusion of that paper  
9           was that any external exposures would have been  
10          detected by the personal dosimeters. The bioassay  
11          program would have detected uranium and plutonium  
12          intakes, and the in vivo bioassay using gamma spec  
13          would have detected most fission and activation  
14          products, with the exception of strontium-90.

15          Our model concluded that no significant  
16          personal dose resulted from fission or activation  
17          products as a result of the criticality experiments  
18          conducted at CML.

19          After that presentation, [identifying  
20          information redacted], the associate research  
21          scientist at CML, spoke and had significant issues  
22          with the model that we developed. The main issues

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with the model were the average power that we  
2 assumed, the 10 milliwatts, and the duration of the  
3 experiments. He had other issues, but those were  
4 the two main issues that were tied to our model.

5 Based on his comments, we committed to  
6 re-interview [identifying information redacted].  
7 And as Dr. Kotelchuck mentioned, we had that  
8 interview on October 13.

9 I won't review the whole interview, but  
10 I will go over his main issues, or his main  
11 responses, because he provided those to us in an  
12 email before the meeting. We provided interview  
13 questions before the meeting and then [identifying  
14 information redacted] prepared responses ahead of  
15 time before the interview.

16 So, I will go over his main issues.  
17 Number one was no one can ever know the radiation  
18 levels at the CML. NIOSH cannot reconstruct  
19 radiation doses in Building 886.

20 Radionuclide intakes of workers at CML  
21 are likewise truly unknowable. And no one can even  
22 bound the neutron flux rates for the CML

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 experiment. And one watt is not an upper bound,  
2 nor is 10 milliwatts a lower bound to the power  
3 level.

4 So those four main issues, I mean,  
5 basically he was telling us that, in his opinion,  
6 that we could not, using our existing model,  
7 reconstruct the fission and activation products.

8 However, there were things that came  
9 out of the interview that we felt that we needed  
10 to pursue that may give us additional information  
11 to come to our final conclusion.

12 [identifying information redacted]  
13 indicated he sent 35 boxes of data information  
14 generated at the CML to Los Alamos National Lab.  
15 We have sent a data capture request to Los Alamos  
16 National Lab to retrieve those boxes.

17 We're hoping to find some more  
18 information on power levels, on anything that would  
19 help us to either make us feel comfortable with the  
20 model that we have provided, or give us additional  
21 information so that we can modify that model, if  
22 necessary. So we plan to look through those boxes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of supporting information.

2 Another thing is a number of workers --  
3 through these interviews and through the  
4 discussions, we've been able to identify a number  
5 of workers who worked at the CML during the 1983  
6 to 1989 period. We are working to retrieve their  
7 personal monitoring data to see what they were  
8 monitored for and their frequency.

9 Basically, we want to go back, look at  
10 their personal bioassay data, whole body counts --  
11 or actually lung counts, I should say -- and other  
12 data to see if we have any indication of potential  
13 exposure that occurred there.

14 We are also attempting to retrieve  
15 data. If you all remember, the high-enriched  
16 uranium solutions were shipped offsite in the  
17 nineties. We are actually looking back to see if  
18 we can go to the site that the HEU had been shipped  
19 to to see if they had data from when it was received,  
20 you know, the activity concentrations for the  
21 solutions, or if they have any additional data from  
22 when it was processed at that facility.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           An additional item that we are looking  
2           at is we're looking at criticality experiments  
3           conducted in the complex that may provide better  
4           information concerning fission and activation  
5           products.

6           Throughout the complex, there's a  
7           number of places that were doing criticality  
8           experiments. And so we're just looking at seeing  
9           if there's similarities that we can get from  
10          criticality experiments that were conducted at  
11          these other sites. Also, any fission and  
12          activation product levels that were generated by  
13          those experiments.

14          We did conduct an interview last week  
15          with a radiological control technician. And,  
16          again, I can't release the specifics of that  
17          interview, but I can say there is an issue that came  
18          out from that interview.

19          This individual worked in the post-1983  
20          period up until pretty much facility closure. And  
21          if you remember, one of our premises that we had  
22          been working to is that there was little potential

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for exposure to airborne contaminants and loose  
2 contamination based on the operations and routine  
3 monitoring that was occurring.

4 Basically, we had gone across the  
5 premise that there was little chance, based on how  
6 the operations were set up at the CML, there was  
7 little chance for internal exposures due to high  
8 airborne or contamination in the area.

9 This interview identified some issues  
10 with this. So, based on that interview, we're  
11 trying to retrieve -- we actually have some air  
12 monitoring data for the facility and we are looking  
13 at pulling in other area monitoring and air  
14 monitoring data to kind of validate what the  
15 individual had said, or bring that into question,  
16 whatever that may be.

17 And the individual provided some  
18 additional information, or some additional names  
19 for individuals, technicians, radiological  
20 control technicians that worked during that era.  
21 So we are also looking to interview those  
22 individuals.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So, I believe that the long pole in the  
2                   tent for coming to a conclusion on this is pulling  
3                   the 35 boxes at Los Alamos National Lab. I will  
4                   be out at Los Alamos National Lab next week and kind  
5                   of get a feel in-person of how quickly they think  
6                   they'll be able to retrieve those boxes and give  
7                   us a chance to take a look at them.

8                   And obviously the Work Group and SC&A  
9                   can attend when we review those boxes of  
10                  information, as necessary.

11                  So, once I get a better feel for when  
12                  that data capture can occur, I can give you a better  
13                  date on when we can have an updated report on the  
14                  Critical Mass Laboratory. And that's about it on  
15                  that issue.

16                  CHAIRMAN KOTELCHUCK: Okay. I was  
17                  listening to the conversation, the interview with  
18                  [identifying information redacted] . He was  
19                  suggesting that they never monitored the neutron  
20                  flux as such, either inside where the criticality  
21                  experiment was going on, or behind the protective  
22                  wall. And I was curious about that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           First, am I correct in saying that,  
2           LaVon? Is that your understanding as well?

3           MR. RUTHERFORD: I think what he was  
4           saying was they had the log paper that could  
5           potentially identify the neutron flux -- could  
6           potentially be used. But, again, he had thought  
7           that -- he felt that that paper had been destroyed.  
8           And that was one of the things we want to look at  
9           when we go to look at those 35 boxes.

10           I think one of his other issues was that  
11           you couldn't estimate the radiation levels, both  
12           gamma and neutron, inside 886. But I think his  
13           point was it was inside the area because -- and,  
14           again, I don't want to get too much into the  
15           interview because he's got to make sure he's in  
16           agreement with what we're saying.

17           CHAIRMAN KOTELCHUCK: Okay. I mean, I  
18           assume that -- and, again, just correct me if this  
19           is your impression, okay? So let's not say what  
20           did [identifying information redacted] say, but  
21           what was your impression participating in the  
22           interview.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           I mean, he did, I assume -- or put it  
2           this way, I assume that the folks were wearing  
3           badges who were working behind the protective wall.  
4           I mean, there was an area that was presumably hot  
5           near the criticality measurements.

6           But I got the impression that somehow  
7           people behind the wall were not -- were they wearing  
8           their badges? And don't we have them?

9           MR. RUTHERFORD: We do have some of  
10          them. And that's actually one of the things that  
11          we are going back to do a little more validation  
12          to. A number of individuals that we have their  
13          names that worked in the area, that worked at the  
14          CML during that period, we're actually going back  
15          to try to retrieve their personal monitoring data,  
16          both internal and external, to verify that they  
17          were monitored.

18          CHAIRMAN       KOTELCHUCK:            Okay.  
19          [identifying information redacted] suggested,  
20          though, that the log paper, running the straight  
21          line on the log paper, was a measure of relative  
22          neutron flux, but not of neutron flux itself. That

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is, that suggested that they were moving toward  
2 criticality. That was my understanding, at least.

3 Again, we'll read more in the interview  
4 after the interview notes. And I'm actually glad.  
5 I was not aware that when we have an interview like  
6 this, not only is there a transcript, but that  
7 transcript is reviewed by [identifying information  
8 redacted] so we can make sure that he agrees this  
9 is what he said. And we agree.

10 MR. RUTHERFORD: Yes, that's lessons  
11 learned over a long period of time.

12 CHAIRMAN KOTELCHUCK: Yeah, yeah.  
13 No, that's very important.

14 I mean, you know, when you're dealing  
15 with criticality there are lots of -- I wouldn't  
16 say incidents -- well, lots of occurrences happen  
17 where you have, I assume, large flashes of neutron  
18 doses as things get hot or get near criticality.

19 It does seem to me it will be rather  
20 difficult to assess the neutron exposures there.

21 But let's wait. Certainly, we'll wait  
22 until we have the transcript, and then all of us

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 will be able to go over it, including [identifying  
2 information redacted], and we'll go on from there.

3 One last question. You said it'll take  
4 a while, of course, for the transcript to be typed  
5 out and sent to him for review. Do you have any  
6 sense of how long that might take? Are we talking  
7 about a few months?

8 MR. RUTHERFORD: I would definitely  
9 say it would be done within that time period.

10 Again, I think the thing that's going  
11 to take the longest is getting the boxes from Los  
12 Alamos National Lab and reviewing those.

13 CHAIRMAN KOTELCHUCK: You're right.  
14 Thirty-five boxes.

15 MR. RUTHERFORD: Yes. I don't  
16 suspect, because [identifying information  
17 redacted] provided a written response to the  
18 questions ahead of time, I don't suspect we'll have  
19 that much difficulty getting his interview notes  
20 squared away.

21 CHAIRMAN KOTELCHUCK: Right, right.  
22 I don't recall having seen his notes on the --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. RUTHERFORD: I forwarded them to  
2 you right before the meeting. The petitioner,  
3 Terrie Barrie, had sent them to us and I forwarded  
4 them to you to make sure that you'd gotten them.  
5 I think I sent it to your CDC.

6                   CHAIRMAN KOTELCHUCK: Right, right.  
7 That's right. Well, I guess I remember now. You  
8 did indicate you had sent it. I had not seen it  
9 yet, given that it was sent relatively soon before  
10 the interview.

11                   And I was a listener in the interview,  
12 not the interviewer, so I may not have gone back  
13 and found those and read them, and I will do that.

14                   Okay. Any other questions about the  
15 Critical Mass Lab and the update by any of our  
16 Subcommittee members?

17                   MEMBER MUNN: Not at this time, no.

18                   CHAIRMAN KOTELCHUCK: Okay.

19                   MEMBER SCHOFIELD: This is Phil. I've  
20 got a question.

21                   MR. RUTHERFORD: Okay.

22                   CHAIRMAN KOTELCHUCK: Good.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER SCHOFIELD:   The Critical Mass  
2                   Lab, do we know where and how these sources in  
3                   particular were stored?   You know, I mean, and  
4                   particularly if they were missing the neutron  
5                   exposure, I would assume their film badges would  
6                   pick that up.

7                   MR.   RUTHERFORD:           Yeah,   you   are  
8                   correct, the badges we suspect would measure the  
9                   neutron   exposure   inside   the   Critical   Mass  
10                  Laboratory.

11                  We have a pretty good history of when  
12                  material was brought into the Critical Mass  
13                  Laboratory.   And during the '83 to '89 period, or  
14                  '87 when they actually stopped operations, we know  
15                  what was stored inside the facility at that time.

16                  CHAIRMAN KOTELCHUCK:       Okay, good.  
17                  Any other questions, or any of the NIOSH or SC&A  
18                  folks?   Okay.

19                  So, next, LaVon, again, we're going to  
20                  ask for you to talk to us about your response to  
21                  the petitioner's paper on data falsification.

22                  And those are, let me see.   There was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a letter, a fairly detailed letter by Terrie  
2 Barrie, who's on the phone, and also [identifying  
3 information redacted]. And there was perhaps  
4 another one from Ms. Padilla.

5 MR. RUTHERFORD: Actually, Ms. Padilla  
6 is the petitioner on a different Rocky Flats  
7 petition. That's SEC-227.

8 CHAIRMAN KOTELCHUCK: Okay.

9 MR. RUTHERFORD: Now, I can talk about  
10 Ms. Barrie's and [identifying information  
11 redacted] White Paper.

12 CHAIRMAN KOTELCHUCK: Okay.

13 MR. RUTHERFORD: Alright. And what I  
14 had really wanted to do was actually have a formal  
15 response written, but we haven't finished that yet.  
16 And I didn't want to send that out, you know, two  
17 days before the Work Group meeting and not give  
18 people time enough to review it.

19 CHAIRMAN KOTELCHUCK: Right.

20 MR. RUTHERFORD: So again, Terrie, on  
21 September 19th, the petitioners provided a formal  
22 response to our White Paper on data falsification

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and validation. The response was sent to the Work  
2 Group, NIOSH and others.

3 The petitioner's paper identified a  
4 number of concerns with NIOSH's paper and  
5 conclusion. And we assumed -- since it was sent  
6 to the Work Group and others, we assumed that we  
7 were expected to respond to that. And so we are  
8 working on that formal response, as I mentioned.

9 It is in internal review at this time.  
10 However, I couldn't get it out. I kind of set a  
11 deadline of at least a week before the Work Group  
12 meeting to get it out. And if I couldn't meet that  
13 I wasn't going to send it out.

14 CHAIRMAN KOTELCHUCK: Well, I  
15 appreciate that, because we have had problems  
16 before sending out materials at the last minute.  
17 And people were rightfully upset that they didn't  
18 have a chance to review things before.

19 MR. RUTHERFORD: Right. I can say,  
20 though, I want to say that -- I can generally say  
21 that our conclusion from the paper is the same.  
22 That paper will be out very soon, next week I hope,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or shortly thereafter.

2 But we didn't feel that there was new  
3 information that would change our current  
4 prediction that there's personnel monitoring data  
5 for the time period of concern to allow for dose  
6 reconstruction.

7 So, I know we'll discuss this at a later  
8 Work Group meeting once the paper is out, but I will  
9 say, in general, I don't feel our position is going  
10 to change.

11 CHAIRMAN KOTELCHUCK: Okay. Well,  
12 the petitioners who -- at least Terrie Barrie,  
13 who's on the line, will have time for comment later  
14 at the end of the session. But will there be any  
15 revision of the White Paper that has already gone  
16 out? On data falsification.

17 MR. RUTHERFORD: I'm glad you brought  
18 that up. Yes, there will. We are going to there  
19 were some general conclusions that we made in that  
20 paper. We corrected one of those general  
21 conclusions.

22 We made that conclusion based on -- it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 wasn't a conclusion that we had hard facts to come  
2 to, and came to a conclusion. However, it kind of  
3 looked like that it was a biased conclusion. So  
4 we removed one of those. And then everyone will  
5 remember that one of the interviewees identified  
6 the destruction of personnel monitoring records.

7 CHAIRMAN KOTELCHUCK: Right.

8 MR. RUTHERFORD: We made a conclusion,  
9 based on our other interviews, our information we  
10 had, the fact that we had personnel monitoring  
11 data.

12 However, that conclusion -- we  
13 shouldn't have made that conclusion. We shouldn't  
14 have made the conclusion that it was field surveys  
15 and not personnel monitoring data. We weren't  
16 there when that interviewee destroyed those  
17 records so we can't make that conclusion.

18 CHAIRMAN KOTELCHUCK: Very good. I  
19 strongly agree with you that the comment about  
20 field surveys in the paper, on page 14 actually,  
21 I didn't believe there was evidence for that.

22 That may have been, but it may not have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       been. And there now appears to be, based on the  
2       [identifying information redacted]-Barrie letter,  
3       some additional information about materials that  
4       were destroyed, or records that were destroyed.

5               But, anyway, you'll address that in  
6       both the revised White Paper and the paper that's  
7       coming out soon.

8               MR. RUTHERFORD: Correct.

9               CHAIRMAN KOTELCHUCK: Okay, that's  
10       good. I'm very glad to hear that.

11              Okay. Well, do other people have any  
12       comments, other Working Group members or staff?  
13       Since this is a Working Group meeting.

14              MEMBER MUNN: No, not until the  
15       investigation is complete.

16              CHAIRMAN KOTELCHUCK: Okay. Moving  
17       right along rather rapidly, but that's fine. Onto  
18       Item 3, response to petitioner's concern with  
19       cobalt-60 sources. And LaVon, again I'm turning  
20       to you.

21              MR. RUTHERFORD: Okay. As Dr.  
22       Kotelchuck had mentioned, the petitioner did have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a concern with cobalt-60 sources. The petitioner  
2 provided us a file with over 287 pages of  
3 information that was retrieved from a FOIA request.

4 A little background. As most of you  
5 know, a cobalt-60 source is typically used for  
6 industrial radiography, calibration, leveling,  
7 thickness, and to check sources for instruments.

8 Cobalt-60 releases two high-energy  
9 gammas when it decays. Because these high-energy  
10 gammas -- when you have a higher curie content of  
11 cobalt-60, because of these high-energy gammas you  
12 have a higher radiation field. And so they  
13 typically are contained within a shielding device.

14 And as with other sealed sources, they  
15 are not an internal exposure concern unless they  
16 leak.

17 So, typically, sites that have sealed  
18 sources, or sources inside of lead containers, or  
19 different containing devices, shielding devices,  
20 they will do leak checks on those.

21 A leak check is done with a smear, with  
22 these little dip smear where they'll smear the area

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 around it, and then they'll take those smears to  
2 a low-background area. Because if they're smearing  
3 for beta gamma, looking for a leak of the beta gamma  
4 source, if there's a high beta gamma background  
5 exposure you can't read those smears in that area.  
6 So you will take it to a low-background area to read  
7 it.

8 So, that's just giving you a little feel  
9 on that. So, typically, a source in that manner  
10 is not an internal exposure hazard. However, they  
11 do do leak checks to look for that.

12 So as the petitioner notes, there were  
13 two sources. The first source was stored inside  
14 a cabinet in Room 125. The room did contain other  
15 sources, based on our review of the other  
16 documents. So it could have been a check source,  
17 but there's not enough information to conclude what  
18 --

19 CHAIRMAN KOTELCHUCK: Excuse me just a  
20 second. You said Room 125.

21 MR. RUTHERFORD: Correct.

22 CHAIRMAN KOTELCHUCK: In what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 building?

2 MR. RUTHERFORD: Shoot, I can't  
3 remember now. I don't have that written down.

4 CHAIRMAN KOTELCHUCK: Okay.

5 MR. RUTHERFORD: Yeah, I can get that  
6 information for you.

7 CHAIRMAN KOTELCHUCK: If you would,  
8 yeah.

9 MR. RUTHERFORD: So, anyway, so we  
10 don't know the exact size of that source. But the  
11 source was found in Room 125.

12 The other source was a 600-curie, very  
13 large source. It was inside a Gammacell 220  
14 irradiator. And the documentation that was sent  
15 to us, most of it was a work package that was put  
16 together to remove this large cobalt-60 source and  
17 all the steps that were going to take place to  
18 remove it.

19 So, the petitioner's concern was a  
20 statement dated 7/6/1999 and found on page 196.  
21 Direct readings were not taken due to very high  
22 background from the presence of a 60-curie

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cobalt-60 source located inside the Gammacell 220.

2 So, as I noted earlier, direct beta  
3 gamma readings for contamination in the presence  
4 of high-background radiation cannot be performed.  
5 High-background radiation will interfere with the  
6 ability to directly measure contamination levels  
7 for beta gamma.

8 So, if we were going to look for loose  
9 and fixed contamination, which is typically what  
10 a lot of surveys will incur, you're doing a fixed  
11 contamination survey. That would mean you would  
12 have to measure whatever you're doing the survey  
13 on directly.

14 And in this case you cannot measure it  
15 directly because the background is too high, it  
16 gives you too much interference.

17 So, looking for the loose  
18 contamination, we can look for that. We can  
19 measure that through smears. And what they would  
20 do is, as I mentioned, they'd take a smear and then  
21 take it to a low-background area and count the  
22 smear.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Now, if you look at the survey that was  
2 taken, it indicated that the readings were less  
3 than 130 dpm per 100 centimeters squared.

4                   And the concern was, well, okay, and the  
5 petitioner had mentioned, that it appeared to be  
6 that there was detectable contamination.

7                   But less than 130 dpm per 100  
8 centimeters squared, that meant that there was --  
9 the 130 dpm per 100 centimeters squared is the  
10 minimum detectable level for that instrument that  
11 they were using.

12                  So, there was no detectable  
13 contamination actually found in the survey taken.  
14 But when they record the data, they record it based  
15 on the actual detection level of the instrument.  
16 So that's why it indicated that it was less than  
17 130 dpm per 100 centimeters squared. And it also  
18 appeared that that survey was actually a leak check  
19 survey on that irradiator and source.

20                  The petitioner further pointed out that  
21 a large job review narrative dated August 11th,  
22 1999, had preliminary contamination surveys

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 outside the source cask of less than 20 dpm per 100  
2 centimeters squared removable, and less than 45 dpm  
3 per 100 centimeters squared.

4 So, petitioner was concerned with the  
5 difference in the contamination levels between the  
6 two surveys.

7 So, those measurements, the less than  
8 20 dpm per 100 centimeters squared and the less than  
9 45 dpm per 100 centimeters squared, those are alpha  
10 contamination, not beta gamma. So those were  
11 completely different surveys that were taken.

12 The other contamination survey, like I  
13 said, was beta gamma. This one was alpha. You  
14 know it's alpha because of the alpha symbol that's  
15 on the top of the table of the survey.

16 And, again, by saying less than that  
17 level, that is less than 20, 20 is the minimum  
18 detectable activity that they can see by the method  
19 that they were using. So that's how you would  
20 record that.

21 So there was actually no detectable  
22 alpha activity. And in reviewing that survey,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that survey was actually a room survey that was  
2 taken in preparation for removing the source.

3 So, what they were looking for in that  
4 case, they weren't necessarily looking for the leak  
5 from the cobalt-60 source. They were looking at  
6 any residual plutonium or uranium that may have  
7 been in the area that had caused the area to become  
8 a contamination area, or that when they removed  
9 that source it could generate a high airborne.

10 So, again, they did not detect any  
11 activity on that survey. So in our review of the  
12 documents, we don't see any unusual exposure  
13 concern, or any potential exposure from removing  
14 that cobalt-60 source, or any indication that that  
15 source was leaking. And our review of other  
16 documentation in our Site Research Database has  
17 given us no concern as well.

18 And that's all I've got on that.

19 CHAIRMAN KOTELCHUCK: Okay. I have no  
20 comment. That's helpful and clear to me, at least.  
21 Are there other folks wanting to comment? Again,  
22 staff or Working Group members?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER   MUNN:           No.           LaVon's  
2           explanation   is   quite   clear   and   extremely  
3           reasonable.   Those sources are a thing about which  
4           any worker that works anywhere near them is  
5           certainly aware.   There was a reasonable amount of  
6           attention paid in all cases to any movement of those  
7           capsules.   So certainly everything that has been  
8           related so far is in accordance with activities  
9           surrounding those sources as we know them.

10                   CHAIRMAN   KOTELCHUCK:       Okay, good,  
11           good.   Thanks.   So, any other?   Well, we were  
12           asked by Dr. Melius to give a presentation at the  
13           November Board meeting.   Excuse me, first, the  
14           Working Group discussion toward recommendation.  
15           I was thinking, as we made this up, that we would  
16           be moving toward a decision more quickly than will  
17           be the case.

18                   If it will take us a period of weeks to  
19           months to finish the transcript and get approval  
20           for that with [identifying information redacted]  
21           and the CML concern will remain, I don't see that  
22           it's reasonable to move toward a recommendation or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discussion for a recommendation at this point.

2 I believe that when that is finished,  
3 I believe the group should be able to move toward  
4 making a recommendation to put forth before the  
5 Board. This means, clearly, that we're not going  
6 to make a recommendation for the November meeting,  
7 which actually I guess I did suspect before we  
8 wouldn't finish. I thought there was a possibility  
9 of completing the discussion today, but that's  
10 not the case.

11 And so I was asked, on Item 5, to give  
12 a presentation at the November Board meeting, which  
13 I plan to do. And I think what I will do, if folks  
14 are open, is I will prepare something and send it  
15 to the Working Group members for your input and  
16 advice.

17 This is the end of October, toward the  
18 end of October, so I'll have to do that in the next  
19 week or two, give you folks at least a week. So  
20 I will have something to you certainly, I guess,  
21 by the end of the first week in November. And I'll  
22 send that around to you and make a presentation at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the Board meeting.

2 But I don't see that there's any useful  
3 discussion of 4 and 5 at this point. But I would  
4 wonder if other Working Group members had thoughts  
5 about that, or about a path forward and  
6 presentations. Are there suggestions, or  
7 concerns, or issues?

8 MEMBER MUNN: Not here, Dave. This is  
9 the dilemma that we always find ourselves facing.  
10 We want to be thorough, but in order to be thorough  
11 time passes. And there's always more time passing  
12 than usual.

13 And, of course, we will be pilloried in  
14 the press for that, but that's to be expected.  
15 It's been the course of action for the last 10  
16 years, and so it seems to me to be the side on which  
17 we have chosen to err, and I think appropriately  
18 so.

19 I can't see that your report would need  
20 to be particularly lengthy, but it certainly is  
21 advisable, from my perspective, to follow through  
22 on the comments that LaVon has made and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information we've received today. I think it's  
2 reasonable to update that, but I can't see that we  
3 could actually propose anything else to the Board  
4 at this time.

5 CHAIRMAN KOTELCHUCK: Yeah, I agree on  
6 that. We can't make a proposal.

7 Actually, it was at our last Working  
8 Group meeting that for the first time I was aware  
9 of [identifying information redacted] presence and  
10 concerns. And so that was not something that  
11 several meetings ago I was aware that would be an  
12 important issue, but it is and we have to be  
13 thorough.

14 And that's just going to take a little  
15 bit more time. But certainly important issues  
16 were raised there. And Lavon and others have moved  
17 promptly to have an interview with him, and then  
18 of course we now have 35 boxes of records to  
19 consider. So, those certainly need to be gone  
20 over. And that will take a lot of time.

21 So, things are delayed somewhat, but in  
22 an important area.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ: Dave, this is Ted. Just to  
2 give you a hard deadline, we need the presentations  
3 by no later than, I would say, the 12th.

4                   CHAIRMAN KOTELCHUCK: Good. Okay.  
5 So noted.

6                   MR. KATZ: And if you would copy me and  
7 then I'll make sure that the staff on both SC&A and  
8 NIOSH staff can see your draft presentation too.

9                   CHAIRMAN KOTELCHUCK: Excellent.  
10 Okay, very good. I will do that.

11                  MR. RUTHERFORD: Dr. Kotelchuck? This  
12 is LaVon. I can also provide you just a history  
13 of some of the background for the issues that we've  
14 worked over the period of time, if you want to use  
15 that in your presentation.

16                  CHAIRMAN KOTELCHUCK: That would be  
17 most helpful and I would appreciate that. So, this  
18 will be my first presentation certainly as Chair  
19 of this Work Group.

20                  Okay. Thanks. And now Item 6,  
21 petitioner's comments. And Ms. Barrie is on the  
22 line, Dr. McKeel, and Ms. Padilla. Do any of you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 wish to speak now? You're most welcome.

2 MS. BARRIE: Hi, Doctor. This is  
3 Terrie Barrie. And I really don't have a whole lot  
4 to say. I appreciate the update.

5 I would like to give just a little bit  
6 of background on the cobalt. This has been an  
7 issue that I've heard from a former worker who was  
8 there when the unit was removed. And she said that  
9 there was a very high reading and she wasn't badged  
10 for it.

11 And I was wondering if NIOSH could maybe  
12 take another look through their records possibly  
13 to see, besides what I have. I mean, it took me  
14 years to get this information to see if there's any  
15 other readings on that. Because she was told to  
16 stand back because the readings were so high.  
17 That's her words.

18 And I do appreciate the thoroughness.  
19 My position and [identifying information redacted]  
20 position is that NIOSH cannot reconstruct dose.  
21 And we've laid out all the various reasons.

22 But I do appreciate, and I'm not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        faulting how long it's taking. I'd much rather  
2        have a thorough investigation, and hopefully the  
3        conclusion that there's issues that are  
4        questionable and that the SEC be expanded. So,  
5        that's all I have to say for now.

6                    CHAIRMAN KOTELCHUCK: Okay. If you  
7        have concerns that a person was not badged, I assume  
8        that that cannot be checked without LaVon certainly  
9        knowing who was supposed to be badged. Or put it  
10       this way. You'll be talking with LaVon if you have  
11       any information more detailed. Obviously, you  
12       can't check for badges if you don't know the person  
13       or persons.

14                   Although maybe there are a group, if you  
15       can give a time on that, when it was actually --  
16       we probably -- or LaVon probably knows the time when  
17       the cobalt-60 source was removed.

18                   MS. BARRIE: Yes.

19                   CHAIRMAN KOTELCHUCK: So, if you send  
20       him a note, just to say when that was, and he will  
21       certainly look at it.

22                   MS. BARRIE: Okay, and I also know the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 worker's name and I'll ask if she wants to be  
2 interviewed. If that's okay.

3 CHAIRMAN KOTELCHUCK: Well, in a  
4 sense, I'll leave it to LaVon and the staff there  
5 to decide whether they want to actually hold a  
6 formal interview or kind of how to proceed on that.

7 So, I don't want to say yes or no because  
8 I really don't -- I would say that the  
9 administrative responsibility on that is LaVon's.  
10 Is that not right, LaVon?

11 MR. RUTHERFORD: Yes. Terrie, you can  
12 get in touch with me and we'll work out a path  
13 forward.

14 MS. BARRIE: Okay, I will.

15 CHAIRMAN KOTELCHUCK: Good. So, any  
16 other comments from the other folks? Dr. McKeel  
17 and Ms. Padilla?

18 DR. MCKEEL: This is Dan McKeel. I was  
19 just listening about the cobalt-60. And I guess  
20 my only comment on LaVon's report is, you know, it  
21 seems to me if you're investigating a 600-curie  
22 source my question about all such sources is -- it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 maybe a little bit of an extension of the  
2 petitioner's concern -- but is there any record  
3 kept of that particular source and the series of  
4 leak tests?

5           You know, that would be more convincing  
6 and persuasive if that source had never leaked.  
7 And I believe that for all those sources there's  
8 a requirement in OCAS-IG-003 to actually measure  
9 and record the output of those devices for the  
10 external gamma and include that in the dose  
11 reconstruction process.

12           And I understand that you make the  
13 blanket statement that everybody exposed to that  
14 source has a film badge and so forth, but I wonder  
15 if you really can identify those individuals. And  
16 if not, you need to identify the source term  
17 certainly more conclusively than what I just heard.

18           And, you know, I'm talking about were  
19 there any accidents, were there any incidents with  
20 that, and so forth.

21           So, as far as it goes, it sounds like  
22 at some time point there was no leakage and no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exposure at some time point when it was being  
2 removed. But I'm sure that source, that huge  
3 source, was there and in use for probably years.

4 So, it seems to me that this is one of  
5 those investigations that's certainly directed at  
6 the petitioner's concern, but it's not very  
7 extensive, exhaustive, or conclusive overall as  
8 far as what exposure there was from a 600-curie  
9 source.

10 CHAIRMAN KOTELCHUCK: Well, there of  
11 course will be a transcript of this discussion.  
12 And that will be on the record. I don't know if  
13 --

14 DR. MCKEEL: I do have a question about  
15 that; that actually is a question. You use the  
16 term there will be a transcript of the interview.

17 CHAIRMAN KOTELCHUCK: Yes.

18 DR. MCKEEL: And my idea of a  
19 transcript is a verbatim transcript. And I am not  
20 aware of any interview that I've ever seen, at least  
21 in the sites that I'm familiar with, where there's  
22 an actual verbatim transcript.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Most of the time what gets released is  
2                   what I would call an interview summary.     And  
3                   that's really quite a different thing.     That's  
4                   somebody else's rendition of what was asked and  
5                   answered.

6                   MR. RUTHERFORD:   Dr. McKeel, that is  
7                   correct.   It is a summary, but that summary is sent  
8                   to the interviewee.     It's reviewed by the  
9                   interviewee to verify that they agree with what was  
10                  said.

11                  DR. MCKEEL:   That's correct, but it's  
12                  not, technically speaking, a verbatim transcript,  
13                  right?

14                  MR.       RUTHERFORD:           Technically  
15                  speaking, it is definitely not a verbatim  
16                  transcript, but don't ad lib on what it is.

17                  CHAIRMAN KOTELCHUCK:   You're talking  
18                  now about --

19                  DR. MCKEEL:   I said it was a summary and  
20                  you agreed it was a summary.

21                  CHAIRMAN KOTELCHUCK:   You are talking  
22                  about not the discussion that we're having right

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 now for the Working Group meeting, but you're  
2 talking about the interview with [identifying  
3 information redacted]. Is that correct?

4 DR. MCKEEL: Correct.

5 CHAIRMAN KOTELCHUCK: I see. And that  
6 is a summary. Okay. Then LaVon certainly knows.

7 LaVon, that summary, I assume after it  
8 is reviewed by [identifying information redacted]  
9 to make sure that he agrees that that is what he  
10 said, that will then be a public document, will it  
11 not?

12 MR. RUTHERFORD: It is maintained in  
13 our records. Obviously, anything that's released  
14 has to be gone through Privacy Act to ensure that  
15 any Privacy Act information is not released.

16 CHAIRMAN KOTELCHUCK: Oh, yes. And  
17 certainly for that Critical Mass Lab privacy and  
18 confidentiality issues are certainly important.  
19 So, that is to be determined based on the transcript  
20 that's agreed upon. Right? The summary that's  
21 agreed upon, mutually agreed upon.

22 MR. RUTHERFORD: Correct.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK:   Okay.   Alright.  
2   Have you finished, Dr. McKeel?

3                   DR. MCKEEL:   Yes, I am.   Thank you very  
4   much.

5                   CHAIRMAN KOTELCHUCK:   Okay.   Alright.  
6   And Ms. Padilla, since you are on the phone, and  
7   I certainly saw the material that you recently sent  
8   us and was sent out to the Board as you requested.  
9   But do you have any comments you wish to make now?

10                  MS. PADILLA:   No, I don't have any  
11   comments to make at this time.   I don't agree with  
12   everything you said, but I also don't agree that  
13   you have the right to say them.   I'll reserve my  
14   comments now for the Board meeting in November.

15                  CHAIRMAN KOTELCHUCK:   Okay.   Right,  
16   okay.   Okay, thank you.

17                  MEMBER SCHOFIELD:   Dave, this is  
18   Schofield.   I've just got one comment on those  
19   sources.   I would assume that we have some kind of  
20   documentation that talks about how they were  
21   manufactured,        were        they        double-        or  
22   triple-encapsulated and stuff?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Point being, that gives you a little  
2                   more confidence about whether they're leaking or  
3                   not based upon their age and time when they were  
4                   manufactured and, you know, whether they were  
5                   encapsulated in stainless steel. You know, that  
6                   would be a concern of leakage. But if they're like  
7                   triple-encapsulated and they're only five years  
8                   old then you probably wouldn't be -- any  
9                   contamination on it was probably picked up from  
10                  somewhere else in the lab. That's something we  
11                  need to also know.

12                 MR. RUTHERFORD: Well, we do have  
13                 detailed drawings on the 600-curie cobalt source  
14                 and the irradiator that it was enclosed in.

15                 MEMBER SCHOFIELD: Okay, that answers  
16                 my question.

17                 CHAIRMAN KOTELCHUCK: Yeah, good,  
18                 good. And, of course, presumably there is  
19                 documentation on the leakage, on the check for  
20                 leakage.

21                 MR. RUTHERFORD: I'm going to see if we  
22                 have additional leak check surveys that were done

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the past on this. It is a routine frequency item  
2 that's done, as Dr. McKeel knows.

3 And we will see if we can generate some  
4 of those, or see if we can come up with some of  
5 those, if those records were maintained, or if we  
6 have them on file.

7 CHAIRMAN KOTELCHUCK: Okay, good,  
8 good. I think this is perhaps a little shorter  
9 meeting than we sometimes have, but we've dealt  
10 with the matters before us. And we have a little  
11 bit longer timeframe now to look at before we make  
12 recommendations, but we are certainly moving  
13 toward that in a while.

14 Then I think we are finished at this  
15 point. Is there any -- let's see. Ted, do we need  
16 to think about another Working Group meeting after  
17 the Board meeting? Or maybe I should be in touch  
18 with Lavon as his materials come out and then make  
19 a determination in the next couple of weeks.

20 MR. KATZ: Yes, Dave, I think we need  
21 to get a schedule from LaVon first to when he'll  
22 have wrapped up that follow-up work that he

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discussed.

2 CHAIRMAN KOTELCHUCK: Right. Okay.

3 MR. RUTHERFORD: I should be able to  
4 come up with a pretty good schedule on that next  
5 week when I'm out in Los Alamos.

6 CHAIRMAN KOTELCHUCK: Well, very good.  
7 And actually we'll have a chance to talk, Board  
8 members, I hope all of us will be there at the  
9 Berkeley meeting, or at least on the conference  
10 call. And so we might have a chance to find out  
11 a mutual date for an upcoming meeting there.

12 Okay. Then I believe we are concluded  
13 and I want to thank everyone who was on the phone.

14 Thank you, LaVon, for being the lead  
15 speaker on most of the items on today's agenda.  
16 And thank you all for participating.

17 (Whereupon, the above-entitled matter  
18 went off the record at 11:29 a.m.)

19

20

21

22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1

2

3

4

5

6

7