

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND WORKER HEALTH

+ + + + +

IDAHO NATIONAL LABORATORY WORK GROUP

+ + + + +

TUESDAY
NOVEMBER 10, 2015

+ + + + +

The Work Group convened in the Brussels Room of the Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky, at 8:30 a.m., Phillip P. Schofield, Chairman, presiding.

PRESENT:

PHILLIP P. SCHOFIELD, Chairman
JOSIE BEACH, Member
JAMES M. MELIUS, Member
GENEVIEVE S. ROESSLER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Idaho National Laboratory (INL) Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the INL Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

2

ALSO PRESENT:

TED KATZ, Designated Federal Official
BOB BARTON, SC&A
HANS BEHLING, SC&A*
RON BUCHANAN, SC&A*
DOUGLAS FARVER, SC&A*
MITCH FINDLEY, ORAU Team
JOE FITZGERALD, SC&A*
BRIAN GLECKLER, ORAU Team*
LARA HUGHES, DCAS*
JENNY LIN, HHS*
MIKE MALLETT, SC&A*
JOHN MAURO, SC&A*
JIM NETON, DCAS
STEVE OSTROW, SC&A*
JOHN STIVER, SC&A
TIM TAULBEE, DCAS

*Participating via telephone

T-A-B-L-E O-F C-O-N-T-E-N-T-S

Welcome, Roll Call, and Introductions 4

Update on DCAS follow-up/review of DCAS
Special Exposure Cohort Definition
Tim Taulbee, NIOSH..... 6
Bob Barton, SC&A..... 41

Reconstruction of Doses Associated with
Work in Test Reactor Areas
Steve Ostrow, SC&A..... 117

Reconstruction of Doses at Test Area North
John Mauro, SC&A..... 151

Reconstruction of Doses Associated with
Fission and Activation Products

Internal Monitoring for Fission and
Activation Products
Bob Barton, SC&A 221

Use of Fission-Activation Product
Indicator Radionuclides for
Assessment of Fission-Activation
Product and Actinide Intakes
Ron Buchanan, SC&A 245

Reconstruction of Doses Associated with
Fission and Activation Products at
Central Facility Area
Doug Farver, SC&A..... 270

Work Group Recommendation(s)/Plans for
November Board Meeting 280

Adjourn

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:31 a.m.)

3 MR. KATZ: Okay, welcome, everyone.

4 This is the Advisory Board on Radiation and Worker
5 Health. It's the Idaho National Laboratory Work
6 Group and we're ready to get started here.

7 First thing is we'll do roll call, and
8 since we're talking about a specific site today,
9 for all agency-related people, please speak to
10 conflict of interest and we'll begin with Board
11 Members in the room.

12 (Roll call)

13 MR. KATZ: Okay. So just to remind all
14 of you on the line to mute your phones except when
15 you are addressing the group. Press *6 to mute
16 your phone, *6 to come off of mute. And, please,
17 no one put this call on hold, but hang up and dial
18 back in if you need to.

19 And with that it's your meeting. By
20 the way there are -- All of the presentations for
21 the meeting are on the NIOSH website under today's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meeting, Board Section, or almost all of them.

2 I'm not sure, is Tim Taulbee's up there,
3 too?

4 MEMBER BEACH: Yes.

5 MR. KATZ: Okay, super. Okay, so
6 they're all on the NIOSH website. You can follow
7 along that way as these presentations are given and
8 there is the original NIOSH ER Report, the
9 Evaluation Report, on the SEC on there as well.
10 And with that it's your meeting, Phil.

11 CHAIRMAN SCHOFIELD: The agenda is
12 also on the website for those who are trying to
13 follow along with this.

14 So we're just going to go right down the
15 way it's written out, so we'll start with Tim.

16 DR. TAULBEE: All right.

17 MR. KATZ: Okay, and before you start,
18 someone doesn't have their phone on mute. It
19 sounds like a train in the background. I don't
20 know, it probably is not a train but there is some
21 background noise from someone's phone.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, again, everybody mute your phone.
2 If you don't have a mute button, press *6 to mute
3 your phone, otherwise the other folks on the phone
4 are going to have a hard time hearing.

5 CHAIRMAN SCHOFIELD: Thank you.

6 DR. TAULBEE: Thank you, Phil. My
7 presentation today is really just an update from
8 what we presented in July to the full Board to give
9 you an update of the additional information that
10 we received shortly thereafter the Board Meeting
11 and I sent this out to you all in September and
12 hopefully this will start some of the discussion
13 about the INL SEC proposed Class for CPP workers.

14 So an overview, I'm just going to
15 refresh everybody's memory on some background
16 information and then give an update of where we are
17 with review of the NOCTS claims, the data gaps, and
18 the dosimetry report monthly comparison and then
19 give a little bit of an update on our INL/ANL-West
20 activity timeline.

21 So, first, again, a refresher on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dosimetry at INL, remember we proposed a Class for
2 CPP workers and proposed to identify them based
3 upon their dosimetry badges.

4 And in the early years from 1963, well
5 actually all the way up through 1953 up through 1970
6 they had a one badge, one area methodology to where
7 if a worker was routinely monitored at MTR and they
8 went to CPP, they left their MTR badge at the MTR
9 checkpoint and then picked up a temporary badge at
10 CPP.

11 Visitors picked up their temporary
12 badges at CPP and so the dosimetry records we have,
13 CPP main badge reports, which are the Codes 5, 53,
14 and 55. We have the CPP temporary badges, and then
15 the CPP construction, which are Codes 11, 113, and
16 115.

17 These were the examples that I provided
18 before that show the CPP main badge report and you
19 can see some of the contractor codes of Phillips
20 Petroleum, AEC, Atomic Energy Commission workers,
21 even some NRF folks as well as subcontractors such

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as FC Torkelson there within that main badge
2 report.

3 For the temporary badge report this is
4 where you pick up most of the contractors that are
5 out there and the example here I've got is a news
6 reporter, other Phillips employees from other
7 areas within the INL site, additional AEC personnel
8 that didn't routinely go to CPP, and then
9 construction trades.

10 They just write FC Torkelson, and even
11 vendors, such as Coca-Cola coming in to deliver
12 Cokes to the cafeteria and resupply vending
13 machines and that kind of thing.

14 These people were all monitored coming
15 in to CPP and most of the vendors are all on these
16 temporary badge reports with a few construction
17 trades. I shouldn't say a few, a lot of
18 construction trades that are listed there.

19 Well CPP also had a construction badge,
20 or dosimeter badge report as well, and this would
21 be the CX dosimetry, and this is what we didn't have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 available at the Board Meeting back in July and
2 since then we have gotten.

3 What you will see here is the codes, HK
4 Ferguson was the main contractor, you've got
5 miscellaneous construction as well as H.S. Wright
6 here on these particular reports.

7 So, again, as a background, multiple
8 types of workers were badged upon entry to CPP.
9 The workers dosimetry could appear on any one of
10 several reports, and multiple reports.

11 For example, they could've been on CPP
12 construction and then they came up on the temporary
13 CPP dosimeter report if their construction job
14 finished and then a month later they came back, so
15 a worker's name can appear on multiple different
16 variations of this.

17 And this is up through 1970. Now after
18 1970 as we presented back in July we have proposed
19 to open the Class to anybody who was monitored and
20 the main reason for that was you were no longer,
21 you could now where your MTR badge into CPP, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so we kind of lose track of who could have gone into
2 CPP.

3 It was basically anybody who is
4 monitored at that point. So that was our reason
5 for doing so.

6 So now I want to talk a little bit about
7 the follow-up of the INL claims that we have done
8 in NOCTS. And so our first review was to determine
9 whether the employment period was within the
10 proposed SEC.

11 And so there is a total of 1753 INL
12 claims in NOCTS as of April when we started this
13 evaluation. Eight hundred and seventy-two of them
14 did not work during the SEC time period, 881 did
15 have employment during the SEC time period.

16 Our second review is to take these 881
17 claims and determine if there is an indication of
18 work at CPP. And so what we looked at was the
19 computer-assisted telephone interview, the dose
20 reconstruction report, and the DOE file.

21 And so as of July I mentioned that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were 32 claims that needed following up on at that
2 time. After receipt and review of the CX dosimetry
3 file this got narrowed down to ten claims.

4 A lot of them appeared on those CX
5 dosimetry reports and so then we were down to ten.
6 In October we are actually down to seven claims that
7 needed following up of.

8 We went back and looked at it, three of
9 them we could put as part of the Class from that
10 1970 to 1975 time period, so they had dosimetry in
11 other time periods, they were part of the Class.

12 I guess we could have done follow-up
13 with the site but it just seemed like if the Class
14 was accepted as of today they would be part of the
15 Class.

16 The request for supplemental
17 information on the seven claims was sent to the site
18 on October 5th and then a Request for Supplemental
19 Information for 11 additional claims that SC&A
20 identified, and we'll talk about I think in the next
21 presentation, was sent on October 13th.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So in total right now there is 18 of 881
2 claims, or 2 percent, that are being followed, that
3 we've got at this time. Now the reason why we
4 haven't received an update on these claims right
5 now has to do with the ANL-West SEC that we are
6 currently working on.

7 We ran into a dosimetry issue and we
8 ended up making a request of 42 claims from the site
9 to try and get follow-up on some dosimetry
10 questions that we had there.

11 We submitted those back at the
12 beginning of September. We just received all of
13 those from the site the week before last. So the
14 site is just now starting to work on these 18, and
15 so that's why we haven't received anything from
16 that. Last Thursday is when they sent the request
17 over to INL.

18 The INL Dosimeter Records Group that
19 responds to our claims makes a request for records
20 over to the separate contractor actually, to CH2M
21 Hill, I believe, and they pull previous requests

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so that they aren't sending us duplicates for these
2 supplemental requests.

3 And that happened last Friday, is when
4 that request went over. So she was expecting that
5 in the next several weeks, probably by the end of
6 the month that we would have all of these in hand.

7 So one of the questions that came out
8 of my initial presentation to the Board was, I
9 believe it was Dr. Kotelchuck made the comment of
10 okay, I guess he understood that we felt everybody
11 was monitored there, but he wanted to know do we
12 have all of the dosimetry reports.

13 It's one thing to know that everybody
14 is monitored, but do you have any gaps in the data,
15 do you have all of these, so we looked for gaps in
16 the data.

17 And so we compared the number of
18 dosimeters reported in the Health Physics Monthly
19 Reports, these are periodic reports that were given
20 by the site, so their dosimetry branch they would
21 tally up how many dosimeters from each area that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they process and report on that to their higher
2 management and then all the way up to AC, versus
3 the actual listing, the badge listing reports that
4 we receive from the site. So that's what we are
5 comparing.

6 So the first step was to look at all of
7 our dosimetry reports and do we have any identified
8 gaps across the time period. And after follow-up
9 with the site the only time period that is missing
10 in that entire grouping is December of 1970, the
11 only month that is missing through the entire 1963
12 through 1974.

13 It's interesting to note that the cycle
14 end date was December 25, 1970, so I am suspecting
15 that on Christmas Day the person responsible for
16 hitting print to print out that dosimetry report
17 did not.

18 These were an IBM system. The actual
19 dosimeter result is there. We did a comparison of
20 the annual report and then tallied up their January
21 through November and their annual dose is higher,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so that December value is in there it's just there
2 isn't a series of monthly reports that anybody can
3 find for that.

4 So it's really not of a significance
5 from a Class administration standpoint. If
6 somebody has a dosimeter on an annual report in 1970
7 then they could be assumed as part of the Class due
8 to our allowance of all monitored workers from
9 March of 1970 during that time period.

10 So that missing month really has no
11 impact on the administration of the Class in my
12 opinion. And that last bullet there is just
13 talking about our check and the January through
14 November was lower than what that annual total was,
15 so we know the dose is there, it's just there wasn't
16 a monthly report printed out in hard copy.

17 Temporary badges, none appear to be
18 missing. Again we looked from 1959 through 1976
19 and we have temporary badges for every month during
20 that time period.

21 CX dosimetry, again after the follow-up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from the previous Board Meeting in August there are
2 no gaps of missing data in the CX dosimetry reports.

3 We did have to go back to the site.
4 There was three months that appeared to missing.
5 We made them go back to the records box in late
6 August and they pulled that box and they simply
7 didn't scan those three months of pages and so they
8 sent them and so we now have all of them.

9 So the next part that I started to talk
10 about a few minutes ago is comparison of the monthly
11 Health Physics Reports, the CPP dosimetry.

12 And, again, the goal here was that if
13 the site indicated they processed 500 dosimeter
14 badges do we have 500 dosimeter results, names
15 listed on these reports, and that's what the
16 purpose here was, to see do we actually have all
17 of the data.

18 We reviewed from 1963 through 1970 and
19 we found very good agreement on the monthly reports
20 and the dosimetry printouts, and this is a graph
21 of that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Where you see the red and green is the
2 CPP monthly reports and the CPP dosimeter
3 printouts, and you see they track very well.
4 Interestingly, the CPP dosimetry printouts have
5 more names than were reported on the monthly
6 reports.

7 So I imagine this was a few additional
8 people per month that were coming through and they
9 made into the IBM system but by the time the report
10 was being written those numbers weren't tallied,
11 so we actually have more names listed than what we
12 have on the monthly reports.

13 The blue across the bottom is the CX
14 dosimetry, which would be the construction trades.
15 Interestingly, with CX nomenclature it was used in
16 the early years for construction, then it wasn't
17 used, and then they went back to it in April of 1964.

18 And if you look at some of the early,
19 say January, February, March of 1964 workers for
20 HK Ferguson you'll see them on the main CPP badge,
21 so you'll see them up there in that green area up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there at top.

2 And then starting in April of 1964 they
3 start appearing on the CX dosimetry, the same
4 workers, the same badge number, it's just a
5 different area, if you will, same contractor, HK
6 Ferguson, and so it was a just a transition of them
7 starting back up the CX dosimetry designation for
8 area.

9 The next slide is just a blowup of the
10 CX dosimetry and, again, you can see very good
11 agreements between the monthly reports as well as
12 the CX dosimetry printouts.

13 And, again, 1967, you'll see we have
14 some more construction trades workers on the
15 printouts identifying the names than what were
16 reported in the monthly reports.

17 We also looked at the CPP TLD dosimetry.
18 And, again, if I go up a couple of slides here you'll
19 see in 1967 there is a big drop off.

20 Well that's the initiation of the TLD
21 dosimetry at INL and so people who were on a monthly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basis are now on once every three months so the
2 actual number of dosimeters drops but they maintain
3 some people on a monthly basis, the people who were
4 regularly going into the cells, working in the
5 shift laboratories, working in the remote
6 analytical facilities.

7 The areas where we identified that we
8 have trouble reconstructing dose, or we can't, it's
9 infeasible due to the plutonium exposures, are
10 really those workers down there at the bottom that
11 are maintained on that monthly dosimetry, okay.

12 Those were the ones who were most likely
13 to be exposed, going in, doing the cell cleanouts,
14 et cetera, and so you'll see that they maintained
15 a level of those individuals.

16 Others, such as secretaries or other
17 admin staff, clerks and so forth, were switched
18 over to TLDs. And construction trades, as you
19 recall, with the big spike there that happens in
20 1967 that was a remodeling effort that was going,
21 and so you'll see more construction trades for a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 shorter period of time and then it drops back down.

2 So the TLD dosimetry didn't start until
3 the mid-part of 1966, and you'll see the numbers
4 are in pretty good comparison and they were doing
5 quarterly initially and then they started going to
6 semi-annual.

7 And the only one where we don't have
8 that comparison is down there at the end with that
9 December of 1970 in order to compare the monthly
10 reports versus the TLD printouts.

11 So some comparison statistics here, for
12 the CPP prime contractors from '63 through November
13 of 1970, and remember we're missing that December
14 of 1970 report so I really couldn't compare, the
15 monthly reports indicated 35,000 dosimeter badges
16 and we have 36,000 that we identified on the
17 printouts, so the difference is a plus of 358.

18 Construction trades is actually a
19 little closer, 6956 to 7011, and then the CPP TLDs
20 are 3461 to 3481. So overall there is 46,000
21 badges reported on the monthly reports and on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dosimeter printouts there is 46,000 names printed
2 there.

3 So the average monthly difference was
4 about 3-1/2 dosimeters and if you look at some of
5 those numbers you are looking at several hundred,
6 so this is a very small percentage and difference
7 between those two reports.

8 So in summary we followed up with the
9 follow-up of claims. Between NIOSH and SC&A the
10 number of claims to be followed up has been reduced
11 to 18 of 881, or about 2 percent.

12 Thus, the current Definition works for
13 at least 98 plus percent of the claims. We didn't
14 identify any significant data gaps and we have good
15 comparison between the periodic reports and the
16 dosimetry data.

17 So I have put the proposed Class
18 Definition in here again just to remind everyone
19 that what we are proposing is for all workers, all
20 employees at the Department of Energy, its
21 predecessor agencies and their contractors and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 subcontractors who worked at the Idaho National
2 Laboratory in Scoville, Idaho, and a) who were
3 monitored for external radiation at the Idaho
4 Chemical Processing Plant at least one film badge
5 of dosimeter or TLD dosimeter from CPP between
6 January 1, 1963, and February 28, 1970, or who were
7 monitored for external radiation at INL at least
8 one film badge or TLD dosimeter between March 1,
9 1970, and December 31, 1974, for a number of work
10 days aggregating at least 250 work days occurring
11 either solely under this employment or in
12 combination with work days within the parameters
13 established for one or more other Classes of
14 employees in the Special Exposure Cohort.

15 So I'll pause here and ask is there any
16 questions.

17 CHAIRMAN SCHOFIELD: This is Phil
18 Schofield. I've got just one question. We know
19 there were technicians or workers, occasionally
20 they would have these I guess you'd call them flakes
21 discharged from the exhaust stacks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Yes, sir.

2 CHAIRMAN SCHOFIELD: And they would
3 have workers go out outside of the building with
4 vacuum cleaners with the filter on it vacuuming up
5 these flakes or whatever you want to call them, from
6 the discharge.

7 DR. TAULBEE: Yes.

8 CHAIRMAN SCHOFIELD: The question is
9 were those people badged for CPP since they did not
10 go in the building but rather were working outside
11 the building or right alongside it?

12 DR. TAULBEE: If they entered the fence
13 line then they were badged. Outside the fence line
14 they may or may not have been badged.

15 The thing with those flakes coming off
16 is that remember our primary end feasibility is the
17 actinide, so plutonium and transplutoniums that
18 were in the cells, the corridors, the operating
19 corridors, and the analytical laboratories, that
20 they could have been separated from fission
21 products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Those flakes were actually fission
2 products and so our methodology for reconstructing
3 that actinide exposure for other workers that, well
4 actually throughout the entire plant, is to use
5 fission product bioassay and a ratio method to
6 apply to estimate what those actinide exposures
7 were.

8 Those flakes were not the actinides
9 that came out the stack. They were lanthanum
10 primarily that got scavenged during the steam
11 release coming out the stack, and so the fission
12 products were present.

13 So their fission product bioassay we
14 would be able to estimate their actinide dose.
15 Within those labs and those cells is where they were
16 doing some of the separations to recover plutonium
17 in 1965 up and through the 1970's and those are the
18 workers that don't have plutonium bioassay.

19 We can't use the fission product
20 bioassay because it's been separated at that point,
21 so that's why we can't use that as the estimate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MELIUS: Yes, Jim Melius. A
2 couple of questions for you, Tim.

3 DR. TAULBEE: Sure.

4 MEMBER MELIUS: On the slide that has
5 follow-up claims, well it wasn't quite clear to me
6 why you discarded the three claims in October. You
7 had ten and you said three, it was --

8 DR. TAULBEE: When we did a further
9 evaluation these people were part of the Class due
10 to the dosimetry from 1970 to 1975, and so what I
11 was trying to do was minimize the impact on the site
12 and since we know these people are already part of
13 the Class the ones that we still had questions upon
14 we would then ask for their dosimetry follow-up.

15 MEMBER MELIUS: But these people did
16 work during the early time period and there was some
17 discrepancy in the information you had from the
18 earlier time period, or the lack of?

19 DR. TAULBEE: Well the discrepancy is
20 from an annual summary standpoint.

21 MEMBER MELIUS: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: So I mean we could
2 request those from that standpoint. I just didn't
3 feel it was necessary. I felt the other ones would
4 help answer or identify if we had any questions.

5 MEMBER MELIUS: It just seems to me
6 that you have a relatively small sample to be, you
7 know, sort of matching against and --

8 DR. TAULBEE: Well, and, again, we've
9 evaluated 881 down to this, so it's not a small
10 sample.

11 MEMBER MELIUS: Well, no, but I mean
12 where there is a discrepancy. If you are trying
13 to understand what the reasons for the
14 discrepancies are if there any?

15 DR. TAULBEE: Well I believe the
16 discrepancies are almost always the annual
17 summaries at this point where we don't have
18 complete information due to that agreement with DOE
19 that if they had less than 500 millirem we're not
20 going to get their full record, and that's the bulk
21 of these individuals that we've got.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One of the other things that is
2 important to realize when we are analyzing these
3 claims is that some people in their CATI will
4 indicate they worked at CPP and if they have
5 dosimetry in that time period of 1970 to 1975 they
6 very well could have worked at CPP and we have no
7 record of them being at CPP.

8 So when people are indicating in their
9 CATIs they are not necessarily specific on the
10 dates that they are, that they worked there, and
11 so if we don't have any CPP dosimetry from '63
12 through 1970 and they say they worked at CPP and
13 we have dosimetry from say MTR from 1970 to 1975
14 they very well could have worked at CPP, taken that
15 MTR dosimeter, and walked into CPP.

16 So I felt like if they were part of the
17 '70 to '75 group, whether we find dosimetry or not,
18 it really also doesn't mean that they didn't work
19 at CPP or that there is a gap.

20 Their employment at CPP could have been
21 between '70 and '75. Do you follow me there?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: And they're still
2 included?

3 DR. TAULBEE: And they're still
4 included as part of the Class.

5 MEMBER BEACH: Because they have one
6 TLD --

7 (Simultaneous speaking)

8 MEMBER ROESSLER: Oh, okay.

9 MEMBER BEACH: All right, got you.

10 MEMBER ROESSLER: So what you are
11 saying is they remembered wrong?

12 DR. TAULBEE: Well, correctly. In one
13 of the cases, just to talk a little about somebody
14 who remembered wrong, is they indicated they worked
15 at CPP in the early years and then they went to work
16 in the NRF and worked on the Test Area North and
17 DOL as part of the claim requested an affidavit and
18 the affidavit from one of their colleagues
19 indicated that he worked with them at NRF and up
20 at Test Area North but he didn't say anything about
21 CPP.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 When I went back and started looking at
2 the particular claim I couldn't find any dosimetry
3 for CPP from the time period that he said. He said
4 he started employment out there in 1963.

5 I found his CPP dosimetry in 1961, two
6 years prior to when he said he started working at
7 the site for the company that he said he was working
8 for.

9 So recall bias, you know, memory, and
10 this is a particular claim that we're going to need
11 to go back and redo because he clearly started
12 working there two years prior to when he said he
13 did and our dose reconstruction starts in 1963,
14 which is when he said that he started out there,
15 and his employment was verified via affidavit, but
16 now we have CPP dosimetry for him in 1961.

17 So people's, you know, memories of
18 dates, you know, can be wrong.

19 MEMBER BEACH: That was 54 years ago.

20 DR. TAULBEE: Exactly. Exactly,
21 that's a long time ago to remember, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exactly when you started and I thought he did a
2 fantastic job and I think it also speaks to the
3 quality of the records that we can see that, you
4 know, there is more employment there than what he
5 indicated based upon these dosimetry records.

6 And you said you had multiple
7 questions, Dr. Melius?

8 MEMBER MELIUS: Yes. The other one is
9 just I'm trying to understand your comparison
10 statistic slide and that it --

11 DR. TAULBEE: Yes, sir?

12 MEMBER MELIUS: When you summarized
13 these, Tim, did you take -- this is based on
14 individual monthly reports?

15 DR. TAULBEE: That's correct.

16 MEMBER MELIUS: So it's not a listing.
17 So when you have like dosimeter printouts versus
18 monthly reports it's not by individual it's based
19 on how many individuals were on the monthly reports
20 versus how many were on the dosimeter printouts?

21 DR. TAULBEE: The monthly reports are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 summations that are given by the site.

2 MEMBER MELIUS: Right.

3 DR. TAULBEE: The dosimeter printouts
4 we went through and counted the number of --

5 MEMBER MELIUS: For the month, for the
6 same time period as the monthly --

7 DR. TAULBEE: For that same time
8 period, yes.

9 MEMBER MELIUS: That's not necessarily
10 clear from the way you've labeled the table.

11 DR. TAULBEE: Oh, I'm sorry.

12 MEMBER MELIUS: You don't have
13 reports, so I'll give you the benefit of the doubt.
14 I was just trying --

15 DR. TAULBEE: Oh, okay.

16 MEMBER MELIUS: No, because some cases
17 one is higher than the other. I mean --

18 DR. TAULBEE: That is correct.

19 MEMBER MELIUS: Yes, so the difference
20 is not always in the same direction, but that's not
21 a major point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: That is correct. Yes,
2 there are some months where it can actually be short
3 by 20 and the next month it's plus 20.

4 MEMBER MELIUS: Yes.

5 DR. TAULBEE: That's where the
6 reporting cycle changes slightly.

7 MR. BARTON: Tim, on Slide 9 I don't
8 know if it's a typo or something has changed, but
9 it says 1970 to 1975.

10 DR. TAULBEE: What slide?

11 MEMBER ROESSLER: What's the title on
12 the slide?

13 MR. BARTON: Oh, we were just looking
14 at it, it was describing the seven claims.

15 MEMBER MELIUS: The follow-up claims.

16 MR. BARTON: All the follow-up claims.

17 DR. TAULBEE: It's probably a typo on
18 my part.

19 MR. BARTON: Okay. I didn't know if
20 the SEC period does --

21 (Simultaneous speaking)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: No. Yes, okay, that's a
2 typo on my part. I'm sorry.

3 MR. BARTON: All right, no problem.

4 MEMBER ROESSLER: Good catch.

5 DR. TAULBEE: Other questions?

6 CHAIRMAN SCHOFIELD: I guess we'll let
7 SC&A do their --

8 MR. BARTON: Oh, he has more.

9 DR. TAULBEE: Or do you want me to --

10 CHAIRMAN SCHOFIELD: Oh, you have
11 more, go ahead.

12 DR. TAULBEE: Let me give you an update
13 on where we are with the Idaho SEC activities in
14 general.

15 We are working on the ANL-West SEC
16 petition. We had hoped to present this in a couple
17 weeks, or next week to the Board. This has been
18 delayed. The Evaluation Report is, they expected
19 late January, early February, as for our current
20 timeline.

21 What ended up happening was we were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 finalizing the report and we did a comparison of
2 bioassay data, urine and fecal results, well let
3 me back up a little bit.

4 We decided to a test. ANL-East has
5 always said that they did not have any of ANL-West
6 records since around the beginning of this program.

7 INL has claimed that they had all of the
8 ANL-West records but we were finding some very
9 serious gaps in monitoring from what workers told
10 us and what we believed to be the monitoring
11 program.

12 So we did kind of a blind testing. We
13 took eight workers that we knew worked in the early
14 time period, some of them started at ANL-East and
15 moved out to ANL-West, so we knew ANL-East should
16 have some of their early records and INL should have
17 the latter records.

18 And so we sent these eight people to
19 both ANL-East and ANL-West, or ANL-East and INL,
20 I'm sorry.

21 When we did this follow-up request what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we found is that of the eight people, eight of the
2 eight had bioassay records at ANL-East, all eight
3 of them starting in 1952.

4 So, clearly, there was a problem with
5 what they understood they had and what we
6 understood them to have.

7 This is what caused the follow-up
8 request of the additional 42 so we could make it
9 an even 50 for our sample size, and that is what
10 has delayed some of this follow-up here, is that
11 both sites needed to respond to whether they had
12 the bioassay records associated with both sides,
13 and so it's taken us some time to do that.

14 Like I said we just received all of
15 those follow-up records on October 28th, and so we
16 are currently evaluating that. That is the
17 primary reason for this delay that you are seeing
18 here.

19 While we were waiting on the follow-up
20 of those records we did begin work on the reserve
21 sections of the ER. That turned out to two data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 captures, one was the week of October 19th and the
2 second one was just last week when we were out
3 there.

4 From our follow-up there we have
5 identified that we need to conduct a couple of
6 interviews and so as SC&A is formalizing their
7 interview lists for whenever you are doing that we
8 would like to ask that we can add a couple of people
9 to that so that everybody can hear these particular
10 interviews.

11 Our goal is still to have the SEC
12 Evaluation Report for the addendum to the
13 petitioners and to the Board in February. I am not
14 sure that that's going to be possible, but we are
15 going to be trying here.

16 A little bit depends upon when the site
17 releases those records, but our current goal is
18 still to try and present the addendum at the March
19 Board Meeting along with the ANL.

20 ANL will definitely be ready by then,
21 but the question is whether the ER addendum will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be ready by March 14th.

2 MEMBER ROESSLER: So say that again,
3 what's going to happen in March?

4 DR. TAULBEE: March, the ANL-West
5 petition will definitely be ready.

6 MEMBER ROESSLER: Okay.

7 DR. TAULBEE: And we are believing that
8 we can still get those reserve sections of the SEC
9 219, this initial one, ready by then as well.

10 MEMBER ROESSLER: Thank you.

11 MR. STIVER: Tim, this is John. I just
12 had one question regarding your most recent data
13 captures, just in the interest of, you know,
14 coordinating everything with you guys so that we
15 don't have any overlap in our searches and so forth.

16 When you get that data available could
17 you please point out to us where to find it on the
18 SRDB so that we don't have to hunt around for it
19 and all that sort of thing?

20 DR. TAULBEE: Oh, okay, sure.

21 MR. STIVER: Okay. And you did say you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concentrated mainly on reserved areas, you didn't
2 do anything like the CPP pre-'63 or some of the
3 other areas where you can reconstruct?

4 DR. TAULBEE: No. We did not do
5 anything on the pre-CPP era. We did look a little
6 bit in the post-CPP era where we were looking at
7 an 83.14 to potentially extend the Class and that
8 is what's prompted these interviews.

9 MR. STIVER: Right.

10 DR. TAULBEE: We are seeing some
11 concerns that we need to address from that
12 standpoint.

13 MR. STIVER: Okay.

14 DR. TAULBEE: But that is the post-1975
15 time period.

16 CHAIRMAN SCHOFIELD: Would it be
17 premature to ask what those concerns are?

18 DR. TAULBEE: A little bit, but I'll
19 tell you anyway. Those concerns are is the
20 implementation of that guidance from that report
21 in October of 1974 were not implemented as rapidly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as one might expect, and so that's what we want to
2 do some follow-up on associated with that.

3 So does that answer your question a
4 little bit?

5 (No audible response)

6 DR. TAULBEE: What I find interesting,
7 for those of you who have sat through the
8 interviews, is you might have recalled hearing
9 about a major cleanup, you know, starting after the
10 criticality in 1978, if you remember those
11 discussions from the interviews.

12 Some of the data that we found last week
13 begins to make that make a lot of sense as to what
14 happened, so it's kind of an interesting thing but
15 these are just reports that we skimmed while we were
16 out there.

17 We haven't received them yet from the
18 site but obviously we have some concerns and so we'd
19 like to interview a couple of more people and try
20 and narrow that down.

21 MEMBER MELIUS: So our Board Meeting is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 towards the end of March, so you've got some
2 flexibility.

3 (Laughter)

4 DR. TAULBEE: Yes.

5 MEMBER MELIUS: In fact March 22nd
6 we'll get the report --

7 DR. TAULBEE: You know I am trying to
8 get them out earlier.

9 MEMBER MELIUS: No, I know, okay.

10 DR. TAULBEE: And Battelle is -- By the
11 way, I did send the Battelle report out to everyone.

12 MEMBER BEACH: Got it.

13 DR. TAULBEE: And I learned that we
14 actually don't have the final AEC review on that
15 yet, so it wasn't in that, I didn't put it in the
16 email because I didn't know it at the time, but it
17 should hopefully be out today.

18 But, again, you know, one week before,
19 we'll try and do better. It doesn't seem to be
20 happening.

21 MEMBER BEACH: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Any other questions?

2 (No audible response)

3 DR. TAULBEE: Okay, Phil.

4 CHAIRMAN SCHOFIELD: Okay, Bob Barton
5 is next up.

6 MR. BARTON: All right.

7 MEMBER ROESSLER: What's the title of
8 your presentation?

9 MR. BARTON: It should be called SC&A
10 Evaluation of CPP Class Definition Requiring
11 Evidence of External Dosimetry. If you are on the
12 website it's sort of the in the middle of the pack
13 on here.

14 MEMBER ROESSLER: I'm taking it off of
15 this thing and it's -- I'll find it.

16 MR. STIVER: The actual title, the PDF
17 is SC&A - INLCPPDEF.

18 MEMBER ROESSLER: INL what?

19 MR. KATZ: INLCPPDEF.

20 MR. BARTON: D-E-F on the end.

21 MR. KATZ: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: Just look for D-E-F.

2 MEMBER ROESSLER: Got it.

3 MR. BARTON: All right, thanks a lot.

4 Admittedly, the first few slides of my presentation
5 are very redundant to what Tim just presented so
6 I'm going to try to breeze through them in the
7 interest of time.

8 But this is SC&A's review of
9 essentially that revised Class Definition from
10 July. So here's the background. I don't want to
11 go through each bullet.

12 This is essentially when the different
13 reports were released. The most recent,
14 obviously, the one that I am discussing currently
15 which was transmitted at the end of September.

16 This lists when this issue has been
17 discussed. The first Work Group discussion was
18 sort of an informal, not for the public, it was a
19 clarification and technical teleconference that
20 involved the Work Group, NIOSH, and SC&A.

21 But it was also discussed at the July

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 8th and is currently being discussed now on
2 November 10th, and was also first presented to the
3 Advisory Board on March 26th and then discussed
4 again on July 23rd.

5 And here is the currently proposed
6 Class Definition. I won't read it in again, Tim
7 did a great job of that, and here is a quick summary
8 of what the rationale was for the original Class.

9 It was essentially, as Tim pointed out,
10 exposure to the alpha-emitting transuranics that
11 had been separating from fission activation
12 products that could not be reconstructed.

13 And then the rationale for revising it
14 was the change from one badge, one area, to one
15 badge, multiple areas, and here is just a
16 screenshot of one of the references backing up that
17 assertion.

18 As you can see there is two sort of
19 bullets in March of 1970. The second one is really
20 the one that shows that you are really going to be
21 using a single badge now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In December of '74 they even used the
2 term one badge, one area, that they were going to
3 return to that system.

4 All right, so as far as what we did to
5 try to evaluate this currently proposed SEC
6 definition is basically, one, to do a mock
7 implementation of it, that is in a similar way to
8 what NIOSH did.

9 We wanted to go in and look at all the
10 claimant population of the 250 days of covered
11 employment and see how many fit the dosimetry
12 requirements, how many did not meet the dosimetry
13 requirements, and then take a closer look at those
14 plans to see if there is any reason to believe that
15 those who wouldn't meet the current Class
16 Definition maybe would have been inadvertently
17 excluded were this Definition to be accepted, you
18 know, today, which, of course, it can't be, but this
19 is sort of a test run to try to identify claimants
20 that might be problematic, which is different than
21 what we did last time which was really just a sample

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of claimants.

2 We posed to look at 30 claimants that
3 time. This time it was a lot more. So our total
4 was 898, it's about 17 higher than what Tim said
5 and that's just because we started our totals in
6 August and they started theirs about four months
7 earlier, so in four months we got 17 more claimants.

8 Out of those 898, 107 of those claimants
9 did not meet the 250-day criteria in either SEC
10 period.

11 I refer to them as different SEC
12 periods, even though it's technically one
13 Definition, just because they have different
14 requirements and the way we went about analyzing
15 the claims makes it easier to refer to one as the
16 first period, from '63 to '70, or through February
17 of 1970, and the second period or latter period of
18 March 1970 through 1974.

19 Nineteen claims that we looked at
20 really only had evidence of being at Argonne-West
21 and/or NRF and we just had no real evidence of work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at INL, and that included from the CATI Report which
2 really would only cover, many of the ones we looked
3 at would only cover Argonne and they haven't even
4 mentioned INL so we did not include those 19 in the
5 study.

6 Two claims didn't have DOE monitoring
7 records yet and that was just because they had been
8 filed so recently that there wasn't time to get the
9 records from DOE yet.

10 We could have included those but they
11 wouldn't have told us much because we haven't even
12 gotten the monitoring records yet.

13 One claim was actually withdrawn.
14 This claim had been filed a number of years ago.
15 It was withdrawn by a survivor prior to actually
16 receiving those DOE monitoring records. So,
17 again, of little value here.

18 Okay, so the way we're going to do this
19 is we're going to first talk about that later period
20 and the reason we did that is we split it up because
21 the later period has the less restrictive dosimetry

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 requirements so it's a lot more efficient to be able
2 to go through all those claims and say well here's
3 the external dosimetry and you have 250 days
4 therefore you meet the definition and you're done.

5 In that earlier period it's a little
6 more difficult, you sort of have to go page by page
7 to try to find a CPP dosimetry record.

8 So for this later period from March 1970
9 through December of '74 we evaluated 710 total
10 claims. About 85 percent of them had monitoring
11 records, so obviously it leaves about 15 percent
12 that did not.

13 As far as the breakdown of the 250-day
14 criteria almost 87 percent fit the 250-day criteria
15 for just the latter period and 13 percent did not
16 and the total number that met both the 250-day
17 criteria and were monitored was about 77 percent.

18 So we had three observations based on
19 the review of what we'll call the later period, the
20 second SEC period, however you want to refer to it.

21 The very first observation is one thing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we noticed that the Definition itself says one film
2 badge or TLD dosimeter.

3 I think that it has become clear based
4 on your presentation that use of the annual records
5 also counts and also they have career dose
6 summaries, which I'm going to show an example of
7 that, which I assume would also count.

8 DR. TAULBEE: If their career is during
9 that period, yes.

10 MR. BARTON: Right, right. And I
11 guess that would also extend to any, pretty much
12 any radiological monitoring would fit, right, and
13 if --

14 DR. TAULBEE: Exactly.

15 MR. BARTON: It's unlikely that you
16 have internal monitoring, no external monitoring,
17 but I suppose it's possible.

18 All right. So here is just an example
19 of what one of those annual records looks like. So
20 as we established we have '70, '71, '72 records for
21 those years so that would count.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And here is an example of a career dose
2 total where you can see, it doesn't go
3 year-by-year, but it says, you know, October '69
4 through 1975 no dose was accrued, but we just wanted
5 to verify that that would qualify.

6 DR. TAULBEE: Yes.

7 MR. BARTON: The second observation we
8 actually did, we found one claim that had in vivo
9 results related to CPP but we didn't have external
10 dosimetry from CPP.

11 So we wanted to sort of highlight this
12 claim because we feel it was pretty important
13 because that's one of the few instances where we
14 feel that there is direct evidence that they were
15 at CPP, obviously, they had an internal monitoring
16 result there, but we don't have the film badge
17 requirement.

18 And I'm going to talk about this claim
19 in a second. Oh, go ahead.

20 DR. TAULBEE: No, go ahead. That's
21 good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: But I guess as we just
2 discussed that in vivo result would be enough to
3 qualify for the Class.

4 DR. TAULBEE: Well for this individual
5 the time period is the latter time period, 1970 to
6 1974, so that could have been a worker was routinely
7 badged at MTR, say a construction trades worker,
8 and they wore their MTR badge over to CPP, got
9 exposed, were involved in an incident and sent down
10 to body counting for that particular incident at
11 CPP.

12 And so that's part of why we expanded
13 that Class as during that time period you can't
14 necessarily identify this MTR worker could
15 actually have been at CPP.

16 MR. BARTON: I agree. I believe in
17 this case though we didn't have external dosimetry
18 for 1970 and it was a claim --

19 DR. TAULBEE: The claim year?

20 MR. BARTON: Yes, for that year, or
21 even after that, I believe. This claim actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 did not meet the 250-day criteria for that latter
2 period but did have the internal monitoring result.

3 So that was -- Again, we would just, we
4 would really like to see if there is actual records
5 for that individual.

6 DR. TAULBEE: Sure, sure.

7 MR. BARTON: Observation 3, again,
8 it's sort of clarification and it's how temporary
9 and/or visitor badges are used. Based on your
10 presentation it looks like temporary and/or
11 visitor badges would both count.

12 DR. TAULBEE: Oh, yes.

13 MR. BARTON: The only reason I bring
14 that up is often times the temporary badges
15 actually specify a range of dates in which it was
16 used, but that's certain the claimant favorable way
17 to go.

18 Moving on to the earlier period, now
19 this time we only evaluated 219 claims, and you
20 might wonder why that total is so much lower. It's
21 not because there are less claims in that period,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's because if we already established that a
2 claimant in the latter period met the criteria just
3 for efficiency sake we did not examine that claim
4 closely in the earlier period.

5 Now, that's not across the board. A
6 lot of the claims that we looked at in the latter
7 period there would be something that tipped us off
8 as we were going through those claims and said
9 listen, we need earmark this and take a look at it
10 in the earlier period, whether it was something in
11 CATI or as you are going through trying to find
12 dosimetry starting in 1970 you notice that there
13 is some evidence they were at CPP, and usually that
14 was in the form of like what they call a location
15 file card, which this isn't necessarily a dosimetry
16 result but it shows where you are assigned and
17 usually gives a range of dates and sometimes
18 indicates if you were assigned a temporary film or
19 it will say something like "To TLD" in the margin
20 and that gives the contractor and the area and a
21 time period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So that's a very useful piece of
2 information because that gives you direct evidence
3 of where a worker might have been assigned.

4 So out of 219 claims that either didn't
5 meet the qualification in the latter period or was
6 just solely evaluated in the earlier period about
7 30 percent had 250 days and monitoring results at
8 CPP.

9 About 47 percent were monitored but we
10 did not have a CPP badge to allow for them to be
11 included and about just under 12 percent were not
12 monitored at all during that earlier period.

13 Then we have this curious other
14 category. I'm going to go through a couple of
15 examples of these, one of them being the CADRE
16 description, another one being we had some claims
17 that did not meet the 250-day criteria say in the
18 first period but if you combined it with the second
19 period now you have 250 days, which is interesting.

20 I can say that the number of those was
21 right around ten I believe, so there were not a lot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of them, but they would be affected by the revisions
2 of the Class Definition, obviously.

3 And the last category has to do with
4 claims that we sort of earmarked for further
5 investigation with the site.

6 So we have one additional observation
7 related to this earlier period and it relates to
8 what I just said, the location known as CADRE.

9 MEMBER ROESSLER: Could you, I
10 couldn't find that acronym anywhere, what is that?
11 Don't know either?

12 MR. BARTON: I couldn't find it either.
13 Now I'm going to show the reference that I found
14 it in on the next slide I believe. Yes, here it
15 is.

16 It's a little hard to see, but this was
17 essentially a pretty extensive list of the area
18 codes used at INL. You can see down there Area Code
19 71. The area description is CADRE and it says
20 "believed to be located at CPP."

21 I could not find any through references

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the SRDB or elsewhere to say if that stood for
2 something or --

3 DR. NETON: Bob, what is the source of
4 this document that you are showing?

5 Well I mean is that an Idaho report or
6 --

7 MR. BARTON: Yes, I believe the heading
8 at the top was that it was compiled at Idaho.

9 DR. NETON: More recently or --

10 DR. TAULBEE: Well we captured them in
11 2011.

12 DR. NETON: No, I understand that.

13 DR. TAULBEE: But there are lots of
14 different -- How do I put this? Well I think the
15 key words here are "believed to be located at CPP,"
16 we don't know who added that or what gave them that
17 impression.

18 DR. NETON: That's what I was
19 wondering. Yes, and if this was written in 2010
20 I mean, yes.

21 DR. TAULBEE: In doing some digging a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 little more on this is that this is a dosimeter
2 printout that we've got that has CADRE up here for
3 the contractor area and it's Area 71, right here,
4 which is what Bob is going to be getting to, but
5 there area is actually listed as ERC which I think
6 is the Emergency Response Center.

7 And in looking at the five people that
8 you sent me yesterday, thank you very much, I could
9 go through more details, and to give a little bit
10 of a breakdown of those -- actually, do you want
11 to go on with your part. I'm sorry.

12 MR. BARTON: Well it may be a good time
13 to discuss this issue.

14 DR. TAULBEE: Okay. One of the
15 individuals was a meteorologist in that time period
16 and his locator card actually had Code 71 listed
17 as Test Area North, which I don't believe to be
18 correct.

19 Another one was a communication
20 specialist, which makes sense if you've got an
21 Emergency Response Center. Another was a guard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for the Warning Communications Center, which is
2 also pointing towards an Emergency Response
3 Center.

4 And another of the, the fourth
5 individual there was one of the SL1 responders, one
6 of the guys who went in and got a very large dose
7 and he was part of this group as well, which makes
8 sense from an emergency response standpoint in the
9 1962 to '66 time period.

10 After the SL1 accident in '61 you would
11 want some of your experienced people working in the
12 Emergency Response Center. That's what I believe
13 it to be. I don't have any proof right now other
14 than this ERC.

15 There are some other documents,
16 dosimetry printouts that I went through this
17 morning in following this up some that points to
18 October of '63 the Code 71 doesn't appear, November
19 of '63 it doesn't appear, December of '63 it does
20 appear and it's listed as ERC CADRE.

21 MR. BARTON: Do we know where the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Emergency Response Center would have been?

2 DR. TAULBEE: I didn't even get a
3 chance --

4 (Simultaneous speaking)

5 MR. BARTON: -- where it's believed to
6 be at, it might have been at CPP.

7 (Laughter)

8 DR. TAULBEE: I'm having trouble
9 believing it would be at CPP.

10 I believe it would be CFA but I don't
11 have any proof of that, Central Facilities.

12 MR. BARTON: Okay.

13 DR. TAULBEE: But that's where we
14 currently are, but we can certainly follow-up some
15 with the site associated with what this CADRE
16 means.

17 What's interesting to me when I looked
18 at the term is I immediately thought military
19 personnel, because if you read all of the SL1
20 reports every reference in there to CADRE were to
21 the operators of SL1 and they were referring to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 military folks that were there.

2 However, the five people that Bob
3 identified and sent over yesterday they are not
4 military. One of them though is of the U.S.
5 Weather Bureau and he is not the meteorologist.

6 He is not AEC, but on the dosimetry
7 report he is listed as AEC but his employment is
8 clearly the U.S. Weather Bureau stationed at INL.

9 DR. NETON: Can you put that sheet back
10 up, Bob, the -- it almost seems like this was an
11 acronym though because everything is
12 correspondingly capitalized. It's an acronym.

13 MEMBER ROESSLER: If you go on Google
14 and look it up, just CADRE says a special group of
15 people.

16 (Simultaneous speaking)

17 DR. NETON: Yes, it kind of makes you
18 think that but since this is all caps it looks like
19 an acronym because --

20 MEMBER ROESSLER: Yes, and we think
21 it's --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: -- everything else ANP,
2 NRF, and they're not, they are not capitalized like
3 ECF is.

4 DR. TAULBEE: Like on this report
5 though it's ERC CADRE.

6 MEMBER ROESSLER: Hmm. What's ERC
7 stand for?

8 DR. TAULBEE: I believe it's Emergency
9 Response Center.

10 DR. NETON: That still could be an
11 acronym though like something response --

12 MEMBER ROESSLER: It looks like it has
13 dose --

14 (Simultaneous speaking)

15 DR. NETON: Wonder if somebody at the
16 site might be able to --

17 (Simultaneous speaking)

18 DR. TAULBEE: Well I plan on asking
19 Marie Hill. She was the one who was out there at
20 that time picking up dosimetry, so I believe -- And
21 she is the one who you will see on many of the forms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as the site point of contact for approving the
2 dosimetry printouts that we get.

3 MEMBER MELIUS: Is that the Marie
4 that's listed on this?

5 DR. TAULBEE: Yes, yes.

6 MR. BARTON: Yes, I guess my only point
7 there was that if it does turn out that that
8 location code or designation is associated with the
9 CPP, to establish that we have all those records
10 as well.

11 So that's the only reason I really
12 brought it up and from, when I looked at it I only
13 found five claims.

14 That doesn't mean there is not more than
15 five because all those claims that we analyzed in
16 the latter period I wouldn't have gone through to
17 see if they had individual dosimetry that said
18 CADRE.

19 DR. TAULBEE: Right.

20 MR. BARTON: But we did find those five
21 in the earlier period. Okay, so --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: This is Joe
2 Fitzgerald. If you Google Idaho National Lab and
3 CADRE in capitals there is a CADRE Staffing, Inc.,
4 which is a subcontractor.

5 MR. BARTON: Oh.

6 MEMBER ROESSLER: Huh.

7 DR. TAULBEE: Interesting, okay.

8 CHAIRMAN SCHOFIELD: I think I have one
9 quick question on that.

10 If these people are like emergency
11 response people they could have well been badged
12 out of CFA like you say, but any time there was any
13 type of incident that went on they could have well
14 spent a day, two days, three days at CPP without
15 having an exchange badge because even though they
16 are in this early period where you have one badge,
17 one exchange I can't imagine in an emergency
18 response situation you're going to stop and switch
19 badges.

20 Their badge would probably cover the
21 entire site I would imagine so they can go in where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they need to be.

2 DR. TAULBEE: We can ask that question.

3 CHAIRMAN SCHOFIELD: Okay, thanks.

4 MR. BARTON: Anymore questions before
5 we move on? Okay. Now this slide is a little
6 outdated based on Tim's presentation but we just
7 wanted to make a few comments about the cases that
8 NIOSH had identified for further evaluation with
9 this site.

10 It was originally ten claims, as was
11 discussed earlier, it's down to seven. And we took
12 a look at the ten claims originally that were
13 designated for further investigation and we came
14 up with pretty much the two types of rationale that
15 appear to be used to select those ten.

16 One of them was direct evidence of
17 assignment at CPP and that's generally just there
18 was an entry in a location file card that indicated
19 they were assigned to CPP and it may be that we only
20 have the career dose summary or we only have the
21 annual summaries for that worker, so that will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one we want to follow up on. We weren't able to
2 find them in the supplemental records that we
3 already have.

4 And the other one, which is sort of not
5 a strong connection but very interesting and we
6 actually took a very similar approach, is that more
7 anecdotal evidence was used, such as statements
8 that were in the CATI or in the initial DOL
9 application a lot of times there is information in
10 there that is not included in the CATI report I
11 guess just based on the order of events that happen
12 and a lot of times CPP is mentioned in those
13 documents.

14 So that's sort of a less direct piece
15 of evidence and most of the time, unfortunately,
16 there is no direct dates associated with them where
17 you could say, you know, the claimant specifically
18 says that they were at CPP in such and such
19 timeframe, and sometimes that can be a little off,
20 as the example that was talked about earlier.

21 So here are just a few examples of that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from the NIOSH original set of ten. The first one
2 was trades worker, their external monitoring ended
3 in 1962, so before the proposed SEC Class even got
4 started.

5 The CATI does list CPP as one of five
6 different work locations at INL, but also described
7 an incident, and in this case they gave a timeframe
8 of about four years, 1963 to 1966, and that's all
9 from the CATI Report.

10 Another example is a radioecologist,
11 and we only have the career doses for that claimant,
12 in this case a direct piece of evidence in that the
13 location file card lists CPP in the later SEC period
14 and the CATI with the survivor notes that they
15 worked all over the site, which doesn't
16 specifically mention CPP.

17 But you'll come across that in a lot of
18 these cases where, especially where the interview
19 is done with a survivor when they don't necessarily
20 know when and where they worked but they knew they
21 were all over the place. It's a very common

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 statement to come across.

2 And the third example here is an
3 engineer. In this case the location file card does
4 not indicate any CPP and there is a lot of internal
5 and external monitoring at other INL locations, but
6 in this case the DOL initial case the claimant
7 listed ICPP and that's Idaho Chemical Processing
8 Plant.

9 So this is a case where we actually in
10 the records we don't really have any direct
11 evidence of work at CPP, but it was selected to be
12 followed up on because the claimant said they were
13 there, but not necessarily giving dates of when
14 they were there.

15 DR. TAULBEE: That first one I believe
16 is the one that I was talking about where his
17 employment actually goes back to 1961 where we did
18 find the dosimetry at CPP in '61, so the incident
19 that he described I believe happened in '61 is what
20 I believe that one was.

21 MR. BARTON: Okay. I guess what I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wanted to point out here is that it's not just the
2 rock solid evidence that was used by NIOSH.

3 As far as we can tell in selecting these
4 claims and that a lot of times it will just be
5 something said in the CATI or in the DOL initial
6 case that sort of triggered that claim to be
7 qualified for further investigation with the site.

8 All right, so moving on to the cases
9 that we selected for further evaluation. We
10 started with 23, two of them had already been
11 identified by NIOSH so we didn't touch those
12 anymore.

13 Ten of the 23 we went into the
14 supplemental hard copy records we have, that's the
15 CX data and the log books that might not necessarily
16 be in the claim files themselves, and we were able
17 to find ten of those 23 in those records.

18 So that left us with 11 and that's
19 really where we stand right now. So SC&A has 11
20 selected, NIOSH has seven, so 18 total as Tim
21 pointed out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And a couple of examples here, one was
2 a trades worker. They weren't monitored
3 externally, at least according to the records,
4 until 1975.

5 It does state that badging was
6 intermittent, but the CATI described an incident
7 at CPP in the early 1970's, now whether that could
8 be 1975 or later or if that's misremembered or not
9 we really don't know.

10 But it's, again, one of those things
11 where the claimant said it so it kind of peaked our
12 interest to see if there perhaps are other records
13 out there that we don't have.

14 Another one is a driver, location file
15 card and external monitoring for locations other
16 than CPP, but there is an in vivo questionnaire,
17 and this is something to give you, I don't know what
18 time period they used this, it seems not really
19 consistent to be honest when I was looking at the
20 record, but basically it's a questionnaire that
21 asks, you know, what other sites you've worked at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and have you worked at another location at INL prior
2 to this in vivo result and they had written in, yes,
3 CPP for 18 months, and that was in 1967.

4 Now it's possible that that 18 months
5 fell within the SEC period, it's also possible it
6 was before if we don't know when that 18-month
7 period of employment occurred.

8 The actual in vivo result I believe was
9 labeled for Test Area North for a different site,
10 but when they asked where else have you worked they
11 said CPP.

12 Another example is trades worker, and
13 this one, this is Observation 2, and this was an
14 in vivo result for CPP. The external dosimetry
15 ended in 1960, so there is that piece of information
16 I was lacking earlier.

17 CHAIRMAN SCHOFIELD: Okay.

18 MR. BARTON: And this particular
19 claimant does not have 250 days in the latter SEC
20 period when this in vivo result took place, but it's
21 still important I think to establish whether that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 person was at CPP and was monitored and those
2 records may be out there we just maybe don't have
3 them.

4 So just to kind of summarize this up,
5 several of our observations were really just
6 clarification points, the use of the annual career
7 records when you don't necessarily have an
8 individual dosimeter for that later SEC period and
9 the use of the temporary and visitor badges, which
10 is I feel very claimant favorable.

11 And I say here there is a combined 21
12 claims, that number is now 18, that were identified
13 by both NIOSH and SC&A for further investigation.

14 That ends my presentation. I'd love to
15 entertain any questions.

16 CHAIRMAN SCHOFIELD: Okay, I've got a
17 question for you. A lot of the AEC employees in
18 particular would not necessarily be assigned to a
19 particular area because of their job.

20 They could easily be all over the
21 facility. They might spend a few days in one area

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or in one building or even a week doing audits,
2 whether they're safety material audits it does not
3 -- Have you looked at the records specifically for
4 the AEC employees at that time?

5 MR. BARTON: Specifically targeting
6 AEC employees, no. As I kind of -- We really tried
7 to look at almost every claim.

8 We ran out of time to do that, but we
9 did look at every claim with 250 days that we had,
10 so that would include I guess any AEC employees in
11 that list, right.

12 DR. TAULBEE: We haven't looked at it,
13 you know, from a systematic standpoint. But if you
14 go through the temporary badge reports you'll see
15 AEC personnel all over the place, where they are
16 coming from other areas coming into CPP they picked
17 up a CPP badge in that early time period.

18 Now from 1970 to 1975, no. They
19 probably could wear their, well they could wear
20 their AEC badge right on in to CPP, which is why
21 anybody monitored at the site is included as part

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of that Class.

2 But in those earlier years, '63 through
3 1970, you will see a lot of AEC people coming in
4 as well, as a lot of Phillips people coming into
5 CPP specifically.

6 CHAIRMAN SCHOFIELD: Okay, thanks.

7 MEMBER ROESSLER: Bob?

8 MR. BARTON: Yes?

9 MEMBER ROESSLER: Somewhere in you
10 report you state that SC&A finds this new
11 definition and I put this in quotes "effectively
12 split," so we are agreeing that that's a very
13 workable definition, and then you go on to discuss
14 some claims that need further investigation.

15 I am wondering what the Work Group
16 should present to the Board at our meeting next
17 week? What is your recommendation? You're
18 probably not just going to leave it like that, what
19 is your recommendation?

20 MEMBER BEACH: And can I add on to that,
21 what percentage in your review would be missed of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potential claimants? Is it 1 percent, 5 percent,
2 or do you think this covers it 100 percent?

3 MR. BARTON: Oh, I think it --

4 MEMBER BEACH: Because I am interested
5 in 100 percent.

6 MR. BARTON: Right. We did not review
7 in depth 100 percent of the claims. Like I said,
8 we first evaluated the latter period because it had
9 less stringent dosimetry criteria and it was a lot
10 easier to sort of be able to pick out which
11 claimants would fit the SEC Definition and which
12 ones weren't.

13 MEMBER BEACH: Right.

14 MR. BARTON: The ones that weren't sort
15 of went into the next step of closer inspection.

16 Now the ones that we missed that would
17 get you to I guess 100 percent verification, at
18 least from SC&A's analysis, would have been claims
19 that met the SEC criteria in the latter period but
20 were also employed in the prior period and may --

21 We did not go back and see if they would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also qualify based on the dosimetry requirements
2 in the earlier period because they had already
3 qualified for the latter period. That's certainly
4 something we can do to get to 100 percent.

5 As it stands right now these, the 2
6 percent list that we have, the 18, and as you can
7 see from some of the examples I feel that both NIOSH
8 and SC&A turned over a lot of rocks here, because
9 some of the claims that we identified for further
10 evaluation we have lots of dosimetry for them for
11 other areas.

12 And it's maybe just a statement in the
13 DOL initial case or, you know, CPP is listed among
14 a bunch of different work sites. We said well, you
15 know what, maybe that's enough that we need to go
16 back and look for it.

17 As far as what my recommendation would
18 be I think until we hear back on those 18 we're not
19 going to really know. A couple of different things
20 can happen.

21 The site can go look at those 18 workers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and say well, you know, hey, we found more CPP
2 records, which, you know, would obviously be
3 troubling, or they might come back and say no, we
4 just don't have any evidence they were at CPP.

5 And eventually it, unfortunately,
6 becomes a judgement call as to what level of I guess
7 uncertainty is acceptable when you are talking
8 about a Class Definition like this.

9 I mean there is always the chance you
10 could miss somebody. I would say these 18
11 candidates that we have sort of jointly picked out
12 represent the most likely candidates for a claim
13 that would have been missed if this Class
14 Definition were accepted today.

15 DR. MAURO: Bob, this is John Mauro.
16 Given those 18 which formed this ambiguous area
17 that requires further investigation, given that
18 they remain in an ambiguous area is it NIOSH's
19 intent to then assume that they were in fact,
20 belonged in the covered group, notwithstanding the
21 fact that this in fact has ambiguity regarding that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and does that somehow solve the problem?

2 MR. BARTON: I think what he is saying
3 is if you had a claimant who said in their CATI
4 interview that I was at CPP but we don't have any
5 necessarily evidence or monitoring records that
6 would allow it to fit the Definition, would it be
7 enough that they stated they were there to be
8 included.

9 MEMBER ROESSLER: And that was one of
10 my questions, too, is you talk about recommending
11 that any evidence other than this external
12 monitoring be accepted and certainly bioassay and
13 other concrete things.

14 If this SEC went through and then a
15 claimant came in that didn't have that film badge
16 or TLD evidence what other things could be
17 accepted, like the CATI, what is the fallback on
18 that, you know, what would happen at that point?

19 MR. BARTON: We're not really in a
20 position to --

21 (Simultaneous speaking)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Well, I mean my -- the
2 Department of Labor is who makes that determination
3 as to whether somebody is part of the Class based
4 upon this.

5 MEMBER BEACH: Right.

6 DR. TAULBEE: And really, to me, it's
7 part of a weight, you know, of an evidence thing.
8 You know, I can't foresee it occurring, but, you
9 know, I am interested in the individual that he
10 pointed out that has a 1967 in vivo count that is
11 listing CPP and no monitoring records, but that's
12 -- I mean those are some of the follow-up here.

13 I mean certainly a claimant-favorable
14 approach would be to go ahead and include these
15 folks as part of the SEC Class, but, yes, I think
16 that is DOL's interpretation here, because we are
17 talking about a very small fraction of the Class
18 that we have evaluated here.

19 And recall that the actual people who
20 we're having a difficulty estimating the dose are
21 those production construction trades workers, who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 went into CPP and were doing the remodeling during
2 this time period when they were doing that
3 separations activity.

4 Some of the people here that, you know,
5 that Bob has listed here are interesting and that
6 we should follow up on, but like the particular
7 driver, for example, you know, do we think that
8 person was actually going in and doing some of this
9 work that they could be exposed from that
10 standpoint.

11 That's up to you all to decide from that
12 standpoint. You know, how critical are some of
13 these? The radioecologist who is going around and
14 taking samples outside. You know, if he was
15 outside the fence maybe he wasn't monitored from
16 that standpoint.

17 But as I pointed out to Phil those stack
18 emissions, those fission products, carry actinides
19 with them and we can estimate their dose.

20 So some of these are to try and follow
21 up to make sure that we don't have some gap that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we didn't identify before, but as Bob pointed out
2 we were taking pretty anecdotal evidence in order
3 to do some of these follow-ups of, you know,
4 somebody mentioning in a, you know, a whole list
5 of buildings they listed CPP.

6 MEMBER BEACH: So you mentioned that
7 it's DOL, it's going to be up to them, but isn't
8 DOL going to look to NIOSH for a list?

9 DR. NETON: Well, but DOL, the
10 Definition is pretty specific. DOL is going to
11 hold to that Class Definition and it's pretty
12 specific.

13 I don't think they have any latitude in
14 interpreting that Definition to say we're going to
15 accept affidavits or something like that. I don't
16 think they would.

17 MEMBER BEACH: Right.

18 MEMBER ROESSLER: Okay.

19 MEMBER BEACH: Okay. No, I don't
20 think they would either and won't they look to you
21 guys for a list like they did in some other specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 --

2 DR. NETON: We talked about that.

3 That was another part of this Class, right, was to
4 provide DOL a master list or something like that?

5 DR. TAULBEE: We didn't agree to that
6 with DOL. DOL felt that they could go --

7 (Simultaneous speaking)

8 DR. NETON: But they felt there was
9 some way to look at the dosimetry records.

10 DR. TAULBEE: Yes.

11 DR. NETON: I mean they're going to
12 rely solely on the dosimetry records, that was the
13 agreement that we had made, correct, or discussed?

14 I don't know how else they would do that
15 because that's what the Class Definition says.

16 DR. TAULBEE: Yes, but this --

17 MEMBER MELIUS: In which case having,
18 you know, figuring out what CADRE is and some of
19 these other, you know, people that roam around the
20 site how they are labeled and are they really, have
21 they been exposed and how do you determine that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think DOL has been, repeatedly said
2 they will not do something based on an interview
3 where, you know --

4 DR. TAULBEE: Yes, okay.

5 MEMBER MELIUS: Yes. So I mean I think
6 that's always the problem with these is these sites
7 are often much more complicated than they first
8 appear.

9 But my sense is that we need to look,
10 to understand that this may be a feasible Class
11 Definition and it may not and let's figure out where
12 we are after it's been pursued and I think you are
13 pursuing and you don't have all the information
14 back is my sense.

15 MEMBER ROESSLER: But if you further
16 investigate these whatever they are 18, or 11, or
17 whatever, claims, that's of this group of current
18 claimants.

19 Will that give you, if they all are
20 clarified would that give enough confidence to say
21 that some others who would appear not in that group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that it's acceptable?

2 MR. STIVER: This is Stiver. You
3 know, in my mind, and it may be a little premature
4 to have this discussion, but it becomes an issue
5 of what kind of an error rate is acceptable, you
6 know what I mean.

7 MEMBER ROESSLER: Yes.

8 MR. STIVER: NIOSH has set the bar
9 pretty high assuming we've got 100 percent
10 dosimetry. Is 2 percent acceptable if you're
11 missing 18 people?

12 The worst possible case at least the
13 among the set that we're looking at and how does
14 that, you know, affect future claimants, you know,
15 the next one that comes along.

16 And it's also a question of
17 implementation as Jim said. I mean Labor is going
18 to take a certain Definition. We've got external
19 dosimetry in this period, part in that period, but
20 not -- And it's got to be something they could
21 administrate, you know, fairly effectively.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I can't see them going to the lengths
2 that, you know, Bob and Tim have in looking at this
3 claimant set. It's going to have to be something
4 implementable for them. Those are the problems
5 that I have with it.

6 MEMBER ROESSLER: But when you say
7 "error rate" that's really not a --

8 (Simultaneous speaking)

9 MR. STIVER: Well maybe that's the
10 wrong term.

11 MEMBER ROESSLER: Yes.

12 MR. STIVER: But just they're
13 potentially missing a certain percent of --

14 MEMBER ROESSLER: I think it's maybe
15 more an unknown circumstance rather than error
16 rate.

17 MR. STIVER: Right. But in a worst
18 case it would be an error rate.

19 MEMBER ROESSLER: Yes.

20 MR. STIVER: I mean at this point we
21 just don't know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MELIUS: Remember those people
2 that are missed, or whatever we want to call that
3 2 percent, you know, they lose that dose.

4 I mean they go into the individual dose
5 reconstruction and NIOSH is going to say they can't
6 reconstruct their dose based on the fact that there
7 is an SEC there.

8 I think the other thing that
9 complicates this is the fact that, you know, we've
10 got a lot of -- the whole rest of the site is still
11 up in the air and we're just starting to evaluate
12 it and I don't think the whole site is an SEC, you
13 know, based on what we've found so far.

14 But we don't know what other time
15 periods are going to be covered and to what extent
16 there are other potential SECs on the site.

17 We have, you know, some coworker models
18 that I don't think have even been started yet that
19 will need to be done and may affect parts of this
20 group.

21 So I mean it's pretty early yet and --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: And the 83.14 you were
2 talking about was for CPP also?

3 DR. TAULBEE: Most likely. Well it
4 would be the CPP, yes, but it would be extended to
5 --

6 MEMBER BEACH: So CPP, yes.
7 (Simultaneous speaking)

8 DR. TAULBEE: Right now, you know,
9 we've identified, between Bob and myself, between
10 400 to 500 claims that we have clearly identified
11 as part of this SEC based upon this Class
12 Definition.

13 There is the potential to be expanding
14 the Class under 83.14 as we look at the latter time
15 periods of CPP. As Dr. Melius pointed out there
16 is other areas still being looked at that we're not
17 close on decisions yet.

18 And so I am wondering what is the cost
19 in a sense of approving this Class Definition as
20 it is and if we find after these 18 that we need
21 to make an adjustment or there is some other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 problem, it's changing a paper, it's changing a
2 letter, it goes up through the Secretary.

3 But right now 400 to 500 people can
4 begin to receive their compensation that we have
5 already identified as part of this Class as we do
6 some of this follow-up and as we discuss things over
7 the next, well probably year or so, with the other
8 areas, the ER addendum, ANL-West SEC.

9 And so, you know, we've had to make
10 modifications before to SEC Classes. We don't
11 like to do that, but in this case we're looking at,
12 you know, following up 18 people and we've got 400
13 to 500 people that are already part of this Class
14 that could be processed.

15 And to try and point that out a little
16 bit more, DOE, to respond to 42 claims took them
17 almost two months, so, you know, it takes a long
18 time for these things to come through in just
19 processing claims.

20 So, yes, we've still got a lot of work
21 to do with INL from the SEC standpoint and that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 why I guess my encouragement is to consider that
2 aspect of the 400 to 500 people that we've
3 identified as being at CPP during this time period.

4 Many of them were not compensable under
5 the initial dose reconstruction and they can
6 receive compensation now.

7 MEMBER MELIUS: I would just remind
8 you, Tim, one, is that these Definitions have
9 invariably failed and most of the revisions we have
10 done and that you have suggested have been because
11 of Class Definitions based on monitoring have not
12 been workable.

13 Now this may be a different site and not
14 to say that it's totally inappropriate to, you
15 know, recommend this, but there's a lot of history
16 here and a lot of revisions that had to be done
17 because they just weren't feasible to implement
18 under that.

19 And, secondly, I mean in some ways
20 you're accusing the Board of delaying,
21 inappropriately delaying on implementing this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 while at the same time NIOSH had the decision to
2 prioritize finishing up Argonne-West and to pursue
3 other parts of this site rather than, you know,
4 prioritizing this Class Definition.

5 So I think be a little careful about
6 what we accuse the Board of in this situation.

7 DR. TAULBEE: Well first I'd like to
8 say I am not accusing the Board. I'd like that to
9 go on record.

10 Second of all, the petitioner himself
11 worked primarily his career at Argonne National
12 Laboratory West and so that is why we prioritized
13 our evaluation time period, and so we are trying
14 to address the actual petitioner's concerns with
15 his employment.

16 CHAIRMAN SCHOFIELD: I've got a
17 question. You have like particularly the fire
18 department and maybe even the guards, I don't know,
19 if you are responding to something you may go in
20 and out of CPP during that timeframe 200 times.

21 Given that it's an alarm or maybe a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 medical situation there, they're not going to stop
2 to exchange badges in a case like that. Do they
3 have a blank code that goes with their job
4 description for all areas at all times?

5 DR. TAULBEE: For CPP, I do not believe
6 so. They had their own fire department, they had
7 their own guard force, well, part of the guard force
8 everywhere.

9 So from the response of incidents that
10 are on CPP they would be responding there onsite,
11 so they would be badged. Now is there any time
12 where they had to bring other people in, I don't
13 know the answer to that particular question from
14 that standpoint.

15 I mean that would take a review of all
16 of the incidents there at the site, and, yes, wow,
17 that would be --

18 CHAIRMAN SCHOFIELD: I don't know if
19 you would need to review all the incidents, but you
20 might stop and take a look at, particularly the fire
21 department because you may have five or six

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 substations but they are actually trained and
2 cross-trained to go to other areas and be brought
3 in other areas when there is people that are off
4 on vacation, they're sick, whatever it is.

5 Even though normally -- we'll just use
6 this hypothetical, we're going to name these
7 stations one through four. People from Stations
8 1, 2, and 3, four is the CPP station, may rotate
9 in and out of there as they are needed, but they
10 aren't specifically badged to CPP because they are
11 obviously trained to respond to all areas.

12 That's one group I think you really need
13 to take a look at how their badging works.

14 DR. TAULBEE: Okay. Thank you. You
15 can continue now.

16 MR. BARTON: One point, it's a great
17 question and I knew I had seen it at least in one
18 case. There is actually an Area Code 123 that is
19 the all area badge.

20 I don't know how often it was used or
21 it was used for a certain time period, but at least

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in this document that we discussed earlier, let me
2 scroll up and see if it has the heading.

3 It says 2011 inserted by area code,
4 table of areas and codes, dosimetry, and it gives
5 no real specific information that was captured at
6 INL, but this is the list of all the area codes,
7 123 was one of them and that is the all area badge.

8 But, again, I don't know if that was
9 implemented throughout the site history, if it
10 applies to parts of the SEC period, all of the SEC
11 period, I don't know.

12 DR. TAULBEE: I am believing that that
13 was implemented around the '70s time period, but
14 we can check that.

15 MEMBER ROESSLER: Do we have any
16 precedence at any other site where an SEC has been
17 approved that's similar to this, not the split and
18 Definition so much, but the need to validate the
19 Class Definition like we're trying to do here, have
20 we done that before?

21 MEMBER BEACH: No.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Tritium at Mound.

2 MALE PARTICIPANT: Tritium at Mound.

3 MEMBER BEACH: Yes.

4 DR. NETON: We use tritium monitoring
5 at Mound to establish the Definition of the Class.

6 MEMBER BEACH: And we had some issues
7 with it that's why --

8 DR. TAULBEE: Right. We had to add two
9 years where we had a gap.

10 (Simultaneous speaking)

11 DR. NETON: It was a tritium --

12 DR. TAULBEE: Whereas --

13 DR. NETON: Yes, to see who is exposed
14 to --

15 (Simultaneous speaking)

16 DR. TAULBEE: There had been a
17 precedence set for that type of description.

18 MEMBER BEACH: No. We had a group of
19 people that weren't covered which came out after
20 the Class Definition.

21 MR. STIVER: Over a 2-year period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Yes.

2 DR. NETON: We modified that Class
3 Definition, I think, based on the availability of
4 records. We finally went back and there was a
5 piece of the records that were missing and I forget
6 what year --

7 MR. STIVER: Right, a 2-year period.

8 DR. NETON: Yes, a 2-year period.

9 MR. STIVER: Right.

10 DR. TAULBEE: Which was one of the
11 reasons we did the whole data gap analysis and going
12 through and looking to make sure that that didn't
13 happen again.

14 MEMBER BEACH: I haven't heard of any
15 concerns since we did that either.

16 MEMBER MELIUS: No, I haven't either.

17 MEMBER BEACH: Nothing has come
18 through. So maybe we should take a little break?

19 MR. KATZ: It's 9:59.

20 MEMBER BEACH: I know, they're
21 breaking down though.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Do folks want to -- need a
2 break?

3 Okay. So we'll break for, what, ten
4 minutes say, so till 10:10.

5 (Whereupon, the above-entitled matter
6 went off the record at 9:59 a.m. and resumed at
7 10:12 a.m.)

8 MR. KATZ: Okay. Welcome back,
9 everyone. We are ready, we're online, we're
10 live. Live as we'll ever be, as John says.

11 CHAIRMAN SCHOFIELD: Are there any
12 remaining questions for Tim? Does anybody have
13 any more, while we're beating him up?

14 MEMBER MELIUS: Yes. Tim and I had a
15 walking-across-the-lobby conversation, but -- so
16 let me just ask for the record, the timeframe on
17 resolving these cases? In terms of getting
18 information back, and so forth, for these.

19 DR. TAULBEE: For the 18 cases, I
20 expect that we will get them back by the end of this
21 month, by the end of November. To turn those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 around, two to three weeks is what I'm thinking,
2 which puts us right into Christmas. But we should
3 be able to have, you know, an update to the Board
4 by that time period, probably right around
5 Christmas or maybe -- that time period.

6 The other questions that Phil was
7 bringing up on emergency responders and some of the
8 other things, those are going to take a bit more
9 time in order to do follow up. So I don't have an
10 estimate. Depends upon what we can find within the
11 current --

12 MS. LIN: Hey, Tim.

13 DR. TAULBEE: Yes?

14 MS. LIN: This is Jenny Lin. Can you
15 move the microphone closer to you?

16 DR. TAULBEE: Okay. Is this better,
17 Jenny?

18 MS. LIN: Yes. Better. Thank you.

19 DR. TAULBEE: Okay. With regards --
20 just to recap, with regards to the follow up of the
21 18, the sites I believe will be able to get us the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 responses back by the end of this month, and then
2 both us and SC&A will -- you know, we will have to
3 process and evaluate those and go through those
4 records in order to make some determination. So
5 I would expect that to probably take two to three
6 weeks' time period.

7 With regards to some of Phil's
8 questions, with regards to emergency responders
9 and the Area 123 badge and CADRE, that might take
10 some additional effort out at the site in order to
11 follow up. And I don't really have a good timeline
12 for that from that standpoint.

13 MEMBER ROESSLER: As a Work Group
14 Member, I'd like to make a suggestion to get this
15 moving. Not a motion but just a suggestion to see
16 what you're thinking. You know, we could pick this
17 Definition apart for a long time, and it seems like
18 it's going to be months anyway, and it could be even
19 longer.

20 And I'm thinking of those hundreds,
21 four or five hundred, maybe more, people who could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be compensated now. And if we don't, in the
2 meantime, there are people who really aren't well,
3 I'd like to see them compensated now. We've got
4 it set up. We can always go to the fallback on it,
5 and we have a precedence for that.

6 So I just want to throw that out as a
7 -- what I think is a very -- at least I can support
8 a valid way of approaching this with the Board next
9 week.

10 MEMBER BEACH: I guess I don't disagree
11 with that suggestion. However, I like 100
12 percent, but that's what I wanted. And these split
13 Class Definitions I know are touchy. I also know
14 they are workable in a lot of ways, too. So we
15 sampled, what -- what did you say, 92, and came up
16 with 18 potential problems?

17 MR. BARTON: No. The 92 was related to
18 a different study. In this one, we essentially
19 looked at every claimant that had 250 days of
20 covered employment.

21 MEMBER BEACH: Oh, okay. So every one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of them.

2 MR. BARTON: Right. Well, the ones we
3 didn't look at were the ones who could not qualify
4 based on their employment. But even then, as I
5 noted in the presentation, we looked at a bunch of
6 those as well.

7 MEMBER BEACH: And how did the guards
8 fall out in that? Are the guards covered with
9 their dosimetry, or --

10 DR. TAULBEE: I see a mixed batch. The
11 guards at CPP, yes, we see guards that have CPP
12 badging. We see guards that have Test Area North
13 badging. We see guards that have Central
14 Facilities badging. So I believe the guards that
15 were at CPP are included in that particular Class.
16 You know, follow up with what happens when a
17 firetruck comes, you know, they need an additional,
18 you know, engine, I don't know.

19 I do know an instance in the 1970s where
20 one of the initial follow up people that we were
21 following was a firefighter from Central

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Facilities who indicated work at CPP, and was not
2 badged at CPP, he was badged down at Central
3 Facilities, but this was in the 1973/'74 time
4 period. So he didn't need to be badged in CPP, and
5 he talked about responding to spills, and so forth,
6 up at CPP.

7 But that's in that time period where
8 everybody was allowed, which is why I say it is
9 going to take a bit longer to follow up those,
10 because we are looking at that time period of '63
11 through 1970.

12 CHAIRMAN SCHOFIELD: I have to agree
13 with both Josie and -- that 100 percent is what we
14 need to achieve. But, in the meantime, how many
15 people are we, you know, stopping? My feeling at
16 this point is go ahead and recommend it with the
17 caveat that, as more data comes in, we may need to
18 reopen that and make some changes to it.

19 MEMBER ROESSLER: So moved, as part of
20 the Work Group.

21 CHAIRMAN SCHOFIELD: Jim, you've got

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 any --

2 MEMBER MELIUS: Well, I think we should
3 wait. I mean, I think it's -- we're actively
4 investigating a number of cases. I keep getting
5 -- hearing more doubts from -- and more questions
6 coming up than certainty about the covered and not
7 covered, and so forth.

8 And, remember, once we -- you know, once
9 we approve it, then this will go to the bottom of
10 the priority list. And the way the priority list
11 looks for investigating the site, we are
12 potentially years away from getting anything done.
13 I mean, it's the nature of the way this site has
14 been approached and the amount of work that needs
15 to be done on the site.

16 What I had talked about with Tim, while
17 we were going across the lobby, was that we will
18 have a report the end of -- by Christmas, nice
19 little bow on it and under the tree, and so forth.

20 DR. TAULBEE: I can't promise by
21 Christmas, but around then.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MELIUS: Well, East Orthodox
2 Christmas. It'll give you an extra week.

3 DR. TAULBEE: Support staff is all on
4 leave the second half of December.

5 MEMBER MELIUS: Okay. Cancel all
6 leave at ORAU.

7 MEMBER ROESSLER: So, Jim --

8 MEMBER MELIUS: Let me finish up.

9 MEMBER ROESSLER: Oh, okay.

10 MEMBER MELIUS: We have a Board call on
11 January, about the 20th, something like that. And
12 if we have a report by around the holidays -- okay,
13 how is that? But then we have the Work Group call
14 in early January and see where we are then.

15 If we feel that we have enough
16 information at that point in time, then we can go
17 ahead and decide to approve or decide to hold off,
18 you know, what we -- in terms of recommendations
19 to the Board.

20 MEMBER ROESSLER: My question of Josie
21 and Jim, then, is when you say want 100 percent,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do you want 100 percent clarified on these 11 cases,
2 is that your goal? Would that make you feel --

3 MEMBER BEACH: You know, I guess what
4 I really want is a more clear recommendation from
5 SC&A. And it doesn't sound like SC&A is quite
6 ready to give that clear recommendation to us. Am
7 I correct in that?

8 MR. BARTON: I think that -- I'm sorry
9 you have to these 18 plans and then what comes with
10 those. If we get more records, then we can
11 evaluate the position then.

12 One comment I would make on the
13 Definition itself -- you know, there was some
14 discussion about how we approve a Class and it goes
15 to the Department of Labor. You know, they're by
16 the book, they're going to stick to that
17 Definition.

18 And it seems like a lot of discussion
19 today pointed to maybe expansion beyond just the
20 notion of the one film badge, at least for the
21 latter period, March 1970 to 1974. As we talked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about, that would include temporary badges,
2 visitor badges, the annual records, career dose
3 totals, maybe even some internal monitoring.

4 So it almost seems like the
5 requirement, as it's being discussed now, is not
6 necessarily just that one film badge, it's more
7 evidence of radiological monitoring, it seems like
8 what was discussed.

9 DR. NETON: I think that remains to be
10 seen. I mean, you can ask questions about that.

11 MR. BARTON: Right.

12 DR. NETON: But if it works out that
13 those questions are addressed, then maybe it's a
14 little quicker than --

15 MEMBER MELIUS: And DOL does an
16 implementation guidance on the SEC. So the
17 Definition doesn't have to spell out the entire --
18 every possibility. So, for example, if we
19 determined that CADRE was part of CPP, we don't --
20 I don't think we have to necessarily change the
21 Definition, but we -- you know, the implementation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 guide would -- you know, that's a subset within CPP,
2 or something like that.

3 Or, you know, if -- it would -- if it
4 involved other monitoring or whatever. I mean, I
5 think there's ways to do it. It depends on what
6 the exception is.

7 Now, if it's like, you know,
8 firefighters who say they worked in CPP, DOL is
9 unlikely, I think, to implement based on sort of
10 say so or whatever, or, you know, what's in an
11 interview. But, you know, if it's, you know,
12 records, if there's a determination now or later
13 that, for example, the, you know, emergency
14 response teams rotated through in a way, or might
15 not have been badged in CPP, you know, that group
16 could be added as an expanded Definition or
17 something.

18 I mean, I -- I don't want -- you know,
19 in the abstract, it's a little hard, but I think,
20 you know, it depends what the evidence shows. So
21 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: And in that latter time
2 period, you know, as we indicated, anybody who is
3 badged -- because you still have to wear a badge
4 to get into CPP, so -- especially in that latter
5 time period. The badge could come from anywhere.
6 And so it -- it really doesn't matter from that
7 standpoint.

8 The earlier time period is where it is
9 more restrictive to where people coming from other
10 areas had to pick up a CPP badge to go in. But,
11 again, everybody going into -- everybody who has
12 the potential to be exposed to those actinides that
13 we talked about, those separated actinides, had to
14 be badged to go into those areas.

15 MR. BARTON: I guess this is what I was
16 kind of hinting at is would internal monitoring,
17 without an existing badge, however unlikely that
18 situation is, if we found at least one that appears
19 to be like that, that we recommended it be followed
20 up on. We might find badges from that individual.

21 But, again, with the internal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitoring also -- as a follow-on to that, some of
2 the cases we identified for follow up had direct
3 evidence via the location file cards. I know
4 that's not an affidavit or a statement made in a
5 CATI report. That would be what I consider a solid
6 record.

7 Would that be enough, if it comes back
8 that we have some -- a few of these 18 had location
9 file cards, and we can't find external
10 dosimetry-specific -- not specific to CPP, but if
11 we can't find external dosimetry to cover that
12 latter period, would that be enough? Because
13 that's not necessarily monitoring, but it is in a
14 way evidence that they were assigned to that area.

15 DR. TAULBEE: My interpretation is
16 that is enough from the evidence standpoint,
17 because that locator card is actually from the
18 Dosimetry Branch. It's not from Human Resources.
19 It's their record. And so that locator card is
20 where they would issue badges and where it goes
21 from.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, the only possible scenario I can
2 think of of the individual who, you know, went for
3 a whole body count. And, you know, there isn't a
4 record yet right now is that if a new employee, for
5 example, goes for a pre-employment in vivo
6 counting, and then actually decides to never work
7 there, it would be on their locator card. That's
8 not the scenario here, but --

9 MR. BARTON: Oh, yeah.

10 DR. TAULBEE: But I can actually see
11 that happening, where they didn't get issued a
12 badge. But they're not going to meet the 250 days
13 either, so I don't know if that scenario is --

14 MEMBER MELIUS: I would add one other
15 thing, is that I think when there are exceptional
16 or different circumstances, I think it's important
17 that the Board put that on the record when approving
18 the SEC, because that adds more weight to how, you
19 know, DOL interprets the SEC. And so,
20 again, having an understanding of what -- how it
21 should be implemented and what might be sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 tricky questions, what the different circumstances
2 would be, I think is helpful and should be part of
3 the part of the Board deliberations.

4 And the obligation of the Work Group is
5 to, you know, make sure that stuff gets explained
6 in some way on the record, either as part of a Work
7 Group meeting or a Board meeting.

8 MEMBER BEACH: I agree, because it's
9 not real clear how that is going to be turned over
10 to DOL, whether NIOSH is going to provide a list
11 or if they are going to go through the records.
12 That is a huge part of this, at least it seemed to
13 be in the last one we talked about with Mound.

14 So I'm going to retract. And while I
15 want to see 500 people get compensated, if Jim's
16 argument that we get to go to the bottom of the list
17 as importance, to me that's really not acceptable.
18 So I think waiting a couple months is not a bad idea
19 to make sure we're all clear, and we have a more
20 clear recommendation coming from SC&A. I think
21 that's important for the Board.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ROESSLER: So my motion wasn't
2 seconded, so it --

3 MEMBER BEACH: No, no. It wasn't
4 really a motion. You were just throwing out a
5 suggestion.

6 CHAIRMAN SCHOFIELD: This all area code
7 for badging, that's one that really has me
8 questioning the 123, how that will impact this.

9 DR. TAULBEE: And that's something
10 that we can look at as -- again, my belief right
11 now is that that is in the 1970s time period where
12 anybody badged qualifies to go in there. But we
13 can certainly verify that.

14 But as Dr. Melius had indicated a second
15 ago, that -- you know, that really is part of the
16 implementation, you know, whether we add CADRE or
17 whether we add the all area, whether we add the
18 firefighters, that is part of the implementation.

19 Now, if you're wanting all of that
20 guidance by the end of December, I can't deliver
21 all of that. So I guess I'm asking -- we can do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the 18. When are you wanting the other aspects?

2 I'm very glad Jim pulled out his
3 notebook, so that he can take notes, because I'm
4 not a prioritization person.

5 MEMBER MELIUS: I mean, I think some of
6 that depends on where we are when we see the 18.
7 And I'd like to think it's going to be definitive,
8 but I'm not confident that it will be. But, I mean,
9 our next meeting would be March. Is that feasible?
10 I don't know.

11 DR. TAULBEE: Actually, yes, I think
12 that is feasible.

13 MEMBER MELIUS: I mean, I think -- my
14 guess is that -- Tim, is you're going to know when
15 you get the records the end of November. You're
16 going to have a pretty good sense of what is there,
17 particularly if there's problems. I mean, that's
18 --

19 DR. TAULBEE: Yeah.

20 MEMBER MELIUS: -- you're going to know
21 and --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: But with the
2 firefighters, I'm not going to know.

3 MEMBER MELIUS: Yeah.

4 DR. TAULBEE: I mean, I'm going to have
5 to do some additional requests in order to get that
6 is where --

7 MEMBER MELIUS: Okay.

8 DR. TAULBEE: -- is what I'm going at.

9 MEMBER MELIUS: Yeah.

10 DR. TAULBEE: As I would have to look
11 at that more in detail, and that's --

12 MEMBER MELIUS: So let's say March for
13 that, is that --

14 DR. TAULBEE: For that aspect.

15 MEMBER MELIUS: Yes.

16 DR. TAULBEE: Okay.

17 MEMBER MELIUS: Sure.

18 MR. KATZ: So just to clarify, does
19 that mean we are not going to shoot for a Work Group
20 meeting possibly in --

21 MEMBER MELIUS: I think we can --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: -- in January, or we still
2 will?

3 MEMBER MELIUS: We are. We are.

4 MR. KATZ: Okay.

5 MEMBER MELIUS: I mean, I -- that's my
6 proposal. You're supposed to remind me, Ted, I'm
7 not the Chair of the Work Group.

8 DR. TAULBEE: So for the 7 NIOSH and 11
9 SC&A orders, the 18, we are targeting around the
10 end of the year holidays, and then a January Work
11 Group.

12 MR. KATZ: Right.

13 MEMBER MELIUS: And with SC&A being
14 able to comment on that report, but not necessarily
15 a written, you know, response from SC&A by the time
16 the Work Group will --

17 MR. KATZ: Well, SC&A will have access
18 when you get the records.

19 MEMBER MELIUS: Oh, absolutely.

20 MR. KATZ: You don't have access early
21 on before, but --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking.)

2 MEMBER BEACH: John has already asked
3 to have those records made clear where they were.
4 That's --

5 MR. STIVER: That's a little bit
6 different, regarding the records, but, yeah, we
7 will definitely want them as soon as you can get
8 hold of them.

9 DR. TAULBEE: Right.

10 MR. KATZ: Tim, are you saying you're
11 not looking at the other 10 or --

12 DR. TAULBEE: I wasn't going to on the
13 other 11.

14 MEMBER MELIUS: Can you at least
15 coordinate on -- that's a good point. Can you at
16 least coordinate with SC&A on how we look at it?

17 DR. TAULBEE: On our original list, I
18 accidentally dropped it, so --

19 MEMBER MELIUS: Bob has like 11 people
20 helping him, and you only have one, so --

21 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Phil?

2 CHAIRMAN SCHOFIELD: What does the
3 calendar look like for January?

4 MR. KATZ: We'll do this by email. We
5 don't need to --

6 CHAIRMAN SCHOFIELD: Okay. Sounds
7 good.

8 MR. KATZ: -- do this right now. But
9 I'll send something out for -- I'll look at when
10 the teleconference is and send something out before
11 it. If we have a week before it, we -- I think we
12 do.

13 So that's what I'll be aiming for, about
14 a week before the Board teleconference.

15 DR. TAULBEE: So just for my general
16 knowledge, the teleconference is the 20th?

17 MR. KATZ: Something like -- I don't
18 have it in --

19 MEMBER BEACH: It's the 20th. I just
20 looked it up.

21 DR. TAULBEE: Okay. So you're looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 around the 13th, 12th to 13th.

2 MR. KATZ: Yes.

3 DR. TAULBEE: Okay.

4 MEMBER ROESSLER: Probably just a
5 teleconference?

6 MR. KATZ: Yes.

7 DR. NETON: The Board
8 teleconference --

9 MR. KATZ: Gen meant the Work Group,
10 yes. We'll just meet by phone.

11 MEMBER MELIUS: After the big blizzard
12 of January in Minnesota.

13 CHAIRMAN SCHOFIELD: No. You're not
14 going to get it this year. Florida gets it. It's
15 an El Nino.

16 DR. TAULBEE: By the March 4 meeting,
17 you want us to have follow up on the firefighters
18 and security forces.

19 MEMBER BEACH: Prior to the March.

20 DR. NETON: I mean, it may not be
21 necessary, depending on the outcome in January.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm not prejudging anything. It's a staged
2 process is what I'm saying.

3 CHAIRMAN SCHOFIELD: Well, Doug, it
4 looks like you're up next.

5 MR. STIVER: Phil, could I --

6 CHAIRMAN SCHOFIELD: What's up? Oh.
7 Sorry.

8 MR. STIVER: I just wanted to sort of
9 set the stage a little bit here. Back in April,
10 we were tasked to start looking at some of the areas
11 where NIOSH felt that they could reconstruct doses
12 with sufficient accuracy, and we set about doing
13 some kind of mini-studies, if you will. A few were
14 cross-cutting, which was looking at the OTIB-54
15 method of using ratios. It comported well with the
16 different types of reactors that were in operation
17 at INL, both in the test reactor area and also at
18 Test Area North.

19 Another aspect of that study was to see
20 how well those ratios comported with actual
21 measurements of the bioassay that was available,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and other measurements that we were able to glean
2 from the SRDB.

3 In addition to that, we were to go
4 vertical in certain areas. One was the Central
5 Facilities area. Another aspect was Test Area
6 North. We were going to look at CPP, pre-1963,
7 before the SEC analysis of the burial ground.

8 It turns out the burial grounds and the
9 CPP, pre-SEC, are going to require site visits and
10 interviews, and that's part of what we're doing now
11 is we have an action plan in, and we're looking at
12 hopefully getting out there probably sometime
13 beginning of -- probably in January of 2016.
14 That's our goal at least.

15 So today we are really going to discuss
16 the reactors, TAN, the bioassay, and Central
17 Facilities. But I'd like to mix this up a little
18 bit, because I know Bob Barton has got an early
19 flight, and he is going to talk about -- a little
20 bit about the fission and activation products. So
21 I want to make sure he has a chance to do that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 beforehand.

2 So I'd like to bump the Central
3 Facilities discussion to the end, and start out
4 with Steve Ostrow's discussions of the test reactor
5 area.

6 So I can go ahead and pull that up,
7 Steve.

8 DR. OSTROW: Give me a minute.

9 MR. STIVER: Okay. Can everybody see
10 this on LiveMeeting?

11 MEMBER ROESSLER: I can't hear him very
12 well.

13 MR. KATZ: Well, he's not talking yet,
14 but --

15 DR. OSTROW: Can you go to the next
16 slide, please?

17 All right. One of the things that we
18 looked at, we're considering that NIOSH relies very
19 heavily on ORAUT-OTIB-0054, fission and activation
20 product assignment for internal dose-related gross
21 beta and gamma analysis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So we wanted to look at, does this model
2 well the reactors that are at INL? Are they
3 adequately enveloped by the OTIB cases, so that the
4 isotopic ratios that I used are valid? And have
5 off-normal operating scenarios for the reactors
6 been identified, and are they covered by the OTIB?

7 So we took a three-pronged approach.
8 We looked at the OTIB, described Test Reactor Area
9 reactors, and then we assessed whether the OTIB
10 models the reactors. We started just in this case
11 with the Test Reactor Area reactors.

12 And this is just -- next slide, please.
13 And this is just a little bit of the background,
14 and this I think is an interesting point. Under
15 the first bullet of dose reconstruction, when you
16 set up a Class Definition in the SEC, as part of
17 the firm framework, you are also defining what is
18 not in the SEC. And you are assuming that doses
19 could be reconstructed for site areas and time
20 periods that lie outside the SEC Class Definition.

21 That's what we're really looking at,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and we looked at just this one aspect of dose
2 reconstruction applicability. That is the TRA
3 area.

4 Next slide, please.

5 First, looking at what does OTIB-54 do,
6 because I'm not going to go too much into the
7 OTIB-54 procedure. But basically the idea is that
8 it should apply to a really broad scope of reactor
9 operations, and there is different cases.

10 Plutonium production reactors, which are low
11 enrichment and low burnup; research reactors,
12 which have like medium enrichment and modest
13 burnup; and high enrichment, high burnup reactors.
14 Those are really the cases that they look at.

15 Next slide, please.

16 Specifically, the OTIB does not apply
17 to two different situations. Operations, we have
18 short decay times following removal from the
19 reactor, for example, radioactive lanthanum
20 processing. And it doesn't apply to cases where
21 fuel has been reprocessed or the radionuclides have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been separated. It really applies to either
2 intact fuel or cases where the fuel is dissolved,
3 but it hasn't extracted isotopes from it.

4 Next slide, please.

5 We looked at the general -- we had
6 looked in the past at the validity and
7 applicability of OTIB as part of the Subcommittee
8 on Procedures Review Group, which was a long,
9 protracted process, a lot of back and forth between
10 us and NIOSH. And the findings were closed, so I'm
11 not going to do the whole history on that. A bunch
12 of reports have been done. I'm not going to
13 recapitulate that here. It's not really germane
14 to this.

15 Next slide, please.

16 The OTIB applies to the case where
17 frequently you have air samplings or urinalysis
18 data, the mixed fission and activation products,
19 but you only have them in the form of gross beta
20 or gross gamma activity, and it's unattributed to
21 specific radionuclides.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So what you want to be able to do is do
2 what you call mathematically an adjoint problem.
3 That given the output, the result, which is the
4 gross beta or gross gamma, can you derive the input,
5 which is the actual exposure to the different
6 radionuclides. That's what the OTIB tries to do.
7 In some sense, it works backwards.

8 And the goal of the OTIB is to reduce
9 the large amount of possible data that they have
10 on reactor operations to some manageable set of a
11 few characteristic reactors and scenarios. And
12 the hope is that a particular case will fit in
13 somewhere within this envelope that is defined by
14 the OTIB.

15 Next slide, please.

16 And, just briefly, the OTIB starts with
17 the radionuclide mix in spent fuel for a bunch of
18 different reactor types and fuel designs, operate
19 under different conditions. The conditions are
20 specific power, irradiation time, and burnup, and
21 calculated at different decay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next slide, please.

2 The OTIB starts out with seven
3 representative reactors and -- next slide, please.

4 The OTIB used ORIGEN code, which is an
5 isotope generation and depletion code. It's an
6 industry standard. It's well-known. It was
7 written at Oak Ridge, and it's maintained by Oak
8 Ridge National Laboratory.

9 And did a whole bunch of different runs
10 on different reactors, seven different reactors
11 with different decay times, and produced activity
12 data for 879 fission product nuclides and 688
13 activation nuclides. They went through a bunch of
14 different steps and ended up with four
15 characteristic reactors that are on the bottom of
16 the page.

17 The Advanced Test Reactor, ATR, which
18 is supposed to be characteristic of high flux
19 reactors; the Fast Flux Test Facility, which is
20 characteristic of sodium-cooled faster reactors;
21 Hanford N-Reactor, which is characteristic of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 plutonium production reactors; and, finally, a
2 TRIGA Reactor with stainless steel cladding, which
3 is characteristic of research reactors.

4 Next slide, please.

5 Finally, that was reduced even further
6 using another version of ORIGEN, ORIGEN-S, which
7 is part of the SCALE system, to produce the final
8 characteristic nine cases, some of the -- there's
9 multiple cases for a particular reactor. And
10 NIOSH customarily, from the NIOSH documents,
11 considers all nine reactor cases when it is doing
12 the dose reconstruction. And if they don't have
13 individual worker information, they might apply
14 data from all four decay times, and basically pick
15 the worst case of that. So that is -- we thought
16 that was a favorable basis approach.

17 Next slide, please.

18 Just a list -- these are the nine
19 representative cases for four reactors that the
20 OTIB produced. And the goal is that if you have
21 a particular dose reconstruction for a particular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 case, that -- to go ahead and take a look at this,
2 what the situation is, and try to pick a particular
3 case that envelopes the actual dose reconstruction
4 case that you have.

5 Next slide.

6 A quick look at the four representative
7 reactors. The first is the Advanced Test Reactor,
8 which is a surrogate for high flux reactors. And
9 that operated at INL. In fact, it's still
10 operating at INL. Max power of 250 megawatts, and
11 it's the largest of the three material testing
12 reactors that are at INL.

13 The idea was starting early in the
14 nuclear industry, nuclear research, if you wanted
15 to commercialize nuclear reactors, you needed to
16 know how material would survive, how they would do
17 under intense neutron and gamma fluxes. So these
18 material testing reactors at INL did accelerated
19 testing using really very high fluxes.

20 The reactor itself is a pressurized,
21 light water reactor, beryllium reflected, uses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 highly enriched uranium fuel, which sets it apart
2 from commercial reactors. So it's fully enriched.
3 And the fuel arrangement has very unusual
4 serpentine curved plate configuration.

5 Next slide, please.

6 Fast Flux Test Facility -- this is a
7 reactor that was at Hanford, 400 megawatts, a
8 liquid sodium-cooled reactor that explored
9 breeding plutonium from depleted uranium fuel by
10 neutron capture in U-238.

11 Next one, please. Next slide.

12 Hanford N-Reactor -- this is Hanford.
13 This is a plutonium production reactor, which uses
14 very low enrichment, because you want it to maximum
15 the U-238 content, U-238 to absorb the neutron and
16 produce plutonium-239 following two beta decays.
17 And you have very short irradiation times to
18 minimize the plutonium-240 buildup.

19 And this is a different reactor, too.
20 This was low enrichment and graphite-moderated
21 pressurized water reactor.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next slide, please.

2 And, finally, the TRIGA Reactor, which
3 people are probably familiar with. They are all
4 over the place. General Atomics produces them,
5 and they came in different varieties. They're
6 basically low powered research reactors.

7 Originally, they were highly enriched,
8 but in the last couple of years they have only been
9 up to about 20 percent enrichment, and the older
10 reactors have been converted to run with 20 percent
11 enrichment of fuel. That's for safety
12 non-proliferation purposes.

13 Next slide.

14 Okay. Now, specifically, what's in
15 INL, and this -- if you look at the next slide,
16 please, this is a list -- it's a little bit tough
17 to read because of the small print here, but this
18 is a list of all of the radioactive facilities in
19 the INL Test Reactor Area.

20 The first three are full-sized
21 reactors. The first was the Materials Test

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Reactor, which operated from 1952 to 1970. Then
2 they had the Engineering Test Reactor, which
3 operated from 1957 to 1981, which is bigger than
4 the Materials Test Reactor. And, finally, the
5 Advanced Test Reactor, which went into operation
6 in 1967. And, as I mentioned, it's still in use.
7 Those are -- all three were -- they were full-sized
8 reactors.

9 The other facilities are either zero
10 powered reactors that are used as mockups of the
11 big testing reactors or other places we encounter
12 radioactivity. So we just looked at the three --
13 the first three reactors, full-sized reactors.
14 And I'll go through them one by one.

15 Okay. Next slide.

16 All right. First, the Advanced Test
17 Reactor. In this case, it was sort of easy to do,
18 because the OTIB itself, OTIB-54, explicitly
19 models the Advanced Test Reactor. So it's
20 expected that any workers exposed to Advanced Test
21 Reactor fuel would be adequately treated by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 methodology in the OTIB.

2 We took a look to see if could find any
3 important material instances of the Advanced Test
4 Reactor operating outside of this design envelope,
5 and we didn't find that. As far as we could find,
6 the Advanced Test Reactor event is covered by the
7 OTIB.

8 Next slide.

9 This is just an illustration. I just
10 put it in because it looked nice, really. On the
11 left it shows the operating deck of the Advanced
12 Test Reactor, and on the right, to people who are
13 into nuclear engineering, that's a truly
14 weird-looking core. And they have rotating drums
15 instead of control rods to control reactivity.

16 Next slide, please.

17 The Materials Test Reactor was designed
18 by Argonne National Laboratory and Oak Ridge
19 National Laboratory and sited at Idaho. This was
20 sort of an interesting situation. Why did it end
21 up at INL? Because there was a little bit of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fight between Argonne and Oak Ridge where to site
2 it, and so it ended up at INL in the middle of
3 nowhere.

4 Now there's a second reactor built at
5 that location. It's a relatively small reactor,
6 maximum power is 40 megawatts. And, interestingly
7 -- and we'll talk about this more later -- even
8 though it's a uranium reactor, they actually ran
9 it with a plutonium core at at least two different
10 times.

11 Next slide, please.

12 Okay. The Materials Test Reactor was
13 really a prototype for the current Advanced Test
14 Reactor. It's cooled and moderated with light
15 water, aluminum-clad curved plate, enriched
16 uranium most of the time. The core is really
17 small. It's only nine inch by 28 inch in core
18 section by 24 inches high and only has like 4.9
19 kilograms of U-235.

20 This is a little bit of a demonstration
21 that -- how little nuclear fuel it takes to have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a nuclear reactor. I mean, it's a really small
2 core, but very high flux, had about 100 beam holes
3 that penetrated into the core. So, in this case,
4 the neutron and gamma flux was extracted to
5 irradiate things external to the reactor.

6 We will see later reactors didn't do
7 that. Rather than having beam holes, the cores are
8 big enough they can place experiments inside the
9 core, which was more efficient and also safer to
10 operate, because we didn't have the streaming
11 problem.

12 Next slide, please.

13 Lasted for a long time, 125,000 hours
14 of operation, 19,000 irradiations. So our
15 evaluation -- the MTR fuel enrichment, cladding,
16 and plate design were similar to the ATR. The ATR
17 was much bigger but a similar idea. The way MTR
18 was operating with uranium fuel, we concluded that
19 the ATR case and the OTIB would correctly reproduce
20 it.

21 Next slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We looked at unusual conditions. They
2 used the MTR briefly for Radioactive
3 Lanthanum -- that's RaLa -- extraction campaign for
4 a few years. RaLa is really interesting but not
5 really important here.

6 OTIB specifically moved RaLa
7 operations from the -- from being considered, so
8 we don't have to look at that.

9 Next slide, please.

10 Okay. I mentioned before, this is
11 where it's interesting. The MTR, although most of
12 the time it used uranium fuel, it's a demonstration
13 as early as 1958 to see if you could actually run
14 a reactor with a plutonium-239 core. In theory,
15 you should be able to. But it wasn't demonstrated,
16 so they ran with a plutonium-239 core.

17 Later, years later, just before the
18 reactor was shut down actually, I think in 1970,
19 DOE or whoever was in charge in those days, wanted
20 to shut down the MTR, because they thought it was
21 obsolete, and so forth and so on, to try and save

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 money.

2 They did the last experiment called the
3 Phoenix experiment. It was a demo project for a
4 potential high-power, compact reactor. The idea
5 here is that plutonium-240 is fertile, which means
6 that it can absorb a neutron and become fissile
7 plutonium-241.

8 Odd-numbered nuclides are fissile,
9 generally, and that would have actually two things.
10 That would -- the plutonium-240 would act as a
11 neutron absorber initially, so they wouldn't have
12 to load the reactor, but they wouldn't have to put
13 a lot of control rods at the beginning.

14 But gradually over time you would build
15 up the plutonium-241, which would increase the
16 reactivity available. So the idea is you can get
17 a very compact core that way. They ran it for a
18 few months to demonstrate it.

19 And let's go to the next slide, please.

20 Demonstrations worked fine. But
21 although the configuration of the core with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 plutonium was similar to the configuration with
2 uranium, these are the same five fuel sites, and
3 so forth, the same design.

4 The plutonium operations were
5 significantly different. The plutonium had a
6 different cross-section, different activation,
7 and so forth, different from uranium, and the
8 fission product abundance distribution and core
9 neutron spectrum would be different than a uranium
10 core.

11 So the question is, at the last bullet,
12 how much different and whether the differences
13 would be radiologically significant. This would
14 require us doing detailed comparative ORIGEN runs,
15 which we didn't do for this report. That was a
16 little bit beyond the question we raised to do
17 ORIGEN too much in this case.

18 Next slide, please.

19 So we looked to see, did any of the
20 existing four reactors or nine cases of the OTIB
21 encompass the MTR running with plutonium core.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we looked at the Hanford N-Reactor case, which
2 contains plutonium, but they're not applicable for
3 several reasons.

4 One obvious difference is that the MTR
5 was water-moderated, while Hanford N was
6 graphite-moderated, which is totally different.
7 You get a different neutron spectrum, everything.
8 And the actual loading of plutonium was totally
9 different.

10 The N-Reactor in the first case used six
11 percent plutonium-240, and the second case was 12
12 percent plutonium-240, while the MTR used 23
13 percent plutonium-240 to breed the fissile
14 plutonium-241. So the fuel loading was different,
15 but the Hanford-N Reactor case we don't think
16 applies.

17 So we concluded that it's not clear
18 which, if any, of the nine OTIB-54 cases would
19 adequately envelope the case of the MTR with the
20 plutonium core; hence, whether the MTR with the
21 plutonium core could be adequately modeled with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 OTIB has not been determined at this time and is
2 an open question.

3 Okay. Next slide, please.

4 We looked at the Engineering Test
5 Reactor, which is similar to the MTR and the ATR,
6 just bigger than the MTR and smaller than the ATR.

7 And go to the next slide, please.

8 This operated from 1957 until 1981,
9 very high flux.

10 And next slide, please.

11 It's included here, as with the MTR,
12 operating with uranium fuel, the OTIB-54
13 methodology should also adequately envelope the
14 ATR in considering the internal exposure.

15 So last slide, please. Next one.

16 This is a little summary. The main
17 issue that we found just looking at these three
18 reactors in the Test Reactor Area is that the --
19 you have the question of whether the ORAU-OTIB-0054
20 can be applied to the MTR when it operated with
21 plutonium fuel, and we left open the area, we didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 look at this.

2 The applicability of the OTIB-54 to the
3 more exotic reactors at Test Area North. It had
4 different fuel compositions and arrangements and
5 operations than the OTIB reactors. Plus, other
6 reactors at the site, which were deliberately run
7 to failure, blown up, so forth and so on.

8 So the -- we have a report that comes
9 a little bit later in today's presentation about
10 TAN, Test Area North area, but there are other
11 experimental reactors located in several different
12 areas of the INL site that have yet to be addressed.

13 And I think INL had 52 reactors, and all
14 of them were experimental. And according to some
15 INL people, they used to refer to the reactor that
16 was new. They had at least one of every single kind
17 you can think of.

18 That concludes my presentation.

19 MR. STIVER: Any questions or comments
20 for Steve?

21 MEMBER BEACH: I guess it might be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 premature for this question. What is your path
2 forward here?

3 DR. OSTROW: Well, I would suggest two.
4 One, we explicitly requested that the Materials
5 Test Reactor operating with plutonium would be
6 adequately modeled by the OTIB. And I think that's
7 -- we can look at it a little bit more, but I think
8 that's a question for NIOSH to respond to, you know,
9 with some -- not just a "yes" or "no," but with
10 actual -- some analysis. It may be -- you know,
11 we need to see some write-up on that. So I will
12 do that.

13 And I think the other path forward would
14 be to take a look at some of the other exotic
15 reactors other than the TAN, which we have already
16 been looking at. There is a number of
17 miscellaneous reactors, like OMRE, which is an
18 Organic Moderated Reactor Experiment, which no one
19 has looked at. And there's a few others that are
20 totally different than any normal type reactors.
21 So we should I think continue to at least identify

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potential problem areas.

2 MEMBER MELIUS: Can I ask Tim to sort
3 of update us on where NIOSH is going in this?

4 DR. TAULBEE: Okay.

5 MEMBER MELIUS: More than a nod.

6 DR. TAULBEE: More than a nod. Well,
7 first of all, Steve, a very nice presentation there
8 with good details, and I certainly appreciate that,
9 because it's nice to get everybody up to speed on
10 all the reactors. So it's nice to take that time.

11 With regards to the plutonium core at
12 MTR, I have two comments about this. Number one,
13 I would like to ask that the Work Group consider
14 moving this to -- as a TBD issue instead of an SEC
15 issue. And the reason that I say this is that we've
16 pretty clearly demonstrated we can model the
17 different reactor cores once we know what the core
18 composition is and the burnup times and the
19 operating parameters, as OTIB-54 had done, and then
20 develop this fission product mix to see if it is
21 claimant favorable or not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This has also been done outside OTIB-54
2 at the Savannah River Site with heavy water
3 reactors. An entire additional analysis was done
4 and a comparison was done. So from my standpoint
5 from the SEC, it's really more of a TBD issue of
6 whether OTIB-54 is bounding, or whether we need to
7 make some adjustments for this 19 -- January of 1970
8 through April of 1970 core run that they did with
9 the plutonium core.

10 Now, keep in mind that plutonium core
11 wasn't processed until later in 1970, a long that
12 time period. So that's when the core will be, you
13 know, dissolved, and so forth. So I would ask that
14 you consider it from a TBD issue.

15 Also, with the most recent Class
16 Definition modification of March of 1970 through
17 1975, all of these workers at MTR are actually
18 included as part of the Class due to the CPP
19 possibility of them going over there.

20 So those are my initial comments. We
21 can certainly model that plutonium core and write

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a report about it. I would just like to try and
2 do that outside of the SEC envelope. It makes it
3 a little bit easier from our standpoint to get
4 through the other SECs at this time.

5 MEMBER ROESSLER: I'd like to comment
6 on essentially the same thing Tim did. That was
7 a very nice description of reactors. I feel like
8 at this moment I understand them, and it really
9 helps. When you are looking at a site like this,
10 you need to know something. So it's done as a good
11 teacher, I think, Steve.

12 DR. OSTROW: Thank you.

13 MR. GLECKLER: This is Brian Gleckler.
14 I'd like to make another comment regarding the
15 MTR's Pu core. I don't think we have any evidence
16 that indicates that any of that fuel ever failed,
17 so there's not likely an exposure pathway.

18 DR. MAURO: This is John Mauro.
19 Regarding that comment, one of the -- this OTIB-54
20 approach, as I understand it, is being used for
21 folks that handle and store fuel, and, of course,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 does not apply once you start to process the fuel.
2 So the premise is that once you move the fuel and
3 are working with it or place it in a hot cell, there
4 is in fact a potential for exposure to airborne
5 radionuclides of some mix, an OTIB-54 mix.

6 So I think notwithstanding the fact
7 that there is no what you had referred to as fuel
8 failure, I believe it's -- that there is still
9 applicability of OTIB-54 to reconstruct the
10 internal doses for that fuel, unless I
11 misunderstood your question.

12 MR. GLECKLER: The fuel was clad, so if
13 the cladding never failed, then no one could be
14 exposed to the material inside the cladding. And
15 I believe that's -- I don't think we've seen any
16 evidence that that fuel ever failed. It wasn't
17 ever processed onsite or reprocessed.

18 MR. STIVER: Any other questions for
19 Steve?

20 MEMBER MELIUS: I would just -- back to
21 Tim's comment, I'm a little reluctant to sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 write off an issue at this point. I'm not really
2 that familiar with OTIB-54 and its applicability.
3 As I repeatedly say, it's not just whether it's
4 bounding but whether it's sufficiently accurate
5 also.

6 But I know you meant that, so
7 understood, but -- and I just, you know, before we
8 write it off, I'd like to get a better handle on
9 it. And also try and understand this whole menu
10 of 52 reactors, or whatever it is, that -- what
11 we're writing off and what we're not, and so forth,
12 so -- with that.

13 DR. TAULBEE: I'm sorry if I implied
14 that we were writing it off. I'm not meaning to
15 write it off. I just mean from the SEC --

16 MEMBER MELIUS: I'm just personally
17 not ready to do that, but I'm not -- I'm not trying
18 to expedite it either.

19 DR. TAULBEE: Okay.

20 MEMBER BEACH: And you are just saying
21 one, the MTR.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: The MTR for the plutonium
2 core. There are other reactors here --

3 MEMBER BEACH: Yes.

4 DR. TAULBEE: -- and I believe there is
5 going to be other SC&A reports about Test Area North
6 and some of the other ones. And, to me, all of
7 them, because of our ability to identify the cores
8 and look at the power distributions and the
9 burnups, those are all things that we can evaluate.

10 It's going to take time, but it's
11 certainly something we can evaluate. And if the
12 Work Group wants that, that's certainly feasible
13 and we can certainly do so and make adjustments as
14 necessary.

15 CHAIRMAN SCHOFIELD: Do you have a list
16 of the material types of plutonium they used for
17 the MTR reactor, the levels of enrichment?

18 DR. OSTROW: Yes.

19 DR. TAULBEE: Yes. Steve presented
20 that in his presentation.

21 DR. OSTROW: Right. I did it very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 quickly. One of the -- yes, we have information
2 on that.

3 You know, Tim, I know that -- this is
4 Steve again. I know that you can -- you have the
5 capability of modeling any core. I mean, your
6 methodology is good. You guys are good at that
7 stuff. But what would you, for example, in a
8 practical case?

9 Suppose a worker worked at the OMRE
10 reactor, which the organically moderated reactor.
11 For his particular case, you would actually propose
12 running from scratch the ORIGEN runs and, you know,
13 creating a special case for that worker.

14 DR. TAULBEE: No. What I would
15 propose doing is the reactors that the Work Group
16 wants us to analyze and go through and develop the
17 fission products inventory and compare it to
18 OTIB-54, those are the ones that we would analyze.

19 What we do from a dose reconstruction
20 standpoint, from a practical standpoint, is, for
21 example, if I had an OMRE worker, and his dosimetry

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was identifying an OMRE and his bioassay was saying
2 it was from OMRE, then, yeah, I would apply those
3 particular ratios to that particular person if we
4 had -- you know, after we develop that. We would
5 not do this on an individual type basis.

6 But, otherwise, we would assign -- now,
7 let me clarify here. If that worker worked at OMRE
8 and, say, up at MTR, we take the most
9 claimant-favorable.

10 DR. OSTROW: Sure. Of course.

11 DR. TAULBEE: That's what we've done in
12 the past, of which one of these --

13 DR. OSTROW: I noted that. I remember
14 from our OTIB-54 discussions, and what you guys
15 wrote up, that you always run multiple cases and
16 pick the worst case for each worker.

17 DR. TAULBEE: Right. Ideally,
18 OTIB-54 should be the bounding case. It should be,
19 because of the variation within the reactors and
20 some of the parameters that were investigated.

21 Now, when we did the Savannah River

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 analysis with that, what we found was the iodines
2 were not necessarily. And so we had to do some
3 special modification for the iodines for the heavy
4 water reactors, which really only applies, then,
5 to the thyroid cases. So --

6 DR. OSTROW: Right. Now, I realize
7 that. I know that you did that.

8 DR. TAULBEE: We would apply the same
9 way.

10 DR. OSTROW: Okay.

11 MR. STIVER: So this is John Stiver.
12 So I guess my question to the Work Group is, is this
13 something you would like SC&A to take a look at to
14 identify which reactors we think might be
15 candidates for follow up for NIOSH?

16 MR. KATZ: Let me add to that, before
17 we get into that, whether SC&A -- it's unclear to
18 me whether that's an SC&A role or NIOSH to identify,
19 suss out, those that may not be enveloped, because
20 it seems like, I mean, SC&A has raised these
21 possibilities that it is discussing today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But in terms of canvassing the whole
2 site for -- to ensure that the reactors are covered
3 in effect, I mean, it seems like that is more of
4 a -- that's part of -- NIOSH has to do that anyway,
5 because it has to ensure that all of its dose
6 reconstructions are claimant-favorable. Or is
7 that something that NIOSH does only as the cases
8 come forward that are apparently needing that? Is
9 that -- how does that work, I guess is my question.

10 DR. TAULBEE: Well, our general
11 presumption right now is that OTIB-54 is bounding
12 based upon that's its job. That was why we did
13 OTIB-54 instead of doing the reactor analysis at
14 all DOE sites and all, you know, 200, 300 reactors
15 that were ever made.

16 And so the issue is being raised to me
17 by the Work Group of, is this valid? And so, you
18 know, to me, the Work Group identifies which ones
19 they have a concern about, and then we can go do,
20 I mean, unless Jim wants to overrule me and say
21 we're going to do all 54. This is a tremendous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 amount of work.

2 MR. KATZ: No. I believe that. I'm
3 just trying to understand, because it sounds like
4 there are some questions about whether it's
5 bounding on these reactors, and I just would have
6 assumed that NIOSH would had addressed
7 questionable reactors because you have --
8 otherwise, you are just sort of running on an
9 assumption that OTIB is good, but you haven't
10 actually closely looked at each of the reactors.
11 I'm just trying to understand where the --

12 DR. TAULBEE: That is correct.

13 MEMBER BEACH: So aren't you using
14 another OTIB in conjunction? I thought I read like
15 60 -- there was another one that would be used in
16 some cases. Or are you saying that all 52 reactors
17 are going to be covered under O-52 or O-54? I was
18 just --

19 DR. TAULBEE: I believe from a fission
20 product standpoint we are planning to use all of
21 the reactors under OTIB-54. That's what my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recollection is right now.

2 MEMBER BEACH: Yeah. This says NIOSH
3 will assess cesium-137 intakes using OTIB-60.
4 That was just on this other report for -- it might
5 just be for Test Area --

6 MR. KATZ: Well, then, my question to
7 the Work Group is, do you want sort of follow up
8 on the ones that have been identified now first,
9 or do you want SC&A to go hunting through all of
10 the other reactors at this point for other possible
11 outliers that -- what makes sense from a --

12 MEMBER MELIUS: I would prefer a
13 prioritized list.

14 MEMBER BEACH: I was going to say --

15 MEMBER MELIUS: So that we're not, you
16 know, grounded. And I think, you know, to the
17 extent that those people -- you know, those time
18 periods that would be covered by an SEC.

19 CHAIRMAN SCHOFIELD: The different
20 fuel loadings that you have, those are basically
21 covered by OTIB-54, whether you're using different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 variations in the uranium loadings or plutonium.

2 DR. TAULBEE: Within OTIB-54, there
3 are three different fuel loadings for ATR that are
4 used, two for N-Reactor, I believe two for TRIGA.
5 Steve had an excellent slide that goes through the
6 OTIB-54 with the different modelings for it.

7 MR. STIVER: Tim, it was my
8 understanding that you are going to be using the
9 ATR for -- as kind of a default condition for all
10 of your dose reconstructions at Idaho. Maybe I'm
11 wrong on that.

12 DR. TAULBEE: We'll be using OTIB-54,
13 which is the bounding of those, for the different
14 scenarios. ATR isn't always bounding.

15 MR. STIVER: I guess our concern,
16 really, is that, you know, this is really the first
17 time we're given a situation where we have all of
18 these experimental reactors and there are all kinds
19 of crazy things. And so this is really what drove
20 this review in the first place, and you'll see when
21 we go through the Test Area North that --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: You know, it's --

2 MR. STIVER: -- are different. And,
3 you know, Steve, you know, we talked about this
4 internally, you know, maybe asking you guys whether
5 you would want us to put together some sort of a
6 prioritized list of those that we think, you know,
7 might be candidates for further review.

8 MEMBER MELIUS: I think the answer --
9 my answer would be yes.

10 MEMBER BEACH: I agree.

11 MR. STIVER: Okay.

12 MEMBER MELIUS: I think that makes
13 sense. I think it makes sense in terms of where
14 NIOSH is prioritizing its efforts at this time.

15 MR. STIVER: Okay. All right.
16 Steve, well, thank you for a great presentation.

17 DR. OSTROW: You're welcome.

18 MR. STIVER: Next up is going to be the
19 Test Area North, and this will be -- John Mauro and
20 Hans Behling will be leading this discussion.

21 DR. MAURO: Can you hear me? This is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 John Mauro.

2 MR. KATZ: Yes. John, that noise came
3 on when you came on. I don't know if that's --

4 DR. MAURO: I've been on for quite some
5 time. I'm not on mute, so that I could listen in
6 better and -- and it just stopped, so I'm not --
7 I think -- are you okay now?

8 MR. KATZ: It's better.

9 DR. MAURO: It's better? Yeah. I
10 hope that's not me. Let me start, and we'll see
11 how we go.

12 I don't -- I'm not on LiveMeeting, but
13 I do have my slides up. I presume you're on
14 LiveMeeting, but we will make do. So right now I
15 am looking at my very first introductory slide with
16 the title. And, first, let me apologize to Hans
17 and Mike Mallett for not having their names on here,
18 because they were major contributors to the work
19 we did in our main report, and also to the slide
20 presentation.

21 With that, let's go on to Slide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Number 2, lower right-hand corner. We can follow
2 it that way best.

3 MR. KATZ: It's not showing up.

4 MR. STIVER: It might help if I shared
5 it. These little details.

6 MR. KATZ: One moment, John.

7 DR. MAURO: Sure.

8 MR. STIVER: Okay. Here we go. Is
9 that better? Can you see that? Does everybody
10 see that? Full screen mode I guess.

11 MR. KATZ: Okay. Thank you, John, for
12 waiting.

13 SPEAKER: Hello?

14 MR. KATZ: Someone -- whoever just
15 called in, you're on an Advisory Board on Radiation
16 and Worker Health meeting. Is that what you --

17 SPEAKER: I'm sorry.

18 MR. KATZ: Okay.

19 DR. MAURO: Yes. I'll begin by first
20 saying to Steve, thank you so much. You set the
21 table for me perfectly, and my presentation now is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to go into I guess the next tier down in terms
2 of some of the issues that are related, very much
3 related, to your presentation.

4 The best way to think about Test Area
5 North is -- and what we did, we had to be selective
6 in what we decided to probe. And we did -- you
7 know, on this Slide Number 2 that you're looking
8 at here, gives you a summary of all of the different
9 types of campaigns, research activities, that took
10 place. And it comes directly out of I believe the
11 Site Profile, so it's very convenient.

12 And when we decided to -- okay, how are
13 we going to come at TAN, we decided that what we're
14 going to look at are fundamentally two areas of
15 inquiry. One is the completion. How complete is
16 the external dosimetry data for the full suite of
17 different types of investigations that took place?

18 And a large portion of that work in
19 compiling that data and digesting it was done by
20 Amy Meldrum, who unfortunately is not on the phone,
21 but I will cover for her.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And the other side has to do more with
2 internal dosimetry, but internal dosimetry is from
3 the point of view of two perspectives. One, the
4 fact that a lot of different types of fuel was
5 handled in hot shops, stored and handled. And we
6 -- but there was also a degree of processing. We
7 didn't look at that side of it.

8 What we did was we looked at, okay, if
9 you're handling fuel, basically, you're using
10 OTIB-54. And is there anything similar to what
11 Steve pointed out about the type of fuel that was
12 handled that was very unique, that demonstrates,
13 that reveals that, you know what, OTIB-54 really
14 does not always apply.

15 And, in this case, I have to thank Mike
16 Mallett, who is on the phone with us -- and, Mike,
17 are you still on the line? Hope he is.

18 DR. MALLETT: Yes.

19 DR. MAURO: Thanks, Mike. And Mike
20 was extremely helpful, because he did make some
21 ORIGEN runs for us to confirm what -- or if it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not, or to demonstrate that our initial thinking
2 about the bounding nature of OTIB-54 perhaps is not
3 always bounding, and for -- which was a bit of a
4 surprise to us, and we'll get into that
5 momentarily.

6 And then, the third element, which also
7 goes for internal dosimetry -- and Hans will speak
8 to this -- is the very unusual nature of the
9 Aircraft Nuclear Propulsion Program, not only from
10 the point of view of the type of fuel that
11 eventually was produced and sent off to a hot shop,
12 and its unique characteristics and radionuclide
13 mixes, which bears no resemblance to OTIB-54, but
14 as important, if not more important, is the
15 airborne releases associated with each one of these
16 initial engine tests where they allow the fuel to
17 burn to the point where it -- of destruction. And
18 just about all of the fission products, except for
19 the refractory elements, went up the stack, which
20 creates a very unusual set of circumstances.

21 But these are outdoor exposures now,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and exposures that come from releases that went up
2 a very tall stack, and also went up at a time where
3 NIOSH -- NIOSH, I'm sorry -- where DOE was very
4 careful to have those experiments at a time which
5 minimized the wind direction of such a nature to
6 minimize off-site impacts.

7 So think of it like this. When you're
8 talking about external exposure and data
9 completeness, and then we're going to talk about
10 some of the unusual circumstances related to
11 internal exposures.

12 With that, let's go to the next slide,
13 Slide Number 3.

14 And Slide Number 3 basically summarizes
15 what I just said, so we'll go on to Slide Number
16 4. And now we're going to first talk about
17 external dosimetry data. Amy Meldrum, who is a
18 health physicist and a nuclear engineer, did all
19 of the heavy lifting here. And she went into the
20 SRDB that was in place at the time, essentially it
21 was as complete it could get at the time, and did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a very thorough word search, and actually came up
2 with the bottom line is 180,000 -- 181,000 readouts
3 for beta/gamma dosimetry, and over 6,000 neutron
4 readouts.

5 So there's our data set. Okay? This
6 enormous data set. That's our starting point.
7 So, okay, what do we have? Is this complete? Is
8 this adequate? Is it of such a nature that we could
9 say, yes, we could reconstruct doses, or we can't.
10 Where are the holes? Are there any holes? These
11 are the kinds of questions that Amy asked.

12 Next slide, Slide Number 6.

13 What Amy did here was say, okay, let's
14 try to -- given the magnitude, the massive number
15 of measurements, she made this picture. The top
16 one in orange color is the beta/gamma dosimetry
17 data. In effect, what this says is, in the
18 aggregate, when you look at TAN as a whole, you've
19 got a complete beta/gamma dosimetry set, except for
20 this slight gap you see there in -- sometime in
21 1961.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But for all intents and purposes, if one
2 was to ask a big question, do we have really good
3 and complete data for TAN as a whole. Now, we're
4 getting -- that's going to be qualified in a minute.
5 The answer is yes.

6 With regard to neutron dosimetry, we
7 are seeing that there are a lot of gaps. Now, what
8 we don't know, and what we have not done, is ask
9 ourselves, well, are those gaps legitimate gaps?

10 Legitimate in terms of, well, there was
11 no reason to do any neutron dosimetry at those times
12 or -- and/or if we were to do additional data
13 capture, would we fill in places where perhaps
14 neutron dosimetry should have been done, but we
15 just didn't capture the data. So this is something
16 that is sort of on the table right now that needs
17 to be like an action item.

18 What do we need to do regarding these
19 -- the gaps we are seeing in the dosimetry -- the
20 neutron dosimetry data. So, and that's like a hint
21 of what is to come in order to come to grips with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this.

2 Let's go on now to the next slide, and
3 this gives a little bit more breakdown. When you
4 start to look at TAN and external dosimetry data,
5 you say to yourself -- this is a very important
6 question. I think this is the key takeaway from
7 the work we've done on external dosimetry data for
8 TAN.

9 The key takeaway is, yes, we've got a
10 very complete data set for external beta/gamma.
11 We've got somewhat of an erratic set -- data set
12 for neutron dosimetry. But then, when you start
13 to ask yourself the question -- well, hold the
14 presses. We all know that TAN is not a homogeneous
15 operation. Over time and space, the types of
16 activities, the types of research, campaigns, et
17 cetera, et cetera, were very, very different, what
18 people did.

19 And one could ask the question, well,
20 you know, it might be desirable -- now, this comes
21 to this issue of co-worker model. Let's move on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I may be jumping the gun. And this gives you a
2 little bit more information regarding the nature
3 of the data for different subsections,
4 sub-activities, within the -- so this is a way of
5 visualizing the completeness of the data for
6 different subsets of the activities at TAN, some
7 of which appear to be fairly complete, and some
8 which appear to be incomplete, especially with
9 respect to neutron dosimetry.

10 And, again, for reasons that we really
11 can't say right now whether we need additional data
12 capture or we could find out, yeah, there really
13 was no reason to monitor. So there is an
14 open-ended issue there.

15 Okay. Now, when we start to go through
16 -- we ask ourselves a question. Okay. Here we
17 have a person that we'd like to reconstruct his
18 dose. And we know that he worked at a given
19 location, one of -- at a given time period at a given
20 location. And then we say to ourselves, "But we
21 don't have any data for him," so this goes towards

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 co-worker models.

2 So, really, right now the subject that
3 I'm going to talk about is, are there any challenges
4 in terms of co-worker models? If one decides that,
5 you know, there is a need for a co-worker model to
6 fill in the gaps for those workers who were not
7 monitored but perhaps should have been monitored,
8 you run into a problem.

9 And the problem really boils down to
10 this. When you go into the records, the records
11 are not clear what particular facility the person
12 worked at. We don't know that this particular
13 worker was where he was. And even if we did, one
14 of the problems we run -- within TAN now, the
15 problem we have is, okay, can we break out from this
16 massive external dosimetry data that we call TAN,
17 could we say, well, which subset of that can we grab
18 and say represents one of the sub-facilities.

19 For example, the LPTF, whatever that
20 stands for -- I'd have to go look it up -- Low Power
21 Test Facility. Taking a guess. Can we build a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 co-worker model? The problem we run into is, the
2 labeling of the records are such that, as best we
3 can tell right now, are not complete.

4 So we can't sort the data set into
5 subdivisions within TAN, at least not to our
6 satisfaction to the point where we can say with
7 confidence that you could build a co-worker model
8 for people that worked at a particular facility.
9 All we know is they worked at TAN. We have a lot
10 of good data regarding -- we know that, you know,
11 regarding Aircraft Nuclear Propulsion Program.
12 But there are some subdivisions where the records
13 are such that they are not labeled in a way that
14 we could -- we could pull it out and create a subset
15 and put them into a distribution and build a
16 co-worker model.

17 So what I'm bringing up right now is
18 what I consider to be an SEC issue. Namely, if it's
19 judged that co-worker models for external
20 dosimetry are needed in order to reconstruct the
21 doses, external doses to all the workers, and where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we're -- and all you could say is that, well, we
2 have lots of TAN data in general, but we know that
3 the nature of the exposures at these different
4 subdivisions were quite different, the
5 distributions of exposures.

6 So it's very hard to say that we can
7 build a co-worker model for some of these
8 subdivisions. So we have really two what I
9 consider to be potential SEC issues that have
10 emerged from the work that Amy has done. One is
11 the challenges associated with building co-worker
12 models for some of these subdivisions is such
13 co-worker models are needed, and, second, neutrons
14 are -- there is enough reaction in neutron
15 dosimetry data where those gaps might be important
16 if they are real gaps; that is, people should have
17 been monitored when they weren't.

18 But that problem might go away if we
19 find that, no, there is good reason why they weren't
20 monitored. There was no reason to monitor them.
21 Or we find that if we do additional data records

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 search, we will find that there are data and we
2 could fill in some of those gaps.

3 Let me page down. So, in effect, now
4 you're looking at Slide Number 10. I essentially
5 summarize what I would call the bottom line of our
6 takeaway for external dosimetry data at TAN. That
7 also goes for Slide 11. So Slides 10 and 11 give
8 you the bottom line of our takeaway from what we've
9 done to date.

10 Now, let me caution -- I don't consider
11 these to be findings in the classic sense. I think
12 we are in a process right now of exploratory where
13 we are starting to identify areas of vulnerability
14 with respect to dosage instructions that
15 collectively we all need to look at and plan a path
16 forward, as opposed to saying findings as we very
17 often have done in the past.

18 I think I see this -- well, for better
19 or worse, I see this as a collegial relationship
20 at this point where what you're hearing is SC&A's
21 takeaway from what we have done to date, so that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 together we can understand where we feel there
2 might be problems. And then, of course, the Work
3 Group can make judgments on how best -- the path
4 forward for dealing with some of these issues.

5 Let me move on to Slide Number 12 where
6 I am changing subjects now.

7 MR. STIVER: John? You might want to
8 give the Work Group a chance to ask --

9 DR. MAURO: Oh, absolutely. I'm
10 sorry. Please. Any questions on that?

11 MR. STIVER: Any questions for the
12 external dosimetry session?

13 MEMBER MELIUS: Any reaction from
14 NIOSH?

15 DR. TAULBEE: Yes. Yes, I've got a
16 couple of reactions. One is, back on your initial
17 slide, what records was it that you were looking
18 at from identifying different people and different
19 areas? Because, to my understanding, we do not
20 have a complete complement of all of the external
21 dosimetry records from the site.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: So you're saying you
2 believe that there are a lot more records out there
3 that still need to be captured.

4 DR. TAULBEE: Well, captured or
5 requested, yes.

6 DR. MAURO: Or requested. Good.
7 Good.

8 DR. TAULBEE: Yeah.

9 DR. MAURO: Well, that's the way I
10 would -- I qualified my statement.

11 DR. TAULBEE: Most likely, the only
12 electronic data sets that I know out there that you
13 would possibly be looking at would be annual
14 summaries. Is that correct?

15 DR. MAURO: I -- no, I believe we have
16 also individual change-outs. But I can't say that
17 for certain. I have to be a little cautious, since
18 Amy is not on the line. And, as I said, she did
19 the heavy lifting.

20 I guess the best I could say is, for the
21 data sets that we looked at, which on Slide 5

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 summarizes what we did -- that is, we went into your
2 SRDB, we searched on key terms, and captured
3 certain records.

4 Now, I cannot say -- what I understand
5 from looking at this Slide 5 is that these are
6 readouts. You know, 181,000 readouts. So I don't
7 -- when you say the word "record," we have pages
8 of records. We have 37 documents, as indicated on
9 Slide 5. But they certainly appear to be
10 individual change-outs.

11 DR. TAULBEE: Okay.

12 DR. MAURO: Okay?

13 DR. TAULBEE: Well, this is where I'm
14 beginning to wonder of -- and this is specific just
15 for TAN.

16 DR. MAURO: And this is specific for
17 TAN. Absolutely.

18 DR. TAULBEE: Okay. And did you all
19 code all of that data?

20 DR. MAURO: Yes. They have been
21 sorted. And if you go to our report, you'll see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an amazing set of bar graphs sorting everything by
2 time and location and number of records, by time
3 and location, in three-dimensional bar charts that
4 Amy put together. So you can actually see where
5 are the holes or the deficiencies, like -- when I
6 say "location," I mean activity, you know,
7 campaigns, experiments, by time and location.

8 So I think Amy has put together what I
9 would consider to be a very nice blueprint of --
10 and visuals that gives you quickly an impression
11 of where we may want to probe further with respect
12 to additional data capture.

13 DR. TAULBEE: Okay. Well, I need to
14 look at this a little more closer, because I'm not
15 aware of any electronic data set in order to do
16 this. And if Amy has coded this, I am certainly
17 interested in looking at it. Absolutely.

18 DR. MAURO: I don't -- I have to say
19 that I don't think it was electronic. I think she
20 brute forced it.

21 MR. STIVER: Tim, referring to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Table 14 in the actual report, it lists the SRDB
2 reference IDs. The area has mentioned the number
3 of pages, the number of badge exchanges for those
4 by beta/gamma. I'm trying to see whether she
5 mentioned the type -- Table 14 in the actual report.

6 MEMBER BEACH: What page is that on?

7 MR. STIVER: Page 53 of 76.

8 DR. TAULBEE: Okay. Well, I would
9 have to correlate with the site those SRDB numbers
10 to verify that that is in fact all of the Test Area
11 North dosimetry.

12 DR. MAURO: There is a table in the
13 report that I don't have open in front of me.

14 DR. TAULBEE: We're looking at that
15 right now, John.

16 DR. MAURO: Okay.

17 DR. TAULBEE: Because it looks like the
18 bulk of this is the GE Aircraft Nuclear Propulsion.
19 And some of these other areas, John, that you have
20 identified as potential concern, I'm not sure that
21 everything in the SRDB is inclusive of all of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Test Area North data.

2 To my knowledge -- and, Brian, please
3 correct me if I'm wrong, but we have never formally
4 requested from the site all of a specific area's
5 dosimetry, except for CPP, with regards to this
6 evaluation. Is that correct? Brian Gleckler?

7 MR. GLECKLER: I couldn't hear that
8 last -- you're still pretty hard to hear, Tim.

9 DR. TAULBEE: Sorry. Have we ever
10 made a request of the site for all of Test Area North
11 external dosimetry?

12 MR. GLECKLER: No. But I -- the one
13 thing I do specifically recall is on some of the
14 static capture trips reviewing boxes of Test Area
15 North dosimetry records. And I did not capture
16 anything from those boxes, because that was not a
17 focus at the time. However, I did scan through
18 them and -- because I was curious about neutron
19 doses, and the one thing I do recall is that the
20 vast majority of neutron doses were zero.

21 DR. TAULBEE: Right. Okay. And this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is what I'm trying to communicate to John and to
2 others is that that just -- the SRDB is not
3 necessarily inclusive of all the records that are
4 out there, because we never made a concerted effort
5 to actually capture them all.

6 DR. MAURO: We appreciate that. We
7 understand that. And that's why I made it -- I try
8 to, you know, make it clear that I think we are in
9 a data capture mode, to find out really, you know,
10 are we missing information that could help us deal
11 with the issues that I just raised.

12 So, and I think that -- as I mentioned,
13 when Amy gets back, I think it would be a great idea
14 for her to be available to the Work Group to discuss
15 in a little better -- a little more granularity,
16 you know, what she saw. Clearly, she could only
17 work with the data that was already captured and
18 in the SRDB, and clearly it's my understanding now
19 from listening to you that there is still a long
20 way to go on data capture.

21 DR. TAULBEE: That would be my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understanding.

2 DR. MAURO: Okay.

3 DR. TAULBEE: Like I said, we -- the
4 only site -- the only area that we made a concerted
5 effort to try and get all of the dosimetry with CPP,
6 and that was just between 1963 and 1974. We did
7 not even try to get CPP data prior to 1963.

8 So, in the case of the temporary badges,
9 they actually came as part of a box, so, you know,
10 obviously we got that data. But that is the only
11 area at INL where we have made a concerted effort
12 to obtain all of the dosimetry.

13 DR. MAURO: But, please, let me point
14 out, out of the 181,000 readouts, there were, you
15 know, a lot of data, an awful lot we could not place
16 that readout for a particular subdivision within
17 TAN, which creates the potential for challenges in
18 building co-worker models for subdivisions. As
19 such, co-worker models are deemed necessary.

20 DR. TAULBEE: Which brings me to the
21 second point that I was going to let the Board know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about, is that, at this time, we do not plan on
2 developing an external co-worker model for INL.
3 Our understanding from the procedures and our
4 review that we have done to date is that all workers
5 entering radiological areas were monitored.

6 We certainly have demonstrated that for
7 CPP. Test Area North is another example. You can
8 find people who will indicate that they worked at,
9 say, LOFT, for example. And when you look at their
10 dosimetry, or you look at their record and you look
11 at their employment time periods, it is before LOFT
12 started up.

13 So there is going to be a lot of new
14 construction where people will indicate that they
15 worked at Test Area North, and they did, but they
16 were not monitored because there was no need to be
17 monitored, which brings me to the other point that
18 you brought up there, John, is that with these gaps
19 that you have currently identified, I would
20 encourage you to look at whether there was a need
21 for monitoring at that facility at that time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: I agree with you. I'm --
2 that's why I qualified what I had to say, you know,
3 regarding, you know, are these real gaps or not?
4 But you bring up a very important point, and we've
5 run across this before. When a judgment was made
6 that there is no need for co-worker models -- as
7 we all know, sometimes there are surprises, where
8 a judgment is made as to, well, there are people
9 that worked there that were not monitored. What
10 do we do about them?

11 You know, it's not unlike the SEC issues
12 we just talked about. We know, you know, everyone
13 that worked at CPP we had dosimetry data, and,
14 therefore, have defined your Class. And that's
15 the struggle that we went through just now. And
16 can we say that with confidence? In effect, you're
17 saying the same thing. You're saying, in effect,
18 that for all of the subdivisions, we have -- there
19 are no gaps.

20 In other words, everyone that should
21 have been monitored was monitored. And I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that needs to be seen. I mean, that may be true,
2 but I think it's important that we keep our eye on
3 that.

4 DR. TAULBEE: I would agree with that.

5 MR. STIVER: So, I would say, going
6 forward that would be something that the Work Group
7 would want us to take a look at, because there are
8 these areas with gaps, neutron dosimetry, and try
9 to correlate them, if possible, with activities
10 that were going on at a particular time. Or is that
11 something more of a NIOSH prerequisite?

12 DR. TAULBEE: Before that is done, I
13 would say if the Work Group wants to do this type
14 of analysis, then we need to make the request to
15 the site for all of the dosimetry, so that you can
16 actually look to see if there is a real gap, because
17 that has not been done. What you've done is -- what
18 you've reviewed is what we have captured through
19 other data captures or, you know, through other
20 activities. So we've got snapshots.

21 Many of our data captures -- and this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is important for the Work Group, I think, to
2 understand. Many of the records that are in the
3 SRDB were example records. When we captured
4 surveys or air samples or things along that line
5 in the evaluation of the SEC, we took examples. We
6 didn't capture an entire box due to timeliness. I
7 mean, it takes time to: a) capture it, b) for the
8 classification folks to review every page of it,
9 and then for us to get it and make a judgment on.

10 So, in a case like this, there are a lot
11 more records out there. So if you want this type
12 of an evaluation, I would first make a request of
13 the site for those records.

14 MEMBER BEACH: So don't we normally do
15 a data adequacy and completeness as part of our
16 normal protocol when we are reviewing sites? And
17 wouldn't it fall into something like that?

18 MR. STIVER: Typically, when we have
19 what we feel is the full data set that NIOSH has
20 been able to locate, then we could do an adequacy
21 and completeness test. But it looks like in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 case we just have a sampling of what's really out
2 there.

3 MEMBER BEACH: Right. But --

4 MR. STIVER: But Brian said there were
5 boxes and boxes.

6 MR. KATZ: There's no electronic data
7 set for --

8 MEMBER BEACH: I understand that. But
9 as a Work Group, don't we normally want to know that
10 the data is adequate and complete, and that's part
11 of the exercise we normally go through. It's huge
12 in this case.

13 MEMBER MELIUS: Yeah. But let me just
14 say, I mean, I think there's other issues with the
15 Test Area North. And I think it's a little
16 premature to be -- I'd say it's not a priority
17 issue. I mean, let's get to it down the road if
18 we need to. But I think it's -- I think there are
19 some other issues. If this is going to be a huge
20 request to the site, that will set back everything
21 else that we're trying to do. I mean, it's the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 nature of the site.

2 MR. STIVER: I was thinking that the
3 Work Group, at the next teleconference meeting, we
4 could have Amy, you know, kind of lay out what she
5 has actually found, and just kind of get a more
6 detailed view of it. But I would tend to agree
7 there is bigger SEC issues out there that would
8 probably be --

9 MEMBER BEACH: Well, and I thought we
10 kind of charged SC&A to give us a snapshot of what
11 the potential issues were and to prioritize those.
12 Maybe we didn't ask for a prioritization, but --
13 for each of these sites or areas.

14 MEMBER MELIUS: I think it's hard
15 for --

16 MEMBER BEACH: It is.

17 MEMBER MELIUS: It's a big site and
18 there's limited information so far.

19 MEMBER BEACH: Sure.

20 MEMBER MELIUS: And we're working on
21 it. I mean, I actually thought Amy's description

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the report was fairly detailed, and I understood
2 what she was doing from that more than the slides,
3 which you would expect.

4 MEMBER BEACH: Right.

5 CHAIRMAN SCHOFIELD: John, could I get
6 one clarification on a point there? Did I
7 understand in those data sets and records you
8 looked at that there is no neutron exposure for the
9 majority of these people?

10 DR. MAURO: No. There are gaps. I --

11 CHAIRMAN SCHOFIELD: There are just
12 gaps. Okay.

13 DR. MAURO: We are seeing gaps, and we
14 are unable to determine whether those gaps are
15 appropriate, because there was no need to monitor
16 folks for neutrons at those time periods. Or that
17 it's just a -- perhaps there is a need for more data
18 capture.

19 So I guess, you know, at such time when
20 TAN comes to the forefront for -- I was hoping that
21 this presentation would identify areas for a path

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 forward for TAN at such time when you feel that TAN
2 should move forward.

3 CHAIRMAN SCHOFIELD: Okay. Thanks.

4 DR. MAURO: Okay?

5 MR. STIVER: Should we move ahead with
6 the OTIB-54 issues?

7 DR. MAURO: Sure. I'm on Slide
8 Number 12, which is the opening introduction.
9 And, again, let me preface this a bit. Go to Slide
10 --

11 MR. KATZ: It's coming. It's coming.
12 John's working on it.

13 DR. MAURO: Let me know when you're
14 ready.

15 MR. KATZ: Hang on just a second here.

16 DR. MAURO: Sure.

17 MR. KATZ: This should already be
18 shared.

19 DR. TAULBEE: While John is bringing
20 that up, if I could make an additional follow up
21 to my statement about the external dosimetry. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have never requested all of it except for CPP. The
2 bioassay records that he's getting ready to
3 discuss, we actually have requested, and those are
4 in the SRDB.

5 There are some issues with the
6 electronic data set that was coded, and I believe
7 we sent over at one point to SC&A. So we are
8 actually going back and recoding that entire data
9 set due to some discrepancies that we found.

10 So I would caution you all to use
11 caution with using the electronic data set. But
12 all of the hard copy records, we do believe we have
13 and we did request those from the site. So that's
14 different than the external that I talked about a
15 few minutes ago.

16 MR. STIVER: Okay. Okay. John, you
17 can go ahead.

18 DR. MAURO: Okay. If you could go to
19 Slide 13. In the lower right-hand corner, you'll
20 see the number. And let me preface again -- now
21 we are moving into internal dosimetry. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 internal dosimetry, from a very specific
2 perspective -- namely, the use of OTIB-54 as being
3 a way to reconstruct internal dosimetry when you
4 are dealing with reactors and spent fuel but not
5 processed -- or irradiated fuel but not fuel that
6 has been processed like SNAP-9A or other activities
7 where, you know, the isotopes have been separated.
8 That is a separate area of inquiry.

9 And Ron is on the phone, and Ron's work
10 and our work are very complementary. Let me
11 explain what I mean by that. Everything you are
12 getting here from me from now on is theoretical.
13 That is, given the type of activity, if you were
14 to run ORIGEN, there's four of these particular
15 circumstances.

16 Would one's takeaway be OTIB-54 is
17 bounding, or it's plausible? Or it's possible
18 that for the very unusual circumstances regarding
19 the types of irradiated/spent fuel, is it so
20 unusual that either, one, it's not
21 claimant-favorable for a variety of reasons.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We're going to get into that.

2 And by the way, as a foretell, it looks
3 like there are circumstances, as best we can tell,
4 where OTIB-54 does not appear to be
5 claimant-favorable, and so we are going to be
6 talking about that.

7 So, but everything I'm going to talk
8 about is theoretical and based on ORIGEN runs. And
9 I'm certainly going to ask Mike Mallett, who is on
10 the line with us, to help me out there because we
11 moved in a territory that is, you know, beyond my
12 world. My world is as a health physicist, not as
13 a nuclear engineer.

14 And we will also be talking about ANP
15 from the point of view of releases to the
16 environment and what their implications are with
17 respect to internal dosimetry.

18 So, with that, let's go on to the next
19 slide, Number 14.

20 The question we ask ourselves is this.
21 Stay with me a little bit on this, and I could use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some help from the nuclear engineers in the room.

2 When I first looked at this problem, I
3 said, "Listen, we have all of this fuel that was
4 produced from various types of activities," spent
5 fuel, irradiated fuel. And what separates it?
6 You say to yourself, okay, I think about it very
7 simply. I say, well, when you talk about fuel and
8 you say you -- especially if we're talking about
9 various enrichments of uranium-235, U-238/235,
10 various enrichments, what I think about is simply
11 fissions. Okay?

12 There is a rate at which it is
13 fissioning, which is the power level, and how long
14 you are letting the fission go on before you shut
15 the reactor down. And so, as far as I am concerned,
16 you are just counting fissions.

17 And we know what the fission product
18 distribution is that you would expect for each
19 fission. Six percent of the oil fissions I believe
20 are strontium-90 and about the same amount for
21 cesium-137.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so you say to yourself, okay, when
2 you look at OTIB-54, we know we are dealing with
3 classic fuel which was at a -- I guess predominantly
4 a fairly high power level, the 200 megawatts, and
5 a fairly long time over which it was allowed to
6 continue to burn. Okay?

7 So what does that tell me? That tells
8 me that under -- well, that is sort of like your
9 arena out of OTIB-54. Now, granted, every one of
10 these reactors are a little bit different, but to
11 me -- say a fission is a fission. But the -- and
12 I know that's a very simplistic way to look at the
13 world. But I almost envision it as, well, I am
14 producing these many atoms per second, and they are
15 going away at this rate.

16 So, therefore, over some time period,
17 I can figure out how many atoms I have of every one
18 of these radionuclides. And then, after I shut
19 down, they start to decay away. Granted, it's a
20 lot more complex than that, and I guess -- and that
21 was explained to me by my nuclear engineering

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 friends that you're oversimplifying. But it is
2 what it is.

3 That's how I came at the problem,
4 because I think those are second order phenomena.
5 The first order phenomenon is -- and here is really
6 the gist of what I tried to do, I said when you look
7 at OTIB-54, you're looking at fair high burnup
8 rates for relatively long periods of time for
9 different types of reactors.

10 When you go to TAN, what you're looking
11 at is very short time periods over which they allow
12 the fission to occur. Okay. So I think about it
13 simplistically and I say, "Well, what does that
14 mean?" Well, that means that you are not going to
15 be building up a lot of cesium-137 and
16 strontium-90. Okay? Because it takes a while to
17 build up an inventory.

18 So if you're -- you know, so your
19 fission rate and the duration at which you allow
20 fission to continue will have a profound effect on
21 the relative amount of cesium-137 and strontium-90

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you have as compared to other fission products, and
2 also activation products.

3 Now, another factor that plays in on
4 this simplistic vision of mine regarding what we're
5 dealing with is enrichment. If you have fuel --
6 and this is the fuel they handled at TAN that was
7 over 90 percent enriched -- that means you don't
8 have any U-238 in there. Right? There is very
9 little there. It is all U-235.

10 And what does that mean? Well, that
11 means you are not going to be breeding/creating
12 plutonium-239. So all of a sudden the world that
13 we live in in OTIB-54 doesn't exist anymore. So
14 that's at play. It's so different that, wait a
15 minute, all of the look-up tables, Tables 5-22 and
16 23, you know, you just have to say, well, do they
17 really apply here?

18 And the reaction is, well, how could
19 they? You know, you're not allowing the in-growth
20 of the cesium and the strontium. In addition, you
21 don't have a -- you have very little U-238, so that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you produce plutonium. So, all of a sudden, it's
2 a different world. And that was my -- what I say,
3 my global perspective.

4 But I say to myself hold on, that being
5 the case, using OTIB-54 has got to be
6 claimant-favorable, because these -- for the
7 following reasons. If you are not building up
8 long-lived fission products, and all you've
9 got -- and allowing them to turn their relative
10 abundance to other fission products, well, that has
11 got to be claimant favorable, because as a general
12 rule, the longer lived the radionuclide is, the
13 higher its internal dose conversion factor.

14 You know, if you inhale a short-lived
15 radionuclide as compared to a long-lived
16 radionuclide, the long-lived radionuclide is going
17 to be fundamentally higher internal dose
18 conversion factor. Again, a simplistic concept.

19 So my first impression was, well, maybe
20 it's okay that they are using OTIB-54 for these
21 other burnup circumstances that we're encountering

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in TAN.

2 All right. In comes -- I'm doing more
3 talking than looking at my slides, because I want
4 to give you a picture of how I thought about the
5 problem. And the next Slide 13 or 14, 15,
6 basically summarizes what I just said. So we are
7 moving pretty quickly.

8 And then what I did was I said, you know,
9 this is me just thinking about the problem. Is it
10 true? And that's wherein I called Mike. He's on
11 the phone. Let's run some cases, some ORIGEN
12 cases, where we basically validate what I consider
13 to be my simplistic model of reality. And is it
14 true that, in general, when you use OTIB-54, you
15 are going to be claimant-favorable as applied to
16 the circumstances of TAN.

17 And to get to that point, I'd like to
18 turn it over a little -- there are a number of tables
19 that are in our report that I can see they are not
20 here in our -- well, I didn't reproduce these big,
21 complex tables, but Mike found out some interesting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 outcomes related to burnup.

2 And think of it like this. We run
3 ORIGEN. We say, well, we -- on a normalized basis,
4 we say, okay, we're going to run ORIGEN. We're
5 going to have fissioning occurring at some power
6 level for some time period. So assuming -- the two
7 variables are power level and duration, and the
8 belief being that, you know, that you should be
9 claimant-favorable using OTIB-54.

10 Well, when Mike made the runs -- and
11 here is where I am going to hand it off to Mike.
12 We found -- what we did is -- so what we got is the
13 relative number of each fission product, and I took
14 each of those outcomes, I allowed them to decay for
15 10 days, because that's -- if you look at OTIB-54,
16 you see that the first case I believe is a 10-day
17 cooldown, and then they have longer time periods,
18 but let's just go with the 10 -- I believe it was
19 10 days.

20 And then I -- now we have the relative
21 amounts of each fission products for these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 different ORIGEN runs. And I multiplied the
2 quantity in curies, the relative quantity, the
3 relative amount in curies of each of these long list
4 of radionuclides by their internal dose conversion
5 factor, because -- to say, okay, in theory, that
6 is a measure, an index of harm.

7 And if under all circumstances,
8 OTIB-54, in its relative abundance, times its
9 internal dose conversion, inhalation dose
10 conversion factor, is greater than the cases that
11 we ran -- and Mike will explain in a minute -- well,
12 that means OTIB-54 is fine.

13 But, lo and behold, we found out that
14 that's not always the case. There were sets of
15 circumstances of burnup and duration times of
16 burnup where this index of harm that we came up with
17 -- and this is all laid out in the report -- was
18 greater than one for these unusual -- for these
19 other burnup circumstances that are sort of
20 surrogates for burnup at TAN.

21 And, Mike, I hope I set the table

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correctly for my, you know, simplistic view of the
2 world. Can you help out a little bit and perhaps
3 describe in a little more detail what you did and
4 what the outcome was and why you think that
5 happened?

6 MR. MALLETT: I think you set it up
7 great, and couple that with Steve's presentation
8 earlier about the different reactor model. It's
9 simply a question of, is what's in the TBD a good
10 representation or not for potentially bounding?
11 That seems to be the heart of the matter here for
12 addressing claimant-favorability for this.

13 And what we saw with the reactors that
14 are in Steve's presentation, and John mentioned
15 they are highly enriched, what we're able to do,
16 again, in ORIGEN, simple calculations just for a
17 sanity check is to look at low-enriched reactors
18 that are run for comparable times to that which is
19 factual for TAN as compared to some of the
20 assumptions that were made in OTIB.

21 And I don't want to steal where you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 headed here John, but Slide 17 really gets to the
2 summary.

3 DR. MAURO: Well, good. Let's go
4 there. Go ahead.

5 MR. MALLETT: In what you've written
6 here in that second bullet, or first sub-bullet,
7 actinide intake, generally do well as you described
8 with the blackout, the uranium-238 and Pu-239
9 growth.

10 But, on the other hand, we're a little
11 unsure about the fission products, but we -- as
12 being bounded by these conditions in the scenario
13 there. It was used in the document.

14 We do see, in general, that the
15 in-growth of those fission products is fairly
16 stable, meaning you burn it for some period of time,
17 you've got some ratio between cesium and strontium
18 and the other nuclides. Say they're an order of
19 magnitude difference, continue to run it for
20 another amount of time, they continue to be
21 relatively stable, relative to each other, say,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 another -- still an order of magnitude apart in
2 activity.

3 So it seems like a well-behaved
4 reactor. It's an easy scenario to replicate in the
5 calculations, and these are just the limitations
6 perhaps in the Technical Basis to consider.

7 DR. MAURO: I think, again, the
8 takeaway -- and this is, again, as I would say in
9 more of a collegial dialogue, and it's all laid out
10 in our report with our assumptions and our
11 calculations, without getting into great detail.
12 But we are finding circumstances where OTIB-54 may
13 not be claimant -- two things, may not be
14 claimant-favorable for all of the various
15 circumstances we encounter at TAN.

16 And in addition, there are places where
17 OTIB-54 is implausibly overly conservative and
18 where it's -- it places too high a dose to the point
19 where one could say it's just not plausible. So
20 these are -- you know, you say again, what do you
21 -- how do you boil this whole thing down? It comes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 down to that. And I'd like very much to open the
2 dialogue and our continuing discussion of these
3 matters with the Work Group and with NIOSH.

4 For those two questions, do we have it
5 right that there certainly appear to be
6 circumstances where OTIB-54 might not be very
7 claimant -- may not be claimant-favorable. And,
8 two, are there circumstances where OTIB-54 is
9 disproportionately too conservative related to
10 actinide production.

11 When you only have a relatively short
12 time period over which you are burning your fuel,
13 you know, you don't really have -- and it's all
14 enriched -- highly enriched uranium, you know,
15 where is the plutonium?

16 You know, and to assume there is
17 plutonium there contributed potentially
18 significantly to internal dose, when it's not
19 there, is a problem. And I think that that --
20 again, to make life simple, those are the two things
21 that I'd like to have an opportunity to pursue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 further with you, to talk about and to -- you know,
2 as a next step in this process.

3 And I don't know, Mike, if you have
4 anything else you'd like to add, but I think that
5 tells our story.

6 MR. MALLETT: No, that's great. I
7 think you summed it up great.

8 MR. STIVER: John, one other point that
9 -- tried to make on Slide 17, which is going to get
10 more into what Ron Buchanan is going to discuss a
11 little bit later. When he actually looked at nasal
12 swabs, smears, and air monitoring samples, he found
13 -- he came to some conclusions that were a little
14 bit different than what you guys determined from
15 strictly modeling exercises.

16 That's on Slide 17, but I just wanted
17 to put it out there just to show that there is kind
18 of a lead-in for that next discussion that Ron will
19 talk about.

20 But certainly, you know, Tim, if you
21 have any comments about, you know, what John and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Mike have done so far.

2 DR. TAULBEE: My only comment, really,
3 at this time is to remind the Work Group that there
4 is an open issue with regards to the Initial Engine
5 Tests at INL and those releases and the
6 applicability of OTIB-54 and whether we should be
7 assigning an additional factor or correction to
8 that.

9 So, you know, this is something that is
10 an open issue that we are looking at under the TBD.
11 If you want to roll it into the SEC, we can do that,
12 along those lines. But this is something that we
13 started addressing a few years ago. I know Brian
14 Gleckler has been working on a report looking at
15 the Initial Engine Test 10 in particular. So that
16 is -- really, that is my only comment here from that
17 standpoint with regards to the use of OTIB-54.

18 Now, for the other reactors that are up
19 there, I believe our opinion is is that OTIB-54 is
20 the bounding scenario like we did with the MTR, TRA,
21 and ATR reactors. But the Initial Engine Tests

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were different than the others, and I am actually
2 not sure where we stand on LOFT. I'd have to get
3 back to you on that one.

4 MR. STIVER: I guess, if there's no
5 other questions, that kind of segues into what Hans
6 wrote up about the airborne emissions at ANP.
7 We'll let him talk about that next.

8 DR. MAURO: Yes. For those on the
9 phone, that starts on page 18 of our slide
10 presentation. And, at this point, I'd like to pass
11 the baton off to Hans to talk about this very
12 special program.

13 DR. BEHLING: John, this is Hans. Let
14 me go back quickly to page -- or Slide 16, because
15 I think that's really a summary that we are
16 discussing in more detail in subsequent slides.
17 And if you could go back to Slide 16, the
18 observation conclusions regarding the ANP and why
19 OTIB-54 is really inappropriate. And it just
20 highlights the most basic reasons why it should not
21 be used for the internal dose reconstruction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 involving if you worked at -- were associated with
2 the ANP program

3 As it starts up front, the first bullet
4 identifies an enrichment, which you have already
5 mentioned, John, of 93.4 percent. And that really
6 means that the bulk of the uranium is not only
7 highly enriched uranium-235, but the most
8 prevalent form of uranium is U-234 by far.

9 The other issue is that the type of
10 reactor design that identifies, and we'll go
11 briefly into that involves the Aircraft Nuclear
12 Propulsion System. When we talk about -- talk
13 about more of this, conventional reactors will be
14 fewer. That it is either lowly enriched or
15 moderately enriched, but it's also fuel that has
16 cladding. It sits in a water-cooled environment
17 that allows or restricts the temperature of the
18 fuel up to a certain level.

19 There is the reactor vessel. There is,
20 obviously, a containment building, so there is
21 multiple barriers that would potentially obviously

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mitigate the release of fission products or
2 activation products. And then, you usually
3 operate within a spectrum of parameters that do not
4 lead to fuel failure.

5 None of these issues, obviously, apply
6 to the ANP program, as we will discuss in a few
7 minutes. Not to mention the fact that the fuel is
8 also subject to extremely high temperatures. They
9 were testing for some of the fuel tests that
10 involved the Initial Engine Test temperatures
11 exceeding 3,300 degrees Fahrenheit. They have to
12 have special matrices developed that were capable
13 of resisting such high temperatures.

14 And also, the fuel design -- we will
15 talk about in a few minutes -- about the ribbon of
16 enriched uranium. We're talking about uncladded
17 ribbons of highly enriched uranium, and they range
18 in thicknesses, weight and thickness from as little
19 as one-hundredth of one inch, and they were subject
20 to an air flow from the jet engine that propelled
21 the air compressed into the reactor, and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 heated up to approximately about 1,250 degrees.

2 And so what you have, obviously, here
3 is a fuel that has been depleted of fission products
4 by simple diffusion and also by recoil, and you also
5 have, obviously, an absence of transuranic
6 materials because of the depletion of uranium-238,
7 as John already mentioned.

8 So when we talk about exposures to
9 people who were obviously dealing with spent fuel,
10 and it's clear that the fuel from the ANP program
11 was very, very thoroughly investigated because
12 this was really a research project. We needed to
13 understand how we can operate and aircraft reactor
14 that was so unique and so different from any other
15 reactor that has ever been tested.

16 Think about the logistical problems
17 that we face or that people faced during that
18 timeframe. This program was run by General
19 Electric in the '50s and was terminated in '61.
20 But one of the things you have to obviously come
21 to conclude is that to build a reactor that would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 propel an aircraft you would obviously have to deal
2 extremely -- with different designs based on your
3 weight requirements that would allow such a reactor
4 to be onboard of an aircraft, and then propel it.

5 And so, given all of these things as an
6 upfront statement, the idea of using OTIB-54 for
7 a host of parameters and issues that we just
8 basically discussed here, cannot be used.

9 And so, with that starting
10 conversation, I will go to the first slide, which
11 is Slide 19. And that is just to briefly bring up
12 to date for people who are not familiar. I know
13 I was not familiar with this program until 2002 when
14 we were asked to do this, and I'll talk about that
15 briefly later on.

16 But the whole concept of an Aircraft
17 Nuclear Propulsion Program was thought about in the
18 middle to later years of 1940s. And the reason
19 being is that these days -- or those time periods
20 precede our ability to really detect much in the
21 way of oncoming missiles, which were already now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 available to the Russians, that might come over the
2 Arctic Circle.

3 Today, we have satellites, and most
4 recently we obviously heard on the news the blimp
5 that was released, unfortunately, from Maryland,
6 and then ended up being crashed in Pennsylvania.
7 Had that information or that data and that kind of
8 ability to monitor incoming missiles existed, we
9 wouldn't have probably ever developed an Aircraft
10 Nuclear Propulsion System.

11 But the whole point of this program was
12 to allow an airplane to actually maintain altitude
13 without refueling, and not rely on fossil fuel,
14 which would obviously limit the ability of the
15 aircraft to stay afloat, and circle the Arctic area
16 for incoming missiles and to conduct surveillance.
17 And that was the whole purpose of this particular
18 program.

19 As I said the program was started, the
20 active testing program started in 1952, and was
21 terminated under President JFK in 1961 when it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thought that this was not a feasible program and
2 there were alternatives. And, of course, the
3 future was obviously there to do the surveillance
4 by other means, including satellite surveillance.

5 So then we go and talk about what the
6 program contained or represented, and I'm on Slide
7 20. To test the ability to use nuclear power on
8 an airplane, they used or they developed a total
9 of three different heat transfer reactor
10 experiments, which really defined the core of the
11 reactor that represent the ANP program.

12 These were -- and if you could have it
13 changed to the handout that we have -- or the report
14 that we received in September, you will see some
15 information as far as descriptive information as
16 well as schematic figures of how this particular
17 design worked. It's an air-cooled system with a
18 turbojet engine compressed engine gas high -- and
19 then passed through the reactor core, as I
20 mentioned was a fuel core that consisted of very
21 thin ribbons that would allow a quick transfer of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the heat that was built up in the fuel through the
2 air.

3 In many instances, some of the tests
4 were run at fuel temperatures up to 32 degrees
5 Fahrenheit, which in turn would heat the air that
6 was passed beyond the ribbons to temperatures of
7 about 1,200 degrees Fahrenheit.

8 And that compressed air or heated air
9 would then come up through turbines and then to a
10 rejecter nozzle, which then could control, and that
11 would provide this peak operation. The amount of
12 air that was passed through now was about 100 pounds
13 of air per second, and that was the source for the
14 acceleration and propelling of the reactor.

15 As I mentioned, there were three
16 different designs that we used, and these different
17 fuel designs are what are called Heater or Initial
18 -- for the Initial Engine Test involved a total of
19 26 -- there were 26 different tests that were done
20 among the three different fuel cores, Heater 1, 2,
21 and 3.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Not all have -- or necessarily resulted
2 in any potential environmental releases, IETs 1,
3 2, 3, 5 -- 1, 2, 5, 7, and 9 did not require nuclear
4 power, and, therefore, had no potential for
5 environmental releases of radioactivity.

6 So, in essence, there were 21 IETs that
7 we were going to look at with regard to what
8 releases might have occurred as a result of those
9 tests that might impact not only the people in the
10 environment of INL but potentially workers.

11 The potential interest in studying
12 these releases started in 1988 and involved members
13 of the INL Historical Dose Evaluation Task Group
14 people. And in 1991 this particular group of
15 individuals issued a two-volume report that was
16 interested in assessing the cumulative curie
17 releases on behalf of 51 different radionuclides.
18 And in our report that we issued, you can look at
19 the 51 radionuclides, and of course one of the key
20 issues that I want to point out to you is that among
21 the 51 radionuclides there was no concern about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uranium or transuranics.

2 I shouldn't say -- not uranium, but
3 there are different entries of uranium-234, 235,
4 and 238. And if you look at the actual assessments
5 of the radionuclide quantities, and they have those
6 three isotopes, you will see that the largest
7 release fraction obviously does involve U-234,
8 followed by 235, and, lastly, by 238.

9 And if you look at the ratio between the
10 radioactivity associated with U-234 versus U-238,
11 you realize that the activity of U-234 is
12 approximately 3,400 -- 33- to 3,400 times that of
13 U-238, and that gives you an understanding of just
14 how depleted U-238 was in terms of the actual fuel.

15 So when we look at the exposures that
16 would have potentially been experienced in the
17 examination of the fuel, you realize that, again,
18 OTIB-54 would not apply, and that policy -- the
19 absence of plutonium and other transuranics, but
20 also the relationship between the very fission
21 products that are so critical in OTIB-54 in trying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to understand how to convert the gross beta/gamma
2 ratio into actual numbers involving fission
3 products.

4 As I said, when you have a thin ribbon
5 of fuel that is highly enriched and is subject to
6 tremendous stress, and in some cases intentional
7 fuel failure, you will realize -- you will come to
8 the conclusion that the fission product ratios, as
9 well as the ratios of fission products to
10 transuranics, will obviously be completely
11 destroyed with regard to OTIB-54 and its potential
12 use in assessing bioassays into actual doses based
13 on OTIB-54 assumptions.

14 To go back to the particular historical
15 Dose Evaluation Report that was released in that
16 two-volume report in 1991, it was determined when
17 we were asked to look at this that the radionuclides
18 that were released on behalf of only three -- IET
19 Number 3, 4, and 10 -- was approximately the
20 equivalent of 90 percent of the total release for
21 all 21.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so when, in essence, we were asked
2 to look at this under contract to the CDC in 2002,
3 I was able to limit my focus to IET Number 3, 4,
4 and 10. And that is basically summarized in the
5 report that was issued back in September here of
6 this year. And, in that summary report, I
7 identified the various radionuclides that we had
8 looked at, and also the -- all of the reports that
9 were part of the initial HDTE Task Group reports.

10 And what we found was, in our review of
11 this particular assessment, was that there were
12 some serious errors associated with the original
13 task group reports, where in the case of -- in the
14 case of IET 10, for instance, my review and
15 assessment of all of the data that were available,
16 I concluded that the releases from the IET 10 effort
17 was approximately -- between seven- and eight-fold
18 higher than the ones that were identified by the
19 HD group.

20 And for that reason, when -- if we were
21 to actually make use of that data, we should not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 go to the original report, but realize that those
2 numbers have been amended, and I think our report,
3 which is about a 220-some-odd page report, that is
4 available on the CDC website, it should be looked
5 at.

6 Now, that initial report that was
7 issued by the HDTE was not intended to assess worker
8 exposures. It was really intended to assess
9 offsite exposures to members of the public.
10 However, from the release quantities, those
11 numbers could be converted to onsite doses. And
12 I don't think that would be a major effort.

13 And when you realize that a total of
14 somewhere around four million curies of
15 radioactivity were released with that, it would
16 have released a fair amount of -- or would have
17 resulted in a fair amount of exposures to onsite
18 personnel. And in looking at some of the original
19 data that we had access to, there was very little
20 information.

21 The HDTE report really is a model. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is not based on a lot of empirical data. It is
2 obviously an assessment of the fuel that was used
3 and some of the analysis that was done after the
4 fuel was taken in for a study, an investigation,
5 but it is not really relying on any air sampling
6 data or not much.

7 Early on, there was an extremely
8 minimal number of air samplings done, and that was
9 mostly spot samples, very periodic spot samples.
10 So the information that exists should not be
11 considered based on empirical data more than model
12 data. And, as I said, in our reports, the revised
13 estimates may be used as a release quantity of 51
14 different radionuclides from a stack, and it could
15 possibly be modeled for internal exposures. But
16 a lot of assumptions need to be made to do that.

17 And, in conclusion, I would only say
18 that use of OTIB-54, for the various reasons that
19 I identified, could not be used. It would not
20 represent anything that has any high degree of
21 credibility in terms of its applicability with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regard to a conventional reactor, and these ANP
2 reactors would clearly not be the conventional
3 reactor that we normally think about, and,
4 therefore, the issue of internal exposure,
5 especially for those people who may have been
6 exposed to these releases -- and all of these
7 release quantities that you see in those reports
8 were direct releases to the atmosphere.

9 There was no retention, there were no
10 barriers, there was no filtration, nothing. All
11 of the curie quantities that are cited in the
12 report, at least for IET 3, 4, and 10, were actual
13 curie quantities that -- or at least model curie
14 quantities that were released directly to the
15 atmosphere.

16 I guess, with that, I will close and ask
17 if there is any questions.

18 MR. GLECKLER: This is Brian Gleckler.
19 I'd just like to add one more thing. At the March
20 25, 2014, Work Group meeting, we presented a White
21 Paper, and one of its conclusions was in regards

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to those three IET releases, 3, 4, and 10. And 3
2 and 4 we determined -- or presented the argument
3 that the trajectory of those releases went offsite
4 and did not affect the onsite workforce.

5 So that stuff is kind of moot, and we
6 are still investigating IET 10. That's the open
7 issue.

8 DR. BEHLING: Yes. As John had
9 mentioned earlier on, the actual tests that were
10 done in the different IETs were carefully monitored
11 for the potential exposure and minimizing exposure
12 for onsite personnel. And so I have not looked at
13 this.

14 When SC&A was asked to conduct this
15 study and could it review previous estimates by the
16 HD task group, our effort was really to look at the
17 potential exposures to offsite personnel. So I'm
18 not going to deceive anybody that our effort was
19 really to look at whether or not the original curie
20 estimates on behalf of each of the IETs -- 3, 4,
21 and 10 -- were reasonable based on available

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information.

2 And then our findings were carefully
3 assessed, and we presented our findings to the HDTE
4 task group, the original people -- Peterson,
5 Wenzel, and others -- who agreed with our
6 assessment, and they realized they had overlooked
7 some very, very important issues that would have
8 raised their estimates by, in some cases, several
9 fold.

10 So we are not going to say that the data
11 that we have presented has any intended use for
12 worker exposures, but it's just as a way of
13 potentially looking at the data. You could
14 convert some of the offsite releases and convert
15 this to onsite releases based on curie quantities
16 and, again, go back maybe to some of the original
17 data and look at what the radiological factors were
18 that would have precluded significant exposures in
19 some cases, or would have potentially resulted in
20 onsite exposures to personnel.

21 DR. MAURO: This is John. I'd like to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 add one more point. I was always a bit concerned
2 about particulate fallout. We'll go back to the
3 old classic hot particle issue.

4 Granted, you have an elevated release
5 designed specifically to have -- to occur at times
6 to minimize offsite impacts because the wind
7 direction was in the proper direction. And one
8 could argue if the release is elevated enough, and
9 the meteorology is appropriate, there is little
10 potential for these airborne plumes to touch down
11 onsite, with the exception of particulate material
12 that could fall out, you know, gravitationally.

13 So I guess I would just say, again, from
14 a collegial point of view, it wouldn't be a bad idea
15 to say if whether or not that's a scenario that
16 needs to be explored. And that would be probably
17 more along the lines of external, but maybe
18 internal. I'm not sure.

19 MR. KATZ: Tim, do you want to respond?

20 DR. TAULBEE: The only comment that I
21 would say is back before John's comment about hot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particles, but the -- you know, we recognize that
2 the Initial Engine Test Number 10 is of concern,
3 and we are developing a White Paper to address that,
4 to go into the TBD for an additional dose
5 reconstruction method. This is under the TBD
6 review process.

7 Again, you know, from our particular
8 standpoint, we recognize everything that has just
9 been said here. And we have discussed that under
10 the Work Group -- or with this Work Group in
11 previous discussions. And as Brian pointed out,
12 3 and 4 going offsite, were closed out by the Work
13 Group here. If you want to reopen them, you are
14 certainly welcome to; it's your all's prerogative.
15 But Initial Engine Test is still outstanding from
16 the TBD standpoint, and we recognize that.

17 MEMBER BEACH: That's true. We did
18 close those off earlier.

19 MR. STIVER: In any case, it does look
20 like it's more of a Site Profile issue as opposed
21 to something that --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Again, that's our
2 opinion right now, but if you all want to make it
3 a part of an SEC issue, we can -- I'm sure there
4 is probably --

5 MR. STIVER: Our concern is really the
6 -- you know, the source term that we were able to
7 develop, you know, there is some modeling to be done
8 for onsite environmental exposures. I would
9 recommend using that opposed to earlier data.

10 MR. KATZ: Phil, do we want a lunch
11 break at some point? Phil, do we want a lunch break
12 at some point?

13 MEMBER ROESSLER: Say yes.

14 (Laughter.)

15 CHAIRMAN SCHOFIELD: You want to eat?
16 Okay. Why don't we take a break.

17 MEMBER BEACH: Do we have an action out
18 of this, then, or --

19 MR. KATZ: Well, no. I mean, I just
20 want to --

21 MEMBER BEACH: Okay. I didn't want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 go too --

2 (Simultaneous speaking.)

3 MR. KATZ: So, yeah, let's figure out
4 whether there is an action. It doesn't sound like
5 there is an SC&A action under this.

6 MEMBER BEACH: Well, NIOSH is still
7 reviewing 10, which would leave that open for SC&A.
8 So what about 3 and 4? We did close those earlier.
9 Do you want to go back and look at that White Paper
10 and then maybe refine that or --

11 MR. STIVER: I don't think that we
12 really need to do that at this point. I mean, if
13 the Work Group has closed it out, that -- you know,
14 the idea was that the material went offsite as
15 opposed to onsite. Again, I don't think it's
16 really an SEC issue. It's something that needs to
17 probably be looked at some point in a TBD.

18 MR. KATZ: Well, if it went offsite,
19 it's not a TBD.

20 MR. STIVER: Well, as far as a TBD
21 issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking)

2 MEMBER BEACH: Okay.

3 MR. KATZ: Okay. So does that --

4 MR. STIVER: And the other issue was
5 about the neutron dosimetry, but that's,
6 again -- you know, that's not something that --

7 MEMBER BEACH: Well, at some point
8 we're going to have to start tracking these, so we
9 can keep -- I know we always have a matrix. We
10 don't -- we're not to that point yet, but --

11 MR. STIVER: It might not be a bad idea
12 to start doing that now.

13 MEMBER BEACH: We need something to
14 start keeping kind of --

15 MR. STIVER: So we can keep track of all
16 of these --

17 DR. TAULBEE: I was going to recommend
18 that. I'm taking some notes here, and I was --

19 MR. KATZ: And SC&A can set that up.

20 MR. STIVER: Yeah. We can set it up.
21 We can compare our --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: The last meeting and where
2 we've come so far up until this point.

3 MR. STIVER: Send it to NIOSH for input
4 from --

5 MR. KATZ: Yeah.

6 MEMBER BEACH: Yeah.

7 MR. KATZ: Okay. So that's a work
8 item.

9 MEMBER BEACH: Okay.

10 MR. KATZ: I guess we can take a look
11 at the bioassay indicators after lunch. That
12 should give us enough time.

13 Okay. So that's where we'll come to
14 after the break. And do we need an hour?
15 Probably. They are pretty slow at this -- if
16 you're going to get -- so 12:30. It's 12:30. It's
17 almost 12:40. So about 1:40 we will start back up
18 again, for everyone on the line.

19 Thanks. And I'll close the line and
20 we'll restart then.

21 (Whereupon, the above-entitled matter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 went off the record at 12:38 p.m. and resumed at
2 1:36 p.m.)

3 MR. KATZ: So, good afternoon. This
4 is the Advisory Board on Radiation and Worker
5 Health INL Work Group. We are just regrouping
6 after lunch.

7 And we're ready to go in here. So, do
8 you need to check with anyone's attendance right
9 now, John?

10 MR. STIVER: Maybe Ron Buchanan.

11 MR. KATZ: Ron Buchanan, are you on the
12 line yet?

13 DR. BUCHANAN: Yes, I'm here.

14 MR. KATZ: Okay. I mean, Bob Barton's
15 going next.

16 DR. BUCHANAN: Yes, I see that.

17 MR. KATZ: Okay. And, you folks, Tim,
18 you don't need anybody on the line, right?

19 DR. TAULBEE: No, Brian Gleckler will
20 probably dial back in but that's it.

21 MR. KATZ: You're okay?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Yes.

2 MR. KATZ: Okay.

3 DR. TAULBEE: We're okay.

4 MR. KATZ: So, Bob?

5 MR. BARTON: All right.

6 MR. KATZ: It's all right, Phil?

7 MR. SCHOFIELD: Yes.

8 MR. KATZ: Can Bob go?

9 MR. SCHOFIELD: We're ready.

10 MR. KATZ: Okay, then.

11 MR. BARTON: All right, I just wanted

12 to talk a little bit about OTIB-54. I'm just

13 kidding.

14 (Laughter.)

15 No, a lot of interesting discussion on

16 that, but for this, we're actually going to take

17 a little bit of a step back and instead, talking

18 about how we use these gross beta-gamma to ratio

19 for other fission products or activation products

20 and that sort of thing.

21 This is where we just took a look,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pretty much took a step back, looked at the entire
2 site from a claimant perspective and those gross
3 beta-gamma bioassays and how they will fit in with
4 actual dose reconstruction implementation.

5 But I just want to give a little
6 background first. Obviously, the first item we
7 see here is the release of the SEC Evaluation
8 Report.

9 Back in July, just prior to the last INL
10 Work Group, SC&A released a status report memo that
11 was entitled Interim Summary Report on the
12 Evaluation of NIOSH's Idaho National Laboratory
13 SEC-00219 Petition Evaluation Report. And that
14 report was also discussed during that INL Work
15 Group.

16 Basically, as it related to internal
17 dose based on bioassay, SC&A had identified four
18 essentially main assumptions with the ER in
19 establishing that internal dose reconstruction was
20 feasible.

21 The very first item, which was entitled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Item A in that report, and I'm going to just read
2 this into the record.

3 FAP bioassays. Sufficient workers'
4 records contain fission and activation product
5 bioassay in vitro and in vivo results are available
6 to assign intakes and resulting doses from FAP some
7 periods/areas may need a FAP coworker model
8 developed.

9 And Item A is the subject of this
10 presentation.

11 So, here's a table that's kind of
12 derived from a couple of different tables from the
13 SEC Evaluation Report. And, essentially, what it
14 shows are the main areas at INL and the
15 determination in the ER report as to the
16 feasibility of dose reconstruction.

17 So, if we start sort of in the upper
18 left, we see a lot of Ns in those early years.
19 That's because there really was no radiological
20 work going on during that time with the exception
21 of Central Facilities which was right away 1949.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, as we can see, besides those first
2 handful of years, NIOSH determined that dose
3 reconstruction is feasible in each area,
4 essentially up until 1967.

5 And, from 1967 to 1970, it was
6 determined that coworker models are going to be
7 required and the only exception there is the burial
8 ground for which 1969 and 1970 were held in reserve.

9 And that's essentially what this slide
10 says. But my take-away from that is for periods
11 prior to 1967, essentially this current status is
12 that internal dose reconstruction is feasible,
13 even without the need for any sort of coworker
14 model.

15 So, this is how the SC&A approached its
16 review. We went with a semi-random sample of INL
17 claimants and I'll get to what that semi-random
18 means in a second.

19 Just to assess the adequacy and
20 completeness of the individual records within each
21 claimant file for the purposes of dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconstruction. And, essentially, it leads to
2 three main questions and these are the questions
3 that always seem to arise when coworker models are
4 discussed. Were all relevant workers monitored
5 for fission and activation products? Were those
6 monitored worker records complete? And the
7 corollary for both of those are coworker models
8 appropriate for areas and time periods other than
9 those that have already been designated?

10 Continuing with the description of our
11 approach, SC&A determined that there were 973
12 claimants who had covered employment at INL during
13 the evaluated SEC period.

14 And I want to be clear, when we talk
15 about the SEC period here, it's the evaluated
16 period, not the proposed Class Definition period.
17 So, that evaluated period is from 1949 through
18 1970.

19 Of those 973, about 921 has SEC
20 employment greater than 90 days. So, we took that
21 claimant population and semi-randomly selected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them. And here's what I mean. We did randomly
2 select them but when we populated our database out
3 of NOCTS, we essentially had an entry for each
4 claimant employment period that we were randomly
5 pulling these numbers from.

6 So, essentially, what happens is, even
7 though it's intended to be random, because it would
8 essentially bias it towards workers who had
9 multiple job periods during the SEC evaluation
10 period, those claims kind of get selected more.

11 So, we ended up with 92 claimants, which
12 is roughly ten percent of that number, 921, that
13 had greater than 90 days.

14 One note is that when we selected these
15 claims, we had nine of them that we ultimately
16 discarded mostly because the employment duration
17 for those selected claimants was much less than 90
18 days or, in one of those cases, the job duty was
19 an attorney who stated outright that they weren't
20 badged and all the records show that they weren't
21 monitored. So, we didn't feel that was a very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 germane case to add to the study.

2 Here's a breakdown of the actual job
3 titles we looked at of the 92 workers. That
4 doesn't include the ones we discarded. And, as you
5 can see, the trades workers occupy a huge portion
6 of it. And, again, that's because of the bias
7 towards workers who had multiple job periods.

8 It sort of was an unintended bias. We
9 really didn't realize until we started populating
10 the actual overall numbers for job titles, but I
11 guess I'll try to excuse myself here and say that
12 if you're going to bias it in one direction, that's
13 probably the one you want to go to, towards the job
14 types with more intermittent employment and maybe
15 not be on a regular bioassay schedule.

16 But, as you can see, we also have some
17 pretty good job coverage in other areas, health
18 physicist, electronics technicians, operators.
19 We have some administrative people in there. We
20 have security guards and then the engineers and
21 scientists.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, as we saw in the pie chart, over 60
2 percent of those sampled claimants would fall into
3 that trades worker category. But I'd also point
4 out that when you say trades worker, that covers
5 a lot of different professions which included, but
6 this isn't the definitive list, obviously, but
7 heavy equipment operator, welder, pipe fitter,
8 plumber, asbestos insulation worker, general
9 construction laborer, carpenter and electrician.

10 So, here's kind of an overview of the
11 results, just to give you an idea of the numbers
12 we're looking at here.

13 So, we have 92 total claims. You can
14 see that's at the top row on this table.

15 The average number of years for those
16 92 claimants was about eight years in the SEC
17 period, evaluation period. And then I gave the
18 average and the median samples per year per worker
19 and also the average and the median of the number
20 of individual employment periods that were there
21 per worker.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, as we can see, especially with the
2 employment periods, the average number is six
3 employment -- distinct employment periods during
4 the SEC but the median number is only three and that
5 just sort of goes to show how the distribution of
6 workers was biased towards those workers with more
7 employment periods.

8 Then when you take the average and you
9 look at each worker's, you know, grouping of
10 employment periods, whether it be the six or the
11 three or whatever the individual worker is, we
12 found that just under 50 percent of those
13 individual work periods did not have a fission and
14 activation product bioassay associated with it.

15 One of the first things we observed when
16 we got into this is that there definitely appears
17 to be a change in monitoring frequency that
18 occurred in 1967.

19 Basically, you see a claimant that was
20 monitored several times per year via bioassay for
21 gross beta-gamma. And then 1967 rolls around and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 suddenly the monitoring frequency was stretched
2 considerably to biannual or even longer than that.

3 So, that leads to our Observation 1,
4 SC&A fully agrees with NIOSH's assertion that
5 coworker models are necessary for that period, 1967
6 to 1970.

7 We also observed a number of
8 unmonitored workers, not just unmonitored work
9 periods. So, these are the workers that we just
10 don't have any internal dosimetry for.

11 Now, that said, they may have had
12 fission and activation product bioassay associated
13 with another area such as Argonne or NRF or had
14 bioassay taken but after the evaluated SEC period.

15 So, here's some examples, and I'd like
16 to put these out there just to kind of illustrate
17 what it looks like when you're actually looking at
18 an individual claimant record and how this kind of
19 fits into the big picture of the whole issue of how
20 you reconstruct these internal doses.

21 So, the first one we're looking at here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is an iron worker/laborer. The CATI interview
2 indicated that work with radioactive material was
3 40-plus hours a week. And there's also a couple
4 of interesting statements here, I'll just read
5 these.

6 The Energy Employee said they took
7 turns going into the hot cells. They were given
8 a certain time limit on how long they could stay.

9 The Energy Employee said some of the
10 tools they used were taken away and could not be
11 used again because they were contaminated.

12 The Energy Employee said sometimes when
13 they would get contaminated, the monitors would try
14 to use tape to get the contamination off.

15 And the reason I included those and I
16 think they are important is because it shows that
17 internal exposure potential likely did exist for
18 this claimant during the SEC evaluation period.

19 This particular claim also had external
20 dosimetry and the location file cards indicated
21 MTR, AX, MTX and CX, so a lot of construction areas

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for some of those years during the SEC evaluation
2 periods.

3 So, again, that's another indication
4 that there was internal exposure potential but we
5 don't have any internal monitoring.

6 Another example was the mechanical
7 engineer. Unfortunately, that particular
8 claimant did not -- declined to be interviewed.
9 But, again, the location file cards indicate they
10 were a TAN MTR CFA during several of the years of
11 the SEC employment. And the annual monitoring
12 summary indicates external monitoring didn't begin
13 until 1961.

14 We have an electrician. I'm a bit
15 confused by that last bullet, but we'll get back
16 to that.

17 We have an electrician. Again the CATI
18 was declined. External monitoring at CPM which
19 was just another moniker for CPP, I think it was
20 actually referring to a process within CPP in the
21 early years, and he had external monitoring in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 1950s, also external monitoring at OX, CPP, MTR and
2 AX beginning in 1960.

3 This claimant was technically not
4 unmonitored because they do have one bioassay
5 result that was in 1969, but that was actually
6 strange that they're not considered part of the
7 covered employment.

8 But I will point out that any time that
9 arises, NIOSH will always or at least as far as I've
10 seen in dose reconstruction, you use that data to
11 arrive at a PoC. So it's not like it's left off the
12 board simply because it wasn't technically part of
13 the covered employment.

14 So, this brings us to Observation 2.
15 Now, I'll just read this into the record.

16 Based on SC&A's review of sampled
17 claimants, it is not apparent that the lack of
18 internal monitoring data is indicative of a lack
19 of internal exposure potential.

20 Given the uncertainty in establishing
21 work areas, activities and ultimately exposure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potential for claimants, particularly in the early
2 years, it is recommended that coworker models be
3 evaluated and developed for workers who were
4 unmonitored but likely should have been monitored
5 during all periods for which such exposures are
6 possible.

7 In addition to the unmonitored worker
8 population in our sample, there's obviously going
9 to be some partially monitored workers.

10 So, we examined these workers who did
11 have some bioassay but also had unmonitored
12 portions of their employment.

13 As I showed before, on average, there
14 are about six different employment periods per
15 worker sampled. The median number was three. And
16 about 50 -- on average, 50 percent of these did not
17 have associated bioassay.

18 So, again, here's some illustrative
19 examples. First, we have a custodian and there's
20 a single internal monitoring result in 1958 but the
21 employment for this individual extended over a year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 past that sample and there was no other employment
2 after that.

3 The location file card and the external
4 dosimetry indicate assignment to CPP and SPERT
5 during this latter year. So, statements from that
6 CATI report, part of a job was to clean up spills
7 and accidents. The Energy Employee mentioned
8 working behind lead barriers and liquid waste was
9 seeping around. The Energy Employee wore cotton
10 overalls and a mask or respirator. The coveralls
11 were taped at the edges. The Energy Employee had
12 mentioned a cleanup job where they went through so
13 many casual laborers that they even burned out the
14 bus drivers.

15 And, again, this is -- it's anecdotal
16 evidence that this person was likely internally
17 exposed. And, based on the external dosimetry,
18 one could argue after the last monitoring result
19 for that individual in 1958.

20 Here's another example. It's a
21 construction/laborer. The last in period, when I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 say in period, I mean during the SEC evaluation
2 period, result was in 1961. The next result did
3 not occur until 1980.

4 The claimant has covered employment
5 after that 1961 result in '63, '64 and also 1966
6 to 1970. And the external dosimetry and location
7 file card indicate assignment to AX, MTR, CPP, TAN,
8 CX and MTX during these latter SEC periods.

9 And then there's some other statements
10 from the CATI that, again, indicate that there was
11 certainly the potential for internal exposure to
12 fission and activation products.

13 And I think this is the last example.
14 It's, again, a laborer. Internal monitoring ends
15 in 1960 but the covered employment extends through
16 1970. Again, there are numerous locations at INL
17 for this Energy Employee after that last internal
18 monitoring result.

19 And the CATI report was actually with
20 the survivor but they indicate that there were
21 times when they had to take a day off because they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had reached a dose limit.

2 So, that brings us to Observation 3, and
3 I'll read it in.

4 It appears there are credible
5 situations where it would be appropriate and
6 claimant-favorable to assign coworker intakes of
7 FAP to account for unmonitored portions of the
8 claimant's work history. Many of these examples
9 predate the period currently identified by NIOSH
10 as requiring coworker evaluations. So, that's
11 really a corollary to Observation 4.

12 And the summary recommendation, here
13 again, I'll read it into the record.

14 Based on SC&A's review of 92 randomly
15 selected claimants -- and I guess I should probably
16 read semi-randomly selected, as I talked about
17 before -- it was evident that fission and an
18 activation product bioassay is generally available
19 for a wide variety of job titles. Thus, SC&A does
20 not believe there are completeness issues, and
21 that's in quotations, completeness issues with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data set of fission and activation product bioassay
2 that would preclude its use in developing coworker
3 models. Nor was there any indication that specific
4 job titles were systematically excluded from the
5 internal monitoring program. However, it is
6 SC&A's opinion that FAP coworker models should be
7 evaluated and developed for each relevant INL site
8 area beginning with the start of radiological
9 operations for each individual location.

10 And I'd like to sort of expand on that
11 a little bit. We make some, I guess, rather bold
12 statements about completeness and I just want to
13 clarify. This is based on our sample of 92
14 workers. And, you know, while there were workers
15 that were unmonitored, there were workers that had
16 the same job type that were monitored.

17 Usually, when we talk about
18 completeness under this sort of a macro level, it
19 would be something along the lines of a particular
20 worker category that would systematically just not
21 captured by the bioassay program and there might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be reason to believe that their exposure was
2 higher.

3 To really assess the completeness and
4 adequacy essentially would have to go through the
5 implementation guideline process that has been
6 developed by the SEC Issues Work Group and to assess
7 the different areas. You have samples for each
8 area and that sort of things which is -- that just
9 got approved for road testing.

10 So, while we say that there's no
11 completeness issues, again, I qualified that
12 that's based on what we observed with the sample
13 of 92 claims and does not represent a definitive
14 statement until -- if the coworker modeling process
15 were to proceed, these issues would be vetted more
16 significantly.

17 So, that's the end of a rather quick
18 presentation. Any comments or questions?

19 DR. TAULBEE: Nice job. In looking at
20 this, yes, this is something that we can look at
21 in more detail.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I do like the approach and one of the
2 things that might be a little bit of a
3 misunderstanding, when we said that we definitely
4 need -- we need coworker models '67 forward, that
5 was because it was a definite scenario.

6 I wouldn't say that we completely
7 excluded prior models, prior years, I should say,
8 it was a change in their monitoring methodology
9 that prompted our statement in the SEC where we knew
10 before they were actually doing some evaluation of
11 who should be monitored within the workforce and
12 looking at different job titles and developing
13 frequencies based upon that, at least from 1961 up
14 through 1967.

15 And then, '67, they went to a
16 random-based sampling model to where it was
17 one-quarter of the workforce was to be monitored
18 and the supervisor was to pick, you know, which
19 quarter, basically, of the workforce.

20 So, that's what prompted our discussion
21 of we definitely need coworker models from that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 latter time period because we knew certain workers
2 that had a potential for exposure were definitely
3 not being monitored.

4 In the earlier time period I do see, you
5 know, with your evaluation there, we can and we
6 should look at some of these other time periods and
7 areas as far as whether we should be using a
8 coworker model.

9 And so, thanks.

10 MR. BARTON: You don't have to be so
11 effusive.

12 (Laughter.)

13 DR. TAULBEE: Yes, it was nice.

14 MEMBER BEACH: So, the action out of
15 that is to review this paper and --

16 DR. TAULBEE: We will review that paper
17 and respond to that and make a decision as to
18 whether we're going to do coworker models all the
19 way back or not.

20 So, we will be providing a response to
21 the Work Group on that. We just need to look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it longer or a little more.

2 If you do recall from our interviews,
3 we know that there are certain time periods where
4 the trigger for people to be monitored for -- to
5 be sent for follow-up urinalysis was facial
6 contamination coming out of areas.

7 So, certain workers and I presume a lot
8 of the trades workers that might have had tool
9 contamination or hand contamination, the rad
10 monitors, if you recall from the interviews, they
11 said that they check their faces and if there was
12 no contamination on the faces, they did not send
13 them.

14 Does that mean we should not have a
15 coworker model? I don't know. But that was one
16 of the reasons that popped into my mind as to why
17 some of these workers might not have been monitored
18 even though it clearly looked like they went into
19 certain areas.

20 But we will evaluate that further and
21 we'll take on that action item.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN SCHOFIELD: In the
2 interviews, did they say the rad monitors -- did
3 they take nasal swipes? And, if so, was there a
4 point like 25, 50, 75 dpm where then they were sent
5 to get sampled?

6 DR. TAULBEE: As I recall from the
7 interviews, it was anything detectible that they
8 sent them.

9 CHAIRMAN SCHOFIELD: Wow.

10 DR. TAULBEE: So, it was any facial
11 contamination and when they did the nasal smears
12 if they saw anything, they sent them for follow-up
13 urinalysis or whole body count.

14 But, I mean, Gen and Josie and John, you
15 were there at the interviews as well. Do you
16 recall anything different than that?

17 MEMBER BEACH: I sure don't.

18 MEMBER ROESSLER: I'm glad you
19 reminded us.

20 DR. TAULBEE: So, but again, that
21 doesn't mean that we shouldn't be having coworker

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 models for some of these workers there. So, again,
2 we'll take on the action of responding under the
3 -- out of an issues matrix standpoint.

4 MR. BARTON: And then I'd just add,
5 even for the partially monitored workers, I mean
6 to have a situation where you don't need a coworker
7 model, you would essentially need to have a
8 termination bioassay as soon as they leave the site
9 and never come back.

10 If you have that termination bioassay,
11 maybe you can work backwards from it, but if you
12 have the last bioassay and they keep working doing
13 similar jobs, you need intakes to assign for that
14 period.

15 DR. TAULBEE: And I believe there's
16 quite a few people, especially the construction
17 trades that would have termination bioassay and
18 then, four years later, they're back and they have
19 another termination bioassay and it's really for
20 their individual period is what that termination
21 bioassay was. It's not for their actual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 termination.

2 Mr. Stiver: Does anyone have Live
3 Meeting up?

4 DR. TAULBEE: I do, but it's not doing
5 anything.

6 MR. STIVER: Any questions? We'll
7 move on to Ron's presentation?

8 MR. KATZ: Ron?

9 MR. STIVER: More discussions of
10 OTIB-54 coming your way.

11 DR. BUCHANAN: Okay, put the first
12 slide on.

13 MR. STIVER: Hang on just a second.
14 Let me share this.

15 DR. BUCHANAN: Okay. This is Ron
16 Buchanan of SC&A and what I'd like to discuss now
17 is our evaluation of using a bioassay indicator
18 radionuclide to assign fission activation products
19 and actinides from a little different perspective.

20 So far, we've talked mostly about
21 calculations, computer-generated codes and stuff,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so what I want to do is actually go out and look
2 for some measured data and see how that compared
3 to what was being recommended.

4 So, if we could have the next slide.

5 Okay, so before we can use the ratio
6 method to assign fission activation products or
7 actinides, we have to -- there's four very
8 important assumptions and one was just covered
9 nicely by Bob there. A, that we have a marker
10 radionuclide that we can use and we have sufficient
11 data for that to say, okay, we have the beginnings,
12 we have the strontium-90 or cesium-137
13 quantitative analysis that we can use to start
14 with, and he just covered that.

15 B, is that we know the ratios of that
16 indicator to the other radionuclides well enough
17 to assign other fission activation products that
18 are significant. And maybe these always will not
19 be exact, but you know, within a reasonable margin.

20 And C is that NIOSH use the same method
21 to assign actinides. We know the ratios well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 enough. And, of course, that's one reason that
2 prompted the SEC for the chemical plant during that
3 SEC period because they had been separated out.
4 And so, we want to look at the rest of this thing
5 also.

6 D is special bioassays. Now, if these
7 ratios didn't exist and the ER says there was
8 special bioassays were performed for advanced or
9 there were specialized radionuclides here. And
10 so, we couldn't use the ratio method.

11 So, we will look at that briefly also.

12 Next slide.

13 Okay, so like I say, this presentation
14 addresses Item B, C and D and Item A was already
15 addressed.

16 Next slide.

17 Okay, so and most of you are aware now,
18 the recommendation is that you use OTIB-54 with an
19 indicating radionuclide to assign fission
20 activation products. I would like to clarify that
21 OTIB-54 is only for fission activation products,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it is not for actinides.

2 And so, in OTIB -- in TBD-5 for Idaho,
3 they give two tables in there where they use
4 strontium or cesium as the ratio -- as a measured
5 bioassay and apply a ratio to the actinides.

6 And so, we will take a look at that also.
7 So far, there's not been too much said about that,
8 but that's equally or perhaps a lot of times more
9 important even in the fission activation products
10 for some internal doses.

11 Next slide.

12 Okay, so since Idaho had a wide variety
13 of reactors, over 50, and we wanted to see if the
14 ORIGEN code, okay, it all boils down -- so for
15 OTIB-54 and also the tables in TBD-5 for actinides
16 were based upon computer simulation. And this was
17 the ORIGEN, ran especially for the actinides, was
18 in 1999 or 2000, around that time frame.

19 DOE asked Idaho to give them the specs
20 on the uranium contaminates, the contamination in
21 the uranium as it was produced in the final space.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One of those documents around the year
2 2000 said that there had been measurements made in
3 the past of the various radionuclides but they did
4 not retain the records. I hoped that maybe we can
5 find some of those.

6 But anyway, they said, okay, everything
7 comes to the center is over. Okay? So, that is
8 the hopper that brings in the fuel elements. Now,
9 we have to remember, these come off-site as well
10 as on-site which we're going to talk about in a
11 little bit.

12 It's a chute that comes down to a sealed
13 vessel and it's got acid in it and it dissolves the
14 fuel elements, the cladding, the uranium and
15 whatever's in it. And then it goes through the
16 cells at the chemical processing plant to be
17 separated out and that's where we get the
18 separation.

19 But, before that, what we want to look
20 at is anything before that, before it's dissolved.

21 And so, we wanted to look at some actual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measurements and did we have some benchmarks to
2 say, okay, this ORIGEN run done in around 2000, does
3 it represent what we're looking at here, actually,
4 the workers were exposed to at Idaho?

5 And so, we search the documents and we
6 searched the NOCTS database, we searched the Site
7 Research Database. We searched the electronic
8 database for some quantitative analysis.

9 Next slide, please.

10 So, what we wanted to look was for
11 somewhere where they did quantitative analysis of
12 particular radionuclides, not just gross count for
13 just cesium or just strontium or just uranium and
14 just plutonium. We needed specific isotopes
15 measured in microcuries or something.

16 And so then, we said we didn't care
17 about the amounts really, we just wanted a ratio.
18 And so, we look at -- some of the things we found,
19 we looked through probably 40,000 pages or so in
20 the documents, and searched and found some nasal
21 swabs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, this is a very good candidate
2 because this actually tells you what the ratio is
3 of the person breathing the material in. You don't
4 have to make any assumptions on that.

5 Urinalysis can be helpful, you can make
6 certain assumptions there and analysis.

7 We were fortunate to find some fuel
8 elements scale from outside the fuel that was
9 processed. I'll discuss that, and some fuel
10 storage contamination swipes. In other words,
11 before the fuel's ever processed, they store it,
12 this contamination and we got swipes from there and
13 some air filters in some of those areas.

14 All in all, we found about 42
15 quantitative samples that we could analyze for a
16 radionuclide ratio. Now, not all of them had all
17 the radionuclides we were interested in, but they
18 had some, had at least strontium and/or cesium and
19 then one other isotope that could be useful.

20 So, next slide.

21 Okay, so I asked how can we evaluate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this and present all this data? So, you see this
2 is one of about 15 of them in my report. We did
3 -- I did a report in October of this year on bioassay
4 at Idaho that addresses these three items in
5 greater detail. But I didn't want to present all
6 that data today.

7 This is just an example and this is from
8 the Brookhaven Graphite Research Reactor,
9 fortunately, in 1963 which is a ways back.

10 The quantitative analysis of
11 radionuclides really didn't get going good until
12 the '80s or so when the instrumentation came along
13 and they realized it was important. So, it was
14 kind of hard to find quantitative analysis, but
15 fortunately, they did a very good job here.

16 About 3,000 fuel elements from this
17 Brookhaven Graphite Reactor came in and were stored
18 and it was too big to transport to the chemical
19 plant for processing. It wasn't practical so they
20 squished them and they compressed them and scale
21 fell off outside.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so, they, fortunately, they sampled
2 this. They took and they measured the cesium and
3 the strontium at the same time and then these other
4 isotopes as I have illustrated there.

5 So, these were actual measurements that
6 were measured and given. There was no
7 calculations to them or anything, just determined
8 the ratio.

9 And so, I decided the way to present
10 this was is, what was a measured ratio? In other
11 words, what was the uranium-234, strontium-90
12 ratio that we measured on the actual material? And
13 then divide that by the ratio that is recommended
14 by NIOSH for dose reconstruction.

15 And you see strontium-90 is the basis
16 at the top of the figure. And you see anything in
17 green is one or less. In other words, our measured
18 value was equal to or less than what NIOSH would
19 assign.

20 In red, it meant that what was measured
21 was actually larger than what NIOSH had assigned.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, you see if we use strontium-90, we
2 assign it good for strontium-90 because that's a
3 one, cesium-137 would be over, assigning the dose
4 reconstruction using the recommendation within
5 106.

6 But the other two would be
7 under-assigned just slightly a bit, obviously the
8 E-144 but by a factor of 10 or 12 on the uranium-234.

9 Now, the other thing that we looked at
10 was, okay, what if you use cesium? Fortunately,
11 they measured it at the same and you see cesium was
12 bout an eighth of the strontium concentration in
13 this particular reactor fuel scale.

14 And you see that while we get cesium
15 right, we get all the other underestimated which
16 is the recommendation of the present percent.

17 So, I did this for this or similar type
18 plot in the same report. And so, this just gives
19 you a snapshot. And all this is really snapshots
20 from 1960 to 2000 or so of samples I defined for
21 quantitative analysis from different areas.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And it just gives us an idea that these
2 ratios weren't always what we would think they
3 might be or would hope they'd be.

4 The next slide.

5 Okay, so, the summary of this is that
6 the fission activation products assigned using the
7 recommendations in OTIB-54 based on strontium-90
8 generally, but not always, there are some
9 exceptions, equal to or greater than those actual
10 measured values. So, this, again, is a snapshot
11 of the 42 samples I looked at.

12 Next slide, please.

13 Now, the number two there, we find that
14 the strontium -- the cesium to strontium ratio is
15 not always one to one, as is the cornerstone of
16 OTIB-54 and TBD-5 because sometimes the strontium
17 would be higher than cesium and sometimes vice
18 versa.

19 And I kind of looked at this to see,
20 well, you know, you're not going to be exact, you
21 know, with a factor of two, one half to two. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a lot of times, it was like a factor of eight or
2 ten difference.

3 And so, this is concerning as far as
4 using the ratio method per the suggestion. And so,
5 you can't resort to using just strontium or just
6 cesium because it'd be claimant-favorable because
7 it was sometimes one way, sometimes the other and
8 if the worker was bioassayed for cesium or
9 strontium, would depend on which was the greater
10 dose they assigned.

11 So, next slide, please.

12 Okay, the actinide, when we use TBD-5,
13 Table 5-22 is based on strontium-90. Those ratios
14 are in there, all the actinides, the plutoniums,
15 the thoriums, that sort of thing.

16 Table 5-23 is based on cesium-137
17 intake. And, in this table, cesium and strontium
18 are about the same ratio. However, a lot of times,
19 regardless of which one you used, you would derive
20 significantly less actinide intakes than what the
21 actual measured value was sampled.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, again, these didn't require a
2 calculation. I found all the data and that it was
3 already calculated out into microcuries except for
4 the bioassays which I had to make some assumptions.

5 Okay, next slide, please.

6 Okay, now, this leads us to the other
7 item, Item D, the special bioassays. And what this
8 was, is, okay, in the ER, they say that there's --
9 and there would be cases where there wouldn't be
10 a direct correlation and so special bioassays were
11 taken.

12 And so, if you had a plutonium being
13 worked on in a glovebox, special bioassays were
14 taken as opposed to just measuring the strontium
15 or cesium and using the ratio.

16 And that's very difficult to address.
17 We'll get to that a little later.

18 Okay, so next slide, please.

19 Okay, so from this, what did we learn
20 through all this? Okay, recommendation number
21 one, it's necessary to see if we can find hopefully

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the analysis of some of the dissolver contents
2 before it went through the separation process.

3 And this is important not only for the
4 Idaho fuel elements, which we've been discussing
5 so far today, but Idaho also processed quite a bit
6 of fuel elements from outside as we see, 3,000 from
7 the Brookhaven Graphite Reactor.

8 And so, we can't just look at Idaho's
9 reactor fuel, we have to look at everything Idaho
10 brought in. And even if they didn't reprocess it,
11 if they just brought it in, they brought in some
12 Rocky Flats material which went to the burial
13 ground.

14 And so, we have to question, you know,
15 are the recommended ratio methods useful for all
16 of these?

17 So, our recommendation is in the
18 upcoming data capture, we try to find especially
19 some of the dissolver analysis or what went in
20 before -- before it went into the dissolver, when
21 it was in storage or when it was shipped in or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whatever.

2 Anyway, this is the area that needs to
3 be, I think, further investigated to determine
4 whether what we found on these samples was the norm
5 or highlighters.

6 So, next slide, please.

7 Okay, and especially important in
8 evaluating this and this isn't just in the
9 dissolver, like I say, anything contamination,
10 soil samples, anything that exposures could be --
11 workers can be exposed to anywhere on the Idaho site
12 and especially looking at -- and look at the cesium
13 to strontium ratio because we need to get that right
14 before we use the ratio method in Idaho with all
15 their special materials and stuff.

16 So, next slide, please.

17 Okay, now this special bioassays, now,
18 it's difficult in our days, today looking back, and
19 say, okay, special -- I'll use the term special when
20 there wasn't connected to a fission activation
21 product.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 However, if you look back 40 years ago,
2 they weren't looking at the ratio method. They
3 weren't even thinking about it. So, what does a
4 special bioassay mean?

5 Next slide.

6 Okay, because it needs to be
7 determined, now in the electronic database and on
8 the hard copies in the Site Research Database,
9 there are some bioassays labeled as special or
10 non-routine.

11 And so, what we need to do is to
12 determine does this mean like somebody working in
13 the glovebox with plutonium-238? Or does this
14 just mean that, hey, an event occurred, a person
15 was exposed, we need to know what his dose or his
16 intake was right away, so that's a special bioassay
17 or non-routine, which gets moved to the front of
18 the line, priority over the routine bioassays.

19 I think that probably some of the
20 upcoming interviews, we could ask some people, you
21 know, what was special about -- what constituted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a special bioassay in the records? And if an event
2 did occur, how was it determined whether a special
3 bioassay was taken, so that we can identify in the
4 cases which we see more and more that there are
5 circumstances where they aren't tied together to
6 a fission activation product, were their actinides
7 adequately monitored so that dose can be assigned
8 when there wasn't a link to a fission activation
9 product or that wasn't taken.

10 At that time, they probably didn't take
11 both because if they were working with plutonium,
12 they probably weren't necessary, they didn't think
13 they needed to take a strontium sample.

14 So, that's our recommendation for
15 upcoming visits.

16 And so, next slide, please.

17 That concludes a very brief summary of
18 what we worked on, on the overall bioassay that
19 addressed all the sites, all of the areas at INL
20 and where we're at on it today.

21 So, open for questions and comments.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Tim?

2 DR. TAULBEE: Yes, I do.

3 One thing I would really like to caution
4 the Work Group and SC&A on is the use of the INL
5 electronic bioassay data set. I had mentioned
6 that earlier today.

7 We no longer consider that data set to
8 be valid. We are completely recoding it. So,
9 please be cautious with anything that you use that
10 for or conclusions you draw from it.

11 We have found significant errors in it
12 and these are our mistakes. These are things that
13 we made when we coded it out of there such that we
14 are completely redoing it, is the level of
15 uncertainty and problems we found within it when
16 we did our own QA.

17 So, please be cautious on that. I know
18 recommendation number three was saying to do some
19 follow-up on that with the special in the routines.
20 Interviews are fine to, you know, try and ask that,
21 but please don't try and draw any conclusions from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that because that will very likely change when we
2 get the new data set coded.

3 MEMBER BEACH: What's your time frame
4 on the new -- on recoding? You knew somebody was
5 going to ask that.

6 DR. TAULBEE: Actually, at this time,
7 I don't have a real time line.

8 MEMBER BEACH: Is somebody working on
9 it or --

10 DR. TAULBEE: They are beginning to
11 work on it --

12 MEMBER BEACH: -- it's on the -- okay.

13 DR. TAULBEE: -- is what is currently
14 happening.

15 DR. BUCHANAN: Would you please let
16 SC&A know when you do repost it so we won't have
17 to keep checking for it? That would save us a lot
18 of time.

19 DR. TAULBEE: We can certainly do so,
20 no problem.

21 DR. BUCHANAN: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: The other thing that I
2 would say is that with your data captures that
3 you're coming up, there is a wealth of
4 spectroscopic, spectrographic, I don't know which
5 is the right word, scopic -- information out there
6 on other samples.

7 If you're considering looking at fuel
8 scale for what the isotope breakdowns are, I would
9 encourage you to look at some of the water samples
10 from the canals themselves, the spent fuel pool
11 canals, as well as some of the air samples that are
12 coming out of the building. Those are also
13 analyzed for isotopic at different time periods.

14 As I recall, the waste reports
15 themselves also have a breakdown of isotopics
16 within them. And so, these are all other sources
17 that you could look at if you're looking at other
18 samples beyond the nasal smears and the urinalysis
19 to draw your conclusions here.

20 I found personally there is probably
21 more air sample and water data from the spent fuel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pools than we cared to capture, let me tell you
2 that. There are literally thousands and thousands
3 of results.

4 So, you might want to look for those in
5 their system, the EDMS system and poll some of those
6 boxes and capture what it is that you're interested
7 in.

8 CHAIRMAN SCHOFIELD: Answer one
9 question for me and correct me if I'm wrong. But
10 a majority of the rods are either uranium,
11 plutonium or a mixture of the two. How common were
12 fuel rods with thorium?

13 DR. TAULBEE: With regards to how were
14 they with thorium or were there any?

15 CHAIRMAN SCHOFIELD: Were there any?

16 DR. TAULBEE: Not that were processed
17 through the CPP. Now, there were some that came
18 in from Peach Bottom and they were cut and there
19 is some isotopic analysis associated with those
20 when they were doing the analysis down in the 603
21 building, I believe it was.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so, there is that particular
2 project from the Peach Bottom fuels.

3 The bulk of them are all enriched
4 uranium. That was what was dissolved in the
5 dissolvers. The plutonium fuels were not
6 dissolved there at CPP. It was just the
7 high-enriched uranium for the recovery process.

8 Now, from that, any time you're
9 irradiating enriched uranium, you're going to get
10 some plutonium and that was what they started
11 extracting off that caused us to recommend an SEC,
12 was they started pulling off that plutonium in
13 order to reprocess it. Or to recover it, I should
14 say, not reprocess it.

15 CHAIRMAN SCHOFIELD: If I remember
16 right, I was reading they had a program for a while
17 and I don't remember the years where they were
18 taking the spent rods and they were taking the fuel
19 from them or, in some cases, mixing them with, what
20 was that, a glass, boron glass and making slugs out
21 of it to stabilize it. Was that during the SEC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 periods?

2 DR. TAULBEE: I do not believe so. I
3 do not recall running into anything like that in
4 the -- up through 1975 time period.

5 CHAIRMAN SCHOFIELD: Yes, I didn't
6 know if it was after that or not.

7 DR. TAULBEE: Yes. And there was a
8 statement earlier, I can't remember which one of
9 the presentations said something about looking,
10 you know, outside of the SEC evaluation time
11 period. You know, that, I believe the statement
12 said something about, you know, NIOSH's okay with
13 outside-the-SEC time period for dose
14 reconstruction.

15 I wouldn't say that. With the SEC's,
16 we clearly evaluate a time period. We don't go
17 beyond that particular time period unless we find
18 an infeasibility which is what we did at CPP and
19 then we track that down until we find a clear change
20 or something that might indicate a change and then
21 we'll cut off the research.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then if we, in this particular case
2 with CPP, we said that we were going to look further
3 to see if these implementation things happened
4 under the 83.14.

5 But we really haven't said anything
6 about other operations at INL in -- outside of that
7 1970 time period except for at CPP. CPP we looked
8 further. The other areas we did not and have not.

9 MR. STIVER: Those being the ones that
10 are in reserve at this point?

11 DR. TAULBEE: Correct. The reserved
12 ones. In the current reserve ones, we're only
13 looking up through 1970 unless we find an
14 infeasibility and then we'll go beyond. But,
15 right now, we are just looking up through 1970.

16 MEMBER BEACH: So then, where does that
17 leave us with this report? Is there any actions
18 other than waiting for the recoding to be done? Is
19 there any -- are you going to review it and --

20 DR. TAULBEE: My preference would be
21 for, I guess in a sense you all to update, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 based upon --

2 MEMBER BEACH: So, wait --

3 DR. TAULBEE: -- your current -- you're
4 planning to do some data capture out there to look
5 at some of that data and then when you issue a new
6 one, then we would address that.

7 MEMBER BEACH: So, the data capture,
8 the recoding and then this would have to be
9 resubmitted? Is that what we're -- I'm just trying
10 to get a sense of where we're at.

11 MR. STIVER: From an SC&A standpoint,
12 the upcoming data capture is going to look into this
13 issue, the issues that Ron has identified.

14 MEMBER BEACH: Okay.

15 MR. STIVER: And, actually, Joe is
16 going to be out there next week for the Board
17 meeting.

18 MEMBER BEACH: He's going to be polling
19 some boxes, yes.

20 MR. KATZ: So, John, there will be a
21 follow-on SC&A report that'll be sort of a complete

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 report on this, is that what you're saying?

2 MR. STIVER: Yes, just kind of a
3 preliminary report we've found so far based on
4 those 42 samplings, and, you know, with high and
5 low for additional, you know, data. And we
6 mentioned also the spent fuel pool and the air
7 sampling could be another good thing to look at.

8 So, we are going to be looking at a
9 broader representative data set and actually make
10 some recommendations.

11 Are there any other questions for Ron?

12 In that case, I guess we can go ahead
13 and move on to Doug's presentation on the central
14 facilities.

15 Okay, Doug, are you still on?

16 MR. FARVER: I'm still here.

17 MR. STIVER: Okay, good. We're ready
18 to go.

19 MR. FARVER: Fine. I appreciate you
20 bumping me to the end because everyone else set the
21 groundwork. That means I don't need to cover much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yours should be quite
2 easy, then.

3 MR. FARVER: You can go on to the next
4 slide.

5 Basically, I looked at the central
6 facilities area. And just to give you an idea of
7 what that is, it's probably what you think it is.
8 It's where a lot of the common facilities were, like
9 the medical, receiving warehouse, health physics
10 and health and safety labs and offices. So, a lot
11 of your centrally located facilities.

12 And of the many facilities, there are
13 probably, I don't know, four or six that have, we'll
14 say a contamination or an intake potential that I
15 would think. Things like the machine shop,
16 maintenance shop, the hot laundry, the chemical
17 engineering lab, possibly a sewage treatment
18 plant. So, it was just a few areas to look at.

19 And like the other folks we heard today,
20 there's a lot of interest and concern about using
21 your beta-gamma bioassays and going in and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assigning actinide intakes. And such was the
2 concern here.

3 So, I just propose to look at survey
4 data that was available or whatever data I could
5 find and then just see how it compares to the TBD
6 values.

7 Next slide, please.

8 Pretty much what we talked about today,
9 they proposed to use the mixed fission and
10 activation product bioassays and fixed actinide
11 intakes. We can move on.

12 And rather than putting the two tables
13 on there from the TBD, these are just the maximum
14 values from Table 5-22 and 5-23 just to give you
15 an idea of what kind of levels we're looking at,
16 the ratios.

17 And if you want to just keep in mind,
18 like the U-234 and the Pu-238, you're looking at
19 somewhere in the range of ten to the minus two, ten
20 to the minus three for your actinide to whatever
21 ratio.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Okay, next page.

2 So, I looked to see what kind of surveys
3 I could find on the SRDB for the CFA facilities.
4 Well, I didn't find much, now I understand why
5 because they just were doing a sampling and that's
6 okay; I understand.

7 I did find some contamination surveys
8 of the hot laundry and the chemical engineering
9 lab. It was about 70 pages of surveys and some were
10 just beta surveys, some were just alpha surveys,
11 some were beta-gamma surveys. It was a mix.

12 And there was a report from the D&D of
13 the laundry. Actually, it was after the D&D, it
14 was just some soil samples from an excavation in
15 their final report. So, there wasn't a whole lot
16 there.

17 There should be a document out there
18 that talks about the characterization and decision
19 analysis for the hot laundry, but that document was
20 not available. So, it probably exists and
21 probably has a lot of survey data in it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, on the survey data, I just want to
2 look at the beta-gamma and the alpha results that
3 were, number one, greater than background, and,
4 two, were taken at the same location at the same
5 time. We're trying to compare similar ratios.

6 There were 85 contamination survey
7 results that met that criteria. There were six
8 from a survey that just didn't look right. It
9 looked like they got their alpha and beta-gamma
10 results transposed.

11 And on some of these surveys, they'll
12 use blue ink for beta-gamma and red ink for alpha.
13 And I think they just got them mixed up because they
14 just didn't look right, so I discarded them.

15 The other thing, the survey results are
16 given in counts per minute.

17 Next page.

18 So, I've got the results of my counts
19 per minute, I've got a gun to dpm. I found a couple
20 memos that the counting equipment, even in 1972 was
21 from the '50s. And I found some data sheets where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you gave me a counter yield for beta-gamma and
2 alpha.

3 So, I'm hoping that things were similar
4 and I applied those two values and converted to dpm.

5 Now, it's always very iffy when you're
6 going back like this because I don't really know
7 if that's the correct way to do it. The surveys
8 are old, the information's old and this is one of
9 the things we'd like to talk about later, is if we
10 have more recent information with some more recent
11 methods.

12 Next page.

13 This is just an example of one of the
14 better surveys from the engineering lab. They're
15 all handwritten and I'm not sure if you can see in
16 the upper left corner, the ones that have, like,
17 double underlines, you can see the ratios of alpha
18 to beta-gamma just in the cpms.

19 And they can, you know, it's almost one
20 to one where it says 18 alpha and 17 beta-gamma.
21 And then it's much lower than the other ones.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I just wanted to give you an idea
2 that this is kind of what the surveys look like.

3 The next one is the survey of the hot
4 laundry. It's very similar and you can see that
5 it can bounce around. There's that one that's
6 about in the center of the page, it's double
7 underlined, zero alpha, 2440 beta-gamma. It could
8 be a particle, most likely a particle.

9 But, anyway, so you would -- I would
10 take these values and put them into a spreadsheet,
11 so they were taken at the same location and they
12 were greater than background.

13 Okay, next page.

14 There were also some soil samples taken
15 from an excavation from the contaminated sewer
16 line. They were analyzed for alpha and gamma spec
17 and also strontium-90.

18 They have 274 and the uranium and
19 plutonium levels were pretty much like
20 environmental levels. I don't think they
21 subtracted anything out from them. So, I pretty

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 much discarded the uranium and the thorium results.

2 Next.

3 So, what we're left with is some curium
4 and plutonium results you can look at, some
5 strontium and cesium results. I show you the mean
6 values. And in the second and third rows, you can
7 see the ratios that we're looking at.

8 And, if you remember, I told you there's
9 the plutoniums from the table below here, we're
10 looking at ten to the minus two, which about falls
11 in line with that plutonium.

12 It's a small number of samples but it's
13 interesting. It's just closer than the curium.

14 Next page.

15 We go to the survey results and of the,
16 I think there were 79 of these results, you can see
17 that there's quite a few below the .05. And,
18 really, if you look at the .1, I think that works
19 out to about 58 percent of the results of below .1
20 which is going to put you at the, you know, minus
21 02 magnitude.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, while this isn't conclusive, this
2 is interesting. It looks like things are trending
3 lower.

4 Next page.

5 And when you look at the soil samples
6 and you compare them to the NIOSH values which would
7 be from the tables in the TBD, you can see with the
8 curium, you're looking at the E to the minus fifth
9 is what the NIOSH table would predict.

10 And we didn't see that, but it's a very
11 small number of curium samples that were even
12 usable.

13 The plutonium's closer and you can see
14 that's within 50 percent of the NIOSH, so it's
15 pretty close. So, it may not be a bad method if
16 you have more samples to look at.

17 Next page.

18 So, when you look at the soil samples
19 and the smear samples, there is some kind of general
20 agreement in the magnitude for like the uraniums
21 and the plutoniums, but the data's not very good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It was only from the -- the survey data was from
2 the early operational period of the laundry. It
3 operated from 1951 through 1980, I believe, about
4 30 years. And there was only three years of data
5 that was looked at. So, that's not very good.

6 We had some problems interpreting the
7 data into a dpm. Some more recent data might be
8 more helpful.

9 The soil samples were collected during
10 D&D. It'd be nice to have them prior to D&D, like
11 characterization data or other type data.

12 I did not find any air sampling data.
13 That could be useful data to look at and make
14 comparisons.

15 Next page.

16 So, that's about it. We just came up
17 with some general type of agreement and it's
18 possible, but there's more data that needs to be
19 looked at. I would look for more recent data for
20 the laundry and maybe for some of the other
21 facilities and also, if air sampling data or other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 operations data does exist, by all means, put it
2 together and look at it.

3 Any questions, comments?

4 DR. TAULBEE: The only question I have
5 for you is why did you look at curium-244?

6 MR. FARVER: Because it was one of the
7 values in the soil samples that was analyzed.

8 DR. TAULBEE: Okay.

9 MR. FARVER: I think it was only in
10 maybe two of the samples that it even showed up.
11 It's not very reliable, that number.

12 DR. TAULBEE: Okay. Because the vast
13 majority of the fuels that were handled at INL are
14 short burnup fuels. There's very little long
15 burnup where you would end up with any significant
16 curium-244 with any of the operations.

17 MR. FARVER: Right. I understand
18 that's a questionable number and it was only in a
19 couple of samples. But, like I say, it'd be better
20 if you looked better data, but this was all I found
21 at the time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Okay.

2 MR. STIVER: It also raises the
3 question as to what may have come in from off-site,
4 too. It was longer burnup materials could have
5 found its way through the laundries for the various
6 exposures.

7 DR. TAULBEE: Well, generally, the
8 things that have curium that you're starting with
9 plutonium from your burnup standpoint, like
10 Savannah River, for example, producing curium.

11 These fuels, even the higher burnup,
12 you know, naval fuels that were for a long period
13 time were starting the Q-235, so you still end up
14 with very little curium from even their long-term
15 burnup.

16 So, that was why I was questioning that.
17 It makes some sense if, you know, they reported it
18 in one of their D&D reports in latter years just
19 because they could is really all that it was. But
20 I certainly wouldn't be expecting it.

21 MR. FARVER: Exactly. It showed up on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an analysis from their -- and they just put it in
2 the report. It's not real, I'm pretty sure it's
3 not real.

4 MEMBER BEACH: So, is additional data
5 capture needed for this to get more data points or
6 --

7 MR. FARVER: I would recommend that
8 either NIOSH do it or SC&A, someone look at more
9 data. I think this -- if you can validate your TBD
10 tables, it would be very helpful, at least to show
11 that you're in the same order of magnitude.

12 MR. STIVER: Is Joe Fitzgerald still on
13 the line?

14 MR. KATZ: No, he's not. He sent us an
15 email saying he had a meeting.

16 CHAIRMAN SCHOFIELD: You mentioned the
17 naval fuels. Now, the sampling for those in
18 particular, they were lower-level enrichment and
19 had to be extremely low americium content when they
20 put those into the --

21 DR. TAULBEE: They were not low

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 enrichment, the naval fuels weren't.

2 CHAIRMAN SCHOFIELD: I thought they
3 were lower enrichment.

4 DR. TAULBEE: No, they were enriched
5 fuels.

6 CHAIRMAN SCHOFIELD: Yes, I know they
7 were enriched fuels, but I mean they were worried
8 about the exposures particularly on the subs.

9 DR. TAULBEE: I believe they're highly
10 enriched.

11 MEMBER BEACH: We were talking about
12 maybe modifying the data capture coming up for SC&A
13 to add maybe this parameter also.

14 DR. TAULBEE: That seems appropriate
15 to me.

16 MEMBER BEACH: That seems reasonable.

17 MR. STIVER: That can be the EMS
18 searches, right. Yes, so okay.

19 MEMBER BEACH: All right, is it time to
20 go over actions or have we got more to do?

21 MR. STIVER: This is all that SC&A has.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Get some actions out
2 before Bob leaves because he might need to pick up
3 a few of them.

4 I've got four for NIOSH and one, two,
5 three for SC&A.

6 MR. KATZ: Do you want to run through
7 yours as a starting point?

8 MEMBER BEACH: Okay, so I have for
9 NIOSH, review those 18 cases and then the
10 firefighters is added on to that.

11 And just as a question --

12 DR. TAULBEE: Is that part of one or is
13 that --

14 MEMBER BEACH: That's part of the first
15 one, yes.

16 DR. TAULBEE: Okay.

17 MEMBER BEACH: And then, I wrote down
18 the question I know you guys won't be able to answer
19 it right away, but how will the Class be
20 administered by DOL?

21 And I'm more curious on that one, just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because I know DOL counted on you guys in the past
2 for a list. So, I'm curious of if you're going to
3 do that.

4 DR. NETON: We've had a discussion with
5 them on this already.

6 MEMBER BEACH: So, it'd be nice to --

7 DR. NETON: I can't remember when it
8 was but we did have a discussion.

9 MEMBER BEACH: And then, White Paper
10 for the TAN number ten item. We closed, I think
11 we said three and four and so ten was still open
12 and you guys were --

13 DR. NETON: Writing T-10, yes.

14 MEMBER BEACH: -- committed to that.

15 MR. STIVER: Is there a possible date
16 on there for TAN number ten?

17 DR. TAULBEE: After March.

18 MR. STIVER: After the March meeting?

19 DR. TAULBEE: And you keep in mind,
20 we've got the INL.

21 MEMBER BEACH: And then, I have review

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and respond to SC&A's evaluation of the internal
2 monitoring fission activation and I paraphrased it
3 1949 to '70, and determine the coworker modeling.
4 That's kind of what I got out of that.

5 And you guys really need to bring these
6 headings down a little bit on your papers.

7 MR. STIVER: Yes, yes, we can work on
8 that.

9 DR. NETON: We're going to look at the
10 applicability of those coworker models prior to
11 '57.

12 MR. STIVER: Yes.

13 MEMBER BEACH: And then, I had let SC&A
14 know when you updated that electronic database on
15 the recoding when it's complete.

16 And then, for SC&A, I had, give the Work
17 Group detailed list of issues with the 52 reactors
18 that OTIB-054 may not cover. Did I capture that
19 okay?

20 And put together an issues matrix or at
21 least get one started.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Is that a list of
2 priorities? Is that what you're talking about?

3 MEMBER BEACH: Yes, list the
4 priorities of the --

5 MR. KATZ: In the priority order, in
6 other words?

7 MEMBER BEACH: Yes. I should have
8 said priorities and not issues.

9 MR. KATZ: Yes.

10 MEMBER BEACH: And then put together
11 start of issues matrix. And we need to go back to
12 what we've closed, too, and combine that from
13 previous meetings?

14 MR. KATZ: Yes.

15 DR. TAULBEE: Right.

16 MR. KATZ: Yes, make it current --

17 DR. TAULBEE: Retroactive.

18 MR. KATZ: -- with everything
19 including what we've covered.

20 MEMBER BEACH: And then, the last thing
21 I had was update Ron Buchanan's evaluation of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 proposed -- so this -- his last White Paper with
2 the data capture.

3 And then, I didn't add it, but you're
4 going to add or modify the data capture?

5 MR. KATZ: Right.

6 MEMBER BEACH: For those last two
7 items?

8 MR. KATZ: Yes.

9 MEMBER BEACH: That's all I had.

10 DR. TAULBEE: Could you go back to the
11 beginning of the list you had for SC&A because I
12 was making a note here and I missed that.

13 MEMBER BEACH: Oh, to give us a
14 detailed list prioritizing the 52 reactors --

15 DR. TAULBEE: That's what I wanted to
16 make sure of.

17 MEMBER BEACH: -- under 054.

18 MR. KATZ: Other reactors that may have
19 issues.

20 DR. TAULBEE: Yes. Because I wasn't
21 sure if you covered it, so you did.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Yes.

2 DR. TAULBEE: Got it, yes. Good.

3 MEMBER BEACH: That's all I had.

4 MR. KATZ: Yes, I think that sounds
5 right to me.

6 MEMBER BEACH: And then, we need a Work
7 Group meeting in January.

8 MR. KATZ: We need it in January and
9 I'll send out a notice for that because we already
10 know sort of when we want it anyway.

11 MEMBER BEACH: Yes, before the 20th.

12 MR. KATZ: Yes, the week before
13 basically.

14 I mean, if you guys can tell me no-go
15 dates now for those that are here, that's fine, I'll
16 take those and run with those and then not send out
17 a possible date that doesn't work.

18 MEMBER ROESSLER: Let me look at my
19 calendar.

20 MR. KATZ: Yes, I'm just saying for
21 anybody that has in hand the -- Tim in particular.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: The only thing is that
2 data captures. They were trying to get December,
3 but it looks like it might not be until January.

4 MR. KATZ: Or, you want them to be
5 careful not to schedule it for when we're trying
6 to get this Work Group meeting. You don't want
7 data capture when we're trying to meet.

8 MEMBER BEACH: Yes, so that's --

9 DR. TAULBEE: Have a safe trip, Bob.

10 MEMBER BEACH: Bye, Bob. Thanks.

11 MR. KATZ: Unless it's just -- unless
12 it's someone --

13 MEMBER BEACH: Well, we're going to be
14 determined on what the site can do. We're not --

15 MR. KATZ: Yes.

16 MEMBER BEACH: -- going to have a lot
17 of choice, I don't think. I mean, how's that work?

18 MR. KATZ: Well, there's usually
19 latitude in the scheduling, right?

20 DR. TAULBEE: The site has generally
21 been pretty -- quite cooperative from that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standpoint.

2 Yes, I mean they're going to say, you
3 know, please don't come the week of -- between
4 Christmas or week of Christmas and the week after
5 for sure.

6 MEMBER BEACH: Yes, yes.

7 DR. TAULBEE: The fourth is getting a
8 little bit questionable because that's right after
9 when everybody is returning. So, they might have
10 a lot of people out the week of January 4th.

11 We're looking to do a Work Group call
12 the week of January 11th.

13 MEMBER BEACH: Right.

14 DR. TAULBEE: And then --

15 MEMBER BEACH: Which would be kind of
16 the week -- probably the Idaho -- ideal Idaho week.

17 MR. KATZ: Well, I mean it's not ideal,
18 though, if we want to have a Work Group meeting.
19 Right? I mean --

20 DR. TAULBEE: It's not, but well it is
21 and isn't, really.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Yes.

2 DR. TAULBEE: Because if you have
3 multiple people out there.

4 MR. KATZ: Well, if they can get to the
5 phone in time.

6 MEMBER BEACH: I bet they could set
7 that up. They have before.

8 DR. TAULBEE: We could get a phone line
9 conference. I mean you could request that of Craig
10 and you could probably do that.

11 I don't know how many -- what are you
12 planning to do in the January data capture? I
13 mean, what is your goal?

14 MEMBER BEACH: Interviews I know for
15 sure and then whatever Joe pulls now.

16 MR. STIVER: Yes, the list of
17 interviews. We're looking at burial grounds, you
18 know, recollections as well as data, CPP, 3, 63,
19 Ron's issues and now we're going to have this CFA.

20 DR. TAULBEE: Okay, so you're
21 primarily wanting to do interviews?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Primarily, yes.

2 DR. TAULBEE: Okay. And you've
3 notified who? I saw some of the people on the list
4 that you provided the data capture staff when you
5 want to.

6 Okay, have you been reaching out to
7 contact them or --

8 MR. STIVER: Lynn Ayers is working that
9 angle. I believe she's working in kind of
10 conjunction with ATL.

11 DR. TAULBEE: She hasn't been working
12 with ATL yet.

13 MR. STIVER: That was the -- I can't
14 tell you exactly.

15 MEMBER BEACH: That's a Joe question
16 probably to see --

17 MR. STIVER: Okay, I've heard work with
18 Mark Lewis.

19 DR. TAULBEE: Okay, because --

20 MR. STIVER: Along with --

21 DR. TAULBEE: -- this is where we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 getting into a little bit of contractor type
2 issues. ATL is the contractor for us and so we have
3 not tasked ATL to do this yet because I needed to
4 know more information from you on when you're
5 wanting to do this and that type of thing.

6 MR. STIVER: We'll talk to Joe and
7 we'll get back with you on it.

8 MR. KATZ: It just seems like it's
9 better to do that data capture the following week
10 and leave that week that we want a Work Group
11 meeting clear because what's the difference is a
12 week going to make? No difference.

13 MEMBER BEACH: True.

14 MR. KATZ: Because the data capture is
15 not --

16 DR. TAULBEE: Well, the following week
17 is your Advisory Board conference call.

18 MR. KATZ: Okay, but that's 11:00 to --
19 right.

20 MR. STIVER: And anything we're able to
21 capture there's not going to be definitive time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 limits for our meeting anyway.

2 DR. TAULBEE: Well, yes, absolutely.

3 MR. KATZ: Most of the SC&A staff
4 aren't needed for a conference call with the Board.
5 So, most of them aren't relevant for the conference
6 call anyway.

7 So, we need Josie, of course, but we
8 need the Board Members for the conference call.

9 MEMBER BEACH: Yes, I think just
10 reaching out to Joe mostly to find out where he's
11 at. I know he sent out the plan but as far as if
12 he's contacted Lynn to, obviously, not contacted
13 ATL. I think he was waiting for something back
14 from the site because he kind of left it in December
15 but he didn't think it would happen until January.

16 MR. STIVER: Yes, we had talked about
17 that. December is pretty much -- pretty unlikely.
18 It's going to probably be in --

19 DR. TAULBEE: Well, Craig Walker from
20 the site, he is out until after Thanksgiving, he'll
21 be back that very next week. But, right now, he's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the point of contact.

2 So, if you're targeting the week of
3 January 18th, that's great.

4 MR. KATZ: And if we can --

5 DR. TAULBEE: That's something that I
6 can go back to ATL with or Grady because I think
7 he's got to do a task order for them to go about
8 the assist. But I needed to know dates and names
9 of who it is you're looking at.

10 MR. STIVER: Yes, I think the
11 bottleneck as far as doing the December meeting is
12 lining up all the interviews.

13 MR. KATZ: And there isn't time to do
14 that for, like, the second week of December?

15 MR. STIVER: I have to get the other.

16 MR. KATZ: Well, that'd be nice.

17 MR. STIVER: That would be nice, but
18 it's not going to happen beforehand.

19 DR. TAULBEE: Let me know then, the
20 sooner the better from that standpoint.

21 MR. KATZ: I mean, that's a month out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for the standpoint of the interviewees. I mean
2 it's a lot of time to schedule with interviewees.

3 MEMBER BEACH: Sounds easy.

4 (Simultaneous speaking)

5 MR. KATZ: How long is your list?

6 DR. TAULBEE: Getting the schedule
7 list.

8 MEMBER BEACH: And then you've got all
9 the holidays and.

10 CHAIRMAN SCHOFIELD: I think that's
11 it.

12 MR. KATZ: Okay, so we are adjourned
13 today.

14 Thank you, everybody, who hung in with
15 us on the phone and especially for all the folks
16 who participated and presented. Have a good day.

17 (Whereupon, the above-entitled matter
18 was concluded at 2:52 p.m.)

19

20

21

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701