

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

SUBCOMMITTEE ON DOSE RECONSTRUCTION REVIEW

+ + + + +

TUESDAY
SEPTEMBER 13, 2016

+ + + + +

The Subcommittee convened via teleconference at 10:30 a.m. Eastern Time, David Kotelchuck, Chairman, presiding.

PRESENT:

DAVID KOTELCHUCK, Chairman
JOSIE BEACH, Member
BRADLEY P. CLAWSON, Member
WANDA I. MUNN, Member
JOHN W. POSTON, SR., Member
DAVID B. RICHARDSON, Member

ALSO PRESENT:

TED KATZ, Designated Federal Official
NANCY ADAMS, NIOSH Contractor
JOEL ARANA, ORAU Team
BOB BARTON, SC&A
KATHY BEHLING, SC&A
LIZ BRACKETT, ORAU Team
RON BUCHANAN, SC&A
GRADY CALHOUN, DCAS
DOUG FARVER, SC&A
JOSH FESTER
ROSE GOGLIOTTI, SC&A
JENNY LIN, HHS
JOHN MAURO, SC&A
BETH ROLFES, DCAS
SCOTT SIEBERT, ORAU Team
MATT SMITH, ORAU Team
JOHN STIVER, SC&A

This transcript of the Advisory Board on Radiation and Worker Health, Dose Reconstruction Subcommittee, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Dose Reconstruction Subcommittee accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

Contents

Contents 3
Welcome and Roll Call 4
Three Blind Case Reviews from Set 22 7
Final Case Review Issue Resolution for Sets 10-13;
Hooker Electrochemical Case 101
Case Review Issues Resolutions for Sets 14-18 (Oak
Ridge, Paducah GDP, SRS, RFP, INL, NTS, AOO, and other
Facilities) 158
Adjourn 225

1 P-R-O-C-E-E-D-I-N-G-S

2 10:44 A.M.

3 **Welcome and Roll Call**

4 MR. KATZ: Welcome everyone, this is
5 the Advisory Board on Radiation and Worker
6 Health, the Dose Reconstruction Review
7 Subcommittee.

8 And I apologize for the late start, we
9 had some technical difficulties here. I am going
10 to run through roll call. Dr. Poston, are you on
11 the line yet? John Poston?

12 CHAIRMAN KOTELCHUCK: John said that
13 he would be late. He won't be here --

14 MR. KATZ: Oh, I know, I know. But
15 his late was going to be -- he should already be
16 on. But, John Poston, are you on the line?

17 (No response)

18 MR. KATZ: Okay, he's not yet.

19 But I'm going to run through -- all of
20 the other Board members are on. I'll mention
21 their names and I'll run through their conflicts

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of interests to start with.

2 (Roll Call)

3 MR. KATZ: The agenda for today's
4 meeting is posted on the NIOSH website, under
5 meeting schedule, today's date, so you can see
6 what's on the agenda. It's very simple though.

7 And, please, everyone on the line,
8 mute your phones, except for whoever's speaking
9 at the time. Press *6 to mute your phone, *6 to
10 take your phone off of mute.

11 And please, don't put this call on
12 hold at any point, but hang up and dial back in
13 if you need to. And then, Dave -- Dave, did you
14 manage to get back on?

15 CHAIRMAN KOTELCHUCK: No, I did not.
16 And I'm still doing different things that are
17 coming on, and I don't quite understand it.
18 However, what I would like to do is first say a
19 word or two about the agenda.

20 I've had discussion with Rose
21 Gogliotti, we have made a little bit of a change,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and I wanted to note that before we started. We
2 would like to start our first effort in the
3 expedited process that had been discussed at our
4 Board meeting. And we agreed that we would try
5 to start out.

6 So, on item number 3, the case review
7 issue resolution. Instead of going back, as we
8 traditionally have, finishing one at a time, we
9 will go directly to the expedited order. Let's
10 try that.

11 Rose convinced me that's there's a
12 better way to do it, to take the file that she sent
13 you a while ago, about 10 days ago, and go in that
14 order.

15 So, since I am having trouble -- for
16 the record, since I'm having trouble getting on
17 the Live Meeting, on the video but fine on audio,
18 I have asked Wanda Munn if she would temporarily
19 chair while I try to deal with the technical
20 problems I'm having.

21 So, Wanda, if you would, would you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 like to start chairing for item number 1, on the
2 three blind case reviews in set 22?

3 **Three Blind Case Reviews from Set 22**

4 MEMBER MUNN: Alright, I need to ask,
5 first of all, is anyone going to be operating the
6 Live Meeting screen?

7 MS. GOGLIOTTI: Yes. This is Rose,
8 I'll have the Live Meeting screen.

9 MEMBER MUNN: Good, alrighty. Are
10 we up?

11 MS. GOGLIOTTI: If no one has any
12 objections, why don't we start with the LANL case
13 for the blind comparison? I believe Doug is on
14 the line?

15 MEMBER MUNN: That would be fine. Do
16 we have the document up?

17 MR. FARVER: Yes I'm here.

18 MS. BURGOS: Excuse me. This is
19 Zaida. For Dr. Kotelchuck, I sent him a link that
20 should work. It should take you straight to the
21 Live Meeting. Just put in your name and email.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Okay, let's wait for
2 just a moment to see if this will work.

3 MR. KATZ: Dave, did you hear that?
4 Dave Kotelchuck, did you hear Zaida?

5 CHAIRMAN KOTELCHUCK: Yes, I did hear
6 it. I'm calling back.

7 MR. KATZ: Okay.

8 CHAIRMAN KOTELCHUCK: Wanda, do go
9 on.

10 MEMBER MUNN: Alright, very good.
11 Let's just go ahead then. Who's leading us?

12 MR. FARVER: Doug Farver.

13 MEMBER MUNN: Okay, Doug, it's yours.
14 Go for it.

15 MR. FARVER: Okay. Rose, let's just
16 go to the table.

17 MS. GOGLIOTTI: The comparison
18 table? This table, or the other table?

19 MR. FARVER: There's a summary table
20 -- that one.

21 MEMBER MUNN: Oh good, okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FARVER: Is that the one?

2 MEMBER MUNN: That's not the one that
3 has all of them on it? You wanted --

4 MR. FARVER: I was looking for the one
5 just for LANL. The one that shows the comparison
6 report.

7 MEMBER MUNN: Oh, okay.

8 MS. GOGLIOTTI: This one?

9 MR. FARVER: Yes, that's the one.
10 We'll start there. So, this is a government
11 employee that worked at Los Alamos, with trips to
12 Nevada Test Site from [identifying information
13 redacted] through [identifying information
14 redacted], working in [identifying information
15 redacted] and then later on as a [identifying
16 information redacted].

17 So it's about 36 years of information
18 to deal with. And if we look at this table, this
19 is a comparison between the SC&A numbers and the
20 NIOSH numbers.

21 The person -- it says prostate, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the person had -- it's a [identifying information
2 redacted] cancer. And we'll get to that in the
3 next section. But we can look at the doses here
4 and do a quick comparison.

5 The electrons, pretty similar. A
6 little difference in the photons. Neutrons,
7 there was little difference. And we look down at
8 the bigger differences that are going to be in the
9 internal doses, which is typically what we see in
10 the differences.

11 And that just gives you a little bit
12 of a background. The total doses are not that
13 much different, about a 2 rem difference. And
14 you can see the total PoC of 46 versus 42.

15 In either case it was not compensable.
16 Okay, we'll go on to the next page, Rose?

17 As I mentioned, the person worked at
18 Los Alamos and NTS, and there's some work
19 locations given here.

20 And he was diagnosed in [identifying
21 information redacted] with [identifying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information redacted] cancer, [identifying
2 information redacted] and -- I actually did this
3 case, and it was very interesting because it was
4 my first [identifying information redacted] case
5 that I worked on, and it's incredibly complex
6 because you have to go through a number of
7 compartments -- 15 compartments for external and
8 30 compartments for medical X-rays and 19
9 compartments for the internal dose. It's just
10 incredibly time-consuming.

11 MEMBER MUNN: Yes.

12 MR. FARVER: Especially if you make a
13 mistake. And then you go back and start over.
14 So I have a great appreciation for these cases
15 now.

16 MEMBER MUNN: Yes, and it's
17 remarkable to me.

18 MR. FARVER: We reviewed the typical
19 documentation for LANL and Nevada Test Site. And
20 10 we talked about glove box correction factors,
21 which we'll talk about later.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And Report 4, which is the report on
2 [identifying information redacted], and gives
3 the dose conversion factors, I believe, for
4 [identifying information redacted]. And
5 there's a big long table here, table 2.1, that
6 goes through and shows who did what.

7 And we have a little difference in the
8 work assumptions, and the locations. A lot of
9 this will be taken from the CATI report. There's
10 probably some little differences, but I'd rather
11 go ahead and just talk about the individual doses
12 because I think that'll make more sense than going
13 through each item in a table.

14 MEMBER MUNN: I agree.

15 MR. FARVER: So if we go on to section
16 2.1, recorded photon doses.

17 MS. LIN: Hey Doug?

18 MR. FARVER: Yes?

19 MS. LIN: This is Jenny, with OGC.
20 Before we go any further, I just want to say a word
21 of caution. Let's not release too much

1 information about this Energy worker.

2 So you're doing fine. But I'm
3 looking at the document, and I just want to make
4 sure that, while we're working of these
5 documents, we're cautious about the amount of
6 information that we release.

7 MR. FARVER: Okay, I'll try.

8 MS. LIN: No, not try, but do.

9 MR. FARVER: No, no, I mean I won't do
10 it intentionally. In Los Alamos, we had reported
11 photon doses for each year of employment, except
12 for some time in the seventies and then later on
13 in the nineties.

14 Both of the methods, NIOSH and SC&A
15 used 250 keV photon energy. And since the
16 employee worked as a chemical technician, we
17 applied a glove box factor.

18 And both NIOSH and SC&A applied a
19 glove box correction factor of 2.19. The
20 difference is when we applied it. NIOSH applied
21 the correction factor through the beginning of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 1960, and for the recorded dose.

2 And SC&A began in 1976 through 1996,
3 and applied a correction factor based on when the
4 employee became a chemical technician.

5 MEMBER MUNN: Oh, okay. That is the
6 reason for the difference.

7 MR. FARVER: That is where your big
8 difference is in the -- when we look at the 30 to
9 250 keV photon dose. Where NIOSH came up with 13
10 rem and SC&A came up with 11.

11 That is the difference right there.
12 That's the primary difference. It's just the
13 years of using the glove box correction factor,
14 primarily.

15 MR. SIEBERT: This is Scott. Do you
16 all need to address something like that as it
17 hits?

18 MR. FARVER: Scott, if you have
19 something else to add, that's fine.

20 MR. SIEBERT: Yes, I can just tell you
21 the reason that we applied the glove box factor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is, we based it on the shallow-to-deep ratio.
2 So, using that as an indicator that they may have
3 been working in glove box factor, rather than just
4 the change in their employment. So, that's the
5 difference.

6 MR. FARVER: And we also based it on
7 the shallow-to-deep for the years when he was a
8 chemical tech. So it's the same method, just
9 applied differently.

10 MEMBER MUNN: Okay, that's good.
11 Thank you.

12 MR. FARVER: Okay. So that's the big
13 difference in the 30 to 250 keV photons. Any
14 questions on those? We'll move on to the Nevada
15 Test Site recorded photon dose.

16 MEMBER MUNN: Certainly not from
17 here.

18 MR. FARVER: Okay.

19 MEMBER MUNN: Anyone else? Any
20 other Board members have any questions?

21 MEMBER CLAWSON: Doug, this is just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Brad. I just was wondering how come you guys used
2 different years versus what NIOSH did? What was
3 the rationale behind that?

4 I understand what both of you did, but
5 I was just wondering how come each one of you ended
6 up with different years that you used it for.

7 MR. FARVER: Well, in general, it's
8 applied when you look at the shallow-to-deep dose
9 ratios. And if they're greater than 2.19, you
10 would apply the glove box --- yes, you'd apply the
11 glove box correction factor. Yes. So they did
12 that for every year. We looked at it and said,
13 well if there's more potential when they use a
14 chemical technician, which began in 1976.

15 But we looked at the years of 1976
16 through 1996, and applied it to those years when
17 the shallow-to-deep dosimeter readings were
18 greater than 2.19.

19 MEMBER CLAWSON: Okay, so it wasn't
20 -- okay, I'm understanding what you're saying
21 there, it's just -- okay, I appreciate it. Thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you, go ahead.

2 MR. FARVER: Okay, the Nevada Test
3 Site. With only a couple results that were
4 recorded, both of us used the same assumptions.
5 NIOSH came up with 760 or so millirem, and we came
6 up with 800.

7 And the difference there is going to
8 be in the distribution. NIOSH used a combination
9 of Weibull and normal distribution. And we used
10 a Weibull distribution.

11 And that accounts for a, you know, a
12 40 millirem difference in dose. Other than that,
13 they were calculated the same.

14 MEMBER MUNN: Still, 40 millirem is
15 not that big a deal.

16 MR. FARVER: No, and it's just
17 basically a difference in distributions.

18 MEMBER MUNN: Right.

19 MR. FARVER: Okay, we can go on to
20 2.1.2, which is the recorded and modeled neutron
21 dose. At Los Alamos, we only had positive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measurements in three years, 1986, 1988 and 1996.

2 And all of the other years were zero,
3 treated as a mixed dose. Both NIOSH and SC&A
4 calculated everything pretty much the same way.
5 The large difference there is -- I'll go back to
6 my notes.

7 The difference in the years in using
8 the glove box correction factor, like we talked
9 about on the photon doses. That was a large
10 difference in the dose.

11 MEMBER MUNN: Yes.

12 MR. FARVER: And, also for the later
13 years, 1986 through 1996, NIOSH assigned the
14 recorded dose. And I will take the hit. I
15 missed the five results that were there. And I
16 didn't assign any recorded dose.

17 MEMBER MUNN: Yes.

18 MR. FARVER: They were there and I
19 missed them. But that's the big differences.
20 The glove box factor and a mistake on my part.

21 MEMBER MUNN: Essentially the 3 rem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FARVER: And it worked out to be
2 3 rem, an eight percent difference.

3 MEMBER MUNN: Okay.

4 MR. KATZ: Just for the record, Dr.
5 Parson has joined us.

6 MEMBER MUNN: Oh, good. Hi, John.

7 MR. FARVER: And, again, just let's
8 see if he's looking into it. If we look at table
9 2.1.3, these are the [identifying information
10 redacted] neutron dose conversion factors.

11 And this comes from report 4. And if
12 you've never take a look at report 4, it's an
13 interesting report. You might want to just look
14 at it.

15 That there's a lot of your different
16 parameters. And this is an example of using
17 Weibull distribution and the three parameters in
18 Weibull distribution.

19 And then, just to remind you, if
20 you're looking at an IREP table that uses a
21 Weibull distribution, you would, in general,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 adding up the last two parameters would give you
2 the dose. That's kind of how we add it up.

3 MEMBER MUNN: Okay.

4 MR. FARVER: I just wanted to point
5 that out. It is the whole document and, at the
6 end of the document, they have all of these
7 different conversion factors.

8 Okay, let's move on to -- oh, and there
9 were no neutron doses at Nevada Test Site. So we
10 can move on to the recorded electron doses,
11 section 2.1.3.

12 At Los Alamos, both NIOSH and SC&A
13 identified the recorded positive or greater than
14 LOD over 2.0 values for electrons, for the years
15 shown there - sixties through nineties.

16 We both applied the [identifying
17 information redacted] electron dose conversion
18 factor from report 4, as shown below. And we both
19 came up with pretty much the same dose.

20 MEMBER MUNN: Yes.

21 MR. FARVER: One was 55 millirem, one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was 56 millirem.

2 MEMBER MUNN: It doesn't get much
3 closer than that.

4 MR. FARVER: So that was pretty
5 straightforward. At Nevada Test Site -- let's
6 see -- the TBD recommends using beta-gamma ratio
7 of 1.04 for signups before 1966.

8 Both NIOSH and SC&A multiplied the
9 single recorded photon dose by 1.04, to arrive at
10 keV of .69. And for Nevada Test Site -- I was
11 looking at the wrong one. It's about 6 millirem
12 in both cases. Sorry about that. I was looking
13 at the wrong one.

14 MEMBER MUNN: That's quite alright.

15 MR. FARVER: Essentially the same.
16 Both did it the same way, came up with the same
17 number. So, not too exciting there. We'll try
18 to move on to a little bit more exciting material.

19 MEMBER MUNN: Yes.

20 (Laughter)

21 MEMBER MUNN: That's good. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's an awful lot of that in this case.

2 MR. FARVER: There is. And, boy, you
3 sure don't want to make a mistake.

4 MEMBER MUNN: Yes.

5 MR. FARVER: Missed photon doses,
6 section 2.1.4. I'm going to move down to the --
7 Okay, and as we do with missed photon doses, we
8 look for were the zeroes less than LOD over 2.0
9 values.

10 And it looks like we both came up with
11 the same number, 321 badge exchange cycles. The
12 difference is going to be --

13 MEMBER MUNN: The difference is what?

14 MR. FARVER: The difference is going
15 to be -- I think -- oh, we're back to our glove
16 box correction factor again. NIOSH assigned it
17 more years than SC&A did. So, regular result in
18 a little higher dose.

19 And the other difference is NIOSH used
20 the [identifying information redacted] dose
21 conversion factors from report 4. And SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 applied the lymphoid dose conversion factor from
2 OTIB-12.

3 The OTIB-12, to refresh your memory,
4 talks about using the Monte Carlo calculations
5 and it provides dose conversion factors to come
6 very close to the Monte Carlo calculation values.

7 So that's why we need to use OTIB-12.
8 To be honest with you, I am not sure which is the
9 proper one to use, whether it should use the
10 report 4 or the OTIB-12.

11 I'm kind of thinking maybe report 4 is
12 the best one to use.

13 MR. SIEBERT: That is correct.
14 Because if you have the blended DCF that includes
15 all of the different organs, rather than just the
16 [identifying information redacted] organ, since
17 that's not the only organ of interest for
18 [identifying information redacted].

19 MEMBER MUNN: Thank you, Scott.

20 MR. FARVER: Thank you. So you'll
21 see that as a difference as we go on here, where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we use an OTIB-12 version as opposed to report 4.
2 You'll see some rather large differences, which
3 I will point out.

4 In this case, it's not that large - 3.9
5 to 3.7 rem for the missed photon dose. Nevada
6 Test Site, there were several years for missed
7 photon doses.

8 We do it the same way. We count up the
9 number of exchange cycles and so forth. And
10 NIOSH came up with 42, we came up with 41. I
11 thought that's pretty good.

12 MEMBER MUNN: That's fine, yes.

13 MR. FARVER: And we'll look at the
14 total doses, about 500 millirem to 600 millirem.
15 And it's going to come down to the dose conversion
16 factors of using OTIB-12 versus report 4.

17 We looked at missed neutron doses
18 next, section 2.1.5 of Los Alamos. I'm looking
19 for the number of zeroes. Okay, NIOSH counted
20 183 neutron zeroes, SC&A counted 194.

21 Both of the methods were pretty much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the same calculations. I went through the
2 calculations yesterday, just to verify that
3 everything is pretty much the same, all the way
4 up to where you get to the dose conversion factor.

5 MEMBER MUNN: Yes.

6 MR. FARVER: And, let's see, we've
7 got a rather large difference here, between 33 rem
8 and 19 rem total. And then that is the difference
9 in the dose conversion factor because the dose
10 conversion factor SC&A used from OTIB-12 was
11 1.277.

12 Now the dose conversion factors in
13 report 4 is something like .4. It's kind of a
14 Weibull distribution, you would sum the two. But
15 it works out to be like .4 of the dose.

16 And that accounts for a large
17 difference in our doses. We're using different
18 dose conversion factors. But other than that,
19 getting up to that point, you know, counting the
20 zeroes and the number of, you know, applying the
21 ICRP factor. It's all done very straightforward

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and the same.

2 MEMBER MUNN: Not being much of a
3 statistician, I may be asking a question which is
4 obvious to those of you who are. But it's not
5 obvious to me why there seems to be that much of
6 a difference in the two conversion factors
7 between these two methods.

8 And is there any absolutely concrete
9 reason for choosing one or the other when doing
10 these kinds of --

11 MR. FARVER: Well, I think Scott
12 described it when he said that the report 4 dose
13 conversion factor takes into account all of the
14 different compartments, because the B
15 lymphocytes could be in many different
16 compartments.

17 MEMBER MUNN: Yes, yes.

18 MR. FARVER: As opposed to a lymphoid
19 DCF from OTIB-12, which is a single location.

20 MEMBER MUNN: And, so, I guess my
21 bottom line question then is, why would one choose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the other --

2 MR. FARVER: The lymphoid
3 [identifying information redacted]?

4 MEMBER MUNN: Only the [identifying
5 information redacted].

6 MR. FARVER: Because the person doing
7 this probably wasn't that familiar with it.

8 MEMBER MUNN: Okay.

9 MR. FARVER: And since it was me, I
10 can say that.

11 MEMBER MUNN: Yes. Alright, that's
12 reason enough. That's a good explanation.

13 CHAIRMAN KOTELCHUCK: This is
14 Dave. I've been on the line. It just dawned on
15 me, also, that there's such a large difference.
16 Let's say, experience is that you calculated the
17 better one now. How do we avoid this in the
18 future?

19 MR. KATZ: Dave, I think you're
20 misunderstanding. Because Doug used the wrong
21 calculation, which is why he got a 50 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 greater dose.

2 CHAIRMAN KOTELCHUCK: Okay.

3 Alright, okay. Thank you. By the way, Wanda,
4 I've been on for a while, and all is well. But
5 I would prefer if you continue to chair, at least
6 until we get to the next blind. So, I'm just
7 commenting here.

8 MEMBER MUNN: Alright, I'll be glad
9 to have you take over anytime though, Dave. You
10 don't have to worry.

11 (Laughter)

12 MR. FARVER: And I think this comes
13 down to training and familiarity with working on
14 [identifying information redacted] cases. Like
15 I said, this was the first one I've ever looked
16 at from scratch to try and reproduce.

17 MS. GOGLIOTTI: I also want to point
18 out that [identifying information redacted]
19 cancers were not covered until recently. I
20 believe it was in the past few years.

21 MEMBER MUNN: That's correct, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 believe no more than two years, if my memory
2 serves, which it often does not.

3 MS. GOGLIOTTI: And this is only the
4 second case that we have seen that was
5 [identifying information redacted], at least --

6 CHAIRMAN KOTELCHUCK: That's a very
7 good point. Dave, that's a very good point to
8 make.

9 MR. FARVER: I think we reviewed one
10 case, but this was our first blind case, and only
11 our second case of even looking at [identifying
12 information redacted]. And so, we're early on
13 the learning curve.

14 MS. GOGLIOTTI: Yes, and these are
15 beasts when you look through the dose
16 reconstruction report. They are very
17 complicated, even from a reviewer's perspective
18 it's very difficult to follow.

19 MEMBER MUNN: Yes, that's obvious,
20 certainly.

21 CHAIRMAN KOTELCHUCK: Very good,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very good point.

2 MR. FARVER: The good news is that,
3 you know, if you take away the dose conversion
4 factor issue, everything's pretty much the same.
5 I mean, it's very, very similar.

6 Except for that glove box correction
7 factor in those years. And I thought that was
8 pretty interesting, that things were very
9 similar.

10 MEMBER MUNN: As a matter of fact,
11 that's a great comfort to see that. It's
12 remarkable really.

13 MR. FARVER: Okay, so that's -- where
14 are we at? That was the missed neutron doses for
15 Los Alamos. And now we can move on to the missed
16 doses for NTS.

17 And, let's see if there's any
18 difference there. So you're looking at about a
19 50 percent difference between 150 and 250
20 millirem between NIOSH and SC&A.

21 And this, again, is going to come down

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the differences in using report 4 or using
2 OTIB-12. That's it, everything else looks the
3 same.

4 MEMBER MUNN: That's marvelous.

5 MR. FARVER: Okay. Missed electron
6 doses, section 2.1.6. Exactly the same. Both
7 methods counted zero, with 14 zero readings. So,
8 everything's going to be the same.

9 And, for this one, I'm thinking we
10 used the [identifying information redacted] BCS
11 from report 4 next to table 2.4. I think that's
12 what we did. And offhand, I cannot tell you why
13 I chose to use it in some cases and not the other.

14 I don't remember. And I remember if
15 it was a Weibull distribution, I had to report 4.

16 MEMBER MUNN: Yes, okay.

17 MR. FARVER: And then the numbers are
18 the same for the missed electron dose. And, we
19 can go on to the occupational medical doses. But
20 that's pretty uninteresting, because they're
21 exactly the same.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Yes. Just what we'd
2 like them to be.

3 MR. FARVER: Yes. I mean, that's the
4 same number of exams, and it's pretty
5 straightforward and the numbers are going to come
6 out the same.

7 MEMBER MUNN: Yes, great.

8 MR. FARVER: Los Alamos occupational
9 internal doses. Let's see. The employee had
10 several chest counts and 30 or so urine bioassays.

11 We both looked at it in very similar
12 ways using the same plutonium mixtures. NIOSH
13 based acute intakes on the midpoint between
14 positive samples and one negative sample.

15 And then they assumed three chronic
16 intakes with a start date assumed as the midpoint
17 between the first positive sample of a series of
18 two or more positive samples and a prior negative
19 sample.

20 And then the end date was assumed to
21 be the final positive sample in the series that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was submitted. And that's pretty much how they
2 determined their dose.

3 We both used the plutonium mix intake
4 calculator to come up with the other nuclides
5 based on the 239 urine data.

6 They then compared their doses to the
7 lung count data. They looked at it as Type S
8 solubility and then compared to the lung count
9 data. And when they looked at the lung count
10 data, the Type S material, it overestimated lung
11 count data.

12 Therefore, lung count data and Type S
13 material were used to limit the calculated
14 intakes. So basically it compared the intakes
15 with the lung counts and the urine data to get them
16 to match up.

17 They still assume the Type S material,
18 but changed it to match up where it would maybe
19 align with the lung count data. And then one --

20 MEMBER MUNN: Yes.

21 MR. FARVER: -- to that is we did it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a little differently.

2 We did it pretty much the same way
3 using the intake rate calculator. And we also
4 compared calculated plutonium intakes based on
5 the urine data for Type M and Type F intakes.

6 And then we compared that to the lung
7 count data. And when we looked at the lung count
8 data, the lung count data associated with a Type
9 M plutonium predicted the values that fell under
10 the americium MDA.

11 So it matched better than it did the
12 Type S plutonium. The Type M matched better than
13 Type F. So we used the Type M plutonium. Now,
14 that's a long way of going around it to say that
15 the big difference is they chose Type S and we
16 chose Type M plutonium.

17 MEMBER MUNN: And did you have any
18 difference of opinion with regard to their use of
19 the Super S for -- which nuclide was it?

20 MR. FARVER: The plutonium?

21 MEMBER MUNN: Yes.

1 MR. FARVER: Yes. Now if we would
2 have chosen Type S, we would have gone on to the
3 next step, which would have been to apply the Type
4 Super S.

5 MEMBER MUNN: Okay.

6 MR. FARVER: But since we chose Type
7 M, we didn't go --

8 MEMBER MUNN: That's why. Okay,
9 very good. Got it.

10 MR. FARVER: And that is going to be
11 your big difference between 14 rem and 20 rem in
12 the dose.

13 MEMBER MUNN: Was I not listening
14 hard enough when we were talking about the
15 difference between choosing Type S and Type M?

16 MR. FARVER: You mean why we chose
17 Type M?

18 MEMBER MUNN: Yes, you have a
19 rationale there?

20 MR. FARVER: Yes, we modeled the
21 plutonium based on the plutonium urine data. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 modeled the intakes in IMBA.

2 MEMBER MUNN: Right.

3 MR. FARVER: Type M and Type F
4 plutonium.

5 MEMBER MUNN: Okay.

6 MR. FARVER: We then modeled the
7 americium 241 lung count data in IMBA, which would
8 mean it was Type M or Type S plutonium. The Type
9 S significantly overestimated the early
10 americium-241 chest count data.

11 MEMBER MUNN: Okay, gotcha.

12 MR. FARVER: So we kind of said, ah
13 that doesn't match.

14 MEMBER MUNN: Alright.

15 MR. FARVER: Then we went to Type M.

16 MEMBER MUNN: And it did. Yes,
17 better.

18 MR. FARVER: Much better.

19 MEMBER MUNN: Okay.

20 MR. FARVER: And to explain, NIOSH
21 used a different approach. They modeled it under

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Type S.

2 MEMBER MUNN: Yes.

3 MR. FARVER: And then they looked at
4 the americium data. And it was overestimating,
5 like we saw with ours, overestimating the
6 americium-241 lung count or chest count data.

7 MEMBER MUNN: Right.

8 MR. FARVER: So they lowered their
9 intake value to come within the range of the
10 americium-241 chest count data.

11 MEMBER MUNN: Okay. Got it. Thank
12 you.

13 MR. FARVER: Okay? And that
14 accounts for the large difference in the dose.

15 MEMBER MUNN: Okay.

16 MR. KATZ: Just something I want to
17 ask, Scott?

18 MR. SIEBERT: Go ahead.

19 MR. KATZ: What's the correct way to
20 handle this?

21 MR. SIEBERT: I'd be happy to. Yes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you're probably not going to be surprised to hear
2 me say that the way we did it is the consistent
3 way that we always do it, and that is the correct
4 way to do it.

5 As Doug said, you know, we took the
6 same steps and determined that Type S, based on
7 urine, over-predicts the lung count. Then we
8 take the additional extra step of saying, well
9 let's use the lung count as the limiting bioassay
10 for Type S plutonium, rather than assuming it just
11 does not fit based on the urine.

12 In that case, what we do is we use the
13 chest count to determine the Type F intake. And
14 we don't even have to take it back and project it
15 back to the urine, because we know it's going to
16 be lower than the urine samples because, based on
17 urine, it over-predicted the chest counts.

18 So, based on the chest counts, it's
19 going to be below the urine sample. So that's the
20 consistent way we deal with plutonium that has
21 americium chest counts as well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But we always take that additional
2 step and then determine, rather than ruling out
3 Type S -- we determine if Type S, based on limiting
4 the chest count, still could give a larger dose
5 than the Type M.

6 And as Wanda mentioned, we also
7 considered Type Super S for the lung and thoracic
8 lymph node. And that Type S intake and Super S
9 for those actually gave larger doses than Type M.

10 And that's why our doses are much
11 larger than SC&A's in this example.

12 MEMBER MUNN: Yes, gotcha.

13 MS. GOGLIOTTI: Scott, is this
14 procedure-wise, or is that because of the
15 training?

16 MR. SIEBERT: Oh, that's definitely
17 that in OTIB-60, using the limiting bioassays.
18 You have to compare them to each other. But just
19 because one over-predicts the other doesn't mean
20 we can rule out a certain type.

21 We have to determine the maximum dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that would be consistent with both types.

2 MR. FARVER: And I agree with you,
3 because your goal is to maximize the dose. That
4 is the approach that will maximize the dose.

5 MEMBER MUNN: But it's not the best
6 science.

7 MR. FARVER: If your goal is to do the
8 best bit of both types of your lung count and your
9 urine data, then I believe the SC&A approach is
10 better. But it will give you a lower dose.

11 MR. SIEBERT: I do not agree. If Liz
12 would like to jump in on that, she's welcome to.

13 MS. BRACKETT: Right, this is Liz
14 Brackett. I guess I would just say that, since
15 this is all missed dose, everything -- the intake
16 rate just has to follow the predictions -- the
17 predictions need to fall below the MDAs.

18 So we are coming up with the largest
19 intake rate that does not disagree with the
20 bioassay results. And that is our goal.

21 MEMBER MUNN: Sounds reasonable to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 me.

2 MS. BRACKETT: You know, if these
3 were positive results, it would be different
4 because we would be trying to hit a particular
5 point. But if you're doing missed dose, you just
6 need to go somewhere between zero and the result.

7 So it is very different than if you
8 have actual positive results. In that case, what
9 Doug said would be the way we would go.

10 MEMBER MUNN: Yes, I follow.

11 MR. KATZ: Can I just follow the basic
12 principle in the face of uncertainty, you do
13 what's claimant-favorable.

14 MS. BRACKETT: Yes.

15 MEMBER MUNN: Right.

16 MR. FARVER: Moving on with the
17 tritium dose, which I believe is on the next page,
18 Rose. This was easy. There was 26 samples. We
19 both came up with the same number.

20 MEMBER MUNN: Excellent. Next.

21 MR. FARVER: Environmental dose for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Los Alamos. We were coming up with very similar
2 numbers, between 469 and 407 millirem. So it's
3 pretty close.

4 I'm trying to think of what the big
5 difference was here. I looked at this. Hang on.
6 Not much difference.

7 MR. SIEBERT: I think part of the
8 contributing difference is we did consider Super
9 S along in thoracic lymph nodes, which you guys
10 didn't.

11 MR. FARVER: For the environmental?

12 MR. SIEBERT: Yes.

13 MR. FARVER: Oh, okay. That'll do
14 it, because it looks like we considered Type M,
15 I believe. Plutonium.

16 And we used the typical CADW tool for
17 environmental intakes and the information from
18 the Technical Basis Document. I'm trying to
19 think if there was a difference in years, but I
20 don't think there was.

21 MEMBER MUNN: Well, it sounds as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 though --

2 MR. FARVER: Oh, yes, there was --
3 excuse me, Wanda.

4 MEMBER MUNN: Yes.

5 MR. FARVER: NIOSH did 471 through
6 2001 and SC&A did 482 through 1996. So that's
7 going to account for your 60 millirems.

8 MEMBER MUNN: Yes.

9 MR. FARVER: Mostly.

10 MEMBER MUNN: Which is reasonable.
11 Yes.

12 MR. FARVER: Yes. So we have just a
13 little difference in the year period.

14 MEMBER MUNN: And that's going to be
15 a decision that would be made case-by-case
16 anyhow. So, yes, alright. Any comment from
17 anyone? If not, we'll go on to the next item.

18 MR. FARVER: Okay. Let's go on to
19 the Nevada Test Site. Nevada Test Site internal
20 dose, it looks like the employee only had a couple
21 of urine samples for cesium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And the difference is going to be in
2 the assumptions that were used to determine the
3 intake. Okay, I won't -- the difference is going
4 to be in the intake date. NIOSH assumed the date
5 of beginning of 1988 and SC&A assumed one later
6 in the year of 1988 based on certain information
7 that was in the file.

8 And it's in the report there. I just
9 don't want to say the name of the --

10 MEMBER MUNN: Yes, that's fine.

11 MR. FARVER: So we believe it was
12 related to that test, and that's why we chose that
13 date. And that accounts for the difference in
14 the millirem. Otherwise, it was cesium-137 --

15 MEMBER MUNN: Well, again, minor
16 differences.

17 MR. FARVER: Pretty much.

18 MEMBER MUNN: Reasonable.

19 MR. FARVER: And then the last one is
20 going to be the environmental internal dose. And
21 we came up with 4 millirem, NIOSH came up with 75

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 millirem.

2 I believe part of that is at least from
3 the -- NIOSH considered the Super S solubility for
4 the environmental dose, and SC&A did not.

5 And also, we applied -- we used 10
6 percent of the inhalation and ingestion intakes
7 from the table A7 and A12 of the Technical Basis
8 Document when we used the CADW workbook.

9 And I can tell you why we did that.
10 Because if you go into that workbook and you
11 choose best estimate, it'll come up with the 10
12 percent of the value.

13 I believe that's how it worked. It's
14 been a while. But anyway, those two factors come
15 down to a difference of 70 millirem in the
16 environmental dose.

17 MEMBER MUNN: Okay. And what does
18 that leave us?

19 MR. FARVER: Other than that, we have
20 the summary conclusion, which just compares the
21 two doses and the two PoCs --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Yes, that's what we
2 wanted to see.

3 MR. FARVER: And they're fairly
4 similar in some case. And the other cases we
5 talked about as why they're not similar.

6 MEMBER MUNN: Yes. And I appreciate
7 that very much. It's interesting that the
8 differences are astonishingly small for a
9 complicated case of this magnitude, in my view.

10 And the fact that the SC&A calculation
11 comes up with a total PoC of approximately four
12 percent less. In any case, does anyone have any
13 comments or questions?

14 CHAIRMAN KOTELCHUCK: Dave. I also
15 -- given the differences, particularly with the
16 next dose, that NIOSH has the higher PoC. And
17 that is always more comforting to me in the sense
18 that we are supposed to be claimant-friendly.

19 And so, with the real difference that
20 -- it's comforting to see the difference, and of
21 course agree with respect to the compensation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Right, well the difference
2 would have been even greater but for the mistakes,
3 right? I mean, the NIOSH dose would have been far
4 higher than SC&A.

5 But can I ask, related to that
6 procedural thing, is Rose or someone -- I just
7 can't recall, are we getting a postscript for each
8 of these?

9 Because it seems like -- I know other
10 Board members are very interested in these cases
11 -- and the current, you know, comparison from SC&A
12 doesn't cover what gets discussed here.

13 And the transcripts are too much to go
14 through. But, Rose, are you writing like a
15 postscript for each of these cases to explain what
16 was wrong and how that relates to the differences
17 or similarity, whatever it is?

18 MS. GOGLIOTTI: I was not planning
19 that, but we can certainly do that. In the past,
20 what we've done is created a memo when there were
21 significant differences that we were unable to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 resolve during the meeting.

2 But we can certainly do a summary for
3 each case if the Board would like that.

4 MR. KATZ: I mean, Dave, I would just
5 recommend that for every case. I mean, some of
6 them are very easy, where everything we're saying
7 is a couple sentence memo.

8 But I think we need a closure memo that
9 explains what was learned from each case.
10 Otherwise, the other Board members are really
11 left in the dark on these.

12 And I know some of them are very
13 interested in these. Particularly, the ones
14 that seem to have real differences, not
15 necessarily in the total or in the specifics,
16 whichever.

17 MS. BEHLING: Excuse me, this is
18 Kathy Behling. The other thing that we have done
19 in the past is put together a comparison table for
20 like the 17th set.

21 And we discussed this, I think,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 several meetings ago when we're completely done
2 with presenting these cases. I had updated that
3 comparison table with a summary statement in
4 there.

5 Now I don't know if that's going to be
6 enough for the Board members. But it was to try
7 to identify, specifically, those areas where
8 there were differences and reach an approach that
9 I could take.

10 That has been done in the past for the
11 previous line sets.

12 MR. KATZ: Yes, I just think in many
13 cases, we've just sort of avoided the issue of
14 what's correct or not correct. And I think that
15 needs to be made clear, where that's resolved,
16 where there is a correct approach.

17 I think, for significant differences,
18 I think that needs to be spoken to. Dave, you're
19 the Chair, I'm not trying to take your role. But
20 I think that documentation's important for the
21 Board.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Ted, let me ask. Don't
2 you think that the addition of a sentence or two
3 to the type of report that Kathy was referring to,
4 because I barely remember it but I seem to recall
5 it being fairly --

6 CHAIRMAN KOTELCHUCK: I'm satisfied
7 with the tables that we've had in the past, and
8 paragraphs down below. I recognize, Ted, what
9 you're saying.

10 Maybe I'm a little afraid of tasking
11 a fair large task. In a way, maybe the way to do
12 it would be when the Subcommittee identifies some
13 significant differences.

14 And we certainly have done so here.
15 That we ask specifically that a memo be developed.
16 That way, the Subcommittee -- there will not be
17 a memo for every single one, but only where there
18 seems to be major differences.

19 That would, I think, may be a
20 reasonable way of doing it.

21 MR. KATZ: Yes, I agree, David. I

1 think that's fine. Because the assumption is
2 that the ones that don't get memos, that
3 everything was consistent, then there's no reason
4 to write the two sentences. Whichever way is
5 fine.

6 I just think that those -- with the
7 information learned, we'd benefit if it's
8 captured somewhere other than the transcript.

9 CHAIRMAN KOTELCHUCK: I think that's
10 a good idea. And let's specifically request that
11 for this one. A lot of times though, we do
12 differences.

13 And so, the differences should be
14 judged to be of special importance to the Board.
15 Here, I think we agree. So, okay. And, also, I
16 like the idea that the Subcommittee would agree
17 to that, rather than having just a memo if you will
18 every time. So let's start with this one.

19 MS. GOGLIOTTI: Okay.

20 CHAIRMAN KOTELCHUCK: Let's say when
21 we get to finally approving for the Subcommittee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'll have the addendum that this one will get a
2 special write-up. And just put a little star,
3 and then give that some reference, when you do the
4 table give reference to where people can read
5 about the difference. Okay?

6 MR. KATZ: Okay.

7 MEMBER MUNN: Sounds okay to me,
8 Dave.

9 CHAIRMAN KOTELCHUCK: Okay. So,
10 Wanda, will you --

11 MEMBER MUNN: I think we're done with
12 this one, if you're ready to take over, David?

13 CHAIRMAN KOTELCHUCK: Okay, I am.
14 And I thank you, very much, Wanda.

15 MEMBER MUNN: You're welcome.

16 CHAIRMAN KOTELCHUCK: I finally got
17 in, and I did get in most of the discussion.

18 MEMBER CLAWSON: Hey Dave, can I make
19 a comment on this last case, real quick? This is
20 Brad.

21 CHAIRMAN KOTELCHUCK: By all means.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: I just want to
2 compliment both sides on this. You know, this is
3 a very difficult case. And there's a lot of
4 nuances to it that are very fine.

5 But Doug, you did a marvelous job in
6 presenting it. But I'd like also like to
7 compliment Scott and Liz, in their clarity of how
8 they explained why their method was and what they
9 did. It was just a very good job. You did a fine
10 job.

11 MR. FARVER: Thanks.

12 CHAIRMAN KOTELCHUCK: Maybe I'll
13 make one more comment as we close. When we
14 discuss types of cancer, sometimes those have a
15 personal identifying quality.

16 And Jenny Lin said this in the
17 beginning. The particular type of cancer that
18 we're dealing with here is one of the types of
19 cancer is specific enough that, essentially, we
20 need to be very careful of privacy.

21 And I think we should try, where we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can, to avoid discussing the type of cancer. I
2 see no way around it here. But let's, as we go
3 on, let's try not to talk about the type of cancer
4 if it's a rare cancer.

5 And I just suggest that to the
6 Subcommittee members with NIOSH and SC&A.
7 Again, that can't always be done, and it was not
8 done here because I think we had no other
9 alternative, to have a robust discussion.

10 MEMBER MUNN: If we're going to have
11 to discuss, we have identify. It's that simple.

12 MEMBER CLAWSON: Yes, it is. How can
13 we discuss something that's --

14 CHAIRMAN KOTELCHUCK: Maybe Jenny
15 can comment on that. Because you're right, and
16 I accept that we did it here because we had to do
17 it, to have a discussion that would merit it.

18 But many times the cancers are -- once
19 in a while, there are cancers that are not so
20 common. And by discussing them, we may infringe
21 on some privacy. Jenny, would you want to say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 something about that topic?

2 MS. LIN: I think talking about -- I
3 think you guys are right, in terms of being
4 cautious about there not being information you
5 want to talk about in a case.

6 We can definitely have more
7 conversation about how to discuss this case
8 during the public meeting. And I would recommend
9 that if we have a sideline discussion about this,
10 rather than me trying to render a legal opinion
11 based on hypotheticals, or based on those cases,
12 discuss more about this case on the record.

13 CHAIRMAN KOTELCHUCK: That sounds
14 like a good idea. So, could you perhaps develop
15 a memo to send to the Subcommittee members about
16 how to handle this the best? Not perfectly, but
17 best.

18 MS. LIN: Sure. I mean, we can talk
19 more about it.

20 CHAIRMAN KOTELCHUCK: That would be
21 good. And that deals with the concern, as well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as the fact that sometimes we have to talk about
2 the cancer --

3 MS. LIN: In the two remaining cases,
4 we have some much more common cancers.

5 CHAIRMAN KOTELCHUCK: Yes, indeed.
6 I noticed that. So can the Subcommittee, based
7 on this discussion, thank you Wanda, can we now
8 accept that and go on to the next one?

9 MEMBER RICHARDSON: Sure.

10 CHAIRMAN KOTELCHUCK: Okay. Which
11 one should we go to next?

12 MR. FARVER: Excuse me, can I just say
13 something. This is Doug.

14 CHAIRMAN KOTELCHUCK: Surely.

15 MR. FARVER: Since I went over this
16 case, I would appreciate input, offline or on the
17 phone call, on types of things we should say or
18 shouldn't say.

19 And we can use this case as an example
20 if you would like. But I think we should have
21 some discussion about that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Yes, I agree.

2 MR. FARVER: Because I'm not sure
3 what I can say. I try not to be specific on dates.
4 And maybe I'm emphasizing the wrong thing. And
5 so I would appreciate some input, using some of
6 the actual cases that we do.

7 MS. LIN: Sure, I think for the Dose
8 Reconstruction Subcommittee, it's definitely
9 very difficult because you guys are working from
10 documents that haven't been redacted.

11 MR. FARVER: Yes.

12 MS. LIN: You're working from the
13 original source documents, basically, unredacted
14 and documents that haven't gone through peer
15 review.

16 And even if these documents had gone
17 through peer review, we'd be so heavily
18 redacting, it might render a document
19 meaningless.

20 So I definitely understand the
21 difficulty of having to engage in a public meeting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where you deal with a lot of data. So, the
2 general rule of thumb, something to keep in mind
3 for the rest of the meeting, is that we try to
4 re-frame connecting information that will allow
5 a reasonable person to discern the identity of
6 that worker.

7 Okay, that's usually the general rule
8 of thumb. But every information that's
9 specifically talking about them, such as their
10 case information, social security, date of birth,
11 these personally identifiable information, those
12 are strictly prohibited.

13 MS. GOGLIOTTI: We don't even include
14 those in our report.

15 MS. LIN: Actually, in the report, it
16 is included in here, such as the case number. So,
17 I mean, even without a case number, if you coupled
18 someone's location --

19 CHAIRMAN KOTELCHUCK: I think what's
20 so great in drawing us back into --

21 (Telephonic Interference)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 -- let's just say that I would ask that
2 we just simply think -- you think about that memo
3 and send it to us and, of course, SC&A and NIOSH
4 who are doing the calculations.

5 But I think further discussion is not
6 helpful here.

7 MS. LIN: Yes.

8 MR. FARVER: No, I was just getting at
9 that she might want to use an actual case and say
10 these things are okay to say, and these may you
11 not want to.

12 It sort of gives us some specific
13 guidance from things we've already experienced.

14 CHAIRMAN KOTELCHUCK: Okay, let her
15 do the memo first. And then after we get the
16 memo, if we find that it's still leaving us
17 hanging and we'd like something more specific,
18 then please make that recommendation too.

19 MR. FARVER: Okay.

20 CHAIRMAN KOTELCHUCK: And then she
21 will provide that to us.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FARVER: Okay.

2 MS. LIN: David, that's -- whether I
3 can issue a memo or not, let's talk more about that
4 and I'll work Dr. Melius and the DFO. Okay?

5 CHAIRMAN KOTELCHUCK: Very good,
6 excellent, I appreciate that. Great. Alright,
7 so what is the next line -- by the way, it's 10
8 minutes to 12 Eastern Daylight Time.

9 Should we start another case and let's
10 wait until 1:00 Eastern Time, or do people want
11 to break for lunch? Do I have suggestions as to
12 whether we should go ahead now and start the next
13 one?

14 MEMBER MUNN: This is Wanda. I think
15 we covered the toughest one that we have
16 immediately in front of us.

17 CHAIRMAN KOTELCHUCK: I think so.

18 MEMBER MUNN: I don't think the next
19 one will be quite as complicated. Perhaps I'm
20 incorrect about that, but I expect the next one
21 to go along fairly quickly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I would think a half hour for us to
2 wrap up the next one, right?

3 CHAIRMAN KOTELCHUCK: That would be
4 good. SC&A folks, can you pick the one that we
5 expect to do more rapidly?

6 MS. BEHLING: Excuse me, Rose, this
7 is Kathy. I think the Metals and Controls -- I
8 was just going to interject that I think I could
9 do the Metals and Controls in probably a half an
10 hour.

11 MS. GOGLIOTTI: Okay, that's fine,
12 I'll go with that.

13 MS. BEHLING: If that's okay with
14 you?

15 CHAIRMAN KOTELCHUCK: Absolutely.

16 MS. BEHLING: Okay, I'll wait for
17 Rose to bring this up. And now I'm going to try
18 to be very cautious. And if I step over the line,
19 somebody jump in here. I'm nervous.

20 (Laughter)

21 MEMBER MUNN: Don't be nervous.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You're an old hand at this, Kathy.

2 MS. BEHLING: Okay, this particular
3 case, as we just mentioned, is for the Metals and
4 Controls facility. And I'm just going to, very
5 briefly if you don't mind, because I don't think
6 we have seen many metals and controls cases.

7 I went back through my records and I
8 don't know that we've reviewed many. And this is
9 an AWE facility that is in Attleboro, MA. And to
10 just give you a little history as to what this
11 company did.

12 Between 1962 and 1965, Metals and
13 Controls fabricated enriched uranium fuel
14 elements for a variety of government contracts.
15 They also fabricated uranium foils for reactor
16 experiments and fuel components.

17 They fabricated complete reactor
18 cores for the Naval Reactor Program. And they
19 fabricated uranium fuel elements for
20 experimental and research reactors.

21 The records also showed that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were some shipments of depleted uranium between
2 Rocky Flats and Metals and Controls between 1955
3 and 1958.

4 They also dealt with some thorium,
5 although based on the literature that NIOSH has
6 uncovered, there's some question as to the dates
7 associated with their thorium handling.

8 But Metals and Controls supplied
9 thorium wheel strips for criticality
10 experiments, source tests and reactivity tests.
11 The thorium was vacuum melted and cast into slab
12 ingots, and then rolled to desired thicknesses.

13 And then, finally, during 1965
14 through 1967, the manufacturing process included
15 radium of luminescent material, a component in
16 electrical switches.

17 So that just gives you a little
18 understanding as to the facility itself and what
19 they did. In this particular case, the
20 individual that we're dealing with, the employee,
21 only worked during the residual period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So that was after the 1967 period,
2 starting in 1968. The individual was a
3 [identifying information redacted] and developed
4 the cancer, which I'm not sure I should mentioned,
5 in [identifying information redacted], was
6 diagnosed with the cancer.

7 It was [identifying information
8 redacted] cancer. I guess that's more common, so
9 I hope I'm okay in mentioning that. Both NIOSH
10 and SC&A used various documents, such as OTIB-70,
11 which is the dose reconstruction for residual
12 radioactivity, on the periods for AWE facilities.

13 They also used OTIB-5, which select
14 films and what organs to select the ICD-9 codes
15 to select for the internal and external models and
16 the external implementation guide.

17 This particular facility does not
18 have any specific exposure matrix or Site
19 Profile. But what NIOSH has been doing is
20 incorporating or embedding into each of the dose
21 reconstruction reports for this particular site.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 What we've been talking about in the
2 past, and that is a template. I'll call it a dose
3 reconstruction methods template because NIOSH
4 and ORAU has developed templates for just about
5 everything.

6 I mean, it helps to keep things
7 consistent. And now, for this particular site,
8 and M&C site, we have not been tasked with
9 reviewing this template.

10 And there were 56, I believe, or so
11 technical documents cited in the template that's
12 embedded into the dose reconstruction report.
13 And so, SC&A determined that -- we thought it
14 beyond the scope of this line for us to try to do
15 any assessment, or evaluation, of that template.
16 And we just used the template as it exists.

17 So we can have a discussion on that
18 later, unless you want to talk about it now. But
19 we felt that that was the appropriate thing to do
20 on behalf of doing these lines of cases.

21 And I think, at some point in time,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just based on the action that the Board has taken
2 in the past, they may want us to look at some of
3 these templates, especially for these more
4 complex sites.

5 But I will go on. You're looking at
6 table 1.1. And, as you can see, the doses are
7 very similar. The external doses were within 100
8 millirem of one another, and internal doses were
9 the same.

10 PoCs are a little bit different. The
11 46 percent PoC from NIOSH and nearly 50 percent
12 for SC&A. And we'll explain the difference there
13 when we get through this particular case.

14 On table 2.1, if you scroll down on
15 page 6, I've got a comparison, the data and the
16 parameters that were used by NIOSH and SC&A. And
17 as you can see, again, there are similarities.

18 And the biggest difference is the dose
19 distribution. NIOSH used a triangular dose
20 distribution with Monte Carlo analysis and
21 methods for their external dose, where SC&A put

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 everything as a constant dose distribution.

2 Pretty much everything else was the
3 same, and the same types of data sources was used.
4 If we go on to section 2.2, again, since there was
5 no dosimetry data, no monitoring data, for this
6 employee.

7 And so, both SC&A and NIOSH used the
8 template. And the template incorporates what I
9 would say are the bounding values. It's a
10 maximum external dose that it was recorded for any
11 individual during the 1966 time period, which
12 comes down to 440 millirem per year.

13 And then the appropriate DCF was used
14 by both methods to come up with a 550 millirem per
15 year dose for the employment period. And that
16 template is actually using the operation period
17 data for the residual period.

18 So I would certainly that consider
19 that, if all of the data that they've looked at
20 is appropriate, certainly it appears to be a
21 bounding value.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NIOSH assigned that value. They
2 prorated it for the first year of employment,
3 which was 1968. And then, for the last year of
4 employment, which was 1982, they actually used an
5 average open window value of 142 millirem that
6 comes from a 1982 termination survey.

7 And again, SC&A has not had the
8 opportunity to look at any of this data in any kind
9 of detail. But that was the basis for NIOSH's
10 1982 doses.

11 And again, applied the DCF values
12 appropriately. Now, what SC&A did, which was a
13 little bit different in this case, is they also
14 prorated a partial year dose in 1968.

15 But they did not use a termination
16 survey, because the survey was actually done in
17 November of 1982, and the individual terminated
18 much earlier in [identifying information
19 redacted].

20 And so they just continued to use that
21 maximum external dose and prorated it for the 1982

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 year. So that was the difference between NIOSH's
2 142 and SC&A's 138 millirems. So a slight
3 difference there.

4 Both methods did not -- neither method
5 calculated the medical dose. And that was
6 because the individual only worked during a
7 residual period. So that was appropriate.

8 For internal dose, again, both
9 methods used information that was available in
10 the template. And what the template recommends
11 is an inflation dose of 200 DPM per 100
12 centimeters squared, and then uses the OTIB-70
13 resuspension factor of one times ten to the minus
14 six.

15 And they also calculated an ingestion
16 dose, which is based on the intake rate of 0.2
17 times the average daily air concentration. And
18 an inhalation and ingestion dose was calculated
19 for both the uranium and thorium.

20 Those methods -- NIOSH compared the
21 uranium Types S, M and F.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Pardon me.
2 Dave. Are we scrolling on Live Meeting? Fine.
3 Thank you.

4 MS. BEHLING: I'm sorry, I wasn't
5 watching. Yes, and you can keep scrolling down
6 just a bit, Rose. But, to repeat -- NIOSH
7 compared for uranium absorption Types S, M and F.

8 And for thorium, Types M and S. SC&A
9 compared Types S, M and F for both uranium and
10 thorium. Both methods found that thorium Type M
11 was the most claimant-favorable, and so dose is
12 based on that.

13 And both calculated dose of 1
14 millirem. So that is the doses that we see in
15 table 3-1, on page 9. And the difference, as I
16 indicated, the biggest difference was the
17 difference in the PoCs.

18 And NIOSH calculated their PoC --
19 because their initial PoC was greater than 45
20 percent, the recommendation is that they run in
21 the -- I'm sorry, they were IREP -- it's 30 IREP

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 runs at 10,000 iterations.

2 And that resulted in the PoC of 46
3 percent, where SC&A only ran one IREP run for
4 2,000 iterations and our PoC, which was 49
5 percent.

6 SC&A also entered their doses as a
7 constant value, as I mentioned earlier, where
8 NIOSH applied their doses as a triangular
9 distribution and used Monte Carlo methods to
10 determine what the final dose was, the
11 distribution was.

12 That's it in a nutshell. If you have
13 questions, I'll try to answer them.

14 MEMBER MUNN: I don't have any
15 questions. But I think your assumptions, with
16 respect to both the unusual nature of this
17 particular site, I don't recall there was
18 anything sporadic and the use of the template were
19 correct.

20 MS. BEHLING: Can I assume that, and
21 maybe Ted needs to weigh in here, I hope that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all agree that this is not the venue to do a more
2 thorough investigation into these templates.

3 But they should -- if the Board
4 decides that they feel that we're going to review
5 the templates it shouldn't be in these blind dose
6 reconstructions. Are we correct?

7 MR. KATZ: Let me weigh in on that,
8 thanks Kathy. Because, the past tradition was --
9 and it's fine, no worries about how this went
10 here, it's fine.

11 But the past tradition was, when we
12 came to a site, one of these smaller sites, as they
13 generally are, where -- but it didn't have to be,
14 it could have been at an AWE that was actually
15 significant.

16 But when we come to one of these
17 smaller sites, when we're doing a dose
18 reconstruction review and the basic methodology
19 hasn't been reviewed by SC&A, the past tradition
20 was for me to get a memo or note saying, we have
21 reviewed this site.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Because, normally what we would do,
2 and John Mauro, if you're on the line, you can
3 chime in, but we'd all notice, is we would do what
4 we would call a meeting Site Profile review
5 because it's generally a mini profile that
6 looking at, compared to the big sites.

7 And we would do that sort of
8 hand-and-hand, we would do that and then do the
9 review. It would hold up the review of the case,
10 but it you sort of -- otherwise, you'd have an arm
11 tied behind your back in reviewing the case
12 because you'd just have to accept the
13 methodology, where in some other cases you would
14 review the methodology.

15 So, I mean, I think that's the
16 appropriate thing to do is to send a note out
17 saying, we haven't reviewed the base documents
18 for this site.

19 And then we can get that tasked as part
20 of it, before completing the blind review or the
21 individual case review, whichever bucket it falls

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 into. But that was our past tradition.

2 MS. BEHLING: Yes, Ted, you're
3 correct, I agree with you. Also, when we've
4 reviewed cases, we did do a mini. In this
5 particular case, because the entire case -- all
6 the internal, all the external is based on, as I
7 said there was at least 56 technical documents.

8 And we just were not sure if we were
9 -- I guess a memo is appropriate from this point
10 forward, to determine the level of effort that you
11 would like us to put into these.

12 Again, I didn't know if these
13 pamphlets -- because there, as we discussed,
14 there are a lot of other templates out there for
15 these types of sites.

16 If they were going to be categorized
17 and looked at that maybe under a purview like the
18 Procedures Subcommittee meeting, or if they were
19 supposed to be looked at on an individual basis,
20 because we may not see cases on some of the sites
21 that have templates.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Right, but that's really a
2 separate matter. I mean, the issue here is that
3 when we do a case review, we want to be able to
4 review it, you know, thoroughly.

5 And we can't do that if we haven't
6 reviewed the base documents. So --

7 DR. MAURO: Ted, this is John Mauro.
8 I understand you were trying to reach me. I
9 separated from the phone when you were about to
10 break for lunch.

11 MR. KATZ: John, that's okay. I just
12 referenced that you've done many, many site
13 reviews in connection with dose reconstruction
14 case reviews in the past.

15 DR. MAURO: Yes.

16 MR. KATZ: And I was just saying that
17 here's a case where we need a memo knowing that
18 you haven't reviewed the base documents so that
19 we can then task that as a part of the case review.

20 I think that's appropriate. But
21 then, I mean, we can hear from the Subcommittee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 members. But this is the way we've done it in the
2 past.

3 DR. MAURO: Okay.

4 CHAIRMAN KOTELCHUCK: Dave, are we
5 suggesting that this should be held in abeyance?

6 MR. KATZ: Well, I think it's a done
7 deal now. I mean, it's sort of -- they've
8 reviewed the case, it's a limited review in a
9 sense, because they never reviewed the
10 methodology before.

11 But I'm not suggesting that they go
12 back, review the methodology now, and then go back
13 and re-review the case. Although, you know, I
14 mean it's your purview.

15 MR. SIEBERT: Can I put one thing in,
16 this is Scott. In the 21st set, there are three
17 Metals and Controls claims that have already been
18 reviewed.

19 DR. MAURO: This is John. Just to
20 help out a little bit. A good example that we'll
21 get to, I guess shortly, is Hooker, which is still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 open as a DR case in the 13th set.

2 And in Hooker, the whole issue about
3 -- this is a DR section as opposed to blind now,
4 please keep in mind -- is a review of the Site
5 Profile or TBD where we do have to go back to first
6 principles in the original source documents.

7 Now, the extent to which that's done,
8 and needs to be done, during blinds, I guess
9 that's something that you folks have worked out.
10 I used to be involved in blinds in a different way.

11 But it was decided -- it was called
12 going back to first principles and not following
13 workbooks and things like that. I understand
14 that decision, and that's fine, but there was a
15 time when we actually did the blinds two different
16 ways.

17 One was following the workbooks and
18 trying to figure out ourselves the way in which
19 NIOSH did it. And where you had to have some
20 degree of discretion in deciding what the input
21 was.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: John, that's technically a
2 separate matter.

3 DR. MAURO: Yes, that's a separate
4 matter.

5 MR. KATZ: That's not what we're
6 dealing with here. But we are dealing with the
7 first part of what you said, which is simply,
8 again, doing a mini Site Profile review when
9 you've never reviewed the site and you have a case
10 to review.

11 DR. MAURO: Exactly.

12 MR. KATZ: That's all.

13 DR. MAURO: That's it.

14 MS. BEHLING: One more comment.
15 This is Kathy Behling again. Under the 21st set,
16 and Rose, perhaps you can interject here, even if
17 we have some Metals and Controls cases there, I
18 don't know that we were tasked even under those
19 case reviews to look at the source document and
20 methodology. Or were we?

21 I don't know, I'm asking. I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know of any -- I don't know that we have been
2 tasked with looking at this template. That's my
3 question.

4 MR. KATZ: Again, Kathy, I mean,
5 you've already said you guys haven't reviewed the
6 template. So that's established. Yes, and
7 again, it's just a matter -- whether it's blinds
8 or a few of the ordinary cases that reviewed,
9 again, that was the old system, which is to say
10 we haven't reviewed this site and then to task
11 that as part of the case review.

12 MS. BEHLING: Okay.

13 MR. KATZ: I don't think we need to
14 beat this thing to death. I would like to hear
15 from the Subcommittee members if they have
16 thoughts, concerns, what have you, related to
17 this.

18 CHAIRMAN KOTELCHUCK: You've made
19 basically a proposal as the DFO. But I wanted to
20 hear what other members of the Subcommittee felt
21 in terms of whether we go ahead with this as it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 stands, or whether that changes the way we weight,
2 which would be a change in procedure.

3 Do other Subcommittee members have
4 comments?

5 MEMBER BEACH: Dave, this is Josie.
6 I think that we go ahead with this. But I think
7 we need to have a memo sent out if there are
8 templates in the future that haven't been
9 reviewed and get the tasking for that prior to
10 reviewing the cases.

11 CHAIRMAN KOTELCHUCK: Okay, so start
12 from here if you will. Josie, what you said
13 sounds like a good way to start, which is to say
14 take this, approve the comparison and then task
15 the AWE Subcommittee?

16 MR. KATZ: No, so, Dave, just
17 procedurally, if we get a memo from SC&A saying
18 this, I can do the tasking. We don't need a Work
19 Group to do that.

20 CHAIRMAN KOTELCHUCK: Good.

21 MR. KATZ: So as we do that, I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 certainly copy the Subcommittee so they know
2 what's going on here.

3 We don't need to wait for another Work
4 Group, or what have you, to do that.

5 CHAIRMAN KOTELCHUCK: Excellent.
6 So you will send a note to them.

7 MS. GOGLIOTTI: About this
8 particular case?

9 MR. KATZ: No, in the future, when we
10 have a case where you haven't reviewed, because
11 it has a template or what have you, that you never
12 reviewed the foundation documents.

13 DR. MAURO: I just have a question for
14 clarification. There are several levels you
15 work at, you have the original Site Profile and
16 then you have the template which influences the
17 Site Profile.

18 And then, of course, you have the
19 blind or the DR.

20 MR. KATZ: No, the template's not
21 implementing Site Profile, the template is a Site

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Profile, in effect.

2 DR. MAURO: Oh, okay.

3 MR. KATZ: That's what we're talking
4 about here, John. We're talking about sites
5 where SC&A has never reviewed the basic
6 methodology being applied.

7 DR. MAURO: Got it, okay.

8 CHAIRMAN KOTELCHUCK: Okay, good.
9 Now, are we Subcommittee members satisfied with
10 this review and the comments and the explanation
11 as to why, although there's only one main
12 difference between the two groups, that the PoCs
13 are three percentage points different?

14 Nevertheless, the three are the same
15 compensation distribution. Do the Subcommittee
16 members approve?

17 MEMBER MUNN: I said this at the
18 outset, I certainly do. And I think it's fine to
19 accept this as an adequate review of the template
20 by SC&A.

21 CHAIRMAN KOTELCHUCK: Okay, others?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: I'm not sure if it's an
2 adequate review of the template because the
3 template hasn't been reviewed. But I think,
4 moving forward, if we have other cases from this
5 site, I think we need to think about reviewing
6 that template prior to any other cases.

7 CHAIRMAN KOTELCHUCK: I'm more than
8 happy. And my feeling is we have accepted that
9 suggestion. I think it's a question now of
10 saying, here we are, do we approve of this line
11 of review and pass it to the Board, if you will?

12 It sounds like you're okay with the
13 review and with the explanations given for the
14 difference.

15 MEMBER BEACH: Yes.

16 CHAIRMAN KOTELCHUCK: Good. Thank
17 you. Others?

18 MEMBER CLAWSON: I'm good with it.
19 If we have any more from this site, we've already
20 discussed that.

21 CHAIRMAN KOTELCHUCK: Okay. So, can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I take that then that we approve and are ready to
2 move on to the third line?

3 MEMBER MUNN: Yes, as soon as we go to
4 lunch.

5 CHAIRMAN KOTELCHUCK: Okay. And
6 that actually, of course we will go to lunch.
7 It's 20 minutes after 12 on east coast time. So,
8 20 minutes after 1, we'll reconvene and we'll
9 discuss ANL-East.

10 (Whereupon, the above-entitled
11 matter went off the record at 12:20 p.m. and
12 resumed at 1:25 p.m.)

13 CHAIRMAN KOTELCHUCK: So we're now
14 starting ANL-East our third blind of the day.

15 Ron, would you like to start?

16 DR. BUCHANAN: Okay, thank you.
17 This is an employee that worked at the Argonne
18 National Lab East facility in the nineteen
19 fifties to the nineteen nineties. He had a long
20 employment history in the grounds as a laborer and
21 heavy equipment operator and [identifying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information redacted] in the grounds department,
2 so worked mainly outside.

3 He was monitored for external photon
4 and neutron exposure during most of the
5 employment. He was also monitored for internal
6 exposure for most of the employment period.

7 He was diagnosed with a cancer in
8 [identifying information redacted], one cancer.
9 So this is what we will cover today.

10 If we go to Table 1-1 on page 8 we see
11 the table there shows that the -- (Transcript
12 missing 45 seconds due to telephone interruption)
13 -- dose, photon and neutron.

14 We see that they pretty much agree
15 there except for one. At the beginning of
16 employment there was a recorded 8 millirems on a
17 pencil dosimeter when the worker wasn't monitored
18 otherwise.

19 And so SC&A counted this as a recorded
20 dose because there was no other information
21 available and the environmental dose is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 essentially nothing -- outside external dose is
2 essentially nothing at the Argonne National Lab
3 East according to TBD.

4 And so we did assign that. So we
5 included this in our analysis.

6 And so we used the same dose
7 conversion factor, slightly different
8 distributions. They used triangular and Monte
9 Carlo calculations. We used the normal
10 distribution with uncertainty of 30 percent
11 according to the TBD.

12 We used a dose conversion factor of
13 1.244, both NIOSH and SC&A.

14 So this came out to similar doses of
15 0.212 NIOSH assigned and 0.317 which includes 80
16 millirem from the pencil dosimeter.

17 So the recorded dose is pretty
18 straightforward. We didn't have much difference
19 there.

20 Then we go to missed dose, Section
21 2.1.2. And again we had similar methods there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We used the same LOD and energy ranges. Again,
2 NIOSH used the triangular distribution, the Monte
3 Carlo calculations. We used a log normal
4 distribution with confidence uncertainty of 1.5
5 according to the TBD.

6 Now, there were some differences. If
7 we go down to page 12 we see that NIOSH counted
8 586 missed doses or zeroes, and we counted 545.

9 And the reason for that is that the
10 records at ANL, they would have blank sheets.
11 They would have the badge exchange information
12 but they'd be blank.

13 And then at the end of the year they'd
14 have a summary sheet with a zero. And so the
15 difference there was that SC&A said, okay,
16 they've got a record of the dosimeter exchange.
17 There's nothing on it. And so they did not -- he
18 did not wear a badge at that time.

19 And NIOSH went through the
20 conservative point of view and said, okay, even
21 though there wasn't anything recorded, we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to assign zeroes for those blank dosimetry
2 pages.

3 And so that's the reason they came out
4 with more zeroes than SC&A. And we'll find this
5 is also true on the neutron exchange.

6 So, this was a difference and so we
7 came out with a few less zeroes than NIOSH did.
8 And we used different distributions and
9 uncertainties, and so we came out with slightly
10 different doses than NIOSH did.

11 The missed neutron dose. Again, we
12 used a constant uncertainty of log distribution
13 and NIOSH used a varying uncertainty determined
14 by Monte Carlo calculations.

15 On the neutron missed dose NIOSH used
16 345 and SC&A came out 271. And this is because
17 there was a lot of blanks for the exchange.
18 Sometimes they would have photon but blank for the
19 neutron so we assumed the worker wasn't monitored
20 for neutrons during that period. And NIOSH
21 assumed they were and assigned zeroes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So they came out with a higher dose,
2 55 rem, and we came out with a lower dose, 42 rem
3 for missed neutron because of the difference in
4 interpreting the way the blank pages, what they
5 meant.

6 MR. SIEBERT: This is Scott. Can I
7 go ahead and address that, that slight
8 difference?

9 There's been all these different
10 sections so I waited until right before ambient
11 doses.

12 DR. BUCHANAN: Yes, that's fine.

13 MR. SIEBERT: The difference is as
14 Ron was saying we did assume monitoring was
15 occurring even when the monitoring records were
16 blank, when there was an indication.

17 And we actually based that upon the
18 TBD. It does specifically state that up until
19 1965 the assumption is that all employees were
20 monitored.

21 So, whether there actually is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reflection of a zero in the record or not we made
2 the claimant-favorable assumption that all
3 individuals were monitored through that point.
4 And we do count the zeroes accordingly.

5 DR. BUCHANAN: Yes, and SC&A counted
6 the actual number of zeroes whereas NIOSH, if
7 there was blanks they counted those as zeroes even
8 though they were not recorded.

9 MR. SIEBERT: Right. And I guess the
10 only thing I'm pointing out is the TBD does
11 specifically tell us to do it that way.

12 MR. KATZ: Go ahead, Ron.

13 DR. BUCHANAN: Okay. Okay, the
14 onsite ambient dose, both NIOSH and SC&A used
15 Table 4-7 and 4-8 of the TBD 4. And NIOSH used
16 the isotropic dose conversion factor of 0.536.
17 And SC&A used the ambient dose conversion factor
18 of 0.408 which led to slightly different dose
19 assignments and also NIOSH used their Weibull
20 distribution and SC&A entered it as a constant
21 distribution.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And NIOSH assigned 50 millirem and
2 SC&A assigned slightly less, a dose of 40
3 millirem. So that's the reason for the
4 difference in the ambient dose.

5 MR. SIEBERT: Should I address the
6 difference in the DCFs?

7 DR. BUCHANAN: Yes.

8 MR. SIEBERT: Once again, we go back
9 to procedure 60 which is occupational onsite
10 ambient dose reconstruction for all DOE sites.

11 And specifically in that procedure it
12 does call out to use the appropriate organ DCF
13 rather than the ambient for the isotropic
14 geometry. So that's why there's a difference
15 there.

16 DR. BUCHANAN: Okay. Then we move
17 onto the medical. And there was a record on file
18 of the medical exams.

19 And we did assign also a PFT that was
20 possible through '56. Both NIOSH and SC&A
21 assigned the same doses there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And all the rest of the way through the
2 records we assigned the same dose except for the
3 one chest X-ray in '58 because the TBD does say
4 there could have been several chest X-rays '58 and
5 earlier.

6 However, SC&A sees on the DOE records
7 for this employee it states single chest X-ray.
8 And so we assigned one for '58 and NIOSH assigned
9 two for '58. And so that made a slight amount of
10 increase in NIOSH's dose assignment.

11 In addition, NIOSH used the pre-1970
12 dose for the 1970 dose. In other words, TBD
13 changed values at that time. And so we used the
14 1970 dose -- 1970 to 1985 as given in the TBD, and
15 NIOSH apparently used the pre-1970 dose for 1970.
16 So that gave a slightly higher dose because the
17 older ones were a little bit higher.

18 So that resulted in NIOSH assigning
19 5.73 rem and SC&A assigning 5.655 rem, slightly
20 less.

21 MR. SIEBERT: This is Scott. Yes, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 agree on the stereo thing and the X-rays. We
2 missed that so that's a good thing to catch there.

3 The difference on the 1970 actually
4 isn't that we used the pre-'70 values, it's that
5 we used the updated values in OTIB-6.

6 The TBD does two separate things. It
7 calls out that the values of the X-rays should be
8 the values that are referenced from OTIB-6, and
9 then it also gives a table of those values.

10 The unfortunate thing is OTIB-6 got
11 updated after the TBD was released so the
12 appropriate values -- or the values that are in
13 OTIB-6, we actually updated the values and the
14 tools to use the most recent culled out values,
15 rather than the older values that still reside in
16 that table in the TBD.

17 But once again, the TBD is saying
18 that's where those values came from and it should
19 have been OTIB-6.

20 And at some point when the TBD gets
21 updated they will reflect the latest values.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: Okay. Thank you,
2 Scott.

3 Realize of course on a lot of that
4 stuff SC&A when they do the comparisons they are
5 somewhat guessing at what NIOSH did.

6 We know what we did usually, but we're
7 not always sure of NIOSH's reasoning, so we'll
8 kind of guesstimate what happened or something.

9 CHAIRMAN KOTELCHUCK: Okay. Ron, by
10 the way -- Dave.

11 Just the last five minutes or so I've
12 been in and out of audio, but you go ahead. I hope
13 I didn't miss anything, or miss a comment from
14 you. Let's continue.

15 DR. BUCHANAN: Okay, so that was the
16 external doses.

17 If we go to Section 2.2 and we look at
18 the internal doses. And we see that the worker
19 was monitored for -- by urinalysis for gross alpha
20 and beta, and for uranium a couple of times. And
21 all the measurements were less than MDA for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 alpha and the uranium.

2 However, they did indicate that some
3 beta results were greater than zero. If you look
4 at the TBD 5 it looks like they indicate that the
5 beta activity that was measured was from natural
6 occurring potassium-40 and represented the
7 normal range of the beta results.

8 And so neither NIOSH or SC&A assigned
9 for the beta dose from those measurements.

10 Based on the records NIOSH and SC&A
11 both assigned missed dose from plutonium and
12 uranium, and in addition NIOSH assigned potential
13 -- considered potential environmental internal
14 dose as we'll discuss later.

15 SC&A did too, but they didn't assign
16 any because it was less significant.

17 So, we look at 2.2.1, missed plutonium
18 dose. We see that both NIOSH and SC&A used the
19 appropriate half of an MDA value cited in the TBD,
20 adjusted for 1.4 liters per day in the IMBA
21 program for the period that the person had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitoring results, bioassays.

2 And we evaluated different solubility
3 types and found that type S resulted in the most
4 claimant-favorable. And we both adjusted them
5 for type Super S, plutonium-239 according to
6 OTIB-49. And so we both performed the same
7 operations there.

8 And NIOSH assigned an internal dose of
9 -- from missed plutonium of 0.117. SC&A assigned
10 0.129. So very similar doses entered as a
11 triangular distribution with minimum zero and
12 maximum twice the mode value according to
13 OTIB-60. So we had a very similar result and dose
14 assignments in that case.

15 Now, the missed uranium dose. The
16 worker was monitored twice in 1966 and once in the
17 eighties to '90 for uranium. And we both assumed
18 100 percent U-234 because that's the most
19 claimant-favorable and used one-half the MDA
20 adjusted for 1.4 liters per day in the IMBA
21 program.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And the only difference was NIOSH
2 assumed one continuous intake period. SC&A
3 divided up into two periods because there was some
4 break between the bioassay dates in the sixties
5 and eighties.

6 And so we came through and both
7 evaluated the solubility type, but found that all
8 of them cited less than 1 millirem per dose and
9 so did not assign that in the IREP tables.

10 So we used slightly two different
11 methods and then arrived at the same conclusions.

12 Now, the environmental internal.
13 NIOSH did use the maximum value of occupational
14 environmental from the environmental TBD 4 and
15 came up with a dose less than 1 millirem.

16 However, NIOSH did choose to enter
17 that in the IREP table as a constant distribution.

18 SC&A considered the environmental
19 intake, but based on TBD 4 states that the intakes
20 at ANL-East operations -- went up and checked it
21 -- significantly to the environmental dose. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we did not assign any internal environmental
2 dose.

3 So, a summary and conclusion on page
4 17, Section 3.

5 We see that the internal doses are
6 very similar. The external doses are similar
7 except for mainly the missed neutron dose which
8 we discussed.

9 We see that NIOSH came with a total of
10 70 rem with a PoC of 46 percent. SC&A came out
11 with 58 rem with a PoC of 42 percent.

12 And the methods were very similar
13 except for the way we counted zeroes there in the
14 blank pages which we've already discussed.

15 So, that's a brief summary of this
16 case and I'm open for any questions.

17 CHAIRMAN KOTELCHUCK: Good. So,
18 basically both came to the same conclusions.
19 Again NIOSH has the larger PoC which reflected the
20 claimant favorability effort.

21 So, I don't have any further comments.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Do other members of the Subcommittee?

2 Hearing none I think there's
3 agreement. So, as a Subcommittee do we accept
4 this blind and put it into our record?

5 MEMBER MUNN: Absolutely.

6 CHAIRMAN KOTELCHUCK: Very good.

7 MEMBER CLAWSON: I agree.

8 CHAIRMAN KOTELCHUCK: Alright.

9 Hearing no other comments we accept and that's
10 fine.

11 And we have -- so we have three more
12 blinds that have been added into our -- I think
13 it's 17 total. And all of them have agreed with
14 the -- in all the cases the positions agree
15 although there was one that will still be
16 developed in Allied Chemical and Dye. Okay.

17 MS. GOGLIOTTI: I think the Allied
18 Chemical and Dye, we resolved that case in
19 February.

20 DR. MAURO: Rose, this is John Mauro.
21 Just letting you know I joined the meeting in case

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you're ready for Hooker.

2 MS. GOGLIOTTI: We are just getting
3 there, John. Thank you.

4 DR. MAURO: Very good.

5 CHAIRMAN KOTELCHUCK: Almost
6 perfect.

7 DR. MAURO: Okay, very good.

8 CHAIRMAN KOTELCHUCK: But I thought
9 we were going to -- Scott, you suggested that we
10 were going to set up a committee to evaluate, a
11 special committee for that plant, a Working
12 Group.

13 MS. GOGLIOTTI: But I believe we
14 actually closed out that particular blind.

15 CHAIRMAN KOTELCHUCK: I don't
16 remember that, honestly. Do other Subcommittee
17 members?

18 MS. GOGLIOTTI: I can check my
19 records and email you offline.

20 CHAIRMAN KOTELCHUCK: That's fine.
21 I believe I we have one. Certainly in the report

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the Secretary there was -- we were holding off.
2 Fine, send me a note and I will -- in fact, send
3 it to me and all other members of the
4 Subcommittee.

5 MS. GOGLIOTTI: Okay.

6 **Final Case Review Issue Resolution for Sets 10-13;**
7 **Hooker Electrochemical Case**

8 CHAIRMAN KOTELCHUCK: Great. Okay.

9 Well, John, we are ready now to take Hooker which
10 is the last and final case for sets 10-13. Please
11 go ahead.

12 DR. MAURO: Very good. I see on the
13 screen that Rose brought up the Hooker case. And
14 I think I can go through this pretty quickly.

15 This was a case for a worker that was
16 involved in Hooker in the nineteen forties. And
17 in the nineteen forties Hooker was receiving slag
18 from other facilities up in Niagara Falls. And
19 they were processing the slag using I guess left
20 over hydrochloric acid, I believe, or sulfuric
21 acid to separate out any valuable uranium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so the worker was exposed during
2 the AWE operations with that slag, and then
3 following that during the residual period.

4 The matrix identifies that there were
5 several findings, and there were also a number of
6 observations.

7 And you folks have previously
8 discussed this, and have filled out the matrix.
9 And you can see on the far right-hand side of the
10 matrix, you'll see that some of these items were
11 previously closed so we don't need to be concerned
12 about that.

13 And all other items, whether they be
14 findings or observations were relegated to the
15 AWE Work Group because they were all Site Profile
16 issues and TBD issues.

17 Because the Hooker dose
18 reconstructions for workers there, there were no
19 data. So everything was based on one micron
20 exposure matrix or protocol as laid out in the
21 Hooker TBD.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And the Hooker TBD was on the agenda
2 for the AWE Work Group, and it was discussed at
3 length, I believe it was a July 2016 Hooker or AWE
4 Work Group meeting.

5 And I read the transcript. And Bill
6 Thurber was -- I spoke to Bill earlier today.
7 He's not available but --

8 MR. KATZ: I'm sorry, John, to
9 interrupt you but one sec. Someone is coughing
10 a lot on the phone. Can you please mute your
11 phone? Just press *6 to mute your phone and that
12 will help everyone else. Thanks.

13 DR. MAURO: So, what I can do is
14 everything that I'm about to describe, and we can
15 go into as much detail as you like.

16 The end of the story though is during
17 the Work Group meeting with Henry Anderson
18 starting on page 53 of the transcript every one
19 of these issues related to the Site Profile.

20 Hooker was discussed and closure was
21 recommended. There are a couple of items that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were what I would call held in abeyance because
2 there was agreement on how to resolve them, and
3 everyone agreed to that.

4 And it was left in abeyance because
5 the next revision which would be Rev 3 I believe
6 of the TBD for Hooker will pick those up.

7 But to bring it down to the end of the
8 story, if you go through each one of these items
9 and you map them back to the -- starting on page
10 53 of the transcript you will see that every issue
11 was addressed one way or the other.

12 It's not in exact order, but they were
13 all -- it was agreed that the issue can be closed.
14 SC&A recommended closure based on the discussion
15 and the AWE Work Group concurred.

16 So this is all documented. And the
17 degree to which you want to go through them and
18 discuss how they were closed, we can do that.

19 But it's all already on the record how
20 they were all closed. And starting on page 53 of
21 the July AWE -- 2016 AWE meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In fact, I believe Bill prepared a set
2 of slides for Henry Anderson to be used at I guess
3 the previous meeting of the full Board to give a
4 presentation.

5 I'm not sure if that occurred or not.

6 CHAIRMAN KOTELCHUCK: Correct. It
7 did.

8 DR. MAURO: It did. Okay.

9 CHAIRMAN KOTELCHUCK: And also --
10 this is Dave. I am a Member of that Working Group
11 and I concur with what you say.

12 We resolved everything. There will
13 be some changes when the ER is completed, but --
14 in an ordinary fashion by NIOSH.

15 DR. MAURO: I tell you what. Given
16 that, that this is on the record in a number of
17 places already, if that suffices I'm done.

18 MR. KATZ: John, this is Ted.

19 DR. MAURO: Sure.

20 MR. KATZ: The one thing that does
21 need to be buttoned-up, because you're trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 resolve the findings here so that they're correct
2 findings and incorrect findings drop off in
3 statistics.

4 So one way or the other those sort of
5 t's have to be crossed for the findings that were
6 open, right? The Subcommittee needs to resolve
7 that they were correct findings, or that they
8 shouldn't have been findings. Either way.

9 DR. MAURO: Well, we could do that if
10 you'd like. We could go through it one by one.

11 CHAIRMAN KOTELCHUCK: No, I don't see
12 a need to do that, to go through those one by one.

13 The question Ted raised was I believe
14 that it's not to come back to the Subcommittee
15 when the Working Group resolved these issues.

16 There will be changes that occur when
17 the PER is finished, but when the PER is done that
18 will go to NIOSH. NIOSH will continue. We've
19 resolved the findings.

20 I think we can accept as is.

21 MR. KATZ: No, but Dave, I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you're just misunderstanding me.

2 The Subcommittee has all these cases.
3 The cases have a whole number of findings. And
4 those findings have to be resolved according to
5 the Subcommittee's judgment even though it was
6 sent to Work Group.

7 So, for example, just finding X,
8 whatever it is, if the Work Group decided that the
9 methodology was incorrect and resolved that --
10 and the finding was in alignment with that, that
11 that methodology was incorrect, then that finding
12 stands.

13 But if the Work Group found that for
14 a certain finding that was referred to it that the
15 methodology was fine then that finding would not
16 count as a finding in your tally for Dose
17 Reconstruction Subcommittee petition reviews.

18 So you've got to get your statistics
19 correct. I don't know how to do that other than
20 going through the findings and sorting that out
21 finding by finding.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: One way to go as a
2 shortcut on this. Of course I leave that if NIOSH
3 agrees.

4 But I believe NIOSH agreed with all of
5 our findings and has concurred that changes to the
6 TBD were needed in light of our comments.

7 So, in other words, the various
8 comments we made related to a broad range of
9 matters, there was -- it wasn't that we were --
10 our finding was judged to be incorrect. And
11 certainly I may be incorrect in this, but I
12 believe NIOSH agreed that all of Bill Thurber's
13 findings and observations were relevant and
14 appropriate, and that there was some degree of
15 change to the TBD for each one of these that was
16 needed.

17 And that's all documented in the
18 transcript.

19 MEMBER BEACH: There's only seven.
20 I think there was only seven open findings. Why
21 can't we just go through each one of them and have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a comment on each one?

2 MR. CALHOUN: This is Grady. Let me
3 interject something here.

4 Because there's -- I do believe that
5 my understanding is that the findings were at
6 least considered valid.

7 I know the TBD has been revised. Jim
8 Neton just popped his head in here a minute ago
9 and said he was going to sign it today.

10 Given the fact that I was not a part
11 of these Work Group discussions and that there's
12 only seven findings, and that my understanding is
13 that they were all accepted I would just prefer
14 to go forward and say yes, we accepted them and
15 we made the appropriate changes.

16 Because I'm not going to be able to
17 speak intelligently about how the changes were.
18 And I really don't care to argue the seven
19 findings since my understanding is that they were
20 addressed.

21 MR. KATZ: I think that's absolutely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fine, Grady. I think that there's no problem
2 with that.

3 MEMBER CLAWSON: This is Brad
4 speaking. I understand what you're saying,
5 Grady.

6 But I guess I would just -- as a Work
7 Group Member on this I'd like to just understand
8 what was done with these.

9 The Work Group has accepted it, but
10 I'd just like to know what the corrective action
11 was and proceed it on.

12 I'd just like to bring these to an end.
13 I think, you know, I don't have a clear
14 understanding how they were finalized.

15 MR. CALHOUN: Alright. Well, I
16 guess John or Bill will have to take care of both
17 sides of that discussion then.

18 DR. MAURO: We can run through them
19 real fast. I have some notes here that should
20 help.

21 MEMBER CLAWSON: John, tell us a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 small picture of each one just so I've got an
2 understanding.

3 DR. MAURO: Okay.

4 CHAIRMAN KOTELCHUCK: If a
5 Subcommittee Member would like to hear back all
6 that we need -- so let's go over them one by one.

7 DR. MAURO: Okay. I was just logged
8 off the web because I wasn't active. Give me a
9 second to come back to life again. I'm on
10 LiveMeeting. It just takes a second for me to put
11 my PIN back in again.

12 MEMBER BEACH: While you're doing
13 that, I do have a question on the SRDB. It does
14 reference the Site Profiles, but it won't come up.
15 Is that just because it hasn't been signed?

16 MR. KATZ: Yes, Josie. And it takes
17 another day after that.

18 MEMBER BEACH: Okay.

19 MR. KATZ: Before it actually posts.

20 DR. MAURO: Okay. Phil, would you
21 like to go through I guess each one of these items?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It starts I believe 221.1c. I'm trying to --

2 MEMBER MUNN: Yes, that's correct.

3 DR. MAURO: 221.1. And I actually
4 have some notes in front of me that I took having
5 to do with the time period over which a worker was
6 exposed.

7 In other words one of the issues was
8 that the worker was there for active, whereby he
9 may have been exposed.

10 And the assumption was made in the
11 original. We're going all the way back now to
12 where the story starts. We're going all the way
13 back to TBD-6001 and its associated appendix.

14 And NIOSH used a 5 percent exposure
15 time period. In discussing and resolving this
16 issue with the Work Group, I believe it was
17 extended to 25 percent of the time for a variety
18 for reasons that are discussed in the AWE
19 transcript.

20 So, the 5 percent I believe went to 25
21 percent. I believe that's the very first one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If you're looking at the screen right now, you'll
2 see 5 percent. So that's the answer.

3 And that was in fact accepted and
4 accommodated I guess in Rev 2 of the TBD. So it's
5 all taken care of.

6 The second item is more general in
7 nature. It talks about, well, TBD-6001 -- let's
8 see what we've got here -- had a number of concerns
9 related to the picocuries per day that was taken
10 in.

11 And I'm looking at my notes here.
12 There was a change made in terms of -- let me just
13 straighten this thing out for myself.

14 Oh, okay. In this case the argument
15 is made that the actual new values which were
16 approved actually went down.

17 So the latest version of the TBD, and
18 there was justification given to it in terms of
19 intake rate went down.

20 The justification was provided in the
21 revised TBD. And it was discussed during the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meeting. So that issue has been resolved in
2 favor that, yes, it was -- better information
3 became available and that was the -- and actually
4 the intake rates went down.

5 And they discarded the old intake rate
6 that was there with the original TBD-6001, and
7 have a new one. And that was all discussed and
8 agreed upon. That's that item.

9 The third item which I believe is now
10 we're looking at -- I see things are moving on the
11 screen -- observation 1. Let me see how we
12 resolved this one.

13 Okay. Observation 1. Give me a
14 minute. I'm trying to get this to track out what
15 the issue was a little more clearly than the way
16 it's written up in here.

17 Oh, this has to do with the -- there
18 were a number of tables in the original TBD-6001
19 where it gave exposure rates in units that were
20 very confusing.

21 So this was more like that you really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could not understand what TBD-6001 was trying to
2 tell you to do because they were talking about
3 doses in mR per hour and millirad per day.

4 There was a lot of -- when you read it
5 you really didn't understand what was meant.

6 And in revising and getting rid of the
7 TBD-6001 and replacing that with current Rev 2 of
8 the TBD itself for Hooker all that's been cleared
9 up. So, that observation is taken care of.

10 The new document now is very
11 understandable. So with that I guess we can go
12 on to the next observation.

13 Okay, hold on. We actually had a
14 different -- there's an mR per hour number here.
15 And then we performed some calculations.

16 How that -- the correct starting dose
17 rate was, in fact, corrected in the latest version
18 of the TBD. There was a discrepancy between what
19 the exposure rate for contaminated surface was
20 between TBD-6001.

21 And we actually had a different value.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And NIOSH revisited that issue and corrected that
2 value in the Revision 2 that's currently in place.

3 And that -- so that issue has been
4 resolved.

5 The nature of the way the changes were
6 made and why they were made, going from the change
7 in exposure rate is laid out in the Work Group
8 meeting in July.

9 So I believe that everyone was
10 satisfied that observation 2 has been taken care
11 of.

12 CHAIRMAN KOTELCHUCK: This is Dave.
13 Are we talking about an observation, or in fact
14 is it a finding?

15 DR. MAURO: No, we've left the
16 findings now. We're in the observations
17 section.

18 CHAIRMAN KOTELCHUCK: No, no, I know
19 it says observation. I see that. My question
20 is, is that correctly noted as observation? Let's
21 just see.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 What NIOSH did at the time was correct
2 based on the information that they had. And then
3 the updated TBD that was changed based on the TBD.

4 DR. MAURO: Unfortunately I don't
5 know enough to answer that question, but I
6 understand what you're asking.

7 And whether or not -- there was a lot
8 of material in the original TBD-6001 that was
9 contradictory and unclear. And as a result it
10 was withdrawn.

11 And this may very well have been one
12 of those items.

13 Now, why it's called an observation as
14 opposed to a finding I have to say I can't -- I'm
15 not quite sure.

16 I can check with Bill. Unfortunately
17 Bill is not available --

18 MS. GOGLIOTTI: John, it was an
19 observation versus a finding because they
20 followed their procedure. However, we disagreed
21 with the procedure that was followed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Good. And
2 that looked to me -- I agree that that seems to
3 me what John is saying.

4 So it seems to me appropriate to be an
5 observation.

6 DR. MAURO: Okay.

7 CHAIRMAN KOTELCHUCK: Unless there's
8 any other concern from any other Members. I
9 don't hear -- let's go on.

10 DR. MAURO: Okay. Now, I see there's
11 a -- I think we're up to observation 3 was already
12 previously closed so we don't need to talk about
13 that.

14 CHAIRMAN KOTELCHUCK: Okay.

15 DR. MAURO: Closed prior to the Work
16 Group meeting.

17 Now we've got observation 4 where we
18 have an intake rate. This goes to like the
19 residual period and intake rates.

20 And as you may be aware the whole
21 approach to the residual period, the intake rates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 apply an extension of review on their OTIB-0070.

2 The original review that we performed
3 was back in 2009. And then all of the subsequent
4 discussions that followed, a lot has happened,
5 some of which was specific to Hooker, some which
6 were more of an overarching generic issue on how
7 to deal with residual period dose
8 reconstructions.

9 I believe this was based on the fact
10 that there were changes made to the residual
11 period guidance, and as a result -- and this goes
12 for observation 5 too, I believe.

13 And as a result the new latest version
14 of the TBD for Hooker simply adopts the most
15 recent guidance regarding the residual period.

16 So in that regard the issue has been
17 resolved.

18 CHAIRMAN KOTELCHUCK: Okay.

19 DR. MAURO: I think that also goes for
20 number 5 because I see that deals with residual
21 exposure periods also.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Okay, so you could almost think in
2 terms of the residual period when it comes to all
3 these AWE cases, whether we're talking Hooker or
4 anything else, when we originally did the work,
5 it was before a lot of work went into the review
6 of the OTIB-70 and even TBD-6000.

7 A lot of water went under the bridge
8 between the time of the original DR reviews and
9 the time when the AWE Work Group went through a
10 lot of work, whether it was Paul Ziemer's Work
11 Group on the TBD-6000, or it was Henry Anderson's
12 Work Group on the TBD-6001, both of which -- a lot
13 was done, called OTIB-70 and of course TBD-6000
14 that really changed the complexion and
15 standardized the methods across the board for
16 dealing with residual periods at AWE facilities.

17 And so all of those issues, whether
18 it's Hooker or any other AWE site where you don't
19 really have very much data, any data, really have
20 been effectively resolved through the adoption of
21 these two very important documents, OTIB-70 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 TBD-6000.

2 CHAIRMAN KOTELCHUCK: Okay. So
3 that's the last observation.

4 DR. MAURO: I think that covers the --
5 yes. I hope that answered your questions.

6 CHAIRMAN KOTELCHUCK: Brad?

7 MEMBER CLAWSON: I appreciate that,
8 and thank you, John. I just wanted to have a
9 better understanding of how we got to where we
10 did. And I appreciate it.

11 CHAIRMAN KOTELCHUCK: Okay, very
12 good. So this case will come back to us to
13 confirm that the changes that will be reflected
14 by the current TBD has been completed, and then
15 we will -- is that correct, Ted?

16 MR. KATZ: No, I mean the case --
17 you're done. You just went through the findings.
18 That's it. You'll never see it. There's
19 nothing more to do with this case. It just goes
20 in your statistics for the next report.

21 MEMBER MUNN: It's now closed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: It's closed. It's all
2 closed. There's nothing left to do with this
3 case.

4 CHAIRMAN KOTELCHUCK: Okay.

5 MR. KATZ: So, the only thing left to
6 do is Rose will need to categorize based on what
7 those findings were -- the couple of findings that
8 there were -- there were only two findings among
9 what John covered -- how they categorize in terms
10 of their seriousness or whatever it is, but to
11 make sure that's consistent with whatever we have
12 in that case. But that's all.

13 CHAIRMAN KOTELCHUCK: Okay.
14 Alright, fine. So we'll close it. The people
15 agree, or Subcommittee Members agree.

16 MEMBER CLAWSON: I'm fine, Dave.
17 This is Brad.

18 CHAIRMAN KOTELCHUCK: Alright.
19 That lends a certainty and it's done, capital
20 D-O-N-E.

21 Pardon me, somebody was speaking?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: I was just agreeing
2 with you.

3 CHAIRMAN KOTELCHUCK: Okay, good.
4 It's always nice to finish.

5 Now, we will go on. It's quarter
6 after 2. Let's start the case reviews, and start
7 with the expedited file table, expedited case
8 table.

9 And let's work on that for a little
10 while. We will take a break, but let's work for
11 another half an hour or so. Okay. At any point
12 in the afternoon, if a Member would like to
13 request a break, please feel free to ask the group
14 and we will honor that.

15 But continuing, Albuquerque
16 operations, please, Rose?

17 MS. GOGLIOTTI: Okay, I think that
18 everyone knows that this is kind of a new process,
19 because we are going to be trying out an expedited
20 issues resolution process.

21 So, instead of going through the BRS

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in full detail of the Type 1 findings, we will
2 instead do a consolidated matrix, if you will,
3 that summarizes the resolution process, keeping
4 in mind that these are QA findings.

5 These are findings that we consider
6 resolved between NIOSH and SC&A already, but we
7 need to finally close them out with the approval
8 of the Board.

9 The Board does have the right to
10 disagree with us. We have the right to ask
11 questions. We can spend as much time or as little
12 time on each finding as we want, but this is a new
13 process to do.

14 The first case is finding 418.1 for
15 the operation of LANL, NTS and Sandia. And the
16 finding was that NIOSH did not request all of the
17 visitor records for the sites mentioned in the
18 CATI report, specifically the EE. It mentions
19 going to SNL Livermore and Iowa Ordnance Plant.

20 And there was our record of those
21 reflecting leaves. NIOSH came back and said that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's not Livermore, it's actually handled by SL
2 Albuquerque, which they had already carried.

3 And Iowa Ordnance Plant did not
4 respond to requests, therefore there was no data
5 to request from them. So we feel that that
6 finding can be closed.

7 MR. SIEBERT: Is this one of those
8 issues that's not a finding?

9 MEMBER MUNN: No, it's a finding.

10 MS. GOGLIOTTI: We could reduce this
11 to an observation because there was no error that
12 was made. However, there was not enough
13 transparency for us to know that.

14 CHAIRMAN KOTELCHUCK: It does sound
15 like an observation, in my opinion.

16 MEMBER MUNN: No, it's essentially
17 saying you overlooked something important. We
18 saw that actually. You didn't look at it, it
19 wasn't there.

20 MR. KATZ: So it's -- right. So
21 that's why it's an observation, it's not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correctly a finding.

2 CHAIRMAN KOTELCHUCK: So,
3 Subcommittee Members, can we agree with Rose?

4 MEMBER MUNN: Sure. Yes, I think so.

5 CHAIRMAN KOTELCHUCK: Okay, good.
6 It's closed. Let's go on to the second finding.

7 MS. GOGLIOTTI: Okay, this finding
8 said there was a failure to apply an energy
9 correction to the 30 to 250 keV photon dose. And
10 NIOSH came back and agreed somewhat.

11 They had used the health workbooks.
12 That was site-specific to do that 100 percent, 30
13 to 250 keV photon dose when the TBD actually
14 recommends 65 percent 30 keV and 35 percent 30 to
15 250 keV. What they did was in fact
16 claimant-favorable and so we would recommend
17 closing.

18 CHAIRMAN KOTELCHUCK: Very good.
19 Closing and observation.

20 MS. GOGLIOTTI: So that would be a
21 finding, because there was in fact an error that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was made.

2 CHAIRMAN KOTELCHUCK: The workbook was
3 not yet in effect when the DR was completed.

4 MS. GOGLIOTTI: So they used a
5 general workbook, which didn't have the
6 site-specific correction factors.

7 CHAIRMAN KOTELCHUCK: Okay. Other
8 comments from Subcommittee Members?

9 MEMBER MUNN: It was wrong once. It's
10 been fixed now. Closed.

11 CHAIRMAN KOTELCHUCK: Agree on
12 close?

13 MEMBER BEACH: Sounds like a finding
14 to me, Dave.

15 MEMBER CLAWSON: Finding.

16 CHAIRMAN KOTELCHUCK: Finding.
17 Okay, finding it is.

18 MS. GOGLIOTTI: Okay. Number three.

19 CHAIRMAN KOTELCHUCK: Okay.

20 MS. GOGLIOTTI: We were unable to
21 replicate the assigned SNL missed doses and this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 went back and forth with NIOSH, we realized that
2 they used incorrect shallow LOD.

3 And because they used the wrong LOD,
4 they ended up overestimating dose by 25 percent.
5 That change was claimant-favorable but still in
6 error.

7 MEMBER MUNN: Still an error.

8 CHAIRMAN KOTELCHUCK: Okay.

9 Alright, can we close on this with a finding?

10 MEMBER CLAWSON: Yes.

11 CHAIRMAN KOTELCHUCK: Okay. We're
12 moving quickly. But that's the point of an
13 expedited process. As we do the expedited
14 process, we'll move quickly but it is important
15 for Subcommittee Members who have any problems to
16 just say stop. I don't think you have to have a
17 question, more like you make an observation.

18 Because that's absolutely necessary.
19 We do not push the steamroller through, but we
20 want to consider each case carefully, as we are
21 doing at this point, in my opinion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, we will close this as a finding.

2 Okay, let's go on.

3 MS. GOGLIOTTI: Same case, there was
4 a failure to assign neutron dose at SNL. This was
5 sort of a judgment call. There was one zero dose
6 left in the review.

7 They're asking for it as an incidental
8 and do not assign any neutron dose. We, on the
9 other hand, felt that they should have assigned
10 a zero to that single zero in the neutron record.

11 It adds an additional 29 millirems,
12 does not affect the compensation decision.

13 CHAIRMAN KOTELCHUCK: It was a
14 decision -- could you repeat that? I didn't
15 follow quite -- the neutron dose was what?

16 MS. GOGLIOTTI: There was a single
17 LOD over 2.0 neutrons --

18 CHAIRMAN KOTELCHUCK: Oh.

19 MS. GOGLIOTTI: And NIOSH just
20 disregarded that single record as an incidental
21 record that maybe was erroneous.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay. And
2 NIOSH considered that appropriate and it was left
3 to be over 2.0.

4 MS. GOGLIOTTI: Yes, so typically we
5 would assign missed dose when there was a single
6 LOD over 2.0

7 CHAIRMAN KOTELCHUCK: Right.

8 MS. GOGLIOTTI: Sorry.

9 CHAIRMAN KOTELCHUCK: No, no. I
10 don't see -- that seems to be -- NIOSH, do you
11 stand by what she said that you did? And might
12 you explain?

13 MR. SIEBERT: This is Scott. Yes,
14 basically, there are times and places where there
15 can be indications that an individual may have
16 been monitored for neutrons, but didn't actually
17 have any exposure to neutrons.

18 It would be small, incidental
19 exposures. We will take that into account.
20 And, Matt Smith, I apologize, I don't remember the
21 document off the top of my head that covers that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, in this case, there was a single
2 timeframe. The person was a security guard at
3 Fourier and the places they worked did not
4 indicate to us that there likely was an exposure
5 to neutrons.

6 So that's the reason we did not assign
7 any kind of dose at that point.

8 CHAIRMAN KOTELCHUCK: Okay. So it
9 seems to me that we're saying then is that this
10 was a finding but it was of minimal impact.

11 MEMBER MUNN: Not only that, but it
12 was a reasonable judgment.

13 CHAIRMAN KOTELCHUCK: It was a
14 reasonable judgment. On the other hand,
15 reasonable people on the SC&A side said, no, you
16 should have added that.

17 So the two are not the two people
18 haven't resolved, so this is minimal impact. So,
19 in my mind, if there's a question, I would leave
20 it as a finding.

21 Even though, what NIOSH did seems

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appropriate. I mean, they disagree slightly.

2 MEMBER MUNN: It's a finding, but it
3 was a reasonable call.

4 CHAIRMAN KOTELCHUCK: Yes. Minimal
5 impact.

6 MEMBER MUNN: It's not in conflict
7 with good science.

8 CHAIRMAN KOTELCHUCK: Okay, shall we
9 close it?

10 MEMBER MUNN: Yes.

11 MS. GOGLIOTTI: Okay.

12 CHAIRMAN KOTELCHUCK: Let's go on to
13 finding 5.

14 MS. GOGLIOTTI: Okay, so in case
15 finding 5, findings show that there was failure
16 to follow measured dose guidance from the TBD.
17 And here, NIOSH agrees that the tool was used
18 inappropriately and they have subsequently
19 revised this case as part of Nevada Test Site PER.

20 And that represented some positive
21 electron dose that was not included in this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay, good.

2 MS. GOGLIOTTI: Finding 6 is similar.
3 They also made the same error with missed dose
4 guidance, and that was also corrected in the PER
5 case.

6 CHAIRMAN KOTELCHUCK: By the way, on
7 finding 5, we've agreed to close it. Committee
8 members, you're closing.

9 MEMBER MUNN: Correct.

10 CHAIRMAN KOTELCHUCK: Okay. Next,
11 number 6.

12 MS. GOGLIOTTI: Okay, 6. Again, this
13 is the same finding as finding 5. However, the
14 finding is missed electron dose instead of
15 measured.

16 CHAIRMAN KOTELCHUCK: Okay. Fair
17 enough. Let's stall the train a little bit with
18 6.

19 MS. GOGLIOTTI: Yes, sorry.

20 CHAIRMAN KOTELCHUCK: There we are,
21 okay. And then a finding.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: Okay. The next case
2 is at Brookhaven National Labs. Tab 435, Finding
3 1. Here, the finding says that the DR did not
4 include all occupational medical doses.

5 Here, I think what happened was a
6 misunderstanding about exactly what was
7 mentioned in the handwritten medical records.
8 On several of them, seven of them in particular,
9 had declined or not indicated on the handwritten
10 records, NIOSH informed us that that means that
11 the employees were voluntary or they were not
12 mandatory.

13 Further, an AWE could decline if they
14 wanted and standard practice would have these not
15 indicated or decline on the form.

16 CHAIRMAN KOTELCHUCK: Looks like
17 okay.

18 MS. GOGLIOTTI: I agree.

19 CHAIRMAN KOTELCHUCK: They have the
20 right to decline. So observation. And
21 Subcommittee Members, do I hear objections to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 closing this observation.

2 MEMBER MUNN: No, it sounds
3 appropriate.

4 MEMBER CLAWSON: Sounds appropriate
5 to me. This is Brad.

6 CHAIRMAN KOTELCHUCK: Okay, good,
7 thank you. Finding 2.

8 MS. GOGLIOTTI: Okay, finding 2, the
9 DR did not account for all intakes. NIOSH here
10 agreed with us that internal and missed doses
11 should have been included in dose reconstruction.
12 That has since been revised and it didn't have a
13 significant impact on the PoC, I believe it was
14 less than one percent.

15 CHAIRMAN KOTELCHUCK: Okay, so that
16 would be a finding of minimal impact. And so
17 it'll be recorded in the transcript, but was
18 somebody at SC&A keep count of minimal, medium,
19 high impact?

20 MS. GOGLIOTTI: Yes, so the original
21 impact is here in column h, if you look.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Oh, yes.

2 Thank you very much, I will pay very close
3 attention to this new table. That's very good.
4 So we will close this as a finding. Board
5 Members, unless I hear objections, this is closed
6 as a finding.

7 I do not, it is closed. Okay, finding
8 number three.

9 MS. GOGLIOTTI: Actually, this is a
10 Brookhaven National Labs finding number one. So
11 this is tab 336. Same site, different case.

12 CHAIRMAN KOTELCHUCK: Thank you
13 much, okay.

14 MS. GOGLIOTTI: The findings are a
15 combination of NTA badge frequency was not well
16 established here. The TBD says that monitoring
17 can be either weekly or monthly, and NIOSH picked
18 monthly.

19 However, the CATI indicated that the
20 reading was monitored weekly. And NIOSH had
21 agreed that there could have been some confusion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regarding the change-out frequency, and is in the
2 process of revising the Site Profile adjusting a
3 change-out frequency.

4 Here, the question was, sometimes at
5 Brookhaven National Labs, photon and neutron
6 monitoring may have had different frequencies.
7 And because they were different, it was difficult
8 to pick the correct monitoring frequency when
9 there may be zeroes in the record.

10 CHAIRMAN KOTELCHUCK: And in
11 addition to the Site Profile, that's needed, but
12 to the extent that the process was carried out
13 correctly, it's in the framework of professional
14 judgment that was compiled, it should be an
15 observation. Right?

16 MS. GOGLIOTTI: This resulted in a
17 Site Profile change.

18 CHAIRMAN KOTELCHUCK: I'm not sure
19 how to --

20 MS. GOGLIOTTI: They agreed that it
21 could have been interpreted another way.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Right.

2 MS. GOGLIOTTI: So there could have
3 been --

4 CHAIRMAN KOTELCHUCK: It could have
5 been interpreted another way. But that was
6 within the professional judgment of many, many
7 cases. They have a range of professional
8 judgment.

9 And we consider that judgments will
10 differ, but in my mind it's still --

11 MEMBER MUNN: May I have a little --

12 CHAIRMAN KOTELCHUCK: Wanda?

13 MEMBER MUNN: Yes, a clarification.
14 I'm not sure -- I thought I understood what the
15 issue was, as we were going through it. But now
16 the discussion is causing me to think perhaps I
17 didn't quite understand.

18 The issue of those, as a result of the
19 information in the CATI not conforming to the
20 frequency that was used for the dose
21 reconstruction. Is that correct?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: Yes, that is correct.

2 MEMBER MUNN: But if there was a
3 difference in timing between the two badges, then
4 I can understand how the CATI might be totally
5 uninformative in that regard.

6 MS. GOGLIOTTI: Yes.

7 MEMBER MUNN: You do have the files.
8 Your dose records tell you how frequently each of
9 those types of measurements were made. Are we
10 saying that we should question the reliability of
11 the dose records you have, because the CATI
12 doesn't agree with it precisely?

13 MR. BARTON: Wanda, this is Bob
14 Barton. If I could maybe clarify a little bit
15 here. This was one of my cases.

16 MEMBER MUNN: Yes, please.

17 MR. BARTON: If you read the input at
18 the time, it essentially said that neutron
19 monitoring could be either on a monthly or a
20 weekly badging schedule for the time period this
21 person worked.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, as I recall, the actual records
2 did not provide the information for what the
3 actual badging schedule was. I believe they were
4 quarterly summaries.

5 MEMBER MUNN: Oh, okay.

6 MR. BARTON: So to make a choice
7 between monthly or weekly, we felt that the
8 correct choice was to pick the weekly,
9 particularly since that's what was stated in the
10 CATI report.

11 MEMBER MUNN: Right, okay, yes.
12 I've gotcha. So the employee had a good idea of
13 how frequently his badges were changed, but has
14 no way of knowing whether those were all the same
15 type of badge or if they were differing badges.

16 And you have the quarterly record,
17 rather than the weekly one. Okay, got it.

18 CHAIRMAN KOTELCHUCK: So what do you
19 say in terms of observation or finding?

20 MEMBER MUNN: I would say, in a case
21 like that, that it's an observation, simply

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because you have -- the record tells you what the
2 problem might be with respect to an employee's
3 memory of it.

4 And you can't do more than base your
5 judgment on the actual record that you have.
6 That's what was done. So, it seems to me, an
7 observation.

8 It was one of those things that one
9 would question, but you have an explanation that
10 cannot be pursued beyond what has already been
11 pursued.

12 CHAIRMAN KOTELCHUCK: Yes, I'm
13 feeling too that it's an observation. Others?

14 MEMBER BEACH: I think I was leaning
15 more towards a finding. But now with the new
16 clarification, I think I'm going to go with the
17 observation as well.

18 MEMBER CLAWSON: I agree.

19 CHAIRMAN KOTELCHUCK: Okay.
20 Observation it is. And it will be closed now.
21 Alright, let's go to the next finding, which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 number 0.3. What happened to finding 0.2?

2 MS. GOGLIOTTI: 0.2 would be a type
3 two finding, and we'll discuss that after we
4 finish --

5 CHAIRMAN KOTELCHUCK: Okay, fine,
6 you're going to discuss it later.

7 MS. GOGLIOTTI: Okay, in this
8 finding, there was a lack of environmental
9 internal dose assessment. And NIOSH agrees that
10 current practices are to always evaluate
11 environmental dose when there are no monitoring
12 records available.

13 And NIOSH has since revisited this
14 claim, but there was not PoC impact.

15 CHAIRMAN KOTELCHUCK: Okay. Good.
16 So that's a finding. Do we want to close on that
17 as a finding, folks?

18 MEMBER MUNN: Before we do that, I'm
19 wondering -- what our record says here is that
20 NIOSH has revisited the claim and the Site
21 Profile.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I don't see a resolution stated
2 there. We revisited it and, as a result --

3 MS. GOGLIOTTI: As a result, the PoC
4 had no impact. I have the BRS printout in front
5 of me here.

6 MS. BEHLING: This is Kathy. Did
7 NIOSH conclude that there needs to be a change to
8 the Site Profile? I agree with Wanda --

9 MS. GOGLIOTTI: The approach is being
10 clarified in the Site Profile.

11 CHAIRMAN KOTELCHUCK: Pardon?

12 MS. GOGLIOTTI: NIOSH said that the
13 approach is being clarified in the Site Profile.

14 MEMBER MUNN: Okay. So the Site
15 Profile is being revised?

16 MS. GOGLIOTTI: To make sure that the
17 environmental internal dose is included.

18 MEMBER MUNN: Yes, I think we need to
19 make sure that the language is being revised.
20 That --

21 CHAIRMAN KOTELCHUCK: Whether it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 revised or not, there -- shouldn't environmental
2 internal dose have been assigned?

3 MEMBER MUNN: Yes, but --

4 CHAIRMAN KOTELCHUCK: NIOSH had
5 concluded that it really should do that, and that
6 therefore it would be a finding.

7 MEMBER MUNN: Well, yes, but it
8 doesn't say it. What I'm trying to get at is, our
9 record does not say that the Site Profile is being
10 changed.

11 CHAIRMAN KOTELCHUCK: Okay, also
12 right.

13 MS. GOGLIOTTI: But that's not the
14 official record. This is simply a summary sheet
15 that I put together for the sake of the Board. I
16 will still go back and update the BRS, because
17 that's still our main recording mechanism.

18 And it's there. The record does
19 state that the approach is being clarified in the
20 Site Profile.

21 MEMBER MUNN: Yes. I just wanted to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 point out -- I'm a stickler for this. So what was
2 the resolution? And since I didn't see a
3 resolution here, and didn't really hear it, the
4 fact that they revisited it doesn't mean anything
5 to me. And that's why I said, and, and?

6 MS. GOGLIOTTI: I apologize. In the
7 future I'll try to make sure that's made clear.

8 MEMBER MUNN: That's quite alright.
9 It's almost impossible to do this on the fly.
10 Thank you, you've done an outstanding job in
11 getting this together for us. Thank you.

12 MS. GOGLIOTTI: Thank you.

13 CHAIRMAN KOTELCHUCK: Very good. So
14 we can close.

15 MS. GOGLIOTTI: Okay.

16 CHAIRMAN KOTELCHUCK: Okay, so it's
17 closed. Now, we go to the BWXT Technology.

18 MS. GOGLIOTTI: Okay, so this the new
19 case, tab 421, observation 1. And here, the
20 finding or the observation says the DR was
21 completed in 2011. But in 2012, OTIB-70 was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 revised. And that changes the short-term
2 depletion rate from 1 percent to .067 percent.
3 And implies a significant increase in the
4 residual dose.

5 And NIOSH completely agrees with this
6 finding and will reassess this case as part of PER
7 56.

8 CHAIRMAN KOTELCHUCK: But it was done
9 properly for the depletion rates at the time.

10 MS. GOGLIOTTI: Correct, which is why
11 it's listed as an observation. I was just going
12 to say that our current process now as a finding
13 --

14 CHAIRMAN KOTELCHUCK: Pardon?

15 MS. GOGLIOTTI: Our current process
16 changes things that were done correctly at the
17 time, but have since become outdated. We could
18 change those findings. At least that's what we
19 started doing several meetings ago.

20 MR. KATZ: For those -- well, there's
21 two scenarios, which we've talked about.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There's a scenario where it was incorrect, and
2 then it's been updated because it was known bound
3 to be incorrect, and it was updated.

4 And then there's a scenario where we
5 went out and did more data captures, and now we
6 have new information, so we have a better method.
7 The latter, where we went out and collected more
8 information and have a better method, that's not
9 a finding.

10 The original was done under the right
11 premises, and so on, given the limited data. But
12 the former, where there was an inaccuracy in the
13 method in the first place, and then we went out
14 and corrected it, that's still a finding.

15 And that's what we've been doing for
16 the last number of meetings.

17 MEMBER MUNN: Yes, I think so. And
18 this business of depletion rates similar to that
19 other kind of standard usage that we've had in
20 these processes has been beaten to death in this
21 forum and in others.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, given my understanding of what I
2 thought we were doing, this is appropriately an
3 observation. Because we were doing 1 percent
4 depletion at the time.

5 CHAIRMAN KOTELCHUCK: Okay, great.

6 MR. KATZ: Well, the 1 percent
7 depletion was always wrong. And we finally
8 figured that out through a whole bunch of
9 meetings, right, under procedure.

10 MEMBER MUNN: That's correct.

11 MR. KATZ: But it was agreed so that's
12 the case where the methods weren't right in the
13 first place. It's not that we went out and did
14 data collection and so we know more.

15 It's that they never were right. So
16 this should be a finding under this approach.

17 If we had gone to some site and just
18 done another data capture and learned more and
19 hence changed our methods it wouldn't be a
20 finding, it would be an observation because the
21 old method would have been fine given the limited

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data.

2 This isn't a question of limited data.
3 This is a question of we were doing it wrong, we
4 finally figured out how to do it right.

5 And so it's wrong in this case. It
6 should be measured as a finding. For this case
7 it wasn't handled right.

8 CHAIRMAN KOTELCHUCK: Would
9 Subcommittee Members agree with that?

10 I was not aware that the 1 percent per
11 day which was the case when I started on the Board
12 was known to be wrong.

13 MEMBER MUNN: I didn't know that
14 either.

15 MR. KATZ: So, Dave, you're thinking
16 about -- we're talking about depletion rate, not
17 the 1 percent sample business. We're talking
18 about a depletion rate, right, for --

19 CHAIRMAN KOTELCHUCK: Right, of 1
20 percent per day. Yes.

21 MEMBER MUNN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: And I just have
2 not heard that said until you just said it. And
3 therefore I always took it as -- that's the method
4 that we could say it's fine and use it.

5 MR. KATZ: No, the Procedure
6 Subcommittee as Wanda could tell you, spent --
7 with John Mauro and Jim Neton, spent an enormous
8 amount of time working through this whole issue
9 of the depletion rate and came to a very strong
10 conclusion that, depending on the exposure
11 circumstances the depletion rate was
12 inappropriate for certain exposure
13 circumstances.

14 CHAIRMAN KOTELCHUCK: And I heard
15 that discussion at a Board meeting. And it was
16 a very important conclusion and appreciated.

17 But the question is if 1 percent was
18 wrong and we knew it to be wrong in the first
19 place, and then we decided if that's something to
20 correct, fine, but I didn't hear that.

21 I heard 1 percent was the best result,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the best estimate that we could make initially,
2 and a lot of work was done, and a better estimate
3 was made.

4 MR. KATZ: SC&A had this finding that
5 dated back a long way, took a long time to resolve,
6 but it got resolved in effect in SC&A's favor.

7 So, it was always from the start, from
8 the first review of that matter a concern with
9 that depletion rate under certain circumstances
10 and it finally got sorted out.

11 CHAIRMAN KOTELCHUCK: Okay. And I
12 will ask the other Subcommittee Members who were
13 here at the time, and what struck me was initially
14 I thought Wanda said that this was an observation.

15 So, I need other -- Wanda, I need other
16 Board Members to confirm what Ted said.

17 MEMBER MUNN: Absolutely.

18 CHAIRMAN KOTELCHUCK: And I'm not
19 saying, Ted, you're wrong.

20 MEMBER MUNN: No, no, no. No, not at
21 all. Absolutely. Everybody needs to weigh in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on that.

2 CHAIRMAN KOTELCHUCK: And what did
3 you get, Wanda?

4 MEMBER MUNN: Well, my memory is not
5 as good as Ted's, and I certainly have not checked
6 the records.

7 But I had accepted 1 percent in my own
8 mind as being one of those things about which
9 reasonable people debate, not as something that
10 we knew was wrong.

11 And it's very difficult for me to know
12 that we, quote, knew it was wrong as is often the
13 case with things that have been used in a certain
14 manner for a long time.

15 And then whether we have better
16 information or not just is finally resolved.

17 So is it improper to rely that heavily
18 on what I'm saying because what I am trying to sort
19 out in my own mind is the difference between what
20 we know to be wrong and what we have taken as
21 accurate forever.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Can I just -- on this known
2 to be wrong, what I'm saying is it was wrong
3 because everyone, NIOSH and SC&A and the Board
4 Members all agreed that the other method was
5 appropriate, and that the old method was
6 incorrect.

7 I'm not saying that NIOSH, when it was
8 using this method, knew it was wrong. I would
9 certainly not say that or imagine that even, so
10 that wasn't the case.

11 It was the case that this was
12 questioned by SC&A from the start. And finally
13 when it was resolved, everyone agreed that the
14 methodology being used was incorrect and needed
15 to be updated, and it was updated. It's been
16 quite a while now that it's been --

17 MEMBER MUNN: Oh yes, I realize.

18 CHAIRMAN KOTELCHUCK: The finding
19 and observation has to focus on NIOSH and what
20 NIOSH does.

21 Because we're looking at a 1 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sample of all the -- so we want to make sure that
2 NIOSH is doing the right thing, and that -- and
3 I still -- it still looks like an observation to
4 me.

5 MR. KATZ: I guess the proof of the
6 pudding is these cases, cases that have been done
7 based on the wrong methodology are redone or
8 considered for redoing based on the new
9 methodology.

10 MEMBER MUNN: Yes.

11 MR. KATZ: And again, it wasn't
12 because we just collected more information. It
13 was because there was a method issue based on what
14 we knew way back then.

15 The data we had wasn't the question.
16 It was our methodology.

17 MEMBER MUNN: Yes, that's what a lot
18 of PERs are about. Yes.

19 MR. KATZ: Well, no, PERs can be based
20 on just collecting new information.

21 MEMBER MUNN: Yes, I know, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's a lot of them are based --

2 MR. KATZ: In this case it wasn't.

3 CHAIRMAN KOTELCHUCK: The three of us
4 are talking, Ted, Wanda, and I. How about other
5 members weighing in or expressing?

6 MEMBER CLAWSON: This is Brad. I
7 agree with what Ted is saying. I see this as a
8 finding.

9 We can debate this, but if we were
10 doing something wrong, we were doing it wrong.
11 And that's the way I look at it.

12 CHAIRMAN KOTELCHUCK: Okay. And
13 others may want to pass, you know. You don't have
14 to comment. Particularly those of you who are
15 more senior Board Members.

16 MEMBER BEACH: I fall on the side of
17 it being a finding also.

18 CHAIRMAN KOTELCHUCK: Well.

19 MEMBER POSTON: This is John.
20 Basically if we're doing it wrong, it seems to me
21 it ought to be a finding.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay. We have
2 now several folks saying it should be a finding.
3 And I'll go along with that.

4 Then let's note this as a finding.

5 MS. GOGLIOTTI: Okay.

6 CHAIRMAN KOTELCHUCK: And it's not a
7 life and death matter, and it's not a compensation
8 matter, but it's a matter of our records. So, we
9 will close this observation as a finding. As a
10 finding.

11 MS. GOGLIOTTI: Okay. Observation 2
12 and observation 3 are exactly the same issue, just
13 different aspects. Internal uranium and
14 residual.

15 So we can close those also.

16 CHAIRMAN KOTELCHUCK: Let's close
17 both of those off as findings, consistent with our
18 previous discussion.

19 Okay, folks? Is that okay,
20 Subcommittee Members?

21 MEMBER MUNN: Yes, that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appropriate.

2 CHAIRMAN KOTELCHUCK: Alright. And
3 by the way, just -- the Subcommittee closes.

4 MS. GOGLIOTTI: Okay.

5 CHAIRMAN KOTELCHUCK: Now, it's 10
6 minutes to 3:00. Let's do one more. We have one
7 more case for BWXT. Let's do that and then take
8 a break.

9 MS. GOGLIOTTI: Okay, this is
10 actually the same case as finding number 1. And
11 the finding says that we were unable to reproduce
12 the external residual dose.

13 And here NIOSH did in fact do the dose
14 reconstruction correctly for external residual
15 dose.

16 However, that information was not
17 included in the original dose reconstruction so
18 that is why we were unable to verify it.

19 CHAIRMAN KOTELCHUCK: Right.

20 MS. GOGLIOTTI: Once they provided it
21 to us, we were able to verify that it was done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correctly. And so I would suggest we reduce this
2 to an observation.

3 CHAIRMAN KOTELCHUCK: I agree. Do
4 others agree?

5 MEMBER MUNN: Yes.

6 CHAIRMAN KOTELCHUCK: Well, it's now
7 2:50. Do we want to take a 15-minute comfort
8 break? And we'll reconvene at 3:05 Eastern
9 Daylight Time.

10 MEMBER MUNN: Very good.

11 CHAIRMAN KOTELCHUCK: Okay, folks.

12 (Whereupon, the above-entitled
13 matter went off the record at 2:51 p.m. and
14 resumed at 3:07 p.m.)

15 CHAIRMAN KOTELCHUCK: Rose, it's now
16 set up with the first case which is actually
17 General Atomics.

18 **Case Review Issues Resolutions for Sets 14-18 (Oak**
19 **Ridge, Paducah GDP, SRS, RFP, INL, NTS, AOO, and other**
20 **Facilities)**

21 MS. GOGLIOTTI: Yes and this is
22 observation 1. Here the observation states that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the DR report did not acknowledge that he was
2 eligible for compensation under the SEC and
3 states that he did not qualify this year of --

4 (Telephonic interference)

5 It was simply that template that the
6 dose reconstructors were using was not specific
7 and NIOSH has been corrected that it do -- the case
8 did qualify for compensation under the SEC, but
9 the dose reconstruction was necessary because one
10 was a cancer that didn't qualify.

11 CHAIRMAN KOTELCHUCK: You're right.
12 This has come up many times recently. So I
13 propose that we close the case as an observation.

14 MEMBER MUNN: Yes.

15 CHAIRMAN KOTELCHUCK: I trust all
16 agree. Okay.

17 MS. GOGLIOTTI: Okay. Finding 1,
18 same case. Here the finding states that NIOSH
19 used 30 percent thorium-232, 70 percent
20 thorium-228. And in fact it should have been a
21 70 percent 232, 30 percent 228.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And NIOSH agreed with the findings.
2 It's a simple QA error when the dose reconstructor
3 put that information and CADW tool. And it
4 didn't have an impact on compensation.

5 CHAIRMAN KOTELCHUCK: While the
6 error had no impact on compensation -- had no
7 impact, or could it be a medium impact?

8 MS. GOGLIOTTI: It did not change the
9 compensation decision.

10 CHAIRMAN KOTELCHUCK: Right, but
11 that would lead to the possibility of it being low
12 or medium as opposed to high. If it changed the
13 compensation decision.

14 MS. GOGLIOTTI: Yes, if it changed
15 the compensation decision it would definitely be
16 a high impact.

17 CHAIRMAN KOTELCHUCK: It would be an
18 error. That would be more than a finding.

19 But it's a quality QA error so the --
20 and as the -- you're assessing that it has a low
21 impact, and that's absent my looking at the case,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and you are looking at the case, so I'll go along
2 with that and just say that it's a finding with
3 low effect.

4 Are there any concerns from
5 Subcommittee members?

6 MEMBER MUNN: Correct. It's a QA
7 issue and it's a finding.

8 CHAIRMAN KOTELCHUCK: Okay.
9 Alright. I declare it closed unless I hear
10 otherwise.

11 MEMBER MUNN: It's closed.

12 CHAIRMAN KOTELCHUCK: Okay, closed.
13 The second.

14 MS. GOGLIOTTI: Okay. NIOSH omitted
15 the finding of internal dose to the years of
16 diagnoses. And NIOSH agreed that this was an
17 error. I believe it was a copy and paste error
18 and we've since corrected the problem.

19 And it didn't have any effect on the
20 compensation decision.

21 CHAIRMAN KOTELCHUCK: Okay. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that -- NIOSH agrees with that so it would be a
2 finding and closed.

3 Excuse me, we should close it. Other
4 Subcommittee members? Any comments? Okay, no
5 comments. It's closed.

6 And now we go on to General Electric,
7 Ohio, Oak Ridge, BWXT.

8 MS. GOGLIOTTI: Observation 1 says
9 that NIOSH assigned unmonitored dose for the year
10 1959. SC&A could not find BWXT calculation
11 workbook that contained doses for 1959.

12 They were correctly assigned an IREP
13 table. And NIOSH was actually able to provide us
14 additional information in the form of an SRDB
15 guidance document that shows that NIOSH was, in
16 fact, able to bound all these exposure at MMSC
17 (phonetic). Just not all exposures for all
18 potential workers.

19 And that was an observation again.

20 CHAIRMAN KOTELCHUCK: Okay.

21 Observation. Agree? Members? Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Alright.

2 Okay, now for Grand Junction.
3 Observation 1.

4 MS. GOGLIOTTI: This is one more
5 finding in this case.

6 CHAIRMAN KOTELCHUCK: Pardon?

7 MS. GOGLIOTTI: There was one more
8 finding in this case, finding number 1. Sorry --

9 CHAIRMAN KOTELCHUCK: Grand
10 Junction.

11 MS. GOGLIOTTI: No, this is still the
12 same case, finding 1.

13 CHAIRMAN KOTELCHUCK: You're still
14 at 437?

15 MS. GOGLIOTTI: 437, finding 1. And
16 it states that there was an incorrect frequency.

17 CHAIRMAN KOTELCHUCK: I'm sorry, I
18 did not notice that the -- I didn't notice --
19 pardon me. You're right. Please go ahead.

20 MS. GOGLIOTTI: Okay. They
21 discovered there was an incorrect frequency of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 chest X-rays for years 1971 and '70. And NIOSH
2 agrees and the latest BWXT workbook has corrected
3 these values.

4 This has all been very small
5 underestimate in dose and had no impact on
6 compensation.

7 CHAIRMAN KOTELCHUCK: Okay. So that
8 is a finding. Do folks -- are folks ready to
9 close then?

10 Okay. Alright, then we will close
11 that as a finding. And now for Grand Junction.

12 MS. GOGLIOTTI: Grand Junction
13 observation 1 from tab 337. And here the
14 observation says that the DR report should have
15 included -- we think that a brief discussion as
16 to why occupational medical doses are not
17 discussed.

18 And NIOSH said that they didn't need
19 to assess them because the claim was compensated.
20 But they agreed that they should have at least
21 mentioned it in the dose reconstruction report.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And they have since corrected that
2 where they now include that information in dose
3 reconstruction reports.

4 CHAIRMAN KOTELCHUCK: Okay.
5 Certainly a very clear observation. And shall we
6 close it?

7 MEMBER MUNN: I would.

8 CHAIRMAN KOTELCHUCK: Okay, closed.
9 Are we done? Second observation for Grand
10 Junction. Okay.

11 MS. GOGLIOTTI: Okay. This
12 observation has to do with two inconsistencies
13 that we identified.

14 First, the reference document in the
15 report are to the Uranium Reduction Company's
16 plants which is in Utah.

17 And there was no discussion on
18 inaccessibility of the reference documents at
19 Grand Junction.

20 And also the concentrations that were
21 cited in the report did not match the ones that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were actually used.

2 And in fact, this was an erroneous
3 reference that should not have been included in
4 the report.

5 NIOSH did provide us with the correct
6 reference and we were able to verify that it was
7 correctly used.

8 CHAIRMAN KOTELCHUCK: Okay. This
9 was a good observation. So we will close this as
10 an observation if members agree.

11 MEMBER MUNN: Agree here.

12 MEMBER BEACH: Agreed.

13 CHAIRMAN KOTELCHUCK: Without
14 objection, closed.

15 Now, the Iowa Ordinance, 341.

16 MS. GOGLIOTTI: Okay. This is
17 observation 1. Here, the TBD and -- in the DR
18 report it references the TBD and Appendix,
19 revision zero.

20 And using that guidance the derived
21 dose is 4.04 instead of 3.91 rem -- or 0.29, I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sorry.

2 And it appears that NIOSH actually
3 used Rev 2 instead of Rev 0. And NIOSH did in fact
4 use a different revision than was cited in their
5 report. They used the current revision which is
6 what they should have done, it was simply an
7 erroneous reference in their report.

8 And that is an observation.

9 CHAIRMAN KOTELCHUCK: Okay. Any
10 concerns?

11 By the way, I'm curious because this
12 is the first time I've come into contact with Iowa
13 Ordinance. In an earlier case it was said that
14 they don't return data.

15 But here we have two -- one Iowa
16 Ordinance claim case. Is there a contradiction
17 between we can't get data from Iowa and the fact
18 that we have this case here?

19 MR. CALHOUN: This is Grady. Iowa
20 Ordinance is an SEC and that's probably one of the
21 main reasons. I don't know if that's what you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 referring to.

2 CHAIRMAN KOTELCHUCK: Rose or Kathy,
3 did we --

4 MS. GOGLIOTTI: What happened is that
5 the records from Iowa Ordnance Plant got shipped
6 off and were in storage. And NIOSH came across
7 them and put them in their record. But they don't
8 actually maintain a database of past workers.
9 That would be my guess.

10 CHAIRMAN KOTELCHUCK: Aha. Okay.
11 That would explain it.

12 I don't foresee any further
13 questioning about that. That's a reasonable
14 possibility. And so yes, let's hear what the
15 case -- so let's go back to 239 observation 1.
16 That it was used correctly and in this case has
17 one -- we accept it -- Subcommittee members, we
18 accept this as an observation.

19 Any objection by Subcommittee
20 members?

21 MEMBER MUNN: No objection here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay.

2 Hearing none, we'll close that. Okay.

3 Now let's go to the first finding.

4 MS. GOGLIOTTI: Okay, the findings
5 says that NIOSH defined uranium dose as not
6 substantiated. The method that they used did not
7 match the parameters that were specified in the
8 TBD.

9 And NIOSH does agree that they didn't
10 use the method that was specified in the TBD.
11 Instead they used a very over-estimating approach
12 that resulted in adding an additional 1.2 rem.

13 And had they used the more
14 conservative assumption we'll recommend it would
15 not have an impact on the PoC. The estimate
16 impact on the dose at least.

17 CHAIRMAN KOTELCHUCK: Okay. That's
18 fine. So that will be a finding.

19 MR. SIEBERT: This is Scott, I do
20 question should there be an observation because
21 what we did, and remember this is 2004 when this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 claim was done.

2 It was a gross over-estimation to get
3 the claim done rather than using the much smaller
4 and specific values out of the TBD.

5 So it was an over-estimating
6 assumption and it's on the correct side of
7 compensability. So, we didn't necessarily do
8 anything wrong.

9 CHAIRMAN KOTELCHUCK: Was the TBD out
10 in 2004? The TBD. Or did you use the TBD that
11 was appropriate in 2004? If you did, then I would
12 agree it's an observation.

13 Or was the TBD in effect in 2004 which
14 -- being that the TBD was in effect in 2004 and
15 you didn't follow it then it's a finding.

16 Even though it's perfectly reasonable
17 to overestimate. Can someone answer that?

18 MR. SIEBERT: Yes, there was a TBD in
19 effect at the time, but I guess what I'm saying
20 is over-estimating assumptions are used all the
21 time rather than the values that are in the TBD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for expeditious purposes.

2 CHAIRMAN KOTELCHUCK: For
3 over-estimating.

4 MEMBER MUNN: This is a major
5 question that probably we're going to need to
6 address clearly here in this forum.

7 Because if we're going to say that
8 we're no longer going to do overestimates for
9 these cases that are in the opinion of the
10 reconstructors clearly not going to qualify then
11 we need to say right now stop doing
12 over-estimations.

13 I don't think that's really what we
14 want to say.

15 CHAIRMAN KOTELCHUCK: Good point.
16 Other folks?

17 MEMBER MUNN: It appears to me that
18 we're in a position of needing to define for
19 ourselves what we're going to use as our personal
20 gauge of how to proceed in that manner from now
21 on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Because we said we want to be as
2 precise as possible, but in these cases where
3 clearly they're not going to qualify in the mind
4 of the observer.

5 And you end up with an overestimate.
6 Have you done -- is that an erroneous thing for
7 us to do in the future? I don't think so.

8 MR. KATZ: No, I don't think anyone's
9 saying that efficiency cases overestimates are
10 out. We use them all the time.

11 MEMBER MUNN: Yes.

12 MR. KATZ: So, if this is clearly an
13 efficiency case then there's no problem.

14 MEMBER MUNN: No. That was my --

15 MR. CALHOUN: That was the case with
16 this one. This is Grady.

17 And we've done evaluations a long,
18 long, long time ago, and I think we all came to
19 the conclusion that it's just not cost-beneficial
20 for us to not do overestimates.

21 MEMBER MUNN: Yes, I certainly agree.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So in a case like that -- should we not simply make
2 an official judgment here in the Subcommittee
3 that in cases like this where it's clearly not
4 going to -- or not expected to be compensable from
5 the outset that it's okay to use this
6 over-estimating event?

7 And in that case findings -- an issue
8 like this will be treated as a finding or an
9 observation. It seems to me it's an observation.

10 MR. CALHOUN: It might be neither.

11 MS. GOGLIOTTI: Well, the point that
12 we were expressing here was that the dose that
13 they assigned was way higher than could have
14 possibly been received. Next to the current TBD,
15 no internal dose at all had been assigned.

16 That was why we issued it as a finding.
17 If they used something that was more
18 claimant-favorable than was recommended in the
19 TBD they can do that.

20 But we need some way of knowing that
21 that's what they were doing instead of they chose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the wrong value.

2 MEMBER MUNN: It seems to me entirely
3 appropriate that SC&A calls attention to the fact
4 that it was different. That uranium doses that
5 weren't substantiated, or any doses that weren't
6 substantiated, were included in the calculation.
7 That seems appropriate for them to call that to
8 our attention.

9 But by the same token in my mind it
10 justifies that -- when the response is accurate
11 as it is in this one that this is simply because
12 it was an overestimate. Then to me that's
13 clearly an observation.

14 But I certainly would not discourage
15 SC&A from calling it to our attention.

16 CHAIRMAN KOTELCHUCK: Yes, I
17 appreciate it also. But I understand that there
18 are many, many cases that have to be -- thousands
19 of cases that have to come through NIOSH and be
20 estimated.

21 And this is a proper overestimating

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 approach. And to task them to do it just feels
2 twice -- for doing overestimating and if the
3 person is not compensated based on the
4 overestimating, then I think this is not an
5 observation.

6 And that we should go ahead and change
7 it to an observation. Prove it, change to an
8 observation. Proving it's an observation.

9 So, are there further thoughts by
10 Subcommittee members before we close this? This
11 is an important issue. Maybe if others could weigh
12 in it would be helpful.

13 MEMBER CLAWSON: The way I kind of
14 looked at it kind of, well -- I can see both sides
15 on this because I don't see it as a finding.

16 I see it more as an observation.

17 CHAIRMAN KOTELCHUCK: Okay.
18 Anybody else want to make a comment?

19 Okay. Let's accept it as an
20 observation. And hearing no further -- no
21 objection I'd like to close it as an observation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: Okay.

2 CHAIRMAN KOTELCHUCK: Any objection?

3 I hear none. Closed.

4 Now, we go to 3 and 4.

5 MS. GOGLIOTTI: Okay. And this is
6 LANL case observation 1.

7 Here is simply an observation. We
8 find out that this dose reconstruction was done
9 under the old TBD which resulted in a dose of 1.35
10 rem for PoC. And that's been revised. The TBD
11 only finds that there is a 0.67 rem per PoC, their
12 dose was significantly lower when reassessed.

13 And that was simply to point out that
14 that was not the correct dose.

15 CHAIRMAN KOTELCHUCK: Okay. That's
16 certainly an observation.

17 That's a straightforward
18 observation. So, we will agree to close it as an
19 observation.

20 MS. GOGLIOTTI: Okay.

21 CHAIRMAN KOTELCHUCK: Alright.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We'll close that as an observation.

2 And now observation -- also Los
3 Alamos, 385.

4 MS. GOGLIOTTI: This is a LANL case
5 for plant employment at Nevada Site Office and
6 NTS.

7 Observation 1 for tab 385. The dose
8 reconstruction was performed-- actually this is
9 basically an identical issue to the one we just
10 talked about.

11 The TBD was revised. When dose
12 reconstruction was completed it would change
13 dose.

14 CHAIRMAN KOTELCHUCK: Alright.
15 This again should be closed as an observation
16 unless I hear objection. It's straightforward.

17 Hearing no objection that's closed.
18 And let's go to observation number 2.

19 MS. GOGLIOTTI: Okay. Observation
20 number 2. This observation says that although
21 the DR specified that GE-68 was included in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 internal environmental dose, it is not listed in
2 the CADW workbook for either of the cancers.

3 And NIOSH simply explained that they
4 did not mean to include that specific
5 radionuclide in the template. It should have
6 been removed.

7 Essentially saying that they didn't
8 want to include that. They didn't try to. It's
9 an error.

10 MEMBER MUNN: That's good.
11 Reasonable.

12 CHAIRMAN KOTELCHUCK: It's an
13 observation. Okay. Observation. And we'll
14 close it.

15 Any concerns? Okay.

16 MS. GOGLIOTTI: Finding 1, same case.
17 They said an incorrect LOD was used for mixed
18 photon dose, specifically for the years '87 and
19 '89.

20 And NIOSH agreed with that being an
21 error in the NTS workbook. It's since been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 corrected. NIOSH reworked the case and it
2 remains non-compensable.

3 They did increase the PoC by around 2
4 percent though.

5 CHAIRMAN KOTELCHUCK: Okay. So this
6 was a workbook error.

7 MS. GOGLIOTTI: Yes.

8 CHAIRMAN KOTELCHUCK: Finding on the
9 first one. So, and does not change the
10 compensability. So let's close it out as a
11 finding unless I hear objection.

12 MS. BEHLING: This is Kathy Behling.
13 Can I just ask a question? As I always do here.

14 Is this from the PER, the NTS workbook
15 issue?

16 MR. SIEBERT: This is Scott. The
17 claims that were worked at that workbook version
18 would have also fallen under the PER for NTS, 46.
19 So, yes.

20 MS. BEHLING: Okay.

21 (Simultaneous speaking)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Alright. So,
2 next one.

3 MS. GOGLIOTTI: Finding number 2.
4 The finding says that there were inconsistent
5 assumptions.

6 And NIOSH actually disagreed with
7 this one. They argued that the approach that
8 they employed was in fact claimant-favorable.

9 And here we just recommend that the
10 guidance in OTIB-17 perhaps needs to be clarified
11 to suggest that site-specific guidance is
12 intended to be extrapolated to all sites.

13 OTIB-17 lists certain sites, some of
14 the bigger ones, and in it it says that it will
15 be revised in the future to include other sites.
16 That hasn't happened.

17 Is it fair that NIOSH is extrapolating
18 the guidance in that to apply to sites that are
19 not mentioned?

20 We don't have a problem with OTIB-17,
21 but if it is being used for other sites it probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should be revised to reflect that.

2 CHAIRMAN KOTELCHUCK: Okay.

3 MEMBER BEACH: It seems reasonable.

4 CHAIRMAN KOTELCHUCK: Pardon?

5 MEMBER BEACH: This is Josie. I
6 agree with that. It seems reasonable to expect
7 the other sites to be in there.

8 CHAIRMAN KOTELCHUCK: Yes.

9 MR. KATZ: Okay, so this is then not
10 an error per se, it's just an observation,
11 improving the documentation?

12 MS. GOGLIOTTI: Yes, I would agree
13 with that as well.

14 MR. KATZ: So change it to an
15 observation.

16 CHAIRMAN KOTELCHUCK: So, you're
17 feeling it's a finding.

18 MR. KATZ: No. Rose just agreed it
19 should be changed to an observation because it's
20 only a documentation problem. They did the dose
21 reconstruction correctly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: But I think that
2 OTIB-17 doesn't specifically reference the site
3 that they were applying it to.

4 MR. KATZ: Right.

5 CHAIRMAN KOTELCHUCK: Okay. So, it
6 could be an observation potentially. So, do we
7 want to close it as an observation? Or are there
8 objections? No? Okay, let's close it as an
9 observation.

10 And now on to 3.

11 MS. GOGLIOTTI: 3 states that the
12 1978 medical dose was omitted. And NIOSH agreed
13 it was unclear, this particular case. It wasn't
14 apparent whether this was an application that
15 required X-ray or not, but to be
16 claimant-favorable it should have been included.

17 And actually it was already included
18 when the case was reworked for another issue.
19 And it did not significantly impact the PoC.

20 CHAIRMAN KOTELCHUCK: Okay.
21 Finding. Shall we close it as a finding? Are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there objections? Finding or not? Then let's
2 close it.

3 Okay. So, fourth finding.

4 MS. GOGLIOTTI: Finding 4, correct.
5 This finding says that an incorrect intake rate
6 was used for americium for internal environmental
7 dose.

8 And NIOSH determined that when they
9 were looking at the CADW output file that the
10 reviewer did not take into account the varying
11 intake rates that were actually used in the CADW
12 file.

13 NIOSH agreed that the Table 431 did
14 not include americium values pre-1977. And
15 since it was a maximum intake it did not include
16 the maximum values from Table 4.1 through 4.3.

17 And they're currently evaluating the
18 impact of that shortcoming.

19 CHAIRMAN KOTELCHUCK: Okay. So
20 discussion from Subcommittee members? Okay.
21 And we should close that as a finding. Are there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 objections? Hearing none let's move on.

2 MS. GOGLIOTTI: Okay. The next one
3 is the Lawrence Livermore National Lab. Tab 435
4 finding 1.

5 The findings says that tritium dose
6 for 1973 and '74 was not assigned.

7 And here actually the tritium results
8 were not available when NIOSH completed the dose
9 reconstruction. I believe they requested the
10 records and the dose reconstruction was completed
11 before the records arrived so they have a several
12 month data due later record than the dose
13 reconstruction was completed.

14 Under NIOSH's normal process for
15 review new information the dosimetry was actually
16 done for this after we reviewed the case, and it
17 increased the PSC by approximate 4 percent.

18 CHAIRMAN KOTELCHUCK: Okay. But
19 again was appropriate at the time, and they went
20 through their normal process and found that. I
21 would say observation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I would close it as an observation.

2 MR. SIEBERT: This is Scott. I do
3 have a question. Would it be an observation, or
4 would it be withdrawn? Because there was no way
5 for us to know additional information would come
6 in after the claim was done.

7 And we did assess under the PADS
8 (phonetic) process.

9 CHAIRMAN KOTELCHUCK: That is true.

10 MS. GOGLIOTTI: Well, I agree, but
11 when the record is compressed they often don't
12 have dates on them so it's not possible for us to
13 know that was received after the fact.

14 CHAIRMAN KOTELCHUCK: That's an
15 appropriate comment and it seems to me that it
16 should be withdrawn.

17 MS. GOGLIOTTI: Not as an
18 observation?

19 CHAIRMAN KOTELCHUCK: No, withdrawn.

20 MS. GOGLIOTTI: So, you don't want us
21 to point out that they don't use data? I'm not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 saying that --

2 (Simultaneous speaking)

3 MS. GOGLIOTTI: -- but under the
4 normal process if you don't use information
5 you're obligated to report that.

6 CHAIRMAN KOTELCHUCK: I mean,
7 normally we say that an observation does not
8 affect the decision. I don't know, my leaning --
9 what do others think? I wondered whether maybe
10 it should be withdrawn.

11 It's a timing issue. If you had
12 looked at it later you would have found out that
13 they did it right. There wouldn't be anything
14 there.

15 It's no complaint that you did it when
16 you did it, but --

17 MEMBER MUNN: This is another one of
18 those things which we need to go out of our way
19 to try to clarify here.

20 We certainly do want SC&A to notify
21 all and sundry when they discover that something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was incorrectly assigned, or not assigned.

2 That's their job, to tell us that.

3 CHAIRMAN KOTELCHUCK: Right.

4 MEMBER MUNN: But it's also the job of
5 NIOSH to be able to explain that adequately.

6 And when they explain it adequately,
7 and it's a case like this where it was done right
8 for the information at the time, this came later.

9 And we've been assured that the impact
10 on the compensable nature of the claim is not
11 affected, what do we want to do? This is a good
12 time for us to decide that.

13 Do we call this an observation? Or
14 are we going to call it a finding? Withdrawing
15 it doesn't seem to be correct simply because SC&A
16 followed the procedure we've asked them to
17 follow. Tell us when there's something wrong
18 here.

19 MR. KATZ: If this is helpful, I think
20 this is an observation. The reason why it's an
21 observation is this documentation issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There's no fault here in the
2 documentation. It's just that as I think Rose
3 said the documentation on the additional dose
4 that came in later information isn't dated.

5 SC&A had no way to know that that
6 wasn't in the hands of NIOSH when they did the dose
7 reconstruction, so they correctly called it a
8 finding.

9 It's not a finding because it's
10 actually -- they did it correctly, but it is a
11 documentation issue. Even though maybe this is
12 not a correctable one, but it's a documentation
13 issue. So I would call it an observation.

14 MEMBER MUNN: I certainly agree.

15 CHAIRMAN KOTELCHUCK: I think all the
16 arguments for observation are good.

17 MEMBER CLAWSON: This is Brad. I'd
18 agree with that, this being an observation.

19 CHAIRMAN KOTELCHUCK: Okay. I
20 certainly do want SC&A to call attention to
21 something like this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And it would certainly adequately
2 (telephonic interference) an observation, if you
3 will, suggest that anything is done wrong.

4 So, it's our least important note, but
5 it is still a note that we want to have. But I
6 think I withdraw the statement "least important."
7 That's different.

8 MEMBER MUNN: I think it's necessary
9 for us to have a record of the fact that SC&A,
10 they're charged appropriately by calling it to
11 our attention. Yes.

12 CHAIRMAN KOTELCHUCK: So I think
13 we're pretty well agreed that it's an
14 observation. And I'd like to close it. Anybody
15 object? Any Subcommittee Member object? No?
16 Then it will be closed as an observation.

17 MS. GOGLIOTTI: Okay.

18 CHAIRMAN KOTELCHUCK: Don't hesitate
19 to advocate for withdrawing when we feel like it.
20 And that's what the Committee decides.

21 MR. SIEBERT: I won't.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: So it's
2 appreciated that you raised an issue, and you
3 raised it to the Subcommittee even if they didn't
4 agree with it. Good.

5 Okay, 367. Now, we are supposed to go
6 till around 4:30. It's 3:45 Eastern time. And
7 it's almost breakfast time out here in Hawaii.

8 So, let's take a few more cases and
9 then around 4 o'clock let's start discussion of
10 -- let's talk a little bit about what we've been
11 going through with the expedited case table.

12 And then talk about a future date for
13 our meeting. So, we'll go on for another 15
14 minutes or so.

15 Alright, case 367, observation 1.

16 MS. GOGLIOTTI: Okay. This is a
17 NUMEC Parks Township case. So this observation
18 was added at the request of one of our Board
19 members during the one-on-one.

20 At the time that we reviewed this the
21 NUMEC TBD had not been formally evaluated by SC&A.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That has since occurred.

2 And so this observation was simply to
3 point out about CEP which was convicted of data
4 forgery and so they're no longer used.
5 Information that they provided are no longer used
6 in dose reconstruction.

7 And this was simply to provide
8 additional information.

9 CHAIRMAN KOTELCHUCK: Okay. Good.
10 Good observation. Thank you.

11 And nice to hear the candor of a
12 one-on-one with a Board Member, that that can
13 result in a useful observation.

14 Okay, so close this as an observation
15 unless I hear disagreement. I do not. It is
16 closed. And let's go on to Pantex.

17 MS. GOGLIOTTI: Okay. The new case,
18 Pantex tab 398, observation 1.

19 And here the observation says that in
20 the CATI report, the EE states that they wore a
21 lead apron, but the badge was worn on the lapel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 collar. Therefore the beta dose that was
2 assigned was actually correct by the dosimeter
3 for the unprotected skin.

4 And I believe this EE had a facial skin
5 cancer.

6 And NIOSH agreed that the lead apron
7 factor could have been eliminated for that
8 cancer.

9 And actually the DR was revised
10 omitting that and did not impact the outcome.

11 CHAIRMAN KOTELCHUCK: Okay. Good
12 observation. Any concerns? Shall we close it?

13 MEMBER MUNN: Yes, please.

14 CHAIRMAN KOTELCHUCK: Okay. No
15 objection. And by affirmation we'll close it as
16 an observation.

17 Number 2.

18 MS. GOGLIOTTI: Okay. In this case,
19 NIOSH assigned external ambient dose for the
20 years '58 through '62 according to PROC 60.

21 But SC&A found it not obvious that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 EE should have been monitored or not. If they
2 were supposed to be monitored then assigning
3 coworker doses would have been appropriate or
4 result in an additional 0.3 rem of photon dose.

5 And that would have a small impact on
6 the case.

7 NIOSH instead assigned ambient dose
8 instead of the coworker dose. Here, the EE
9 worker is a [identifying information redacted].

10 And we completely agree that it's a
11 judgment call. In this case NIOSH's judgment
12 might have been slightly less claimant-favorable
13 and it did not impact the compensation decision.

14 CHAIRMAN KOTELCHUCK: Okay. Any
15 objection to closing this as an observation?
16 Okay. So, let's close it as an observation.

17 MS. GOGLIOTTI: Okay. Observation
18 3. Sorry.

19 CHAIRMAN KOTELCHUCK: No, go ahead.
20 She's pulling the screen up now for observation
21 3.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: Okay, observation 3,
2 same case.

3 The TBD Table 5.6 did not state the
4 intake rate. It could have been microcuries per
5 day, or microcuries per year in any column of the
6 table.

7 And SC&A estimated based on other
8 entries in the table that they meant microcuries
9 per year. And NIOSH agrees that those units
10 should have been expressed in the table clearly
11 and we did make the right call. And we can make
12 the changes in the next revision.

13 CHAIRMAN KOTELCHUCK: Okay.

14 MS. GOGLIOTTI: Okay. Same case,
15 finding 1 states that NIOSH omitted a recorded
16 beta dose.

17 CHAIRMAN KOTELCHUCK: Excuse me.
18 Can you hear me?

19 MS. GOGLIOTTI: Yes.

20 CHAIRMAN KOTELCHUCK: Okay. Well,
21 there was a signal that my conference call ended.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I hope not. But I'm happy to live with trouble.
2 If you don't hear me for a moment or two and you're
3 waiting for a decision, please --

4 MEMBER MUNN: You're not loud and
5 clear, but you're there.

6 MR. KATZ: Yes, the only issue now
7 Dave is now if you're using a different phone, you
8 have an echo.

9 CHAIRMAN KOTELCHUCK: Aha. Okay.
10 I'll redial. I'm going to redial in. Please go
11 ahead, Wanda, and just take over for a moment if
12 you would.

13 MEMBER MUNN: Okay, that's fine.
14 We're still working Pantex, right?

15 CHAIRMAN KOTELCHUCK: Okay.

16 MS. GOGLIOTTI: Okay. So the
17 findings again stated that NIOSH omitted a
18 recorded beta dose 0.42 rem for the year 1966.

19 And NIOSH agreed that that dose was
20 missed. And when they revisited the dose
21 reconstruction it had a very small impact on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dose.

2 MEMBER MUNN: Good. To my mind it's
3 correctly a finding. NIOSH has agreed that it is
4 and has corrected it, and done the proper
5 calculation.

6 From my perspective it's a finding
7 that can now be closed. Any objection?

8 MEMBER BEACH: None here.

9 MEMBER CLAWSON: No.

10 MEMBER MUNN: Okay, closed, finding.

11 MS. GOGLIOTTI: Okay. Finding 2,
12 one case. NIOSH omitted a missed photon dose for
13 1968 and '72 and used the incorrect MDL values for
14 1975.

15 NIOSH agreed that the photon dose was
16 missed and the DR was revised, but there was no
17 impact on the claimed PoC.

18 The MDL problem resulted from an
19 inconsistency between the TBD tables and NIOSH
20 has agreed to correct that.

21 MEMBER MUNN: Excellent. Any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comments from the Board members? If not, finding
2 2 can be closed by the Subcommittee.

3 MS. GOGLIOTTI: Okay. Same case,
4 finding number 3.

5 NIOSH included two extra beta missed
6 zeroes for the year 1975 and used incorrect MDL
7 values for '74 through '76.

8 NIOSH does agree with that. The QA
9 error had no impact on the dose reconstruction
10 results.

11 MEMBER MUNN: Accurate finding agreed
12 to by NIOSH. Impact assessed. I suggest we
13 close finding 3 by the Subcommittee. Any
14 objection?

15 MEMBER CLAWSON: No.

16 MEMBER MUNN: If not, we're good.

17 CHAIRMAN KOTELCHUCK: Okay, back on
18 the again. Dave.

19 MEMBER MUNN: Oh, good. Alright.

20 CHAIRMAN KOTELCHUCK: Are we going
21 now to number 4?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: We're going now to
2 number 4, correct.

3 CHAIRMAN KOTELCHUCK: Good.
4 Thanks, Wanda, again.

5 MEMBER MUNN: You're welcome.

6 CHAIRMAN KOTELCHUCK: Can you hear me
7 adequately?

8 MEMBER MUNN: Yes, much better here.

9 CHAIRMAN KOTELCHUCK: Okay, very
10 good.

11 MS. GOGLIOTTI: Okay, finding 4
12 states that NIOSH omitted intake for the first
13 part of '64 and included an intake for 1978 twice.

14 And NIOSH does agree with that. They
15 were offsetting assumptions and then they revised
16 the case again. It didn't have an impact on
17 compensation.

18 CHAIRMAN KOTELCHUCK: Alright.
19 Certainly was a finding. And in both cases,
20 finding, two findings of the same -- call it one
21 finding and that's fine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, shall we close this as a finding,
2 folks?

3 MEMBER MUNN: Yes.

4 CHAIRMAN KOTELCHUCK: Okay.

5 MEMBER BEACH: Agreed.

6 CHAIRMAN KOTELCHUCK: Good. Okay,
7 closed. And now let's go through the Santa
8 Susana, 371.

9 MS. GOGLIOTTI: Okay. Area Four at
10 Santa Susana and they also had employment at De
11 Soto Avenue.

12 MEMBER BEACH: Can I interrupt? Did
13 you put that on Live Meeting? I'm only seeing
14 Pantex right now.

15 MEMBER MUNN: It's there.

16 MS. GOGLIOTTI: Sometimes there's a
17 slight delay.

18 MR. KATZ: Or, Josie, maybe you just
19 need to scroll down on your screen.

20 MEMBER BEACH: Gotcha. I'll try
21 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: Can everyone see it?

2 MEMBER BEACH: Yes. Yes, now I can.

3 Thank you.

4 MS. GOGLIOTTI: Here we pointed out
5 that we disagreed with the start date of covered
6 employment for Area 4.

7 The EE was involved in an incident at
8 the sodium reactor experiment which are located
9 in Area 4, June 4th through 5th, 1959.

10 And that incident -- we mentioned an
11 incident report predates the start of covered
12 period. And understand that DOL sets the covered
13 period, but we just disagreed with the period set
14 by DOL.

15 And NIOSH agreed and notified DOL of
16 its intent.

17 CHAIRMAN KOTELCHUCK: I think
18 actually this is an important finding it seems to
19 me due to that there is an incident report for that
20 person. That could have been known by NIOSH.

21 MR. KATZ: Dave, NIOSH is required

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 legally to follow DOL's determinations with
2 respect to start dates and end dates.

3 It doesn't matter if we have hot
4 evidence in our hands of earlier activity. Until
5 DOL changes that we're legally required to do our
6 dose reconstructions based on DOL
7 determinations. There's no fault with NIOSH on
8 this.

9 CHAIRMAN KOTELCHUCK: But it was SC&A
10 that found -- NIOSH didn't report it. SC&A did.

11 MR. CALHOUN: Yes, but I don't -- this
12 is Grady. I'm not sure that DOL did anything
13 about it. They'd have to be under contract for
14 a DOE-related activity for that to be covered.

15 And we're not completely sure of that,
16 but when these kind of things happen all we can
17 do is forward the information we have to DOL.

18 MR. SIEBERT: And however, just to be
19 clear, we did forward that information in 2010.
20 This claim was done in 2011 and we hadn't gotten
21 any response on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay, fine.

2 That leads us to going back to the same.

3 So, shall we close this as an
4 observation, folks? Subcommittee Members.

5 MEMBER MUNN: I don't think we have
6 any option.

7 CHAIRMAN KOTELCHUCK: We don't have
8 any option. You're absolutely right. So, we
9 will close this as an observation.

10 Alright, let's go now to finding 1.

11 MS. GOGLIOTTI: Okay. Here the
12 finding says that it's unclear if all medical dose
13 is accounted for.

14 And here -- this site was kind of
15 unusual. They used medical index cards and
16 detailed records.

17 And it was found that medical index
18 cards are actually fairly accurate. And if there
19 were other exam type -- I'm reading directly off
20 the DR here -- given in the record the dose is
21 based on the record.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The TBD default frequency is only used
2 when the records appear to be missing. Here
3 NIOSH follows always on the medical index cards.

4 I believe in the actual finding we
5 pointed out that we thought they should have used
6 the default frequency because it wasn't apparent
7 to us that the complete record was there.

8 And we do accept that medical cards
9 provide a sound judgment for judging a category
10 of exposure to be used.

11 We also note that the TBD does
12 recommend using the default for the examination
13 period for maximizing dose estimates like the one
14 that was used in this case.

15 But including those additional scans
16 wouldn't have an impact on comp case.

17 CHAIRMAN KOTELCHUCK: Okay.
18 Comments?

19 MEMBER MUNN: This is -- it's an
20 interesting one that we encounter every once in
21 a while, but the medical cards are part and parcel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of this particular site record.

2 So, if it was unclear during the dose
3 reconstruction then SC&A appropriately called it
4 to the attention and NIOSH appropriately
5 explained, verified it.

6 It looks to me like it's a reasonable
7 finding and reasonably closed.

8 CHAIRMAN KOTELCHUCK: Okay.
9 Subcommittee, should we close it as a finding?

10 MEMBER MUNN: Yes, in my view.

11 CHAIRMAN KOTELCHUCK: I agree.
12 Unless I hear objection it will be closed. And
13 it is so closed.

14 Alright. Now, finding 2.

15 MS. GOGLIOTTI: Okay. This finding,
16 same case, states that incidents were all
17 adequately addressed.

18 They had reported that they were
19 involved with the wash cell B incident and an SRE
20 fuel melt incident.

21 With the files were included redacted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incident files. And so we were uncertain if the
2 EE were directly involved since they were onsite
3 when it happened.

4 And combined with that there was a
5 lost dosimetry record around this one time period
6 that these incidents happened.

7 And so it wasn't clear to us whether
8 these incidents had been adequately addressed.

9 And NIOSH was able to report with an
10 unredacted copy of the SRDB file. And that
11 showed that the EE were in fact properly assigned
12 dose, and weren't directly involved in the
13 incidents on the dates that they occurred.

14 And so we feel comfortable that those
15 incidents were adequately addressed.

16 CHAIRMAN KOTELCHUCK: Okay.

17 MR. CALHOUN: That one should
18 probably be an observation at this point.

19 CHAIRMAN KOTELCHUCK: Yes, I think it
20 should be. Anything that was adequately
21 addressed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I believe it should be closed as
2 an observation. It was addressed properly.
3 Okay, we can close it as an observation unless I
4 hear objection.

5 Now, it's a little after 4 and we're
6 starting Sandia. So, maybe it's time to start
7 going through the table and suggest that we start
8 399 next time.

9 And before we think about another date
10 I'd like to hear some observations about our
11 expedited procedure and how do you feel that it
12 went today? I'd like to know other people's
13 thoughts about it.

14 But first let the Subcommittee
15 Members, we would like to comment. I would
16 appreciate comments.

17 MEMBER MUNN: I think it was
18 terrific. It's very, very helpful in terms of
19 expediting, getting to the kernel of the issue,
20 and eliminating a lot of reading and absorption
21 of salient but not necessarily specific items

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we have to look at when we're looking at these
2 things.

3 I thought it was marvelous. And I
4 believe Rose should have several roses for doing
5 such a great job putting it together for us.

6 Not to mention --

7 CHAIRMAN KOTELCHUCK: Wanda, I'm
8 sorry I cut you off. Wanda.

9 MEMBER MUNN: Somebody needs to, I'll
10 keep talking endlessly.

11 CHAIRMAN KOTELCHUCK: Okay, then
12 somebody else.

13 MEMBER CLAWSON: Okay, I'll
14 interrupt her then. This is Brad.

15 CHAIRMAN KOTELCHUCK: Okay.

16 MEMBER CLAWSON: I think it was
17 great. I think it was well put together.

18 It was easier for me to stay on where
19 we were at and be able to address these in this
20 form.

21 As you saw we have some that we still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had discussions on. But I think it was easier for
2 us to be able to come to a conclusion too.

3 And I do agree that Rose has done a
4 great job. I appreciate everybody's help in
5 putting it together.

6 MEMBER BEACH: I agree with both
7 Wanda and Brad. I think it's a very efficient way
8 to operate.

9 Being new to the Subcommittee I'm not
10 sure how laborious it was in the past compared to
11 now, but it seems very efficient at this time.
12 Thank you.

13 CHAIRMAN KOTELCHUCK: Okay. And
14 I'll join in with the others and say it seems to
15 me it was certainly an excellent discussion.

16 I think we're in the correct direction
17 about achieving the two -- about achieving
18 information. So, it was good.

19 I appreciate also that I just have the
20 cases or findings were low, medium and high put
21 in there so we can -- for keeping a steady count.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 These are all category 1, and we still
2 have hard category 2 to do.

3 MS. GOGLIOTTI: Yes.

4 CHAIRMAN KOTELCHUCK: And that's
5 going to take longer.

6 But just for this, Rose, let me ask you
7 if you would look to some of our previous
8 meetings, not necessarily the last one, but a
9 couple back. I think roughly the same amount of
10 time going over cases in the set. And see how
11 comparative this is faster than what we had done
12 before.

13 The other ones of course contain what
14 are now considered category 1 and 2. Just check
15 it.

16 MS. GOGLIOTTI: I will say there were
17 more definitive efforts up front. But it
18 definitely sped up our process.

19 In a meeting on average we spend the
20 entire time doing issues resolution. We
21 generally get through anywhere from 40 to 60

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 findings.

2 Here we spent around 2 hours and got
3 through 45. So I would say that was very
4 efficient.

5 We do still have 12 findings that are
6 type 2 from this set, and maybe another two dozen
7 or so type 1 findings.

8 From what I can see this is certainly
9 an efficiency. Were there any suggestions on
10 what I could improve on to make this more helpful
11 for our Board Members in the future?

12 CHAIRMAN KOTELCHUCK: No. I would
13 say this is in fact useful. It's an incomplete
14 assessment because we've only covered some easy
15 cases, the ones that are relatively easily
16 resolvable. But it's a good start.

17 I wonder how you would like to try and
18 keep carrying out this method. Whether you would
19 like to suggest that we do category 2 next time,
20 or do we finish all the category 1's and come back
21 to category 2 at the end of our set?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: I would suggest us
2 continuing on at this point, and then we'll come
3 back and do the type 2.

4 This was only the DCAS site matrix.
5 You still have the AWE site matrix for sets 14
6 through 18, and all of sets 19 and 21 to go.

7 CHAIRMAN KOTELCHUCK: What do other
8 people think about how we should proceed?

9 MEMBER MUNN: I have a tendency to
10 want to finish what we started so --

11 CHAIRMAN KOTELCHUCK: Pardon?

12 MEMBER MUNN: My tendency is to try to
13 finish anything that we undertake before we
14 undertake something else so I agree with Rose.

15 CHAIRMAN KOTELCHUCK: Okay.
16 Others? We'll keep going until we finish, and
17 then go back to category 2.

18 And let's not forget that we have a
19 number of cases that are essentially category 2
20 cases left over from our last meeting. Another
21 six cases for category 2. We've got to make sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we go over them.

2 In a way we've talked about those
3 before. There's a part of me that would like to
4 go -- sometimes -- that are there -- they're in
5 category, under category 2 formally because
6 they're not part of the matrix.

7 But --

8 MS. GOGLIOTTI: -- needed multiple
9 meetings to discuss. At this point I don't have
10 responses back from NIOSH on a number of their
11 action items for those and that's why we couldn't
12 do those at this meeting.

13 CHAIRMAN KOTELCHUCK: Maybe -- I just
14 feel like we talked about them as a Subcommittee
15 and we have it reasonably in our memory what the
16 discussions were.

17 I wouldn't mind NIOSH and SC&A taking
18 care of those too, and maybe in our next meeting
19 we work on those, get them done, and then continue
20 on with category 1 as Rose suggested for 14
21 through 18, for the rest of 14 through 18, and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 come back and do the number 2.

2 But do those soon. Maybe at the next
3 meeting. And then go on to the other cases as we
4 talked about.

5 MS. GOGLIOTTI: I just want to point
6 out that if we do that I will need those responses
7 from NIOSH well in advance of the meeting so we
8 have time to respond.

9 MR. SIEBERT: This is Scott.
10 There's a bunch of responses that are already in
11 the BRS.

12 MS. GOGLIOTTI: Were they uploaded
13 within the past week or so?

14 MR. SIEBERT: They were -- some were
15 uploaded slightly before you yanked it, and some
16 were uploaded since that time.

17 I don't believe NIOSH has very much
18 outstanding on those responses.

19 MS. GOGLIOTTI: Okay.

20 CHAIRMAN KOTELCHUCK: Circle back,
21 folks. And then before -- we have awhile until

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the next meeting.

2 And before our next meeting you will
3 be able to assess whether we can usefully go over
4 those.

5 And if you give me the go-ahead, I'd
6 like to go ahead with those, and then come back
7 and do the expedited cases which should take most
8 of our next meeting.

9 And then we do have I know two blind
10 cases in and one more outstanding for set 22.

11 MS. GOGLIOTTI: Three more cases we
12 have to start, we haven't gone over yet.

13 MR. KATZ: Right. We'll have those
14 three cases. We'll have three more cases ready
15 for the next meeting, right? Blind?

16 MS. GOGLIOTTI: Yes.

17 MR. KATZ: So, do you want, Dave, do
18 you want to have another three blinds as well as
19 14 through 18?

20 CHAIRMAN KOTELCHUCK: Yes, I guess
21 so, because there's a high priority on them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In which case could we have the three
2 blinds. I'm most anxious to go over the cases
3 that are left over from the last meeting.

4 And maybe move ahead with that.
5 We'll see.

6 I'd like to have -- but I agree, I
7 think we should do the three blind cases remaining
8 from set 22 next time.

9 MR. KATZ: Dave, there's no reason
10 not to go into those others. I think Scott was
11 saying the responses are mostly in on those
12 already. It's all 14 through 18. They all need
13 to be done anyway so there's no, you know, time's
14 a constant.

15 There's no problem with it. You can
16 do exactly what you want to do. You can do the
17 blinds, you can do the cleanup of those, and if
18 there's time you continue on with the efficiency
19 cases that Rose has led us on this afternoon.

20 CHAIRMAN KOTELCHUCK: Yes.

21 MR. KATZ: I only need to know for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 agenda what we're covering generally and that's
2 14 through 18 plus three blinds. And that sounds
3 right.

4 CHAIRMAN KOTELCHUCK: Okay. Let's
5 talk about when we might meet, roughly what
6 period.

7 This is mid-August and it will suggest
8 that we meet late September, early October.

9 MR. KATZ: Well, I'm in September
10 right now, Dave. I don't know about you.

11 CHAIRMAN KOTELCHUCK: Oh, I'm sorry,
12 I'm sorry.

13 MEMBER CLAWSON: He's on Hawaiian
14 time.

15 CHAIRMAN KOTELCHUCK: You're right.

16 MR. KATZ: It doesn't matter what
17 time of it is in Hawaii, right?

18 CHAIRMAN KOTELCHUCK: I'm such an
19 academic that I never take holidays during the
20 winter or the fall. So, if I'm holiday then of
21 course it's August. As someone said for my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 father's 70th birthday, the day (telephonic
2 interference). If I'm on vacation, it's August.

3 For October, so we might want to start
4 sometime late October or early November.

5 MR. KATZ: Late October is a little
6 too soon given the notice requirements and all.

7 CHAIRMAN KOTELCHUCK: Okay. What we
8 have --

9 MR. KATZ: I would say November.

10 CHAIRMAN KOTELCHUCK: November and
11 when is our next Board Meeting?

12 MR. KATZ: Our next Board Meeting is
13 November 30 and December 1.

14 CHAIRMAN KOTELCHUCK: Okay, we move
15 on to early November, November, the first week or
16 so.

17 MR. KATZ: Yes, the first three weeks
18 of November are fine.

19 CHAIRMAN KOTELCHUCK: Okay. The
20 third week is not fine, of course, since we're
21 busy. So anytime the first --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes, Halloween is -- if we
2 have a lot of material already ready we can look
3 at the first week, and the week of November 1. If
4 you need more time then let's at least give it
5 another week.

6 MS. GOGLIOTTI: We could do the
7 material needed.

8 CHAIRMAN KOTELCHUCK: I'm on the
9 phone myself so I can't set my own personal
10 schedule without losing the phone connection.
11 So, why don't you ask other people what date works
12 for the first two weeks in November.

13 MR. KATZ: Okay. Okay, so for
14 example, I mean I'll just start early and move on
15 as that doesn't work possibly.

16 What about November 1, 2, 3?

17 MEMBER MUNN: That could be
18 problematic for me.

19 MR. KATZ: Okay. November 8, 9, 10?

20 MS. GOGLIOTTI: I'm on vacation that
21 week.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: You're what?

2 MS. GOGLIOTTI: I'm on vacation and
3 that's also Election Day.

4 MR. KATZ: Oh, right. Okay, the 8th
5 is no good. November 9?

6 MEMBER RICHARDSON: That's fine.

7 MEMBER MUNN: Good here.

8 MR. KATZ: Okay.

9 MEMBER BEACH: Ted, we're scheduled
10 to do interviews the week of the 7th through the
11 10th so I'm out that week.

12 MR. KATZ: Okay, then that week
13 doesn't work. How about the week of November 15,
14 16.

15 MEMBER CLAWSON: Works good, works
16 well.

17 MR. KATZ: David just said he's out.

18 MEMBER BEACH: I'm out that week too.

19 MR. KATZ: Okay. Well, that takes us
20 to Thanksgiving week, but what about earlier
21 Thanksgiving week, like November 22? That's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Tuesday.

2 MEMBER BEACH: The 22nd or the 21st?

3 MR. KATZ: Say that again, Josie?

4 MEMBER BEACH: I'm good both the 21st
5 and 22nd.

6 MR. KATZ: So, how is the 21st or
7 22nd? That's Monday-Tuesday for everybody.

8 (Simultaneous speaking)

9 MEMBER RICHARDSON: The 22nd is
10 possible. Not the 21st.

11 MR. KATZ: Okay. The 22nd. Anybody
12 have a problem with the 22nd?

13 CHAIRMAN KOTELCHUCK: No. The 22nd
14 it is. Okay.

15 MR. KATZ: Alright. And John
16 Poston, are you on the line?

17 (Simultaneous speaking)

18 MEMBER POSTON: Hello, can you hear
19 me?

20 MR. KATZ: Yes, John. Is November 22
21 okay with you?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER POSTON: Oh, sure.

2 MR. KATZ: Okay, good.

3 MEMBER POSTON: It's just like today.

4 I get out of classes at 9:15.

5 MR. KATZ: That works, that works.

6 MEMBER POSTON: A 10-minute walk and
7 a 15-minute drive to get to the mall.

8 MR. KATZ: Okay. So November 22 is
9 our next meeting.

10 CHAIRMAN KOTELCHUCK: Very good,
11 folks. Thank you all very much.

12 MR. KATZ: Yes, thank you, everybody.

13 MEMBER MUNN: I have one question
14 before we go.

15 CHAIRMAN KOTELCHUCK: Okay.

16 MEMBER MUNN: I had four items from
17 reviewing the entries that Rose has made to our
18 permanent record. All of them are nits. None of
19 them are technical things. They're an issue of
20 wording and wondering if we shouldn't insert one
21 word or more.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Would you like me to read those to you,
2 or would you prefer that I just send everybody a
3 suggestion of what I think would help if we
4 inserted a word here or there?

5 I'll be glad to do that by email.

6 MS. GOGLIOTTI: I think email might
7 be easier. And actually I caught a few errors
8 while I was reviewing things.

9 CHAIRMAN KOTELCHUCK: Send it to
10 Members of the Subcommittee.

11 MEMBER MUNN: Alright. Will do.

12 CHAIRMAN KOTELCHUCK: And the one
13 thing is the way our structure has been I'm not
14 sure the Board is going to quite pass on that
15 resolution, that is on the proposal whether we're
16 going to have a yes or no vote.

17 I think we're experimenting a bit and
18 then getting a report back. I'm not sure that
19 we're going to adopt it formally, or whether we're
20 going to say this looks good and talk about it in
21 rather more general terms.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 However, since you have it let's do it
2 and maybe we'll -- I'll tell you what. Send it
3 in and maybe as Subcommittee Chair I'll adapt
4 those or send out -- make a revision and then send
5 it out to the Board people.

6 If Jim wants to actually vote on it
7 we'll have something available.

8 MR. KATZ: Okay, I'm not clear what
9 we've been talking about. I thought Wanda was
10 talking about -- Wanda, are you talking about the
11 procedure we're using now?

12 MEMBER MUNN: Yes, I'm talking -- I
13 was talking about the list of material that we've
14 already covered that Rose has posted to our data
15 ---

16 (Simultaneous speaking)

17 And as there were only one or two words
18 that I would suggest changing. And none of it has
19 a technical issue.

20 CHAIRMAN KOTELCHUCK: I think if you
21 sent me a copy and then it seemed like those were

1 to my mind good suggestions.

2 MEMBER MUNN: Fine, okay. I'll do
3 that. It's clearly semantic nits. That's all.

4 CHAIRMAN KOTELCHUCK: They should be
5 ready to have it semantically correct.

6 MEMBER MUNN: Okay.

7 CHAIRMAN KOTELCHUCK: And I
8 appreciate that you put the time in to do that.
9 I must say that seems to me a good suggestion.

10 MEMBER MUNN: Okay, very good.

11 CHAIRMAN KOTELCHUCK: Okay.

12 MEMBER MUNN: I'll send it to you.
13 Thanks.

14 CHAIRMAN KOTELCHUCK: Okay, and
15 thank you all. Have a good rest of the day.

16 MEMBER MUNN: Yep. Enjoy September
17 and Dave, aloha.

18 CHAIRMAN KOTELCHUCK: Aloha.
19 Mahalo, mahalo.

20 MEMBER MUNN: Mahalo.

21 MEMBER BEACH: Enjoy the rest of your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vacation, Dave.

2 CHAIRMAN KOTELCHUCK: I'll be here
3 until this weekend.

4 MEMBER MUNN: Enjoy.

5 CHAIRMAN KOTELCHUCK: Take it easy.

6 MR. KATZ: Enjoy the rest of August.

7 **Ajdourn**

8 CHAIRMAN KOTELCHUCK: And September.
9 Okay. Bye bye folks.

10 (Whereupon, the above-entitled
11 matter went off the record at 4:21 p.m.)