

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

PINELLAS PLANT WORK GROUP

+ + + + +

THURSDAY
FEBRUARY 11, 2016

+ + + + +

The Work Group convened telephonically
at 1:00 p.m. Eastern Time, John W. Poston, Sr.,
Acting Chairman, presiding.

PRESENT:

JOHN W. POSTON, SR., Acting Chairman
BRAD P. CLAWSON, Member
R. WILLIAM FIELD, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Pinellas Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pinellas Plant Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

2

ALSO PRESENT:

TED KATZ, Designated Federal Official
NANCY ADAMS, NIOSH Contractor
BOB BARTON, SC&A
PETE DARNELL, DCAS
BRIAN GLECKLER, ORAU Team
DONNA HAND
JENNY LIN, HHS
JOYCE LIPSZTEIN, SC&A
JOHN MAURO, SC&A
JIM NETON, DCAS
MUTTY SHARFI, ORAU Team
MATT SMITH, ORAU Team
JOHN STIVER, SC&A
KATHY LUDWIG TALBOT
JOE ZLOTNICKI, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Pinellas Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pinellas Plant Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

3

Contents

No table of contents entries found.

1 P-R-O-C-E-E-D-I-N-G-S

2 (1:00 p.m.)

3 **Welcome, Roll Call, Introductions**

4 MR. KATZ: Alright. Well, it's about
5 time. So, welcome, everyone, to the Advisory
6 Board on Radiation and Worker Health. It is the
7 Pinellas Work Group.

8 And someone has their speakerphone on
9 because it's echoing.

10 And so, some preliminaries. Let me,
11 for everyone on the phone who may be joining us from
12 the public, for example, all the materials being
13 discussed today, the agenda and related White
14 Papers and so on, should be found on the NIOSH
15 website, under scheduled meetings, today's date,
16 so you can follow along with the articles as they're
17 discussed.

18 And so everyone who is actually in the
19 Work Group, the staff, you should have all those
20 documents in the non-PA cleared form.

21 We should have joining us today Bill

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Field, too. Is that correct? Bill, are you on?
2 Oh, actually, he said he was going to be about ten
3 minutes late. But he should be joining us.

4 And Phil Schofield, who normally chairs
5 this Work Group, is having an operation today. So
6 he's out today. He can't join us. But Dr. Poston,
7 John, has basically volunteered to chair in this
8 place, and we thank you, John, for that.

9 ACTING CHAIRMAN POSTON: Now that
10 you've told me that, I think, gee whiz, he'd rather
11 have surgery than chair this meeting. What's
12 wrong here?

13 (Laughter.)

14 MR. KATZ: I think he would rather
15 chair this meeting, but he's doing what he needs
16 to do.

17 So, let's just go through, to begin
18 with, roll call.

19 (Roll call.)

20 MR. KATZ: Okay, then, let me just
21 remind, in case you came on late, members of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 public and others, staff as well, except for when
2 you are addressing the meeting, please mute your
3 phone. If you don't have a mute button, press *6.
4 That will mute your phone. And also, do not put
5 this call on hold at any point. Hang up and dial
6 back in if you need to leave for a piece because
7 putting it on hold will cause problems for everyone
8 else on the line.

9 And it is your meeting, Dr. Poston.

10 ACTING CHAIRMAN POSTON: Okay. Well,
11 we will go to the agenda and start with the recap.
12 And I thought I saw, is this Pete, are you going
13 to do this?

14 MR. DARNELL: Yes, I am going to do
15 this.

16 ACTING CHAIRMAN POSTON: Okay, it's
17 all yours.

18 **Summary of Changes Made to Pinellas Site Profile**

19 MR. DARNELL: I'll be speaking mainly
20 from the summary changes made to the Pinellas Point
21 Site Profile in 2011, sent to the Work Group a while

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 back. It's on the website.

2 The Technical Basis Documents making up
3 the Pinellas Plant Site Profile were revised in
4 2011. I'm not going to read the documents
5 word-for-word, but since the TBDs were originally
6 written it was discovered that a number of the key
7 references were actually from the General Electric
8 X-Ray Division, the GEXM site. Pinellas and the
9 GEXM have a lot of items in common and it was
10 described in the revised site description of the
11 TBD.

12 I want to apologize in advance here,
13 guys. I am short of breath today.

14 Reference to the GEXM documents have
15 either been removed or replaced with the
16 appropriate Pinellas Plant document references.
17 I just wanted to make sure that was up-front.

18 In the introduction, Technical Basis
19 Document Issue 1 Resolution was addressed in
20 "Summary of Data Capture Searches for the Pinellas
21 Plant." This is a rather brief part of the TBD.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It was completely rewritten to update and correct
2 some of the information from the GEXM site and
3 select information in the other revised TBDs.

4 The site description, TBD Issue 6
5 Resolution --

6 MR. KATZ: Pete?

7 MR. DARNELL: Yes?

8 MR. KATZ: Pete, I'm sorry to
9 interrupt, but could I just ask, not just for you,
10 but really for everyone when they are discussing
11 matters, instead of referencing issue numbers,
12 which aren't going to mean something for people who
13 don't work with the issue matrix on a day-to-day
14 basis or what have you extensively, could you
15 please just, at the outset of mentioning an issue,
16 give it a sort of English title, instead of an issue
17 matrix number? Thanks.

18 MR. DARNELL: Okay. I've got to get
19 out another set of notes. I didn't write it up that
20 way. So, I -- alright.

21 So, we are into the Site Description

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Technical Basis Document Issue 6, which was the
2 decontamination and decommissioning era of
3 Pinellas operations not specifically addressed.

4 The resolution that was added was added
5 in 2011 as Section 2.3.4 of the TBD. I do want to
6 note that since the TBD has been revised there were
7 two D&D periods that were added in 1999 and 2008
8 through 2009.

9 The secondary issue, which was Issue 2.
10 Give me a second so I can get to that one.
11 Inadequate descriptions of certain plant
12 operations was added to the Site Description
13 Technical Basis Document in section 2.4.1, which
14 was entitled "Radioactive Materials." But please
15 note that some of information was added throughout
16 the TBD.

17 A number of significant changes were
18 made to the Site Description TBD. A lot of
19 information was incorporated on the process and
20 facility information and some of the redundant
21 information was eliminated from the TBD.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Occupational Medical Dose TBD, Issue 10
2 -- Issue 9, 10, and 11, actually, were all addressed
3 in the TBD.

4 Issue 9 of the Technical Basis Document
5 fails to adequately define and assess occupational
6 medical exposures. Issue 10, the technique and
7 protocols increase uncertainty in DCFs in the TBD.
8 In number 11, the frequency in type of X-ray
9 exposure is uncertain.

10 The Technical Basis document was
11 updated. The equipment and techniques section
12 being updated in Table 3-1 has all the new
13 information that was found. Pre-1972 X-ray doses
14 are still based on OTIB-6. Photofluorographic
15 X-ray dose values were changed in a revision of
16 OTIB-6.

17 Issues 9 and 11 resolutions, exam
18 frequencies are now included in the TBD as well as
19 indicating lumbar spine and abdomen and
20 kidney/ureter/bladder X-rays are considered part
21 of the occupational screening done at Pinellas.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 A stipulation was also added that not
2 to assess X-ray doses for X-rays from employee
3 records that were clearly indicated for diagnostic
4 reasons or for other work-related injuries.

5 In addition, the section now indicates
6 to assign occupational medical doses based on the
7 X-ray records when provided. In Pinellas, they
8 typically were. And we assign medical doses based
9 on OTIB-6 when no records were provided.

10 Secondary Issue 1 resolution, which was
11 additional factors contribute to uncertainties
12 related to occupational medical exposures is
13 addressed in an update to the TBD.

14 An error in the applicable period for
15 photofluorographic X-rays was corrected to reflect
16 the recommendations of OCAS-PER-004, and it's now
17 1957-1959 versus 1957-1960.

18 A little bit more that was updated in
19 the occupational medical section you can read.
20 None of it is specifically addressing the primary
21 and secondary issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Moving on to the Environmental Dose
2 TBD, Secondary Issue 3, which was perimeter tritium
3 air monitoring stations. They are now provided in
4 Section 4.3.5 of the Environmental Dose TBD and a
5 comparison of the air concentrations based on
6 atmospheric dispersion calculations, and actual
7 air monitoring is now provided.

8 Section 4.4.2 of the TBD recommends
9 more claimant-favorable unmonitored external dose
10 assignment from the Occupational TBD to be used in
11 lieu of the estimated doses, mainly because it's
12 more claimant-favorable, provides a higher dose.

13 Bounding of the on-site environmental
14 doses were assessed in Section 4.4.1, which were
15 determined to be negligible, under 1 milligram for
16 all internal organs. As a result, it was
17 determined that the environmental doses will never
18 need to be included in the IREP input sheet.

19 A number of other changes were done to
20 better organize the information being presented,
21 incorporate all the new information, and correct

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some inaccuracies.

2 The occupational internal dose TBD
3 Issue 2 resolution was covered until Section
4 5.7.1.2, which would be the dose reconstruction
5 that Mutty Sharfi will be talking about later.
6 This issue has to do with insoluble forms of metal
7 tritides.

8 Issue 3, which was the MDC and
9 uncertainty information. Let me get to that one
10 and read it directly. The MDCs and uncertainties
11 for zirconium and bioassay measurements are
12 inadequately addressed in the ORAU and in this
13 portion of the TBD.

14 This one has had some rather
15 interesting work done on it. In the past, we were
16 looking at plutonium internal doses and coming up
17 with methods to calculate, but based on the
18 plutonium paper that you have seen in the past and
19 what came out as a reminder for this meeting, there
20 really were no plutonium intakes.

21 The new plutonium information was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 supposed to go in Section 5.7.3, and it actually
2 was printed into the Technical Basis Document and
3 then later removed, as was required during the
4 October 2011 Working Group meeting. If you need
5 to look that up, it is on page 84 of the transcript.

6 Issue 7 Resolution, Issue 7 was missed
7 internal dose estimation methods for unmonitored
8 workers, for example, main entry support personnel
9 were not provided.

10 Section 5.7.2 of the TBD has been
11 updated to address the unmonitored exposures to
12 tritium. And Sections 5.2.4 and 5.2.5 address
13 potential unmonitored exposures to nickel-63 and
14 carbon-14.

15 Secondary Issue 5, which was -- I
16 apologize for the delay in answering these because
17 I'm flipping back between two documents. The
18 rejection of plutonium bioassay results based on
19 plutonium-238 to -239 ratios, and non-detectible
20 plutonium-239. Again, kind of coming back a
21 little bit earlier. A lot of work went into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looking at potential plutonium doses went into
2 Section 5.73 and then was later removed.

3 The Secondary Issue 6 resolution,
4 Secondary Issue 6 was plutonium solubility.
5 Again, a lot of work went into it. Section 5.7.3
6 was updated then removed. Internal dose
7 reconstruction for OTIB-60 requires a dose
8 reconstructors to use the most claimant-favorable
9 lung absorption types, so if we ever have to do a
10 plutonium intake, and so far we haven't after quite
11 a few Pinellas dose reconstructions, we would use
12 whatever is the most claimant-favorable.

13 A number of other changes were done in
14 the Occupational Internal TBD in February 2012.
15 This was discussed in the October 2011 Working
16 Group meeting. Because external exposures to
17 plutonium were likely at the Pinellas Plant, all
18 of the discussions at Section 5.2.2 would
19 determine, again, necessarily, a potential source
20 of confusion. So, they were removed. If you want
21 to look that up, it is on pages 80 to 82 of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Working Group meeting.

2 External Dose Technical Basis Document
3 Issue 4 resolution. Issue 4 was assessing the
4 personnel badging policy during early years needs
5 further review. Information was added to Section
6 6.1.5 to address Issue 4.

7 Issue 5 resolution, dosimetry
8 technology and missed dose sections. That is
9 actually part of the agenda for later today. So,
10 we will address that then. Information was added
11 to the TBD and there has been a couple of memos by
12 SC&A and NIOSH discussing this topic. NIOSH has
13 more information that will be presented today.
14 Due to lack of time, it wasn't able to be written
15 up and sent out as a formal document.

16 Secondary Issue 7, which was
17 assumptions for unmonitored workers, Attachment B
18 was added to the external section of the Technical
19 Basis Document. It provided a comparison of the
20 unmonitored doses to the assignment of the maximum
21 likelihood doses for unmonitored workers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Secondary Issue 8, which was
2 assumptions relative to the minimum detectible
3 level adjustments to dosimetry for missed dose.
4 And again, the missed dose section was revised.

5 Neutron doses is replaced with an
6 approach that is consistent with approaches used
7 for other sites for neutron generator areas. The
8 new approach that was added for the years 1957 to
9 1969 added higher yield neutron doses and lower
10 doses for the years 1969 to 1997.

11 RTG areas, measured photon doses for
12 the years '79 to '81 are now higher because of a
13 new dosimeter correction factor. Missed photon
14 doses for the years '79-'87 will also be higher
15 because of a correction factor and a higher LOD.

16 RTG areas now have a more
17 claimant-favorable neutron energy distribution.

18 Basically, a number of other
19 significant changes have been done to the TBD to
20 better organize information and incorporate new
21 information.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The only issue I didn't talk about was
2 Issue 6, which, again, is part of the agenda for
3 today and that deals with collection of data mainly
4 from Albuquerque on the D&D era.

5 Any questions on that?

6 MR. KATZ: Let me note for the record,
7 too, that Dr. Bill Field has joined us or joined
8 us a little while ago.

9 MEMBER FIELD: Yes, I'm on the call.
10 Thanks.

11 ACTING CHAIRMAN POSTON: Any questions
12 on what we've heard? Well, thank you, sir.

13 MR. DARNELL: Alright.

14 ACTING CHAIRMAN POSTON: And the next
15 is John Stiver. Do you want to do the closed issues
16 update?

17 **Closed Issues in SC&A Issues Matrix**

18 MR. STIVER: Yes, I will. Pete has
19 actually covered a lot of this. So, instead of
20 replicating what he's done, I'm going to try to
21 touch on some of the aspects that were more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 important to SC&A and maybe get some of the
2 background on how we got here, considering it has
3 been a very long time and there were quite a few
4 Work Group meetings.

5 What I am showing right now on Live
6 Meeting is an annotated version of the issues
7 matrix. I just put in some notes to myself here
8 to make it a little bit more straightforward.

9 To kind of set the stage, we need to
10 remember that we did the Site Profile review almost
11 ten years ago. I think it was delivered in
12 September of 2006. The first Work Group meeting
13 was in June of 2008, when a lot of these issues were
14 initially presented. And the following year, in
15 June of 2009, a lot of the issues were -- I guess
16 a way to put it would be to kind of put it in
17 abeyance, in a way. NIOSH had agreed with us,
18 basically, and agreed to rewrite the TBDs that
19 hadn't been actually accomplished at that point.

20 They went ahead, as you know, most of
21 the revisions that Pete described were in 2011.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There was an additional revision to the TBD 5, the
2 internal dose TBD, in early 2012 that basically
3 stripped out the discussion of plutonium, as Pete
4 mentioned.

5 And then we had two other Work Group
6 meetings, one in October of 2011, where NIOSH
7 presented the changes to the TBD. And then SC&A
8 had some follow-on items that we felt were
9 important.

10 And then the last meeting was in 2012,
11 November of 2012. And so, it has been kind of a
12 long history. A lot happened. We have a lot of
13 changes. The program has matured. A lot of
14 things that were kind of a concern back in the
15 initial review have been resolved in this as well
16 as in other Work Groups. So, to just kind of get
17 the big picture there, I wanted to say that.

18 And we can just kind of run through the
19 issues. This first, Issue 1, the reconstruction
20 of doses in the absence of early health physics,
21 industrial hygiene, and environmental records.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 What we were kind of concerned with here was that
2 there were a lot of data from 1980 and beyond and
3 we thought we might be dealing with a classic
4 back-extrapolation issue, where later time periods
5 and data for those periods might not be indicative
6 of exposures that were placed early on.

7 And this was discussed in the 2011
8 revision of the TBDs. I believe it's TBD-6, Table
9 B.1, lists all the external dose data by year from
10 1957 all the way through D&D. And we were
11 convinced that there is enough information out
12 there and enough good data that this really was put
13 to rest. And you can see it was closed during the
14 November 2012 Work Group meeting.

15 Issue 2 is the metal tritides which will
16 be discussed today.

17 Issue 3 was this whole aspect of the
18 sparse plutonium bioassay datasets. And there was
19 a lot of discussion a year early on about the
20 appropriate MDCs, whether there was any indication
21 of exposures, what MDC was really more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 claimant-favorable and applicable. And in
2 response to those discussions, I think were in
3 2009, NIOSH went and added a lot of information in
4 the revisions.

5 And based on our discussions in October
6 of 2011, it was determined that there really isn't
7 any indication that it was ever a true exposure
8 potential. I mean, the only scenario we could
9 derive would be the swiping and checking of
10 triple-encapsulated RTGs when they came to the
11 site, and the rejection criteria was 200 dpm. And
12 none were ever rejected and this was all done in
13 a hood. They were checked in the hood. And so
14 that would be the only credible inhalation scenario
15 in our internal plutonium exposure scenario,
16 because there were never any breaches or any kind
17 of destructive testing of the RTGs with the sources
18 intact.

19 So, rather than -- NIOSH had presented
20 all this information, all the evidence against an
21 exposure, and then they went ahead and said, well,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but if we get a positive bioassay, we'll go ahead
2 and do it on a case-by-case basis. And we said,
3 well, wait a second. You don't have any exposure
4 potential. The weight of evidence would certainly
5 indicate that. So there was no reason to put this
6 in. I mean, if you come up with some data later
7 on to indicate there was a plutonium exposure,
8 you're going to have to develop a dose
9 reconstruction methodology. So, that's basically
10 what was the backdrop to that issue. And that was
11 closed out, again, at the November 2012 meeting.

12 Number 4, this whole idea of a badging
13 policy which took place, we were concerned
14 initially that maybe, because there was incomplete
15 badging, that there might have been a cohort
16 badging policy as opposed to selecting those
17 individuals who had the highest exposure
18 potential.

19 Based on lots of discussions and the
20 revisions to the TBD, and discussions after the
21 revisions, it became pretty clear that the health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 physics policy was to badge those with the highest
2 exposure potential. So, we closed out, actually
3 this was the 2009 Work Group meeting.

4 Issue 5 is this sub-issue about the
5 limit of detection appropriate for the post-1974
6 film badges. We're going to talk about that later.

7 Issue 6, again, we will discuss this a
8 little later, it's about D&D and whether there was
9 adequate monitoring during the D&D period.

10 Issue 7, missing internal dose
11 estimation methods for unmonitored workers. This
12 was the whole idea about how are you going to --
13 if somebody doesn't have bioassay, how are you
14 going to assess their doses? And NIOSH has come
15 up with a very claimant-favorable coworker model
16 that uses the 95th percentile of the whole body
17 dose, which is really a mixture of external gamma,
18 neutron, and tritium at the 95th percentile,
19 assuming a chronic exposure at the 95th percentile.

20 And that, in combination with putting
21 to rest the idea of the nickel-63 and carbon-14,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and also the fact that there really is no plutonium
2 exposure to be addressed, really puts this one to
3 rest. I put a note in there about the tritide model
4 being applicable, because that really is not for
5 a coworker model application for those who actually
6 had tritium bioassays. So, I probably shouldn't
7 have put that in there.

8 Issue 8, missed dose from depleted
9 uranium. Initially, it wasn't clear whether the
10 DU tritium and tritide beds were being cut in --
11 the cutting took place at Pinellas and we thought
12 that there might be an exposure potential there
13 that needed to be addressed.

14 Research showed that it was actually
15 done in Milwaukee in a sister plant. And so, this
16 never took place at Pinellas. So, that was closed
17 out in 2010.

18 Pete mentioned 9, 10, 11, and sub-issue
19 1 related to TBD-3, medical exposures,
20 occupational medical. He discussed that pretty
21 well. I don't think we need to replicate that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 here. Suffice to say that those are all closed,
2 along with Secondary Issue 1.

3 Secondary Issue 2, okay, this is we felt
4 that the descriptions of the plant operations were
5 inadequate in the first Rev. 0 in the 2005 version.
6 That was taken care of. We concur. This was
7 actually, yes, in 2009, we had agreed that if the
8 new information was added to TBD, this would be
9 resolved. It was, in fact, incorporated in Rev.
10 1.

11 This is Secondary Issue 3, perimeter
12 tritium monitoring. This was addressed in the new
13 Rev. 1 TBD-4.

14 Secondary Issue 4, the uncertainty.
15 Once again, this was addressed in TBD-4, Rev. 1.

16 Secondary Issue 5 goes away because of
17 the lack of plutonium exposure potential, as does
18 Number 6.

19 Secondary Issue 7, we concur. Pete
20 described this one as well. There is no need to
21 go through that again.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then Secondary Issue 8, the MDL for
2 dosimetry for missed dose, again, the language
3 clarified in Section 6.4 of TBD-6, Rev. 1.

4 So, that was kind of a cameo view of nine
5 and a half years of true resolution. Are there any
6 questions?

7 ACTING CHAIRMAN POSTON: Any questions
8 for John?

9 MEMBER CLAWSON: Yeah, we are going to
10 discuss this tritium a little bit more in-depth,
11 correct, here coming up?

12 MR. STIVER: Yes, that is going to be
13 the next topic. That is really the long pole in
14 the tent now.

15 MEMBER CLAWSON: Right, because I have
16 been trying to keep up on all those emails back and
17 forth.

18 The one question I had about the
19 plutonium samples, we have not seen any positive
20 bioassays for plutonium so far, have we?

21 I know that you changed that and had it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 put in. We discussed that a little bit but we
2 probably got some more information that has come
3 in. At this time, we haven't seen anything yet,
4 have we?

5 MR. STIVER: That would probably be a
6 good question for Pete or Brian, but my
7 understanding is that, no, they haven't.

8 MR. GLECKLER: This is Brian from the
9 ORAU Team. There are positive bioassay results,
10 but there's significant issues with those results.

11 MEMBER CLAWSON: Well, what's the
12 issues with those, Brian?

13 MR. GLECKLER: It's part of what was in
14 that paper that is posted on the website right now.
15 Like, one of the key ones is the source terms that
16 were used were dominated by Pu-238. And a number
17 of the bioassays that are positive are baseline
18 bioassays before they went into those areas, or was
19 positive for Pu-239 and negative for -238, which
20 they should have had a much higher positive Pu-238
21 number in their bioassay result.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And let me see, some of the other ones,
2 the ratio is way off on one instance, enough to
3 where it couldn't be the Pinellas Plant material.
4 And a lot of it, like, results, re-analysis
5 results, were less than the MDC at that point, where
6 they did reevaluate the result or re-analyze it.

7 And in several instances, the lower
8 bound, there is an uncertainty associated with each
9 sample result. The lower bound to that was
10 actually below the MDC also, but I don't think we
11 discounted any of them solely based on that
12 criteria.

13 MEMBER CLAWSON: Yeah, I was just
14 trying to follow this information. I read the
15 report on this and just did we ever figure out if
16 these people were coming from another site that had
17 brought this? It is just kind of stressed me a
18 little bit that we're are not doing any Pu samples
19 but we have had some that had come but they were
20 pre-employment samples for the pre-employment
21 sample or for others were part of this group. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm just wondering if we ever pulled that string
2 just to justify why we are not doing this, that
3 these were previous samples and this is where they
4 came from. I was just trying to follow where that
5 went, if we had done anything.

6 MR. GLECKLER: Those have to do with
7 more of an error, an issue with the analytical
8 process for how they analyze the samples. And
9 possibly it might have been because they used a
10 Pu-242 tracer, if their yield results or percent
11 recovery is the way they report it for their
12 analysis. And there is impurities for the other
13 Pu isotopes in those. You know, a number of those
14 samples, based on the amount of tracer that they
15 are spiking the sample with, typically, it that
16 could account for the Pu-239 result in some of those
17 instances. So, it's things like that, because
18 they are not subtracting that out, that
19 contribution from the tracer.

20 And if they were from an exposure
21 received at another site, we haven't been able to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 connect that dot yet with any known claims. But
2 if, say, someone transferred from another site
3 where a Pu exposure could have occurred and their
4 Pinellas Plant baseline is indicative of a
5 potential intake, or an indication of a potential
6 intake from that prior employment, we can use that
7 for that prior employment. But we would need --

8 MEMBER CLAWSON: Well, it just kind of
9 struck me interesting because these bioassays were
10 in the '88 to the '90 timeframe and you would kind
11 of think that they would have had this down pretty
12 good by that timeframe.

13 That is why I just would be interested
14 in what we have done, and reading through this, I
15 was just trying to follow it and I just wanted to
16 make sure that what we have, you know, John kind
17 of clarified it, but if we do have some come up
18 positive for this, then we're going to take other
19 actions that way. But I was just trying to get a
20 better idea of this other one.

21 So, I appreciate that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. GLECKLER: For the 1988 timeframe
2 ones, they were transitioning to a completely
3 different set of instruments for doing their
4 analyses and they were modifying their procedures,
5 and that's part of the problem, why you see so many
6 in 1988.

7 MEMBER CLAWSON: Okay, thanks.

8 ACTING CHAIRMAN POSTON: Any other
9 questions?

10 DR. MAURO: This is John Mauro. I have
11 a question for Pete and John Stiver that's more
12 process oriented.

13 In listening to the very nice overview
14 provided on the 11 issues and sub-issues, it
15 appears that certainly previously many of the
16 issues were officially closed at earlier meetings.
17 But it's not apparent that, in light of the new
18 material that has been provided, we do know that
19 there are certain issues that are open that we will
20 be discussing real soon, tritium, other matters
21 like that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But are there any issues here that, as
2 a result of the review we just went through, where
3 we have a situation where SC&A concurs with what
4 changes were made to the TBD and that we recommend
5 closing the issue? And are we in a place where
6 perhaps some of these items that we just discussed,
7 the Work Group should officially say closed? I
8 just want to make sure that we are not moving on
9 without closing things up that perhaps need to be
10 closed at this time.

11 MR. STIVER: John, this is Stiver. I
12 can answer that.

13 The ones that are listed as closed in
14 the matrix are officially closed. They have been
15 closed in the meetings, in previous meetings.

16 DR. MAURO: Got it. Now, are there any
17 here that we --

18 MR. STIVER: It was just kind of
19 recapped because it had been so long since any of
20 this information was talked about and discussed
21 that it was really more to refresh everyone's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 memory as to where we are now and how we got there.

2 DR. MAURO: No, and I think that was
3 clear and I understood that, but it wasn't apparent
4 to me whether there were some other issues that,
5 in light of what has transpired, that we are
6 recommending closing now and there does need to be
7 some type of a vote.

8 MR. STIVER: Basically, that's going
9 to be Issue 5 and 6 and the tritium.

10 DR. MAURO: Oh, which we're about to
11 discuss.

12 MR. STIVER: Which we're going to
13 discuss today.

14 DR. MAURO: Okay, thank you. I just
15 wanted clarification on that.

16 MR. STIVER: Okay.

17 ACTING CHAIRMAN POSTON: Any other
18 questions?

19 Okay, I guess we will move on to Number
20 3. Jim, are you going to do that, Jim Neton?

21 **Updated NIOSH Internal Dose Model for Stable Metal**

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 **Tritides**

2 DR. NETON: This is Jim. I can get it
3 going, and I think I'm going to pass the baton to
4 Mutty to have him flesh out what we've done in this
5 area.

6 ACTING CHAIRMAN POSTON: Okay.

7 DR. NETON: Back in the November 2012
8 Work Group meeting, NIOSH suggested that we need
9 to take a step back and look at the tritides,
10 insoluble tritide model that we're using at
11 Pinellas. It was based, if you all remember, on
12 what we did at Mound, the resuspension model, but
13 there were some notable differences, particularly
14 in how the tritide samples were processed.

15 So, we identified five areas to take a
16 second look at. And Mutty put together a nice
17 little White Paper, and I'm going to leave it to
18 him to summarize those issues and where we
19 currently stand.

20 So, Mutty, are you there?

21 MR. SHARFI: Sure. Yes, I'm here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Okay, great.

2 MR. SHARFI: So, we've got, as Jim
3 said, five issues that asked us to maybe expand on,
4 and I'll just go through one-by-one on these
5 issues.

6 Issue 1 is about the appropriateness of
7 a resuspension factor, E to the minus 6, 10E to the
8 minus 6. The reference to the Mound currently uses
9 a resuspension factor of 5E to the minus 5.

10 So, generally, Pinellas was aware of
11 the impact that of the contaminated areas and tried
12 to maintain a clean work environment. Since the
13 type of work that's done at Pinellas and Mound is
14 similar and the resuspension factor amount is
15 considered obviously more claimant-favorable, we
16 decided that we should just go ahead and agree to
17 change the resuspension factor that's in the TBD
18 from 1E to the minus 6 to 5E to the minus 5.

19 Mound does their surface
20 contamination, they use the 95th percentile. So
21 they have a much lower contamination level. So,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the end, the combined effort of the resuspension
2 factor and the surface contamination probably
3 leaves this to be a very bounding and
4 claimant-favorable estimate.

5 Issue 2 is the question of the highest
6 contamination survey reported and the basis for
7 that. SC&A, in their response, found some
8 additional samples, but at the time that we had gone
9 through for the surveys that we had available to
10 us, we looked at the health physics summary reports
11 and found that at the time the highest reported
12 surface contamination level was about 4 million dpm
13 or 100 centimeter squared. So, it's about 10,000
14 times their control limits.

15 I note they were generally short-term,
16 incident-related and they cleaned up immediately
17 after identifying these areas. So, we felt that
18 a value of that high assumed to be constant
19 throughout all time is considered very bounding and
20 unlikely to occur, as compared to the Mound TBD
21 where they looked at the 95th percentile based on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a bunch of contamination surveys that they had.
2 They had about 60,000 contamination air sample
3 results that they went through. And so they went
4 through annually and looked at the 95th percentile
5 annually. And so their contamination level
6 changes per year, where ours does not.

7 So, in comparison, if you look at what
8 their numbers are, ours, the Pinellas, even though
9 the work is similar, we're looking at maintaining
10 a contamination level at least an order of
11 magnitude higher than any given year that Mound
12 uses. So we feel that is certainly bounding and
13 claimant-favorable.

14 As I mentioned, in SC&A's reply to this,
15 and I think we'll get to this a little bit later,
16 but they found some additional surveys that had a
17 few that were reported slightly above the 4 million
18 dpm. We still think the 4 million dpm, as a
19 long-term, is still very bounding and unrealistic
20 to occur on a very constant level.

21 Issue 3 is about the method for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 paper filters to actually detect the particulate,
2 the metal tritide and metal particulate. This one
3 more focuses on there is a report that we found
4 that, when they would take their smears, that they
5 would rinse these cotton balls, run the rinsate
6 through a Whatman #1 filter paper and then they
7 would count the rinsate. And so the question was
8 around whether or not the rinsate would be -- or
9 whether or not the filter paper that they used to
10 filter the rinsate would remove some of the metal
11 tritides from the rinsate, and, therefore, not be
12 counted. Would the underlying contamination level
13 reported actually being an underestimate of the
14 metal tritide concentration?

15 Generally, the purpose, I would
16 imagine, of the Whatman #1 filter would be able to
17 reduce quenching of the sample and you would try
18 to get rid of all the big dirt. You would cotton
19 ball the wiping area, if you have a dirty sample,
20 that would, I imagine, be the main purpose of
21 filtering the rinsate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We talked to a number of health
2 physicists that worked there. They don't ever
3 remember actually doing, filtering any of the
4 rinsate. That was something they don't remember
5 doing, but it was actually in a procedure. So, we
6 felt it was worth investigating whether or not
7 there was an impact to the contamination level if
8 they did use filter paper.

9 A Whatman #1 filter paper has a particle
10 size where the holes for the Whatman filter filter
11 out particles above about 12 microns. This is much
12 larger than the actual metal tritide particle size,
13 especially even respirable. So, even if there was
14 any kind of filtration, you would either be
15 filtering out non-respirable metal tritides, or
16 more realistically, you're just going to get all
17 the metal tritides that are just going to go
18 straight through the filter paper and they'll be
19 captured.

20 In the case that if there was some, we
21 looked at a report, Pinellas did research on -- they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 took some -- they looked at the deposition of the
2 vapor on a ceramic or metal substrate and they
3 wanted to see how stable the metal tritide was on
4 those substrates. So, their concern was that
5 there was a metal flaking from the vacuum tubes in
6 places, so that is basically an accident, which is
7 what, you know, obviously, in exposure to an area
8 for a worker, you are concerned with.

9 So, based on their review of this, a
10 paper titled, "A Study of Particulate in Gaseous
11 Emissions of Tritium from Neutron Generator
12 Targets." And that is in SRDB-12275.

13 So, when they looked at the emission
14 rates for that particulate and then there is the
15 HTO emission rate was actually much higher than the
16 particulate tritium rate. So, anytime you have
17 any release of the particulate, you are going to
18 get a large, usually a 1.5 to about 2.0 factor of
19 tritium HTO more than you're going to get of the
20 actual particulate.

21 So, even if you had some loss of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particulate in these filters, either in the cotton
2 ball or in the Whatman #1 filter, you are still
3 going to get a much larger portion of the HTO that's
4 going to pass right through and be counted in the
5 swipes.

6 So, by treating the entire swipe as all
7 particulate and not partitioning out any of the
8 HTO, we feel that the use of the contamination
9 surveys, as is, and assuming 100 percent
10 particulate, will bound any possible losses that
11 would be captured, either from the filter paper or
12 from the cotton ball itself.

13 Let me know if you have questions as I
14 am going through these issues and let me know if
15 I am going a little too fast. I am trying to
16 summarize various sections.

17 Issue 4 --

18 ACTING CHAIRMAN POSTON: They will
19 certainly ask you questions if they have them.

20 MR. SHARFI: Certainly. Does someone
21 have question?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN POSTON: No, I said
2 they will ask you questions if they have them, I
3 know.

4 MR. SHARFI: Oh, okay. Yes.

5 Issue 4 is the magnitude and the extent
6 of the potential for metal tritides at Pinellas.
7 We did a review of all the monthly health physics
8 reports. As I said before, the main exposure to
9 tritium would likely be more associated with the
10 HT gas, the HTO, and any organically-bound tritium
11 that would be associated, like the pump oil and
12 stuff like that, working with the glove boxes.

13 Generally, the metal tritide was
14 contained within the tritium charging system. And
15 so, when they did have a breakage or they did have
16 a spill, the health physicist reports did indicate
17 that the affected areas were cleaned up
18 immediately. They surveyed in the morning every
19 day to see if there was contamination control
20 issues, and they surveyed after any incident to
21 make sure that any cleanup was complete.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so you generally didn't see
2 long-term contamination issues throughout the
3 facility. They did note in some of these reports
4 a couple of the breakages. So, we do know they did
5 happen, but they were infrequent.

6 The type of materials, generally, the
7 glass tritium beds were eventually switched out
8 from the glass beds to the stainless steel beds,
9 I think in the late, I want to say early '70s or
10 late '60s, I believe. And that helped reduce the
11 breakage. Early in the '50s and '60s, they would
12 have to treat the glass to -- because obviously
13 glass is brittle when you're handling it, to
14 minimize breakage. So they went in later with
15 these tritium beds to a stainless steel tritium bed
16 to help reduce the number of breakages.

17 I will note that, in our response to
18 Issue 4, which is identified in SC&A's response,
19 there is an error in our text. I indicate that we
20 assumed that there is a 2,000 hour per year exposure
21 assumed. That actually should be 2,600. In the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 example DRs that we did for the metal tritide stuff,
2 we did use a 2,600 and that's actually what was used
3 in the TBD.

4 So, I just wanted to note, in our White
5 Paper, on the survey, that there is an error that
6 the 2,000 is actually supposed to be 2,600 for Issue
7 4.

8 Issue 5 is about the solubility of the
9 various metal tritides. Pinellas used a variety
10 of number of types of metal tritides and these are
11 also some of the ones that are common at Mound.
12 They used erbium, scandium, titanium tritides.
13 Eventually, the tritium beds were converted to
14 uranium tritide beds.

15 The solubilities for the metal tritides
16 ranged from Type F all the way up to Type S. So,
17 all various solubilities for metal tritides are
18 possible. Most of the tritium was titanium
19 tritide, which is generally more a Type M material,
20 but they did work with some of the scandiums and
21 stuff like that that are Type S.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so, in general, we have agreed that
2 we will modify the TBD to increase the resuspension
3 factor from 1E to the minus 6 to 5E to the minus
4 5. That will increase the intake rate. But we do
5 believe that the 4 million dpm -- which is about
6 400 times what it now would be at HCA, high
7 contamination area, level -- we do feel is very
8 bounding and very claimant-favorable and it's
9 unlikely to have the workers in an environment at
10 that level at 2,600 hours per year.

11 And that's basically the White Paper.
12 I don't know if there's questions or if you want
13 me to go through the example DRs, too.

14 MEMBER CLAWSON: Mutty, I had a
15 question. And maybe this is a little out of the
16 realm of it right now, but who is going to be getting
17 this tritide dose? Do we have a certain group that
18 is going to be getting it or is this everyone?

19 MR. SHARFI: Anyone that was on the
20 tritium urinalysis bioassay program would also get
21 the metal tritide exposure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: Okay, now, is that
2 going to be capturing any of the maintenance people
3 and stuff like that, too, or just kind of --

4 MR. SHARFI: The tritium bioassays,
5 the urinalysis program was very widespread
6 throughout the plant. So, if you were in any kind
7 of tritium area for any reason, they bioassayed you
8 for urinalysis for HTO, for the solubles.

9 MEMBER CLAWSON: Okay. Now, this
10 system, the one at Pinellas is a little bit
11 different set-up than the one at Mound, wasn't it,
12 if I remember right? It was opened up more to the
13 area and that's why we have kind of taken this route
14 of a little bit higher?

15 MR. SHARFI: Well, you mean, why we
16 didn't have higher contamination levels on Mound?

17 MEMBER CLAWSON: Yeah, I was wondering
18 what --

19 MR. SHARFI: One of the main reasons
20 is, at Mound they had all the individual survey
21 results for the R108 and SW8 rooms where the tritium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 beds were contained. In this case, we more had the
2 monthly summary reports. So, we don't have the
3 individual surveys. So, we are looking at this at
4 a higher level, where the monthly reports just gave
5 us the highest survey results. And so Mound was
6 able to do a more statistical analysis of the
7 conditions, where we're having to look at a more
8 outside view of what the more worst case scenario
9 was.

10 MEMBER CLAWSON: Okay. You were
11 mentioning in there that we did have some broken
12 glass and so forth like that. What kind of
13 readings were they getting when they had these
14 kinds of upsets?

15 MR. SHARFI: I will tell you, in the
16 monthly surveys, that the one we used for the 4
17 million dpm per 100 centimeter squared wasn't even
18 a metal tritide incident. It was actually a
19 maintenance activity.

20 Generally, the ones that were
21 identified associated with the metal tritide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particulate work were an order of magnitude or more
2 less than 4 million. So, they weren't even seeing
3 this high of a level of the particulate.

4 MEMBER CLAWSON: Okay. Well, thank
5 you.

6 ACTING CHAIRMAN POSTON: Any other
7 questions?

8 DR. LIPSZTEIN: Yeah, may I? It
9 doesn't happen exactly with tritide, what you just
10 said, that the workers were exposed to OBT also.
11 And also in TBD-5 it says that the workers were
12 exposed to OBT. But there is no mention on what
13 do you do and how you calculated those for the OBT.
14 Because it just mentioned the HTO water and not the
15 OBT, and the dose per unit intake of OBT is twice
16 the dose of the tritiated water.

17 MR. SHARFI: OBT is covered in OTIB-66,
18 I believe, is the tritium OTIB. And so that TIB
19 would cover any assessments of OBT and HTO. The
20 White Paper I'm generally discussing was really
21 just trying to discuss the metal tritide issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But you're correct, if a worker had a
2 potential for OTB, OTIB-66 would then be the OTIB
3 that would cover taking the urinalysis bioassay and
4 doing an organically-bound tritium assessment.

5 MR. GLECKLER: Hey, Mutty, this is
6 Brian Gleckler. We actually used the same
7 approach for the OTB and the TBDs in the internal
8 TBD for Pinellas right now, rather than the OTIB-66
9 approach. That's why in the TBD we used the terms
10 soluble and insoluble tritium, to where the
11 insoluble tritium, and that addresses Type M and
12 Type S, regardless of whether it is a metal tritide
13 or organically-bound tritide.

14 DR. LIPSZTEIN: Oh. So, the
15 organically-bound tritide would be treated like a
16 tritide, like an insoluble biological?

17 MR. GLECKLER: Yeah, be it a liquid or
18 a solid.

19 DR. LIPSZTEIN: Why?

20 MR. GLECKLER: What's that now?

21 DR. LIPSZTEIN: Why? Why didn't you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 use the ICRP model?

2 MR. SHARFI: Well, for an insoluble,
3 like an oil mist, an oil mist intake, the
4 organically bound tritium actually is more like a
5 particulate in the sense that it's an insoluble,
6 organically-bound tritium and it actually acts a
7 lot like a metal tritide. This is also covered in
8 the DOE handbook on tritium for metal tritides.

9 DR. LIPSZTEIN: Okay. Okay, so are
10 you going to add this to the paper or on the tritium
11 example or on the paper on tritides or on the TBDs?
12 Because there is no mention of OBTs.

13 MR. SHARFI: When we update the TBD,
14 I'm sure we can add some more text to clarify
15 organically bound tritium.

16 DR. LIPSZTEIN: Okay, thank you.

17 DR. MAURO: This is John Mauro. I want
18 to take this a little step further so I understand.
19 I'm visualizing a man that is working in an area
20 where he is on the tritium bioassay program. And
21 his dose needs to be reconstructed. From what I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hearing, three different types of possible tritium
2 exposures. One would be metal tritides, one would
3 be organically-bound tritium, and the third would
4 be tritiated water.

5 And the information you have available
6 to you to reconstruct his doses from those three
7 different types of tritium are either swipe
8 samples, and we understand what you are doing
9 there, and those swipe samples from the metal
10 approach would be you take your swipe, you do your
11 measurement, and you assume that the swipe activity
12 is tritides, and that the way you will calculate
13 his internal dose is use the resuspension factor
14 approach.

15 That is, assuming that all of the
16 activity that's on the swipe that you pick up --
17 and I understand the surrogate nature of that --
18 in the water and so forth, but you basically are
19 saying, okay, this is how we are going to
20 reconstruct his doses from resuspended tritides.
21 And you can use the air concentration and so forth.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Then, you also have tritium in his
2 urine. You don't assume that the tritium in his
3 urine is entirely due to the inhalation of
4 tritiated water, and so you add that. So, okay,
5 we just picked up his dose in tritides from the
6 swipe data. We are going to pick up his dose now
7 from tritiated water based on his bioassay data.

8 And I think where you've just left me
9 now, and this has struck my questions, is now you
10 have the organically-bound tritium. Now, what I
11 just heard you say is that you are going to assume
12 that any organically-bound tritium -- which of the
13 two approaches, where does the organically-bound
14 tritium come in? Does it come in assuming that it
15 doesn't come in? That you are assuming that all
16 of the swipe that you pick up and the way in which
17 you measure it, it will also accommodate the
18 organically-bound tritium somehow? You lost me
19 there. Or somehow is it the bioassay sample of the
20 urine? You see?

21 MR. SHARFI: It's a combination of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 both, John. I mean, the soluble forms of tritium
2 would be accounted for in the bioassay. On top of
3 that, we would assign the insoluble forms, the
4 swipe data, the intake based on the swipe data.

5 DR. MAURO: Okay, and where does the --
6 I understand the tritide. I understand the
7 tritiated water. You're saying somehow the
8 organically-bound tritium is going to be captured
9 in this also and accommodated, because it is two
10 times higher than if you were basing it on the
11 bioassay sample. But you're saying you are not
12 going to base -- somehow the organically-bound
13 tritium dose contribution is somehow going to be
14 captured by the way you are dealing with the metal
15 tritides.

16 DR. LIPSZTEIN: And the second
17 question, to complement John's: are you going to
18 show that what you are measuring in the urine is
19 not the OTB because it has something different from
20 what I see up here recommends?

21 MR. SHARFI: I mean, obviously, if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had a huge -- I mean, if we're trying to do a fitted,
2 huge result, and we can look at the tail to fit it.
3 Otherwise, the approach to assessing tritium
4 urinalysis is covered in OTIB-11 and -66, which,
5 at that point, 11 covers the area of the curve
6 assessment assessing tritium bioassay,
7 proportional area in the curve. And in 66, it
8 provides, I think, adjustments. If you have a
9 potential for OBT, and if there is a difference in
10 the biokinetic models, that you can make
11 adjustments for soluble OTBs. Then any insoluble
12 OTB would be wrapped up -- you would basically be
13 assuming that very little gets to the bioassay in
14 any insoluble form and that that would be captured
15 within the assessment of the -- you want to call
16 it the particulate side or the metal tritide and
17 insoluble, the T side.

18 DR. LIPSZTEIN: And you would think to
19 update the TBD-5 for that? Because there is no
20 mention of this here.

21 MR. SHARFI: No, the tritium section of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the TBD will have to be updated for all of this.
2 And then even the intakes are going to be updated
3 anyways because we are going to adjust the
4 resuspension factors. So, the intakes are going
5 to change.

6 DR. LIPSZTEIN: Okay. And because
7 also, even tritiated water, there is no explanation
8 on how you calculate intakes from composite
9 samples. Because apparently they took one week of
10 samples, 1 millimeters of each day, and combine and
11 then measure it. So, there is no explanation also
12 of how you calculate intakes for such composite
13 samples of tritiated water. Or OBT, I don't know
14 if there is OBT there.

15 MR. SHARFI: I mean, the assessment of
16 soluble forms of tritium are well-covered in 11 and
17 66.

18 DR. LIPSZTEIN: Yes, yes. It is just
19 that this is a particular situation where instead
20 of having one sample, they combine seven days'
21 samples and measure it. So, the measurement is for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the seven days composite sample, until 1970.

2 MR. GLECKLER: This is Brian Gleckler
3 again. I remember this being brought up a long
4 time ago and it's still kind of foggy. And we
5 explained it, I'm pretty sure, at one point in time,
6 but I'm just trying to -- I don't know if anyone
7 else remembers. It goes back to one of the much
8 earlier conference calls, I think, or Working Group
9 meetings.

10 DR. NETON: Yeah, this is Jim. I don't
11 recall exactly what you discussed, but I think we
12 had put this issue to bed. I think the best thing
13 to do is to focus on this tritide issue and close
14 this issue now and not start treading over old
15 ground.

16 And we will acknowledge that the TBD
17 needs to be revised to better discuss the
18 individual assignment of dose from
19 organically-bound tritiated water and then the
20 tritides. I mean, we can do that.

21 But right now, I think that the main

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 issue of discussion is, can we do these tritide
2 exposures? And I think it would be better if we
3 focused on that right now.

4 DR. MAURO: This is John. I
5 understand what you're saying, Jim, and I think
6 that is a reasonable approach. This way, we could
7 segregate and take care of the metal tritides in
8 a clean way and we'll worry about the
9 organically-bound and how that complicates the ---

10 DR. NETON: I think we all agree we can
11 reconstruct doses for organically-bound tritium
12 and tritiated water. It is whether or not -- how
13 much detail we put in TBDs, is an issue. But right
14 now, the issue is can we do these tritides? So,
15 let's see if we can get that solved first.

16 MR. STIVER: This is Stiver. I'd
17 prefer to do that, too. We haven't even talked
18 about our review yet of the tritide model.

19 ACTING CHAIRMAN POSTON: So, is
20 everybody agreed on how we're going to proceed
21 here, before we leave this issue?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Well, we still haven't
2 even discussed SC&A's review of the paper yet.

3 ACTING CHAIRMAN POSTON: Okay.
4 Alright.

5 MR. STIVER: That would be the next
6 item.

7 ACTING CHAIRMAN POSTON: That's the
8 next item?

9 MR. STIVER: Yeah.

10 ACTING CHAIRMAN POSTON: Is everybody
11 willing to go ahead and do that, go to the next item?
12 Yes, no, maybe?

13 MEMBER FIELD: Yes, this is Bill. I
14 think John's questions have really helped to
15 clarify some things for me. I think that was very
16 helpful.

17 MR. DARNELL: This is Pete. I agree,
18 we should go ahead and move on to SC&A's review.
19 I just want to make sure I captured this correctly.
20 SC&A wants a TBD update to address how tritides,
21 organically-bound tritium, and tritiated water are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 captured individually. Correct? Is that correct
2 or not? Hello?

3 DR. LIPSZTEIN: Yeah, I think so.

4 MR. DARNELL: Okay.

5 MEMBER CLAWSON: John, this is Brad.
6 I'm fine with continuing on and listening to SC&A's
7 response.

8 ACTING CHAIRMAN POSTON: Okay.
9 Hearing no objections, let's go ahead with the
10 presentation of SC&A's review. Who's going to do
11 that?

12 MR. STIVER: That will be Bob Barton.

13 ACTING CHAIRMAN POSTON: Bob Barton?

14 MR. BARTON: Okay, I guess I'm on.

15 ACTING CHAIRMAN POSTON: You're up,
16 Bob.

17 MR. BARTON: Alright. I don't have a
18 typical formal presentation, but I do think it
19 would be helpful to be able to look at some of the
20 tables and figures from our review as I sort of go
21 through what we found here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And for those of you, members of the
2 public on the phone, I'm going to be working
3 straight off the document that was posted on the
4 website. So, when I say something like Table 1 or
5 Figure 1, you can open that document and follow
6 right along.

7 MR. STIVER: Bob, this is John. Do you
8 want me to bring it up on Live Meeting for you?

9 MR. BARTON: I think I can -- if I run
10 into trouble, I will lean on you, but I think --

11 MR. STIVER: Okay.

12 MR. DARNELL: Yes, please bring it up
13 on Live Meeting.

14 MR. BARTON: Okay. For those of you
15 who see Live Meeting, you should all see Table 1.
16 Can everybody see that?

17 MR. STIVER: That's coming through
18 fine.

19 MR. DARNELL: Yes.

20 MR. BARTON: Okay, great. Based on
21 our review, we had seven observations and a single

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 finding. Basically what we did, the first thing
2 we did was to go and inspect the underlying
3 reference, which is the collection of health
4 physics reports from 1957 to 1973. That's
5 referenced in the NIOSH White Paper as GE 1957 to
6 1973.

7 And as I said, it's collection of
8 monthly health physics reports that in some cases
9 would report the highest swipe sample and give you
10 numerical results for the given month. In other
11 cases, it would simply state that the contamination
12 control measures were effective for that period of
13 time.

14 So, right now, we're taking a look at
15 Table 1, which sort of provides a general summary
16 of that reference. In the left column there, we
17 see the total number of reports on a monthly basis
18 for each of these years. So, for example, for
19 1957, we have five monthly reports for that year.

20 The next column over, it may be a little
21 bit cryptic. It says, "complete reports." And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basically what we were seeing in this reference was
2 you would have a health physics report that would
3 give you, for instance, page 1 of 7, page 2 of 7,
4 but you might be missing certain pages in there
5 based on this reference.

6 The next column over shows the number
7 of smear samples that were indicated to be taken
8 based on what we have in this reference. As you
9 can see, they go up to almost about 10,000 in 1962
10 and then they kind of decrease a little bit.

11 And on the far right, we see the actual
12 number of numerical results we had for that year.
13 So, these would have been the actual swipe sample
14 numbers that sort of form the basis for the original
15 methodology that we had there. And there was
16 something, there was only about 40 individual
17 numerical samples that we were able to pull from
18 this particular reference.

19 So, that was sort of the first basis and
20 the first step, was just to review that reference.

21 The next thing we did was to go and start

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 digging into the SRDB. And for those of you on the
2 phone, members of the public, the SRDB is the Site
3 Research Database. Essentially, it contains all
4 of the documents and data that have been captured
5 for Pinellas to-date. So, this is pretty much the
6 extent of what we have to-date.

7 And the reason we really did this is,
8 subsequent to the last discussions, which were all
9 the way back in November of 2012, there had been
10 a number of reports. I think the number was
11 somewhere around 350 additional references that
12 had been uploaded. Not all of them had to do with
13 tritium swipe data, to be sure, but a number of them
14 did have some additional data.

15 So, we examined these documents, which
16 were certainly new to us, and really with four
17 things in mind. The first one was we wanted to try
18 to fill in some of the temporal gaps. And let me
19 just scroll down here to kind of give you a visual.

20 So, this was the original reference.
21 And we can see that this visually shows the number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of monthly reports we had for each of these years.
2 As you can see, starting in 1957, we have about five
3 of the months covered. Some of them are down
4 around three. Some of them have all 12 months
5 covered within that reference. For '68 and '71,
6 we didn't find any actual monthly reports than
7 that. So, that is sort of the reason we went
8 looking, to see if any of those additional
9 documents that had been uploaded could fill in some
10 of the gaps on Figure 1 that we are looking at right
11 here.

12 Now, the second thing we were looking
13 for is to see, well, this sort of ends in 1973.
14 What can we find after that? So, either additional
15 HP reports or other additional survey data that we
16 can find.

17 The third sort of facet of this was to
18 really give value weight whether that 4 million dpm
19 per 100 square centimeters was the bounding or the
20 highest observed contamination value at the site.

21 And the last thing we wanted to do was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really try to get a grasp on the characterization
2 of the HP program: what kind of decontamination
3 efforts were there, contamination control, et
4 cetera. And this is obviously important because
5 it provides a significant perspective and sort of
6 a weight-of-evidence that workers may or may not
7 have been chronically exposed to the levels that
8 are currently assumed in the model.

9 So, for that first facet, which were the
10 gaps we observed in the original reference -- and
11 I am going to scroll on to -- oh, one more thing
12 before I head along.

13 In this original reference, which was
14 GE 1957 to 1973, these show those 40 swipe sample
15 results, where we actually had numerical values,
16 and I have them plotted here by date. As you can
17 see, there is sort of a cluster around 1959 to sort
18 of the end of 1960. There's a pretty good gap
19 there. And then there is a smattering of numerical
20 results that we had for '67 on to about '73. And
21 I point out where the NIOSH proposed value falls

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in those numerical results from the original
2 reference.

3 I'm going to move on to Figure 3. And
4 please, obviously, stop me if you have questions
5 as I'm going along.

6 So, with the additional reports we
7 found in the SRDB, we can see that the gaps
8 significantly improved with many more years having
9 all 12 of the health physics reports. Some of them
10 stayed the same in the early '50s -- or late '50s,
11 rather. We didn't really find any more health
12 physics reports and we still could not find any for
13 1968 and 1971.

14 And so that sort of leads us to what our
15 first observation was, and I'll read this into the
16 record.

17 Observation 1: SC&A identified
18 several supplemental periodic health physics
19 reports that had recently been uploaded to the SRDB
20 and that account for some of the observed gaps in
21 the primary reference, which was forming the basis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for characterizing the tritium contamination at
2 Pinellas.

3 So, that's the first thing, was to try
4 to fill in some of the gaps we observed in the
5 primary reference. The second thing was that we
6 were going to try to look for some data or some
7 additional health physics reports beyond 1973.
8 Unfortunately, we did not find any health physics
9 reports, or not at least in the form that we
10 observed up until about 1973. But we did find some
11 sparse examples of survey logbooks and smear
12 surveys that had been taken at Pinellas.
13 Specifically, we found some additional data for
14 1976, 1980 to 1981, '86 to '88 and 1991 to 1994.

15 So, the second observation here is that
16 SC&A concurs with NIOSH that individual
17 contamination survey results are pretty limited
18 until you get really into the last 1980s as far as
19 having actual numerical results of these surveys.
20 And we could not find any monthly or quarterly
21 health physics reports for anything past the third

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 quarter of 1973. So, that was our Observation No.
2 2.

3 The third facet that I had discussed was
4 sort of characterizing the bounding nature of the
5 value currently chosen for the method, which is the
6 4.4 million dpm per 100 square centimeters.

7 Based on what we would find in the SRDB,
8 we essentially went through and just tried to
9 tabulate the highest contamination value we could
10 find, by year, all the way up through 1994. Now,
11 as I said, we found some sparse contamination data
12 for a number of years past 1973, but there are
13 certainly a few gaps there.

14 And I'm going to move along to Figure
15 4 here. This kind of shows what we found. And,
16 again, these are the highest observed values that
17 we could find in pretty much all the available
18 documentation on the SRDB to-date. And as you can
19 see here, we have, in green, the proposed
20 contamination value. And as Mutty had indicated,
21 we found a couple of situations in the late '80s

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and '90s where we found contamination survey data
2 that actually exceeded this value of 4.4 million
3 dpm.

4 Just to give you a little back story on
5 what these three values represent, because it's
6 very relevant on how you do dose reconstructions
7 and the whole issue of exposure potential. The
8 first red value there is in 198,8 and that was
9 associated with the removal of a hood or a glove
10 box, which you can imagine that could certainly
11 result in some elevated levels of contamination but
12 would likely be of somewhat limited timeframe,
13 certainly not a full year exposure or a full
14 employment exposure.

15 The second one there was from 1992.
16 This one actually had consecutive days where they
17 were measuring more on the order of 10 million dpm
18 per 100 square centimeters. And this was for a
19 flow bench and there was a handwritten note on this
20 record next to it that the smear actually came from
21 the rad exhaust hood.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, again, it raises the question of
2 whether exposure potential really existed in that
3 situation where you would assume there would be
4 some negative air flow. But, again, that value is
5 a little bit higher.

6 And then the final one here, 1994, that
7 was associated with what was listed as a pipe but
8 based on what we were able to glean from that survey
9 data, it was actually part of some interior tubing
10 that was used in the accelerator that they were
11 removing. So, again, it's sort of almost a D&D
12 activity or a maintenance activity that you
13 wouldn't expect to be a consistent type of exposure
14 scenario.

15 So, that leads us to Observation 3:
16 SC&A agrees with NIOSH's assertion that
17 contamination values in the millions of dpm per 100
18 centimeters would have been unusual and likely of
19 short duration. But nonetheless, if the intention
20 was to use the maximum value that you see at the
21 site, we did identify these three years that had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 slightly higher values. And that is really just,
2 I guess, for informational purposes. We still
3 certainly agree that the chosen values very likely
4 are going to bound exposures, especially when
5 considered on an annual basis. But again, we
6 wanted to make that information known in case NIOSH
7 wished to use it.

8 And the fourth facet of our SRDB search
9 had to do with the characterization of the Health
10 and Safety Department. Basically, let's look at
11 how the Health and Safety Department reacted to
12 spills or just contamination found on a routine
13 survey. And we have it in Figure 5. Okay, here's
14 Figure 5. We'll get that out of the way.

15 Here's one example that we pulled. And
16 as you can see here, it talks about one localized
17 incident of contamination when solution was
18 spilled on the floor in one of the laboratory areas.
19 And I've underlined it here: decontamination was
20 immediately effected.

21 So, again, this is one example. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actually, for those of you who are interested,
2 Appendix C contains a number of other examples,
3 where the same thing was identified and they state
4 that it was decontaminated immediately or
5 efficiently or within a few days. You can see the
6 direct quotes from, again, Appendix C has those.

7 So, that was the basis for our
8 Observation 4, which is that available monthly
9 health physics reports indicate that when
10 contamination was discovered, through either
11 routine surveys or incidents, the area was
12 immediately decontaminated.

13 We also have some further evidence on
14 that subject: survey logs from the late 1980s and
15 1990s had also showed evidence of this. And this
16 is going to be sort of a roaming example of Figures
17 6 through 9. So, I'm going to head there right now.

18 Okay, here's Figure 6. This is one
19 example of the daily survey reports that we started
20 to see beginning in the late 1980s. And as you can
21 see, they had a value that was significantly less

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than what's being proposed by NIOSH, but,
2 nonetheless, above the control value that was for
3 Pinellas. And you can see it was noted and they
4 wanted to recount the sample. And you can note the
5 date, that it was June 1st, 1992 at about it looks
6 like almost 9:00.

7 So, this one was earmarked to be
8 recounted. So, here's the recount. Again, it's
9 still June 1st. It's about an hour later. They
10 still had about the same contamination level.
11 Actually, it's a little bit higher. So, again,
12 they said, well, let's recount it again. And,
13 again, this time it came in a little bit lower, but
14 still above their control limit. And again, this
15 is still the same day, just a little bit afterwards.

16 And in Figure 9 here, a couple of things
17 to note here. One, that same area that had been
18 showing contamination in the 3,000 dpm per 100
19 square centimeter department is now down to 40,
20 which is ten percent of the control level at
21 Pinellas.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And this also indicates, and I have
2 circled here, that they resurveyed after they
3 mopped up the incident or mopped up the spill,
4 whatever it was that was giving that higher result.
5 They mopped it up, resurveyed it, and it came in
6 below the control level. And again, this is still
7 the same day a few hours later.

8 So, this is one of those pieces of
9 evidence where they detected contamination, and
10 just to be sure, they counted the sample a couple
11 of times, determined that the contamination was
12 real, went back, cleaned it up, resurveyed until
13 it came back to under the control limit.

14 So, that kind of leads us to Observation
15 5, which is: SC&A observed evidence in survey
16 logbooks from the '80s and '90s that indicated
17 situations where contamination above the control
18 limit -- which I believe is 440 dpm per 100
19 centimeters -- was often recounted and then the
20 area was decontaminated and resurveyed.

21 One more thing along these lines. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Health and Safety Department, in the reports,
2 actually indicate that they would sort of predict
3 situations, whether it be maintenance activities
4 or what have you, where they said this type of
5 activity is probably going to bring us to
6 contamination levels above the control limit. And
7 so they would take sort of extra actions or send
8 the Health and Safety personnel out there to sort
9 of monitor it.

10 One such example we have in our report
11 was from December of 1969. And they actually talk
12 about anticipating contamination in several areas.
13 And the highest observed value they had was
14 disassembly of a vac-ion pump. The report notes
15 that for this activity continuous air monitoring
16 was provided by Health and Safety.

17 I guess the key is that they anticipated
18 it and were taking measures to contain it and
19 monitor the operation in real-time as it was
20 happening. This, to me, suggests evidence that
21 any sort of long-term contamination is rather

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 unlikely and that they are aware of the activities
2 that would likely cause the highest contamination
3 and took corrective actions, or at least were right
4 there to make sure it wasn't a long-lasting source
5 of exposure potential to the workers.

6 Interestingly, the value that was
7 chosen by NIOSH, the 4.4 million dpm per 100
8 centimeters squared, that was part of a maintenance
9 activity on a glove box, and that Health and Safety
10 monthly reported indicated that they had put extra
11 controls in place and that those controls had
12 effectively limited that contamination to the room
13 where the activity was happening, though they
14 actually admit that the levels were significantly
15 higher than they anticipated.

16 Finally, there was also a 1982 report
17 or procedure that the activities that were expected
18 to produce contamination above the control limit
19 should use paper, essentially, along the floors and
20 work surfaces. And I'm going to scroll to that so
21 you can see the example.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Here we go. So, as you can see, there
2 were sort of three steps that were outlined in this
3 procedure. You want to tape the work surfaces and
4 floor areas that were in the vicinity, tape them
5 off so they wouldn't come back up. Once the work
6 was done, they would remove the paper and fold it
7 in a specific way, put it in a bag and dispose of
8 it. And then after that was done, they would have
9 Health and Safety come in and do a contamination
10 survey. So, again, this is another piece of
11 evidence that sort of leads us to believe that any
12 sort of high level contamination was likely not
13 there for very long.

14 So, that leads us to Observation 6:
15 Based on the review of available health physics
16 reports, it appears that Health and Safety staff
17 recognized the situations that posed an elevated
18 threat to tritium contamination above the control
19 limit and they took precautions to minimize the
20 potential exposures.

21 So, those were sort of the four facets

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we really focused on going into this, is
2 basically what data do we have to characterize the
3 contamination and what indications do we have about
4 the operation of the Health and Safety Department
5 as far as how quickly these things might have been
6 detected and cleaned up.

7 But we did also look at some of the other
8 facets of the approach that Mutty talked about.
9 These are choice of a resuspension factor, the 5E
10 to the minus 5. The measurement system that was
11 used to be able to detect the tritium contamination
12 from these smears. The solubility type of the
13 actual contaminant being assumed. The breathing
14 rate of the worker. And the actual annual exposure
15 time for the worker.

16 So, just to quickly go through these,
17 I think we can probably start with the easy ones.
18 The resuspension factor, again, this is a factor
19 that was chosen to be consistent with Mound.

20 And John Mauro, if you are still on the
21 line, I know you did a lot of research related to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the selection of resuspension factors at Mound, and
2 certainly in other places. I don't know if you
3 want to add a few comments on that particular
4 assumption.

5 DR. MAURO: A little bit. The very
6 fact that this accommodation was made to increase
7 it is favorable. Under the circumstances you just
8 described, you could visualize that 10 the minus
9 6 per meter resuspension factor would be used after
10 the area is cleaned up. Before the area is cleaned
11 up, the resuspension factor may very well be close
12 to 10 to the minus 5.

13 So, the story we just heard is that,
14 yes, for some short period of time, freshly
15 deposited tritides -- that is what we are talking
16 about, of course -- and probably it's mostly not
17 tritides -- it's probably mostly tritiated water.
18 But assuming it is tritides, if it did have a higher
19 E suspension factor because it was freshly
20 deposited, it would move toward a 10 to the minus
21 5.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Of course, once it's decontaminated,
2 you will have reduced the likelihood of its being
3 able to be resuspended, and the 10 to the minus 6
4 would be more favorable.

5 So, my takeaway from this is that since
6 you're using the 4 times 10 to the 6th dpm per 100
7 centimeters squared coupled with 5 times 10 to the
8 minus 5, it is quite claimant-favorable from many
9 perspectives.

10 And the one last thing I think I might
11 want to add to this is something I looked into a
12 bit, is all of our resuspension factor information
13 that we have in the literature really deals with
14 airborne-deposited activity, like uranium and
15 plutonium and other metals and metal oxides. And
16 what we are dealing with here is something a little
17 bit unusual, you know, a metal tritide. I don't
18 think it's happening, but you mentioned that it
19 might have been some other types of metal tritides.

20 And one of the things I was thinking
21 about was, is it reasonable to assume that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 experience in resuspension factors that we have
2 collected, and there is a vast amount of experience
3 on internal resuspension factors, which heavily
4 deals with things other than metal tritides, would
5 apply to a metal tritide.

6 And what I did is I made a phone call,
7 and the fellow I spoke to agreed that I could
8 mention his name: [identifying information
9 redacted], [identifying information redacted]son,
10 he's a recognized expert in aerosol physics. And
11 he was at Los Alamos and he said it's okay if you
12 need to mention his name during this meeting.

13 And I talked to him a little bit about
14 this question, is there anything about a tritide,
15 something he's familiar with because he did work
16 on Mound during the decontamination operations.
17 And his sense is that he believes that the metal
18 tritides, as a particulate, would behave very much
19 like any other particulate, as long as the particle
20 size distributions are more or less the same, from
21 the experience in other metals.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I guess my takeaway, and this might
2 help a little bit, is a great excess of assurance
3 that the overall strategy adopted by NIOSH -- and,
4 of course, we will be talking about this some more,
5 though, with respect at least the resuspension
6 factor -- rings true and is claimant-favorable. I
7 hope that helps a little bit.

8 MR. BARTON: Thanks, John. Okay, so
9 that was the resuspension factor.

10 Another one to consider is the
11 solubility type. Now, the NIOSH White Paper had
12 concluded that, to be claimant-favorable they
13 would always consider the tritide exposures to be
14 Type S or a very insoluble type compound. And we
15 feel that is likely to be claimant-favorable in
16 most situations, especially when you are talking
17 about doses to the lung and such.

18 But I did have a question. When we
19 looked at the example DR because in that, it appears
20 that both Type S and Type M were evaluated and it
21 looked like Type M was bounding for some of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hypothetical organs. So, it seems like the
2 solubility type changed based on what organ was
3 evaluated. And I guess my question is, would that
4 be standard practice that both Type M and S would
5 be evaluated and each organ would be selected
6 separately or would it be the cumulative effect of
7 one solubility type or the other, or, is it as the
8 White Paper says that it should just be assessed
9 as Type S solubility in all cases? So, that was
10 one question because it seemed to be a little bit
11 confusing between the White Paper and what we were
12 seeing in the actual dose reconstruction example.

13 So, I don't know if that is a question
14 for Mutty or for Jim.

15 MR. SHARFI: Yes, I mean you should
16 assess which would be more claimant-favorable for
17 the specific radionuclide. I mean I think, and
18 this is probably just a natural -- I think we
19 generally, when we talk about the metal tritide,
20 we considered the Type S because it is the most
21 hardest. It is in the urine. Dosimetrically, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 makes the biggest difference from the lung -- I mean
2 the drop difference in the lung is huge between the
3 M and the S where inside the systemic organs, there
4 is not as big of a dosimetric difference.

5 So, I think that is probably just my
6 fault in terms of the response paper just naturally
7 gravitating toward the Type S. But no, you would
8 look at what is most claimant-favorable from a
9 solubility perspective between M and S.

10 Now, F you would not consider because
11 F would quickly move into the urine and be treated
12 no different than HTO or HT.

13 MR. BARTON: Okay. And just a
14 follow-on to that. Would you, say, for an
15 individual, would you be restricted to selecting
16 the one I guess solubility type that gives your
17 highest cumulative Probability of Causation or
18 does NIOSH actually, for each organ evaluated,
19 could select, feasibly select a different
20 solubility type and add those together?

21 MR. SHARFI: Well, your exposures to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one material are no different than uranium and you
2 had multiple cancers. If you had a lung cancer and
3 a bone cancer, you wouldn't give the lung cancer
4 Type S and the bone cancer Type F just because the
5 material is either one or the other when you inhale
6 it. So, you can't inhale both mixtures and double
7 compound. That would be double dipping on the
8 solubility.

9 So, you have to determine overall, is
10 Type S overall more claimant-favorable to the claim
11 or is overall all Type M more claimant-favorable
12 to the claim.

13 MR. BARTON: Okay, thanks for that
14 clarification.

15 DR. LIPSZTEIN: Can I come in just one
16 second? The only thing that matters is lung. The
17 other organs, it doesn't matter. The dose is so
18 small.

19 So, I think the important thing is to
20 consider Type S for the lung. Otherwise, the dose
21 is very, very small. You can see from the example,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the dose is very small, and if you did with Type
2 M or Type F, the dose to the other organs would be
3 very, very small. The only amount that counts is
4 lung.

5 MR. SHARFI: I agree dosimetrically
6 the respiratory tract is where the more dosimetric
7 concern is.

8 DR. LIPSZTEIN: Yes, otherwise, it
9 doesn't make a difference. The dose is too small.

10 MR. SHARFI: Yes, I believe there was
11 a difference in M and S if you look at like the
12 liver, I think it was like 9 versus 7 millirems.
13 And dosimetrically, it is very -- there is a much
14 smaller difference in the systemic organs than,
15 obviously, the respiratory tract.

16 DR. LIPSZTEIN: Yes. Yes, the only
17 thing that would really give a dose is lung. So,
18 Type S is the most claimant-favorable.

19 MR. BARTON: Yes, I agree with that,
20 Joyce. I think what we were mainly talking about
21 was, for example, if you had a worker that didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have necessarily a lung cancer but did have a liver
2 cancer, you would still have the option to use Type
3 M. Even though it is a small dose and a slight
4 difference, you would still be able to have the
5 option to use Type M, if that, indeed, was most
6 favorable to the claimant. And that was confusing
7 between the DR report but I certainly see where
8 Mutty was coming from. And sort of the knee-jerk
9 reaction is to think lung, just like you said,
10 because that is really where the dosimetric
11 significance is.

12 So, that did clear it up for me. Thank
13 you.

14 MEMBER CLAWSON: Bob, this is Clawson.
15 I have just got a question for Mutty.

16 And I understand why you have done, and
17 I agree with how you have done this, but looking
18 at it from the dose reconstruction, is there
19 something in the tools that is going to allow the
20 dose reconstructor to be able to understand that
21 he can do this?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. SHARFI: I mean this is, like I
2 said, no different than if you had a thorium or a
3 plutonium intake. In all cases, if you have the
4 possibility of two solubility types, you always
5 consider both and you assign the more
6 claimant-favorable to the claim.

7 MEMBER CLAWSON: Okay, I was just
8 wondering because we just, from my other one
9 yesterday, the dose reconstruction, we were just
10 getting into some of the tools.

11 MR. SHARFI: Yes, this isn't tools as
12 much as it is just -- I mean you would run M and
13 then you would run Type S, I mean if you had a lung
14 cancer.

15 I mean generally, I would say this is
16 probably a little more intuitively obvious that any
17 respiratory tract is likely to go Type S and any
18 systemic organs likely are going to be Type M. But
19 I believe the question is more of what if you had
20 both. What if you had a liver and a lung cancer,
21 then can you mix them? And I would say per the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 OTIB-60 in the sense of how we do it in terms of
2 dosimetry, you have an intake of one or the other.
3 You can't have an intake of both. More likely
4 because you have the lung cancer and it is more
5 dosimetrically significant to the lung cancer, you
6 would likely assess them both as Type S because the
7 difference in the liver is very small. So, the
8 difference in the lung is very huge. So, it would
9 be more claimant-favorable to assume a Type S
10 intake and assess all organs Type S than it would
11 be to assess them all with Type M.

12 MEMBER CLAWSON: Okay, thank you very
13 much. I appreciate it.

14 MR. SHARFI: Sure.

15 MR. BARTON: Okay, if there are no
16 questions on the solubility type, the next sort of
17 factor, this was the breathing rate and exposure
18 duration.

19 The breathing rate was chosen as 1.2
20 cubic meters per hour, which is pretty standard.
21 I think the ICRP classifies that as sort of a light

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 labor category, which would certainly be
2 indicative of sort of laboratory type work.

3 The exposure duration, which Mutty
4 already pretty much cleared up for us and was the
5 source of our sole finding on this was whether you
6 assess it over a 2,000-hour work year or the
7 2600-hour work year and the TBD and the dose
8 reconstruction example that you provided had the
9 2600. But we had saw the 2,000 in the White Paper
10 so it kind of got us confused but it sounds like
11 that was probably just a typo and it will be
12 assessed as 2,600 hours. Is that correct?

13 MR. SHARFI: Yes, that was done in the
14 example DR and 2600 hours will be used.

15 MR. BARTON: Okay, great. As I said,
16 that was our sole finding because there seemed to
17 be a little bit of a disconnect between the White
18 Paper and the TBD. But NIOSH is electing to go with
19 the longer work year, which is 50 hours per week.

20 MR. SHARFI: Yes, and I will correct
21 it. The TBD does use 2600 hours. I just, for some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reason, put 2,000 in the response paper.

2 MR. BARTON: Understood. Alright,
3 well, that definitely clears it up.

4 The last thing here is the ability of
5 the system, the measurement system to be able to
6 actually detect tritides. And this was touched on
7 certainly during Mutty's presentation.

8 The White Paper, itself, mainly
9 concentrates on the filtering step, which is the
10 Whatman #1 filter, and NIOSH demonstrated that the
11 actual pore size for that would really only
12 restrict particles that were 10 to 12 microns,
13 which is really out of your respirable particle
14 range. So, we didn't really see that as a problem.

15 The second part was this issue of
16 whether, if you are swabbing up stable metal
17 tritide particles with a cotton swab and then
18 rinsing the swab, we were concerned about whether
19 the tritides would get sort of trapped within the
20 cotton swab and never really make it to the counting
21 liquid to be registered in the contamination

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 survey.

2 John Stiver, I know that you had brought
3 this issue up. I don't know if you want to add a
4 little bit to that characterization.

5 We also queried NIOSH when this came up
6 to see what was there and NIOSH provided a response.
7 So, John, I don't know if you want to add to it or
8 if we should --

9 MR. STIVER: Yes, sure, I can add a
10 little bit to it.

11 This came up I know when we looked at
12 Mound back in the 2012 time frame, the final
13 version, there was an extensive back and forth on
14 that. And there are a couple of material
15 differences, one, obviously being the amount of
16 data that was available for swipe samples at Mound
17 versus the summary reports that are available for
18 Pinellas. We understand obviously why NIOSH would
19 go with the higher value for Pinellas in order to
20 make sure those uncertainties are captured and
21 bounding.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The other thing was, at Mound, they used
2 the PC5 proportional counter and they would take
3 a swipe and they count the sample directly. So
4 while you are probably obviously going to have
5 predominately HTO, tritiated water that is being
6 counted. If there was some component of tritide
7 in there, that would also be counted.

8 So, we can say, alright, you know we are
9 going to have to assume it is all 100 percent
10 tritide because you are actually directly counting
11 some of that if it is there. We felt that was
12 probably a claimant-favorable decision and a
13 reasonable decision to use, especially considering
14 that we were taking the 95th percentile of a chronic
15 exposure.

16 And you know at Pinellas we are throwing
17 in one more layer of uncertainty here. You are
18 taking this cotton ball, you are swiping, you are
19 rinsing it out into a paper cup and then counting
20 the rinsate through a liquid scintillation
21 apparatus. And we were starting to think well, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know what happens if you have all this tritide
2 captured in the swab and it never makes it out? And
3 you know, looking at the health physics paper and
4 Mutty's description, we found, you know if you are
5 dealing with fresh tritides, freshly produced,
6 which is what we have at Pinellas, obviously, you
7 are basically depositing the vapor, the scandium
8 or hafnium or what have you on the ceramic or a metal
9 substrate and you are loading tritium onto it, that
10 is about as fresh as you can get.

11 The question would be well how long were
12 these tubes kept around on-site. Ones that did
13 implode and caused a spill or caused contamination,
14 how old were they? Were the contaminations
15 cleaned up quickly? And our sense is that it is
16 probably reasonable to assume that they are fresh.

17 Bob had described in detail how the
18 health physics program is very responsive and aware
19 of, A) what procedures were likely to result in the
20 contamination events and the responses when those
21 did occur in cleaning them up quickly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I don't think you have a situation
2 where you are going to have tritides sitting around
3 on a workbench for days, months, years, and never
4 cleaned up.

5 So you know you have a situation where
6 with a fresh tritide more of the tritium is going
7 to off-gas. I think the paper showed that it was
8 about a factor of two to three more than what was
9 present in particulates, based on the way they did
10 their counting in the sample.

11 And so even though you were using a
12 surrogate, in a way, by counting what we are almost,
13 it would probably be tritium or gaseous which would
14 then convert to a tritiated water. It does provide
15 a bounding surrogate count and this is based on what
16 came off of the source term itself. So, it is kind
17 of a secondary step. It adds more uncertainty.
18 But given the weight of evidence by the quality of
19 the health physics program, the incident reports
20 that show things were cleaned up quickly, the fact
21 that things are being produced for distribution

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 throughout the complex, we felt that NIOSH was
2 probably on pretty solid ground with this as well.

3 So, that is all I have to say about that.

4 DR. NETON: John, this is Jim. I have
5 got a little bit of a clarification on that new
6 versus old tritiated substrates.

7 The paper actually made a
8 differentiation, not between new and old, but new
9 and used as in the tube had been run.

10 MR. STIVER: So, it actually had been
11 discharged a few times.

12 DR. NETON: Yes, I mean the column is
13 milliamp-minutes and they would run for like a
14 couple hours or an hour or so. And I forget the
15 ratios over time but it certainly seemed to be
16 correlated with how long the tube was actually run,
17 not how old or how --

18 MR. STIVER: Okay. I was under the
19 impression it was more a reflection of age.

20 DR. NETON: No, no, it was whether they
21 were used or not. So, I think you know they didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 obviously analyze new versus sitting on the shelf,
2 old. But at least their paper differentiation was
3 based on tube usage. Just as a clarification.

4 MR. STIVER: Okay, thank you.

5 DR. MAURO: I'm sorry to interrupt.
6 Jim, this is John. My understanding also has to
7 do with age, when I looked at that Health Physics
8 paper. So, we are not dealing with tritides that
9 -- where the tubes were run. This is something I
10 am not familiar with. We are dealing with tritides
11 where the tubes -- where if there was a spill, it
12 wouldn't be a spill from whatever a tube is, was
13 not run.

14 DR. NETON: Right. This is where they
15 are being manufactured. I mean they are actually
16 making, they are installing the tritium onto these
17 metals.

18 DR. MAURO: Got you. Okay, so that
19 makes for an even stronger case.

20 DR. NETON: Yes, and in many of the
21 years, I forget the break point but the tubes were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actually, the metal material, the substrate was
2 actually charged with it in the tube and, in some
3 years, it was not. But yes, it really is, these
4 are being made at the plant, not somewhere else.

5 DR. MAURO: I understand and that is
6 even more assurance that the surrogate approach
7 will work. That is my takeaway from what you just
8 said.

9 DR. NETON: I prefer not to call it a
10 surrogate approach. That has sort of a specific
11 meaning in this program.

12 DR. MAURO: And I agree with you
13 completely.

14 DR. NETON: I would call it an
15 indicator applied or something to that effect.

16 DR. MAURO: I understand completely.
17 An indicator of tritide metal. Yes, thank you.

18 MR. BARTON: Okay, well that topic was
19 really the subject of our last observation,
20 Observation 7. And as I said, when it came up, we
21 really weren't sure if it had been considered. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so we queried NIOSH and they responded and we at
2 SC&A had a pretty lengthy discussion of that issue
3 leading up to this meeting and we were certainly
4 satisfied with NIOSH's response. I don't know if
5 any of the Work Group Members would like to ask
6 questions on that particular topic or on our review
7 as a whole.

8 Just to summarize, we had seven
9 observations. So, basically it boils down to the
10 contamination value chosen may not be the absolute
11 highest. There are at least a few, a handful of
12 samples that we have done that were slightly higher
13 than that but they were also in situations where
14 it is really quite infeasible there would be a
15 long-term exposure to that level of contamination.
16 We feel that any of the other parameters chosen are
17 certainly claimant-favorable. And our assessment
18 of what we can tell about the health physics program
19 is that any sort of spill or contamination, whether
20 it be regular or incident-based, would have been
21 picked up pretty quickly and decontaminated.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, pretty much on that basis, we only
2 had that one finding concerning how many hours per
3 year was going to be assessed and Mutty cleared that
4 up. The higher exposure time was 2600 hours per
5 year.

6 So, that really concludes our review.
7 I would be happy to answer any questions that the
8 Work Group might have.

9 ACTING CHAIRMAN POSTON: Any
10 questions? Any questions for Bob?

11 MEMBER CLAWSON: This is Brad. I have
12 just got one question for John Mauro.

13 I received an article about the study
14 of particulate gas. Was this covering what you
15 talked about with the gentleman? Was this part of
16 that information?

17 DR. MAURO: Yes, well, I'm not sure the
18 article you are referring to. But my concern was
19 this broad, sweeping generalization regarding
20 resuspension factors, you know this 10 to the minus
21 5 versus 10 to the minus 6, which we have all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 resolved. We know when to use 10 to the minus 6
2 and when to use 10 to the minus 5. And within that
3 context that is exactly, it was handled
4 appropriately here.

5 But I was asking, I guess, a little
6 deeper question. And that is the whole idea of
7 using a resuspension factor from the literature,
8 two metal tritides, something that we haven't
9 encountered before and certainly I have not seen
10 nor heard of any literature that specifically
11 looked at that question and is there anything about
12 a metal tritide, which is simply a metal particle
13 with I guess a hydrogen attached to it.

14 And I mean this is only my thinking
15 about it. I said, gee, I wonder if there is any
16 reason to believe that those types of particles
17 would behave differently than the particles that
18 are the basis for all of the resuspension factor
19 issues data that we currently use.

20 So, as I mentioned, you folks may not
21 know [identifying information redacted]. He is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the son of [identifying information redacted],
2 whom everybody knows. But he turns out to be, he
3 was recommended --

4 MR. STIVER: He, John, can I jump in for
5 a second? We probably shouldn't be using those
6 individual names in --

7 DR. MAURO: Oh, he said it was okay for
8 me to use it during the meeting.

9 MR. STIVER: Yes, but I think it is more
10 of a procedural aspect of the program.

11 DR. MAURO: Okay. He is just a
12 knowledgeable person. I just wanted to let you
13 know I called someone very knowledgeable on this
14 subject and that happened by my making some
15 inquiries about who was the world's expert on the
16 subject.

17 MEMBER CLAWSON: Okay, well, I was just
18 wondering, John, because actually John Stiver sent
19 this article out and it was interesting reading.
20 We just kind of covered what we were there on the
21 fact.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I would like to take the opportunity
2 to tell Bob Barton and also Mutty that they did a
3 very good job on this process here. It was very
4 clear and to the point and I appreciated it.

5 ACTING CHAIRMAN POSTON: Any other
6 questions?

7 MR. DARNELL: This is Pete. I would
8 just like to summarize. I guess what I am hearing
9 is that SC&A agrees with the example DRs as they
10 are written, with the exception of the TBD update
11 that we talked about earlier. Is that correct?

12 MR. STIVER: Yes, it would just be a
13 treatment of the OVTs, some kind of an explanation
14 of it.

15 MR. DARNELL: Okay.

16 MR. BARTON: Well, this is Bob. I did
17 have one question that is sort of -- well, it is
18 related and it really comes down, so I guess it sort
19 of an implementation question because these
20 tritide doses are going to be applied to workers
21 who were in the bioassay program.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I guess I am curious because I don't
2 think, at least I haven't looked in-depth at the
3 Pinellas bioassay program but what threshold or
4 example threshold would it take to determine that
5 the worker, I guess, qualifies for tritide? And
6 let me just give an example, say you had a worker
7 who was monitored, I don't know, maybe on a weekly
8 basis and then maybe there is a couple of months
9 without any bioassay samples. I mean, is the
10 tritide exposure directly tied to when the
11 bioassays were taken or how would that work, I
12 guess, in practice?

13 MR. SHARFI: If he is being assessed or
14 if he or she is being assessed for any soluble
15 intake via bioassay, then they are given the
16 corresponding intake of metal tritides.

17 MR. BARTON: Okay, I guess my main
18 question was when you, I guess, observed a gap, say,
19 in the bioassay results you have, whether that is
20 a couple of weeks, a couple of months, how that
21 situation would be dealt with. That might be too

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specific for this forum but I am curious about
2 implementation.

3 MR. SHARFI: I think that I would have
4 to see the particular claim to know all the
5 extenuating circumstances. Like for instance,
6 they could have in their record they are on leave
7 for two months and that is why there is no bioassay.
8 So, without knowing the specifics, it is hard to
9 answer a question like that.

10 MR. GLECKLER: It might be an easier
11 one for me to address. This is Brian Gleckler
12 again.

13 In the claims typically what we will do
14 is metal tritide exposures will get assessed for
15 the periods that they have bioassay monitoring and
16 we will go back to the -- I can't find it but we
17 will go back a month prior to that bioassay sample.

18 And so, like, if there is a gap, we can
19 have gaps in their tritide assessment.

20 MR. STIVER: So, it wouldn't be,
21 obviously, on an annual basis. If you had a gap

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for a year, then you wouldn't include it. It would
2 be any gap in that routine program, then?

3 MR. GLECKLER: Correct. One thing
4 that is indicative of the Pinellas Plant claims,
5 because a lot of the workers spent like 20 to 40
6 years at the plant and they moved around from job
7 to job, and as they changed their job, it is like
8 their monitoring was modified and sometimes they
9 were monitored for just external, sometimes
10 external and internal or just internal or not at
11 all. Because a lot of -- a good chunk of the plant
12 was non-rad type work also.

13 And so they were constantly changing
14 their monitoring as the years go on. So yes, we
15 will have like a year where there is no bioassay.
16 They won't get an insoluble tritium or tritide dose
17 assigned for that period. It is only when they
18 have bioassay data for those periods. Does that
19 make sense?

20 MR. BARTON: And you said the grace
21 period is essentially like a month prior.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. GLECKLER: Yes, I'm trying to find
2 that. I'm pretty sure that's --

3 MR. BARTON: Okay, thank you. I was
4 just curious how this particular model was going
5 to be implemented based on that criteria. Thank
6 you.

7 ACTING CHAIRMAN POSTON: Any other
8 questions? We have been going almost exactly two
9 hours. I'm suggesting we take a 10- or a 15-minute
10 comfort break.

11 MR. STIVER: Sounds good to me.

12 ACTING CHAIRMAN POSTON: No
13 objections?

14 MEMBER CLAWSON: No objections, John.

15 ACTING CHAIRMAN POSTON: Okay. Well,
16 let's just call it -- I am in Central Time. I have
17 2:00. Let's be back at 2:15.

18 (Whereupon, the above-entitled matter
19 went off the record at 2:59 p.m. and resumed at 3:16
20 p.m.)

21 ACTING CHAIRMAN POSTON: Now, we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 down to number five on the agenda.

2 MR. DARNELL: John, if you would, I
3 have a question before we move ahead.

4 ACTING CHAIRMAN POSTON: Yes, I like
5 Jack Daniels.

6 (Laughter.)

7 MR. DARNELL: I'll send you a bottle.

8 ACTING CHAIRMAN POSTON: Just kidding.
9 Go ahead.

10 MR. DARNELL: Do we have enough Board
11 Members to vote on whether or not we are going to
12 close the issue for metal tritides or are we going
13 to wait until the TBD is updated?

14 The reason I ask is the Board meeting
15 is in Tampa and it would be nice to get there and
16 say yes, we are done. I don't know how we want to
17 proceed.

18 ACTING CHAIRMAN POSTON: Well, you
19 will have to ask the Designated Federal Official.

20 MR. KATZ: No, it's fine. That is why
21 Bill Field is sitting in for -- first of all, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is a Work Group so it is not the same as a
2 subcommittee. But that is why Bill Field is
3 sitting in in Phil's place, in effect, although not
4 chairing.

5 MEMBER FIELD: Yes, trying to fill in
6 for Phil is tough.

7 MR. KATZ: Yes.

8 MR. STIVER: Ted, this is Stiver. I
9 have got a question for you. Say if the Board votes
10 -- or the Work Group recommends to accept this,
11 doesn't it still have to go to the full Board at
12 the Tampa meeting?

13 MR. KATZ: Yes, just to get ahead of
14 ourselves, I mean once we have wrapped up all the
15 issues, the Work Group will then make a
16 presentation to the Board at the meeting in Tampa
17 in March.

18 MR. STIVER: Right, that is what I
19 thought.

20 MR. KATZ: Right, and just as a
21 preview, John, we will probably ask you to do a lot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of presenting for John, who is sort of interim Chair
2 here.

3 MR. STIVER: Okay, that's fine with me.

4 MR. KATZ: Yes.

5 ACTING CHAIRMAN POSTON: Yes.

6 MR. KATZ: So, anyway, to answer whose
7 question --

8 MR. DARNELL: Pete.

9 MR. KATZ: -- Pete's question, yes, I
10 mean the Work Group should be getting concurrence
11 on the few issues that are still open. The hope
12 was to be able to close the issues and present to
13 the Board in March.

14 ACTING CHAIRMAN POSTON: You know what
15 they call Tampa? Tampa is the home of the newly
16 wed and nearly dead. And the main population, they
17 have a huge population of old people and they tend
18 to get married the third or fourth time.

19 (Laughter.)

20 ACTING CHAIRMAN POSTON: That and 25
21 cents will buy you nothing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 DR. NETON: This is Jim. I guess one
3 other point of clarification, if these issues are
4 voted to be closed, I still think that they will
5 probably end up still being in abeyance, is that
6 not correct, until --

7 MR. KATZ: Yes, that is correct.
8 Right but that is nothing getting in the way of
9 being able to present and report out.

10 DR. NETON: Yes, I just wanted to make
11 sure people understood that we are not saying that
12 this is -- you know that we are completely done with
13 all this. It is just that we agree -- they are
14 going to vote to see if we agree on these. A path
15 forward, I guess, is what we are saying.

16 MR. KATZ: Right. I mean in cases
17 where you actually put it in abeyance because you
18 agree on exactly what is being put forth, I mean
19 it is as good as closed in terms of reporting out
20 to the Board.

21 ACTING CHAIRMAN POSTON: Are we ready?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Are we ready to go ahead?

2 MR. KATZ: Yes.

3 ACTING CHAIRMAN POSTON: Okay? Let me
4 look at who is next.

5 MR. KATZ: So, John, I think --

6 ACTING CHAIRMAN POSTON: Brian.

7 MR. KATZ: John?

8 ACTING CHAIRMAN POSTON: Yes?

9 MR. KATZ: I think Pete was asking --
10 I mean you guys have just completed the discussion
11 with the one finding related to tritides. And I
12 think Pete was asking for the Work Group's
13 consensus decision on it.

14 ACTING CHAIRMAN POSTON: Well, I
15 understand but normally, it is not up to the
16 Chairman to make a motion.

17 MEMBER CLAWSON: Then how about if I
18 do, John?

19 MEMBER FIELD: And how about if I
20 second it?

21 ACTING CHAIRMAN POSTON: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: I move that we accept
2 NIOSH's process in what is covering the tritides.

3 ACTING CHAIRMAN POSTON: Okay, Bill,
4 is that what you are seconding?

5 MEMBER FIELD: Yes.

6 ACTING CHAIRMAN POSTON: Is there any
7 discussion? It seems like we have already had
8 that, haven't we?

9 All in favor, say aye.

10 (Chorus of aye.)

11 ACTING CHAIRMAN POSTON: Anybody
12 opposed?

13 (No response.)

14 ACTING CHAIRMAN POSTON: Okay, so the
15 motion carries and I assume it will be in the
16 record.

17 Okay, anything else before we move on?

18 (No response.)

19 ACTING CHAIRMAN POSTON: Nothing? No
20 other issues?

21 MEMBER CLAWSON: No, I think we just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 move on on the agenda here, John.

2 ACTING CHAIRMAN POSTON: Okay. So,
3 next we are going to talk about problems with
4 personnel monitoring. And how is going to do that,
5 Zlotnicki?

6 MR. STIVER: This is John Stiver.
7 Joe, are you on the line?

8 MR. ZLOTNICKI: Yes, I am here.

9 MR. STIVER: Yes, this is Joe
10 Zlotnicki. He is an associate at SC&A and a former
11 vice president of the Landauer Corporation and he
12 is going to talk a little bit about the appropriate
13 limit of detection for film badge dosimeters used
14 in the post-'74 period. This is that sub-issue of
15 Issue 5.

16 ACTING CHAIRMAN POSTON: Yes, okay,
17 the floor is yours.

18 **Open Issues Matrix Item 5**

19 MR. ZLOTNICKI: So, let me just give a
20 little bit of background. This issue of what the
21 minimum detectable for film dosimetry is and was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has come up at a number of sites. And the nature
2 of film dosimetry, as I am sure all of you are aware,
3 is that it involves a chemical process and it is
4 almost like a natural product in the sense that it
5 aged over time and fogged as the emulsion or the
6 badge was stored, often for a period of up to around
7 six months. And so one of the challenges is, when
8 we talk about the limit of detection, are we talking
9 about when the processing and the material and
10 everything is pristine or when something has aged
11 a little bit and everything may not be perfect?

12 And one of the factors is that the back
13 of the report that Landauer put out always claimed
14 10 millirem minimum detectable regardless of
15 photon energy. This is for photon only but for
16 photon, 10 millirem regardless of photon energy.

17 But it turns out if you are at
18 americium-241 60 keV, you are going to have no
19 problem seeing that, even with fairly old film.
20 But with high-energy gammas up around cobalt-60,
21 for example, at 1 MeV or a little more, that is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rather hard thing to see because the responsiveness
2 of the film emulsion is down by a factor of 20 or
3 30 from the optimum in the sort of 50 keV region.

4 So, Landauer claimed a 10-millirem
5 minimum detectible or limit of detection. They
6 don't mean quite the same thing but for this
7 discussion, they can. The issue is, is that true
8 for high-energy photon fields? And I think the
9 answer is no, although it could have been on a good
10 day. And one of the issues is that the way that
11 the film densitometers worked and the response of
12 the film to photons, a single step on the
13 densitometer was something like 6 or 8 millirem of
14 high-energy gamma. And given all the noise and
15 uncertainty with the background fog on the film
16 that was subtracted as part of the normal sort of
17 laboratory process, it is rather difficult to claim
18 that for high-energy gamma, one could see 10
19 millirem. I think 20 is more realistic and has
20 been used or suggested at least at some of the other
21 sites.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think that, certainly for the lower
2 energy the 10 is fine, which is most of the Pinellas
3 site but for those that were operating with what
4 were colloquially known as the SNAP generators, the
5 plutonium-238 thermal generators, that was a very
6 hard gamma field, primarily, think of it as a 1 MeV
7 photon field and I think that the dosimetry in
8 general would have had a hard time being 10
9 millirem. It wouldn't have been trivial to see 20,
10 by the way, but I think 20 would be reasonable.

11 So, I wrote that up in a report everyone
12 should have seen because we were fortunate enough
13 to get an old NASA report that looked into the
14 spectrum emitted from these generators with a view
15 to the impact to the -- the instruments on the
16 satellite payload because they had a number of ion
17 or radiation sensors so they were very interested
18 in that aspect. Not a radiation damage to purely
19 -- when you are trying to detect low levels of
20 radiation, they had a similar problem to personnel
21 dosimetry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, we got a lot of good data but the
2 spectrum was hard and for those workers who were
3 primarily exposed to these radioisotopic
4 generators, their limit of detection should be 20
5 millirem, notwithstanding that the back of the
6 Landauer report does say 10 millirem.

7 I don't have anything more specific to
8 say but I am happy to answer questions.

9 ACTING CHAIRMAN POSTON: Well, I
10 think, based on my experience at Oak Ridge, in fact
11 we used 25 generally without really worrying about
12 the different spectral, the different energies.
13 It was just typical to use 25 as the lower limit
14 of detection with the film. You guys did a better
15 job than we did, I guess.

16 MR. ZLOTNICKI: Well, let me just jump
17 in and say one of the issues is how fresh your film
18 is and how willing you are to throw away the film
19 and start with a new batch, rather than run with
20 the emulsion until it is all used up. So, part of
21 it is just a cost question of not trying to hang

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on to it for nine months, for example.

2 Also, storage conditions. Oak Ridge,
3 they presumably were using refrigerators but
4 certainly once the badges are out in the field, they
5 are not refrigerated.

6 ACTING CHAIRMAN POSTON: No, they are
7 not and a lot of times they are stuck over the visor
8 in the car and all kinds of different environmental
9 situations. But we are talking 7,000 badges,
10 though. And so I don't know what the schedule was
11 on buying film but that was what we used. So, I
12 would say 20 seems to me to be reasonable.

13 Anybody else have comments?

14 MEMBER FIELD: This is Bill. I think
15 that makes a lot of sense, given what we just heard.

16 ACTING CHAIRMAN POSTON: Okay. Brad,
17 anything?

18 MEMBER CLAWSON: Sorry, I was trying to
19 get off mute. No, that sounds fairly reasonable
20 to me.

21 ACTING CHAIRMAN POSTON: Yes, we need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to invent a phone that tells you when it is muted
2 or something, have a little light on it. I have
3 the same problem.

4 MR. DARNELL: John, this is Pete
5 Darnell.

6 ACTING CHAIRMAN POSTON: Yes, sure.

7 MR. DARNELL: We actually have a
8 response and Matt Smith is going to lead that.
9 Matt, are you on the phone?

10 MR. SMITH: Yes, I was just kind of
11 waiting for the cue.

12 MR. DARNELL: I think now is the time.

13 MR. SMITH: We took a look at that NASA
14 report as well and we certainly noted that the age
15 of the RTG really does affect the spectrum that you
16 would expect to see. And I know that report is not
17 up on the website. But for folks who might happen
18 to have it in front of them in electronic form, it
19 is page 54 of 191.

20 That section that is speaking about the
21 cases of films and then refers to a Table 4-9 for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 spectral information, and Table 4-9 is on PDF page
2 57 of that report. And certainly for fresh or
3 one-year-old fuel, the gamma energies are well
4 below 250 keV. They are down in that lower energy
5 range or mid-energy range, as we call it on this
6 program.

7 Brian Gleckler was able to dig through
8 the SRDB. That, again, is our collection of
9 documents relating to the site. And the SRDB
10 number that proves very helpful in this situation
11 is number 12185. And on page 5 of that document,
12 there is a nice inventory statement and basically
13 at that stage, there was a 1988 inventory. They
14 had 210 RTGs in the production inventory and 200
15 of them were one year or less in age. The other
16 ten were two years old.

17 So, with 95 percent of the production
18 inventory at age one or less, we see the spectrum
19 in this case as not being hard. It was a production
20 facility. Things are not encapsulated ready to go
21 on Pioneer 10 and, in addition to that, the fuel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 itself is in a very young condition.

2 So, from that standpoint, the 10
3 millirem would still seem valid to us.

4 ACTING CHAIRMAN POSTON: I was looking
5 at this letter from SC&A and on the back, there is
6 a graph that shows the photon energy.

7 MR. SMITH: And I believe that is for
8 conditions where things have been encapsulated.
9 In other words, that is the RTG unit in whole ready
10 to go.

11 ACTING CHAIRMAN POSTON: Okay.
12 Alright.

13 MR. SMITH: And then of course, from
14 the standpoint of the NASA, especially the
15 researchers who didn't want the interference from
16 all those different types of radiation being
17 emitted, they were looking at what things you know
18 five, ten years down the road, in terms of timing
19 as well.

20 We did focus on Table 4-9, which is
21 giving the gamma emission for different RTG fuel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 age.

2 ACTING CHAIRMAN POSTON: Yes, the
3 letter actually -- maybe I didn't get it all. The
4 letter indicates there was a table but it is not
5 in the --

6 MR. SMITH: I don't believe that table
7 is in the memo from SC&A.

8 ACTING CHAIRMAN POSTON: Yes, it
9 mentions a table but I don't see it.

10 MR. ZLOTNICKI: This is Joe again. I
11 put Table 4-16 in the letter, not Table 4-9. One
12 of the issues is how much -- obviously, if you look
13 at the raw emissions, there is a lot of low-energy
14 emission. The question is what gets out once it
15 is in the form of a lump of fuel, if you will, that
16 is shielded for heat shielding and for radiation
17 shielding and then it is a very hard spectrum.
18 Clearly, when you have the raw material spread out
19 on a surface and the low-energy photons are able
20 to escape, then of course, there is a lot more
21 low-energy photon production than high-energy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, the question becomes, in the
2 handling and manufacture, were those low-energy
3 photons shielded by the construction of the glove
4 box or the remote handling facility, however it was
5 done. Were those low-energy photons removed from
6 the spectrum anyway by a spill box or what have you?
7 I don't know the specifics on that.

8 But once the fuel is sort of assembled,
9 if you will, it is a very hard spectrum.

10 MR. DARNELL: This is Pete. We've
11 actually had discussions on this, on how the fuel
12 was handled and what was going on with it that Gil
13 explained to us years back. I forget which meeting
14 it was actually at. But the workers who wore
15 thermal gloves handled the material. They were in
16 direct contact with it. There was nothing between
17 them and the sources.

18 MR. ZLOTNICKI: So, I think one of the
19 problems with Table 4-9 is that that is the
20 theoretical emission from the fuel but it is the
21 emission in the fuel. It is not the emission from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the surface of the fuel.

2 ACTING CHAIRMAN POSTON: I just don't
3 have the table at all.

4 MR. ZLOTNICKI: Oh, sorry. Well, I'm
5 looking online at Table 4-9 that was just
6 mentioned. It is not in the document that SC&A
7 sent out.

8 ACTING CHAIRMAN POSTON: Okay.

9 MR. ZLOTNICKI: The point is, if you
10 take the plutonium and all the daughters and any
11 spontaneous fission products and so on, you can
12 calculate exactly how many photons are emitted for
13 various ages. And I think that is what Table 4-9
14 does. But that doesn't say once you have lumped
15 it together as something that is hot, hot enough
16 that you need thermal gloves, that is a large lump,
17 if you will, a large object. And way more than 99.9
18 percent of those low-energy photons never make it
19 out of the object.

20 ACTING CHAIRMAN POSTON: I understand.
21 Well, I'm not sure where to go on this, since we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have beta --

2 MR. STIVER: Look at Figure 4-8, I
3 believe on page 2 of Joe's report. It gives you
4 an idea of the number gammas emitted from the RTG
5 ratio, the number emitted to number produced. You
6 can see that below about 500 keV, there is only
7 about ten percent.

8 This is a situation where, yes, sure,
9 there is a lot of low-energy gammas being emitted
10 in the unshielded fuel but once you have it triple
11 encapsulated and also into the RTG body, you are
12 hardening the spectrum. I mean a lot of those
13 low-energy emissions are never going to make it
14 out. That is really the point that I think Joe is
15 trying to make here.

16 Sure, there is going to be a higher
17 number of or a higher proportion of the spectrum
18 will be higher energy over time. But even in early
19 times, when there was a preponderance of low-energy
20 emissions, most of those low-energy emissions will
21 never make it out of the RTG but you still have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hardened spectrum in terms of the dosimeter
2 response.

3 ACTING CHAIRMAN POSTON: Yes, and you
4 can argue that all you want. That is not the
5 question. The question is what is the lower limit
6 of detection. Is it 10 or is it 20?

7 MR. STIVER: Yes, I mean that
8 sensitivity of the films will depend on the energy
9 of the emission.

10 DR. NETON: This is Jim. That is a
11 question I had. Aside from the fact of what is the
12 spectrum, what did Landauer calibrate those badges
13 with? I thought they used something like
14 cobalt-60 to calibrate the badges.

15 MR. ZLOTNICKI: If you go back far
16 enough, it could have been radium or cobalt-60.

17 DR. NETON: That's right.
18 Nonetheless, it was a higher energy spectrum and
19 then MDC was calculated based on that high-energy
20 of radiation. So, I'm having trouble figuring out
21 why their calculated value of 10 millirem is wrong.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It's not like they calibrated with americium.

2 I'm missing the point, I guess.

3 MR. ZLOTNICKI: Well, I think the
4 answer is the calibration was not done at 10
5 millirem. The calibration will have been done at
6 hundreds of millirem or several rem.

7 DR. NETON: Yes, of course. That's
8 how you do a calibration. But my point is they did
9 some fundamental calculation based on the
10 background of the film and the sensitivity of the
11 film to high-energy photons, not low-energy
12 photons. So, I don't know why that number is not
13 valid, unless they did a calculational error.

14 MR. ZLOTNICKI: Well, no. What you
15 said is not true. You have to do the calculation
16 of the limit of detection for each different energy
17 that you are interested in.

18 DR. NETON: Exactly. But the
19 calculation is done at a high-energy photon.

20 MR. ZLOTNICKI: No.

21 DR. NETON: Yes, you just told me it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cobalt-60 or radium.

2 MR. ZLOTNICKI: That's not how the
3 limit of detection was calculated.

4 DR. NETON: What did they do, rate it
5 with americium?

6 MR. ZLOTNICKI: Well, certainly,
7 multiple sources of different energies, yes. Yes.
8 And so I think the challenge is that yes, the
9 calibration, in terms of how much density per
10 millirem was done with let's say with cesium 660
11 keV, but that doesn't mean the limit of detection
12 was done with cesium for the 10 millirem that was
13 claimed.

14 And also, as I mentioned earlier, if it
15 was done with very fresh film with zero base fog
16 and all the calibrates and blanks were also fresh,
17 you have a situation where, under those laboratory
18 pristine conditions, if you will, 10 was viable.

19 So, I'm not disagreeing with you that
20 a calculation could have been done that would
21 demonstrate it is viable, but once you add in three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or six months of age to the film, statistically,
2 you can no longer see the 10 millirem for the
3 high-energy photons.

4 DR. NETON: Sorry, I dropped off by
5 accident there, Joe, so I missed a lot of what you
6 just said.

7 But you were saying that the 10 millirem
8 quoted detection limit is based on a calibration
9 with americium. I'm not sure that is true.

10 MR. ZLOTNICKI: No, it is not based on
11 a calibration with americium. But the response of
12 the film to different energies, obviously, you have
13 to pick your energy to know what your limit of
14 detection is. If the limit of detection with
15 cobalt-60 is 10 millirem, then it would be about
16 .03 millirem or .04 millirem with the americium --

17 DR. NETON: Well, I would agree with
18 that but it wasn't.

19 MR. ZLOTNICKI: -- which it wasn't.

20 MR. SMITH: This is Matt Smith again.
21 In this case, we are talking, and Brian can correct

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 me if I am wrong, we are talking monthly exchange
2 on this film. And again, when the TBD revision was
3 prepared, we were working from paperwork that
4 provided a dose of record. So, in other words,
5 when it is printed on the back that we are getting
6 monthly exchange results and the stated limit of
7 detection is 10 millirem from the vendor, that is
8 the weight in our mind. To go away from that value,
9 why and where -- all the technical reasons being
10 brought up here, we certainly are all aware of them.
11 Given the frequency of exchange, the 10 millirem
12 seems to be a valid number.

13 MR. ZLOTNICKI: Well, I don't think the
14 frequency of exchange is the primary issue. The
15 primary issue is you are dealing with the fact that
16 the amount of density produced by a high-energy
17 photon is right on the limit of resolution
18 densitometer. And you have got the age of the
19 batch of film, not just on a monthly exchange.

20 When Landauer bought film, they didn't
21 buy it once a month and have it freshly made every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 month by Kodak. So, they would buy a batch of film
2 that would last, say, four to six months and when
3 they first sort of QC'd that batch, they would pull
4 out of the batch enough film to run all of the
5 laboratory processes they need, such as making
6 blanks and calibrates and quality controls, spikes
7 if you will, that pull all those aside. And so,
8 as far as possible, the badges that we used to
9 calibrate a given batch of film when it was
10 processed were matched in age and every aspect to
11 the film that went out to the site. Nonetheless,
12 over time, the fog level is naturally rising on both
13 the film that left the facility and the film that
14 stayed behind to be the controls.

15 So, I think that is one of the issues.
16 The monthly wear period is not really relevant one
17 way or the other.

18 DR. NETON: I'm still having trouble,
19 Joe, reconciling what you are saying. They
20 calibrated badges with cobalt-60, some high-energy
21 gamma, and then they calculated a detection limit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 using that high-energy gamma and that is what they
2 quoted on their reports.

3 MR. ZLOTNICKI: No.

4 DR. NETON: And you are saying that
5 number is incorrect.

6 MR. ZLOTNICKI: That number is
7 incorrect for high energy. They quoted a number
8 that was more typical for most of the customers who
9 were working with X-ray or lower energies,
10 iridium-192, americium, X-ray, hospital X-ray.
11 Of all the different things that were handled, only
12 a small proportion of handling were in a
13 high-energy environment and that 10 millirem was
14 quoted as sort of an -- for want of a better word,
15 an average of what could be seen.

16 DR. NETON: Well, I think --

17 MR. DARNELL: If I can jump in here for
18 a second. The paper that you sent is not really
19 saying the things that you are talking about right
20 now. Do you have references for us that we can go
21 back and look at that back up what you are telling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 us?

2 MR. ZLOTNICKI: I have my
3 conversations. I don't know how many of you know
4 Dr. Yoder, Craig Yoder, who was responsible for the
5 technical program at Landauer for probably 30-odd
6 years and who set up the DOELAP standard at Battelle
7 prior to his work at Landauer. And this is based
8 on many conversations I have had with Craig
9 regarding this. I have put some of them in
10 writing. And I think that the problem is Landauer
11 were claiming something that was a bit of a stretch.
12 That is not to put too fine a point on it.

13 MR. DARNELL: Well, I understand that
14 and I understand what you are saying. And I
15 appreciate your opinion but that is what we have
16 is an opinion.

17 MR. ZLOTNICKI: And I think that is
18 exactly --

19 MR. DARNELL: NIOSH cannot provide
20 just an opinion and say that is the way it is. You
21 have to provide documentation, too. And what I am

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 asking you for is where is this documented so we
2 can go back and look at it.

3 And personally, I have no problem at all
4 changing the LOD if we need to change the LOD. But
5 right now, what I see in references is that the LOD
6 should remain at 10. I'm just not seeing where you
7 are coming from in a document, in a record, in
8 something.

9 MR. ZLOTNICKI: Well, you are asking
10 for someone to document something that won't have
11 been documented because they were claiming a limit
12 of detection that was too good. So, they are not
13 going to document it the other way. So, you are
14 asking for something that has to be opinion, not
15 necessarily mine, but it has to be opinion.
16 Because you are asking for someone to have
17 documented something and then found something
18 different. They are not going to have done that.

19 But I can tell you I have discussed this
20 with Craig Yoder at length and I think some of you
21 know Craig Yoder well. And I am not saying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anything out of school that he wouldn't agree with.
2 But trying to craft something in writing about
3 this, I mean we will have to talk about it. It's
4 pretty tricky.

5 DR. NETON: Well, yes, I understand
6 what you are saying. Joe, there is a lot of
7 technology involved here with some filtration and
8 such and the customers' needs. I understand what
9 you are saying and how it over-responds.
10 Unfiltered film will definitely respond due to
11 low-energy photons because of the predominance of
12 the photoelectric effect. I understand that.

13 But I believe we discussed this a while
14 ago with Craig Yoder and I think we have an SRDB
15 document where he suggested that the sensitivity
16 of these TLDs was about 3 millirem to X-rays.

17 MR. ZLOTNICKI: TLDs?

18 DR. NETON: Kind of the opposite from
19 what you are saying.

20 ACTING CHAIRMAN POSTON: Are we
21 talking about the film or TLDs?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Film. I'm sorry.

2 MR. ZLOTNICKI: For X-rays, that is
3 exactly right.

4 DR. NETON: Well, that's not what you
5 are saying. You said it would be 10 millirem for
6 X-rays and --

7 MR. ZLOTNICKI: Oh, it's an average.

8 DR. NETON: Well, is it 3 or 10 for
9 X-rays?

10 MR. ZLOTNICKI: I tried to pick one
11 number. It was more sensitive for the X-rays, less
12 sensitive for the high-energy. Often, people are
13 exposed to multiple spectra, not just one. And it
14 is a real world and a commercial business and a
15 number was picked.

16 DR. NETON: But if it is 3 millirem for
17 X-rays, then it is probably okay to say it is 10
18 millirems for photons or both, but higher in
19 photons.

20 MR. ZLOTNICKI: Well, what is your
21 evidence for that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: We have an email here from
2 Craig Yoder in 2005 that says that.

3 MR. ZLOTNICKI: That said what?

4 DR. NETON: I'm quoting his email.
5 For X-rays, the LOD was typically about 3
6 milliroentgen or millirem as film is very sensitive
7 to X-rays.

8 MR. ZLOTNICKI: Yes, that is true but
9 it is more than three times more sensitive to X-rays
10 than high-energy gamma.

11 DR. NETON: But you just said about
12 five minutes ago that it was 10 millirem for X-rays
13 is what they quoted on their report.

14 MR. ZLOTNICKI: They quoted it for
15 everything. It just said 10 millirem.

16 DR. NETON: And you said that was for
17 X-rays.

18 MR. ZLOTNICKI: And gammas. The whole
19 point is it wasn't segregated. It was for beta,
20 it was for neutron. It was for other things.

21 DR. NETON: I'm having trouble

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 following the logic of your argument, Joe,
2 honestly. It is like I understand that it is less
3 sensitive at higher energy but if they calibrate
4 it to a high-energy --

5 MR. ZLOTNICKI: No, don't confuse the
6 calibration with the limit of detection. They are
7 two different things.

8 If I calibrate at one rem, the limit of
9 detection never comes into the picture.

10 DR. NETON: What?

11 MR. ZLOTNICKI: If I only calibrate it
12 at high doses, the limit of detection is a separate
13 issue.

14 DR. NETON: No, no, no, no. When you
15 calculate a detection limit, it is your efficiency
16 of the device that is measuring it and you include
17 your background in there.

18 The variability of the background,
19 folded in with the efficiency of detection, and
20 that can be done at a very high level, that is very
21 standard in calibrating an instrument. You don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 calculate your limit of detection by reducing your
2 exposure until you can't see it anymore. That is
3 just not the way it is done.

4 You don't expose something to 10
5 milliroentgen and say, oh, there is my signal, and
6 you go 5. It is a calculation. It is an
7 empirically derived calculation. That is how it
8 is normally -- that is how it is done.

9 MR. ZLOTNICKI: Well yes, you are right
10 that it is a calculation and that you don't go and
11 go to a lower and lower dose until you can't see
12 it. However, as you know, it is a system. There
13 is a densitometer involved. There is a film
14 involved. There is subtraction of one number from
15 another number. It is a lot more complex than you
16 are portraying it.

17 And the bottom line was that there was
18 one number for 30 or 40 years, 10 millirem as the
19 limit of detection. As you know, it will have
20 changed with every batch of film and every time it
21 was processed. But they didn't report a different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 limit of detection every time. That was a
2 commercial number that was put out there and that
3 is, I guess, what I am trying to tell you.

4 DR. NETON: I guess I kind of follow
5 Pete here. I'm not following the logic of your
6 discussion in your memo, then, that because it was
7 higher energy it can't be 10 millirem.

8 We established that the film is much
9 more sensitive to X-rays and it could be around
10 three and it is going to be maybe three times
11 higher, ten for high-energy photons.

12 MR. ZLOTNICKI: Where did you get a
13 factor of three from? You just threw out a factor
14 of three.

15 DR. NETON: I'm just saying that is
16 what Landauer said that their detection limit is
17 for their device. It doesn't qualify it and say
18 this is only valid for low-energy X-rays.

19 MR. ZLOTNICKI: Well, you should
20 quibble with Landauer about that.

21 DR. NETON: What are you saying, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 detection limit Landauer reported for decades is
2 wrong?

3 MR. ZLOTNICKI: Yes, I am saying that.

4 DR. NETON: Okay.

5 MR. ZLOTNICKI: It is not wrong. It is
6 a summary. It doesn't go into the details.

7 MEMBER FIELD: Jim, this is Bill. It
8 seems like this isn't going to be resolved easily.
9 Is there any way to have a conference call with
10 Craig and try to get some more information or some
11 sort of technical basis?

12 DR. NETON: Well, like I said, we have
13 already discussed this with Craig Yoder in 2005.
14 And he went through the whole process in the limits
15 of detection. I haven't read it in a long time but
16 I do recall this low-energy X-ray response of 3
17 millirem.

18 MEMBER FIELD: Well, it seems like it
19 doesn't just affect this site but obviously --

20 DR. NETON: Yes, that is one of my
21 problems is that everything that is reported on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Landauer badges is now being questioned and I'm not
2 sure --

3 MR. ZLOTNICKI: No, no one is saying
4 everything.

5 DR. NETON: Well, every badge that --

6 MR. ZLOTNICKI: There was something on
7 the back of the report that was generic for all
8 situations, okay, and that was 10 millirem. And
9 again, remember who was mostly using these badges.
10 Okay? It is someone in a hospital, or a dental
11 office, or a facility that knows nothing about
12 radiation. They don't have a Radiation Safety
13 Officer, in many cases. They don't have a health
14 physicist and they need a simple number. It was
15 commercial service.

16 So, I wouldn't say everything was
17 incorrect. Far from it. I think everything was
18 excellent. This was a touchy subject where for a
19 pure high-energy spectrum, it was pushing it to say
20 you can see 10 millirem.

21 ACTING CHAIRMAN POSTON: Well, I agree

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with Bill. We need to perhaps step back from this
2 and solve it some way so we can move on with this
3 particular meeting. It doesn't sound like we are
4 going to solve it.

5 DR. MAURO: John, this is John Mauro.
6 In listening to the conversation, there are really
7 two aspects to this. And it sounded like there was
8 a little uncertainty on what the spectrum was.

9 I heard some of the discussion that the
10 fuel, naked fuel itself, may have an energy
11 spectrum that includes fairly low, abundant
12 low-energy photons. And of course, as the device
13 that is being manufactured is assembled and there
14 is shielding involved, which would harden the
15 spectrum. And I guess I did not hear a clear
16 picture of the workers that worked at Pinellas
17 involved in the manufacture, I guess, of these
18 generators what they were exposed to. Were they
19 exposed, in some stages, to the naked fuel and other
20 stages to the assembled --

21 MR. STIVER: John, if you look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Figure 4-8 from the NASA report, it shows you what
2 the emission rate was at the surface or some
3 distance from the RTG compared to what was emitted
4 in the fuel. And about 90 percent or more is above
5 500 keV. So, that gives you an idea of what the
6 filtration was or the amount of transmission.

7 DR. MAURO: From the assembled device.

8 MR. STIVER: From the assembled
9 device.

10 DR. MAURO: But while you are making
11 it, the workers might be exposed --

12 MR. STIVER: They aren't making it.

13 DR. MAURO: Oh, they are not making it.

14 Okay, that was what I did not understand.

15 MR. STIVER: The fuel elements arrived
16 triple-encapsulated.

17 DR. MAURO: Oh.

18 MR. STIVER: And they were already
19 heavily shielded when they were installed in the
20 RTGs.

21 DR. MAURO: Thank you. I will set

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 aside this point. I didn't know that.

2 MR. STIVER: Yes, thank you. I am kind
3 of of the same opinion as Bill. I think for this
4 to be resolved maybe we need to get Craig Yoder and
5 Joe and Jim and the players on a teleconference,
6 a technical call and kind of hash this out and try
7 to come to some resolution because we are not going
8 to do it today, obviously.

9 ACTING CHAIRMAN POSTON: So we table
10 this. Can you guys work out a situation where you
11 can have this meeting of the minds?

12 MR. ZLOTNICKI: Sure.

13 ACTING CHAIRMAN POSTON: Okay.

14 MR. ZLOTNICKI: Well, I can't speak for
15 Craig's availability but I will certainly try to
16 get a hold of him.

17 MR. STIVER: Okay, see what you can do,
18 Joe, and then we can notify NIOSH and Ted to go ahead
19 and set up the call and we can, hopefully, resolve
20 this.

21 MR. ZLOTNICKI: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN POSTON: Okay, so we
2 are down to the last item, then. And this is the
3 decontamination/decommissioning at Pinellas.

4 MR. STIVER: Yes, this one, I think, is
5 going to go a lot less contentious than the
6 previous.

7 ACTING CHAIRMAN POSTON: Are you going
8 to do this one, John?

9 **Open Issues Matrix Item 6**

10 MR. STIVER: Yes, I can just give you
11 where we are on this and then maybe Pete can -- he
12 provided a response and wanted to talk to it.

13 But at the November 2012 Work Group
14 meeting, we talked about some of the interviewees
15 that we had spoken with previous in the year, I
16 think we did those back in January 2012, and a very
17 knowledgeable subject matter expert who was
18 employed there during the D&D phase.

19 Yes, all the contract workers as well
20 as the Pinellas workers were monitored before,
21 during and after D&D. And then the question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 arises, well do you have that data. Has it already
2 been captured? And Pete indicated, at the time,
3 that we had had a request to the Albuquerque office
4 to retrieve those data. And he wasn't, correct me
5 if I am wrong, Pete, at the time he wasn't sure
6 whether it had been updated in the site DB. And
7 his response indicates that they had done a very
8 thorough review and had captured just about
9 everything they possibly can. Maybe there were a
10 couple of finding requests to DOE Legacy.

11 Pete, maybe you want to jump in.

12 MR. DARNELL: Yes, the only thing I
13 would have to add to that is NIOSH feels we have
14 done the records search. So, I don't think we are
15 going to go out again unless we hear back from
16 Sandia National Laboratories, who has the records,
17 that we didn't capture something. Right now they
18 are working on a finding aid for us so that we make
19 sure we did capture.

20 I received an update yesterday
21 regarding the release of that finding aid and it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is still under review by Sandia's export control
2 and it is not going to be for another two weeks or
3 so, the way they put it. We are still waiting on
4 that finding aid but, in the meantime, in our
5 response I provided what we did have, what we have
6 looked at, all the SRDB references that we have
7 regarding it. And that is just kind of where it
8 sits.

9 MR. STIVER: Okay. I am kind of the
10 mind that there is really not much more to be done
11 on this. It looks like everything has been
12 captured. I would maybe recommend keeping it in
13 abeyance until you get those finding aids and have
14 a chance to look at them.

15 MR. DARNELL: Certainly, I would agree
16 with that. I think the issue itself is probably
17 closed. We are just, as with anything, if we find
18 anything else new, we would put it into the
19 documentation that need it.

20 MR. STIVER: Yes, I am basically in
21 agreement with that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, moving forward then, do we need a
2 motion to put this into abeyance pending the
3 retrieval of those finding aids?

4 MEMBER CLAWSON: So, John, this is
5 Brad. You are telling us, I guess, from what I have
6 gathered from you that you have done everything you
7 can until you can go check out those leads?

8 MR. STIVER: Yes, that is the only
9 outstanding -- I don't know if it's really
10 outstanding, basically the only end that hasn't
11 been tied up, yes, is just to check those finding
12 aids. If there is anything, then they can retrieve
13 that. If not, I think that is as far as it can go
14 with it.

15 MEMBER CLAWSON: So, I don't see there
16 is anything more than we can do. Just put it in
17 abeyance until we get that taken care of and then
18 we can close it.

19 MR. STIVER: That's fine with me.

20 MEMBER CLAWSON: Anything else? John
21 Poston, I guess, does that sound right? Mute.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN POSTON: Yes, I'm with
2 that. I was just trying to -- sorry, Brad, I got
3 your disease.

4 (Laughter.)

5 MEMBER CLAWSON: That's why I threw out
6 mute.

7 ACTING CHAIRMAN POSTON: Yes, I'm
8 happy with that. Bill, how do you feel about it?

9 MEMBER FIELD: I would totally agree.

10 ACTING CHAIRMAN POSTON: Alright. I
11 did have one question. We are supposed to think
12 about the path forward. Before we do that, I would
13 like to go back to number 5 and satisfy my
14 curiosity. Exactly what kind of film was this? I
15 know it is X-ray film but who made it? Is it
16 Eastman Kodak, DuPont, who?

17 MR. ZLOTNICKI: This was Kodak film.

18 ACTING CHAIRMAN POSTON: Kodak?

19 MR. ZLOTNICKI: Yes, this was Kodak
20 film. It is manufactured specifically for
21 personnel dosimetry. So, it is similar to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 normal film used for medical X-rays. They
2 actually had a separate production run. I think
3 it had even more silver in it of this material.

4 ACTING CHAIRMAN POSTON: Okay.

5 MR. ZLOTNICKI: And you know for a long
6 time, there was a close relationship between
7 Landauer and Kodak, going back a long time. And
8 I think prior to that, there was a period when it
9 was, I'm not certain of this, but I think it may
10 have been DuPont in the very early days. But it
11 was Kodak film.

12 Other people used the same film but
13 Landauer bought it unpackaged and then would cut
14 it up and package it in their own packaging. Kodak
15 would also sort of package it in an identical
16 packet, for example, that you are probably familiar
17 with that many people wore in a personnel
18 dosimeter.

19 ACTING CHAIRMAN POSTON: So, it is a
20 standard packet?

21 MR. ZLOTNICKI: It was -- no, what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Landauer used was not in the standard packet.
2 Well, if you go back early enough in Landauer's
3 days, it was, but for a very long period of time,
4 Landauer did their own packaging, which allowed
5 some benefits in doing it like that.

6 It turned out when the film was left on
7 the roll, unpackaged and in cold storage, it tended
8 to fog more slowly. So, there was some advantage
9 to working with it in bulk, in terms of the lifetime
10 of the film.

11 ACTING CHAIRMAN POSTON: Sure. What
12 kind of filters did you use, any?

13 MR. ZLOTNICKI: Oh, yes, for sure there
14 were filters. There was an aluminum and a lead and
15 the plastic and the open window of course.

16 ACTING CHAIRMAN POSTON: Okay.

17 MR. ZLOTNICKI: I would say all of that
18 was fairly normal, perhaps with the exception that
19 there was one or two of those filters were U-shaped,
20 so it is radiation coming from one of the edges,
21 which is always a problem in a low-energy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 environment, that the X-rays bypass the filter.

2 **Path Forward**

3 ACTING CHAIRMAN POSTON: Yes. Okay,
4 thank you. I just wanted to understand a little
5 bit better what is going on.

6 So, basically, we have completed the
7 agenda. We are going to have more discussion on
8 Item 5, probably involving Craig Yoder and others.

9 And then we are going to put 6 in
10 abeyance. Do we have -- is there a timeline or a
11 date that we can reconsider or we will have more
12 to discuss? What would you say there, Pete or
13 John, or whomever?

14 MR. DARNELL: I think with today's
15 meeting, we are finished with the tritium, finished
16 with Issue 6, just waiting that finding aid. We
17 need to do the tech call on dosimetry.

18 But just in preparation for that, I
19 found the Site Research Database reference for the
20 email that Jim was talking about with the Craig
21 Yoder dosimetry LOD and his discussion of it. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is SRDB reference 19707. And it talks about 3
2 millirem and 10 millirem. I would just like you
3 guys to take a look at it before we decide to do
4 a tech call.

5 ACTING CHAIRMAN POSTON: Okay. Is
6 there anything else we need to talk about in this
7 call?

8 MR. KATZ: Yes, this is Ted. So, I
9 just think it would probably be helpful
10 provisionally to try to calendar, since you are all
11 on the call right now, rather than having to send
12 out and get agreement about scheduling down the
13 road, which takes a lot more trouble.

14 Let's just go ahead and book. It will
15 be a very brief teleconference. So, it should be
16 easy to book. But let's just book it for
17 relatively close to the Board meeting, which will
18 allow time for technical calls, who needs to be on,
19 or what have you for parties to be ready.

20 I was going to suggest we push it out
21 pretty close to the Board meeting, that way we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 most likely to be able to accomplish whatever we
2 are going to accomplish on this issue.

3 So for example, the week of March 7th
4 might be -- if there is a date during that week that
5 works for all three of you Board Members and of
6 course the staff, too. The 7th is Monday. So,
7 7th, 8th, 9th, 10th, 11th.

8 MEMBER FIELD: Monday and Tuesday are
9 booked for me but, otherwise, pretty open.

10 MR. KATZ: Okay, so how about March
11 9th?

12 MEMBER FIELD: That works for me.

13 MR. ZLOTNICKI: This is Joe. I'm tied
14 up in the morning. I can make the afternoon or in
15 fact that March 9th morning is the only time I can't
16 make it that week.

17 MR. KATZ: That's fine. So, how about
18 a 1:00 p.m. on March 9th?

19 DR. NETON: Ted, I'm out of the office
20 on March 9th.

21 MR. KATZ: Okay, how about the 10th?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: The 10th, I'm here.

2 MR. KATZ: So how about March 10th in
3 the morning or afternoon? Does anybody care?

4 DR. NETON: Either one for me.

5 MEMBER FIELD: Either.

6 ACTING CHAIRMAN POSTON: Yes, March
7 10th works for me. I have got an 8:00 class but
8 I am through at 9:15. So, the rest of the day is
9 free.

10 MR. KATZ: Okay, so 9:15 yours is 10:15
11 our time.

12 ACTING CHAIRMAN POSTON: Right.

13 MR. KATZ: What about we say -- it is
14 not going to be a lot of time. Whatever you guys
15 learn, I'm sure. So how about 11:00 a.m. Eastern
16 Time? That gives you time, John, to get back to
17 your office or whatever.

18 ACTING CHAIRMAN POSTON: Yes, that
19 will be fine. I'll put that in my calendar right
20 now.

21 MR. KATZ: Okay, 11:00 a.m. on March

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 10th teleconference.

2 MR. DARNELL: Are you going to send out
3 a meeting notice and call-in number?

4 MR. KATZ: I will absolutely send out
5 a notice.

6 MR. DARNELL: Okay.

7 MR. KATZ: As long as that works for
8 everybody, that's good.

9 Okay, then. And I think regardless of
10 how this works out, I think the Work Group can still
11 report to the Board. Whether they have an item
12 hanging out there or not, they can report about it
13 either way.

14 ACTING CHAIRMAN POSTON: Okay, that
15 works for me.

16 MEMBER FIELD: Sounds good.

17 MR. KATZ: Well, thank you, everybody.
18 We're adjourned, right?

19 ACTING CHAIRMAN POSTON: Anything
20 else?

21 (No response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Alright, guys, thank you so much and
2 have a good rest of the day and rest of the week.
3 (Whereupon, the above-entitled matter
4 was concluded at 4:11 p.m.)