

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

URANIUM REFINING ATOMIC WEAPONS EMPLOYERS  
WORK GROUP

+ + + + +

TUESDAY  
JULY 19, 2016

+ + + + +

The Work Group convened in the Montreal Room of the Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m., Henry Anderson, Chairman, presiding.

PRESENT:

HENRY ANDERSON, Chairman  
R. WILLIAM FIELD, Member  
DAVID KOTELCHUCK, Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official  
DAVE ALLEN, DCAS\*  
BOB BARTON, SC&A\*  
HANS BEHLING, SC&A\*  
RON BUCHANAN, SC&A\*  
ROSE GOGLIOTTI, SC&A\*  
LARA HUGHES, DCAS  
JOYCE LIPSZTEIN, SC&A\*  
JOHN MAURO, SC&A\*  
JIM NETON, NIOSH  
STEVE OSTROW, SC&A\*  
MATTHEW SMITH, ORAU\*  
JOHN STIVER, SC&A  
DENNIS STRENGE, ORAU\*  
WILLIAM THURBER, SC&A  
JOE ZLOTNICKI, SC&A\*

\*Participating via telephone

This transcript of the Advisory Board on Radiation and Worker Health, Uranium Refining Atomic Weapons Employers (URAWE) Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the URAWE Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

**Contents**

Welcome and Roll Call ..... 4

SEC-00217 Westinghouse Electric Corp. (New Jersey)  
Petition covering the period 1960 to 2011 ..... 6

Validity of the Coworker Model for United Nuclear  
Corporation ..... 23

SC&A Review of DCAS-TKBS-0009, Revision 2 for Hooker  
Electrochemical Company ..... 53

W.R. Grace and Company in Erwin, Tennessee Update on  
Findings ..... 73

NUMEC White Paper Discussion ..... 85

Adjourn ..... 206

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:57 a.m.)

3 **Welcome and Roll Call**

4 MR. KATZ: So, we can get started. We  
5 are a couple of minutes early but we have got a roll  
6 call to do and so on.

7 (Roll call.)

8 MR. KATZ: Okay, so let me just then  
9 note we have a lot of people on the line. Please  
10 mute your phones except when you are addressing the  
11 group. That will just help out with the audio.

12 MEMBER KOTELCHUCK: Ted, I'm having a  
13 little trouble getting in on Live Meeting. Now,  
14 I would like to get on if I can. The Live Meeting  
15 has come up but I haven't -- I put in the password  
16 and I don't seem to be getting the code and the  
17 password but I don't seem to be getting it coming  
18 up.

19 MR. KATZ: So, you shouldn't even have  
20 to put in a password, per se. You should just be  
21 clicking on a link. Is that what you are doing?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER KOTELCHUCK: I am clicking on a  
2 link, yes.

3                   MR. KATZ: And you're saying the link  
4 is not bringing you in? Sometimes you have to  
5 repeat the link, clicking on the link more than once  
6 before it will actually bring it up.

7                   MEMBER KOTELCHUCK: Okay.

8                   MR. STIVER: Yeah, sometimes that link  
9 will put you into the wrong bin. I found that if  
10 you copy it and paste it in your browser, it will  
11 take you right to the meeting.

12                  MEMBER KOTELCHUCK: Okay. Meanwhile,  
13 I can certainly go onto the different files that  
14 you sent the other day.

15                  MR. KATZ: Okay, because I am not sure  
16 how many, if any, presentations there will be on  
17 Live Meeting anyway, Dave.

18                  MEMBER KOTELCHUCK: Right. Okay,  
19 good. Well, fine. Then, let's go ahead.

20                  MR. KATZ: Okay, very good. So, then,  
21 again, mute your phones, please, folks, except when  
22 you are addressing the group. \*6 to mute, \*6 to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           come off of the mute.

2                           And Andy, it is your meeting.

3           **SEC-00217 Westinghouse Electric Corp. (New Jersey)**  
4           **Petition covering the period 1960 to 2011**

5                           CHAIRMAN ANDERSON:       Okay.       Well,  
6           welcome, everybody.  And we've been through roll  
7           call, so the first business is to deal with the  
8           Westinghouse Electric SEC petition, which was  
9           covering the period 1960 to 2011 in Bloomfield, New  
10          Jersey.

11                          There's a couple of findings and  
12          observations that remain from our earlier  
13          discussion.  I don't know, it's probably  
14          worthwhile if someone can go through, just briefly,  
15          the petition and the overall issues and what the  
16          recommendation was.

17                          DR. NETON:  Well, the petition was SEC  
18          Number 217, which is Westinghouse Bloomfield.  And  
19          we had actually proposed that Classes be added at  
20          the Board meeting.  I forget when that was.  The  
21          report was submitted April 14, 2015.  So there's  
22          a couple of periods that were added, very brief

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 periods: February 1958 through May 31st, 1958, and  
2 June 1st, 1959 through June 30th, 1959. So those  
3 two AWE covered periods were recommended to be  
4 added, and they have been added.

5 But that created a couple residual  
6 contamination periods. And so the Advisory Board  
7 asked SC&A to review our approaches that were used  
8 for the residual contamination periods. And that  
9 is what we are basically going to discuss today.

10 And I think probably SC&A could do a  
11 better job summarizing their findings.

12 MR. STIVER: Yes, and Bill Thurber of  
13 SC&A was the author and the guiding force behind  
14 that review. So, Bill, if you'd like to go ahead.

15 CHAIRMAN ANDERSON: Go ahead.

16 MR. THURBER: We had two observations  
17 and two findings. And the observations are,  
18 obviously, not terribly significant but, it's just  
19 a matter of consistency and good practice.

20 One of the observations dealt with the  
21 fact that the ingestion exposures should be  
22 adjusted for the varying lengths of the workday

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 over the residual periods. And based on the  
2 assumptions that had been used in TBD-6000, for  
3 example. And so depending on what time, what point  
4 in time the residual exposure occurred, you should  
5 use eight hours or eight and a half -- or, I'm sorry,  
6 8.8 or 9.6 hours.

7 The second observation was that, at the  
8 time, NIOSH provided not only comments but they  
9 also provided a model spreadsheet and there was a  
10 little discrepancy between the deposition time  
11 that was used in the model spreadsheet and the  
12 deposition time that was used in their review.

13 So those were the two observations.  
14 There were also two findings.

15 DR. NETON: Maybe I can just address  
16 those.

17 MR. THURBER: Sure.

18 DR. NETON: We totally agree with the  
19 observations and we are going to modify the  
20 approach as appropriate.

21 MR. THURBER: Perfect. With regard to  
22 the findings, again, we had two findings. One was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that the procedure used to calculate the air  
2 concentrations during the first residual period,  
3 and actually all residual periods, wasn't  
4 consistent with the guidance provided in  
5 OTIB-0070.

6 And the second finding was that the way  
7 that the ingestion doses were treated was not  
8 consistent with the concept that has been evolved  
9 over several meetings regarding hand-to-mouth  
10 transfer and the fact that using TIB-009 was not  
11 appropriate for the residual period. And I think  
12 that has generally been established on a number of  
13 recent cases, that NIOSH has stated that they agree  
14 that that is not the appropriate approach for the  
15 ingestion.

16 So, those were the two findings that we  
17 had.

18 DR. NETON: Okay. Yeah, we definitely  
19 agree that using the TIB-009 in the residual  
20 contamination period is not appropriate, although  
21 it is a little confusing, because in here we  
22 actually had an air concentration value. But it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 really doesn't matter because the TIB-009 assumes  
2 you have an active generator of airborne. And so  
3 you would grossly underestimate the ingestion,  
4 based on using a resuspended air concentration.  
5 So, we agree with that.

6 We will modify this, although we're  
7 still somewhat thinking about this fairly  
8 extensive discussion in SC&A's review of what's  
9 appropriate to use, and we are still not -- we're  
10 still debating internally whether or not, if we use  
11 the so-called U approach, the NUREG approach, that  
12 you assume a 1.1 times 10 to the minus 4 square  
13 meters per hour ingestion -- that's right out of  
14 the NUREG -- whether that contaminated that coffee  
15 cup source term that's used during the covered  
16 period, where you have an active generator which  
17 really needs to be added back in there.

18 When you have an active generator, the  
19 coffee cup source term is about ten percent, which  
20 is half of the ingestion and then half of it is from  
21 the contaminated surface.

22 When you get into the resuspension

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 route, that becomes a much, much less important  
2 source term. In fact, I think it's less than ten  
3 percent, if you calculate it out.

4 So, we're still debating on how we're  
5 going to do that. In principle, though, we totally  
6 agree with SC&A's comments. And I think we are  
7 going to have to put together a little more formal  
8 response to how we're going to deal with that issue,  
9 whether it's 1.1 times 10 to the minus 4  
10 independently or whether we add back in this  
11 contaminated coffee cup, because they were derived  
12 from somewhat different principles and I think  
13 we're kind of mixing modalities a little bit.

14 CHAIRMAN ANDERSON: So, is what you are  
15 saying is that OTIB-0070 is what is going to -- I  
16 mean, that's broadly used --

17 DR. NETON: It's broadly used.

18 CHAIRMAN ANDERSON: As opposed to -- I  
19 want to close out this specific site.

20 DR. NETON: Yes, this specific site --

21 CHAIRMAN ANDERSON: So, I think we are  
22 good on the site, on the broader OTIB.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Exactly. There's a  
2 broader recommendations in there, in their  
3 finding, that suggested that TIB-009 and -0070,  
4 either/or, may need to be readjusted, because  
5 TIB-0070 says use TIB-009.

6 CHAIRMAN ANDERSON: Yeah.

7 DR. NETON: And that can be used. It's  
8 an interpretation issue. TIB-009, it's okay, if  
9 you take the last air concentration value that was  
10 measured at the end of operations and use that to  
11 calculate ingestion at the start of the residual,  
12 it's okay to use TIB-009. We've done that before.

13 But if you immediately go to the  
14 resuspension mode, it's not appropriate to use  
15 TIB-009. We agree with that.

16 We're going to have to flesh that out  
17 but we agree with SC&A's finding and whether we use  
18 -- well, they recommend an approach that's based  
19 on TBD-6000, which we agree with, which is you drop  
20 down air concentration based on TBD-6000 and that  
21 will generate a source term on the ground. And  
22 whether it's 85 dpm or 69 dpm per cubic meter air.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. THURBER: The issue that we had was  
2 -- I mean, the number is small, however you look  
3 at it. No question. The issue that we had was we  
4 were uncomfortable with back-extrapolating,  
5 because that was not the way the guidance was  
6 written. The approach that NIOSH took, the  
7 difference was in the noise, but we felt that if  
8 you go to a lot of trouble to develop the guidances,  
9 you ought to try and use them going forward in your  
10 extrapolations.

11 And the question then is, if you're  
12 going forward, what are the assumptions you make  
13 and the guidance says, well, use TBD-6000. And you  
14 go to TBD-6000 and you can come up with some  
15 options. But we felt that that's the approach that  
16 should be taken, rather than developing something  
17 new.

18 DR. NETON: We agree. And I think what  
19 I'd like to suggest is that these may be held in  
20 abeyance as Site Profile issues, not SEC issues.  
21 Because we're not 100 percent ready here to agree  
22 on these sort of the nuances of the coffee cup

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ingestion versus -- that's a little bit more  
2 broad-based. But if we just hold this in abeyance  
3 as a Site Profile issue, I think that's fine with  
4 us.

5 CHAIRMAN ANDERSON: Okay.

6 DR. NETON: We agree in principle.

7 MR. THURBER: I certainly have no  
8 problem with that. They're not SEC issues.

9 CHAIRMAN ANDERSON: And Ted, would  
10 that then stay with us, our Committee, or would it  
11 be --

12 MR. KATZ: It would stay with you just  
13 to see that it gets closed out at whatever point  
14 you sort it out.

15 CHAIRMAN ANDERSON: Okay.

16 DR. MAURO: This is John. Just a quick  
17 question. Again, it's procedural.

18 We're, in effect, in the world of the  
19 ingestion pathway, I believe in OTIB-009. And I  
20 know that we've agreed that during the residual  
21 period the hand-to-mouth approach is the  
22 appropriate way to go, but I don't recall if there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 has ever been a procedure, a revision to a procedure  
2 that was sort of formalized, that says, okay, when  
3 you are in this situation, you do this. It has  
4 really been resolved on a case-by-case basis, or  
5 am I incorrect about that?

6 DR. NETON: No, that's correct, John.

7 DR. MAURO: Okay.

8 DR. NETON: That's why I am suggesting  
9 -- this is more of an -- I don't want call it  
10 overarching because that has kind of special  
11 meaning, but it is a more general issue than just  
12 this specific site. It's certainly not an SEC  
13 issue, but we do need -- we agree that we need to  
14 address it. And that's why I'd like to keep it open  
15 until we can put out some more formal response, a  
16 more formal approach. And whether that's  
17 modifying the procedure, and how we modify that  
18 procedure, I think that's what we are still  
19 discussing.

20 I did put out a White Paper during one  
21 of our discussions on the ingestion approach, the  
22 surface area approach, but that was just a White

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Paper, not a procedure.

2 I think if we hold this open, all of this  
3 will sort of come out eventually when we modify the  
4 procedure appropriately.

5 CHAIRMAN ANDERSON: So, do you have a  
6 timeframe for that? I mean, you know, what's your  
7 plan?

8 DR. NETON: This should be very  
9 straightforward. I don't see this is going to  
10 require a lot of research. I would say, you know,  
11 months, a couple of months, maybe.

12 MR. KATZ: Okay, so it's going to be in  
13 progress is really what it is, but it's in progress  
14 as a Site Profile issue.

15 DR. NETON: Yes, I would say in  
16 progress is probably better.

17 (Simultaneous speaking.)

18 MR. KATZ: Changed from an SEC issue.  
19 Okay.

20 CHAIRMAN ANDERSON: And the two  
21 observations we've resolved. So, those are  
22 closed. We closed those two observations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 They're pretty straightforward.

2 MR. KATZ: You have the other finding.  
3 Right? There are two findings.

4 DR. NETON: Well, they're both related  
5 to the same thing.

6 MR. KATZ: Okay, so both of them.

7 CHAIRMAN ANDERSON: Yeah.

8 DR. NETON: Well, the first finding had  
9 to do with the backward extrapolation.

10 MR. KATZ: Okay, right.

11 CHAIRMAN ANDERSON: Which the 85 was  
12 more claimant-favorable.

13 DR. NETON: Essentially, SC&A  
14 suggested that the backward extrapolation was not  
15 recommended by the procedure and it's not  
16 necessarily claimant-favorable using the --

17 (Simultaneous speaking.)

18 DR. NETON: So, it kind of validated that  
19 it was claimant-favorable to large extent.

20 MR. THURBER: In that particular case.  
21 Because, obviously, as we've discussed before, if  
22 the decay rate is rapid and you are doing a forward

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       extrapolation, the dose is going to be much less  
2       because it drops off so quickly. When you're doing  
3       backward extrapolation, you could come up with a  
4       different -- in this particular case, it didn't  
5       make any difference.

6                 DR. NETON: But I agree and we will  
7       address both of these in the revision. I mean,  
8       maybe there's some clarificational language in  
9       that table that is used in --

10                MR. KATZ: And we should check in,  
11       Andy, with Bill and Dave. Are you clear and okay  
12       with all of this?

13                MEMBER FIELD: Yeah, I think it's  
14       moving in the right direction. The review, I  
15       thought, spelled out what was of concern pretty  
16       well.

17                MEMBER KOTELCHUCK: And I am fine with  
18       them.

19                MR. KATZ: Okay, very good.

20                DR. NETON: So, that could be the maybe  
21       closed out at the Board meeting?

22                MR. KATZ: So we should hear, just,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           again, let's check again and see. Do we have the  
2           petitioner for Westinghouse on the line?

3                           (No audible response.)

4                           MR. KATZ: Okay, not. So, otherwise,  
5           we would want to hear what they had to say.

6                           Right, so this is on the agenda for the  
7           August Board meeting. So, do you need some help  
8           with someone making some slides for you?

9                           CHAIRMAN ANDERSON: Yeah. I mean, do  
10          we just want to take these two?

11                          MR. KATZ: Well, the only findings that  
12          were outstanding.

13                          CHAIRMAN ANDERSON: Yeah.

14                          MR. KATZ: So, you could maybe have a  
15          slide or two just reminding people about this SEC  
16          and how it was dispositioned already.

17                          CHAIRMAN ANDERSON: Yeah, let's do  
18          that.

19                          MR. KATZ: And then take on these  
20          findings and how they are basically transferred to  
21          being Site Profile issues.

22                          DR. NETON: And that will close the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 issue.

2 MR. KATZ: And that will enable them to  
3 close out that SEC petition. And John, would you  
4 be willing to have someone take care of those  
5 slides?

6 MR. STIVER: Sure.

7 MR. KATZ: So, it sounds like it is four  
8 or five slides, a pretty short presentation.

9 CHAIRMAN ANDERSON: Yeah.

10 MR. KATZ: It's whatever it takes.

11 CHAIRMAN ANDERSON: Okay. So with  
12 that, we will now move on to another one that's been  
13 languishing for a while, United Nuclear.

14 DR. NETON: Who wants to take the lead  
15 on taking that one on? Hans?

16 MR. STIVER: Hans, are you on right  
17 now?

18 MR. KATZ: Maybe on mute.

19 MR. STIVER: He's probably on mute.

20 MR. KATZ: Hans Behling, are you on the  
21 line, perhaps on mute? I mean, he was on.

22 MR. STIVER: Yeah, he was. He was on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the roll call.

2 MR. KATZ: He joined us at the  
3 beginning.

4 MR. STIVER: He might have got cut off.

5 MR. KATZ: John, or somebody, can you  
6 maybe call Hans on another line or something, or  
7 email him just to see if he has lost his connection?  
8 John Mauro?

9 DR. MAURO: Oh, I can do that, sure.

10 MR. KATZ: You or whoever might have  
11 Hans' phone number.

12 DR. MAURO: I'll try to reach him right  
13 now while we are continuing.

14 MR. KATZ: Thank you.

15 (Pause.)

16 DR. MAURO: You may want to -- I now  
17 that United Nuclear is in pretty good shape, if I  
18 recall. I remember reading the report on that.

19 MR. KATZ: Right.

20 CHAIRMAN ANDERSON: Once we got it  
21 straight, we were in good shape.

22 DR. MAURO: While I'm trying to track

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 him down, I do have a suggestion, but certainly --  
2 Hooker and W.R. Grace, the last two on our list,  
3 are also fairly simple and straightforward. And  
4 the one that is going to give us a little bit of  
5 work to do is going to be NUMEC, which happens to  
6 be mine. And all I could offer up is, while I'm  
7 trying to run down Hans to call out United Nuclear,  
8 I could see us picking up Hooker, because I think  
9 Bill, again, is on the line, and Bill is in a  
10 position to talk about Hooker while I'm trying to  
11 get a hold of Hans.

12 MR. KATZ: Okay. Bill is in the room.

13 DR. NETON: Well, I need to get Dave  
14 Allen on the line, though, at this point.

15 MR. KATZ: Dave, have you joined us  
16 yet?

17 MR. ALLEN: This is Dave Allen, I'm on.

18 MR. KATZ: Okay, great.

19 DR. NETON: And Doug Thurber is here.

20 MR. KATZ: So, we can shift and go ahead  
21 and take on Hooker while we are waiting for Hans.

22 CHAIRMAN ANDERSON: Two big documents.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BEHLING: Hello.

2 MR. KATZ: Oh, there's Hans. Is that  
3 Hans?

4 DR. BEHLING: Yes, for some reason I  
5 was having problems with my phone. Just as I was  
6 about to come on it disconnected me for unknown  
7 reasons. I assume was being asked to discuss the  
8 second issue here of United Nuclear Corporation.

9 MR. KATZ: Exactly.

10 DR. BEHLING: Are we prepared to allow  
11 me to start?

12 MR. KATZ: Yes, please do, Hans.

13 DR. BEHLING: Okay, I'm very sorry,  
14 first of all --

15 MR. KATZ: No problem. No problem.  
16 It was just a minute pause.

17 **Validity of the Coworker Model for United Nuclear**  
18 **Corporation**

19 DR. BEHLING: Okay. Anyway, this is a  
20 quick overview. My discussion today is a June 2016  
21 memo that was issued by SC&A. And this most recent  
22 memo is linked to several documents that go back

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 all the way to 2009 and relate to the United Nuclear  
2 Corporation TBD, where, back in 2009, SC&A  
3 identified several findings, one of which was the  
4 issue of a coworker model for uranium inhalation.  
5 And that was identified as finding number 4.

6 And for the sake of clarity in  
7 discussing SC&A's most current memo, I feel it's  
8 prudent to briefly summarize some of the relevant  
9 issues that previously had been sent to the Work  
10 Group in the past but I think warrant a brief review  
11 just in order for everyone to get back onboard as  
12 to what the issues were.

13 In SC&A's original UNC, United Nuclear  
14 Corporation, Site Profile finding number 4 raised  
15 questions that involve the assignment of uranium  
16 inhalation quantities to unmonitored workers that  
17 were originally defined in Table D.1 in the  
18 Battelle-TBD-6001, Appendix D. And that  
19 particular document was subsequently reformatted  
20 in Tables 1 and 2 of DCAS-TKBS-0008 that was issued  
21 on March 21st, 2011.

22 So, if you want to go back to the data,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 those are the two documents, and the most recent  
2 one involving the data subject to questions was in  
3 the 2011 DCAS document.

4 For the unmonitored workers, these  
5 Tables 1 and 2, daily inhalation of uranium values  
6 on behalf of unmonitored workers were presented for  
7 classifications that were defined for solubility  
8 type S and M; two, job categories that included  
9 three different categories: operations people,  
10 supervisors, and others; and lastly, for two  
11 specific time periods that were segregated by June  
12 of 1963. In other words, two time periods: prior  
13 to June 1963 and post-June 1963.

14 An important aspect, again, that I want  
15 to mention that will be brought up in a few minutes  
16 is that the recommended inhalation daily dose  
17 values represented the geometric means of the  
18 distribution, as well as the geometric standard  
19 deviation. And as part of SC&A's evaluation of  
20 these data, and this goes back to 2009, SC&A  
21 identified available urinalysis data for two  
22 workers, which is part of our normal approach to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       our review, and that is actually trying to assess  
2       some of the actual data that are available and see  
3       if, in fact, the coworker model is at least  
4       consistent with the assessment involving a  
5       subsample of people that we were looking for.

6                   MR. KATZ: Can I just halt you a second,  
7       Hans? There's a lot of clicking on the phone and  
8       it sounds like some sort of interference problem.  
9       I guess we could just start by everyone but Hans  
10      muting your phone and see if that takes that away.  
11      I don't know whether it is Hans' phone or someone  
12      else's.

13                   DR. BEHLING: I don't know. As I said,  
14      I had some trouble when I first started.

15                   MR. KATZ: That took care of it. That  
16      took care of it, thanks.

17                   DR. BEHLING: Okay. Anyway, as I had  
18      mentioned, as part of our review of the TBD, we  
19      normally select a subset of data that would allow  
20      us to evaluate the coworker model. And in this  
21      case, I will say up-front, because it's important  
22      when we discuss it subsequently, that my selection

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the two workers that I was looking to assess in  
2 context with the coworker model and inhalation  
3 quantities that were being recommended involved  
4 two workers. And as I said, there were three  
5 categories that were assessed for potential  
6 assignment of inhalation quantities. And at the  
7 top of the list were operators, subsequently also  
8 supervisors, and then all others, in descending  
9 order.

10 And when I looked at the data that were  
11 available for assessment, I chose two operators.  
12 And also I looked at the data that were available  
13 among operators and chose two that were probably  
14 very high on the list. It wasn't a random sample.  
15 I screened the data for people who had urinalysis  
16 data. The data that were available were  
17 urinalysis data that were expressed in dpm alpha  
18 activity per liter of urine.

19 Those were the original data that I had  
20 to look at, and I selected two operator workers and  
21 they were designated not by name but by code. The  
22 first operator was identified as AAA, Operator AAA,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and Operator BBB. So AAA and BBB were the two  
2 people that I looked at.

3 And what I then did, by means of the IMBA  
4 Expert computer code, I converted the actual data  
5 on behalf of these people and converted the urine  
6 data into what would be considered a daily  
7 inhalation value. And these were cited in the SC&A  
8 review of the TBD under section 34. And we defined  
9 values, as a result of that actual empirical data,  
10 that were considerably higher than the proposed  
11 recommended values that were being cited in the TBD  
12 for a coworker model.

13 And we presented this to the Work Group  
14 on several occasions. I think the most recent one  
15 was I think back in May of 2011, according to my  
16 records. And as a result of the discussions that  
17 took place with the Work Group, I think it was  
18 recommended that NIOSH actually take a look at the  
19 actual data that we presented and assess the data  
20 in terms of the validity, et cetera, et cetera, and  
21 also determine if, in fact, the data involving  
22 those two individuals were in fact incorporated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 into the coworker model, meaning that the actual  
2 high values that were cited as part of the records  
3 for these individuals were part of the actual  
4 coworker model that was used to derive the  
5 geometric mean that were then identified for people  
6 who were not monitored and to be assigned.

7 And as a result of that request, the  
8 NIOSH has issued a White Paper. And I'm going to  
9 ask John Stiver if he can bring that up on the  
10 computer for people to see it. And this is the  
11 White Paper entitled "White Paper Addressing  
12 Issues on the Coworker Model for United Nuclear  
13 Corporation" and was issued in February 2014. And  
14 the author of that was Dr. Lara Hughes.

15 I don't know if Lara is available today  
16 to comment or not. I will discuss the paper or I  
17 can share that discussion with Lara, if she chooses  
18 to do so. Is Lara on the phone?

19 MR. KATZ: She's in the room, yes.

20 DR. HUGHES: I'm here, yeah.

21 DR. BEHLING: Oh, Lara, I don't know if  
22 you would prefer you discussing that paper.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Because the actual memo that I made reference to,  
2 and cited in the actual agenda for today, it's  
3 really in response to that particular White Paper  
4 that you wrote, Lara. And if you want to discuss  
5 it, I will be happy to turn it over to you, since  
6 you are the author. Or I can discuss it, whichever  
7 you want.

8 DR. HUGHES: I'll be happy to discuss  
9 it, although I do not have that White Paper in  
10 question in front of me. I'm not sure --

11 MR. STIVER: I can pull it up, Lara.  
12 Just a second.

13 DR. HUGHES: I have a memo, the review  
14 of the IMBA analysis that I prepared in July 2012,  
15 and that was specifically related to the IMBA runs  
16 of the two high exposed workers, AAA and BBB. And  
17 I think the crux was that we found essentially our  
18 values, when we used the bioassay of these workers  
19 and ran them through the model IMBA and calculated  
20 the daily intake rates, that the values were fairly  
21 similar.

22 For one of the periods, there was a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discrepancy of about -- there was a factor of ten  
2 difference but that turned out to be a  
3 transcription error.

4 DR. BEHLING: Yeah, in fact, I have it  
5 on my computer now if the people here in the room,  
6 as well as on the phone, have access to that. The  
7 issue that I was hoping for you to discuss, or I  
8 will discuss it, are the results that you  
9 identified in Table 1 of your White Paper. And I  
10 see it on my computer right now. So I assume that  
11 other people in the room, as well as on the phone,  
12 have access to that.

13 DR. HUGHES: Okay.

14 MR. STIVER: I pulled it up on Live  
15 Meeting. So, that Table 1 is available to anybody  
16 who has Live Meeting.

17 DR. HUGHES: Is it the predicted  
18 chronic intakes of uranium?

19 MR. STIVER: This is your February 2014  
20 paper.

21 DR. HUGHES: I don't have it. I don't  
22 think I have --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Do you have Live Meeting?

2 DR. HUGHES: No, I don't. I'm not  
3 connected.

4 DR. BEHLING: Well, in that case, Lara,  
5 if you don't mind, I will just briefly discuss the  
6 issues there.

7 DR. HUGHES: Okay.

8 DR. BEHLING: And I will focus  
9 principally on Table 1 because that's really the  
10 crux of the findings that you identified in your  
11 White Paper, and really is also the essential issue  
12 that we responded to in our recent memo that will  
13 be the last thing we will discuss here, briefly.

14 But one of the things -- and I won't go  
15 through the actual citation of all the issues that  
16 were raised -- but in your White Paper you also  
17 acknowledged the fact that in a Work Group meeting  
18 on September 7, 2012, NIOSH agreed during the  
19 discussion to change the guidance on the Site  
20 Profile, to use the 95th percentile value of the  
21 coworker model for doses during the gap period  
22 between '61 and '62.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   That was cited in your report, but it  
2                   was previously also acknowledged during the  
3                   Working Group meeting so that the concession that  
4                   was made in light of the issues that we raised in  
5                   our review of the TBD, we went from the geometric  
6                   mean of the values that you had derived earlier in  
7                   the TBD to a 95th percentile value.

8                   Then you also identified the two  
9                   workers in question that I already mentioned,  
10                  Worker AAA and BBB, and we had, in behalf of those  
11                  individuals, 68 and 71 urine bioassay data points,  
12                  respectively, that we were able to work with.

13                  And what you did was actually duplicate  
14                  what we had done previously in our initial review.  
15                  And I think if we can go to Table 1 that is at the  
16                  bottom of the page, John, you will see the outcome.

17                  And I just want to briefly mention what  
18                  you are looking at here. This portion of the  
19                  table, the table actually continues on the next  
20                  page but we won't -- John, go back to the original  
21                  -- but this portion of the table is the pre-June  
22                  1963 data, which is really critical because this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is where we had some very, very high exposure data  
2 or urine data for these two particular operators,  
3 AAA and BBB.

4 And what you see here in this table on  
5 the farthest side is the first row is NIOSH analysis  
6 of using the actual urine data as SC&A did, and then  
7 converting that to daily intakes. And so what you  
8 see here in Table 1 is that for Type S, the daily  
9 intake would have corresponded to 437,900 dpm per  
10 day for Operator A, based on the actual data that  
11 were available that we used and NIOSH used.

12 And below that, you see SC&A analysis  
13 that has a value of 42,670, and you realize that's  
14 a factor of ten. And we will come to that in a few  
15 minutes because that was actually a transcription  
16 error that we actually introduced in converting the  
17 value. We actually had a value that was, in  
18 essence, virtually identical, when, in fact, we  
19 looked back at the data, and I think NIOSH verified  
20 this, when we supported you with our own IMBA runs  
21 and you concluded the very same thing that we also  
22 concluded, that this represents a transcription

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 error. Because we had actually determined that  
2 the inhalation intake rates would have been 426,670  
3 and we dropped the number six there and reduced it  
4 by a factor of ten.

5 So, anyway, using the actual urine data  
6 that were available for Operator AAA, NIOSH had  
7 derived 437,900 dpm per day as an intake. And if  
8 I can correct for the transcriptional error, for  
9 SC&A, ours would have been 426,000, which is  
10 essentially very consistent with that number.

11 The Site Profile in the original tables  
12 would have recommended a geometric value of 12,590.  
13 And that, obviously, is a very, very much lower  
14 value than 437.

15 On the other hand, when the decision was  
16 made to actually adopt the 95th percentile value,  
17 that value would have been raised from 12,590 to  
18 89,277. And when you compared the actual  
19 empirical-derived value of 437,900 to the 95th  
20 percentile value, you realize that the ratio is  
21 still 4.9, which means we're actually -- the 95th  
22 percentile value that would be assigned is a factor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of nearly five-fold lower.

2 And the same thing applies to the Type  
3 M next to it where you see, in this case, that  
4 transcription error that we have been guilty of  
5 introducing in Type S was not translated or not  
6 transferred to Type M. We see that the NIOSH value  
7 of 13,803 is essentially identical to the SC&A of  
8 13,490.

9 And again, what you see here is the  
10 original geometric mean value of 872, and, of  
11 course, the revised recommendation to use the 95th  
12 percentile value of 6,183. And again, that is  
13 2.2-fold lower than the actual value that would  
14 have been derived had you used the actual empirical  
15 for the urine for Operator AAA.

16 And the same thing applies to Operator  
17 BBB. You can just look at this. I won't go  
18 through the numbers. And again, for Type S, SC&A  
19 in fact, if you had introduced a transcriptional  
20 error for the Type S, again we were off by a factor  
21 of ten. But when we corrected it, our numbers are  
22 pretty consistent with the numbers of 187,800,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 because when we reran our own value, and I think  
2 NIOSH verified this when they looked at our data,  
3 our number would have been 208,880 dpm.

4 So, again, we were pretty consistent  
5 when we corrected for that transcriptional error  
6 but we also realized that we were still somewhat  
7 low, even at the 95th percentile value, which at  
8 89,277 is 2.1-fold lower than the empirically  
9 derived value that both NIOSH and SC&A derived that  
10 would have been almost 200,000 dpm per day.

11 Anyway, when we also raised the issue  
12 of -- we can go to the post-June 1963, and there  
13 you see values, again, that are in exactly reverse.  
14 Again, in this case, when NIOSH analyzed the data,  
15 in this case, the empirical data was adjusted for  
16 Operator AAA, a value of 6,445 dpm per day, when  
17 in fact the Site Profile geometric mean value would  
18 have been, essentially, the appropriate value.

19 And as a result of the selection of the  
20 95th percentile value, we would assign for this  
21 individual 46,681, meaning we are probably, by  
22 assigning the 95th percentile value for an operator

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as a coworker default value, we would be a factor  
2 of about eight higher than actually the empirical  
3 data would suggest.

4 So, post-June 1963, the coworker model  
5 would actually over-predict the inhalation  
6 quantities that would have been derived by the  
7 empirical method as NIOSH and SC&A identified.  
8 And the same thing applies to the Operator B, which  
9 is on the right-hand side, where, again, we are  
10 about a factor of approximately seven too high, as  
11 you see by the ratio value of 0.15.

12 So, again, there's a summary for the  
13 pre-June 1963 data. We would probably still  
14 underestimate the inhalation based on the  
15 empirical data for these two guys if we were to use  
16 the urine data. And for the post-, we would  
17 overestimate the actual inhalation dose by using  
18 the 95th percentile.

19 So, that's basically our review. And  
20 then there were secondary issues that I think Lara  
21 had identified. And that is, we had raised  
22 questions about whether or not the data that we had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 used in behalf of these two individuals were, in  
2 fact, incorporated into the actual matrix of  
3 information that was used to derive the geometric  
4 mean and the 95th percentile. And that's in the  
5 last table. So, John, if you can just quickly go  
6 down the screen here.

7 Okay. Here you see a table, and those  
8 identify on the far left side the ten bioassay  
9 results from the data set that was used. And you  
10 will see on the second and third column of that  
11 table data that are in bold and data that are not.  
12 And the bold data involves those things that were  
13 not included. And so you see that some of the data  
14 that involved these two workers were, in fact,  
15 included in the coworker model and others are not.

16 And I think Lara explains why that could  
17 happen. I think several of the numbers, or the  
18 actual empirical data that were defined in behalf  
19 of these two workers, were considered outliers  
20 because they didn't make sense. They were very  
21 high and when you looked at the pre- and the  
22 post-date urinalysis data for those particular

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 issues, it seemed improbable that you could have  
2 such a high number. And they were considered,  
3 basically, an artifact or a contamination event.

4 And there were other issues that were  
5 identified as perhaps not necessarily being linked  
6 to an outlier, but, for reasons that were difficult  
7 to assess at that time, we don't know why some of  
8 the numbers were not necessarily included. But  
9 nevertheless, when they were included, the numbers  
10 really didn't change significantly in terms of the  
11 coworker model.

12 And so when we reviewed the White Paper  
13 that Lara had authored, and that brings us to the  
14 current memo that is really the subject of  
15 discussion for this, but, in essence, requires very  
16 little. So, John, if you could bring up the most  
17 recent memo that we submitted.

18 And much of this memo really reiterates  
19 what I've already talked about. It just  
20 summarizes the very fact that we started out with  
21 our original finding number 4. We submitted the  
22 data for review and discussion to the Work Group.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 It identifies the fact that there were  
2 recommendations made for NIOSH to assess SC&A's  
3 number. And of course, we acknowledge in our  
4 document that we had made a transcriptional error  
5 in behalf of Type S for Coworker AAA and BBB that  
6 were corrected.

7 And in essence, we also concur with  
8 Lara's assessment of the issue that perhaps the  
9 95th percentile value, in spite of the fact that  
10 it might not necessarily embrace the actual higher  
11 numbers that we would have derived, are probably  
12 appropriate for a coworker model, for a number of  
13 reasons.

14 One is that these two coworkers were  
15 exceptionally high-end workers. And as I have  
16 mentioned at the very beginning of my discussion,  
17 I had selected them for a reason. I wanted to see  
18 if, in fact, these data would correspond to a  
19 bounding value that were identified in the coworker  
20 model under the GM. And, of course, it didn't. It  
21 was way, way off.

22 But as a result of the acceptance of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 95th percentile value, as opposed to the geometric  
2 mean, we're probably coming within a factor of five  
3 for AAA coworker and a factor of two for the BBB  
4 worker.

5 And given the fact that the 95th  
6 percentile far also exceeds the expected intake for  
7 those two individuals post-June 1963, and given all  
8 the dose issues that Lara identified as perhaps  
9 outlier values that were inappropriate for use in  
10 assessing them, we, I guess, as a bottom line, we  
11 concur with Lara's assessment. And I think we can  
12 potentially accept the resolution of the 95th  
13 percentile value as a coworker model that can be  
14 used for an unmonitored worker, with the full  
15 understanding that, in the case of Coworker AAA and  
16 BBB, you would really, in essence, possibly still  
17 default to the actual empirical model as opposed  
18 to using a coworker model.

19 And for people who may not be monitored,  
20 they are likely not to be the high-end people, such  
21 as the operators, for whom that data are available,  
22 we feel that the coworker model, as is currently

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 being proposed, using the 95th percentile value is  
2 probably an appropriate approach. And we  
3 recommend that resolve this issue by accepting that  
4 recommendation.

5 DR. NETON: NIOSH has nothing to add.

6 MR. KATZ: So you concur?

7 DR. NETON: We concur.

8 CHAIRMAN ANDERSON: Yeah, a long  
9 discussion. So we have resolved the issue.

10 DR. NETON: We concur with SC&A's  
11 recommendation to close the issue.

12 DR. MAURO: This is John Mauro. Just  
13 in listening to -- trying to sort of step back and  
14 get the big picture, when we have a circumstance  
15 where a coworker model has been developed with the  
16 data that is available, and you're doing a dose  
17 reconstruction using the coworker model -- this  
18 probably is self-evident, but when you do have real  
19 data for real people, the way Hans just described,  
20 assuming that data were good and is rock-solid  
21 stuff that you can hang your hat on, you wouldn't  
22 use the coworker model. You would use the actual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 data on the real people. Is that correct?

2 MR. KATZ: Correct.

3 DR. MAURO: And in this case you didn't  
4 use the real data for the real people because you  
5 didn't trust it.

6 DR. BEHLING: Well, actually, John, I  
7 think the way the TBD was rewritten in 2011, it does  
8 state that when real data are available, that they  
9 will have priority in use. This is, in essence,  
10 a coworker model that is earmarked for unmonitored  
11 workers. And any time you have real data,  
12 obviously, they should take precedent over a  
13 coworker model. And I think that's stated in the  
14 TBD.

15 DR. MAURO: Yeah. And that's the way  
16 I understood it also. But I do hear also, though,  
17 that for these particular workers, at least for  
18 that first time period, you didn't really trust the  
19 data. So, therefore, you didn't go with the data  
20 and you went with the 95th percentile, because  
21 there was something about the data for those two  
22 workers that didn't make sense.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: No, John.

2 DR. HUGHES: No, that's not correct.

3 DR. MAURO: Okay, then if you could  
4 help me out a little bit.

5 DR. HUGHES: Well, for one thing, I  
6 don't think that these individuals are claimants,  
7 per se. So, I don't know if a dose reconstruction  
8 has been done. I would have to read up.

9 In this case, if the dose  
10 reconstruction were to be done for these claimants,  
11 we would use the bioassay data. Now, there is  
12 always the issue, with the involvement with the  
13 coworker model, some values were omitted because  
14 these individuals had additional data that was  
15 found in the back of the document.

16 And I don't know the details because  
17 it's been a few years since I have looked at this.  
18 There were some spikes in there that were not  
19 explained by the data that were collected over  
20 subsequent days. These were individuals that  
21 received a good amount of intake and they have had  
22 a number of subsequent positive bioassay data.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 So, there were some spikes that were not explained  
2 by the follow-up data. So, those I think were  
3 omitted, because in the report there were  
4 indications that they suspected the samples were  
5 contaminated. And I think that's a valid process.

6 DR. MAURO: Well, and you know, I bring  
7 this up only because it's one of judgment. And as  
8 we know, when we go through these kinds of processes  
9 it is appropriate for the dose reconstructor and  
10 the folks doing the work to use their judgment.  
11 And what I am hearing here is, if these were  
12 claimants and you were confronted with having to  
13 do a dose reconstruction for these claimants, you  
14 would have looked at the data the way you just  
15 described and you would have documented that. In  
16 this case, I'm still thinking out loud for myself,  
17 that, listen, yeah, we really have an option here.  
18 We can go with the real data that are much higher,  
19 eight times higher, four times higher, but we don't  
20 believe it.

21 And I think it's important that when  
22 that happens, when a certain degree of discretion

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is used to bypass the coworker model, then it's  
2 essential that the rationale for that -- and I  
3 thought that was the case here, that there was a  
4 rationale why you didn't go that route, but what  
5 you are saying is you were really never confronted  
6 with that circumstance because these workers that  
7 Hans used in his test were not claimants.

8 DR. BEHLING: No, they're not, John.  
9 They were strictly identified in one of the  
10 documents that were cited for the information that  
11 would involve the development of the core group,  
12 where they had tables and tables of information  
13 involving urinalysis data that they have of  
14 different people, including operators. And when  
15 I screened those data sheets, I identified Coworker  
16 AAA and BBB because I realized, looking at the  
17 actual numbers that involve dpm per liter of urine  
18 that they were being assessed for, these turned out  
19 to be very high values.

20 And I chose that for a simple reason.  
21 I wanted to see if, in fact, the coworker model  
22 would be a bounding value. And it turned out not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to be the case. As I said, in the case of the data  
2 that were used that both NIOSH and SC&A ended up  
3 with for the AAA coworker, we ended up with a value  
4 over 400,000 dpm per day, which is obviously many,  
5 many times the geometric mean of the recommended  
6 value that was identified in the Site Profile. We  
7 talked about the difference between 437,900 dpm per  
8 day versus the GM value of 12,590. We are talking  
9 about 30-some-odd-fold difference.

10 But then when the concession was made  
11 to use the 95th percentile value, the 12,590 value  
12 translated for the Operator A to 89,277. And that  
13 still, however, was a factor of nearly 5, 4.9-fold  
14 lower than the empirical value derived from actual  
15 urine data.

16 But then again, Lara has explained that  
17 some of the values that were available -- and I  
18 didn't question, I didn't assess in terms of the  
19 validity, but apparently NIOSH did, and identified  
20 a couple high values that seemed inappropriate  
21 because of adjacent values before and after that  
22 would not allow that number to exist. And the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 interpretation of that artifact was that it might  
2 be a contamination issue.

3 And without having gone through a lot  
4 of assessments, I will concur because I did look  
5 at the numbers that were being questioned, and I  
6 looked at the adjacent timeframes for other  
7 urinalysis, and that does suggest the likelihood  
8 is that they might have been a false high number.

9 DR. MAURO: No, I got it. I just  
10 wanted to --

11 CHAIRMAN ANDERSON: So, we have  
12 resolved the issue, I think.

13 MEMBER FIELD: This is Bill. I have a  
14 question. For the false positives, the ones that  
15 were deemed contaminated, the adjacent values,  
16 they were for the same person, right?

17 DR. HUGHES: That's correct.

18 CHAIRMAN ANDERSON: Yes.

19 MEMBER FIELD: Okay, I just wanted to  
20 clarify that.

21 DR. BEHLING: Yeah, if you look at the  
22 actual original data that I used -- and I think in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 my review of the TBD, I actually have given an  
2 exhibit that identified Coworker A and Coworker B  
3 -- or Operator; I'm not saying Coworker -- Operator  
4 A and B, they actually have a subset of their actual  
5 exposures in the original review.

6 So you can look at those and I think you  
7 can identify the adjacent values as appropriately  
8 assessed in behalf of each of those two workers and  
9 for that particular high value, and come to the  
10 conclusion that it doesn't look like it might be  
11 -- it could very well be an artifact.

12 MEMBER FIELD: Right, thanks.

13 DR. BEHLING: Anyway, I guess to sum  
14 things up, from my review of Lara's White Paper and  
15 reassessment of the data, at this point, I would  
16 certainly propose the recommendation to the  
17 Working Group to perhaps close this issue out and  
18 accept the coworker model as it's currently being  
19 proposed. For unmonitored worker, of course.

20 CHAIRMAN ANDERSON: That seems  
21 reasonable. I think we got it. I mean, been a  
22 long time in the works here, but I think we finally

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           figured out what the differences were between the  
2           two and now it's reconciled. And I would certainly  
3           agree that we ought to close this out, that the  
4           coworker model and the 95th percentile process  
5           seems to work.

6                         MEMBER KOTELCHUCK: Right. And Dave,  
7           I agree. It was a very nice presentation and very  
8           clarifying.

9                         MR. KATZ: So, for this one, Andy and  
10          group, I think we also need a presentation, because  
11          we have a session so you can close out the Site  
12          Profile review.

13                        The only issue with this -- and again,  
14          I think, whoever prepares it for SC&A, just a little  
15          bit of backtracking so that people have context  
16          before you get to what you've closed out would be  
17          helpful for Andy.

18                        But this is a little bit uncertain as  
19          to whether this will actually make it on the agenda,  
20          because it depends on what happens with a couple  
21          other SECs which would have priority for being  
22          addressed during the meeting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So if we could have that presentation  
2 prepared in case. And there's at least a 50-50  
3 shot that it will be used. But if not, it will be  
4 used in the next Board meeting or teleconference.

5                   CHAIRMAN ANDERSON: It would be nice to  
6 have it ready to go so we don't have to go back  
7 through this.

8                   MR. KATZ: Absolutely. Absolutely,  
9 so that'll be a way to seal the information, at  
10 least, right, while it's fresh.

11                  CHAIRMAN ANDERSON: Yeah.

12                  MR. KATZ: Thank you.

13                  CHAIRMAN ANDERSON: Okay, any other  
14 comments by anyone? Thank you both.

15                  MR. KATZ: Yeah, thank you. On to  
16 Hooker.

17                  CHAIRMAN ANDERSON: Okay.

18                  MR. KATZ: Is everyone okay? Does  
19 anyone need a break before we go on to Hooker?

20                  MR. STIVER: I'd like to take a slight  
21 break.

22                  MR. KATZ: Okay, so let's take a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 five-minute break and then we will move on to  
2 Hooker.

3 (Whereupon, the above-entitled matter  
4 went off the record at 9:58 a.m. and resumed at  
5 10:05 a.m.)

6 MR. KATZ: Alright. Okay, we're all  
7 back in the room, and we thought just we were going  
8 to take it out of order for a different reason  
9 before we got Hans back. So we thought we would  
10 discuss Hooker first since it's a shorter slog than  
11 NUMEC. And we have Dave on the phone and that will  
12 free Dave to go off and go to work.

13 DR. NETON: I think Bill can start.

14 **SC&A Review of DCAS-TKBS-0009, Revision 2 for Hooker**  
15 **Electrochemical Company**

16 MR. THURBER: Sure, Hooker. We  
17 prepared a review back in 2013 of Revision 1 to the  
18 Hooker TBD. And you'll recall that what happened  
19 at Hooker was they received so-called C-2 slag from  
20 Electro Metallurgical Company, which was down the  
21 road in Niagara Falls. And from another AEC  
22 project, they had some extra hydrochloric acid.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And so the objective was to treat this C-2 slag,  
2 which was a product of the bomb reduction process  
3 to produce uranium metal from uranium fluoride and  
4 magnesium, to take that slag from the bombs and to  
5 treat with hydrochloric acid and to upgrade the  
6 slag to increase its uranium content.

7 So that was what happened, and this went  
8 on from, whenever, July 1944 through January 1946.

9 So NIOSH prepared a Revision 1 of the  
10 Technical Basis Document. And we reviewed it in  
11 2013. And at that time, we developed six findings.  
12 And subsequently, NIOSH updated the TBD to Revision  
13 2. And Ted Katz asked us in April of this year if  
14 we would review Revision 2 of the TBD to see whether  
15 the six findings that we had originally made in our  
16 review of Revision 1 of the TBD had been resolved.

17 And so let me go through those six  
18 findings quickly and tell you where we think things  
19 stand.

20 And one thing I would add is that when  
21 we did our review of Revision 1 of the TBD we came  
22 across some new data which had not been considered

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 before which we felt had a fairly significant  
2 impact on the amount of exposure that the workers  
3 might have received, both in terms of the uranium  
4 content in the materials and the time that the  
5 processing took place, so that there were a couple  
6 of factors which would have affected the worker  
7 exposure that had not been considered when NIOSH  
8 did their original review.

9 So, anyways, our first finding was that  
10 we felt that NIOSH needed to review the assumptions  
11 regarding the composition of the slag and the  
12 concentration of the concentrate that was produced  
13 by acid leaching of the slag. And indeed, in  
14 Revision 2 to the TBD, NIOSH did take into account  
15 the fact that the slag concentration had been  
16 understated. And so they revised the slag  
17 concentration from something like less than one  
18 percent to 2.65 percent uranium.

19 And based on our review, we felt that  
20 this was an appropriate adjustment based on the  
21 additional data that had been uncovered, and we  
22 were satisfied that this finding has been properly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 addressed in Revision 2 of NIOSH's TBD.

2 The second finding had to do with the  
3 fact that we felt that the slag leaching process  
4 was understated -- I'm sorry, that the throughput  
5 through the system was understated by about a  
6 factor of five. It was originally assumed by NIOSH  
7 that ten tons a month of the slag would be  
8 processed, and we felt that that was -- based on  
9 this new information, we felt that that was a  
10 significant understatement by about a factor of  
11 five.

12 And in response to this finding, NIOSH  
13 looked at three production scenarios. And they  
14 felt that rather than ten tons per month, that a  
15 throughput of 89 tons per month better fit the new  
16 data. And again, we think that that is an  
17 appropriate adjustment and we are satisfied that  
18 that finding has been properly addressed by NIOSH.

19 The third finding, we felt that the  
20 internal exposure was understated because the  
21 exposure time was not properly addressed and that  
22 the amount of uranium in the concentrate was not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 properly addressed. So, in their Revision 2,  
2 NIOSH upgraded the concentrate concentration  
3 significantly, and they decided that the slag  
4 handling time should be increased to 25 percent of  
5 the workday rather than five percent, which was  
6 originally assumed. And again, we felt that these  
7 findings were consistent with the new data that had  
8 been examined.

9 So, the first three findings, again, we  
10 felt were properly addressed by NIOSH.

11 The fourth finding, we felt that the  
12 ingestion intake needed to be calculated in a  
13 manner that was consistent with the inhalation  
14 intake. And the issue was that actually the  
15 ingestion had actually not been included. And so  
16 we pointed that out and we think that's still an  
17 open issue, that NIOSH needs to address the  
18 ingestion. So, that is unresolved, from our  
19 perspective, at the time of review.

20 DR. NETON: We agree with that. We  
21 need to formally include that.

22 MR. THURBER: The fifth finding had to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do with -- it's a little be trivial, perhaps --  
2 actually, maybe not. And that deals with the fact  
3 that the units of measure in some of the tables were  
4 confusingly stated. The text would refer to doses  
5 when the data was actually presented as exposures,  
6 or vice versa. For example, there would be a table  
7 that would be labeled "dose rates" but the  
8 information would be exposure rates, in terms of  
9 mR per hour. And we think that some cleanup is  
10 required there to be sure that the units are  
11 expressed consistently with the text and vice  
12 versa.

13 DR. NETON: Yeah, this is Jim. I think  
14 Dave Allen may have some comment on that. I'm not  
15 sure. Dave, are you there?

16 MR. ALLEN: Yeah, I'm here. I was just  
17 thinking we let Bill go through all the findings  
18 and then I could give our response, or however the  
19 group wants to do that.

20 DR. NETON: Either way. Well, we're  
21 through four. We are on five right now. Maybe  
22 five and six, I think, are somewhat related.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. THURBER: Five and six are  
2 definitely related.

3 DR. NETON: Maybe Bill could go through  
4 six and then we could comment on five and six as  
5 a group.

6 MR. THURBER: Finding 6, again,  
7 referring to one of the tables in the NIOSH Revision  
8 2 of the TBD, it talked about the units of measure  
9 for the photon dose conversion factors. And  
10 again, this was a question of whether these are  
11 exposure rates or dose rates.

12 Well, that's sufficient. So, you  
13 know, as Jim said, five and six are kind of related.  
14 We think there is some cleanup required in the text  
15 and tables to clarify whether these are dose rates  
16 or exposure rates. And that summarizes it.

17 DR. NETON: Dave, do any of you want to  
18 chime in on that at all?

19 MEMBER KOTELCHUCK: Excuse me, Ted,  
20 before Dave -- this is Dave Kotelchuck. I have  
21 been on the line the whole time. My mute was on,  
22 it turns out. So, just for the record.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Oh, okay. I didn't know we  
2 were missing you even.

3 MEMBER KOTELCHUCK: Oh, okay,  
4 wonderful. I thought you said something about  
5 Dave can go do something.

6 MR. KATZ: Oh no, that was a different  
7 Dave. That was Dave Allen.

8 (Laughter.)

9 MEMBER KOTELCHUCK: Alright, very  
10 good.

11 MR. KATZ: Sorry about that.

12 MEMBER KOTELCHUCK: Thank you. The  
13 other Dave, you should begin now. Sorry.

14 MR. ALLEN: Okay, this is Dave Allen.  
15 As Bill said, on findings 1, 2, and 3, I think SC&A  
16 is recommending closing. And just to point out,  
17 those three were changed as a result of the  
18 definitive information that Bill Thurber found.  
19 We actually looked at this issue in the previous  
20 review and SC&A agreed with our interpretation on  
21 the sparse information that we had, but when they  
22 found the definitive information, that kind of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 contradicted some of the data that we did have and  
2 ended up changing the scenarios quite a bit. It  
3 definitely made it more robust. There's a whole  
4 math balance in the TBD now that clarifies  
5 everything exactly what happened, pretty much.

6 As far as finding 4, primarily that was  
7 the ingestion intake. And Bill notes that that  
8 should have been added and he is absolutely right.  
9 That was purely an oversight. I can tell you, as  
10 far as any dose reconstructions we've done by  
11 Revision 2, we have added in an ingestion intake,  
12 but it's not in the TBD and that needs to be added.

13 Then we get to finding number 5 --  
14 actually, it's several different things. As Bill  
15 said, there were several cleanup things. There  
16 were some mixed units, et cetera. And we agree  
17 with, I want to say, everything he said. Yeah, it  
18 was mostly just some mixture of units that was  
19 incorrect. And we agree we need to clean those up  
20 at the same time we add the ingestion in there.

21 DR. NETON: I think I would also say,  
22 though, even though the units may have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 mislabeled, the dose reconstructions have been  
2 done correctly. Because there is a difference  
3 between if you use roentgen versus --

4 MR. THURBER: Right, particularly when  
5 you are looking at organ doses it's very important  
6 to make a distinction.

7 DR. NETON: I'm sorry, Dave. Go  
8 ahead.

9 MR. ALLEN: No, that's a good point.  
10 The units make a difference in some cases. In some  
11 cases, it doesn't, honestly. Whether you call it  
12 exposure or call it dose, on the other hand, nobody  
13 honestly pays very much attention to that. They  
14 pay attention to what the unit is.

15 And that's what I wanted to point out  
16 with finding 6. We actually calculated the photon  
17 dose in mR per hour, or mR per day -- I can't  
18 remember what the time unit was in the table. And  
19 no such thing exists for beta, so we calculated that  
20 in millirem.

21 And technically, ICRP type is  
22 distinguished by calling one exposure and one dose,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but they also call a lot of other things "dose."  
2 They have HP(10),H\*(10), air kerma, all kinds of  
3 things they call dose and they don't do a very good  
4 job of distinguishing them with a word.

5 The purpose of that was there were two  
6 lines in the table. One was in mR, one was in  
7 millirem. We decided to just say dose, instead of  
8 trying to confuse things by distinguishing dose  
9 from exposure. We put the units right next to the  
10 number to make sure there was no confusion.

11 That one just seems to me like the  
12 technically correct way of doing it is just going  
13 to confuse matters even more and it has never  
14 confused anybody yet. That one I would like to  
15 leave alone. The other stuff from finding 5, I  
16 would clean up.

17 And I am not married to that. I can  
18 clean it up. I just think it is a little more  
19 confusing if I do, as far as finding 6 goes.

20 And I think that was it. That's my  
21 responses to it.

22 CHAIRMAN ANDERSON: Okay, other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        comments people have? So, when will the pen to  
2        paper --

3                    DR. NETON:        When will the TBD be  
4        revised?

5                    CHAIRMAN ANDERSON:        Yeah, I think  
6        we've got it all resolved but I suppose it's in  
7        abeyance until we actually have a firm document in  
8        hand, I guess.

9                    MR. KATZ:        Right. Right, none of  
10       these are really in progress. They're really all  
11       in abeyance since we have agreement about all the  
12       details.

13                   CHAIRMAN ANDERSON:        Yeah, all the  
14       issues were resolved, it's a time --

15                   DR. NETON:        None of these should take  
16       a lot of time. It's just a matter of getting the  
17       schedule. I don't know, Dave, do you have an idea?  
18       I mean, there's a lot of competing priorities these  
19       days. That's the only thing.

20                   CHAIRMAN ANDERSON:        Well, and you're  
21       continuing to process them, and you know it, so --

22                   DR. NETON:        And again, right now, it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not affecting the dose reconstructions. They are  
2 being done correctly. It's just a matter of  
3 documenting it properly.

4 Dave, do you have any feel for a  
5 timeframe on this?

6 MR. ALLEN: Well, like you say, it's  
7 just a matter of priorities. I think I can push  
8 to try to get a draft out in a month, and then our  
9 review cycle often takes like a couple months  
10 because it's not going to be high on any of the  
11 review people's priorities either. But it might  
12 be three months or so at the earliest before we get  
13 this revised.

14 CHAIRMAN ANDERSON: Okay.

15 MR. KATZ: Okay, so -- oh, go ahead  
16 Bill.

17 MR. THURBER: I would just make one  
18 more comment. I don't mean to be didactic about  
19 this. But to me, dose and exposure are two  
20 different things. And Dave's comment, well,  
21 they're frequently intermixed, I have no argument  
22 with that, but it doesn't seem to me that that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a good argument for proliferating confusion --  
2 what's confusion in my mind.

3 DR. NETON: I hear you. Dave and I  
4 will talk about this. We'll make it right.

5 MR. KATZ: So, this is another one  
6 where we could have then a close-out of the Site  
7 Profile review. Again, whether we have time to  
8 actually address it at the upcoming Board meeting  
9 is questionable right now.

10 CHAIRMAN ANDERSON: Yeah.

11 MR. KATZ: But you could probably close  
12 it out pretty quickly with a presentation on this.

13 MEMBER KOTELCHUCK: Dave, question.  
14 So, where does this reside during this period, over  
15 the next three months, or at the end of the three  
16 months? With the Board or with the Working Group?

17 MR. KATZ: No, it's not with the Board  
18 anymore, or really with the Working Group. It's  
19 in abeyance, so everything is agreed upon and NIOSH  
20 will put out, eventually, a revised TBD. And that  
21 will, whenever the Work Group is meeting on  
22 something else more substantive, they can then take

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a nod that that was all put to bed.

2 MEMBER KOTELCHUCK: Okay, thank you.

3 CHAIRMAN ANDERSON: Is the TBD not  
4 posted?

5 MR. KATZ: These are available. Yes,  
6 I mean, they're posted on --

7 CHAIRMAN ANDERSON: Because what I'm  
8 wondering is if one at the top of that could just  
9 put a statement saying "see" -- I don't know if any  
10 public would look at it.

11 MR. KATZ: They wouldn't, in general.

12 CHAIRMAN ANDERSON: I don't think so.

13 DR. NETON: The TBD is on our website.

14 MR. KATZ: I'm saying these details,  
15 the public is not going to really be cognizant of  
16 this detail and that it's being addressed, these  
17 findings from SC&A.

18 CHAIRMAN ANDERSON: Yeah, okay.

19 MR. KATZ: You mean putting a notice  
20 out saying we've resolved all these issues?

21 CHAIRMAN ANDERSON: Just a line at the  
22 top of it, so when you went to it, it would say "see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the minutes of this" or something or other.

2 MR. KATZ: We've never done that. I  
3 don't know.

4 DR. NETON: It's not been our practice.  
5 Usually, the people that follow individual Site  
6 Profiles kind of follow the meetings themselves.

7 CHAIRMAN ANDERSON: Okay, then we  
8 don't need -- because it doesn't seem to me -- I  
9 think we've got enough other things going on. I  
10 wouldn't want you to spend a lot of time rewriting  
11 this thing, as long as people wouldn't be looking  
12 for it.

13 MR. KATZ: Well, it has to get  
14 rewritten because that's a matter of course.

15 DR. NETON: Yes, it will be revised.  
16 It's not affecting any dose reconstructions. So,  
17 I don't even suspect the PER is involved here.

18 CHAIRMAN ANDERSON: Okay.

19 DR. NETON: Because like I say, the  
20 ingestion has been done. It has just not been  
21 specifically described in the Site Profile. And  
22 the table with the units, that's a matter of, like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I said, being correct in the terminology.

2 CHAIRMAN ANDERSON: Yeah.

3 MR. KATZ: So, anyway, since this is  
4 fresh, if we could just get another Site Profile  
5 review presentation to close it out. Whether we  
6 use it at this next Board meeting or not is  
7 questionable, but it's good to have.

8 DR. NETON: It might be good for the  
9 call after, you know, in-between.

10 MR. KATZ: Right, this is another one  
11 that we could do at the teleconference.

12 (Simultaneous speaking.)

13 MR. THURBER: If you are going to task  
14 SC&A to do this, I would hope that you and Dave could  
15 have sorted out your position on 6 before we have  
16 to do a whole rewrite.

17 DR. NETON: Sure, we will do that.

18 MR. KATZ: Yeah, check in with them as  
19 you prepare everything about that last part of it,  
20 that one bullet or whatever it ends up being.

21 CHAIRMAN ANDERSON: How late are we  
22 planning to go on the second day?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: We finish at 1:00, and  
2 that's pretty much set in stone because it's  
3 noticed.

4 CHAIRMAN ANDERSON: Okay.

5 MR. KATZ: So, that's the problem. We  
6 don't really have room to expand.

7 CHAIRMAN ANDERSON: Well, yeah, I  
8 thought it was a short day.

9 MR. KATZ: Yeah, so that's the catch.

10 CHAIRMAN ANDERSON: I'm trying to work  
11 my travel so that I can get home.

12 MR. KATZ: Right, 1:00 p.m. I believe  
13 we'll run until 1:00 p.m., though, unless some  
14 other things fall off the shelf. Right now, we're,  
15 in a sense, overbooked.

16 CHAIRMAN ANDERSON: Okay, that's  
17 Hooker. Any other comments, Members on the phone?

18 MEMBER KOTELCHUCK: I'm fine with what  
19 we have.

20 CHAIRMAN ANDERSON: Okay.

21 MEMBER FIELD: I think everything  
22 sounds good.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ANDERSON:   Okay.  So, let's  
2   go back to the NUMEC White Paper.  Is that okay?

3                   DR. MAURO:  This is John.  I'd be glad  
4   to go ahead with NUMEC, but I think W.R. Grace may  
5   be able to be taken care of pretty quickly also,  
6   if Ron agrees.  So that we could leave the home  
7   stretch for NUMEC, which may take a little bit more  
8   time than the others.

9                   DR. NETON:  Okay, I would need to give  
10  Tom Tomes a quick phone call to get him involved,  
11  but I'm okay with that.

12                  CHAIRMAN ANDERSON:  Okay, that's fine  
13  with me, too.  Sure.

14                  MR. KATZ:  Is he waiting for you to call  
15  him?

16                  DR. NETON:  He's waiting for me to call  
17  him.

18                  DR. POSTON:  Ted?

19                  MR. KATZ:  Yes?

20                  DR. POSTON:  John Poston, I'm here.

21                  MR. KATZ:  Well, hi, John.  This is --  
22  okay, you're welcome.  This is not your Work Group.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. POSTON: I thought it was today.

2 MR. KATZ: You're welcome to hang in  
3 here if you want to listen, but this isn't one of  
4 your Work Groups. John, I think you are with us  
5 for Idaho -- for the INL Work Group, which is August  
6 2.

7 DR. POSTON: Oh.

8 CHAIRMAN ANDERSON: Everybody gets  
9 noticed for all of them and it's hard to remember  
10 which is yours.

11 MR. KATZ: Yeah, status notices.

12 (Simultaneous speaking.)

13 DR. POSTON: Well, I wasted a lot of  
14 time last night reading all this stuff. I found  
15 it interesting.

16 MR. KATZ: You will be well-prepared  
17 for the Board session.

18 DR. POSTON: Alright, bye.

19 MR. KATZ: Bye-bye, John.

20 DR. NETON: I got a hold of Tom Tomes.  
21 He is calling in.

22 (Pause.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Okay, Tom, are you on yet?

2 He should be dialing in now.

3 MR. KATZ: Tom, have you joined us yet?

4 Not yet.

5 DR. BUCHANAN: I could go ahead and  
6 give a recap for this, if you'd like.

7 CHAIRMAN ANDERSON: Yeah. Should we  
8 start that?

9 MR. KATZ: Tom will be familiar enough,  
10 right? He doesn't need to catch all the recap.

11 DR. NETON: Yeah. I just -- does it  
12 beep when they dial in?

13 MR. KATZ: No, you don't hear it if you  
14 are already on. No, you don't.

15 DR. NETON: Well, yeah, go ahead.

16 MR. KATZ: Go ahead, Ron, that's fine.  
17 Why don't you start your recap?

18 **W.R. Grace and Company in Erwin, Tennessee Update on**  
19 **Findings**

20 DR. BUCHANAN: Okay, this is Ron  
21 Buchanan with SC&A. And W.R. Grace is a uranium,  
22 and some plutonium, processing plant in Tennessee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that did work for both AEC and commercial outfits.  
2 Complicates the issue somewhat.

3 It is now called NFS, Nuclear Fuel  
4 Services, and they are presently operating. They  
5 are downblending enriched uranium.

6 And so we did the Site Profile review  
7 in 2012, I think, three or four years ago, and we  
8 came up with seven findings. And finding 6 has  
9 been closed previously. It was on X-ray. That  
10 was closed, so that leaves the other findings.

11 We had findings 1, 2, 3, 4, 5 and 7.  
12 Now, the current status of those was that NIOSH was  
13 going to go back to NFS and request some additional  
14 information. This is mainly about when plutonium  
15 was and wasn't used at the facility for weapons  
16 purposes. And so they had requested additional  
17 information from NFS, and was waiting to receiving  
18 that to look at some more details on when the  
19 plutonium intake should be assigned and also any  
20 neutron dose associated with that.

21 Now, we did have finding 5, which was  
22 somewhat of a different issue, which I'll address

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 now. Finding 5 was SC&A brought up the fact that  
2 there was no information presented in the TBD  
3 concerning dosimetry calibration knowledge. And  
4 W.R. Grace outsourced their dosimetry to Nuclear  
5 Chicago in early years, in the '50s, and then they  
6 switched to Landauer in around 1961.

7 And so we wanted to see if there was any  
8 additional information on dosimetry calibration at  
9 that time, since there had been none presented.

10 And we discussed this during our August  
11 25th Work Group meeting in 2015 last year. And  
12 what the Work Group wanted us to look and see if  
13 we could find any more information on that. NIOSH  
14 was going to look at some cases to see if the doses  
15 increased or decreased when they switched the  
16 dosimeter vendors in '61 and then re-reviewed that  
17 data. And SC&A was to contact NFS and Landauer to  
18 see about calibration.

19 And so that's essentially finding 5.  
20 I'll present the results of that now, and then I'll  
21 let NIOSH address the rest of the findings, since  
22 that was their action item when we had the last Work

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Group meeting in August of 2015.

2 Dosimetry calibration, we did contact  
3 NFS and Landauer to see if we could find some  
4 information on that. We could not find sensitive  
5 information. Landauer does state, they did state  
6 when I contacted them, that they did not report the  
7 different energy ranges. They did report  
8 non-penetrating if the surface dose was greater  
9 than five times the deep dose, which would indicate  
10 plutonium exposure. And that was the main  
11 information.

12 We looked over some of their sheets and  
13 did not find where they reported different energy  
14 range or non-penetrating versus penetrating for  
15 normal exposures. So, that does substantiate  
16 that.

17 NIOSH did provide us four claimants  
18 which worked continuously and was matched  
19 continuously between '58 and '63 or '65, somewhere  
20 in that area. And we looked at their yearly doses  
21 and did not find a large change in those periods.  
22 The first part was Nuclear Chicago. The latter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 part was Landauer.

2 So, at this point, we do not find  
3 indication that there is further information  
4 available to substantiate any differences in  
5 calibration for external dosimetry. And so we  
6 recommend, at this point, that that issue be  
7 closed.

8 CHAIRMAN ANDERSON: Any comments,  
9 questions by people?

10 MEMBER KOTELCHUCK: Looking at the  
11 four cases, it certainly doesn't look like there  
12 is any change.

13 CHAIRMAN ANDERSON: No.

14 MEMBER KOTELCHUCK: I didn't sit and  
15 plot it but things look fairly consistent.

16 DR. NETON: Maybe Tom Tomes is on the  
17 phone by now.

18 MR. KATZ: Tom, are you on yet?

19 DR. NETON: Are you on mute, maybe?

20 MR. TOMES: Yes, I'm on the phone.

21 MR. KATZ: Yes, he is on.

22 DR. NETON: Did you have a chance to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hear any of what was just talked about?

2 MR. TOMES: I came in with the talk on  
3 the finding 5.

4 DR. NETON: Okay.

5 MR. TOMES: And I concur with  
6 everything that was said and the work that I did  
7 on it for the four cases. And like I said, there  
8 was no indication that there was any substantial  
9 difference in the results.

10 DR. NETON: Okay, so maybe you want to  
11 talk about the coworker approach, the coworker work  
12 that we are involved with now with the  
13 plutonium/uranium?

14 MR. TOMES: I missed what was expressed  
15 earlier but if you want, I can comment.

16 CHAIRMAN ANDERSON: So, we are left  
17 with one through four. Six is closed and seven is  
18 still open.

19 MR. KATZ: So, the Work Group is  
20 closing five. Right?

21 CHAIRMAN ANDERSON: Yes.

22 MR. TOMES: Well, I can go down where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we stand on these other findings.

2 DR. NETON: Yes, I think that would be  
3 good.

4 MR. TOMES: Finding 1 was concerning  
5 the accuracy and completeness of bioassay records  
6 that had not been assessed previously. NIOSH  
7 agreed that we should review the accuracy of  
8 plutonium bioassay and the uranium bioassay  
9 starting in 1991. Incidentally, that approach and  
10 we recently -- June, late June, recently completed  
11 that review of all the records. We have gone  
12 through all the claimant records. And then the  
13 results are still in review but our preliminary  
14 indication is that the uranium bioassay is  
15 sufficient from 1991 to present, which is what we  
16 were reviewing. The results of the plutonium are  
17 still in review. We have really no conclusion on  
18 that at this point.

19 But the plutonium was a little bit more  
20 complicated because point of assessment for  
21 bioassay went back into the mid- to late '60s. So,  
22 that is still in progress.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Finding 2 was that the insufficient  
2 uranium intake data for this TBD for the 1958 to  
3 1970 era, which is the SEC period. NIOSH  
4 previously explained that POD intakes are bounding  
5 intakes for workers who do not have bioassay data,  
6 and it was based on a somewhat limited data and so  
7 we have provided the POD favorable intake.

8                   The comment was that that single intake  
9 would not be appropriate for all personnel, not  
10 sufficiently accurate, necessarily. However, we  
11 presented our argument for why we consider that to  
12 be a bounding intake and we suggested that we will  
13 provide a graded approach for intakes for workers  
14 who were not exposed to as high levels. And that  
15 would be providing a TBD revision and I believe SC&A  
16 concurred that was a valid approach to take. And  
17 that was planned to be presented in a TBD revision.  
18 So, that is -- we have no updates on that at this  
19 point.

20                   Finding 3 said that NIOSH set the  
21 plutonium dose for both the operational period and  
22 procedural period. This finding concerned

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 whether or not the plutonium was a covered  
2 activity. And we previously evaluated that and  
3 after quite a bit of work, we determined that we  
4 should cover those exposures. And that is under  
5 review. That is part -- that was rolled into the  
6 bioassay portion that was rolled into what we are  
7 assessing for finding 1, which is still under  
8 review for plutonium. And the methods are being  
9 developed and we have no conclusion for that yet.

10 Finding 4 is the lack of neutron dose  
11 examined. And our response to that previously was  
12 that we would evaluate neutron dose in association  
13 with plutonium activities, which is being  
14 considered for finding 3.

15 Finding 5, we just discussed that.  
16 Finding 6 was already closed.

17 Finding 7 was the lack of environmental  
18 intake. And our response to that was we were  
19 obtaining additional data from NFS to see if we need  
20 to address that issue and that data is under review.  
21 We are still determining whether the data we  
22 received from NFS earlier this year is sufficient

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or whether we need to do another request to NFS.

2 I have no conclusion on that finding at this point.

3 CHAIRMAN ANDERSON: Okay. So, did you  
4 get some information from them?

5 MR. TOMES: Yes, we got quite a bit of  
6 information, not much of it useful. Most of that  
7 information is information but not necessarily  
8 useful.

9 CHAIRMAN ANDERSON: Yes. Yes, so they  
10 were responsive but not necessarily helpful.

11 MR. TOMES: Right. But it always  
12 comes up when you review these that we should go  
13 back and ask for more data. So, that is always one  
14 of the questions we consider. And that is where  
15 we are at right now is whether we have the method  
16 we could use with the available data.

17 CHAIRMAN ANDERSON: Okay.

18 MR. TOMES: We haven't really even  
19 talked to Jim about that because it is still in the  
20 early stage of being discussed.

21 CHAIRMAN ANDERSON: What was the  
22 coworker model issue?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: I thought there was a  
2 plutonium -- that was the plutonium issue, whether  
3 we could do coworker model. Wasn't that the issue?

4 MR. TOMES: Yes, that was -- I didn't  
5 specifically address the coworker model but that  
6 was part of the finding 3 and finding 1 assessment  
7 bioassay data. We don't have that model.

8 CHAIRMAN ANDERSON: You don't know  
9 yet. Okay.

10 DR. BUCHANAN: This is Ron again. I  
11 would like to also add that there were secondary  
12 findings, A, B, C, and D, which are going to be  
13 addressed with changes in the TBD when it was  
14 revised.

15 MR. TOMES: I did not go through those  
16 and specifically make notes. I can --

17 DR. BUCHANAN: Yes, that's okay. I  
18 just wanted to advise the Work Group of that.

19 MR. TOMES: I think a couple of those  
20 are just clarifications in the TBD.

21 DR. BUCHANAN: Right.

22 CHAIRMAN ANDERSON: Okay. So,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 basically --

2 MEMBER KOTELCHUCK: Ron, Dave. This  
3 is the first time I have seen secondary findings  
4 and I don't know what that category is, if you will.  
5 Could you explain it?

6 DR. BUCHANAN: Generally, we don't do  
7 it too much anymore. When we first were doing  
8 these, we would do primary findings which would  
9 perhaps impact the actual dose assigned.  
10 Secondary findings was like maybe incorrect  
11 reference to a table or incorrect reference to a  
12 reference, or something that would be -- maybe that  
13 needed change to clarify an item for a dose  
14 reconstructor.

15 MEMBER KOTELCHUCK: Well, would it be  
16 now handled mostly by calling it an observation?

17 MR. KATZ: Yes.

18 DR. BUCHANAN: Yes, we kind of have it  
19 as an observation now. We were using secondary  
20 findings previously.

21 MEMBER KOTELCHUCK: Good. Okay,  
22 thanks.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: Okay, I don't --

2 MR. KATZ: So, all work in progress.

3 CHAIRMAN ANDERSON: Yes, I don't think  
4 we have anything for the Board meeting.

5 MR. KATZ: No. No.

6 CHAIRMAN ANDERSON: But it is good that  
7 we have closed out two now.

8 MR. KATZ: Yes, and it is good to be  
9 reminded as to where we are with this.

10 CHAIRMAN ANDERSON: And it is good if  
11 you are looking at a coworker model that would be  
12 important to see, once you evaluate the data; do  
13 we have data to do that? If not, close it out a  
14 lot quicker.

15 Okay, with that, let's move on to the  
16 NUMEC White Paper.

17 **NUMEC White Paper Discussion**

18 DR. MAURO: This is John Mauro. I would  
19 be glad to start. Or certainly, if NIOSH would  
20 prefer.

21 It is interesting and complex

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 arrangement. A great deal of work has been done  
2 and I also believe a great deal has been  
3 accomplished and a lot of things can be closed.  
4 But I think it is a bit of an unraveling process,  
5 given the nature of the history of the program.

6 And I look to you folks on how would you  
7 like to start?

8 DR. HUGHES: If you would like to go to  
9 the issues, through the issues, John, and then we  
10 respond.

11 DR. MAURO: Okay.

12 CHAIRMAN ANDERSON: Let's just go  
13 front to back, yes.

14 DR. MAURO: Yes, I could start. And  
15 because of the nature of the work, I think it would  
16 be good for us to, Lara and Jim, and Dr. Strenge  
17 is also on line, all of whom contributed and there  
18 is a lot of interaction here. And I can't say that  
19 I have everything unraveled and clear as a bell in  
20 mind but I have a lot. I spent some time on it.

21 Let me set the table a little bit. When  
22 dealing with NUMEC, which is two sites, one is Parks

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Township and one is the Apollo Site, both in  
2 Pennsylvania, near Apollo, Pennsylvania and they  
3 both did things that were quite a bit different.  
4 Apollo had a very, very broad range of activities  
5 involving uranium and many radionuclides,  
6 external/internal. Very complex. And while  
7 Parks Township was more oriented toward plutonium.

8 Both of them have SECs, which are quite  
9 extensive going from the Apollo from 1957 to 1983  
10 for initial operations period. And the Parks  
11 Township go from 1960 through around 1980, I  
12 believe. So, we have got SECs, very large SECs.

13 And so and we have an interesting set  
14 of circumstances regarding the operations period  
15 for both these sites and their SECs. The things  
16 that make things interesting here is that in  
17 listing the things that can't be reconstructed and  
18 can be reconstructed becomes our first layer of  
19 ambiguity in my mind, to a certain degree.

20 Clearly internal is a concern.  
21 External appears to be a concern but here is where  
22 I am a little unclear and we will get to that. So,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you can see why things start to get layered.

2 But let's start with -- oh, and another  
3 dimension, and maybe we can clean up real fast is  
4 besides operation periods for these two different  
5 facilities and their SECs and all the technical  
6 issues that are embedded in it, we also have a  
7 residual period that applies to both locations.  
8 And there were a number of issues that we discussed  
9 over the history of the program. And I would like  
10 to offer up right now to just quickly say that the  
11 residual issues that we had of concern were, simply  
12 put, dealing with what type of airborne activity  
13 would you use during the end of operations as your  
14 starting point for doing the residual activity as  
15 it goes away in time and what type of resuspension  
16 factor would you use to reconstruct those  
17 exposures.

18 And we had some discussion about the  
19 optimal approach and all of those discussions, of  
20 course, also took place at a time when we were  
21 talking about OTIB-70 and how to deal with residual  
22 period. In my opinion, from reading the entire

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 record as best I could over the past week or so,  
2 I believe that all issues related to the residual  
3 period of both sites have been resolved. And I can  
4 say and certainly please, anyone else on the line,  
5 given the complexity of the site, who have also been  
6 looking at this matter, but in my reading of the  
7 situation is that all of those issues have been  
8 resolved. So, that is off the table. And the only  
9 thing we really have to talk about are the  
10 complexities associated with the operations period  
11 for both Parks and Apollo.

12 Is there anyone on the line that feels,  
13 from looking at the record, that maybe I am  
14 oversimplifying? But that is my takeaway.

15 DR. LIPSZTEIN: I just want to comment  
16 John that the changes that were agreed upon, they  
17 are supposed to be included in the draft TBD  
18 Revision 3B that we didn't see.

19 DR. MAURO: Yes.

20 DR. LIPSZTEIN: So, we're agreed upon  
21 but we didn't see the change on the TBD itself.

22 DR. MAURO: Very good point. What I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 just said goes for the record but we haven't  
2 actually seen them in the new TBD that I believe  
3 is planned for publication this August. And that  
4 has been very clear in an excellent overview of this  
5 very complex story, that Lara Hughes prepared in  
6 her report dated June 23, 2016, which is a great  
7 place to start.

8 But that point is made, and yes, there  
9 is still material that needs to be -- all of these  
10 things that we are going to be talking about, a lot  
11 of that is going to be -- has been agreed upon, not  
12 all of it, and will be in this new TBD that will  
13 come out. But I believe you will see as we move  
14 through this, we can say that there is an awful lot  
15 that is in abeyance. And one of the areas, as Joyce  
16 brought forward, has to do with the residual  
17 period.

18 So, we can say that we have agreed in  
19 principle and it is just a matter of awaiting  
20 formalization on that in the new TBD that is going  
21 to be issued according to the schedule, looks like  
22 an August date. I don't know is that August date

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 still a good date?

2 DR. NETON: As far as I know, yes.

3 CHAIRMAN ANDERSON: It's coming up  
4 pretty quick.

5 DR. MAURO: Okay. It is pretty quick.

6 CHAIRMAN ANDERSON: I hate to say it  
7 but the summer is rapidly --

8 DR. HUGHES: No, it's in the final  
9 review stages.

10 CHAIRMAN ANDERSON: Okay, good.

11 DR. MAURO: Now, let me just move the  
12 next layer, which is good, a big chunk that we just  
13 clear out. Now, we are going to talk a little bit  
14 about the definition of the SEC. And I am going  
15 to give you my brief take on some problems. They  
16 are not technical problems. They are clarity in  
17 terms of what is the definition of the Class, and  
18 what does it all mean?

19 Let me just start with let's say Parks  
20 Township. Okay? And the physician there is from  
21 1960 to 1980, a Class has been granted, an SEC has  
22 been granted and it is mainly because of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 inability to perform internal doses, reconstruct  
2 internal doses. But there are other issues  
3 related to what is called the CDP data, the Helgeson  
4 data and falsification that I think is in play here.  
5 And there is also a statement that indicates that  
6 you really -- you can't do neutron doses. This is  
7 my reading, now, please correct me if I am not  
8 getting this right.

9 And you really can't build any type of  
10 coworker model for polonium and iridium. But one  
11 of the things that sort of left me a little bit  
12 disoriented with regard to -- now we are talking  
13 Parks, is that it appears that -- and you notice  
14 that I haven't actually gotten into the 21 issues  
15 that Lara summarized. I'm starting off more up in  
16 the stratosphere.

17 There seems to be a little bit of  
18 uncertainty on my part of the ability to do external  
19 dose, whether or not -- and maybe here's a place  
20 where we may have some disagreement. Namely -- and  
21 by the way, a lot of this is already, unfortunately  
22 it is a deja vu all over again, I realize this. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 talked a lot about this back a year about it at one  
2 of the Work Group meetings where what we have is  
3 an SEC is being granted, primarily because of  
4 internal but there seems to be some question  
5 regarding external.

6 Stay with me for a minute on this now.  
7 Envision, we have got workers during the time  
8 period were covered by the SEC. They granted their  
9 compensation under the SEC but then of course, you  
10 have a large number of workers who are not covered  
11 because of the types of cancers, including ET1,  
12 ET2, prostate, skin, and perhaps others and they  
13 are denied.

14 And here is where things get  
15 interesting. We are talking right now Parks but  
16 a lot of what I am saying also has certain  
17 applicability to Apollo. But now along comes a  
18 bunch of workers who are not going to be compensated  
19 because of the type of cancer they have. And one  
20 of the things that we were very complimentary about  
21 in the Site Profile is we said listen, we are going  
22 to do the best we can to reconstruct, do partial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dose reconstructions for those workers who are not  
2 compensated because of the type of cancer.

3           And one of the things that is different  
4 here, and this was mentioned in our review, and we  
5 know that is -- we are starting -- you do the best  
6 you can when you have data for that person. And  
7 you try to do the best you can to reconstruct the  
8 dose to the organ of interest. But in this report,  
9 you actually take it a step further and you start  
10 to describe in some considerable detail how you are  
11 going to do that.           And that is, in my opinion,  
12 something new because think of it like this: in the  
13 past the position of NIOSH was is listen, we are  
14 always going to use data for that worker if we can,  
15 and do the best we can to assign some dose to the  
16 extent that we could. And so it stops at that.  
17 But in this case, you want the next degree, which  
18 is a good thing, saying this is how we are going  
19 to do it. And in fact a lot of how you are going  
20 to do it, it is made reference to in Lara's report  
21 on June 23rd, but there is a lot of detail regarding  
22 how are you going to do it in the report by Dennis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Strengé and Jim dated May 14, 2015.

2 So, what we have here is a circumstance  
3 that says we are going to -- we have procedures,  
4 we have approaches, assumptions, methods we are  
5 going to use to reconstruct the doses, partial dose  
6 reconstructions and this is how we are going to do  
7 it.

8 And therein is the start of where some  
9 of the issues lie and they fall into two different  
10 categories, when you say yes, we are going to do  
11 the best we can. One category is okay, here we have  
12 a worker and we are going to -- we have some data  
13 and for him or her, we are going to do this, this,  
14 and this to reconstruct that dose.

15 And so we have some questions regarding  
16 that and we have folks on the line, including Joe  
17 Zlotnicki and Joyce who can speak to those  
18 particular matters, the approach that is planned;  
19 in one case external and the other case, internal.

20 And then we have another category that  
21 has to deal with coworker models. Now we all know  
22 that coworker models are never used to reconstruct

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 doses for these people. In other words, that is  
2 the reason there is an SEC -- you can't build a  
3 coworker model. But you are going to see as we move  
4 through this process that there are words and  
5 discussions and material, including in Lara's  
6 write-up, that leave you with the impression that  
7 maybe a coworker model will be built and used.

8 And the place where that happens and it  
9 has to do with what I call finding 14, dealing with  
10 neutron-to-photon ratios. And there is some  
11 discussion on what those ratios would be and they  
12 reference to, in Lara's June 23, 2016 report, and  
13 there is considerable detail on that matter in the  
14 report by Dennis Strenge and Jim Neton dealing with  
15 these matters. And there are issues of that nature  
16 that leave you with this, and this is very  
17 interesting.

18 We are going to build a coworker -- we  
19 are going to use neutron-to-photon ratios to  
20 reconstruct external doses to neutrons. And there  
21 is ambiguity of whether or not that, in some of the  
22 words, whether or not you are claiming you can or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you cannot reconstruct external doses. I get the  
2 sense that the answer is no, you can't, but you are  
3 going to do the best you can. And then you run into  
4 the circumstance where when you -- and that is okay.

5 Certainly if you have some external  
6 data, let's say photon data, and yes, we are going  
7 to do the best we can to assign some photon dose  
8 to these uncovered SEC people. But then I see some  
9 words that say not only that, we are going to do  
10 the best we can to give them some neutron dose based  
11 on neutron-to-photon ratios.

12 And, in my opinion, unless anyone  
13 disagrees, by definition, that is a coworker model.  
14 And all of a sudden, we have got this unusual  
15 circumstance where a coworker model is going to be  
16 used to reconstruct a neutron dose for a worker and,  
17 in my mind, by definition, there has to be a  
18 coworker model.

19 So, what I just laid out now, what I  
20 would call a macro level, the big picture of what  
21 we are trying to unravel. And with that, and I just  
22 communicated to you my understanding of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 material that I have read without actually going  
2 into finding 1, finding 2, finding 3. I think we  
3 need to do that and close them out or find out where  
4 they come to bear. But you can see by the story  
5 I just told why I felt that this was a very layered,  
6 complex, almost like a Rubik's Cube that we are  
7 trying to unravel a little bit.

8 And now everyone on the phone who has  
9 been close to this, did I accurately represent the  
10 nuances and dimensions, the various facets that we  
11 have to come to grips with on this particular site?

12 MR. BARTON: John, this is Bob. Yes,  
13 I think that is a pretty good 10,000-foot view, so  
14 to speak. I think a lot of what you talked about,  
15 it is a complex site with several issues,  
16 originally 21. I think a lot is going to come out  
17 as we sort of work our way through that anyway. So,  
18 it was a good setup.

19 DR. MAURO: Thank you. I don't know if  
20 NIOSH, do you think I did a disservice here or is  
21 that a fairly good representation of the knots we  
22 are going to try to unravel?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: This is Jim. I don't --  
2 I'm not sure where you are going with this. I'm  
3 looking at the paper that Lara put out and I thought  
4 it was pretty clear that we decided that we are not  
5 going to have a coworker model for external.

6 CHAIRMAN ANDERSON: Right.

7 DR. NETON: Whether we have a  
8 neutron/photon ratio that applies to monitored  
9 workers that have badge results, that is a  
10 different issue.

11 DR. MAURO: Okay, that is important  
12 because I didn't -- that is why I raised the  
13 question. See, I hear in neutron/photon, I say  
14 okay, you have got some photon data, now I want to  
15 assign neutron data. You don't have neutron data  
16 for this guy and that means you have no other choice  
17 but to go find some neutron data ratios, which is,  
18 by definition in my mind, a coworker model.

19 Now, that is my definition. I may be  
20 wrong. So, and then all of a sudden, you introduce  
21 a coworker model into the SEC world, which is, in  
22 my mind, a no-no.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: I guess maybe Lara can  
2 remember more of what we described on the  
3 neutron/photon ratios.

4 DR. HUGHES: The neutron/photon ratio  
5 here, it is a model. It is not -- we would not  
6 consider it typically a coworker model. It is  
7 based on -- and I think Dennis might be able to  
8 elaborate more but it is based on measurements that  
9 were taken, like paired measurements.

10 And in this case, it is fairly  
11 rudimentary. Typically, we would like it to be  
12 more robust, as you commented in your issues  
13 yourself. But it is all we can do. It is all we  
14 have and we use it for the fewer -- there is  
15 relatively few externally monitored workers but in  
16 the case where we have data for these workers, we  
17 will apply the data during dose reconstruction.

18 It doesn't mean we can do a coworker  
19 model. Also it doesn't mean we can assign this  
20 model to an unmonitored person. It is basically  
21 the best we can do with the data that we have, that  
22 we have gotten from the site. There is various

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 issues, mostly are internal, there are some  
2 external that we feel that we cannot do a coworker  
3 model. And we certainly have numerous reasons why  
4 the site has become an SEC.

5 But again, for the people that were  
6 unmonitored that do not fall under the SEC, we try  
7 to assign the doses as best as we can with what we  
8 have and that is what we are looking at here,  
9 basically.

10 DR. MAURO: And you know what? I agree  
11 completely. And I think that I bring this  
12 neutron/photon ratio up specifically because it  
13 struck me that that has to be, by definition, a  
14 coworker model.

15 Think about it. You may not agree with  
16 that but in my mind, there is no escaping it that  
17 is you are somehow using an understanding of the  
18 relationship between photon and neutron exposures  
19 that you have data for, for the site in general and  
20 the operations that took place. Or you actually  
21 have a record of some exposures for other workers  
22 where you can say it looks like we have got this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 type of neutron/photon relationship.

2 And in my mind, that is a coworker model  
3 which puts NIOSH in an interesting situation. It  
4 means that hold the presses, Jack; maybe we can  
5 reconstruct neutron doses. And all of a sudden,  
6 it is not one of the reasons why the SEC is being  
7 granted.

8 So, I find that as being -- puts me in  
9 a place that I'm off balance. I said well, if you  
10 could build a coworker model, well, then that is  
11 no longer an SEC issue.

12 DR. NETON: Maybe we could hear a  
13 little more about them because I have forgotten the  
14 exact nature of how neutron/photon ratio was being  
15 developed.

16 DR. MAURO: Yes, well, I bring that up  
17 because --

18 DR. NETON: I'm not sure I share your  
19 confusion, John. I mean I would like to hear more.

20 DR. MAURO: Yes, well, we will get  
21 there. I wanted to give this introduction because  
22 it has -- you know and operate at a higher level

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 before we get down to deal with the specifics. And  
2 certainly we are now at a point where we could deal  
3 with the specifics, given this what I consider  
4 overview of my take on --

5 DR. NETON: I mean I don't understand  
6 why you confuse it. If we say that we could  
7 reconstruct neutron exposures for workers who were  
8 monitored for photons, that that implies that we  
9 could do coworker models for unmonitored workers.  
10 I don't understand what that is a confusing issue.

11 CHAIRMAN ANDERSON: I thought was all  
12 we -- and I think that if we have data, you can  
13 expand that data for that person.

14 MR. STIVER: Well, I think the kind of  
15 nuance here is that you have monitored workers with  
16 a monitored for photons only.

17 CHAIRMAN ANDERSON: Yes.

18 MR. STIVER: And here you have this  
19 other type of exposure which kind of becomes like  
20 a coworker model in that you don't have monitoring  
21 data for neutrons for these particular people but  
22 we have got this other set over here that we can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 use to develop --

2 DR. NETON: Who were monitored,  
3 though.

4 MR. STIVER: Who were monitored but  
5 they weren't monitored for neutrons. So, it is  
6 kind of inconsistent.

7 (Simultaneous speaking.)

8 DR. NETON: So that is why you can  
9 reconstruct external photon doses for people who  
10 weren't monitored. I don't know how that  
11 logically follows. That is what you are implying.

12 MR. STIVER: You are trying to -- you  
13 are reconstructing the neutron doses for those who  
14 weren't monitored for neutrons.

15 MR. SMITH: This is Matt Smith with  
16 ORAU Team. I know we can get into it deeper, as  
17 we go on but just real quick. You know from the  
18 report in 2015 that Dennis put together, you know  
19 when you take a look at the 0.34 ratio that is cited,  
20 that is based on data, a study in fact that was  
21 performed in 1975, once TLDs came into use.

22 So, it is not the kind of data that you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would use in a coworker study by any means. It is,  
2 again, a limited study but it what we had to work  
3 with, what we weren't able to get by doing more  
4 requests from the site for data. And it was that  
5 study that led to the development of that  
6 particular ratio.

7 In addition in that study, there were  
8 some six dosimetry plates around the facility.  
9 So, we have got neutron and photon data from six  
10 TLD positions.

11 You know nowhere are we going towards  
12 a coworker model where we have tried to ascertain  
13 neutron dose from some large set of data of Energy  
14 Employee workers.

15 MR. STIVER: Okay, that makes more  
16 sense.

17 MR. SMITH: What we are trying to do  
18 here, as has been stated repeatedly already, is we  
19 are doing the best approach we can with data that  
20 exists in the post-NTA era.

21 DR. MAURO: I think this is important  
22 because I don't think we have seen this -- I haven't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       seen this before. That is, when I hear that we are  
2       going to do the best we can to assign doses for an  
3       uncovered worker for an SEC, what always means is  
4       yup, we happen to have some numbers for him and it  
5       is unique to that person and we are going to do the  
6       best we can. Great. And not only that, you  
7       actually describe in some detail how you are going  
8       to do that, which is even better.

9                       But then when I saw the neutron/photon,  
10       it says wait a minute, that is not measurements for  
11       this guy. This is some other information you have  
12       and you are saying that there are certain other  
13       types of information like these measures you have  
14       just mentioned, which you can use for that guy.  
15       And that doesn't mean you are building a coworker  
16       model. That means you are just taking advantage  
17       of information you happen to have at the site that  
18       will allow you, at least in the case of that one  
19       person, to be able to reconstruct his doses. And  
20       it doesn't mean that any worker that you have  
21       external doses -- by the way, am I correct that it  
22       is your position that the SEC has been granted, in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 part, because you cannot reconstruct external  
2 doses in the case of both Apollo and NUMEC?

3 DR. NETON: They are two separate  
4 issues, John. I think Parks was added as an 83.14,  
5 which means that we stopped evaluating the  
6 infeasibility as soon as one was identified.  
7 Which I think in the case of Parks was thorium, I  
8 don't remember but it was an internal issue.

9 And I think if you look at Section 6.2  
10 of that Evaluation Report, it said because we  
11 identified infeasibility, we didn't evaluate  
12 external completely at the time. That is very  
13 standard language for an 83.14. And then when we  
14 back and evaluated it further, the decision was  
15 made that external was also infeasible.

16 DR. MAURO: Okay and I read that.

17 DR. NETON: Now, I think the other one,  
18 I think we did say an external was infeasible at  
19 Apollo.

20 DR. MAURO: Yes, that's correct.

21 DR. NETON: And if you think about,  
22 these are sister facilities that shared common

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dosimetry programs. That is another nuance here  
2 that we need to think about is it was one health  
3 physics that covered both facilities.

4 DR. MAURO: Right. And you know what?  
5 I would like to go into it a little bit more before  
6 we go vertical. Let's talk about the idea of a  
7 person that is not covered because of the nature  
8 of his cancer. He works at NUMEC. And you are  
9 going to do the best you can to reconstruct his  
10 internal doses -- his doses. And what I just  
11 heard, because it is an 83.14, this is where I think  
12 I need some help, because it is an 83.14, you agree  
13 well, we really can't reconstruct this guy's doses  
14 because of a thorium. I think thorium was one of  
15 the big kickers here. We can't do his internal.  
16 But then I say to myself, okay. So, as a result,  
17 an SEC is granted based on 83.14 because you can't  
18 reconstruct internal doses to thorium.

19 And then you have got all these other  
20 people out there who are not going to be compensated  
21 because they are not covered. But all of a sudden  
22 you tell me but hold it. Maybe we can reconstruct

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 his external doses and maybe we can even build a  
2 coworker model for all of the other workers that  
3 are not going to be compensated but we can assign  
4 doses to them. Not only can we assign doses to them  
5 because we have some data for those people but it  
6 is possible, if you look at the data, and here is  
7 where we are going to have -- this is one of the  
8 places where we are going to have a difference of  
9 opinion that needs to be aired out. If you could  
10 build a coworker model, in other words, I can't see  
11 just walking away and say well, that is the end of  
12 the story. Now, hold it. You have got some solid  
13 data out there on external dosimetry, let's say it  
14 is for Parks and if you look at the data, you say  
15 you know we could actually build a coworker model.

16 MR. KATZ: John, I mean I think you  
17 misheard something. Because after -- they didn't  
18 base 83.14 on external. That's true. You got  
19 that part right. But afterwards, they concluded  
20 it's true they cannot do external even for that  
21 site.

22 DR. NETON: It's pretty clear in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 write-up that Lara put together on page 16, 17, 18,  
2 there is a fairly good discussion about why we feel  
3 external coworker models aren't feasible.

4 MR. KATZ: Are not feasible.

5 DR. NETON: And maybe that should be the  
6 basis of discussion here, not that -- the path to  
7 go in that, which is we didn't chime in on external  
8 during an 83.14 but we have decided now that we can.  
9 That was the instructions we got from the last  
10 Working Group meeting.

11 DR. MAURO: I think that may be the  
12 technical point, one of the -- we are going to have  
13 two types of technical issues that we are going to  
14 deal with. We have a number of comments made by  
15 Joe Zlotnicki and Joyce on those methods regarding  
16 external/internal, where you have data for people  
17 and how are you going to do their reconstruction.  
18 And then we have this other issue that we just  
19 talked about, whereby I think we have a bit of a  
20 disagreement on whether you can build a coworker  
21 model or not for external exposure. So, it becomes  
22 very clean. I'm not saying who is right and wrong

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 here. I'm just saying but these are the things  
2 that I think are important to talk about on this  
3 subject and these are, I also believe, to be  
4 somewhat precedent setting and that we really have  
5 never gone down this road.

6 In a funny sort of way, it is happening  
7 because you guys went the extra yard and tried to  
8 do a very good job on NUMEC by getting into in  
9 considerable detail how are you going to do the  
10 doses for the people who are not covered by the SEC,  
11 something that you don't often see.

12 So, it is interesting that the very  
13 thing that was well-intended actually is causing  
14 areas where we are going to have to discuss and  
15 resolve matters.

16 MR. STIVER: John? This is Stiver.  
17 Let me just cut in for a second.

18 If you look in Lara's report, page 15,  
19 the last seven lines kind of lays out a summary of  
20 why they are not able to do external coworker  
21 models. They said the main reason for --

22 I could just read it into the record.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 The main reasons for the infeasibility conclusion  
2 are the available gaps and the inability to  
3 separate out the data by site and the work area job  
4 title. In some cases, the external dosimetry  
5 reports for the contractors, such as Landauer, list  
6 the facility but that is not always the case. It  
7 seems that this practice was taken up sometime in  
8 the 1970s but then stopped again.

9 It is, therefore, not possible to  
10 separate out the data by site. There is also the  
11 factor that many of the workers who may have been  
12 routinely in radiation areas were not monitored by  
13 external dosimetry. Therefore, the available  
14 data may not be representative of the exposure  
15 scenarios.

16 So, in my mind, that kind of lays out  
17 in general terms the reason for the infeasibility.

18 DR. NETON: That's good. That is our  
19 position. And maybe we should be speaking from  
20 that point. If you disagree with that, I would be  
21 interested to hear why you feel that those are not  
22 valid reasons.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: I'm going to put Bob Barton  
2 on the spot. Bob, when you and I spoke about this  
3 and you had a chance to look at that very issue,  
4 we were talking a bit about well, is that true. In  
5 other words, are they in a position where they  
6 really can't do it? And the sense was that well  
7 wait a minute, I'm not ready to give up on that yet.

8 However, and we have all been looking  
9 at this issue now for getting ready for this meeting  
10 and I know we have had these kinds of conversations  
11 internally.

12 I don't know. Bob Barton, where are  
13 you right now on that? Do you agree with that  
14 statement we just heard or do you want to talk a  
15 little bit more about that?

16 MR. BARTON: Sure, John. And what was  
17 alluded to, a lot of those points that John Stiver  
18 just read into the record that are in Lara's report  
19 were things that were discussed in general terms  
20 at the last meeting. And as Jim Neton said, they  
21 were given the task to go ahead and say alright,  
22 we have to look at these areas of, I guess, pathways

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to cull them, which pretty much will go to the  
2 external dose and possibly uranium and say, do we  
3 have the data? Do we have the ability to construct  
4 a coworker model within the sufficient accuracy  
5 guidelines and the implementation guide that has  
6 been developed?

7 And I guess where it is a bit strange  
8 for me, I guess we are expecting more of a sort of  
9 quantitative assessment. I mean these are all  
10 very generally good terms but how many dosimeters  
11 do we actually have? How many workers do we have  
12 monitored? In other words the mention that  
13 sometimes Landauer would report the actual site the  
14 person was at. How many of those do we have by year  
15 so that we can say definitively? Because I mean  
16 we don't really have anything to go on because we  
17 have not dove into the records.

18 So, I guess it would be helpful, at  
19 least from my perspective to maybe hear a bit more  
20 detail, if it exists, or some sort of quantitative  
21 indicator that says yes, we all agree that given  
22 the guidelines for how you construct coworker

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 models at this stage of the program, that it is in  
2 fact impossible. And so while you are not going  
3 to revise the SEC, it is important to know that for  
4 an unmonitored worker, we just don't have any  
5 avenues to say, for instance, assign a coworker  
6 external dose.

7 DR. NETON: I'm not sure how you become  
8 -- if you don't have quantitative information to  
9 build a coworker model, I don't know how you would  
10 be quantitative about describing how you can't do  
11 it. I'm missing something here, Bob.

12 MR. BARTON: I mean in the Parks SEC  
13 report, it says that external data exists from what  
14 was it 1961 until whenever. So, there is some  
15 data. We don't know how much.

16 So, I guess what we were hoping for was,  
17 the number of say badges you have by year.

18 DR. NETON: Well, that wouldn't help  
19 anything if you don't know the data completely.  
20 See, we don't know what the ultimate data set is.  
21 Right? I mean it is a data completeness problem.  
22 You have a lot of -- you have badges, sure. But

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do you have them all?

2 MR. BARTON: We always have that  
3 problem, though, don't we?

4 DR. NETON: Well, we go through this in  
5 painstaking detail, coworker models. We try to  
6 say okay -- we are doing this at Savannah River  
7 right now. What percentage of the data do we have?  
8 Do we look at monthly reports and say okay, they  
9 monitor 100 people every month and do we have 100  
10 badges every month? All the kind of happiness that  
11 we do with all these things. We have none of that  
12 here. So, I'm not sure what you are asking for.

13 I mean if you can't do it, you can't do  
14 it.

15 MR. STIVER: This last sentence here  
16 that you have people going into radiation areas  
17 that were monitored. It could have been high  
18 exposure areas. You must have some, like a  
19 claimant review that would lead you to expected --

20 DR. NETON: There is indications here  
21 when we talk about people that have internal  
22 monitoring results but no external data. I mean

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 so there is indications of I'll call it chinks in  
2 the armor, if you will, or inconsistencies that are  
3 there. I don't know. I'm not sure how  
4 quantitative you can get if you don't have a  
5 quantitative way to evaluate it.

6 MR. BARTON: Well, I guess my only  
7 point was that on our side of the fence, we really  
8 have no idea to what extent what data you actually  
9 have so we are kind of going blind in here.

10 You know, like I said, it might be  
11 helpful just to see how many people were monitored  
12 but you might have the completeness issue.

13 DR. HUGHES: Well, we have Table 1 in  
14 the White Paper that has kind of a rough outline  
15 on the number of external dosimetry results per  
16 year. That kind of gives you an indication how  
17 many data points we have. It also shows you that  
18 for some years we have none, such as 19 -- hold on.  
19 There are some years where we have very few data  
20 points. That was one of the issues, that  
21 externally we are looking at these gaps that we  
22 haven't been able to resolve.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   We also, when we look at individual dose  
2                   reports from the site for claimants, there is often  
3                   you see that were not monitored externally, even  
4                   they were monitored internally. And from the  
5                   internal data, you get a pretty clear picture that  
6                   they did work in a radiation area. There is  
7                   indications, and I think it is listed in the TBD,  
8                   that the site also relied on area monitoring to  
9                   control their radiation fields, which is not a good  
10                  -- it would not show up in their records. Let's  
11                  say it like that.

12                  So, there is definitely issues that we  
13                  feel like the data is not complete. We do not  
14                  believe that everybody who needed to be monitored  
15                  was monitored, that the data set that we have does  
16                  not include like highly exposed workers.

17                  DR. MAURO: I think you just hit the  
18                  single most important point. There is always this  
19                  completeness issue but when you feel that you do  
20                  have a set of data that is the high end exposures,  
21                  whereby you could say well, we are not missing any  
22                  of the -- the data we do have is capturing the high

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 end folks and from there, launches into a coworker  
2 model.

3 Now, you just made reference to a table  
4 that is in your Site Profile?

5 DR. HUGHES: No, it is in the White  
6 Paper.

7 DR. MAURO: I have a couple of White  
8 Papers. I have yours, Lara on June 23rd.

9 MR. STIVER: It is Table 1 on page --

10 CHAIRMAN ANDERSON: It is in June  
11 23rd's.

12 DR. MAURO: Yes, I have got that in my  
13 hand.

14 MR. STIVER: It begins on page 15.

15 CHAIRMAN ANDERSON: Fifteen and  
16 sixteen.

17 DR. MAURO: Okay, good. Thank you.  
18 Let me go look at that. Okay, where we go. Good.

19 MR. BARTON: Yes, I think what we  
20 really just wanted and, Lara you just gave some  
21 excellent points there, is to bring closure to this  
22 I think from the last meeting was, and you agreed,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that we really do need to close this out; go look  
2 at it and provide our rationale for why we believe  
3 that unmonitored doses just simply can't be  
4 assigned for this site because of A, B, and C. And  
5 I think that is really what we wanted to do is kind  
6 of get to those points that Lara just pointed out  
7 and have the Work Group understand exactly why a  
8 coworker model can't be built. And I think that  
9 is really all we were looking for.

10 DR. MAURO: Okay, this is good. This  
11 is good. And I did see this table and I am very  
12 appreciative that you have pointed this out.

13 So, what we have here is a nice summary  
14 by year for the data and what you are telling me  
15 is you don't know if this data goes toward NUMEC  
16 or Parks. But and it gives you -- these are the  
17 numbers of measurements that were made.

18 For example, I am looking at 1965. You  
19 have got the total number of individuals that were  
20 measured were 131. And I believe those -- which  
21 I presume for each one of those individuals,  
22 whatever those measurements are, they could be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 quarterly, they could be a single one-year  
2 measurement, they could be monthly. Am I  
3 understanding this correctly?

4 DR. HUGHES: Yes, it is the total.

5 DR. MAURO: Yes, and so would you --  
6 what you are basically saying is for the year 1965,  
7 the data that you do have for beta, gamma, deep --  
8 you don't have neutrons. We have got data for 131  
9 individuals that look at these metrics. And your  
10 position is from that, and this is good, in your  
11 judgment you really can't build a coworker model  
12 for external exposure for 1965. That amount of  
13 data is insufficient and there has got to be a  
14 reason why your takeaway is there is a reason why  
15 that is insufficient. And it has to go back to  
16 Jim's write-up on what you really need for a good  
17 coworker model.

18 And right now I guess I would say I'm  
19 not quite sure where it fails Jim's criteria.

20 DR. HUGHES: Well, for one, this is  
21 comingled data. So, it is like this is Parks and  
22 Apollo together. We determined that we cannot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 tell who was Parks and who is Apollo from this data,  
2 from these 131 individuals. It might be both, in  
3 many cases, because workers transitioned back and  
4 forth.

5 DR. MAURO: Well, does that matter?

6 DR. HUGHES: Yes.

7 DR. NETON: Well, you have got two  
8 separate facilities, two separate covered  
9 facilities with comingled data. So, how are you  
10 going to say that this bounds this guy who worked  
11 at Parks versus this guy who worked at Apollo?

12 MR. STIVER: John, you have separate  
13 exposure scenarios for the two different sites,  
14 too.

15 DR. NETON: I mean forget  
16 stratification data base on job category. You  
17 can't even stratify based on facility.

18 MR. STIVER: And you know in my mind,  
19 the real kicker here is that you may not have a  
20 representative data set. You might be missing on  
21 the high end. That is really --

22 CHAIRMAN ANDERSON: It could be one of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the facilities or the other.

2 DR. NETON: That is the other issue.

3 It is not necessarily the number of samples you have  
4 but that you have a complete set of the samples.

5 MEMBER KOTELCHUCK: John, this is  
6 Dave. John Mauro, you essentially are saying and  
7 we are all discussing why we can't have a coworker  
8 model and this doesn't fit for a coworker model.  
9 That's fine.

10 But the problem that we have from the  
11 point of view of the persons who are asking for  
12 compensation is that they have a non-designated  
13 cancer. And you are saying well, if they use the  
14 data that exists, you are effectively having a  
15 coworker model. I would turn it around and say you  
16 have this person. Because they have a  
17 non-designated cancer, they will not be  
18 compensated unless there is some data that gives  
19 some information that will allow you to make a  
20 partial dose reconstruction.

21 What is the problem with that? I mean  
22 otherwise, we are essentially saying if you feel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       like it is inconsistent and implicitly, you don't  
2       want to use the data that is there, then you are  
3       just saying you are denying.

4               DR. MAURO: Right on target. But you  
5       brought it to the point -- there is one more step.

6               MEMBER KOTELCHUCK: Okay.

7               DR. MAURO: Everything you said is  
8       right on target. The next step is but if there is  
9       enough data out there -- you have a worker, you  
10      don't have any external dose for him, he is not  
11      covered and, therefore, he gets nothing. He gets  
12      no dose assigned. So, he's denied.

13              And all I am saying is well, hold the  
14      presses. We know the main reason why the SEC has  
15      granted his internal and why these people area all  
16      being compensated but for this guy and these other  
17      people who have cancers that are not covered. And  
18      NIOSH is doing the right thing. Well, if we have  
19      some external data for this guy, we are going to  
20      give it to him.

21              But I am kicking it one more step up  
22      because I think this is an important philosophical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 -- not philosophical -- practical matter, really.

2 I'm just looking at 1965. And I'm  
3 saying alright, we have data. We have 131  
4 individuals who have data. Stay with me now.

5 MEMBER KOTELCHUCK: Right.

6

7 DR. MAURO: And now this guy, he is not  
8 one of those 131 individuals but you do have 131  
9 individuals with data. And I say well, you know,  
10 you could take that 131 data points for that year  
11 and plot it. Okay, let's say we are talking about  
12 gamma, most of which is what we really care about  
13 anyway, most of the time. And there is 118  
14 measurements.

15 I take my 118 measurements for that  
16 year. Now don't forget, we are not talking about  
17 all the years now. We are just talking about one  
18 year. So, we are really getting pretty good. And  
19 we plot it and I come up with a 95th percentile value  
20 for that guy -- for that data set. And I say to  
21 myself, here is my 95th percentile value. Why  
22 can't I use that, assign that to this guy who has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 no external data?

2 Now, here is the last step in the  
3 argument. Jim just argued that wait a minute, you  
4 can't do that. You know why? Because we don't  
5 know if that 118 gamma data represents the limiting  
6 group. Is it possible we are missing the important  
7 exposures?

8 MEMBER KOTELCHUCK: Yes, it is  
9 possible.

10 DR. MAURO: And that is the question  
11 that is not apparent to me. In other words, I know  
12 that an argument is being made well, that 118 could  
13 really be a mixture of some people from Parks and  
14 some people from Apollo. And one could argue that  
15 well, that is a problem.

16 MEMBER KOTELCHUCK: That is a problem.  
17 Then, it is not very good. And then if you don't  
18 use it, then the man is denied or the person is  
19 denied.

20 DR. MAURO: Okay.

21 MEMBER KOTELCHUCK: So you would  
22 rather -- I mean it may not be correct but it is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the best we have and if the best we have isn't good  
2 enough, denied.

3 DR. MAURO: Okay. And the only point  
4 I want to leave on the table because I don't  
5 entirely agree with that -- it doesn't make me  
6 right.

7 MEMBER KOTELCHUCK: Okay.

8 DR. MAURO: That is why we are having  
9 this meeting.

10 MEMBER KOTELCHUCK: Right.

11 DR. MAURO: If I were doing it, and I  
12 would say listen, I am going to do the best I can,  
13 it is sort of like one of these cases where you are  
14 doing it blind. I was confronted with this. You  
15 said you know what I am going to do with this guy?  
16 I am going to pluck off the upper 95th percentile  
17 to 1965 of that 118 numbers. And you are right.  
18 I don't know if this is Parks or not. So, I would  
19 say so what. I am going to pick the upper 95th  
20 percentile, which is certainly going to be a  
21 plausible upper bound value that I could assign to  
22 this guy and I could feel pretty confident that I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 am doing the right thing by this guy because I am  
2 trying to do the best I can to give him a plausible  
3 upper end external dose, even though he has no  
4 record and this way, we at least give him something,  
5 as opposed to nothing. And I would argue that that  
6 would be the right philosophy and policy to take.

7 And if that fact is incorrect, and if  
8 NIOSH has the judgement and the Board's judgement  
9 that no, John, you don't do that, I'm fine with  
10 that. But I felt compelled to say if I were doing  
11 it, that is what I would do.

12 MEMBER KOTELCHUCK: I would agree with  
13 you.

14 DR. MAURO: Okay. So, there it was --

15 MR. STIVER: The other aspect, though,  
16 John, is that you are saying that 95th percentile,  
17 based on the available data, might be plausible but  
18 you reason to believe that that is really not the  
19 upper end of the distribution.

20 DR. NETON: You see, John, what happens  
21 in the case of the 95th percentile compensates that  
22 one fellow you are talking about. And then someone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       else comes along and says well, that is not  
2       accurate. I had a higher exposure than that and  
3       he is not getting compensated because -- I don't  
4       know.

5                   MEMBER KOTELCHUCK: Because why?

6                   DR. NETON: Because you don't really  
7       know what the upper 95th percentile is in this case.  
8       And so it sort of ends up being an arbitrary  
9       assignment based on the data that you have and you  
10      don't know is of sufficient quality to set that 95th  
11      percentile.

12                  MEMBER KOTELCHUCK: So, I would rather  
13      deny you. And I think we have to -- our assignment  
14      under this law is to be, if you will, worker  
15      friendly, or give the benefit of the doubt to the  
16      worker.

17                  And to not use the data is to deny all  
18      the workers.

19                  MR. KATZ: But Dave, I mean this is  
20      worked out very early in the program. You can't  
21      do minimum dose reconstructions. It is not  
22      defensible legally for the reason that Jim

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 explained. Because it may benefit one worker but  
2 another worker, just as he says, can contend that  
3 they have higher doses and there is no way to sort  
4 it out.

5 I mean that is just a settled matter in  
6 terms of we don't do that. We don't do minimum  
7 estimates. We can't defend those legally in  
8 court.

9 MEMBER KOTELCHUCK: But then -- well,  
10 I accept that that is the case and that was  
11 determined well before I was around. But does that  
12 mean that we can never compensate partial dose  
13 reconstruction?

14 MR. KATZ: No, no because partial dose  
15 reconstructions are based on actual data in hand  
16 on a person. So, generally speaking, the partial  
17 dose reconstructions, you can't do a coworker  
18 model, so you can't compensate the people that  
19 weren't monitored.

20 MEMBER KOTELCHUCK: Okay.

21 MR. KATZ: But there is no deficiency  
22 for those who were monitored. So, you can deal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with their cases. And that, generally, is what a  
2 partial dose reconstruction is.

3 DR. NETON: That is not to say we could  
4 never have coworker models for some sites.

5 MR. KATZ: Right.

6 MR. STIVER: It would give the SEC  
7 based on the inability to do thorium internal but  
8 you might have a perfectly good external dose.

9 DR. NETON: And we have examples of  
10 that.

11 MR. KATZ: Right and it is still a  
12 partial.

13 DR. MAURO: In my mind, we are almost  
14 there. So, you are saying to me that you believe  
15 that 118 measurements for 1965, since you cannot  
16 say with a high degree of confidence that that  
17 captures the upper end distribution of the  
18 exposures, that it may very well be some data you  
19 happen to have and the selection of those 118 people  
20 were not done because they were the people they were  
21 worried about, they just happen to be people that  
22 were badged. And if that is the case, I would agree

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with you. I would say listen, we got 118 numbers  
2 and we don't even know what they mean.

3 But if it turns out that the records  
4 show the known data policy, we are badging these  
5 -- these people we decided to badge, we badged  
6 because we had good reason to badge them and we do  
7 believe they were problematic. What do you do in  
8 that circumstance?

9 MR. STIVER: The should build a  
10 coworker model.

11 DR. NETON: Then we would build a  
12 coworker model. But again, the statements that  
13 John Stiver read that were part of our discussion,  
14 discussed why we don't believe those numbers are  
15 useful to us.

16 DR. MAURO: What I heard John read is  
17 you really didn't know which site to put them at.

18 MR. KATZ: No, there is two things,  
19 John. John, there is two things.

20 DR. MAURO: Okay.

21 MR. KATZ: I could just clearly state  
22 two things. One, you have people monitored for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 internal that definitely have no external dose.  
2 So, you know people were exposed and not monitored.  
3 So, you already know that is an incomplete external  
4 set.

5 DR. MAURO: Okay.

6 MR. KATZ: Okay, that is firm. It is  
7 not conjecture. It is actually a firm fact that  
8 you don't have an incomplete set.

9 DR. MAURO: Okay.

10 MR. KATZ: And the second thing is if  
11 you have two different sites, data from two  
12 different sites comingled and you can't separate  
13 the two, think about it this way. They might as  
14 well be at Rocky Flats and Savannah River. You are  
15 not going to apply Rocky Flats data to the Savannah  
16 River workers. You can't do that if you had  
17 mingled data. You wouldn't be able to apply it and  
18 make a coworker model based on both Rocky Flats and  
19 Savannah for only Savannah River workers.

20 It is the same thing here. I mean they  
21 are all under the same company but they are two  
22 separate facilities, completely separate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 facilities. They are treated separately as far as  
2 this compensation program is concerned.

3 DR. MAURO: Okay, let me just take you  
4 a little bit on that. We have an operation called  
5 NUMEC. It happens to have two physically  
6 different locations and they happen to manage their  
7 external dosimetry program under a single umbrella  
8 and they didn't go through the trouble as they  
9 perhaps might have by saying who got his dose where,  
10 when.

11 So, we have a pool of workers who you  
12 both agreed could very well have gone between the  
13 two sites. Sometimes they work there; sometimes  
14 they work there.

15 So, what we have is a pool of workers  
16 that could be in different locations but if there  
17 is some evidence, and here is where -- I'm ready  
18 to go with you guys on this but doesn't mean you  
19 have captured the high end. That is what I am stuck  
20 on. If I felt as if that notwithstanding the fact  
21 that we don't really -- he may have been here; he  
22 may have been there but we do know one thing for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       sure. Whenever we decided to badge someone,  
2       wherever you happen to go, we picked him because  
3       he was a high-end guy because of the nature of the  
4       job. I would argue that that makes for a coworker  
5       model.

6                   MR. KATZ: No, John, it doesn't matter.  
7       If you can't say which site the data come from, you  
8       can't apply it to a person from another site. You  
9       can't.

10                   DR. NETON: How would you know the high  
11       end of Parks was not higher than the high end at  
12       Apollo? And so now you have got stratified data  
13       you can't segregate. So, I am going to assign the  
14       guy the mixture, even though I worked at Apollo that  
15       may have had a much higher 95th percentile than the  
16       Parks data. We don't know. I mean I'm not saying  
17       that is true but you just can't tell.

18                   DR. MAURO: I hear what you are saying.  
19       And listen, I'm not digging my heels in because I  
20       want to. It has got to make sense to me.

21                   I have got an RSO that is running the  
22       health physics program that covers both sites.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And I am making the decision of who I am going to  
2 badge and who I am not going to badge on a day to  
3 day or week to week basis. And I know sometimes  
4 I'm sending a guy off to Apollo and sometimes I am  
5 sending him off to NUMEC. But I am going to make  
6 a decision on that day or that week or that month  
7 when the guy is going to be there who I am going  
8 to give that TLD to or who I am not going to give  
9 it to. And in my mind, that is a single program.  
10 I don't care that they are physically in two  
11 different locations. I'm managing a pool of  
12 workers in a way that I feel confident that I am  
13 managing the people who are getting the high end  
14 doses and they need to be managed. So, I don't  
15 accept that.

16 MR. KATZ: Well, you don't accept it  
17 but it is just the way it is. Because again, they  
18 didn't have the exact same exposures in those two  
19 facilities. They are not identical. So, you  
20 don't. You have data for two different facilities  
21 that have been mingled. You don't know which goes  
22 with which and you can't apply data from one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 facility to people at another facility, unless you  
2 go through that whole surrogate thing that doesn't  
3 apply.

4 DR. MAURO: I don't agree with that.

5 MR. STIVER: John, there is one other  
6 aspect that Lara brought up earlier was that  
7 oftentimes they use area monitoring to determine  
8 who was going to get badged. So, it is not like  
9 you have got an RSO who is basing his assignments  
10 of dosimeters on exposure potential. We have got  
11 a situation where there may be high exposure  
12 potential with no external dosimetry.

13 MR. THURBER: But isn't it also true that  
14 if you want to try and compensate as many people  
15 as make some sense to do, you take whatever data  
16 you have got, whether it is representative of the  
17 high end or not and say we are going to apply this  
18 data to all these people. They are better off than  
19 -- your alternative is to deny them all. Do I  
20 understand it correctly?

21 DR. NETON: Well, you can't assign an  
22 arbitrary number and start making compensation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 decisions. If I pick an arbitrary value and say  
2 that is certainly higher than X, just as Ted just  
3 explained --

4 MR. THURBER: No, I'm not saying  
5 arbitrary. You take the data you have and I'm  
6 going to use it.

7 DR. NETON: But it is arbitrary because  
8 there is no basis for it, other than the fact that  
9 it is the data that I have that I can't determine  
10 whether it is a bounding value, a representative  
11 value of exposures.

12 MR. KATZ: And that is the part, Bill,  
13 I was saying that has been settled.

14 MR. THURBER: Okay.

15 MR. KATZ: That is really a settled  
16 matter. There is no really point in debating it  
17 again because it is so already completely settled  
18 and the lawyers put their foot down on that matter.

19 MR. THURBER: Okay, fine. That  
20 clarifies that, but one other point, and I am a  
21 dispassionate observer on this particular site.

22 The conversation started out regarding

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the neutron/proton ratio and the fact that if we  
2 have proton data that we can use a factor to also  
3 calculate the neutron exposure. Now, does that  
4 constitute a coworker model? Not in my mind.

5 DR. NETON: That's used to augment a  
6 person who was monitored for photons. I have a  
7 badge and I have a photon exposure. And you know  
8 that there is a certain ratio associated with the  
9 photons and neutrons, you can augment their  
10 measured exposure, not some made up value.

11 MR. THURBER: No, no, I understand that  
12 and I don't think that is a coworker model.

13 DR. MAURO: No. No, not if you don't  
14 know where the guy worked. Now you are telling me  
15 I have got a photon dose for a guy who may be at  
16 Apollo versus Parks and we know that Parks was a  
17 plutonium-oriented facility but I'm going to go  
18 ahead and use this neutron/photon business when you  
19 don't know where he was. And clearly, there has  
20 got to be a big difference in the neutron/photon  
21 relationship between Parks and Apollo.

22 So, there is a lot of things here that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are churning up stuff that we really haven't  
2 churned up before. And I find myself in a position  
3 where I feel as if we are not doing everything we  
4 can to assign what I would consider to be a  
5 plausible bounding dose to this guy who is not  
6 covered by the SEC and it certainly looks to me that  
7 you know, I think I can find a way to assign a  
8 plausibly bounding dose for this guy. And based  
9 on the data that I have --

10 DR. NETON: You know, John, you have  
11 got to be consistent. You look at the  
12 implementation guide --

13 CHAIRMAN ANDERSON: It doesn't have to  
14 be fair.

15 DR. NETON: -- we are going through a  
16 lot of hoops to demonstrate that these data are  
17 plausible -- not plausible -- representative. And  
18 you just can't sort of ignore that now and say well,  
19 I want to be a good guy and generous and start making  
20 up numbers just because you feel they should get  
21 more exposure. I mean it just doesn't work that  
22 way.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Well, I don't think --  
2 well, I don't think you are making up numbers here.  
3 I think we are looking at some really nice numbers.  
4 I see 118 measurements just in 1965 alone and I know  
5 I can do a lot with that.

6 DR. NETON: Well, I agree John but --

7 DR. MAURO: I have to say, listen,  
8 first of all, I want to apologize to everyone  
9 because sometimes I get stuck on things that when  
10 they don't make sense to me, I just don't let go.  
11 And right now I am in a place where I am  
12 uncomfortable with the way in which this is -- I  
13 respect the decision.

14 DR. NETON: I would like you to start  
15 thinking about some of the other sites where we have  
16 developed coworker models and all the hoops we  
17 jumped through to demonstrate that they were  
18 representative.

19 I think about places like Savannah  
20 River, Idaho, Rocky Flats, to some extent, when we  
21 went and developed some of those models. We went  
22 to great lengths to look at data completeness,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           representativeness.

2                         DR. MAURO:  Yes, but Jim, that was the  
3           purpose to decide whether we are going to make this  
4           an SEC or not.

5                         DR. NETON:  Right, based on --

6                         DR. MAURO:  We are not talking about  
7           that here.  We are saying we have already granted  
8           the SEC.  And all we are trying to do is do the best  
9           we can to give this guy some dose that we think is  
10          fair --

11                        DR. NETON:  But you have a double  
12          standard.

13                        MR. STIVER:  So, the implication there  
14          is that we have a lower standard for commercial dose  
15          reconstruction than any other kind.  So, we can't  
16          have that sort of patchwork.

17                        DR. NETON:  You can't have a double  
18          standard here.

19                        DR. MAURO:  We have two different  
20          frames of reference.  In the Rocky Flats  
21          situation, it was well, listen, can we build a  
22          coworker model and if we can't, we have got to grant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the SEC. And that is the one frame of reference  
2 which is extremely important. It has been  
3 resolved and it is clean.

4 Now, we have a different set of  
5 circumstances where we have an SEC. We have got  
6 a bunch of people that we would like to assign some  
7 doses to and what you are saying is we are not going  
8 to assign those doses.

9 MR. KATZ: That context has nothing to  
10 do with it. It should not be a factor because it  
11 is, is the science good enough or is it not is the  
12 question and you can't have two standards. It  
13 won't hold up legally, either. I mean that would  
14 just be so easy to contest.

15 DR. MAURO: Okay. Well --

16 MR. BARTON: John, hold on for a  
17 second. This is Bob. I think I can kind of sum  
18 this up pretty well. I mean at the last meeting,  
19 there was a lot of discussion on this.

20 If Parks had been an 83.13 instead of  
21 an 83.14, then I would assume that in that SEC  
22 Evaluation Report, it would also say that external

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dose isn't feasible for reasons A, B, and C. The  
2 fact that it was an 83.14 is very -- the efficiency  
3 of getting that SEC in place as soon as possible,  
4 the external wasn't evaluated and that is what we  
5 brought up last year and which NIOSH agreed to go  
6 back and look at. And they came to the conclusion  
7 that what we have is neither sufficiently accurate  
8 or necessarily bounding.

9 So, like I said, I think it was -- and  
10 Jim you can correct me -- if this had been an 83.13,  
11 then the ER Report would have probably said we can't  
12 reconstruct external doses either.

13 DR. NETON: That's correct.

14 MR. BARTON: So, this was a step that  
15 we asked for because it just seemed like a loose  
16 end was out there. So, the low dose was never  
17 evaluated for coworker feasibility. And it sounds  
18 like NIOSH is finding, though, that it is, in fact,  
19 infeasible, based on the stringent guidelines that  
20 have been set up for SEC Issues Work Group and are  
21 in the implementation guide.

22 So, I mean we asked them to look into

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it and they looked into it and concluded it is not  
2 possible. And now it is sort of, I mean, it is kind  
3 of -- and that is the discussion I wanted to hear  
4 in a Work Group setting that the Work Group knows  
5 why it isn't feasible. And then they can either  
6 agree or send us all back to the drawing board or  
7 what have you. But I thought that discussion was  
8 warranted and I think we got it.

9 DR. MAURO: And I have got to thank  
10 everyone for allowing me to say my piece. Thank  
11 you.

12 MEMBER FIELD: This is Bill. I don't  
13 want to prolong the conversation but John, do you  
14 believe, I mean this is the way I am hearing it,  
15 that you can do a bounding dose based on those 118  
16 measurements. Is that what you are saying?

17 DR. MAURO: Yes, I'm going to argue  
18 that someone decided in 1965 to monitor 118 people  
19 for external dose and I'm going to say -- and I will  
20 maybe do a little bit more homework and say well,  
21 let me see if I can figure out the reason why this  
22 guy decided to assign his film badge or a TLD to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 118 people.

2 And I am going to walk away from that  
3 saying you know he probably did that based on some  
4 judgment on who he thinks he should assign it to.  
5 And usually, usually those judgments are we are  
6 sending this guy to a place where we probably should  
7 monitor him because he is probably going to get more  
8 than ten percent of the allowable exposure limit.  
9 And I think that was the correct area. So, we are  
10 going to monitor it.

11 So, as far as I am concerned, that is  
12 why he was picked, why these 118 were picked because  
13 these are the ones that had the greatest potential  
14 for external exposure.

15 So, now you have put me in the place  
16 where I need to be. That is, yes, we are looking  
17 at these subpopulation of workers that somebody  
18 made an informed judgment need to be monitored.  
19 Bingo.

20 Now, I have got myself a data set that  
21 represents a distribution of workers that probably  
22 were more likely than not the higher end potential

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for exposure. I grab those numbers and make a  
2 distribution and I pick off the 95th percentile and  
3 I assign that dose to this guy who is not covered  
4 by the SEC but I give him that external dose so we  
5 get something to the organ of concern. That is  
6 what I would have done.

7 DR. NETON: Okay but John, you have got  
8 to look at the other side of the picture. Apollo  
9 Site was an 83.13 and we did evaluate external and  
10 we determined we couldn't do external doses at  
11 Apollo, primarily because they had these  
12 radium-beryllium and polonium-beryllium sources.  
13 There was no indication of monitoring. We have no  
14 indication of source-term. So, we have no idea  
15 what kind of exposures may have occurred there.  
16 And now you have a commingled data set that includes  
17 both Apollo and Parks in the same monitoring  
18 program. So, I don't know how you can argue that  
19 you have got a bounding dose, based on those 118  
20 people.

21 DR. MAURO: Alright, I have to admit  
22 that argument you just made is very strong. You

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are saying you can show that there are job  
2 categories where there could have been quite  
3 external exposures that were not under the 83.13,  
4 that were not monitored and that is why you granted  
5 the SEC and this group of 118 may very well have  
6 included some of those, which you are making a case  
7 that means that that 118 does not necessarily  
8 represent the bounding case.

9 DR. NETON: Right.

10 DR. MAURO: Alright, you win. Thank  
11 you, but this was good.

12 CHAIRMAN ANDERSON: Okay, any other?  
13 So, going back upstream on this, there are still  
14 a number of open issues here that are progressing.

15 DR. MAURO: Yes, I think we did the  
16 thing that I really wanted to do.

17 CHAIRMAN ANDERSON: We have got to --  
18 up to number --

19 DR. NETON: That was a key issue,  
20 though.

21 CHAIRMAN ANDERSON: Oh, yes, I agree.

22 MR. STIVER: Yes, it really is kind of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the thread that runs through there.

2 CHAIRMAN ANDERSON: Yes, I mean it  
3 underscores a lot of detail.

4 DR. MAURO: Where we are now is there  
5 are questions that SC&A raised regarding now where  
6 you do have data and whether or not the data that  
7 you do have and how you are using that information  
8 to assign this dose to this person and these are  
9 the questions that were raised by Joe Zlotnicki --  
10 and thank you so much for staying. I'm hoping Joe  
11 is still there and Joyce -- where we have some  
12 concerns on how they were planning to use that data  
13 to reconstruct not only internal but also external.  
14 And those are the only issues in my mind that are  
15 left.

16 And if we can close those out, whereby  
17 the questions we have regarding those, we are done,  
18 in my opinion. So, I think that is where we need  
19 to be now, unless someone thinks there are other  
20 things that we should do first.

21 MEMBER KOTELCHUCK: Hi, Dave. You  
22 referred to settled decision before. I didn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know and have not looked at the materials regarding  
2 that decision and I would like to think more about  
3 the issue that John just agreed to.

4 So, I am, if you will, abstaining until  
5 I get more information and learn a little bit more.  
6 I see the arguments and I am perfectly happy to go  
7 ahead with those other findings. But if it is  
8 implied that I agree, I don't agree but I don't  
9 necessarily disagree. I feel like I need a little  
10 more information and thinking a little bit more  
11 about this issue. It was certainly the first time  
12 I have come across it.

13 DR. MAURO: Dr. Kotelchuck, I would be  
14 more than -- see, Jim just convinced me and, as you  
15 know, it took a lot of work but he made a case that  
16 brought him across the end zone. And anytime you  
17 would like -- Jim, certainly you could explain it  
18 better than I can -- but what did it for me to turn  
19 the corner is conceptually very simple and I would  
20 be more than happy to explain the reason why I am  
21 comfortable now. And if you would like to talk  
22 about it, I would be glad to help.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER KOTELCHUCK:     Right.     Right,  
2     well, I think I may wish to.     And also I want to  
3     find out a little bit more from Ted about when the  
4     decision was made earlier by lawyers about what  
5     would hold up legally and what would not.     And I  
6     may well be convinced on it.

7                   But I would like a little more  
8     information and I just want to put it on the table  
9     that I don't feel fully informed to make a decision  
10    implicitly to deny compensation to the persons in  
11    this situation.

12                  MR. KATZ:     Yes, Dave, that's fine.     I  
13    am happy to talk to you about the legal parts of  
14    it.

15                  MEMBER KOTELCHUCK:     Sure and maybe I  
16    will speak to both.     First, I would like to speak  
17    with you, Ted, further, outside of this meeting.

18                  MR. KATZ:     Okay.

19                  MEMBER KOTELCHUCK:     We have got a lot  
20    of things to do.     I don't want to hold it up.

21                  But I don't want to imply that we are  
22    all agreed and let's go ahead.     I'm not agreed but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I'm not disagreed either. Okay. So, let's go on.

2 I mean I have said my piece.

3 DR. MAURO: If you like -- again, I  
4 apologize if I keep inserting myself here -- I went  
5 very carefully through the work done by Lara and  
6 Dennis Streng and identified the findings that I  
7 believe are still at a place where a little  
8 discussion is needed. I have a little table in  
9 front of me that I use to -- and the vast majority  
10 of them, I have checked off and said okay, I think  
11 the answer has been provided and it is  
12 satisfactory. But of course, I am not the final  
13 arbiter on these matters.

14 MEMBER KOTELCHUCK: Right.

15 DR. MAURO: But some of them have been  
16 closed out already. In other words, if you go back  
17 to the transcript for the August last year, they  
18 have been closed out and that is a done deal. So,  
19 the ones that I have noted here are the ones that  
20 were not, in fact, closed out, still requires a  
21 little discussion. And if it helps, I would be  
22 glad to point out which ones those are. Or Lara,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you did all the work and I'm doing all the talking.

2 MEMBER KOTELCHUCK: I would like to  
3 first speak to Ted and then understand the  
4 decisions that were made previously and then maybe  
5 get back in touch with you, John. I appreciate  
6 your offer but I think we need to go ahead with  
7 talking about the findings that we have now on the  
8 table.

9 MR. KATZ: Alright, that is what John  
10 is trying to do. He's trying to get into the  
11 details.

12 MEMBER KOTELCHUCK: Okay, I appreciate  
13 that and I will be in touch with you.

14 DR. MAURO: And Lara, you did so much  
15 work here -- and Dennis -- to address the items that  
16 are before us now, that now that we have got this  
17 other stuff out of the way. Perhaps you folks  
18 would like to go ahead and take the lead on that.

19 DR. HUGHES: I certainly can. Do you  
20 want me to walk through each finding? I mean, if  
21 you want to just --

22 CHAIRMAN ANDERSON: Let's just go one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 at a time and close down the ones we can safely --

2 DR. HUGHES: Yes, well the closed ones,  
3 they were closed under the condition that the  
4 change is included in the TBD revision. I have  
5 gone through the TBD revision draft and ensured  
6 that they included in one way or another now. It  
7 hasn't been issued. I realize that. But we'll  
8 just leave it at that, I guess.

9 DR. NETON: I guess they think it'll be  
10 in abeyance until the --

11 CHAIRMAN ANDERSON: Yes.

12 DR. HUGHES: Yes, so the first one was  
13 the open one that shows up on my list is finding  
14 number 4D, uranium inhalation recommendations.

15 Now, when this was initially discussed  
16 at the Work Group meeting last year, it kind of went  
17 from this issue towards this coworker model  
18 discussion that we, I think, just addressed to a  
19 certain extent. So, our response was really to  
20 this issue was that we would go and look at the  
21 feasibility of the coworker model and we have done  
22 that and we have discussed that. So, let's just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 move on, if that is okay.

2 CHAIRMAN ANDERSON: So, four was  
3 closed --

4 DR. HUGHES: Yes.

5 CHAIRMAN ANDERSON: -- with the  
6 exception of the coworker. And we discussed that.  
7 So, four is basically done.

8 DR. HUGHES: Yes, the same as with  
9 number five that had something to do with the --

10 MR. BARTON: Lara?

11 DR. HUGHES: Yes?

12 MR. BARTON: If I might, because the  
13 finding 4 was about uranium. We are kind of just  
14 talking about external dosimetry. It -- was the  
15 same feasibility generally found and could you talk  
16 a little bit about what you guys found when you  
17 evaluated uranium specifically? Because we are  
18 talking about unmonitored workers again in  
19 coworker models.

20 In the external dosimetry context,  
21 could you talk a little bit about the work that was  
22 done to determine the feasibility or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           infeasibility, as it seems, for uranium intakes?

2                       MR. STIVER: This is regarding a DWE  
3           data, wasn't it?

4                       DR. NETON: Well, they are two separate  
5           issues, really. The DWE data --

6                       MR. STIVER: That is a part that was  
7           essentially closed out, based on the --

8                       DR. NETON: Yes, the DWE that you have  
9           -- that infeasibility actually comes in more in  
10          finding -- is that 14 or 18? It is one of the later  
11          findings.

12                      See I think this thing got -- these two  
13          things sort of got conflated. There was a DWE  
14          issue where we said we are not using DWE, we used  
15          GA air samples and we put a GSD of 5 on it and I  
16          think that is close.

17                      The issue about dealing with can we do  
18          a coworker model for uranium comes down later in  
19          the findings, I think. Which one was that?

20                      DR. HUGHES: I think it is 18.

21                      DR. NETON: 18.

22                      DR. HUGHES: That was the -- to come up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with a starting point for the residual period. I  
2 think that is where the air data was used.

3 DR. NETON: Oh, 18 was for the  
4 breathing zone air samples.

5 DR. HUGHES: Yes, there was an earlier  
6 where we used -- in some cases, where a worker had  
7 breathing zone data, that was used for internal and  
8 that was kind of a leftover from pre-SEC  
9 methodology in very specific cases.

10 And Dennis can correct me if I am wrong  
11 here because I don't actually do the dose  
12 reconstructions. There are some cases where they  
13 used a worker's individual breathing zone data to  
14 assess their internal doses.

15 MR. STRENGE: Yes, that is correct.

16 DR. MAURO: There is one more dimension  
17 to finding 4. There was a little bit of confusion  
18 related to when you talk about GA versus BZ air  
19 sampling and when you use it for operations and when  
20 you use it for residual. And I believe that this  
21 has all been resolved.

22 DR. NETON: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: I think there is agreement  
2 that the right way to go is for the residual period  
3 you have a general air data and that is the right  
4 data to use when you are going to move into the  
5 residual period.

6 DR. NETON: Right, that was finding 18.

7 DR. MAURO: I think it was a little, in  
8 my opinion, compounding of the two issues. One,  
9 a breathing zone during operation which, as you  
10 just explained is not at play for operations. But  
11 the general air aspect of this really went toward  
12 reconstruction doses during residual period. And  
13 the way in which I read the response is yes, that  
14 is the plan. The plan is to use the classic GA data  
15 that is available during operation as your starting  
16 point for the residual period. So, I think finding  
17 4 is fine.

18 DR. NETON: Okay but it does -- if we  
19 did sort of devolve into this discussion on  
20 internal coworker models as part of that discussion  
21 and Lara has covered that in her paper, starting  
22 on page 13? Yes. So, I think Bob was asking about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what our opinion is on coworker for uranium.

2 DR. HUGHES: Okay, sorry.

3 DR. NETON: And maybe Lara can explain.

4 If you want to cover that now, that is fine or we  
5 can --

6 DR. HUGHES: Yes, we did a similar  
7 evaluation for the internal the uranium and we are  
8 running into the same issues that we discussed for  
9 the external that we are looking at commingled  
10 data, the inability to stratify by site and also  
11 the inability to stratify by job title because we  
12 don't have -- the records we have do not include  
13 job titles. So, that is the two main drivers here.  
14 It would lead to an inability to do a coworker model  
15 for uranium. That is really it.

16 MR. BARTON: Okay, this is Bob. So,  
17 the state of the records were essentially the same  
18 and the same deficiencies were found for the  
19 uranium records and the external.

20 DR. HUGHES: That is correct.

21 MR. BARTON: Okay, thank you.

22 DR. HUGHES: Okay, shall we move on?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: Yes.

2 DR. HUGHES: Okay, number five. Okay,  
3 so finding 6, there was a discussion about the  
4 plutonium fuel grade mix that was addressed in the  
5 TBD that was used for the partial dose  
6 reconstructions. And there was a request that  
7 this was not sufficiently detailed. This was  
8 discussed in last year's Work Group meeting and  
9 SC&A has raised the issue that there might have been  
10 other possible plutonium mixes at the site that  
11 should be investigated.

12 We have looked into it to the extent  
13 that we have records and the update really is that  
14 we cannot -- that we do not know how to pursue this  
15 issue any further. We do not have any more data.  
16 So, what we currently have can be used to make a  
17 reasonably claimant-favorable assumption for  
18 partial dose reconstructions and anything else  
19 would be more or less speculation. Any  
20 reported plutonium bioassay can be used that is in  
21 people's records, and there really is no other  
22 information that we have. We do not expect that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 any additional data capture is possible at this  
2 site. The site has been somewhat hard to work  
3 with, to put it mildly. So, we feel like we have  
4 gotten everything that we can.

5 CHAIRMAN ANDERSON: There is no  
6 indication of other fuels that you know of?

7 DR. HUGHES: There is some indications  
8 that they had various amounts or various types of  
9 fuels. We just don't quite know. And we can do  
10 a claimant-favorable assumption. I think it is  
11 stated in the TBD.

12 DR. OSTROW: Lara, this is Steve  
13 Ostrow. This is finding 6 that we are talking  
14 about?

15 DR. HUGHES: Right.

16 DR. OSTROW: I saw that in the past that  
17 you said that you were going to put certain things  
18 in the new Revision 3 of TBD. I just want to  
19 confirm one of the comments was that -- then you  
20 said you would do this -- provide some guidance in  
21 the TBD how the dose reconstructor is supposed to  
22 select which of these four different isotopic mixes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that you are going to be giving. So, is that correct  
2 that you are going to give some guidance in the next  
3 TBD issue of how to use this table?

4 DR. HUGHES: I do believe that is  
5 correct. Typically, those reconstructors are  
6 trained on use of the documents. And even if it  
7 may not be spelled out in the TBD, they usually have  
8 tools in place to use what is available, the data  
9 consistently in a claimant-favorable manner, at  
10 least --

11 DR. OSTROW: Well, how are we supposed  
12 to know whether the instructions that you give the  
13 dose reconstructors, the guidance, is valid if we  
14 don't see it, if it is not written in the TBD? That  
15 is one issue.

16 DR. HUGHES: We certainly tried to  
17 address it in the TBD. Now -- this is a little bit  
18 more of an overarching issue, but there are --  
19 sometimes there will be details that are not in the  
20 TBD that are done. Now, that is often maybe not  
21 evident when the TBD is prepared. I don't know.  
22 I don't want to --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: This is John. I might be  
2 able to help out a little bit here. In looking over  
3 Dennis and Jim's report dated May 14, 2015, on  
4 finding 6 there is a great deal of information  
5 describing just what was brought up now by Steve.

6 It is one of those documents that I came  
7 across as I was reviewing it. Am I correct that  
8 the guidance or the information that is contained  
9 here in great detail is the kind of information that  
10 you plan to insert into the next revision? In  
11 effect, that is sort of a preview.

12 DR. NETON: Yes, I think so. That was  
13 our original response, was we were going to update  
14 the table with the recently captured information.

15 DR. MAURO: Right. So, it is here, in  
16 theory of this is what we are going to see in the  
17 updated TBD.

18 MR. STIVER: And it is Table 5.3 or 5-3  
19 of the May 2014 report. It says right there  
20 guidance will be added regarding selection of the  
21 appropriate inventory component for evaluation of  
22 internal doses based on available information,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 which is what Steve was getting at.

2 DR. OSTROW: Yes, okay. That's what I  
3 understand. I also have a little further  
4 question.

5 I guess there are two cases that are  
6 considered. One is where you actually know where  
7 the worker was working and what fuels he was exposed  
8 to, so you can pick one of the four isotopic  
9 compositions that are given at Table 5-3.

10 The other case is where you didn't  
11 actually know where the worker was working. And  
12 in that case, I assume that the guidance is going  
13 to say okay, pick the most claimant-favorable of  
14 the mixes that you have. How is the dose  
15 reconstructor going to do that? Is he going to run  
16 different combinations of grade and agent to see  
17 which is the limiting dose for that worker where  
18 you don't have that much information? Is there  
19 going to be a worksheet that is going to go with  
20 it?

21 DR. HUGHES: Yes, I'm not sure there is  
22 a worksheet but that is typically how it is done,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that they would run different scenarios and pick  
2 the one that is more claimant-favorable.  
3 Although, I mean -- if Dennis will correct me. I  
4 don't usually do these. I only review them  
5 occasionally.

6 MR. STRENGE: Yes, that is correct.  
7 It is up to the dose reconstructor to be sure he  
8 has got the claimant-favorable for non-compensable  
9 claim.

10 DR. OSTROW: So, how would he do that?  
11 Would he actually run the different cases and pick  
12 the one that is the most claimant-favorable?

13 MR. STRENGE: Yes, he could pick a few,  
14 not a whole lot. And pretty quick, he will get an  
15 idea of what is giving you the higher dose.

16 DR. OSTROW: Okay and this sort of  
17 guidance will be in the new TBD?

18 MR. STRENGE: Yes, I believe so. It  
19 has been a while since I looked at it.

20 DR. OSTROW: Okay, that is basically  
21 it, then. I think this particular finding, we  
22 don't have any issues with what NIOSH is doing or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 saying but we sort of have to see for ourselves when  
2 the new TBD revision comes out.

3 MR. KATZ: So, is this one we are saying  
4 to put in abeyance?

5 DR. OSTROW: I guess it is abeyance  
6 until we see the new TBD text.

7 MR. KATZ: Yes, thanks, Steve.

8 MR. STIVER: So I have a procedural  
9 question for you.

10 MR. KATZ: Yes.

11 MR. STIVER: I am assuming that when  
12 the TBD comes out, we're -- it is fair game for us  
13 to go back and --

14 MR. KATZ: Go look and -- yes, because  
15 before we have the whatever, the next Work Group  
16 meeting, the same with the other Site Profile  
17 review we're talking about where we have stuff in  
18 abeyance to check and see that it is in order.

19 DR. HUGHES: Okay, I am going to move  
20 on to finding 7. Finding 7 was regarding the MDAs  
21 for the lung counts for americium-241. The issue  
22 was that the counting method should be further

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 explored in order to give them credibility. NIOSH  
2 has agreed to add some additional guidance to the  
3 draft TBD and has reviewed the additional data to  
4 come up with more reasonable values. But SC&A  
5 reiterated their concerns.

6 During the previous discussion, Dr.  
7 Neton agreed that the MDA numbers for plutonium  
8 looked low and we agreed to further look into the  
9 issue. SC&A issued another iteration of their  
10 assessment that the MDA values for in vivo  
11 monitoring for americium-241 and plutonium-239 are  
12 not reliable, that very limited data is available,  
13 and that the low reported values for MDAs for  
14 americium-241 in vivo lung monitoring need to be  
15 further developed.

16 The values for plutonium-239 are not  
17 considered credible due to the fact that the 17 keV  
18 X-rays are being measured directly. We have  
19 looked somewhat more into the issue, evaluated the  
20 chest count data that was done by NUMEC. We found  
21 that many of the reported results have the MDA value  
22 reported on the result with a bioassay result.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Or if it is not detectable, it will  
2                   state that it is below the MDA and it will list the  
3                   MDA. The MDAs appear to be somewhat lower than  
4                   what might be reported today but it would be  
5                   difficult to come up with an alternate value. We  
6                   just don't have any information.

7                   Most of the measurements for NUMEC were  
8                   done at the University of Pittsburgh facility,  
9                   where the NUMEC in vivo program was done. It was  
10                  overseen by a person who is highly regarded in the  
11                  field. Can you give the name or --

12                  DR. NETON: I would rather not give you  
13                  the name.

14                  DR. HUGHES: Alright, that's fine. So,  
15                  the lower MDAs could be a result of calibration  
16                  tandems used at the time.

17                  DR. NETON: This is Jim. I kind of was  
18                  involved more in this one and I basically -- I don't  
19                  know how we would go back and reconstruct what the  
20                  real MDAs were for that counting system at that  
21                  time, rather than what we've just proposed to use  
22                  the face values that were reported. I don't know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           how you would go and make up a detection limit for  
2           the counting system at the University of Pittsburgh  
3           at that time.

4                         DR. LIPSZTEIN:   Jim?

5                         DR. NETON:    Yes.

6                         DR. LIPSZTEIN:  I don't know if it is  
7           worthwhile this discussion for numbers of the  
8           cancers, discussion on plutonium and americium.  
9           But if you are overestimating the counting  
10          deficiency, this is not claimant-favorable.  
11          Right?

12                        DR. NETON:    I agree with that but I  
13          don't know how we would come up with any other way  
14          to change it.  I know what -- we know what the  
15          detection limits are for various systems but it  
16          depends, of course, as you know, on the background  
17          of the counter, how long the counting time was, type  
18          of detector, the geometry that was used to do the  
19          lung measurement.  You know, I don't think we can  
20          just pick a number and say okay, the detection limit  
21          is 100 nanocuries or something like that for  
22          plutonium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So, I think as a partial dose  
2 reconstruction goes, it is claimant-favorable --  
3 not favorable but it's a partial dose  
4 reconstruction to use the data as it was reported.  
5 And again, I don't know that it is going to make  
6 any big difference in compensation but we really  
7 can't -- that is really not a valid reason not to  
8 do something.

9                   DR. LIPSZTEIN: Yes.

10                  DR. NETON: So, our position is that we  
11 are going to use the values. Because again, I  
12 looked at the setup and I don't know how we come  
13 up with some other number. If it says it was a  
14 positive 15 nanocuries, that is what we will use.

15                  DR. LIPSZTEIN: Yes. That is if you  
16 use the ---

17                               (Simultaneous speaking.)

18                  DR. NETON: That was the other point I  
19 was going to make is I'm not sure how often the lung  
20 counting would be used versus the urine data. And  
21 maybe in this case, it may be appropriate just to  
22 say we wouldn't use the counter data.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Again, I think if the counter showed a  
2                   positive value -- I don't know. I would have to  
3                   go look at the monitoring records for plutonium.  
4                   Although, we are not doing internal dosimetry. We  
5                   don't have a coworker model. So, it is a difficult  
6                   situation. I'm not sure how to get out of it.  
7                   That is how we ended up where we were. We just  
8                   would use the values, even acknowledging that  
9                   detection limits would be reported somewhat  
10                  differently today. But there is no way to -- I  
11                  don't know of any way to figure that out.

12                  The other alternative is to just not to  
13                  use them at all, to say that they are not  
14                  sufficiently accurate. But since we have a  
15                  reported value --- yeah.

16                  DR. HUGHES: Anybody else? Anything  
17                  to add? Okay, shall we move on --

18                  CHAIRMAN ANDERSON: Yes.

19                  DR. HUGHES: -- to the next finding?

20                  CHAIRMAN ANDERSON: Yes. Let's move  
21                  on.

22                  DR. HUGHES: The next finding was this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 finding 11, which is also related to the in vivo  
2 counts. That is the one that the next finding that  
3 is listed as open on my list.

4 MR. KATZ: Can I just get  
5 clarification, though? So, finding 7, what are  
6 we doing? Is that closed at this point?

7 DR. NETON: Well, I don't know. I mean  
8 --

9 MR. KATZ: What does the Work Group  
10 want to do with that situation?

11 CHAIRMAN ANDERSON: Are we going to  
12 come to any close agreement on it? Probably not.  
13 Guys on the phone, you have thoughts?

14 DR. LIPSZTEIN: You are talking about  
15 11?

16 MR. KATZ: Seven. Back to seven,  
17 Joyce.

18 DR. LIPSZTEIN: Oh, go back to seven?

19 MR. KATZ: So the just question -- so  
20 I just asked the Work Group, given the discussion  
21 what do they want to do with that finding. I mean  
22 you have three choices. You can leave it in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 progress. You can close it. But if you leave it  
2 in progress, then you need a path forward to be able  
3 to close it.

4 CHAIRMAN ANDERSON: Now, I don't know  
5 if we have a path forward. I mean I don't feel that  
6 strongly about it. I mean I think we have had a  
7 good discussion about it. So, I am prepared to --

8 MR. KATZ: To close it?

9 CHAIRMAN ANDERSON: -- close it. Yes.

10 MR. KATZ: So, in effect, you are  
11 saying you basically agree with NIOSH's approach  
12 that they will handle it.

13 MEMBER KOTELCHUCK: This is Dave. I  
14 also agree with the NIOSH approach and I am willing  
15 to close it.

16 MR. KATZ: And Bill?

17 DR. LIPSZTEIN: The only thing we have  
18 to note is that it is not claimant-favorable. The  
19 other option is to use only urine and feces  
20 bioassays. But I agree with Jim that for a number  
21 of these cancers, I don't know how important this  
22 discussion is on the dose detection.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   If there is some positive data, then  
2           -- but if it is -- I don't know. It is  
3           non-claimant-favorable but, at the same time, I  
4           don't think it makes any difference.

5                   MEMBER KOTELCHUCK: Well, I don't --

6                   DR. LIPSZTEIN: For the -- you know for  
7           numbers on the cancers.

8                   MEMBER KOTELCHUCK: I don't see that it  
9           is not claimant-favorable. It may not be. I will  
10          put it this way. It looks to me as if you don't  
11          have an alternative -- there's no real alternative.  
12          And I don't think what you are saying is --

13                  CHAIRMAN ANDERSON: It is as good as it  
14          is going to be. And NIOSH is aware of the issue,  
15          so somebody looking at an individual case --

16                  DR. LIPSZTEIN: You can either use  
17          urine or feces bioassay. And NIOSH came to the  
18          conclusion that was the problem was with the -- it  
19          overestimates the deficiency. When you  
20          overestimate the deficiency, you get a lower  
21          detection limit and then you are really having a  
22          number that doesn't really mean anything. And it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is not claimant-favorable because if you had a  
2 higher MDA, then you had a higher dose.

3 MEMBER KOTELCHUCK: And you can  
4 determine that. I mean you can look at the urine  
5 bioassay.

6 DR. LIPSZTEIN: I don't know what, for  
7 each individual case --

8 DR. NETON: I think, Joyce, if we had  
9 your urine bioassay, in this case I would agree with  
10 you that we should use that over the in vivo count.  
11 But if all we have is an in vivo count, then I think  
12 we would have to use it. There is no other way to  
13 do that. Right?

14 DR. LIPSZTEIN: It is a knowing that it  
15 is not claimant-favorable.

16 DR. NETON: What else would you do,  
17 though?

18 MR. KATZ: It is claimant-favorable  
19 because there is a lack of an alternative. So, it  
20 is the most claimant-favorable thing you can do.

21 DR. NETON: The alternative is not to  
22 use it and say we can't use it because it is not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 claimant-favorable.

2 DR. LIPSZTEIN: And also if you are  
3 using variations for plutonium, you have to know  
4 the age and flow rate.

5 DR. NETON: Exactly.

6 DR. LIPSZTEIN: Which is something  
7 that you also don't know exactly from the  
8 discussion on finding 6.

9 DR. NETON: Right. Yes, it is a tough  
10 issue. We are dealing with non-presumptive  
11 cancers and, again, partial dose reconstructions.

12 DR. LIPSZTEIN: Yes.

13 MEMBER KOTELCHUCK: Well, I'm  
14 comfortable with closing.

15 DR. LIPSZTEIN: Okay.

16 CHAIRMAN ANDERSON: Okay, so be it.  
17 It is closed. We can always come back and discuss  
18 it because we are not going to close the whole thing  
19 out. Okay, 11.

20 DR. HUGHES: Okay, this is also related  
21 to the in vivo counts. This is regarding the  
22 Helgeson Company-provided chest count data. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       there was an issue that when Helgeson did counts  
2       at other sites, it was determined that there was  
3       -- the counts for uranium were biased high and  
4       represented false positives. This affected the  
5       data at the Pantex Plant.

6                       We found Helgeson did a few instances  
7       where they provided in vivo counts for NUMEC. So,  
8       we looked into it a little more. NUMEC used the  
9       Helgeson mobile whole body counter for plutonium  
10      and americium counts mostly. In the few instances  
11      where they did the pre-enriched uranium or for  
12      uranium-235, the MDA reported for uranium-235 in  
13      1968 is 18 micrograms. But NUMEC did merge with  
14      the whole body counts at the low-level radiation  
15      monitoring facility at the University of  
16      Pittsburgh, which there is many more counts.

17                      So, we have these two -- but we have two  
18      sets of data for in vivo. We have some that were  
19      done by Helgeson, some that were done by University  
20      of Pittsburgh and they typically have different MDA  
21      values reported. So, that is the reason why we see  
22      these different values. The reported value at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 University of Pittsburgh was 63 milligrams for  
2 uranium-235. So, we could certainly clarify that  
3 in the TBD. I don't think it is spelled out in that  
4 much detail.

5 The issue with Pantex was that the  
6 counts for uranium were biased high and represented  
7 false positives. It has been done at the -- the  
8 Pantex TBD has eliminated all references to  
9 Helgeson in vivo counts because it was determined  
10 that they are not reliable and can't be used.

11 In this case for NUMEC, if they were  
12 used, it would not be to the detriment of the  
13 claimant, since it would produce a positive bias.  
14 And there is really -- again, we are at the point  
15 where we can either use this data or we cannot use  
16 it, if it is available for a claimant.

17 And that is pretty much where we are at.  
18 There is not really a correction factor or anything  
19 we can develop for this, that I am aware of, aside  
20 from determining that we shouldn't be using it for  
21 an unpresumptive claim. This is where we are.

22 CHAIRMAN ANDERSON: So, yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Joyce, would you like to  
2 weigh in on that?

3 DR. LIPSZTEIN: Yes, I am here. I  
4 think this is exactly the opposite of seven. I  
5 think the counting is not reliable but, in this  
6 case, it is claimant-favorable and it is  
7 non-presumptive cancers also. For me, it is good.  
8 So, it is okay. This is better than seven.

9 CHAIRMAN ANDERSON: Okay. So, we can  
10 close it out?

11 DR. LIPSZTEIN: Yes, because it is  
12 claimant-favorable, even if it is a false positive.

13 CHAIRMAN ANDERSON: Yes. Okay?

14 MEMBER KOTELCHUCK: Okay.

15 CHAIRMAN ANDERSON: Closed, it is.  
16 Not happily, but closed. The best we could do,  
17 again. Okay, next.

18 DR. HUGHES: Okay, finding 12. This  
19 is regarding Table 6-2 in the TBD. Is that right?

20 There was an issue regarding the table  
21 and the associated text in Table 6.3.2 of the Site  
22 Profile because there was some oversights and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 inconsistencies or errors.

2 This was discussed last year and we  
3 provided a response and a little bit more detail,  
4 as we were reading and there were still some  
5 inconsistencies and they elaborated that more  
6 information, essentially, was needed how data from  
7 the neutron detection devices will be used to  
8 reconstruct neutron doses. There is an issue  
9 regarding the different descriptions of the  
10 dosimeters in the TBD.

11 We believe the guidance during the TBD  
12 revision process and when discussing with ORAU, the  
13 consensus was the available guidance is suitable  
14 for assigning neutron doses for partial dose  
15 reconstructions. And if SC&A has any more  
16 questions regarding the details, we have to -- the  
17 dose reconstructors on the phone. So, we can  
18 certainly clarify that. But at this point in the  
19 TBD we can't really find anything that is an error.

20 Now, there is different dosimeters  
21 listed for different time periods and this is the  
22 detail that is in some of those tables has been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 extracted from various documents. It doesn't  
2 necessarily show up in a worker's file. So, if you  
3 see a neutron reading, you don't necessarily know.  
4 You would kind of have to make assumptions.

5 So, I'm not really sure how the neutron  
6 dose is assigned in cases. Now we also have the  
7 neutron/photon ratio that can be used. I think  
8 this is regarding TBDs -- TLDs. I'm sorry. This  
9 was effectively their period. So, I mean if you  
10 have any outstanding questions, we can discuss them  
11 now.

12 MR. STIVER: Yes, Joe, are you still on  
13 the line?

14 MR. ZLOTNICKI: Yes, I am. This is Joe  
15 Zlotnicki, SC&A.

16 Yes, I think one of the issues was just  
17 that there was an inconsistency between the table  
18 and the TBD and the text. And in one place, it  
19 indicated that something did not have -- for a  
20 particular time period, that a dosimeter did not  
21 have a neutron capability, whereas, the table  
22 indicated that it did.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So, again, it may be that the individual  
2                   bios for the workers have all this clarified but  
3                   in the TBD, I have to go on that. The Z badge from  
4                   Landauer does contain a CR-39 neutron detector.  
5                   And it was just a case of clarifying whether the  
6                   table or the text were correct for the period of  
7                   interest.

8                   So, as far as I know, that is still an  
9                   open issue. It may just be more of a typo and  
10                  clarification that they just need to agree with  
11                  each other. Was it a Z badge? If so, there was  
12                  neutron for that period.

13                  And then there was a second area which  
14                  related to the mention of thermal neutron dosimetry  
15                  but no indication as to how that was done. One  
16                  would assume that it was a cadmium filter in a film  
17                  badge but it didn't mention it. So, those were the  
18                  two sort of specific sort of basic facts about the  
19                  neutron dosimetry for a couple of time windows.

20                  DR. HUGHES: Right. Dennis, do you  
21                  have anything to add regarding to that? Because  
22                  I have not seen any resolution.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. STRENGE: No. Basically, when we  
2 do dose reconstruction, we see what doses are given  
3 and apply the correction factors, ICRP-60 certain  
4 factors and do the calculations. The calculations  
5 are pretty straightforward.

6                   MR. ZLOTNICKI: You see from my point  
7 of view, I am only seeing the TBD. So, I can't  
8 judge whether or not you can reconstruct doses,  
9 given what is being described if I can't be assured  
10 that what is said there is accurate.

11                   But maybe in this case, you actually  
12 have more information than was claimed in the TBD  
13 for a period of time for neutron. But as you said,  
14 in the actual file for the worker, you have got what  
15 you have got. But I don't see the individual files  
16 so it is hard for me to know, especially in this  
17 case on the thermal what is going on.

18                   MR. STRENGE: Right, we very seldom see  
19 an entry for thermal dose. In fact, for NUMEC, I  
20 don't remember seeing anything for thermal.

21                   MR. ZLOTNICKI: Me either. That is  
22 unusual.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. STRENGE: We picked them out of the  
2 neutrons and applied the energy spectrums  
3 according to one of the other tables in the TBD.

4 DR. NETON: Well, I guess what we need  
5 to clarify is Table 6-2 says they used Z badges and  
6 it says that they were used for beta-gamma. Do we  
7 have neutron doses for the CR-39 component for the  
8 Z badges, I mean in that era?

9 MR. ZLOTNICKI: Exactly, that is the  
10 question.

11 DR. NETON: It seems like we need to  
12 answer the question. I don't think we have done  
13 that here. Maybe we misunderstood the question.  
14 I haven't reviewed this real thoroughly in a while.

15 MR. STRENGE: Well, we seldom know what  
16 type of badge was used from the records. They just  
17 say neutron dose and here it is. And very seldom  
18 do we know what dosimetry was used. Once in a while  
19 they will say TLD.

20 MR. ZLOTNICKI: Are you suggesting you  
21 don't have the raw -- in this case this is a Landauer  
22 dosimeter. Are you saying you don't have the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Landauer dosimetry report for that period in  
2 general?

3 MR. STRENGE: Well, quite often we have  
4 Landauer reports and they have one line -- if the  
5 worker had beta-gamma, we will have a line of dose  
6 values for that. If they were also assigned a  
7 neutron dosimeter, there will be a second line with  
8 a neutron results.

9 MR. ZLOTNICKI: Yes, but does it tell  
10 them on the report that indicates the type of badge  
11 that was assigned to the individual for every  
12 reporting period?

13 MR. STRENGE: I believe it is like one  
14 or two or three and that is just saying beta-gamma,  
15 I think, versus neutron. I would have to look at  
16 the -- I have got --

17 (Simultaneous speaking.)

18 MR. ZLOTNICKI: It is also important if  
19 you have the original reports, it is there.  
20 Obviously, if someone has translated that into a  
21 database for the facility, then obviously, I have  
22 no idea what they do there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. STRENGE: Okay. Anyway --

2 DR. NETON: I think, Joe, we need to go  
3 back and take a look at this a little closer and  
4 clarify what was used when and for what purpose.

5 MR. ZLOTNICKI: Right. And by the  
6 way, getting back to the earlier -- I don't want  
7 to resurface the earlier discussion with John that  
8 went on at the beginning of this section but,  
9 clearly, in looking at the intent of the RSO and  
10 so on, if some people were given badges with neutron  
11 dosimeters in them and some were not, that gives  
12 some indication of at least what was in the mind  
13 of the RSO at the time that occurred, rather than  
14 everyone getting the same type of dosimeter.

15 DR. NETON: Right.

16 MR. STRENGE: Yes, the Landauer  
17 reports seem to imply that there was actually two  
18 dosimeters, physically separate but I am not  
19 positive on that.

20 MR. ZLOTNICKI: The Z dosimeter, there  
21 is a TLD component to it and a CR-39 component but  
22 they are both held in the same holder.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. STRENGE: Okay.

2 MR. SMITH: This is Matt Smith with  
3 ORAU Team. The other thing that is in the mix is  
4 the TBD revision itself. What has just been  
5 discussed with the differences between table and  
6 text I believe was part of our internal comments  
7 on squaring things up. Regarding the Z-1  
8 dosimeter, again, my thought is that we are going  
9 towards an N/P ratio on that front but we can leave  
10 that to the next issue.

11 MR. ZLOTNICKI: Yes. So, my  
12 suggestion is that obviously I haven't seen the new  
13 revision and you may have cleaned it up in terms  
14 of the ticking of time between the tables and the  
15 text. To me, that just has to be in abeyance.

16 CHAIRMAN ANDERSON: Okay.

17 MR. SMITH: I'll take notes on this  
18 again and we will revisit it.

19 CHAIRMAN ANDERSON: Okay. Okay,  
20 moving along.

21 DR. HUGHES: Okay. This is finding  
22 13.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: Yes.

2 DR. HUGHES: This was --

3 CHAIRMAN ANDERSON: So this is part of  
4 the coworker model.

5 DR. HUGHES: Yes, this is part of the  
6 coworker discussion.

7 DR. NETON: I agree we have had that  
8 discussion.

9 CHAIRMAN ANDERSON: We don't need to  
10 rehash that.

11 DR. MAURO: Oh, you don't want to talk  
12 about that again?

13 CHAIRMAN ANDERSON: No.

14 MR. STIVER: Are you ready for round  
15 two, John?

16 CHAIRMAN ANDERSON: Okay, 14.

17 DR. HUGHES: Fourteen is the  
18 discussion of the adjustment factors for NTA film.  
19 We decided we could come up with a somewhat  
20 rudimentary neutron/photon approach that can be  
21 used. It has already been reviewed by SC&A and has  
22 found that it is not comprehensive or robust and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that we should come up with a more bounding  
2 approach.

3 We have reviewed it and due to the  
4 limited data, we really have not developed anything  
5 else for now just because we don't have any more  
6 data. That is all we have really.

7 MR. ZLOTNICKI: Yes, this is Joe  
8 Zlotnicki here. Leaving the coworker SEC thing  
9 aside for a minute and just looking at even a given  
10 individual, I think it is very, very difficult to  
11 have an N/P ratio for some areas of the facilities,  
12 such as someone who works with polonium-beryllium  
13 or radium-beryllium sources in shielded or  
14 unshielded condition.

15 The enormous variation in the ratio of  
16 the gamma to neutron that you would see in that  
17 situation with some of them being much more  
18 energetic gamma emitters, that is a very  
19 complicated thing because your ratios are going to  
20 be vastly different. And unless you have some  
21 indication of what the person was working with.

22 So, in other words, it may be that in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 certain areas that they are just in general plant  
2 areas and there is a normal background from uranium  
3 and neutron, it may be plausible, particularly  
4 anyone working with shielded and unshielded,  
5 especially gamma shielded and unshielded neutron  
6 sources, you can have vast spectral differences or  
7 ratio differences between the two.

8 DR. NETON: This is Jim. If someone  
9 could refresh my memory. Is it a strict constant  
10 ratio that we applied or is it a distribution with  
11 a central tendency and uncertainty associated with  
12 it?

13 MR. SMITH: This is Matt Smith with  
14 ORAU Team. There is kind of a general factor which  
15 has a geometric mean and GSD; another factor that  
16 is aimed at glove box workers, again, with a GM and  
17 GSD; and then a single factor that is aimed at folks  
18 that did work with the neutron sources. And you  
19 know it is based on a combination of photon  
20 measurement and neutron calculation.

21 DR. NETON: Right.

22 MR. SMITH: And that one is at 2.3.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Okay, what are the GSDs on  
2 these values?

3 MR. SMITH: It's 0.3 for in a general  
4 area, a factor of one for the glove box workers.

5 DR. NETON: But I mean the GSD on them  
6 is?

7 MR. SMITH: Oh, I'm sorry. The GSD in  
8 both cases is 1.5 to 2.0.

9 DR. NETON: Okay.

10 DR. MAURO: This is John. Is the  
11 write-up of finding 14 in Dennis' work is basically  
12 that answer? In other words, does that material,  
13 in effect, answer the question? Is that what it  
14 is there for?

15 DR. NETON: I don't know. I was trying  
16 to get at the idea that we don't normally assign  
17 a constant value as an N/P ratio.

18 DR. MAURO: And there isn't.

19 DR. NETON: And we have a distribution  
20 value. So, I understand Joe's concern but I think  
21 we have tried to address that by incorporating  
22 uncertainty in there, in the use of those values.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Well, I only reason I  
2 pointed this out is that it appears that  
3 considerable work went into Dennis' work on finding  
4 14. It lays out what the neutron/photon ratios are  
5 for different circumstances. And there is a  
6 statement that said this approach will be included  
7 in the Site Profile. So, I am assuming that that  
8 write-up is, in fact, the write-up we will probably  
9 see in the next Site Profile.

10 DR. HUGHES: Yes.

11 DR. NETON: I would suspect so, yes.

12 MR. STRENGE: Yes, what Matt just  
13 outlined is in the revised TBD.

14 DR. NETON: Okay.

15 CHAIRMAN ANDERSON: So, do we put this  
16 one in abeyance until it is final or is it closed?

17 MR. KATZ: Sounds like you want to look  
18 at that one.

19 CHAIRMAN ANDERSON: Yes, I think so.  
20 Sixteen is coworker model. Seventeen was  
21 previously closed.

22 MEMBER FIELD: Fifteen, I think is --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: Oh, 15, yes.

2 DR. HUGHES: I wasn't going to  
3 interrupt you.

4 CHAIRMAN ANDERSON: No, I'm sorry, 15.  
5 I was on the wrong page for abeyance here. Okay,  
6 15.

7 DR. HUGHES: This is regarding  
8 different photon energies regarding operations at  
9 NUMEC would indicate the need for possible  
10 adjustment factors for film badge dosimeter  
11 readings.

12 This was discussed last year and then  
13 it did remain open and stated that it needs to be  
14 revised for potential over- and under-responses.  
15 There was some guidance that we initially provided  
16 that was added to the TBD. That is really all we  
17 did. The guidance, except, we assigned doses a  
18 certain way, so less than 30 keV photons. And that  
19 is really it. So, any additional questions, we can  
20 answer.

21 CHAIRMAN ANDERSON: So, is there  
22 additional review going on?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. HUGHES: No, we reviewed what we  
2 have and then we cannot do any additional data  
3 capture. We haven't found any information.  
4 There was an attempt to come up with some adjustment  
5 factors by the NUMEC HP at the time. I think it  
6 was from 1965 thereabouts. But other than that,  
7 we really haven't found any other information.

8 MR. ZLOTNICKI: This is Joe again. I  
9 think that this is talking about we don't know the  
10 format of the dosimeter, how thick the coating or  
11 covering layers were on the dosimeter.

12 In some places -- in some industrial  
13 settings, it was quite common to bag the dosimeter,  
14 even in some undetermined thickness of plastic to  
15 protect it from just the dirty industrial  
16 environment. That means we don't really know how  
17 much of the very low-energy photon or beta was being  
18 absorbed.

19 My suggestion would be if you don't  
20 know, you could bound that by looking at all the  
21 sites of the worst case and just say assume it was  
22 that if you don't know and then apply the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 appropriate adjustment factor for that thickness  
2 of whatever type of plastic would likely --  
3 probably vinyl in those days -- would have been used  
4 to coat the badge.

5 MR. SMITH: This is Matt Smith with  
6 ORAU Team. To address this, what we suggested and  
7 what is in the revised TBD, again for a further look  
8 down the road, is going with an approach that we  
9 used with Savannah River, which is to go ahead and  
10 use the open window value to determine the less than  
11 30 keV photons.

12 I can't speak right now today to whether  
13 or not bagging was done at this facility. I am  
14 certainly not aware of that process being done.  
15 Certainly in the film era, we see or know that we  
16 have got a certain amount of over-response going  
17 on in that, oh, I will say 70 to 100 keV range. I  
18 realize we have got some low-energy X-rays and a  
19 60 keV for americium. But there is also  
20 over-response going on that is working, in a sense,  
21 in the favor of what we are trying to do.

22 We felt this was a pretty favorable

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 approach to take to account for any low-energy  
2 photon dose that wasn't being captured by what we  
3 usually would call the deep dose component.

4 MR. ZLOTNICKI: That's what is written  
5 up in the revised TBD, right?

6 MR. SMITH: Yes, that is the approach.  
7 It is discussed in the paper put together by Dennis  
8 from 2015. That is the one that is dated May 14,  
9 2015. Now, that is another change bound for the  
10 revised TBD.

11 MR. ZLOTNICKI: This is Joe again. I  
12 think that, again, we should probably just look at  
13 what is actually stated. It sounds reasonable for  
14 photon. I don't remember off the top of my head  
15 if there was any beta issue or not at this site.

16 MR. SMITH: You know in this site, I  
17 believe we are looking at protactinium electrons.  
18 So, we are talking about pretty high-energy  
19 electrons, source-term as well. I believe using  
20 that open window would give me a pretty good read  
21 on what is there.

22 MR. ZLOTNICKI: If it wasn't covered

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 up. One of the questions was what adjustment  
2 factor should be used compared with the calibration  
3 of the device. And obviously, we don't know and,  
4 depending on which era we are talking about, we  
5 don't know what the open window covering was and  
6 whether or not there should be a correction with  
7 protactinium. It depends on whether they  
8 calibrated with the depleted uranium or whatever.

9 So, certainly, if it was pouched, there  
10 would need to be a correction factor, which, by the  
11 sound of it, we don't have good records as to what  
12 would have been done.

13 So, again, the only thing one can do is  
14 assume there was a pouch, assume it wasn't  
15 corrected for and just apply whatever that  
16 correction factor would be for the beta of  
17 interest.

18 CHAIRMAN ANDERSON: Other comments?

19 MEMBER KOTELCHUCK: No.

20 MR. KATZ: Well, does NIOSH agree with  
21 that approach?

22 CHAIRMAN ANDERSON: Could you just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 summarize it again, quick?

2 MR. SMITH: From ORAU's side?

3 CHAIRMAN ANDERSON: Yes.

4 MR. SMITH: From ORAU's side, again, we  
5 are taking an approach and I'm sorry I was trying  
6 to get it pulled up but it is online in one of the  
7 Savannah River Technical Information Bulletins.  
8 It is either number six or number seven, I believe.  
9 And I will try to grab that, while we have  
10 discussions on other issues.

11 But the approach that we are  
12 recommending here is similar or the same as what  
13 we did with Savannah River during the film era,  
14 which is to go ahead and use the open window value  
15 determination of low-energy photons and electrons.  
16 In other words, all non-penetrating radiation.

17 We believe it is likely favorable on the  
18 low-energy photon front because we know there is  
19 some film over-response in the 100 keV range. And  
20 we also feel it is likely accurate for the electron  
21 source term because we are dealing with relatively  
22 high energy electron sources. I think we are in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the 2 MeV range.

2 Do we have data on what the thicknesses  
3 are for the open window and/or was there bagging?  
4 I don't have any knowledge on bagging and Dennis  
5 you can weigh in if you have seen anything mentioned  
6 in the material you have read through.

7 MR. STRENGE: No, I haven't.

8 MR. SMITH: Same material. Okay.  
9 And on the dosimeter design, I have not seen a  
10 schematic on these. So, I'm not really in a good  
11 position to weigh in right now on what the covered  
12 thicknesses were. Certainly, I wouldn't think  
13 they would be any more different than what we were  
14 seeing with Savannah River. And that is all I have  
15 got.

16 DR. NETON: I mean that sounds  
17 reasonable to me. I don't know if SC&A agrees with  
18 that approach or not.

19 MR. STIVER: What do you think, Joe?

20 MR. ZLOTNICKI: Unfortunately, I  
21 haven't looked at that Savannah River document or  
22 if I have, it was five years ago or something. So,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I would need to look at that. I mean it sounds  
2 reasonable but I haven't looked at the approach so  
3 I can't give a definitive answer.

4 DR. NETON: I think we need to hold that  
5 one in progress.

6 CHAIRMAN ANDERSON: Yes, let's keep  
7 that in progress, abeyance.

8 DR. NETON: And maybe Matt can identify  
9 the section of the Savannah River document that we  
10 could look at.

11 CHAIRMAN ANDERSON: Yes, it seems  
12 probably the best we could do but let's -- let's  
13 just confirm that before we close it out.

14 MR. SMITH: Yes, I will get you the TIB  
15 number here shortly.

16 CHAIRMAN ANDERSON: Okay.

17 DR. NETON: Okay, great.

18 CHAIRMAN ANDERSON: So, sixteen.

19 DR. HUGHES: Again, that is the  
20 coworker model.

21 CHAIRMAN ANDERSON: That is the  
22 coworker.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. HUGHES: Everything else is  
2 residual period.

3 CHAIRMAN ANDERSON: Yes.

4 DR. NETON: Eighteen -- seventeen is  
5 closed.

6 MR. KATZ: Seventeen was the same,  
7 coworker?

8 DR. NETON: No, no, 17 was --

9 CHAIRMAN ANDERSON: No, 17 was closed.

10 DR. NETON: And 18 is that GA/BZ thing  
11 which was already discussed.

12 DR. HUGHES: That has been revised.

13 CHAIRMAN ANDERSON: Yes, 19 is closed,  
14 20 is closed, 21 is closed. And then there is all  
15 of these dose reconstruction, which we have  
16 discussed coworker models.

17 MR. STIVER: Maybe summarize what is on  
18 the agenda for going forward, then?

19 CHAIRMAN ANDERSON: Yes. Go ahead,  
20 summarize it.

21 MR. KATZ: What's on the agenda for  
22 what?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Going forward -- path  
2 forward. Kind of summarize it, since you have got  
3 it all.

4 CHAIRMAN ANDERSON: We have 12 we put  
5 in abeyance. We have here 14 and --

6 MR. KATZ: But 12 is really in  
7 progress, I think. We said in abeyance but it is  
8 really in progress.

9 CHAIRMAN ANDERSON: Okay, that's fine.

10 MR. KATZ: Fourteen was in abeyance.

11 CHAIRMAN ANDERSON: Fifteen we had in  
12 progress. And I think everything else is closed.

13 MR. STIVER: Six was in abeyance, too.

14 CHAIRMAN ANDERSON: Was it?

15 MR. KATZ: Finding 6 is in abeyance,  
16 yes.

17 CHAIRMAN ANDERSON: Yes.

18 MR. KATZ: And I don't think anything  
19 sits with SC&A.

20 CHAIRMAN ANDERSON: No.

21 MR. KATZ: If it is in abeyance, it all  
22 sits with NIOSH. And then in progress is with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 NIOSH, too.

2 DR. NETON: Well, I think the last one  
3 we just talked about, the photon open window issue,  
4 SC&A may want to look at that, too.

5 MR. KATZ: Right.

6 CHAIRMAN ANDERSON: Yes, look at  
7 Savannah River.

8 DR. NETON: Look at Savannah River,  
9 too, and talk about the open window approach.

10 (Simultaneous speaking.)

11 MR. KATZ: Right, Matt was going to  
12 send the reference.

13 MR. SMITH: This is Matt again with  
14 ORAU Team. It is OCAS-TIB number 6. This is from  
15 2007. I will put a caveat on it. As everyone  
16 knows, Savannah River was one of the first sites  
17 out of the gate and a lot of things changed, as we  
18 rolled along. You will see the general method is  
19 described just previously in section 3 of that TIB.

20 But just be aware that there are some  
21 Savannah River-specific correction factors there  
22 that are mentioned that would not necessarily apply

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to NUMEC. Really, it is a correction factor  
2 relating to the HP(10) quantity. And Joe, he will  
3 know what is going on there.

4 But there will likely be more questions  
5 but the general method is given there in Section  
6 3.

7 CHAIRMAN ANDERSON: Okay, very good.  
8 Okay and then we have the coworker I think we've  
9 --

10 MR. KATZ: Killed that.

11 CHAIRMAN ANDERSON: -- discussed and  
12 --

13 MR. KATZ: Beat it to death.

14 CHAIRMAN ANDERSON: -- hopefully, we  
15 are resolved enough on it.

16 DR. NETON: I think Dr. Kotelchuck is  
17 still wanted to --

18 MEMBER KOTELCHUCK: Yes, I will check  
19 it out. I will check it out further and try to  
20 understand a little bit more.

21 CHAIRMAN ANDERSON: Yes, the historic  
22 perspective on it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: So, do you want to leave all  
2 those in progress then, or you -- as a Work Group,  
3 or are they closed?

4 CHAIRMAN ANDERSON: My sense would be  
5 to be closed. I mean I am getting up to speed for  
6 Dave on what is in the past and the issue of what  
7 do you do with individuals who do not -- who would  
8 be an SEC, other than --

9 MR. STIVER: Well, that is more of a  
10 generalized --

11 CHAIRMAN ANDERSON: That is a  
12 generalized discussion.

13 MEMBER KOTELCHUCK: You know there is  
14 no reason -- we don't have to be unanimous. If the  
15 other folks want to close it and I will just  
16 abstain, that's fine, for the moment.

17 That is okay and I will learn more and  
18 if it ever comes back before the Board or before  
19 this committee, I will be better prepared to move  
20 ahead.

21 MR. KATZ: Okay.

22 CHAIRMAN ANDERSON: That's fine. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 just want to be sure you could get the information.

2 MEMBER KOTELCHUCK: You are not doing  
3 it over my objection. Put it that way.

4 CHAIRMAN ANDERSON: Yes, good. Thank  
5 you.

6 MR. KATZ: Okay, so anyway, we have a  
7 few items from NUMEC that will be on the agenda next  
8 time we meet, next time the Work Group meets, but  
9 you guys took care of a lot of work today.

10 DR. NETON: Yes, it was a very good  
11 discussion.

12 MR. STIVER: We made a lot of progress  
13 today.

14 CHAIRMAN ANDERSON: Any other issues,  
15 people have --

16 MEMBER KOTELCHUCK: No.

17 CHAIRMAN ANDERSON: -- before we break  
18 for lunch at least?

19 **Adjourn**

20 MR. KATZ: Yes, we are adjourning.

21 CHAIRMAN ANDERSON: We are adjourning.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7

MR. KATZ: Thank you, everybody.

(Whereupon, the above-entitled matter  
went off the record at 1:02 p.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701