

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
 CENTERS FOR DISEASE CONTROL
 NATIONAL INSTITUTE FOR OCCUPATIONAL
 SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
 WORKER HEALTH

+ + + + +

DOSE RECONSTRUCTION SUBCOMMITTEE

+ + + + +

THURSDAY
 SEPTEMBER 28, 2017

+ + + + +

The Subcommittee convened via teleconference at 10:30 a.m. Eastern Time, David Kotelchuck, Chair, presiding.

PRESENT:

DAVID KOTELCHUCK, Chair
 JOSIE BEACH, Member
 BRADLEY P. CLAWSON, Member
 WANDA I. MUNN, Member
 JOHN W. POSTON, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
DAVE ALLEN, DCAS
BOB ANIGSTEIN, SC&A
BOB BARTON, SC&A
KATHY BEHLING, SC&A
CATHY BOOTH, ORAU Team
GRADY CALHOUN, DCAS
ROSE GOGLIOTTI, SC&A
JENNY LIN, HHS
JOHN MAURO, SC&A
BETH ROLFES, DCAS
MUTTY SHARFI, ORAU Team
SCOTT SIEBERT, ORAU Team
DAN SMITH, ORAU Team
MATT SMITH, ORAU Team
JOHN STIVER, SC&A
DENNIS STRENGE, ORAU Team

Contents

Welcome and Roll Call	4
Review of PER 61 (Bridgeport Brass)	6
Review Set 23 Blind Dose Reconstruction Cases	75
Review outstanding Type 2 cases from Sets	132
14-18 DOE Sites matrix	132
Review Type 1 cases from Sets 19-21	145
Review Type 2 Cases	179
Adjourn.....	199

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

P-R-O-C-E-E-D-I-N-G-S

10:39 a.m.

Welcome and Roll Call

MR. KATZ: Welcome, everyone. This is the Advisory Board on Radiation Worker Health. This is the Subcommittee on Dose Reconstruction Review teleconference.

We have an agenda today and that's posted on the NIOSH website under the program, the Board schedule of meetings, today's date, and you can see the agenda if you need to although it's very simple and probably not that useful to you.

And there's one document that's also posted for this meeting. It is a review of what's called PER, P-E-R, Program Evaluation Report 61 which deals with the site Bridgeport Brass.

So if you're interested in that, that is posted on the NIOSH website. The rest of the materials are full of private information so they're not shared on the website.

So moving on from there, roll call. What I'm going to do is address conflicts of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interest for the Board members. That way they
2 don't need to do that as we take roll.

3 (Roll Call)

4 MR. KATZ: Okay, we have a lot of
5 people on the line so please everyone remember to
6 mute your phone.

7 And for those of you that aren't
8 familiar if you don't have a mute button press *
9 and then 6. That'll mute your phone for this
10 line. And then press * and 6 to come off of mute.

11 And please no one put this call on
12 hold at any point because that causes problems
13 for everyone.

14 And with that, Dave, it's your
15 meeting.

16 CHAIR KOTELCHUCK: Okay. Hello folks.
17 Good we got started. Sorry we're a bit late.

18 And the first item on the agenda is
19 the review of the Program Evaluation Report 61
20 from Bridgeport Brass.

21 And who will lead off on that for the
22 discussion?

23 DR. MAURO: Dr. Kotelchuck, this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 John Mauro. I was the lead on that. I'd be glad
2 to take it from here.

3 CHAIR KOTELCHUCK: Great. Thank you.

4 **Review of PER 61 (Bridgeport Brass)**

5 DR. MAURO: Okay. Good morning,
6 everyone.

7 You may be wondering why we're doing
8 Bridgeport Brass as part of the DR Subcommittee,
9 PER, and there's a little history here. I'll
10 make it brief.

11 Mark Griffon many, many years ago when
12 he was running the DR Work Group, one of the
13 things that was going on at the time is I was
14 very much involved in doing dose reconstructions
15 for AWE facilities.

16 And one of the first AWE facilities I
17 reviewed was -- of cases was Bridgeport Brass.

18 And during one of our issues
19 resolution meetings Mark said you know, we really
20 haven't done any reviews of the Site Profiles.
21 You know, we're doing cases, but we really
22 haven't --- and the reason was by and large a
23 case review --- since there was in those days

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there was very little data for a particular case
2 at a particular site.

3 So in effect the case review actually
4 was -- had to be also a Site Profile review.

5 But Mark indicated that you know, why
6 don't you do a focused review as an attachment to
7 a DR review.

8 So in a funny way what happened was we
9 ended up doing a Site Profile review in
10 conjunction with a DR review. So it connected
11 up.

12 And I believe that's the reason why
13 we're doing this PER. Because normally my
14 experience is Wanda runs it under Procedures,
15 these PERs, but this one looks like an exception.

16 Wanda, do I have that story correct?
17 Is that your recollection also?

18 MEMBER MUNN: Pretty much. It was so
19 early in the game. We just simply did not have
20 the reams of data that we now have.

21 And I think it bothered Mark a little
22 bit. But yes, I cannot -- but thank you for
23 reviewing that because when I saw this on our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 list I was wondering myself.

2 I was trying to --- and frankly I had
3 not remembered until you refreshed what remains
4 of my memory. I think that that's it.

5 DR. MAURO: Okay. Well, we'll
6 continue now. So this PER review --

7 CHAIR KOTELCHUCK: By the way for me,
8 Dave Kotelchuck, this is the first PER we've
9 reviewed since I've been on the Subcommittee.

10 MEMBER MUNN: Yes, I believe it is.
11 But we have such fun with it in Procedures we
12 just try to keep all the fun away from you.

13 MR. KATZ: Just for context, the PERs
14 get reviewed whenever there's a Work Group that
15 deals with the site the PER goes to that Work
16 Group and not to Procedures.

17 And in this case dose reconstruction,
18 as John just said, served as the Work Group for
19 the Site Profile review. But anyway, carry on,
20 John.

21 DR. MAURO: Okay, very good.

22 MEMBER MUNN: An unusual history.

23 DR. MAURO: Yes. Bridgeport Brass

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actually is two facilities. The Adrian Lab in
2 Adrian, Michigan and the Havens Plant in
3 Bridgeport, Connecticut.

4 They actually began under contract in
5 the Atomic Energy Commission around 1950-52 time
6 frame.

7 And their primary mission was to do
8 some machining work on uranium and thorium.
9 There was about -- when you look at the records
10 there was about, oh, the throughput of uranium
11 was not -- relative to thorium, the thorium was
12 maybe 5 percent of the throughput of uranium.
13 And you want to keep that in mind because that's
14 useful later when we talk about how they do the
15 doses from thorium. Because thorium is not
16 actually monitored while uranium was extensively
17 monitored for the workers.

18 The facilities themselves did similar
19 work, both Havens and Adrian Plant. And where
20 they worked with uranium metal and uranium oxide.

21 Did not do any conversions, so there
22 was no conversions, it was just metal uranium
23 handling operations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And it was natural uranium, 2 percent
2 enriched uranium. And I raise that because that
3 brings in issues related to possible neutronic
4 exposures from spontaneous fission that we'll
5 talk about.

6 They also worked with thorium. And in
7 addition they had recycled uranium. So that sort
8 of sets the table for the types of
9 external/internal exposures that the Site Profile
10 had to deal with.

11 The Havens Laboratory actually began
12 work in 1952 while the Adrian Plant started in
13 1954, the AWE activities.

14 Both ended their AWE activities in
15 1962 when there was a D&D and survey. So that's
16 going to be very helpful to us later when we talk
17 about the residual period and how that's dealt
18 with.

19 The types of operations was extrusion
20 and lathe operations of the uranium and the
21 thorium.

22 The Adrian Plant had a couple of other
23 things going for it that we'll talk about a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bit.

2 One it had a cobalt-60 source, and
3 two, it did X-ray crystallography. And we'll
4 talk a little bit about that also.

5 Now, historically the way this all
6 worked out was SC&A did originally review as part
7 of its focused review I mentioned earlier Rev 0
8 of the TBD-0030 of the Site Profile.

9 But over the years it went through a
10 number of revisions and in 2013 Revision 2 of the
11 TBD was issued and that's what triggered the PER.
12 So in effect what we're going to do here is we're
13 going to -- and we never reviewed. We have zero.
14 We never actually formally reviewed the Rev 2.
15 So that means that this PER review is a
16 combination PER review and Site Profile review
17 for Rev 2. So that sort of sets the table for my
18 comments.

19 I'm going to start with the internal
20 dose and what was done originally in Rev 0, what
21 some of our concerns were at that time, and the
22 degree to which those concerns have been resolved
23 in the latest Rev 2.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It turns out for internal dose there's
2 lots of bioassay data. So this is one of those
3 unusual AWE sites where we don't have to rely on
4 TBD-6000, but we can actually take advantage of
5 the large amount of bioassay data which consisted
6 of urine samples where milligrams per liter
7 measurements were made.

8 So therefore there was a need to
9 convert that to picocuries per liter or
10 picocuries per day intake.

11 Now, the way in which NIOSH did that
12 originally was it said well, we know they handled
13 some 2 percent enriched uranium so we're going to
14 be claimant-favorable and treat the milligrams
15 per liter as if it was enriched uranium which
16 basically increases the intake and the dose
17 associated with the intake of uranium by -- well,
18 the difference in the specific activity is the 2
19 percent enriched is 1,616 picocuries per
20 milligram, while natural uranium is 683
21 picocuries per milligram.

22 So as a result NIOSH was claimant-
23 favorable in assuming it was all 2 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 enriched which basically increases the intake and
2 associated doses by about a factor of 2.5, a ratio
3 of 1,600 to 683.

4 And what they did -- now they had all
5 this data on bioassay and they pooled the data.
6 And what they did was they built a coworker model
7 to reconstruct the doses where they used the
8 upper 95th percentile of the data as the
9 coworker.

10 So they made two very conservative
11 assumptions. One is that all the intake was 2
12 percent enriched. And out of that they used the
13 upper 95th percentile of the pooled data that was
14 collected.

15 Now all of which is extremely
16 claimant-favorable.

17 The one concern at the time we did our
18 Rev 0 review was that most of the data that was
19 bioassay data was really post 1960, 1960, in that
20 time frame.

21 And much lesser amounts in the earlier
22 years in the nineteen fifties.

23 But we discussed all that and it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 agreed that very fact that NIOSH employed the 2
2 percent enriched uranium and used the 95th
3 percentile I think more than accounts for any
4 possible concerns that the data set was heavily
5 oriented more to the later years of AWE
6 operation.

7 So that issue was resolved. And so
8 therefore from an internal exposure point of view
9 for uranium the approach taken is -- SC&A
10 concluded everything is fine.

11 So from a review of the Site Profile
12 we believe that this approach is fine.

13 Now, the other issue that was on the
14 table is RU, recycled uranium. In the original
15 Rev 0 there wasn't -- recycled uranium was not
16 explicitly considered. But in the latest
17 revision it is and they use the default values
18 for recycled uranium that are in TBD-6000 which
19 has been reviewed and approved and accepted.

20 So therefore that issue has been
21 resolved in the latest TBD.

22 And finally, the question of thorium
23 comes up. You know, how do you deal with thorium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 if you don't have any bioassay data.

2 Well, what was done in Rev 2 and also
3 in the original one was to say well we know the
4 throughput in terms of mass, kilograms per year,
5 or tons per year, or whatever going through the
6 facilities, both facilities.

7 And it turns out -- we went into the
8 data and looked at it. And it turns out the mass
9 throughput, 5 percent was thorium.

10 So what NIOSH did is say okay, we're
11 going to assume the intake of thorium, because
12 they were doing basically the same kinds of
13 things, machining, handling the thorium as they
14 did with the uranium, except the amount was much
15 less.

16 So the assumption was made that the
17 intake of thorium was 10 percent of -- in terms
18 of activity now, it was converted to activity,
19 was -- well, the mass intake was 10 percent of
20 the uranium intake as opposed to 5 percent. So
21 there was a built in factor of 2 there because
22 the actual number was 5 percent but they assumed
23 10 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And of course they needed to convert
2 it to picocuries as opposed to mass which they
3 did and they did it in a way that was claimant-
4 favorable. That is, the conversion, you could
5 have either assumed a slightly enriched uranium
6 or unenriched uranium.

7 It turns out when you make that
8 conversion -- it's a little bit of a brain teaser,
9 but it turns out that assuming that the uranium
10 is natural uranium it ends up with higher thorium
11 intakes, and we went through that and convinced
12 ourselves that that was reasonable.

13 So the bottom line with regard to
14 internal dose is our review of Rev 2, everything
15 from internal dose reconstruction, all bases are
16 covered and we find it's scientifically sound and
17 claimant-favorable.

18 I could stop at this point before we
19 move on to external dose, but maybe I could give
20 you folks a chance to -- any comments or thoughts
21 regarding that little summary.

22 I'd like to hear whether NIOSH agrees
23 that I correctly characterized it and whether or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not there are any questions.

2 CHAIR KOTELCHUCK: Okay, first NIOSH
3 folks is that -- did he properly characterize it?

4 MR. ALLEN: Yes, this is Dave Allen.
5 I believe it has been.

6 CHAIR KOTELCHUCK: Okay. And are
7 there any comments, and in particular the
8 decision -- I'm trying to see where you said this
9 is the 2 percent enriched plus the 95th
10 percentile of the data was a judgment and
11 appropriate for the committee to discuss.

12 Does anybody have comments about
13 whether that -- does anybody on the Subcommittee
14 have comments on that? They certainly left it
15 open for us to discuss and I guess that's on page
16 12. I have none.

17 MEMBER MUNN: This is Wanda. I have
18 always thought that the assumptions that were
19 made and the calculations that were made are
20 extremely claimant-favorable.

21 And I have seen no reason in this
22 report to change my personal position on that.

23 CHAIR KOTELCHUCK: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: It's certainly been well
2 thought through.

3 CHAIR KOTELCHUCK: Yes. Any further
4 comments? Josie?

5 MEMBER BEACH: Go ahead, Brad.

6 MEMBER CLAWSON: No, I was just going
7 to say I didn't have any problems with it.

8 MEMBER BEACH: Yes, and I didn't
9 either. I thought they did a good job with it.

10 MEMBER POSTON: This is John. I'm
11 okay with it.

12 CHAIR KOTELCHUCK: Good. And I also
13 am. So we're really in agreement with that
14 approach and that's important.

15 So any other comments about what we've
16 done so far? So, we should continue then.

17 DR. MAURO: Very good. I will move
18 onto the external dose protocol.

19 In our original review --- in the
20 original TBD Rev 0 we reviewed that and I'll give
21 you a little rundown of what we found and where
22 some of our concerns were. And then we'll talk
23 a little bit about Rev 2, the latest revision and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 how those concerns have been resolved and the
2 degree to which they were resolved though maybe
3 there may still be some concerns.

4 What was done is there was lots of
5 external dosimetry film badge data for both beta
6 and gamma.

7 And the turnaround was a two-week
8 period so they have all this two-week film badge
9 data for many workers.

10 And they pooled the data and they
11 plucked off the upper 95th percentile doses,
12 annual doses associated with the pooled data.

13 I have to say I'm not quite sure now
14 that we're talking about it whether what was done
15 is you take these hundreds or maybe more two
16 measurements expressed in millirem, open window
17 and closed window, and you have individual
18 numbers, maybe even hundreds of them.

19 The way I understood it is you ranked-
20 order those and you take the upper 95th
21 percentile value in millirem per two weeks and
22 use that as the annual dose.

23 That was my understanding at the time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the review. And I just put that on the table
2 as food for thought. If that was done that's
3 certainly way up there in terms of being
4 conservative. Or some other method used that may
5 have been closer, still conservative but perhaps
6 a little bit more realistic.

7 Think of it like this. You've got all
8 these two-week readings, right. And you list
9 them in order. You take the upper 95th percentile
10 which is going to be a big number, and then you
11 assume that everybody got that dose not only for
12 2 weeks but all 50 weeks in the work year.

13 So I'm not sure the degree of
14 conservatism, and I have to say I didn't go back
15 and do enough homework in preparing for this
16 meeting to go check exactly how the mechanics
17 worked on that.

18 If Dave or folks there at NIOSH have
19 some information. Or maybe not. We'll hear a
20 little bit more about that.

21 But either way the fundamental
22 approach was lots of data and claimant-favorable.
23 And the fact that they went with the 95th

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percentile sort of captures any limitations that
2 might have been in the data where there was a
3 degree of certainty that you certainly are being
4 bounding.

5 One of the issues though that's sort
6 of related to this question has to do with what's
7 called correlation and non-correlation.

8 At the time we reviewed this, think of
9 it like this. The reality is, the way you really
10 should look at it is people. And one worker may
11 have had a 26 two-week film badge change-outs.
12 And you add them all up for that worker and you
13 get an annual dose.

14 And then you get another worker, and
15 another worker, and another worker.

16 In my mind the way to look at it is
17 really the data, each two-week measurement is not
18 independent of every other two-week measurement
19 but they're correlated.

20 You have one worker who as an
21 individual worked in a higher level exposure area
22 and therefore he over the course of the year would
23 have successively higher doses than let's say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some other workers.

2 And so what we did is we said well --
3 and [identifying information redacted] did this,
4 it goes way back. And he looked at the question
5 of correlated versus uncorrelated.

6 Now in the writeup in Rev 0 it was
7 stated that no, they did it correctly, they
8 correlated the data. So we checked that. We
9 said okay, let's see.

10 Well, when we did it ourselves using
11 the original data we -- the results that came out
12 at the time was it looked like they didn't
13 correlate it, and as a result the 95th percentile
14 dose that had been assigned to all the workers
15 might be low by a factor of 2.

16 And that was a comment we had. This
17 applied to their protocol for doing the
18 exposures. And that was like one issue that we
19 raised at the time.

20 And the other issue we raised at the
21 time had to do with data exposures. And John
22 Poston may remember this. This goes way back to
23 maybe 2005 and I was up there one of the first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 times before the Board and I raised this issue
2 and John mentioned -- I said listen, we're a
3 little nervous about the beta dose. You're
4 reading a film badge and the beta dose could very
5 well be localized and the person could receive an
6 exposure sort of anywhere on his body, skin dose.

7 And the film badge that you're reading
8 may be indicative certainly of where the film
9 badge is sitting, but is it really indicative of
10 the rest of the body.

11 So that was an issue that we raised
12 and we discussed, and I remember John weighing in
13 on this during that meeting. Goes way back.

14 Anyway, that was our review of Rev 0
15 and some of the things that we expressed concern
16 with.

17 Subsequently Rev 2 was issued and
18 there was a substantial revision of the way in
19 which these doses were derived.

20 And it turns out the outcome was the
21 exposures were now approximately exactly the
22 values that we came up with originally when we
23 did our review very close to -- in a factor of 2

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that I mentioned earlier where we felt there
2 might be a problem there. Well, that factor of
3 2 went away and the numbers were right on.

4 So our takeaway is that the current
5 method in Rev 2 is scientifically sound and
6 claimant-favorable.

7 But we did have one observation
8 related to this and that is there was -- as part
9 of this external dose that was being derived from
10 photons I believe NIOSH defaulted to 30 to 250
11 keV.

12 And in looking over the exposure data
13 and where it's coming from, the radiation field
14 coming off let's say uranium metal, the field --
15 - the flux of photons is hardened because it's
16 coming through this dense uranium metal and it
17 hardens the spectrum. What comes out is
18 different than what actually is emitted by each
19 individual uranium atom.

20 And so one of the things we came away
21 with is that well, it's possible that a
22 substantial portion of the spectrum was above 250
23 keV. And this was one of our observations that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we certainly could talk about a little bit right
2 now.

3 DR. ANIGSTEIN: John, this is Bob.
4 I'd like to make a clarification.

5 There were two different quantities
6 involved. One is the photon fluence, number of
7 photons per square centimeter, and the other is
8 total energy deposited.

9 So NIOSH made the observation, made
10 the assumption that most of the photons by number
11 are in the 30 to 250 keV range.

12 But if you look at the photon energy
13 most of the photon energy is the above 250 keV.

14 So since it's a dose that's being
15 converted to organ dose, it's more claimant-
16 favorable to assume over 250 than 30 to 250 for
17 most organs.

18 There are a few exceptions where the
19 other conversion will be more claimant-favorable.

20 DR. MAURO: Bob, thank you so much for
21 helping me out there.

22 So that was an observation as part of
23 our PER review. And that was on the table as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether or not that's something that needs to be
2 dealt with or not. So we could put that on.

3 We could talk about that now or I
4 could finish up my external discussion. I don't
5 know if NIOSH has any thoughts regarding that
6 concern.

7 MR. ALLEN: This is Dave Allen. Yes,
8 I'd like to respond to that if I could right now.

9 DR. MAURO: Sure.

10 MR. ALLEN: The idea that the 30 to
11 250 keV photons are favorable doesn't have much
12 to do with the dose conversion factor, it has to
13 do with the radiation effectiveness factor in
14 IREP.

15 The radiation effectiveness factor
16 which is our distribution that replaces the
17 quality factor is exactly one for greater than
18 250 keV photons meaning there is no real
19 distribution, it's just multiplied by one.

20 The 30 to 250 has a distribution that
21 ranges -- the 95th percent confidence interval
22 ranges from 1.1 to 4.7. The median is 2.4. So
23 the median is actually 2.4 times -- the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Probability of Causation will end up being 2.4
2 times higher dose per dose if you assign it to
3 that middle category.

4 So in the end if you have picked a
5 same dose or same exposure you assign the DCF to
6 it and then put it in the IREP as these two
7 different things the 30 to 250 will be favorable.

8 DR. MAURO: You know what, I didn't
9 know that. And so you're effectively saying
10 notwithstanding the dose conversion factor
11 question that Bob just pointed out, you're saying
12 that's more than accounted for by the IREP
13 conversion where it goes to risk or Probability
14 of Causation.

15 MR. ALLEN: Right. It'll be at least
16 2.4 times higher PoC.

17 DR. MAURO: I have to say I don't
18 recall us -- have we talked about that before?
19 I'd like to think that I remember all these
20 things, but is this something that has come up
21 before or is this the first time we're talking
22 about this?

23 MR. ALLEN: It seems like it has but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I couldn't swear to it.

2 DR. MAURO: Yes, okay. Well, I mean,
3 I certainly accept that argument. In other words
4 I didn't think of it and I wasn't aware of it,
5 but I understand what you're saying.

6 Bob, what do you --

7 DR. ANIGSTEIN: No, no, I'm not in a
8 position to check it right this moment obviously
9 but assuming, accepting what Dave is saying
10 that's certainly acceptable.

11 We only talked about DRF with regard
12 to neutron exposures once in connection with GSI.
13 But yes, that's a very -- I accept that
14 explanation.

15 DR. MAURO: Thank you. Very helpful.
16 I don't know if the Board wants to weigh in at
17 all on that?

18 CHAIR KOTELCHUCK: Well, let's see.
19 Board folks?

20 MEMBER MUNN: Nope.

21 CHAIR KOTELCHUCK: Make sense folks?

22 MEMBER BEACH: Makes sense to me.

23 MEMBER CLAWSON: This is Brad. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been such a riveting conversation, I -- as long
2 as we feel good about it that's fine.

3 CHAIR KOTELCHUCK: Okay. That would
4 involve a slight revision of the PER, right? I
5 mean, it makes sense what you're saying. And so
6 that observation or this discussion needs to be
7 embodied in the text form in these pages.

8 MR. KATZ: Well, Dave, what they're
9 saying is the PER is fine as is.

10 What they're saying is the PER is fine
11 as is.

12 CHAIR KOTELCHUCK: Right, but
13 something has to embody the discussion that we
14 just had.

15 MR. KATZ: I think the transcript will
16 embody that. But I mean ---

17 CHAIR KOTELCHUCK: That's true, that's
18 true. We do have a written transcript.

19 MR. KATZ: The Board reviews --- so
20 observations, gets responses to them, and the
21 response will be put in with the observation, and
22 then everybody's fine with it, we'll indicate it
23 in the Board Review System.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: Okay, so that's how
2 we handle it administratively. All right, I just
3 want to make sure that it's -- okay. Good, good.

4 MS. BEHLING: This is Kathy Behling.
5 I could also add that we will introduce this into
6 the BRS and we will -- at least I planned on
7 incorporating this observation and also add a
8 comment as to why this observation is not
9 appropriate because of Dave Allen's explanation.
10 So that will be incorporated into the BRS.

11 CHAIR KOTELCHUCK: Excellent.
12 Excellent. Okay. Good, good. So we're in
13 agreement and we have --- administratively it's
14 handled properly.

15 Good, so I think we can go on.

16 DR. MAURO: Okay, I'll pick it up.
17 The next external dose issue point, it has to do
18 with X-ray crystallography. This was going on at
19 the Havens Lab.

20 And interestingly enough this was a
21 topic of considerable discussion with Bob
22 Anigstein on Carborundum relatively recently.

23 And the only commentary we have here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is in the Rev 2 of the TBD that's the basis for
2 the PER I believe that the assumption was made
3 that X-ray crystallography delivered -- you may
4 want to help me out a little bit on this, Dave -
5 - an assigned dose.

6 The estimate is that we're talking
7 about doses that are less than 2 millirem -- I'm
8 sorry, 10 millirem per every two-week period
9 would be the kinds of doses that would be
10 experienced and that in theory all that's covered
11 because there was the external dosimetry TLDs or
12 film badges.

13 And we have data, and they were from
14 the upper 95th percentile. So in theory the
15 actual measurements accounted for that.

16 Now, the only thing I could bring up
17 that might still be something that's worth
18 discussing is a matter that Bob Anigstein brought
19 up on Carborundum regarding X-ray crystallography
20 and the nature of its localized dose.

21 That is, the film badge readings
22 certainly would be indicative of exposures.
23 Perhaps we'd say generally to the whole body.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But it may not be indicative of some
2 localized doses that might be associated uniquely
3 with X-ray crystallography.

4 Bob, did I -- is that a fair
5 characterization of the special challenges
6 associated with reconstructing X-ray
7 crystallography dose?

8 DR. ANIGSTEIN: Well, the problem is
9 it's very difficult to do because unless you have
10 local data like for Carborundum we happened to
11 find --- I happened to uncover a worker who had
12 actually done the X-ray crystallography. We
13 interviewed him and then ORAU Team interviewed
14 him. And we got a lot of detailed information
15 for that particular apparatus, for that
16 particular setup.

17 So we were able to -- and NIOSH came
18 up with a methodology of assigning doses which
19 has been accepted with some modification.
20 Modified exposure time and also modified the
21 energy.

22 However, here there's no information.
23 And so each site is different. And it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 generally, particularly in those days it was
2 generally recognized as a hazard.

3 What was then the Bureau of Electronic
4 Products I believe, handled X-rays in the
5 sixties. And they had a conference in
6 Philadelphia, a day-long symposium addressing the
7 hazards.

8 And it was generally recognized that
9 the equipment was --- had potential hazards. The
10 safety devices had not yet -- the ones that were
11 just being built had interlock and safety
12 devices, but the earlier ones didn't.

13 And there were cases of severe burns
14 and I think maybe even finger amputations as a
15 result of those exposures.

16 So we don't really have an answer of
17 how to handle it.

18 DR. MAURO: You know, I only bring it
19 up because we did look at it at Carborundum. As
20 Bob just summarized it was an interesting and
21 unique circumstance.

22 And I think I just wanted to bring it
23 up to the attention of the Work Group and NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that this work has been done on Carborundum.

2 The degree to which it needs to be
3 addressed explicitly as a special circumstance
4 here at Bridgeport Brass I'm not sure. But I
5 just wanted to alert everyone to that issue. I
6 think we could leave it at that.

7 CHAIR KOTELCHUCK: Could I ask -- it's
8 Dave. Is there any way that we can identify the
9 workers or the department where this was done and
10 exclude the other persons?

11 I mean, it was presumably done by a
12 small number of people, the X-ray
13 crystallography. It was I believe in the early
14 years at that time of work.

15 MR. ALLEN: Were you asking me? This
16 is Dave Allen.

17 CHAIR KOTELCHUCK: I'm not quite sure
18 who I'm asking, so anybody who responds is most
19 welcome.

20 MR. ALLEN: Well, this is Dave and I'd
21 just have to apologize. I didn't look very
22 closely at this issue. Somehow I essentially
23 missed this issue when I was reading through the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 report as a matter of fact. So I don't really
2 have any kind of response right now for this.

3 CHAIR KOTELCHUCK: Yes. When I read
4 through this and reviewed it it did seem to me
5 that that was essentially an observation even
6 though it was just written in the text as another
7 line of text.

8 But they should attempt to identify -
9 - NIOSH should attempt to identify former workers
10 which admittedly is going to be very difficult.
11 We're talking about something that happened so
12 many years ago.

13 Whether those workers are alive, or
14 identifiable.

15 I'm not quite sure what to do with it
16 either.

17 DR. ANIGSTEIN: If I could -- this is
18 Bob Anigstein. I mean, I can think of several
19 circumstances. I don't know if it's my place to
20 make a suggestion, part of the Work Group.

21 One is if the case is for -- first of
22 all, it's only significant to skin cancers. Skin
23 dose is about the only thing you get very much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of.

2 So if there is a skin cancer, and if
3 the site of the cancer makes it plausible that he
4 could have been exposed to an XRD on the hands,
5 on the front of the body.

6 And then finally, if the worker is
7 still alive and can be -- is in a position to be
8 interviewed then he could certainly be asked.

9 But if the worker is deceased and
10 we're talking to survivors they may not know 30,
11 40 years ago what did my father do, what did my
12 grandfather do. I know he worked in that place
13 but it would be very difficult to say.

14 So there may -- NIOSH could take a
15 position that in plausible cases where it could
16 have been due to X-ray exposure to the skin to
17 grant -- there may not be very many, to grant
18 those cases. This is just sort of an idea.

19 CHAIR KOTELCHUCK: Yes. Well, the
20 fact that you would say that from the X-ray
21 crystallography it would really only be skin
22 cancers that we would be dealing with.

23 DR. MAURO: And probably extremities.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Hands also I believe.

2 CHAIR KOTELCHUCK: Yes.

3 DR. MAURO: It's a very focused issue.

4 CHAIR KOTELCHUCK: Right.

5 DR. MAURO: And of course then on that
6 basis I know, Bob, is there a way to say okay,
7 when reconstructing the doses to the hands on a
8 person who may have had skin cancer on their
9 forearms or their hands that you could assign
10 some X-ray crystallography dose? Or is that --

11 DR. ANIGSTEIN: Well, if there
12 happened to be a shuttle left open by mistake he
13 could be getting a direct beam. I can't come up
14 with a number but it would be significant.

15 I mean, it has been enough to cause
16 non-stochastic effect, deterministic effects. It
17 has been enough to cause severe burns.

18 We're talking about -- John Mauro
19 would probably have a better sense of it than I
20 do, we're talking in the hundreds of rads.

21 DR. MAURO: Sure. And that would be
22 probably something that would go into a medical
23 record.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I think we're chipping away at this
2 thing a little bit and we're making progress.

3 DR. ANIGSTEIN: I'm saying if it's
4 that bad. But others could have smaller doses
5 that don't have any visible symptoms, any
6 deterministic symptoms, and yet have a cancer
7 causation.

8 So the doses could be anything from,
9 I'll just pick a number, anything from zero to
10 100 rads.

11 CHAIR KOTELCHUCK: Dave, I'm concerned
12 that this is a very difficult assignment to task
13 NIOSH to do.

14 MEMBER BEACH: This is Josie.

15 CHAIR KOTELCHUCK: Sure.

16 MEMBER BEACH: Wouldn't it be as
17 simple as just pulling skin cancers and seeing
18 what was there and going from there?

19 CHAIR KOTELCHUCK: Well, I would think
20 that -- and skin cancers on the extremities if we
21 can identify that would give us perhaps the
22 population that might have been affected by this.
23 I'm not sure what we could do afterward.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I would certainly be interested in
2 folks checking on that. That could be done fairly
3 easily, right, folks? NIOSH folks?

4 MR. ALLEN: This is Dave Allen. Yes,
5 I think I can come up with that, but I'm not sure
6 what that's going to do for us.

7 CHAIR KOTELCHUCK: Right, I agree.

8 MR. ALLEN: Even if there is no cases
9 but that doesn't mean it'll never be.

10 CHAIR KOTELCHUCK: That's true. Well,
11 if they're not doing the crystallography anymore.
12 That's all finished, right?

13 So if people were going to get cancer,
14 I mean whatever the period is that it would take
15 for the cancer to develop that's long gone.

16 DR. MAURO: Yes, the period of AWE
17 operations ended in '62.

18 CHAIR KOTELCHUCK: Right. It would be
19 interesting to find out but I would agree with
20 you that I don't see what we could then do with
21 it other than to say it could not affect -- or
22 this would be the population that might be
23 affected.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But going forward to new folks coming
2 in there should be, well, we can certainly take
3 a look at people who are filing claims and see
4 whether the claims cover a period before '62. I
5 mean there may be some older employees who will
6 do something.

7 But then again I don't know how to do
8 it. It just defines for me the upper limits of
9 how far -- what group could be affected. And
10 hopefully that would be a small group.

11 Nevertheless could we handle claims
12 from that group. And I don't see how.

13 Other folks, anybody have further
14 thoughts? I mean, we are understanding a little
15 bit more about what we could do to move in, but
16 I'm not sure if we could end up with a
17 scientifically based dose reconstruction for this
18 concern.

19 MR. CALHOUN: This is Grady and I kind
20 of agree with you, Dave. I don't know what the
21 end game here is with this.

22 It's a really big what if something
23 might have sort of happened. I don't know. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mean, we can take a look but unless something
2 drastic comes up I don't know what we get from
3 even coming up with a group of people that may
4 have been involved with this.

5 I don't quite understand what the goal
6 is.

7 CHAIR KOTELCHUCK: Well, it would be
8 -- however, we could get the population of --
9 that were exposed among people who have already
10 been claimants.

11 It is possible that that group will be
12 zero. I think there's a possibility.

13 MR. CALHOUN: But then what do we do?

14 CHAIR KOTELCHUCK: Going forward we
15 don't -- I don't see any way to handle this.

16 MR. CALHOUN: Exactly.

17 CHAIR KOTELCHUCK: But on the other
18 hand I would be -- frankly, it's a fair -- not
19 frankly, I'm not telling you, but I think it's a
20 fairly small task to find out about skin cancers
21 that occurred on the extremities.

22 And it would make me more secure to
23 know that it can't be very large.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I'm not able to see how we can
2 deal with it. Again, let me open up the
3 discussion and other -- either to Subcommittee
4 members or staff persons on the phone.

5 MEMBER MUNN: This is Wanda. This
6 question has been discussed as you might guess,
7 on more than one occasion.

8 And the comment that was made earlier
9 seems to be rock solid to me. That is to say if
10 there had been any kind of off-normal incidents
11 where there was one or more individuals who might
12 have been exposed, over exposed to any
13 significant extent it would be most assuredly in
14 their record, if not their work record certainly
15 their medical record.

16 Even -- let's not assume that everyone
17 who was working in 1950 was an idiot, we did know
18 a little bit about the effects of X-rays of all
19 types. Crystallography was such a tiny, tiny
20 subset. And the number of people who even knew
21 how to operate the machinery was such a tiny,
22 tiny subset of the individuals who had been
23 involved and the area where they were working

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would have been quite small.

2 And certainly we certainly knew, a
3 great deal was known about the effects of over
4 exposure.

5 So, yes, I can't help but believe if
6 there had been any significant amount of over
7 exposure to any individual or any group of
8 individuals there would be some record that would
9 have been obvious given the amount of dose
10 records and the amount of scrutiny that this
11 particular groups received.

12 I'm comfortable with the scrutiny it
13 has received which has been significant and I
14 don't feel that there's any reason to pursue it
15 further personally.

16 CHAIR KOTELCHUCK: Okay.

17 MEMBER CLAWSON: Dave, this is Brad.
18 I like hearing this because this all comes back
19 to one thing. How good is the data, how good are
20 the records that we actually get. Because there
21 could have been a lot of records in there, there
22 could have been exposures put in there, but there
23 could be blanks throughout there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It's what we have found in every site
2 that we have visited. I don't think that we can
3 just cast off that way. Myself, I still believe
4 that -- I agree wholeheartedly that this is a
5 small group, but we're also tasked that we
6 evaluate this as best that we can.

7 If there's nothing like Grady said, if
8 there's nothing that we can really do with this.

9 I've looked at other sites when we're
10 coming in here and they can take out a small
11 section and regulate them out from all of the
12 other people and tell us that only these people
13 would have got this kind of X-ray, or this kind
14 of exposure because it was such a small, minute
15 people that would have come in and done this kind
16 of stuff.

17 Now it's exactly opposite and now
18 they're saying well, we can't.

19 So my thing is basically what it comes
20 down to with me, I do not know what we're going
21 to come out with in the end.

22 But we've also got the obligation to
23 be able to look at it. If there's nothing that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we can do with it and that we can't really come
2 to grips with where it's at that's all you can
3 do.

4 But I just don't want to also cast it
5 off either.

6 CHAIR KOTELCHUCK: Okay. It comes
7 down to if we ask NIOSH to look further at the
8 cancers it will be informative but not really
9 instructive as to how to move further.

10 But certainly we would not be tasking
11 NIOSH with a major task to take a look at the
12 folks who have submitted claims.

13 MEMBER CLAWSON: Let me ask this part
14 of it because Grady and John, this radiography,
15 how much was really done at the site with it?

16 Was this just the lab part of it that
17 was using this, or who -- do we even know which
18 section was using this?

19 DR. MAURO: I think it was the Adrian
20 plant. I'm looking at my notes right now to see
21 if it was excluded to only one of the plants.

22 Right now I'm looking at my notes and
23 I believe it was just one. It might have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Adrian plant.

2 MS. GOGLIOTTI: It was Havens.

3 DR. MAURO: Oh, Havens? Thank you,
4 Rose.

5 MEMBER CLAWSON: Because one of my
6 things is this is kind of a unique type of X-ray
7 system. And for some reason they were using this
8 preferably over the other. So there's got to be
9 a reason why they were doing it. And maybe we
10 may be able to send this group down.

11 MEMBER BEACH: It seems to me -- this
12 is Josie -- there would be a way to identify
13 individuals who worked on that piece of
14 equipment, but I'm not sure at this time. It's
15 been quite awhile ago.

16 MR. ALLEN: This is Dave Allen. To
17 answer Josie and Dave I did a quick search in
18 NOCTS there and for Havens Lab we have one case
19 with skin cancer on the hands and another one
20 that simply says melanoma, no identification. I
21 don't have time to dig through the record at the
22 meeting here.

23 But that particular job title for that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was maintenance ---

2 MS. GOGLIOTTI: Dave Allen?

3 MR. ALLEN: Yes?

4 MS. GOGLIOTTI: Just be careful with
5 the amount of information we're sharing.

6 MR. ALLEN: I understand that. I'm
7 trying to answer the question. That one, by
8 reading the job title you wouldn't think it would
9 be a laboratory analysis like an XRF.

10 Whereas the other one very well could
11 have. So essentially we have one skin cancer on
12 the back of the hand that this conversation may
13 be applicable to.

14 MR. CALHOUN: Out of how many? Out
15 of how many claimants?

16 MR. ALLEN: Well --

17 MR. CALHOUN: Roughly.

18 CHAIR KOTELCHUCK: I don't know the
19 Bridgeport Brass, the dimensions.

20 MR. ALLEN: It's certainly not a huge
21 set. Give me just a minute and I think I can.

22 CHAIR KOTELCHUCK: Sure.

23 MR. ALLEN: This is just Havens Lab

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that I looked at.

2 CHAIR KOTELCHUCK: Okay.

3 MR. CALHOUN: Sixty-five total cases.

4 CHAIR KOTELCHUCK: Okay.

5 DR. ANIGSTEIN: According to the TBD,
6 the X-ray crystallography was only at the Havens
7 Lab.

8 CHAIR KOTELCHUCK: Okay. So, that
9 information is useful and frankly that will go
10 into the record. That will go into the transcript
11 of this meeting so that it will provide at least
12 some vision for future claims about the claims
13 that have come in so far.

14 And we're talking about less than 2
15 percent of the claims coming in would have the
16 possibility of perhaps being caused by some sort
17 of exposure to the X-rays.

18 I think that's helpful and maybe
19 that's all we can do. That will come as a result
20 of this discussion and will be on the record.
21 And maybe that's appropriate for this discussion.

22 MEMBER CLAWSON: This is Brad again.
23 Maybe this is all that we can do with it, but I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also want to make sure that we've done due
2 diligence on this.

3 You know, I agree and we're going back
4 a lot of years and I understand that, but a lot
5 of these things that come up we need to just run
6 them to ground. And there's going to be a lot of
7 them that that's all we can do.

8 I just want to feel comfortable with
9 myself that we did everything that we could. And
10 that's all we can do.

11 CHAIR KOTELCHUCK: Right. Let me ask
12 you or anyone else to --- specifically what more
13 can we do at this point.

14 I mean, we have done -- from this
15 discussion we have gotten a measure of the
16 population that possibly could have been affected
17 by this. An upper bound, perhaps.

18 I can't think of more. Or put it this
19 way, I can't --

20 MEMBER CLAWSON: Let me ask this of
21 John or any of the people. This crystal
22 radiography. We know the site that it was used
23 at. Do we know the area or for what it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specifically used for?

2 DR. MAURO: Just to kick off, my only
3 knowledge is that you use this to understand the
4 molecular structure of the metal. And how ---
5 the type of crystal that's formed so that you can
6 have a better understanding. And I guess it has
7 some relevance to the fuel. This is the extent
8 of my knowledge of the subject.

9 And why you would do it, and the
10 extent to which it might be done.

11 Just to get us started that's about
12 all I could offer here.

13 MEMBER CLAWSON: So this was for the
14 cladding of the fuel.

15 DR. MAURO: It may have been the
16 cladding or it may have been the fuel itself, I'm
17 not sure.

18 See after you extrude the fuel and put
19 it under these high temperatures. Unfortunately
20 Bill Thurber's not on the line. He probably is
21 the one person at SC&A that might be able to add
22 a little more value as a metallurgist. He has a
23 wealth of knowledge understanding the crystal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 structure. Whether it's the cladding or the fuel
2 itself I don't know.

3 MEMBER CLAWSON: Okay. This would
4 have been used in a laboratory type setting,
5 correct? This is getting down to the brass tacks
6 of where we've got it all pulled apart and we're
7 looking at what type of --- after the
8 temperatures, the high temperatures that we've
9 had, and what type of crystallization we've got
10 in the metal.

11 So I think the only thing, what I'm
12 looking at is thinking back through my personal
13 knowledge of the processes, of the fuel processes
14 I've dealt with.

15 And to me it looks like this would
16 only be a small lab section. And we already
17 brought this up. One of the people that have
18 this don't fall into that laboratory type
19 position and the other one does.

20 Just if this would be able to be used
21 in that type of a situation to be able to help
22 that person out a little bit more on this skin
23 cancer. I think that's all that we can do. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really do.

2 MEMBER MUNN: As far as I know, X-ray
3 crystallography is used as an analysis tool, pre-
4 exposure, post exposure for metals and non-
5 organic systems. In organic systems there's an
6 entirely different thing and I can see no reason
7 in our context that it would ever be used.

8 So far as I know X-ray crystallography
9 in itself is a very small segment of the entire
10 profession. And there are very few people who
11 are even qualified to do it, and very few machines
12 that are capable of doing it. It's pretty
13 esoteric.

14 MEMBER CLAWSON: Well, being an ex-X-
15 ray person I kind of dug into this a little bit
16 because it was interesting to me, the process of
17 it.

18 And actually we've got a few people
19 out here at the INL that actually have performed
20 this. And they've got some new processes now.

21 That's why it was just kind of
22 interesting with me to see because I could not
23 from the content understand at what point that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they were using this. And that's just where I
2 wanted to be able to -- because it's also a very,
3 very unique process.

4 Through the years this has changed,
5 the process has changed quite a bit. So have the
6 machines.

7 CHAIR KOTELCHUCK: Right. Although
8 whatever process was used at Bridgeport Brass was
9 used in the sixties, forties, fifties. And ended
10 in the sixties.

11 MEMBER CLAWSON: And they were pretty
12 crude, to tell you the truth.

13 CHAIR KOTELCHUCK: Yes, I could
14 believe that.

15 But I would like to bring this part of
16 the discussion to an end. And I'm looking for
17 someone to decide or suggest what we should task
18 NIOSH to do if anything beyond what we're ---
19 beyond this discussion.

20 MEMBER MUNN: This is Wanda. I'll be
21 glad to suggest a one word answer -- nothing.

22 CHAIR KOTELCHUCK: Okay.

23 MEMBER CLAWSON: This is Brad. I'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 give a couple of comments. I think we ought to
2 be able to look at the facility that this was
3 used in and just keep --- you know, I don't think
4 there's any way that we can task NIOSH to be able
5 to do a massive amount on this, but to be able to
6 look at the people that are suffering and would
7 have been possibly in this situation to be able
8 to use the crystal radiography.

9 And have the contents, because it'll
10 mostly come down to skin cancer, just be able to
11 play in -- just be able to allow in the added
12 dose that they probably would have had from that.

13 CHAIR KOTELCHUCK: Could this be done
14 reasonably either by NIOSH or by SC&A? Could,
15 what Brad said. Does anyone from either of the
16 groups think that that could be done?

17 MR. CALHOUN: This is Grady. What I
18 believe would have to happen is we'd have to go
19 through 65 cases and look at the actual record of
20 the CATI to see what the individual said their
21 exposure was.

22 If it wasn't an extremity dose or
23 cancer it's not going to matter.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: That's correct.

2 MR. CALHOUN: We've only got one
3 extremity dose. I may have missed the beginning
4 of this discussion, but I don't know if we even
5 have any indication that there was a problem with
6 this unit if it was not operating as supposed or
7 why we're here looking at it.

8 I also believe that these types of
9 instruments are somewhat contained. They're not
10 like an open radiography kind of operation.

11 So the answer is yes, we could do it.
12 We could look through every single case and look
13 to see if anybody said yes, I worked with this.

14 But again, if it wasn't skin cancer -
15 -- or extremity cancer, I'm sorry, we're not
16 going to do anything.

17 CHAIR KOTELCHUCK: That's correct.

18 MR. CALHOUN: Really, the best thing
19 to do I think is maybe we could keep it in the
20 back of our head and go forward with this. But
21 we'd have to know that it's really an issue too.

22 It's a whole lot of time into
23 identifying these people and changing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 approach.

2 CHAIR KOTELCHUCK: Well, in fact if
3 you're going to look at it it's really only the
4 single person who has the extremity cancer.

5 We don't know and it's not something
6 for us to discuss here what department or
7 division that person worked in.

8 However, you folks could take a look
9 at it and see if it's in fact a division or
10 department that might have been involved with the
11 X-ray crystallography.

12 And then we would have on the record,
13 and we have on the record now that you folks will
14 keep an eye on that for the future.

15 So if we say nothing more than take a
16 look at that one case. If you will send the
17 Subcommittee a brief report, just an email about
18 the department or division or occupation the
19 person was in, whether that would seem to have
20 any possible relationship to the X-ray
21 crystallography. And then I think that would do
22 it.

23 MR. CALHOUN: Okay. One more question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 though. And again, I apologize. I've had a crazy
2 morning. If I missed something in the beginning
3 of this discussion.

4 Is --- was there a triggering event
5 here that made us think that there was excessive
6 exposures coming from this, or is this a well
7 maybe it could have happened?

8 CHAIR KOTELCHUCK: I believe it's the
9 latter.

10 DR. MAURO: This is John. Nothing
11 that we saw except that it was used, and also
12 except that this issue had recently come up on
13 Carborundum and that sort of triggered why I
14 brought it up.

15 MR. CALHOUN: Okay. But just for the
16 record we have no indication that this machine
17 was acting inappropriately and people were over-
18 exposed. It's just maybe.

19 CHAIR KOTELCHUCK: But let me say at
20 the beginning of the discussion which you
21 indicated you missed there was from a number of
22 different folks a feeling that this was early
23 days of X-ray crystallography of this kind and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that in fact there may well have not been the
2 kind of safety protections, the kind of bounding
3 of the instrument that you would find I hope
4 today.

5 MR. CALHOUN: And would we just assume
6 that it was operating inappropriately to try to
7 come up with a dose approach?

8 CHAIR KOTELCHUCK: Yes. Or --

9 MR. CALHOUN: No, you can't do that.

10 CHAIR KOTELCHUCK: No, no, not
11 inappropriately, just that there were -- the
12 instrumentation back in that period might have
13 allowed stray exposure.

14 MR. CALHOUN: We can't assume that
15 there were incidents with no indication that
16 there were incidents. We can't do that. It
17 doesn't make sense.

18 DR. ANIGSTEIN: Bob Anigstein. If I
19 can quote from an excerpt from a report from 1971
20 symposium, "a number of manufacturers have
21 recently, in the last five years, marketed
22 special shutter assemblies that include various
23 fail-safe features. However, there are still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very many older X-ray units in operation and
2 these must be checked very carefully." This was
3 in the 1970s, about 10 years after that period.

4 CHAIR KOTELCHUCK: Right. But Grady,
5 I think we are looking at something that we do
6 not have an incident that occurred that's on the
7 record. That is correct.

8 And there's indication that there
9 could be.

10 And I would say we're certainly not -
11 -- we're certainly trying to be careful not to
12 identify, and Wanda has suggested that we just
13 simply go on with what we have on the record.

14 I'm trying to think of a way of doing
15 something that's modest that might be helpful in
16 giving us an idea as to what the upper bound is
17 on folks that might have been affected.

18 And then --

19 MR. CALHOUN: We'll give you that, I'll
20 commit to giving you the information on that
21 individual and where they worked. That's really
22 all I can do.

23 CHAIR KOTELCHUCK: And that's fine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I don't think we can do more than that either.

2 So if you would agree to that then my
3 feeling is that I for myself would say we should
4 close it, close this part of the discussion at
5 this point. We have a lot of work to do.

6 MEMBER MUNN: Agreed.

7 CHAIR KOTELCHUCK: So, is that okay,
8 folks?

9 MEMBER CLAWSON: That's fine. As long
10 as it's being addressed. I really don't like to
11 just yes, we've got a problem, we don't know what
12 do with it and go on.

13 I think that's all you can do, Grady,
14 and I have no problem with it.

15 CHAIR KOTELCHUCK: Good. Okay. Then
16 I think we're ready to move on. Grady, thank you
17 for doing that and let's go on. Neutron exposure.

18 DR. MAURO: Yes, we're in the home
19 stretch. We're going to talk a little bit about
20 neutrons and then a very little about the
21 residual period.

22 Neutrons. The neutron -- NIOSH's
23 position in their latest TBD is that we do have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the potential for some neutron exposures. And
2 there was neutron film badge dosimetry all of
3 which came up with less than the lower limit of
4 detection.

5 And under circumstances like that very
6 often a dose would be assigned as one-half the
7 MDL but that would not be appropriate because the
8 only kind of neutron exposure you might have
9 experienced --- and that would be unrealistically
10 high.

11 The only type of neutron exposure that
12 might have occurred is spontaneous fission from
13 the slightly enriched uranium, 2 percent enriched
14 uranium which NIOSH -- the potential for that
15 kind of exposure is extremely small, and it's my
16 understanding that -- so no neutron dose is
17 assigned.

18 Bob Anigstein did a little homework on
19 that and he said okay, well what kind of
20 spontaneous fission neutron exposures might be
21 experienced from 2 percent enriched uranium. And
22 he can certainly give us more detail.

23 But the bottom line is that it would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be about 1 percent of the dose from photon
2 exposures which translates to about 12 millirem
3 per year.

4 So that would be -- and that of
5 course, that would be at a level that would not
6 be detected.

7 So the fact that the dose is small.
8 They're not less than 1 though. So I just want
9 to bring the Work Group's attention that
10 typically doses that are less than 1 millirem per
11 year are just, you know, neglected and
12 appropriately so when you run these PoCs.

13 In this case our calculations, Bob's
14 calculations show that well, you might get as
15 much as 12 millirem per year from spontaneous
16 fission and we're just bringing this to the
17 attention of the Work Group and NIOSH on that.
18 So there might be something here. Nothing much,
19 but something.

20 DR. ANIGSTEIN: I'd like to make one
21 observation. Talking about the 2 percent
22 enriched uranium.

23 Spontaneous fission is from U-238. U-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 238 is four orders of magnitude higher rate than
2 U-235. So it's less with this one and more
3 spontaneous fission.

4 DR. MAURO: Well, how do you like
5 that. Okay, thanks Bob.

6 CHAIR KOTELCHUCK: Well, we've been
7 seeing 2 percent enrichment throughout and that's
8 what's consistent with our assumption.

9 NIOSH folks and other folks and
10 Subcommittee members, this is a finding they
11 propose, SC&A proposes. What do folks think?

12 MR. ALLEN: This is Dave Allen. Can
13 I say something on that real quick?

14 CHAIR KOTELCHUCK: Yes, certainly.

15 MR. ALLEN: Honestly from some of the
16 numbers I ran that percentage, that seems a
17 little high to me but it could be right.

18 I think depending on some of the
19 assumptions you put in there you could get a
20 variety of numbers that would be a small
21 percentage of the photon dose.

22 But regardless of that from the actual
23 observation we discussed earlier where that same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 model showed 90 percent of the photon dose being
2 greater than 250, meanwhile we're assigning it
3 the mid-range, 30 to 250 which actually
4 overestimates the Probability of Causation
5 because of the radiation effectiveness factor by
6 at least a factor of 2 it seems like this 1
7 percent dose is covered.

8 It's pretty insignificant and pretty
9 much irrelevant because if we use this model and
10 then start using the 90 percent greater than 250
11 on photons overall everything's going to go down
12 quite a bit.

13 DR. MAURO: Dave, I agree. I think
14 you're right. I agree with that as being a
15 reasonable perspective. When you step out of the
16 box a little bit that's a good way to look at it.
17 Yes.

18 CHAIR KOTELCHUCK: Which would suggest
19 that you're removing this as a finding.

20 DR. MAURO: You know, it's a
21 legitimate finding. However, I think that Dave
22 gave a legitimate reason why it could be
23 overlooked.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: Right. Based on
2 the assumptions and calculations we've agreed to
3 before.

4 DR. MAURO: Yes.

5 CHAIR KOTELCHUCK: Right. Okay. So,
6 it's subsumed in other calculations for other
7 aspects of the dose reconstruction.

8 DR. MAURO: Yes.

9 MEMBER MUNN: Okay.

10 CHAIR KOTELCHUCK: Good. Any other
11 comments from Subcommittee members? Anything you
12 want? I accept that.

13 MEMBER CLAWSON: This is Brad. That's
14 fine.

15 MEMBER BEACH: This is Josie. I am
16 also fine.

17 CHAIR KOTELCHUCK: Okay, good, good.
18 All right. Well, now let's talk about the
19 residual theory.

20 DR. MAURO: That's going to be easy.
21 There was cleanup in 1962 and measurements made,
22 and more measurements made after that, right up
23 to the time of the FUSRAP cleanup which was much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 later.

2 And there was some data available on
3 what the level of residue was. A little spotty.
4 Some places were a little bit elevated in '62.
5 And some places had elevated photon. It was
6 generally -- it was more due to the fact that the
7 walls were made of brick, hence naturally-
8 occurring radioactivity, so it actually got a
9 little higher as you got closer to the walls.

10 So the bottom line is that there was
11 very little potential for exposure during the
12 residual period.

13 And NIOSH concluded that the doses
14 during the residual period were negligible which
15 means less than 1 millirem per year and we agree
16 with that.

17 So as far as we're concerned the fact
18 that no doses were assigned for the residual
19 period seemed to be justified based on the data.

20 CHAIR KOTELCHUCK: Okay, good.

21 DR. MAURO: If you want to talk a
22 little bit about that I could quickly go through.

23 CHAIR KOTELCHUCK: Unless there's --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'll hold for a moment in case there's a comment.

2 Otherwise we'll go on. Any comments?

3 MEMBER MUNN: No comments here.

4 MEMBER CLAWSON: This is Brad, I don't
5 have any.

6 CHAIR KOTELCHUCK: Okay. Let's go on.

7 DR. MAURO: Medical dose. Classic
8 OTIB-6 and everything is fine. That's how they
9 did it in the revision and we concur.

10 And then finally the claims that were
11 reviewed, their approach was anything that was
12 less than 50 percent PoC was revisited.

13 Out of all of those cases I think
14 there were a total -- we heard the number before,
15 I think it was about 60.

16 There was one that looked like
17 reversed. It went from non-compensable to
18 compensable.

19 CHAIR KOTELCHUCK: Right.

20 DR. MAURO: And we are totally in
21 support of the fact that they looked at all of
22 the cases that were denied as being their
23 criteria for what they're going to review. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's perfectly fine.

2 And finally we usually conclude here
3 with SC&A's recommended criteria for looking at
4 some claims.

5 I had written at the time as I've done
6 before perhaps inappropriately so, I usually
7 recommend a minimum of three. But that's not
8 necessarily --- it could certainly be one.

9 The criteria is that you certainly
10 want to look at --- confirm that the external,
11 internal and medical doses were performed in
12 accordance with the protocol as outlined in TBD.

13 And the only other --- so that could
14 be one case that could do all that.

15 But also I like the idea of looking at
16 a skin cancer. And so therefore by doing external
17 and internal medical as a case that could be for
18 a person with an internal dose.

19 Usually the lung is a good one to pick
20 when you're dealing with uranium and thorium.
21 But also it's probably a good idea to look at
22 skin dose.

23 And finally, the one reversal is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 always an interesting one to look at when you
2 actually have a reversal and see what was the
3 reason that happened. That's always insightful.

4 So that's our perspective on a general
5 way of how to go about selecting one, two, maybe
6 three cases that would give insight into how this
7 was actually implemented.

8 CHAIR KOTELCHUCK: You're talking
9 about -- because I was a little -- I mean based
10 on this PER all 50 claims were looked at.

11 It makes sense to me to look at the
12 one that was flipped. You're suggesting that two
13 of the remaining 49 be looked at in detail with
14 a report perhaps?

15 MR. KATZ: Let me just explain how
16 this works with PERs. After SC&A reviews and the
17 Subcommittee or Work Group whichever it is
18 reviews all the methodology along with SC&A that
19 was used for the PER.

20 And so when all that's been done,
21 reviewed, then the last step in a PER review is
22 to pick one or more cases that will illustrate -
23 - that everything that was discussed in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 methodology in the PER was applied as specified
2 in the PER, or any changes.

3 So at this point that's all we're
4 doing is we asked DCAS to come up with cases, one
5 or more, that will address all the different
6 facets that the methodology shows that the
7 methodology applies as indicated.

8 And so the committee or Work Group's
9 job is just to be very clear about what those
10 criteria are that the one or more cases should
11 illustrate.

12 And then NIOSH pulls those cases,
13 potential cases, and SC&A reviews them and
14 reports back on the cases. And that closes out
15 the PER review.

16 CHAIR KOTELCHUCK: Very good. Okay,
17 that's helpful. Thank you.

18 MR. KATZ: Sure.

19 CHAIR KOTELCHUCK: So is there any
20 discussion about whether there should be one or
21 three? Three are recommended.

22 MR. KATZ: So it's really, it's not
23 the number, it's the criteria. In other words

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what aspects of the methodology do you want to
2 see illustrated by cases.

3 CHAIR KOTELCHUCK: Right. They're
4 recommending three cases, any combination of
5 three. Which he's outlined.

6 DR. MAURO: Let me apologize to the
7 group. We had this discussion just the other
8 day.

9 Going back in the early days I used to
10 say well you know, if we're going to do a check
11 to all the protocols you probably need about
12 three.

13 And Ted corrected me then at the
14 previous meeting we just had and I believe that's
15 worth saying again.

16 That was, the number three is really
17 not the right way to think about this.

18 I think the right way to look at it
19 is that we want to make sure we pick enough cases
20 that we think of and make sure that they followed
21 their protocol, implemented it for external, for
22 internal, I always like to have skin separate
23 because it's unique, and finally the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 category that is also of interest is you'd like
2 to be able to look at the one that was reversed.

3 And that really is the criteria. Now
4 that could turn out to be only two cases.

5 CHAIR KOTELCHUCK: That sounds like
6 it.

7 DR. MAURO: Right. And so you'll see
8 it in a lot of my work. You'll see me
9 recommending three. And I think that in
10 retrospect that way of thinking about it is not
11 the right way to think about it.

12 The right way to think about it is the
13 way Ted just explained it.

14 CHAIR KOTELCHUCK: Okay.

15 MEMBER MUNN: And as a matter of fact
16 that was precisely what I was going to say, John.
17 I thank you very much.

18 I don't see any reason at all why,
19 especially in a cohort of this size more than two
20 are necessary.

21 Certainly we can cover the aspects
22 that are requesting in two if we select them
23 carefully.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: That makes sense to
2 me. So I agree with that.

3 MEMBER BEACH: Well, maybe two with
4 the addition of the skin, or however many skin -
5 -

6 CHAIR KOTELCHUCK: Well, the skin will
7 be one of the cases. I mean one of the cases
8 will be one with skin exposure. And we had I
9 think -- I'm sure there are a number.

10 So skin and examination of the one
11 that flipped. Okay.

12 So are we settled on two, folks? Is
13 that agreed?

14 MEMBER MUNN: That would be my
15 recommendation.

16 CHAIR KOTELCHUCK: I support that.
17 Okay. Hearing no further we'll suggest then the
18 two.

19 And we therefore will await that
20 result at the next meeting I hope, so that we can
21 close this. Is that correct, that there's no
22 action that we are supposed to take?

23 We will have one brief report about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the person with skin cancer on the extremity, a
2 note from Grady. Okay?

3 So that seems to me to close it for
4 the moment. Is that correct?

5 (Simultaneous speaking)

6 DR. MAURO: I have nothing to add.
7 This is John.

8 MEMBER BEACH: So close the discussion
9 until we come back to it.

10 CHAIR KOTELCHUCK: Exactly, when we
11 get the report. And then the next time we will,
12 if things are as we -- if the review of the claims
13 makes sense to us and we think things are going
14 right then we will approve the PER and that will
15 be it. Right.

16 Okay. Now, this is -- I've been
17 looking at the clock. It's noontime on the east
18 coast. We often stop.

19 On the other hand, we have three Set
20 23 blind dose cases that we want to look at today.

21 I'll listen to a suggestion about
22 whether we should start on one of them now, go
23 until perhaps 12:30, whatever, and then take a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 break. Or would people like to take a break now
2 and just start the three blind dose
3 reconstruction cases after break? Do I hear a
4 recommendation?

5 **Review Set 23 Blind Dose Reconstruction Cases**

6 MEMBER CLAWSON: Let's go for it.
7 It's still early out here.

8 MEMBER BEACH: I'm okay to go for it
9 too.

10 CHAIR KOTELCHUCK: I'd like to go for
11 it because it seems to me we've accomplished one
12 important thing today but I'd like to feel like
13 we did more than one thing before lunch. Or lunch
14 for me.

15 Okay, Rose, do you -- which one would
16 you like to take of the three? You know which one
17 your judgment would be, that it would be ---

18 MS. GOGLIOTTI: We can go in order but
19 if you want a quicker case the Nevada Test Site
20 case might be the shortest one, I would imagine.

21 CHAIR KOTELCHUCK: Okay. That sounds
22 like a good suggestion. Then Nevada Test Site it
23 is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. BEHLING: Excuse me one second.
2 Since I'm going to be taking two of them I was
3 actually hoping I could go through maybe just
4 Sandia. I think I can get through that.

5 MS. GOGLIOTTI: If you want to do the
6 Sandia, as long as the Board's fine with that,
7 I'm ---

8 CHAIR KOTELCHUCK: We will happily
9 listen to your recommendation.

10 MS. BEHLING: Okay. It just gives me
11 a break in between the two. And I'll try to be
12 --- not brief, but explain as thoroughly as I
13 can. So if that's okay with everyone, Rose? That
14 means that you don't have two.

15 MS. GOGLIOTTI: Yes, that's fine.

16 CHAIR KOTELCHUCK: Sure.

17 MS. BEHLING: Okay. And actually the
18 agenda says that there are two Sandia cases and
19 Nevada Test Site, and there's actually a Hanford,
20 a Sandia National Lab, and Nevada Test Site. So
21 I'll do the Hanford after lunch then. But I will
22 start with the Sandia National Lab.

23 And Rose can bring that up because I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to try to be very cautious here in my
2 discussion.

3 This individual did work at Sandia
4 National Lab in Albuquerque, New Mexico for most
5 of his employment period. He was also
6 transferred to the Sandia National Lab in
7 Livermore, California. And he visited the Nevada
8 Test Site, Lawrence Livermore National Lab, and
9 the Kansas City Plant. So we will be talking
10 about all of those facilities.

11 There were -- as shown in Table 1-1
12 there were 11 skin cancers and one I'll say non-
13 skin cancer.

14 The employment period for this
15 individual was over 30 years combined at all of
16 these different facilities and sites that he
17 visited.

18 Both NIOSH and SC&A's internal and
19 external doses are shown in Table 1-2. That shows
20 the comparison for all of the cancers.

21 And if you scroll down you can see
22 that in most cases both NIOSH and SC&A calculated
23 similar doses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And in both cases the PoCs were less
2 than 50 percent and the PoCs were very close.

3 So we'll go into the details in
4 section 2. We do a comparison of the parameters
5 that were used and the various documents that
6 were used to determine what the doses were going
7 to be.

8 Here again this is a multi-page
9 comparison report. And if you scroll down
10 through there's really few differences. I will
11 point out those differences as we go through this
12 case.

13 As you can see because of the various
14 places that the individual was monitored that
15 made this comparison table quite lengthy.

16 So if we move onto page 14 we'll start
17 with the occupational external doses. And the
18 individual was monitored at the SNL Albuquerque
19 site for various years of employment.

20 However, there were only positive, or
21 greater than LOD over 2 results for two years.

22 Both NIOSH and SC&A assumed 100
23 percent AP geometry and energy fraction of 30 to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 250. And applied the appropriate DCFs from the
2 Implementation Guide, the External
3 Implementation Guide.

4 One of the things you'll hear me state
5 throughout is for all of the external doses or
6 most of the external doses NIOSH applies, and we
7 talk about this routinely and I'll just remind
8 everyone they apply for these assessment cases a
9 Monte Carlo approach to applying those DCF
10 values.

11 In other words when you go into the
12 Implementation Guide there is a minimum and a
13 maximum DCF value and a mean DCF value. They use
14 a Monte Carlo program, I think BOSS is what they
15 were using, to sample, randomly sample those DCF
16 values and apply that to the dose.

17 Where SC&A, when we go through these,
18 we just use that mean value consistently. And so
19 that's often what -- where you're going to see
20 some minor differences in dose. And I'll be
21 mentioning that throughout.

22 So, let's go onto page 15. As I said
23 there were similar assumptions made except for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NIOSH using the Monte Carlo for applying the DCF
2 values. And so there is where there was some
3 slight difference in the doses that were
4 calculated.

5 Now the individual also visited the
6 Sandia National Labs in Livermore. There was
7 only one year of monitoring, external monitoring
8 that showed positive readings of greater than LOD
9 over 2.

10 NIOSH used 100 percent 30 to 250. And
11 in this particular case SC&A assumed that the
12 individual worked in a building that did not
13 specify that we use 100 percent 30 to 250 but
14 instead used 5 percent less than 30 keV, 45
15 percent of 30 to 250 keV energy --- photon energy,
16 and 50 percent of greater than 250 keV energy.

17 Again, similar doses were calculated
18 by both methods. The only difference again is
19 that NIOSH used the Monte Carlo and that resulted
20 in the doses being entered into IREP as a Weibull
21 distribution versus SC&A entering the dose as a
22 constant.

23 Also, the visits to the Nevada Test

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Site. The individual was monitored. However,
2 all of the monitoring indicated that the doses
3 were less than the LOD over 2 and so these doses
4 were treated as missed dose which we'll talk
5 about next.

6 Under section 2.1.2 is the missed
7 photon doses. And at the SNL site in Albuquerque
8 NIOSH looked at the records and counted 53 badge
9 exchanges that represented less than one-half the
10 LOD value.

11 And they applied that LOD over 2 value
12 as appropriate for the various time facilities -
13 -- or the various time intervals.

14 They also applied appropriate DCF
15 values and again they applied those using the
16 Monte Carlo methodology.

17 SC&A counted 52 zeroes, used the same
18 LOD and DCFs and applied these consistently using
19 the mean value.

20 And even though SC&A counted one less
21 zero, just the fact that we applied the DCFs
22 differently our doses were slightly higher for
23 the non-skin cancer. The skin cancer doses were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all calculated the same. Or very close, I should
2 say.

3 If we move on then to the Sandia
4 National Lab Livermore period of time for
5 employment NIOSH -- now in this case NIOSH
6 indicated that they combined the missed doses
7 from the three sites that the individual visited.

8 However, when we went into the records
9 we actually realized that in the workbook they
10 used eight zeroes, they calculated eight zeroes
11 for calculating a missed dose.

12 And they assigned those doses for a
13 two-year period and they again assumed 100
14 percent of the 30 to 250 keV.

15 And consistent with what SC&A did with
16 the recorded photon doses they used the energy
17 fractions again of less than 30, 30 to 250, and
18 greater than 250 as they did with the recorded.

19 And they counted -- SC&A counted nine
20 zeroes and assigned dose for three years.

21 And again if you look at the doses
22 that were calculated by both, very consistent.

23 We will move on then to NTS on page

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 17. Here again for the missed dose both SC&A and
2 NIOSH counted 17 zero or less than LOD over 2
3 badge exchanges.

4 They applied -- they both also applied
5 a dosimeter correction factor of 1.25 to these
6 values.

7 NIOSH used 100 percent 30 to 250 for
8 all of cancers and the only difference was that
9 SC&A again applied -- they assumed a 25 percent
10 30 to 250 and 75 percent greater than 250 for the
11 non-skin cancer.

12 And dose to skin were very similar.
13 Only difference is because of the difference in
14 assessing the CCF values in the Monte Carlo is
15 really what created minor differences.

16 Going on to section 2.1.3 is
17 unmonitored shallow dose or electron dose. For
18 the employment at the Sandia National Lab
19 Albuquerque, NIOSH assigned unmonitored electron
20 dose to the skin for one year. And NIOSH --- I'm
21 sorry, SC&A did not assign any unmonitored
22 shallow dose. That resulted in one year 22
23 millirem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 For the Sandia National Labs Livermore
2 employment and NTS visits neither SC&A nor NIOSH
3 assigned any unmonitored shallow doses.

4 If we move on to missed shallow dose
5 on page 18 for the Sandia National Labs
6 Albuquerque site employment both NIOSH and SC&A
7 assigned missed shallow dose based on guidance in
8 OTIB-17 and used one-half of the LOD values and
9 assigned that dose as greater than 15 keV energy.

10 Both entered those doses as a
11 lognormal distribution with a GSD of 1.5 and they
12 came up with identical dose.

13 There was no assignment of missed
14 shallow doses for the Sandia National Labs
15 Livermore or NTS.

16 Now if we move on to recorded neutron
17 doses the EE was monitored for neutron exposure
18 while employed at the SNL Albuquerque site.

19 There was only one year that showed a
20 positive result. Both NIOSH and SC&A used the
21 neutron to photon ratio for calculating that
22 dose, applied the ICRP-60 correction factors and
23 assigned that dose as 100 percent 0.1 to 2 MeV

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 energy range.

2 A slight difference again in doses,
3 but you can see there is just again due to
4 applying the Monte Carlo technique.

5 At SNL Livermore, NTS and the Lawrence
6 Livermore National Lab the individual was not
7 monitored for neutrons at Sandia National Labs
8 Livermore and NTS, and there was one record
9 indicating that neutron monitoring was done at
10 Lawrence Livermore.

11 But that was less than the LOD over 2
12 so it was treated again as missed dose.

13 And if we go on to section 2.1.6 the
14 missed neutron dose, again missed neutron was
15 calculated for employment at Sandia National Labs
16 Albuquerque.

17 Prior to 1972 neutron to photon ratio
18 was used and thereafter the appropriate one-half
19 NDA value was used.

20 The difference was NIOSH assumed 25
21 zeroes, or 25 missed neutron doses, and SC&A
22 assumed 24.

23 I believe NIOSH included -- the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difference in the one extra zero was that NIOSH
2 included the missed dose from the Lawrence
3 Livermore facility.

4 Both assumed 100 percent of 0.1 to 2
5 MeV. But again NIOSH applied the Monte Carlo
6 techniques.

7 If you look down at the second
8 paragraph there you can see doses were similar.
9 The difference is due to the difference in Monte
10 Carlo and also that NIOSH included that one year
11 of missed neutron from Lawrence Livermore.

12 Going down then to what is marked as
13 Sandia National Labs Livermore when SC&A reviewed
14 the records they -- they misinterpreted the
15 Lawrence Livermore report, or they looked at the
16 Lawrence Livermore report and actually assigned
17 that one missed neutron dose to Sandia National
18 Labs Livermore.

19 And so therefore they calculated the
20 doses based on the Sandia National Lab Livermore
21 TBD which specified 5 percent of 10 to 100 keV,
22 70 percent of 0.1 to 2 MeV, and 25 percent from
23 2 to 20 MeV.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They also applied the ICRP-60
2 correction factor which resulted in the modest
3 dose of 4 millirem.

4 That was entered into IREP as a
5 lognormal distribution with a GSD of 1.2.

6 If we go onto the onsite ambient dose
7 both NIOSH and SC&A assigned onsite ambient dose
8 for periods when the individual was not routinely
9 monitored.

10 NIOSH assigned that dose for most --
11 what am I --- let me see here -- for some years,
12 assuming that the individual was at the Sandia
13 National Labs Albuquerque in technical area 1.

14 They also adjusted the dose for
15 partial years of employment and adjusted for
16 2,500 hours per work year rather than the --
17 assuming that he worked overtime, than the 2,000.

18 They applied an isotropic DSF value
19 and the doses were modest.

20 There was a three-year period where
21 they assumed that the individual worked -- or
22 they assigned the onsite ambient using the Sandia
23 National Labs Albuquerque TBD which included

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doses from photons and neutrons.

2 And so as you can see on page 20 about
3 halfway down, okay, you have it up there. Again
4 the doses were relatively modest but higher than
5 what SC&A calculated.

6 SC&A assumed that the individual was
7 in the technical area 1 and technical area 4
8 throughout the employment and assigned that dose
9 based on the TBD associated with Sandia National
10 Labs Albuquerque.

11 SC&A did not adjust for partial year
12 dose and our doses were -- SC&A's doses were
13 somewhat lower just due to the fact that they
14 based the entire onsite ambient dose on the
15 Albuquerque TBD.

16 And going onto occupational medical
17 doses. Both NIOSH and SC&A reviewed the records
18 that indicated that the individual was given
19 eight PA exams and two lumbar-spine exams.

20 NIOSH assigned dose -- the medical
21 exposure for two years using Sandia National Labs
22 Livermore TBD because it was more claimant-
23 favorable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then for the remainder of the
2 medical doses they assigned it using the
3 information and guidance from the Sandia National
4 Labs Albuquerque TBD.

5 SC&A used the Albuquerque data TBD 37
6 for all of the doses. And Table 2.2 a little
7 further down on page 21 shows you the difference
8 in the medical doses that were calculated by
9 NIOSH and SC&A.

10 Both methodologies entered those
11 doses into IREP as normal distribution with a 30
12 percent uncertainty.

13 And NIOSH's higher dose to the non-
14 skin cancer is due to using the Sandia National
15 Labs Livermore more claimant-favorable medical
16 doses for two of the years.

17 Internal doses. If we move on to page
18 22 NIOSH assigned dose based on just an
19 occupational environmental dose I should say, an
20 environmental dose.

21 The individual wasn't monitored,
22 didn't have any bioassay monitoring so the dose
23 was based on environmental.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NIOSH methodically went through each
2 of the locations and assigned dose based on
3 location of the individual being employed at the
4 Albuquerque site, NTS for the appropriate years,
5 Sandia National Labs Livermore, and the Lawrence
6 Livermore facility.

7 And at Table 2.3 you can see the doses
8 that they calculated for each of the 11 skin
9 cancers and the 1 non-skin cancer.

10 SC&A, they restricted their
11 environmental internal doses and assumed that the
12 individual was at the Sandia National Labs
13 Albuquerque site and also -- throughout most of
14 the employment and also included the NTS visits
15 in calculating their doses.

16 And that resulted in less than 1
17 millirem and so values were not even included in
18 IREP.

19 That is the summary. I won't
20 necessarily go back through the differences in
21 doses because they were fairly modest.

22 All of the total doses were relatively
23 close. PoCs were close. But if anyone has any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 questions or needs further explanation on any of
2 the calculated doses I'm willing to answer them
3 if I can.

4 CHAIR KOTELCHUCK: Any questions?
5 Comments?

6 MEMBER MUNN: This is Wanda. I don't
7 have any questions, but I do have a comment.

8 Whenever we go through a complicated
9 case like this one where the individual has
10 multiple sites involved and therefore multiple
11 environments that must be taken into
12 consideration, this process that we go through
13 right here is the most revealing I think that we
14 encountered in this entire program.

15 I'm continually amazed at how well
16 both our NIOSH folks and our contractor people
17 address things that are just one step beyond the
18 common thinking of things like energy fractions.

19 I'm always a little puzzled about how
20 I would go about approaching that.

21 Missed dose and unmonitored dose and
22 methodologies that -- the decisions that are
23 involved there. The type of distribution that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 chosen to analyze the data, it's just so well
2 done by both groups that when we go through this
3 it is an astonishment to me that within the range
4 of scientific knowledge, the types of approaches
5 that are taken are close enough but variable
6 enough to give me an enormously large sense of
7 satisfaction that every rock has been turned.

8 So I thank both the NIOSH folks and
9 the SC&A folks who do this work because I
10 personally think it's astonishing. And we on the
11 Board don't really see it until we have cases of
12 this type.

13 So I think the report was very well
14 done as was the original case. And thank you
15 all.

16 CHAIR KOTELCHUCK: And I second that.
17 For multiple cancers at multiple sites over
18 decades and you're able to come out with results
19 that are really extraordinarily close on
20 independent review.

21 It is impressive and it gives one much
22 faith certainly in the precision with which the
23 dose reconstruction process is carried out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 A fine job and good result. I think
2 the results speak for themselves. Further? Do
3 we wish to --

4 MEMBER CLAWSON: This is Brad. No
5 comment. I echo what both of you just said. It's
6 a great job. No comments.

7 CHAIR KOTELCHUCK: Yes. Good, good. So
8 can we move that we accept this?

9 MEMBER MUNN: So moved.

10 CHAIR KOTELCHUCK: Okay.

11 MEMBER BEACH: I agree.

12 CHAIR KOTELCHUCK: Very good. So all
13 in favor, aye.

14 (Chorus of ayes)

15 CHAIR KOTELCHUCK: Negative or
16 abstain?

17 (No response)

18 CHAIR KOTELCHUCK: No, okay. Folks,
19 wonderful job and we end before lunch on a high
20 note.

21 It is now 12:35 Eastern Standard Time.
22 So let's take a break and get back together at
23 1:35. Okay?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Okay.

2 CHAIR KOTELCHUCK: Good. Thank you
3 all.

4 (Whereupon, the above-entitled matter
5 went off the record at 12:34 p.m. and resumed at
6 1:37 p.m.)

7 CHAIR KOTELCHUCK: All right, very
8 good. We have a quorum so now let's see. Which
9 one are we going to start --- which one of the
10 two remaining lines are we starting with?

11 MS. GOGLIOTTI: I have NTS up on the
12 screen so if you don't mind we'll start there.

13 CHAIR KOTELCHUCK: Okay.

14 MS. GOGLIOTTI: Is everyone ready to
15 get started?

16 CHAIR KOTELCHUCK: Okay. Sure.

17 MS. GOGLIOTTI: Okay. This is an NTS
18 employment case. You can see here from the screen
19 the EE had a number of cancers, all fairly
20 localized in one area of the body. And they were
21 all diagnosed within the last 10 years or so.

22 NIOSH and SC&A both did their dose
23 reconstructions and we both had a PoC of less

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than 50 percent. And so the case was not
2 compensated.

3 From Table 1-2 here you'll see that
4 for the most part our doses were very, very close.
5 The only differences really are in missed photon
6 dose, 3 millirem in medical dose and 13 to 14
7 millirem in environmental dose.

8 Our PoCs are very close together. You
9 see that there were several employment periods
10 that lasted over 27 years but the EE worked on
11 and off.

12 Their job title could be said to be
13 non-rad worker.

14 They were monitored for external dose
15 but only a single monitoring of the whole body
16 count for internal dose. And that was a
17 termination scan.

18 Table 2-1 shows what NIOSH did versus
19 what SC&A did. Ultimately they're very, very
20 close. There's a little bit of difference in the
21 PoC values but nothing substantial.

22 For reported photon dose NIOSH and
23 SC&A defined exactly the same dose, we both used

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 25 percent 30 to 250 keV photon and 75 percent
2 greater than 250 keV photon.

3 We both used the same dosimeter
4 correction factor of 1.25 and the same organ dose
5 correction factor of 1.

6 And because we used the same values we
7 came up with the same results. For missed photon
8 dose there was a slight difference. We both came
9 up with 291 exchanges for zero dose, which is
10 pretty good.

11 The difference really comes in the
12 year 1971 which was the year that the dosimeters
13 changed and we just have a slight change in LOD
14 from 40 millirem per year to 30 millirem per year.

15 NIOSH assumed nine zeroes at the 40
16 millirem and four zeroes at the 30. And SC&A
17 assumed 2 at the 40 and 11 at the 30.

18 MR. SIEBERT: Kathy, I'm sorry. This
19 is Scott.

20 I just want to point out that's a
21 misprint. We actually assigned 4 at the 40
22 millirem and 9 at the 30.

23 MS. GOGLIOTTI: Oh, okay. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. SIEBERT: That's okay. No
2 problem.

3 MS. GOGLIOTTI: And then we also --
4 SC&A did not assigned a TLD correction factor of
5 1.1 for the years 1987 and 1988. They basically
6 cancel each other out, resulted in a difference
7 of about 22 millirem between the two dose
8 reconstructions.

9 For unmonitored shallow dose SC&A and
10 NIOSH assigned basically the same dose again.

11 Onsite ambient dose, neither one of us
12 assigned the ambient dose.

13 For medical dose really the 3 millirem
14 difference on two of the cancers comes from the
15 choice of which location on the body to assume
16 that the X-ray occurred at.

17 With these particular cancers the
18 slight difference in where you choose can be a
19 slight difference in dose. Nothing substantial.
20 You see it's a 3 millirem here.

21 For occupational internal dose we both
22 assigned dose. The EE only had the one
23 termination whole body count.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NIOSH chose to use I believe the best
2 estimate approach for assigning internal dose and
3 SC&A used the maximizing approach that resulted
4 in NIOSH assigning less than 1 millirem dose per
5 year and SC&A assigning between 13 and 14
6 millirem per year.

7 Overall we were very, very close in
8 dose reconstruction. You'll see here again on
9 summary Table 3-1 just a little bit of difference
10 in external and internal dose, but overall
11 nothing substantial.

12 Were there any questions?

13 MEMBER MUNN: None here.

14 CHAIR KOTELCHUCK: Questions?

15 MEMBER BEACH: I don't have any.

16 MEMBER CLAWSON: Me neither.

17 CHAIR KOTELCHUCK: Again, very fine,
18 very good agreement. Any other? So folks, if
19 there's no further questions. This seems fairly
20 straightforward. Should we accept?

21 MEMBER MUNN: So moved.

22 MEMBER CLAWSON: Second.

23 CHAIR KOTELCHUCK: Okay. Good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Agreed. All right. So, all those in favor, aye.

2 (Chorus of ayes)

3 CHAIR KOTELCHUCK: Any opposed?

4 (No audible response)

5 CHAIR KOTELCHUCK: Abstain?

6 (No audible response)

7 CHAIR KOTELCHUCK: All right. So,
8 that's approved. My goodness, fairly quickly.
9 And we have our last one now.

10 MS. BEHLING: Yes, this is Kathy and
11 the last one is the Hanford site. And while Rose
12 was bringing this up I wanted to just make a
13 comment that adds onto something that Wanda had
14 said earlier.

15 I hope you don't mind me adding this,
16 but I have to say I also find the lines very
17 revealing. And I enjoy doing them and really
18 teasing out the differences.

19 And I think that one of the things
20 that we find when we have a case like this line
21 that Rose was just showing when you have a
22 closeness like that it shows -- it really
23 reflects to me the descriptiveness and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thoroughness that was put into the TBD itself.

2 And I hope I'm not opening up a can
3 of worms here by asking this question, but when
4 we do these slides, when we work with lines,
5 sometimes it seems like some of the AWE sites, it
6 might be appropriate to do those because we've
7 found in the past that the guidance sometimes for
8 some of these sites was not quite as descriptive.

9 Are we still assigning a blind circuit
10 with AWEs or not?

11 MR. KATZ: We are. Actually I think
12 in set 24 there are.

13 MS. BEHLING: There were one or two.
14 Okay. Okay, I was just curious. I just thought
15 I would throw that out there.

16 CHAIR KOTELCHUCK: And we're also
17 looking, are we not, at these today. We have
18 partial -- these are partial dose
19 reconstructions.

20 MS. BEHLING: They are considered
21 partial in some cases because of SEC issues.

22 CHAIR KOTELCHUCK: Right.

23 MS. BEHLING: I'll be talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that in this particular case.

2 CHAIR KOTELCHUCK: Right. So that
3 also seems to me a step forward because we started
4 out -- initially we started out with ones that we
5 had done the full dose reconstruction.

6 And then we're slowly broadening out.
7 And this is all good.

8 MS. BEHLING: Okay. Thank you for
9 letting me share.

10 CHAIR KOTELCHUCK: Sure, absolutely.

11 MS. BEHLING: Okay. If you're ready
12 we'll move onto this last one from the 23rd set.

13 This individual worked at the Hanford
14 site in Pacific Northwest National Laboratory and
15 also the Iowa Ordnance Plant.

16 As you see in Table 1-1 there were a
17 total of five cancers, four of which were skin
18 and one a non-skin.

19 And if we move on to Table 1-2 you'll
20 see the employment history for the various sites.
21 And this individual worked for a total of about
22 40 years.

23 So NIOSH and SC&A doses are shown in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the comparison table on page 9, Table 1-3. And
2 again as you look down through the doses it shows
3 that most doses are very similar. The largest
4 difference is reflected in the first non-skin
5 cancer under the internal dose. And we'll go
6 into why that difference occurred.

7 Both NIOSH and SC&A calculated PoCs
8 that were less than 50 percent.

9 So if we move on to section 2, Table
10 2.1 shows comparison again of the data and the
11 assumptions used by NIOSH and SC&A.

12 And once again I won't go into details
13 but this table goes on and on for several pages
14 because of all of the doses that were calculated
15 and the fact that there were the Hanford, PNNL
16 sites and also the IOP, the Iowa Ordnance Plant.

17 So we'll move ahead to section 2.1 and
18 talk about the occupational external exposures.

19 And the reported photon dose at the
20 Iowa plant due to the SEC only those doses that
21 were actually recorded in the individual's file
22 are able to be used for reconstructing the doses.

23 In this particular case the EE was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitored and had positive dosimetry readings
2 throughout several years.

3 So NIOSH and SC&A assumed 100 percent
4 30 to 250 energy range and applied applicable
5 DCFs.

6 Again, NIOSH used Monte Carlo
7 technique for applying those DCFs which resulted
8 in the doses being entered into IREP using a
9 Weibull dose distribution as opposed to SC&A
10 entering those doses as a constant distribution.

11 We move on to the Hanford PNNL
12 facility. At Hanford the individual worked
13 primarily in the 200 and 300 areas and Table 2-2
14 shows the time periods that both NIOSH and SC&A
15 assumed that the individual worked in those areas
16 and the dose distribution that was used and also
17 the DCF values for the skin and non-skin cancers.

18 NIOSH assumed for a period of time
19 that you can see on the table that the EE worked
20 in a known neutron area. And that resulted in
21 them using 100 percent 30 to 250 keV for that
22 time period where SC&A assumed that the
23 individual did work in an area with potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neutron, and so therefore their dose distribution
2 was 25 percent below 250 and 75 percent greater
3 than 250.

4 Then if we move down to Table 2.3 you
5 see the doses that were assigned by NIOSH and
6 SC&A for each of the cancers.

7 The recorded doses. NIOSH's about 133
8 millirem less than SC&A for the non-cancer is
9 primarily because of the Monte Carlo issues of
10 assigned DCFs.

11 And if you move on then to missed
12 photon doses for the work at the Iowa plant both
13 NIOSH and SC&A counted 61 missed badge exchanges
14 and both assigned 100 percent 30 to 250 keV and
15 applied DCFs differently as they did with the
16 recorded doses.

17 At Hanford the missed photon dose,
18 here again at least based on what I could
19 understand -- what I could determine from the
20 workbooks NIOSH indicated in the dose
21 reconstruction report that they counted 59 zeroes
22 total. When I went into the workbook it looked
23 like they actually used 31 and I think that was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just a typo.

2 SC&A also counted 31 missed badge
3 exchanges. Both assumed LOD over 2 based on TBD
4 data. And again NIOSH applied the Monte Carlo
5 approach for the DCFs and therefore there was a
6 slight difference in the dose to the cancer that
7 was not the skin cancer.

8 If we go onto recorded shallow dose
9 the EE was not monitored for shallow dose at the
10 Iowa site and therefore none was calculated based
11 on the SEC.

12 At Hanford the shallow dose for the
13 first cancer was based on the Implementation
14 Guide 1 and assumed less than 30 keV for work in
15 plutonium areas.

16 And in this particular case they also
17 selected the DCFs from a table in the
18 Implementation Guide associated with special dose
19 conversion factors for plutonium.

20 Both assigned shallow dose to the skin
21 using OTIB-17 and a greater than 15 keV
22 assignment was for when the individual worked in
23 non-plutonium areas and less than 30 keV for when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the individual worked in what were considered
2 plutonium areas.

3 In addition, for one of the skin
4 cancers NIOSH assumed that that area would be
5 covered with clothing and applied a 60 percent
6 clothing attenuation factor.

7 SC&A did not apply that clothing
8 attenuation factor and also assigned the dose as
9 greater than 15 keV for the skin cancers and less
10 than 30 keV for the additional cancer. Okay.
11 Again doses were very similar.

12 If we go on to missed shallow dose for
13 the Hanford facility both NIOSH and SC&A assumed
14 -- counted 34 zeroes or less than LOD over 2.

15 Again, the differences were just NIOSH
16 assuming --- or applying the Monte Carlo versus
17 SC&A using a median DCF value.

18 And again, NIOSH did apply a clothing
19 attenuation factor for one of the skin cancers
20 and SC&A did not do that. Again, doses were very
21 similar.

22 We move on to recorded neutron doses.
23 The individual was not monitored for neutron

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exposure at the Iowa site. For Hanford there was
2 one positive neutron reading and NIOSH assumed
3 that the EE worked in the 200 area and therefore
4 divided the energy by 90 percent 0.1 to 2 MeV
5 neutrons and 10 percent 2 to 20 MeV.

6 Once again NIOSH applied Monte Carlo
7 with the DCFs. Also used an ICRP-60 correction
8 factor and ended up with doses again that were
9 similar to SC&A but they were entered into IREP
10 as a Weibull distribution for the non-skin
11 cancer.

12 SC&A assumed that the EE worked at the
13 PNNL site in a specific building that would allow
14 them to assume that the neutrons were 100 percent
15 100 to 2 MeV -- 100 keV to 2 MeV, I'm sorry.

16 SC&A also applied the ICRP-60
17 correction factor and again the doses were
18 similar to those calculated by NIOSH.

19 If we go on to missed neutron doses in
20 this particular case NIOSH counted 43 missed
21 doses for neutron and SC&A counted 47.

22 The same energy fractions, DCFs and
23 ICRP-60 correction factors were used. And both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 applied dose correction factor of 1.35 for the
2 years specified in the Technical Basis Document.

3 Doses were similar. SC&A's slightly
4 higher dose was due to counting 47 versus 43
5 zeroes.

6 If we go on to onsite ambient dose,
7 onsite ambient is not available at the Iowa
8 Ordnance Plant and therefore it was not
9 calculated.

10 For Hanford both NIOSH and SC&A
11 assumed that the individual was in the 200 area
12 and used dose data from Table 4-8 of the Technical
13 Basis Document.

14 NIOSH also used an isotropic DCF value
15 and assumed a 2,600 hour work year.

16 They also adjusted the employment for
17 partial years of employment. SC&A did not do
18 that adjustment, but again there were similar
19 doses and the difference in doses was primarily
20 due to the partial years of employment not being
21 assumed by SC&A.

22 If we move on to the medical doses
23 there were no medical records for the Iowa, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 both NIOSH and SC&A based the medical dose on
2 guidance in the TBD and doses from OTIB-6. The
3 individual was assigned an annual PA chest and
4 lumbar spine for various years as specified in
5 the TBD.

6 And Table 2-4 compares the medical
7 doses calculated by NIOSH and SC&A. And as you
8 can see they are very similar.

9 Going on to Hanford-PNNL medical
10 records in this case both NIOSH and SC&A used the
11 records to find medical doses.

12 The individual did receive X-ray exams
13 for nine years. And both used the applicable
14 tables from the TBD and calculated similar or
15 nearly identical doses as you can see.

16 Okay. Now we're going to move into
17 the internal which is where it gets a little bit
18 more interesting.

19 For the occupational internal doses
20 the individual was not monitored at Iowa and so
21 there was no dose calculated based on the SEC.

22 For the Hanford the individual was
23 monitored for plutonium and uranium by urinalyses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and also fission products by whole body counts.

2 All of the plutonium bioassays were
3 less than MDA. Now, NIOSH assumed -- in this
4 particular case NIOSH's methodology used IMBA and
5 one-half of the MDA value to calculate intakes.

6 They assumed 100 percent plutonium-
7 239. They compared solubility types M and S with
8 type S being the most claimant-favorable.

9 They started employment at the
10 beginning -- the beginning of employment -- they
11 calculated the intakes using the start of
12 employment through 1978 which does not represent
13 the termination data. The termination
14 urinalysis. They decided that that was -- I
15 assume was a low intake and they did not include
16 that in their calculation, in their fitting
17 calculation for determining the internal dose for
18 plutonium.

19 Because type S was the highest intake
20 they also considered type super S and all the
21 doses were multiplied by a factor of 4.

22 With SC&A they also used IMBA.
23 However, they ignored the first bioassay at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 beginning of employment and their fitting
2 calculation started with the following bioassay,
3 but they did include the urinalysis for the
4 termination urinalysis results.

5 So, even though they used similar
6 assumptions such as the 10-year aged fuel grade
7 plutonium because of using different date ranges
8 in their fitting calculation their alpha intake
9 was a lot higher than what was calculated by
10 NIOSH.

11 And Table 2.5 shows a comparison of
12 the doses that were calculated using these two
13 different fitting approaches.

14 And if we go on to the internal dose
15 from uranium, section 2.2.2, all of the uranium
16 bioassays were less than MDA.

17 Both NIOSH and SC&A compared chronic
18 and acute intakes. And NIOSH compared types M
19 and S solubility where SC&A compared intake rates
20 for all three solubility types, F, M and S.

21 Everyone concluded that type S was the
22 largest intake and they also considered recycled
23 uranium in the calculation but that -- this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 calculation resulted in doses of less than 1
2 millirem. Because the non-skin cancers actually
3 came out to 1 millirem so NIOSH did assign 1
4 millirem for that non-skin cancer.

5 Now, with regard to the fission
6 products there were whole body counts that showed
7 greater than limits of detection for cesium-137,
8 zinc-65, and sodium-25.

9 NIOSH followed guidance in accordance
10 with the Hanford TBD which states that intakes
11 should be -- mixed fission intakes should be
12 based on guidance in OTIB-54 which is fission and
13 activation product assignment for internal doses.

14 And their calculation based on that
15 came up with a total fission product dose which
16 is shown on page 24 of 180 millirem to the non-
17 skin cancer and 20 millirem to the four skin
18 cancers. I'm sorry, I'm jumping ahead here. That
19 was coworker dose.

20 Let me see here. Okay, let me go back.
21 I got ahead of myself.

22 For the other mixed fission doses
23 NIOSH used the strontium-90 as the indicator

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radionuclide and data from Table 7.3 of OTIB-54
2 and calculated -- I'm trying to see where I
3 entered their dose. They assumed type S,
4 strontium-90 and levels below LOD. And in
5 addition they added the coworker dose for a
6 period of '75 through '77 and that total dose
7 then came to 180 for the total fission and
8 activation product dose for the non-skin cancer
9 and 20 for the four skin cancers.

10 When SC&A looked at the guidance in
11 OTIB-54 they concluded that -- and if we scroll
12 down to the bottom of page 24 they concluded that
13 for other mixed fission products OTIB-54 did not
14 apply.

15 And they base that on the statement
16 that is shown at the bottom of page 24 which
17 indicates that when assigning the radionuclide
18 specific intakes for the mixed fission and
19 activation products it's assigned when air
20 sampling or urinalysis data associated with
21 reactors or reactor fields are available only as
22 gross or total beta activity, or gross or total
23 gamma activity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And therefore since this fission
2 product dose was based on whole body count SC&A
3 concluded that it wasn't appropriate to use OTIB-
4 54.

5 They instead used, and this is on page
6 25, they instead used the Hanford Radionuclide
7 Chooser Workbook and assumed cerium-144 as the
8 most claimant radionuclide type S.

9 CHAIR KOTELCHUCK: Hi, could you speak
10 just a little louder, please?

11 MS. BEHLING: Okay, I'm sorry. To go
12 back SC&A decided that if they weren't able to
13 use the OTIB-54 document they used the Hanford
14 Radionuclide Chooser Workbook and that -- they
15 then decided to use the cerium-144 type S for
16 their default radionuclide.

17 And that resulted at least for the
18 non-skin cancer in a very much higher dose, 33-
19 fold higher dose from NIOSH of 6.54 rem.

20 So, we included in this particular
21 blind comparison an observation which we usually
22 don't do, but because of SC&A's inability to
23 determine how to interpret OTIB-54 we felt we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should make mention that perhaps the guidance in
2 OTIB-54 could be somewhat more definitive, or
3 there could be some priority put in there so that
4 we could understand how OTIB-54 could be used in
5 this particular case.

6 And we can get back to this issue.
7 I'll just finish this out.

8 Both NIOSH and SC&A also calculated
9 environmental internal dose based on the Hanford
10 Technical Basis Document Table A-12 and both
11 resulted in doses of less than 1 millirem.

12 So, that -- section 3 then sums again
13 all of the doses, external and internal. And
14 really the primary difference obviously is the
15 assignment of the mixed fission product dose
16 where SC&A used a completely different approach
17 than the OTIB-54 because they didn't think it was
18 appropriate.

19 So I guess we need to have a
20 discussion.

21 MR. SIEBERT: This is Scott. I can
22 address that.

23 MS. BEHLING: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. SIEBERT: There's actually two
2 pieces to that.

3 Number one, if you review OTIB-54, not
4 just section 2.0, but section 3.0 and section 8.0
5 it does specifically state that it can be
6 applicable to in vivo results as well as long as
7 it's discussed how they are appropriate.

8 That's kind of a side point because
9 the main point is that in this case we did not
10 apply OTIB-54 to whole body counts. We actually
11 applied it to urine sampling results.

12 And let me kind of explain the process
13 that went through that may have been missed while
14 looking at the case.

15 The individual did have whole body
16 counting during a significant time frame which we
17 use as an indication that there is exposure
18 potential through fission products.

19 As you all know we used the chooser
20 for a long time but you remember the many
21 discussions we had on that, that the cerium-144
22 MDA is much, much larger than anything else and
23 it always drives the chooser dose, and usually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assigning very large intakes that seemed
2 unreasonable.

3 Once we started having coworker for
4 Hanford we realized what we could do was instead
5 of using the whole body count samples as an
6 indicator radionuclide for intake, rather than
7 use it as an indicator for exposure and realize
8 that for strontium-90 an individual who is
9 unmonitored during that time frame we can use the
10 coworker unmonitored values which are based on
11 urine.

12 And we use the coworker intakes for
13 strontium-90 and then we apply the OTIB-54 mixed
14 fission products suite on top of the strontium-
15 90 results rather than the whole body counts.

16 MS. BEHLING: And what section of the
17 OTIB-54 were you referring to that was not
18 included in this comparison? Can you just tell
19 me that? Was that section 5?

20 MR. SIEBERT: 3.0 and section 8.0
21 discuss in vivo.

22 MR. STIVER: This is John. If you
23 look at the bottom of page 24 of OTIB-54 it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 section 8 Scott's referring to. They don't
2 provide any guidance for how to interpret whole
3 body count.

4 As far as I remember the employee had
5 whole body counts and not urinalyses.

6 MR. SIEBERT: Exactly, which is why
7 he's unmonitored for strontium and we assign the
8 strontium coworker unmonitored intakes and apply
9 OTIB-54 to those intakes, strontium intakes that
10 are based on urinalysis.

11 So the whole idea that OTIB-54 doesn't
12 apply to in vivo kind of is an off to the side
13 discussion in this because we didn't do that in
14 this case to start with.

15 But I just point out that there is
16 discussion in OTIB-54 that it can be applicable
17 to in vivo.

18 Now, we agree that there have to be
19 further discussions as to whether it's
20 applicable, where it's applicable and things like
21 that. But that's outside of the scope of this
22 review because we didn't use it in this case for
23 whole body counts.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. BEHLING: John, do you have any
2 more to add? Because it's confusing to me.

3 MR. STIVER: I guess it's confusing
4 because the employee actually had whole body
5 counts and not urinalysis.

6 MR. SIEBERT: Correct. The whole body
7 -- of exposure. However we don't have strontium-
8 90 urinalysis from the employee. So we're in a
9 situation where they're unmonitored for
10 strontium-90.

11 So what we do is we apply the
12 strontium-90 unmonitored coworker doses to the
13 employee.

14 If you look at the OTIB-54 files that
15 are in the case those are the strontium-90
16 intakes that are applied during that whole time
17 frame. It's not based on the whole body count in
18 applying OTIB-54 to it.

19 MS. BEHLING: This is Kathy. Scott,
20 so you used OTIB-54 just Table 7-3 for the
21 indicator radionuclide and activity fractions.
22 Is that what you're saying? For the other mixed
23 fission dose.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. SIEBERT: I don't know, I'm not
2 looking at the specific tables at the moment but
3 that sounds correct. Go ahead.

4 MS. BEHLING: I'm sorry. So that's
5 how you selected your indicator radionuclide from
6 the guidance in OTIB-54. But then when you
7 calculated the doses you actually used coworker
8 dose for that time frame and assuming strontium-
9 90. Is that what I'm understanding?

10 MR. SIEBERT: I'm sorry, I didn't
11 understand what you were asking.

12 MS. BEHLING: Okay. I believe what
13 you were saying is that you didn't actually use
14 OTIB-54, but I thought what I was hearing is that
15 you selected strontium-90 as your indicator
16 radionuclide based on OTIB-54 but then to
17 calculate the dose you used coworker dose, 50
18 percent coworker dose for strontium-90.

19 MR. SIEBERT: Well, the individual is
20 not monitored for strontium.

21 MS. BEHLING: Right.

22 MR. SIEBERT: So we assigned coworker
23 strontium based on the fact that they are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 unmonitored at that time frame.

2 Because we're assigning coworker
3 strontium we also used that as the indicator for
4 OTIB-54, all the rest of the mixed fission
5 products that would come along with it.

6 MS. BEHLING: Okay. And correct me if
7 I'm wrong, but our thought was fission products
8 were -- the individual was monitored for fission
9 products using the whole body count data.

10 And therefore when we read the section
11 of the OTIB-54, we assumed it didn't apply. That
12 was your thinking?

13 MR. SIEBERT: I could see how you
14 could read it that way, yes.

15 MR. STIVER: Yes, because all the
16 employee had was whole body counts for fission
17 products.

18 MS. BEHLING: For fission products,
19 exactly. And I guess this points out that perhaps
20 there should be some clarity added to OTIB-54.
21 Maybe that's outside this discussion, but it just
22 seemed to us that if we didn't feel it applied
23 perhaps that OTIB needs to be clarified or more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 details added to the guidance.

2 MR. SIEBERT: We're looking at it on
3 our side and we agree that I could see how it is
4 not necessarily crystal clear, since it's a very
5 confusing issue.

6 So we're looking at perhaps making the
7 guidance a little more clear. I think that's a
8 reasonable assumption, yes.

9 CHAIR KOTELCHUCK: That's good. That
10 makes sense to do.

11 MS. BEHLING: Well, the good thing I
12 guess is that even though we used -- we did use
13 a conservative assumption by using -- and I agree
14 with Scott, the Hanford radionuclide chooser and
15 selecting cerium-144 is an overestimate.

16 But it did result in doses that were
17 quite a bit higher. But those PoCs were still
18 below the 50 percent.

19 CHAIR KOTELCHUCK: Those PoCs were
20 still similar. We have had PoCs that differ by
21 a couple of percent in the past.

22 But there has been clarity now about
23 why SC&A's is larger. And presumably that NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will continue using its procedures as it has.
2 And perhaps write them up a little more, in a
3 little more detail. That particular issue. Yes?

4 MS. BEHLING: That's what I'm hearing
5 from Scott.

6 And John, did I miss anything that you
7 want to add?

8 CHAIR KOTELCHUCK: Do folks want to
9 ask, do other members of the Subcommittee have
10 questions or comments?

11 MEMBER CLAWSON: I'm just, I was
12 struggling to follow the chain of thought that
13 they were going through on this.

14 And I understand what we came down to,
15 but I'm with Kathy, something has got to be a
16 little bit more clear.

17 CHAIR KOTELCHUCK: And the NIOSH
18 people are committed to clarifying it a bit.

19 Still, in my opinion there's basic
20 agreement. And even with the difference as large
21 as the one that we're looking at there isn't a
22 change in decision.

23 Although of course if this were to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 occur with a PoC closer to 50 percent in the first
2 place then it could result in a flip. But it did
3 not in this case.

4 Folks, should we accept this?

5 MR. KATZ: It's just you and Brad.

6 CHAIR KOTELCHUCK: Yes, indeed. You
7 are right.

8 MEMBER CLAWSON: Just you and me.

9 CHAIR KOTELCHUCK: Okay. Well, I think
10 we are in agreement. So if you'll move that we
11 accept I will second.

12 MEMBER CLAWSON: Okay, I move.

13 CHAIR KOTELCHUCK: Okay, and I will
14 second. And so we agree --

15 MEMBER POSTON: Don't forget me.

16 CHAIR KOTELCHUCK: Pardon?

17 MEMBER POSTON: Don't forget me.

18 CHAIR KOTELCHUCK: Thank you. Yes,
19 indeed. Yes, indeed. All right. Do you have
20 further comment?

21 MEMBER POSTON: No.

22 CHAIR KOTELCHUCK: Okay. Then thank
23 you very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: He just wants to be
2 a part of the conversation.

3 CHAIR KOTELCHUCK: Right. You were
4 quiet enough as you sometimes are but you're
5 there and we are very happy you are.

6 MEMBER POSTON: It's an unusual role
7 for me so I thought I'd be quiet.

8 CHAIR KOTELCHUCK: Right. All right.
9 Anyhow. So those in favor of accepting the
10 report, aye?

11 (Chorus of ayes)

12 CHAIR KOTELCHUCK: Opposed?

13 (No audible response)

14 CHAIR KOTELCHUCK: And abstain?

15 (No audible response)

16 CHAIR KOTELCHUCK: So this is accepted
17 and with that we have now completed the line for
18 the 23rd. And it may be worth just going back
19 for a moment and taking a look at the table that
20 was provided to us by folks. Let's see. The
21 comparison.

22 Do we have that table up? Yes.

23 MS. GOGLIOTTI: I have it up on my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 screen. Can you see it?

2 MR. KATZ: Yes.

3 CHAIR KOTELCHUCK: Yes, we're fine.

4 In general, in most cases, the NIOSH result is
5 slightly higher than the SC&A result, which I
6 find comforting because NIOSH is the one that is
7 doing the dose reconstructions, of which we're
8 only looking at a small percentage.

9 And it's good to see that NIOSH is
10 consistently claimant-friendly. SC&A also tries
11 to do it claimant-friendly, but SC&A is also
12 trying to make sure it's scientifically valid and
13 perhaps adheres somewhat more to scientific
14 correctness, combining their original A and B
15 perspectives. So I'm satisfied now that our
16 results continue to be good.

17 Can I ask you Ted or folks, this is
18 the 24th or --

19 MR. KATZ: The 23rd set. So there's
20 a 24th set that was just delivered.

21 CHAIR KOTELCHUCK: Right. No, I'm
22 thinking about the previous sets that we've
23 looked at, from the very first sets. We had a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 few, what, three from the very first set and then
2 sets of six? How many total have we gone over
3 since we started doing the blind reconstructions?

4 MS. GOGLIOTTI: I believe there are 32
5 in total.

6 CHAIR KOTELCHUCK: Very good.

7 MS. GOGLIOTTI: Six of those would
8 have been from this blind.

9 CHAIR KOTELCHUCK: Correct, correct.
10 Okay, fine. So it is four different sets of six
11 and then --

12 MR. KATZ: Rose, does that 32 include
13 Set 24 or not?

14 MS. GOGLIOTTI: I believe so. Yes.

15 MR. KATZ: It does include that 24.
16 So it includes six that you haven't gone through
17 yet, Dave.

18 CHAIR KOTELCHUCK: That's right.
19 Good, that's good. So this is good.

20 And, Ted, I don't know, maybe it's
21 reasonable to ask you at this time, the only one
22 that has not been resolved is the Allied Chemical
23 & Dye blind case from an earlier set.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yeah, and that one, the
2 only thing that hasn't been resolved is the
3 surrogate data issue. And I know the SEC Issues
4 Work Group was intending to address that at some
5 point. I think they have been more wrapped up
6 with the SRS coworker model issue and so they
7 still have that on their plate. They know it's
8 there and I occasionally remind them that it's
9 there.

10 CHAIR KOTELCHUCK: That's what I want
11 to hear. That's what I want to hear.

12 MR. KATZ: Certainly by the time you
13 wrap up the other six, the last six, I think we
14 should make sure that they've wrapped that one up
15 because that would be a good time to report out
16 on each set.

17 CHAIR KOTELCHUCK: Very good. Okay.
18 And, folks, in terms of the pace of going over
19 the different cases versus -- and the blinds, I
20 do find it useful that what we've done this year
21 is every other meeting we go over three blinds.
22 And that, by the end of the year, completes a
23 set.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I would propose that we do cases,
2 case resolution, the next time, completely, and
3 possibly there are other things that we'll see.

4 And then two meetings from now, the
5 meeting after next, we'll come back to the next
6 three blinds. How does that sound?

7 MEMBER BEACH: Sounds good, Dave.

8 MS. GOGLIOTTI: In all honesty, I
9 don't know that we have enough issue resolution
10 to get through another full meeting. We only
11 have today's matrix that we're going to cover and
12 one more, and that's the entirety of the work
13 that we have.

14 CHAIR KOTELCHUCK: Wow. You mean
15 we're up-to-date almost?

16 MS. GOGLIOTTI: Almost.

17 CHAIR KOTELCHUCK: I'm pleasantly
18 surprised.

19 MS. GOGLIOTTI: Well, we haven't done
20 new dose reconstruction cases, non-blind cases in
21 over three years, so.

22 CHAIR KOTELCHUCK: Wow. Okay.
23 Because I'm just -- ever since I started chairing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Committee I've just felt that we've got this
2 load of cases, this backload that we just have to
3 get through. But I'm glad to hear that. And so
4 we'll see, as folks prepare for the next meeting,
5 whether we will in fact go over a few blinds.
6 Thank you for updating me on that.

7 MS. BEHLING: Dr. Kotelchuck, this is
8 Kathy Behling. One last question on the blind
9 comparison table that we're looking at.

10 Is there any benefit to the
11 Subcommittee to add a row to each of the blinds
12 that have been done, as we've done in the past,
13 just summarizing what the major differences were
14 with each of those? I don't know. We've done
15 that in the past and I didn't know if you wanted
16 to continue that.

17 CHAIR KOTELCHUCK: I don't recall
18 right off that we've had that in the past.

19 MS. BEHLING: I know we did it on the
20 17th set and I believe we had talked about doing
21 it on the other set. And I'm not sure we've got
22 to that yet, but --

23 CHAIR KOTELCHUCK: Right, right. What

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do other people think? I don't recall.

2 MEMBER CLAWSON: Yes, it does, because
3 it really helps that you look at this and then
4 just at a glance be able to understand what the
5 difference is and why. It makes it a lot clearer
6 for somebody to just pick it up and be able to
7 understand.

8 CHAIR KOTELCHUCK: Well, that's a
9 good, strong case for it and since I don't recall
10 I'll go back and take a look at the old tables to
11 see that. So we have a strong affirmation that
12 that is worthwhile to do. Unless somebody from
13 the Subcommittee opposes or wants to reconsider,
14 let's just ask that you do add that column onto
15 the table.

16 MS. BEHLING: Okay. And I will ensure
17 that that also happens with the previous blind
18 set, the 22nd set, I believe, if we haven't
19 already done that.

20 CHAIR KOTELCHUCK: Sure. Good.

21 MS. BEHLING: Okay, thank you.

22 CHAIR KOTELCHUCK: Thank you.

23 Alright.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Probably a wonderful
2 simplification and it was nice to have.

3 MEMBER CLAWSON: Somebody's got to
4 mark that down that me and Wanda agree on
5 something.

6 CHAIR KOTELCHUCK: Right, very good.
7 Well, I actually have a marker and you agreed
8 once last time, last meeting. So it's getting to
9 be a very long string at this point.

10 (Laughter)

11 **Review outstanding Type 2 cases from Sets**

12 **14-18 DOE Sites matrix**

13 CHAIR KOTELCHUCK: Right, right. All
14 right. Now we go to some toughies, some of our
15 outstanding type 2 cases. And apparently from
16 Sets 14-18, item 3 on the agenda.

17 And I note that there's some
18 discussion ahead for Brookhaven National Lab. So
19 let's make the changes on the screen.

20 MS. GOGLIOTTI: Bob, are you on the
21 phone?

22 MR. BARTON: Yes, I'm here, Rose.

23 MS. GOGLIOTTI: Okay. I've got your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 response pulled up, the extended response, from
2 the BRS.

3 MR. BARTON: Okay, great. I can see
4 that and I can pick it up from here. If the
5 proper folks at NIOSH are present and ready to
6 go, I'll begin.

7 CHAIR KOTELCHUCK: Okay.

8 MR. SIEBERT: This is Scott. I'm
9 going to tell you right now, I mean, we can go
10 over it. However, this is a complicated issue
11 and we will need to issue a written response. So
12 there's really not going to be much discussion
13 today, as far as I know.

14 CHAIR KOTELCHUCK: Good. That is --

15 (Simultaneous speaking)

16 MR. KATZ: Bob, can you introduce the
17 case properly though so that people on the front
18 end know what we're talking about?

19 MR. BARTON: Sure. Without obviously
20 trying to get into too much detail, this is a BNL
21 case and the worker was employed there for a
22 little under two years, and was in a position and
23 has a particular illness in which non-penetrating

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or beta dose is of concern.

2 And unique to BNL, when we looked at
3 this case, we looked at the dose record for this
4 individual and we saw information about the gamma
5 dose and about the neutron dose. And we saw
6 nothing about non-penetrating or beta. So, we're
7 kind of scratching our head. We didn't initially
8 know even if the claimant was monitored for non-
9 penetrating radiation.

10 When we looked at the actual dose
11 reconstruction, we did see that there was some
12 limited missed shallow doses applied.

13 When I say limited, it was actually
14 assumed to be one-half of one dosimetry badge
15 cycle. So, essentially, that's one quarter of
16 the MDA being assigned as beta. And so that got
17 us, obviously, scratching our heads a little bit.

18 In one of its earliest responses,
19 NIOSH clarified that at BNL it's kind of unique
20 in that beta doses aren't listed in the record
21 unless they are essentially positive.

22 And, Scott, please stop me if I'm
23 getting any of these technical details wrong.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, essentially what that means is,
2 and as you can see for those of you who are on
3 Skype, this individual has a table with their
4 external doses and there are columns for gamma
5 and the neutron, and then there's nothing after
6 the table to indicate that a positive beta dose
7 was accrued.

8 Now, this person, there's no reason to
9 believe they weren't actually monitored via the
10 standard beta gamma dosimeter. So what that
11 leaves us with is they were monitored but there
12 was no positive dose for beta recorded.

13 So, really, the only appropriate thing
14 to do is then evaluate your missed dose, missed
15 shallow dose. So we looked into that. And again,
16 as I said, the original dose reconstruction had
17 assigned one-half of one badging cycle for this
18 individual. And we got into that calculation,
19 and NIOSH provided Excel files and additional
20 clarification via written response, which is in
21 the BRS.

22 And what we found, or what we think we
23 found, is that when they went in to calculate the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 missed dose -- because, again, there was nothing
2 measured so the only appropriate thing to do was
3 assign missed dose -- it appeared when they were
4 calculating the number of missed zeroes they were
5 assuming that there actually was a positively
6 accrued beta dose.

7 So, on one hand, we're not assigning
8 any measured beta dose because it doesn't appear
9 in the record, which we feel is appropriate. But
10 then it appears that, when calculating the missed
11 dose, the assumption is made that there was
12 positively accrued dose.

13 So that's it in a nutshell. What we
14 did in our most recent response is we provided
15 dose records just so you can see what we're
16 talking about. And also we provided what we feel
17 is a sample calculation on how that missed
18 shallow dose should be calculated for this
19 individual, assuming that there were no measured
20 positive doses.

21 And, again, no positive measured doses
22 were assigned, so if you're going to calculate
23 the missed zeroes, the assumption that there was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 no measured dose has to be consistent.

2 That's where we're at, and it sounds
3 like NIOSH is still working on that.

4 CHAIR KOTELCHUCK: Right.

5 MR. SIEBERT: I can tell you it
6 appears that the issue is going to be in
7 definitions rather than a "positive" shallow
8 dose. It has more to do with a detectable and
9 non-detectable open window dose. And missed dose
10 is when you can't detect something, not when
11 there's not something positive.

12 So, like I said, we'll write up what
13 we've got and we'll get it over to you guys and
14 we can continue the exciting discussion on this.

15 CHAIR KOTELCHUCK: Okay, very good.
16 Very good. So that's BNL 436.2, for those who
17 are looking at the BRS. Good.

18 Now, do we have other ones of the
19 toughies from 14 to 18 that SC&A and NIOSH would
20 like to discuss or are ready to discuss?

21 MS. GOGLIOTTI: Dave, we left those
22 off of the agenda because we thought that we
23 wouldn't have time. We can certainly go back if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's what you'd like to do, but I'm not sure
2 that all the responses are --

3 CHAIR KOTELCHUCK: No, they're all
4 category 2 cases and difficult ones. So I don't
5 want to -- I didn't realize you left -- you
6 mentioned -- and we talked about the Brookhaven
7 National Lab case. So, I'm not surprised, then.
8 I don't want to rush things by going back.

9 So, we should -- that's the single one
10 that we thought we might be able to deal with
11 this time and we're just not ready to complete
12 the discussion. So let's just go onto item 4.

13 MR. CALHOUN: This is Grady. Can I
14 interject something here real quick in between?

15 CHAIR KOTELCHUCK: Of course.

16 MR. CALHOUN: I should have done this
17 a few minutes ago. But it would really be helpful
18 for us, for the next set of blinds, that we get
19 the supporting files, like the IREP sheets. That
20 really helps us. So, the next time you submit
21 those blinds, your next set, just make sure that
22 we get those.

23 MS. GOGLIOTTI: I believe they were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 provided. I think maybe Beth requested them.

2 MR. CALHOUN: Yeah, I don't know if we
3 got them or not. If we did I didn't get them,
4 but we'll check.

5 MS. GOGLIOTTI: We definitely provided
6 them. They're on the O: drive.

7 MR. CALHOUN: Okay, great. Thank you.

8 CHAIR KOTELCHUCK: Good.

9 MR. KATZ: Before we move on, Dave,
10 can I just get clarification. I'm not sure if
11 I'm getting it from Grady or Rose, but for these
12 difficult cases that we're just passing on now,
13 most of them there's not a response ready so
14 they're not ready to be discussed.

15 But will all of those be ready to
16 discuss, that aren't in a Work Group outside of
17 the Subcommittee, will they all be ready for the
18 next meeting, say, a couple of months from now?
19 Is that a good assumption?

20 MS. GOGLIOTTI: I don't see any reason
21 why not.

22 MR. KATZ: Okay.

23 MS. GOGLIOTTI: There are responses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for some of them, but not all of them. And
2 because it's not on the agenda I did not focus on
3 them.

4 CHAIR KOTELCHUCK: Okay. And I must
5 say, as the Subcommittee Chair and Member, I'm
6 always happy to do the Type 1 cases. The type 2
7 cases are tough, but that's what we're here for
8 as well.

9 MS. GOGLIOTTI: I actually did have a
10 single one that I did want to go over if there
11 was time.

12 CHAIR KOTELCHUCK: Among the 14
13 through 18 sets?

14 MS. GOGLIOTTI: It was in the 19 to
15 21st set, I believe.

16 CHAIR KOTELCHUCK: And it was one we
17 discussed last time? If folks are ready, I'm not
18 sure which one that is.

19 MS. GOGLIOTTI: I believe that was
20 480. The response came in yesterday night and we
21 were a little confused by it. And so I was hoping
22 we could go back and discuss it briefly.

23 CHAIR KOTELCHUCK: Okay. Although are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you sure it is not a discussion that you just
2 want to have with the NIOSH people? A
3 clarification.

4 MS. GOGLIOTTI: We can certainly hold
5 it off. We were just a little confused. We got
6 a response back and it didn't seem to line up
7 with our expectations.

8 CHAIR KOTELCHUCK: Subcommittee
9 Members, should we go ahead with it?

10 MR. KATZ: Dave, I would just let them
11 handle that offline and get that ready, because
12 we have to address a whole bunch of other cases
13 at the next meeting anyway.

14 CHAIR KOTELCHUCK: That would be my
15 thought as well. That's why I was thinking we
16 wouldn't.

17 MS. GOGLIOTTI: Okay, absolutely.

18 CHAIR KOTELCHUCK: Okay. So you'll
19 talk offline.

20 By the way, in thinking about the
21 blind cases, I'm going to take the liberty of the
22 Chair to just go back for a comment about the
23 blind cases that I meant to make.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Generally, in the past, as we've gone
2 through blinds, sometimes persons in the cases
3 that we're looking at have most unusual cancers.
4 And usually we try to avoid mentioning them
5 because we don't violate a person's privacy. And
6 if it's a rare cancer, by talking about the type
7 of cancer, we in fact may reveal personal
8 information, which we do not want to do.

9 My comment is, as we've gone through
10 this set, we also are coming across cases where
11 people work at many different sites. And when a
12 person has worked at several sites, at a certain
13 point, it seems to me, by mentioning the sites we
14 implicitly may be revealing personal information
15 that people can realize who that might be.

16 And I know we don't want to do it, nor
17 am I criticizing. In fact, I didn't think about
18 that until today's discussion. But if I may just
19 put a word in for the future that as we discuss
20 blinds we also look at not just the type of cancer
21 but the work at different sites, different
22 facilities. And if we have some that are unusual
23 that may reveal personal things that we try to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just say "this is a facility," or "the person
2 worked at one facility for a short time here" and
3 not mention the facility.

4 We can't be perfect and there's no
5 absolute protection for privacy, but I just
6 thought I'd mention it. It did come up as I was
7 looking at some of the cases in this last set.

8 MR. KATZ: That's a good mention,
9 Dave, because I had that exact same thought and
10 it was too late, it already had been done. And
11 it's nothing -- I don't think we have any public
12 even on the line today. But it is a good idea to
13 just, when we have a complex work history, let's
14 not lay it all out in exact detail.

15 CHAIR KOTELCHUCK: Right. Okay.
16 Fine. Yeah, I did not think about that until
17 today's discussion. But it's just a word of
18 advice and caution and no criticism of anybody.
19 We work collectively in trying to do the right
20 thing.

21 MEMBER MUNN: There is another aspect
22 to that, though, that is one has to not forget.
23 And the other aspect is in an attempt to try to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do the right thing we can do so many right things
2 that we can't really and truly do the larger right
3 thing.

4 That's a kind of situation that one
5 runs into in safety considerations of all kinds,
6 especially in the nuclear world. You can attempt
7 to be so safe that you cannot operate anything.

8 CHAIR KOTELCHUCK: Yes.

9 MEMBER MUNN: And if we are going to
10 be completely clear in our understanding of the
11 exposures that people have, we need to have more
12 than a passing understanding of the fact that
13 they were just in a facility.

14 MR. KATZ: Right. But, Wanda, I
15 totally agree, but, I mean, you're getting this
16 material in writing and so the person leading the
17 presentation can say "the first facility, the
18 second facility," instead of saying Hanford and
19 Iowa or whatever it is.

20 And that then still shields the public
21 and you have all the information you need in the
22 precise detail.

23 But, really, violating the Privacy Act

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is a most serious problem, and so that really
2 takes precedence over the clarity of
3 conversation, I would say, if you had to choose
4 between the two.

5 CHAIR KOTELCHUCK: Well, there's a
6 balance to be had because complete personal
7 protection is not available, but we'll do our
8 best.

9 MS. GOGLIOTTI: How about whenever the
10 person worked at two or more sites we'll say the
11 main site and then the other site we'll just --

12 MR. KATZ: Yeah, yes, that's what I
13 was saying. That works fine. You don't have to
14 specify the rest of the sites, right.

15 **Review Type 1 cases from Sets 19-21**

16 CHAIR KOTELCHUCK: Okay. Good. Now
17 let's go on. It's 2:45. We could take a 15-
18 minute break now. Although, frankly, we've only
19 been going for about an hour, so I would hold
20 off. I'd start with the Type 1 cases and we'll
21 go on until 3 o'clock, let's say, or a little
22 after 3, and we'll take a short break.

23 MEMBER MUNN: Fine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: Okay. Alright.

2 So, let's go to item 4, sets 19 through 21.

3 MS. GOGLIOTTI: These are just the
4 Type 1s.

5 CHAIR KOTELCHUCK: Yes.

6 MS. GOGLIOTTI: And I have here pulled
7 up our Type 1 spreadsheet, and I'll just go
8 through it, if that's okay with everyone.

9 CHAIR KOTELCHUCK: Very good.

10 MS. GOGLIOTTI: Alright. The first
11 observation is 453, Observation 1. And this is
12 an Iowa Ordnance Plant, Sandia, Albuquerque,
13 Pacific Proving Grounds, and NTS case.

14 And our observation was simply that we
15 thought the wrong revision of the TBD was cited.
16 It appeared to us that they had used the correct
17 version of the TBD because some of the things
18 that were included in the dose reconstruction,
19 but the wrong revision was cited.

20 And NIOSH does agree that the wrong
21 revision was cited, but they used the correct
22 revision when actually completing the dose
23 reconstruction.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: Okay. So, any
2 comments?

3 MEMBER MUNN: I like those.

4 CHAIR KOTELCHUCK: Yes, I do too.
5 Alright. Then that sounds good. Closed.

6 MS. GOGLIOTTI: Alright, next is from
7 the same case, Finding 1. And the finding states
8 that NIOSH failed to properly account for the
9 recorded dose to the prostate.

10 And this, I believe, was more of a
11 misunderstanding. The dose reconstruction text
12 said that they used a dose correction factor of
13 greater than 1 for the prostate, which using a
14 dose correction factor of 1 would be incorrect
15 because the dose correction factor for the
16 prostate is 1.244, I believe.

17 And NIOSH just provided us with
18 additional clarification that maybe we were
19 misinterpreting the text and the dose
20 reconstruction. So I believe we can close that.

21 CHAIR KOTELCHUCK: Okay. Alright.

22 MR. CALHOUN: Is that still a finding?

23 CHAIR KOTELCHUCK: That's a good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 point.

2 MS. GOGLIOTTI: Based on the
3 clarification, and since I don't believe you
4 actually did anything wrong other than the
5 incorrect text, I would say that it's an
6 observation.

7 CHAIR KOTELCHUCK: I think you're
8 right. Okay. So, change that to an observation.
9 453.2.

10 MS. GOGLIOTTI: Yes. This one stated
11 that NIOSH failed to apply a dosimeter
12 uncertainty factor. And NIOSH did agree with
13 this finding. When they applied the factor it
14 did decrease the PoC from around 40 to about 37
15 percent, and so that didn't impact compensation.
16 So, based on that, I recommend closure.

17 CHAIR KOTELCHUCK: Well, that does
18 sound like a finding. It happens to reduce the
19 PoC but that's not of consequence. The
20 consequence is that it changes the PoC. And NIOSH
21 agrees. So I think we should move to close it.

22 Again, if any Subcommittee Member has
23 concerns or comments or objections, of course.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Agreed.

2 CHAIR KOTELCHUCK: Okay. Done.
3 Closed.

4 MS. GOGLIOTTI: Okay. The next one is
5 a Pantex plant case, and that is 461.2. And the
6 finding states that NIOSH did not apply a
7 clothing electron attenuation factor for the
8 forearm and elbow. I believe there were two skin
9 cancers in this particular case. Generally, when
10 the skin is covered by clothing we would apply a
11 correction factor for an electron dose.

12 NIOSH agrees that they probably should
13 have assigned long sleeves for those particular
14 cancers. In this case it actually did reduce the
15 PoC and it would reduce it from just over 50
16 percent to 49.8.

17 CHAIR KOTELCHUCK: Right. Was this
18 compensated?

19 MS. GOGLIOTTI: Yes.

20 CHAIR KOTELCHUCK: Yeah, as I thought.
21 Alright.

22 MS. GOGLIOTTI: And we're not going to
23 go back and take away compensation from --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: Oh, no, absolutely,
2 that's a standing rule. But nor is it obvious
3 whether the person in a plant, in the American
4 South, and I don't know which times of year,
5 whether the person in fact wore clothing.

6 MS. GOGLIOTTI: I believe this person
7 wore coveralls as a part of their job, which in
8 general would cover your arms.

9 CHAIR KOTELCHUCK: Yes. True. Well,
10 that's good, then, that we know that. So probably
11 it would have been 49.8.

12 But, again, I think we should close it
13 because this is a finding and we agree and the
14 issue about whether it flips it or not is not,
15 again, of consequence in this aspect of the
16 examination.

17 So, move that we close. Any comments
18 or disagreements?

19 MEMBER BEACH: Agreed.

20 CHAIR KOTELCHUCK: Okay.

21 MS. GOGLIOTTI: Okay. I cannot
22 explain why that one was just randomly thrown in
23 here, but the next one we're actually going to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 back to the previous case.

2 CHAIR KOTELCHUCK: Okay.

3 MS. GOGLIOTTI: I apologize. I don't
4 know how that happened here. Which is Iowa
5 Ordnance, Sandia, PPG, and NTS. And it's Finding
6 3. And the finding states that there was
7 potential improper accounting of recorded photon
8 dose for the year 1972.

9 And what happened here, it was kind of
10 unusual. In the dose reconstruction report there
11 were several different computer-generated values
12 reporting this EE's dose. One reported the
13 higher dose than the other. And so our initial
14 thought was always to go with the higher dose.

15 But this is actually an electronic
16 reporting system and what they were doing is they
17 were rounding the values prematurely before they
18 were adding them together, which results in an
19 inflated dose. And so NIOSH actually did use the
20 correct value, it's just this reporting system,
21 for whatever reason, rounded too early in the
22 process.

23 CHAIR KOTELCHUCK: Yeah. Well, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 certainly is incorrect in procedure but how do
2 you know that the result was higher rather than
3 lower?

4 MS. GOGLIOTTI: Well, in this
5 particular case, because we had multiple results.
6 And the lower results, when we went back and
7 looked at them, we could see why they rounded up
8 and how that --

9 CHAIR KOTELCHUCK: Okay. So you saw
10 the exact as well --

11 MS. GOGLIOTTI: Yes. That wasn't
12 immediately apparent to us when we were doing our
13 dose reconstruction. I'm not sure why they would
14 have done that, but that's what happened.

15 CHAIR KOTELCHUCK: Right. In a sense
16 it's a kind of a QA, although it was not for an
17 individual doing the dose -- it wasn't a
18 reconstructor, it was some sort of electronic
19 system. Nevertheless, it wasn't a question of
20 NIOSH doing anything wrong.

21 MS. GOGLIOTTI: No, I don't believe
22 that they did anything wrong. And they did follow
23 the correct -- we had just simply never seen this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I believe there was another finding that's
2 identical to this one further down that we'll run
3 into, for the same reason.

4 CHAIR KOTELCHUCK: Okay. To my mind,
5 this is an observation with a QA. Wouldn't that
6 be --

7 MR. KATZ: Yes, it's an observation.
8 The QA doesn't relate to the program. It's not
9 QA in our context because it's not our folks
10 making a QA error.

11 CHAIR KOTELCHUCK: Right. So, from
12 our point of view, it's just simply -- there was
13 no error made by NIOSH. Therefore it's an
14 observation.

15 Okay, let's close this as an
16 observation. Again, awaiting comments or
17 concerns from our other Subcommittee Members.

18 Okay. Let's go on.

19 MS. GOGLIOTTI: Okay. From the same
20 case, Finding No. 4. And the finding states that
21 uncertainty factor was not applied as stated in
22 the dose reconstruction report. And here NIOSH
23 agreed with us. It wasn't as stated. The DR

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 report is correct. And 1.3 should have been
2 applied.

3 However, in this case uncertainty was
4 inadvertently not selected in the workbook and so
5 it was not actually included in the dose
6 reconstruction report.

7 CHAIR KOTELCHUCK: I'm reading it
8 again a little bit. I'm not quite sure.

9 MS. GOGLIOTTI: So in the report they
10 stated that they used the dose reconstruction
11 uncertainty factor of 1.3 and it should have been
12 applied.

13 However, when they went to actually
14 apply it the checkbox didn't get selected in the
15 workbook that calculates this.

16 CHAIR KOTELCHUCK: Aha.

17 MR. KATZ: So that's a QA.

18 CHAIR KOTELCHUCK: Yes. Okay. Right,
19 right. And that's an observation again.

20 MR. KATZ: Why is that an observation?
21 That's a mistake. That's a finding.

22 It's a finding because the factor
23 wasn't applied and it should have been. Right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Did I misunderstand?

2 MS. GOGLIOTTI: That's correct.

3 MR. KATZ: Yes.

4 CHAIR KOTELCHUCK: Okay. All right.

5 I see. All right. Let's go on.

6 MS. GOGLIOTTI: Okay. Same case,
7 finding number 5. And the finding states that
8 NIOSH assigned a duplicate record for the year
9 1976.

10 This was kind of one of those cases
11 where the EE worked at a lot of different sites.

12 And here the same results appeared in
13 two site's records. They had the same dates.

14 And so when SC&A saw that we
15 interpreted that to mean that they were the same
16 record and the main site that the EE worked in
17 was simply reporting the site from the off site
18 that they had visited.

19 NIOSH in all likelihood agrees with us
20 but they weren't 100 percent sure so they
21 assigned it anyway which would be an additional
22 approximately 30 millirem assigned to each of the
23 doses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Not a big difference. Doesn't affect
2 the PoC at all. It's a different interpretation
3 of the duplicate record.

4 CHAIR KOTELCHUCK: Just reading. I'm
5 not sure what --

6 MS. GOGLIOTTI: This is a difference
7 in judgment.

8 CHAIR KOTELCHUCK: Pardon?

9 MS. GOGLIOTTI: I guess you would say
10 this is a difference in judgment.

11 MR. CALHOUN: Here it is Dave. Let
12 me try to help.

13 Okay, yes, we've got two sets of
14 dosimetry reports, one from Sandia and one from
15 NTS.

16 Both of them had this dose recorded
17 for this year, two separate dosimetry reports.
18 Because the EE was probably sent to one facility
19 from the other.

20 But there was no delineation as to
21 where the dose came from. And it was on two
22 separate reports.

23 So per our standard claimant-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 favorable approach when we don't know we have to
2 choose the approach that's claimant-favorable.

3 Therefore we assumed it happened at
4 both sites and not just one.

5 CHAIR KOTELCHUCK: Very good. Okay.

6 MR. CALHOUN: I would also say that
7 this probably goes down to an observation too.

8 CHAIR KOTELCHUCK: Yes, sounds like
9 it. And thank you for that. That is clarifying.
10 Yes, makes sense.

11 Okay, that being the case we should
12 close it as an observation. Unless, do hear any
13 concerns? No? Okay, so it is closed. Let's go
14 on.

15 MS. GOGLIOTTI: Okay. The next one is
16 453.7. Same case. The finding states that there
17 was a potential improper accounting of recorded
18 electron dose for the year 1972.

19 And this is going back to the same
20 thing that we identified earlier with the
21 electronic records that rounded prematurely.

22 CHAIR KOTELCHUCK: Right. Okay. So
23 again that's now again an observation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we accept? Sounds like it. Okay.
2 So we'll close it.

3 MS. GOGLIOTTI: Okay. Same case,
4 finding number 8 states that there was a failure
5 to account for missed -- I'm sorry, did someone
6 say something?

7 Okay. There was a failure to account
8 for all missed photon dose cycles while employed
9 at Sandia.

10 And NIOSH agrees here. The 1965 and
11 '66 were not included in the IREP evaluations.
12 They said it was just inadvertently left out by
13 the dose reconstructor.

14 CHAIR KOTELCHUCK: Right. Okay. So
15 that would be a finding. And we should close it.
16 You're in agreement? Okay, closed. Next.

17 MS. GOGLIOTTI: The next one, same
18 case again. It states that SC&A was unable to
19 reproduce the assigned occupational medical
20 doses.

21 And NIOSH agrees. They were also
22 unable to account for the assigned dose of 5
23 millirem X-ray dose.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 At the time I guess there was no site-
2 specific tool for SNL. It was likely a
3 transcription error.

4 CHAIR KOTELCHUCK: All right. Another
5 finding. And there is agreement with the two
6 groups. So, shall we close it folks?

7 MEMBER MUNN: Yes.

8 CHAIR KOTELCHUCK: Right. If we don't
9 -- I'm trying to remember. We don't have too
10 many more at this point, do we?

11 MS. GOGLIOTTI: There might be 15 to
12 20 more.

13 CHAIR KOTELCHUCK: Pardon?

14 MS. GOGLIOTTI: I would guess maybe 15
15 to 20 more to go through.

16 CHAIR KOTELCHUCK: Fine, okay. Well,
17 in this case maybe we should take a break. It is
18 3 o'clock. I suggest we take a rest break. It's
19 3, 3:02, so let's get back together at 3:15, 3:17
20 if you want to be precise, 3:17.

21 And we'll go on and finish up and then
22 we'll be finished for the day I believe.

23 MR. KATZ: Sounds good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: Okay. See you all
2 in 15 minutes. Bye bye.

3 (Whereupon, the above-entitled matter
4 went off the record at 3:03 p.m. and resumed at
5 3:19 p.m.)

6 MR. KATZ: You have a quorum.

7 CHAIR KOTELCHUCK: Very good. Let us
8 proceed.

9 MS. GOGLIOTTI: Okay. Where we left
10 off here, this is a Los Alamos case, tab 454,
11 finding number 1.

12 And the finding states that NIOSH did
13 not assign unmonitored LANL neutron dose for the
14 year 1946. And NIOSH agrees that that should
15 have been assigned.

16 When they did go through and assign it
17 the combined PoC went up approximately 4 percent.
18 But not enough to affect compensation.

19 CHAIR KOTELCHUCK: Okay. All right.
20 That's a clear finding. And we will close that
21 unless I hear objections.

22 MEMBER CLAWSON: I'd say go ahead.

23 CHAIR KOTELCHUCK: Is that Brad?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: Yes, I'm here.

2 CHAIR KOTELCHUCK: Good, okay.

3 MEMBER CLAWSON: I was talking on mute
4 for a little while.

5 CHAIR KOTELCHUCK: You sound funny. I
6 can't hear quite why, but others can. Anyhow
7 let's go on to the next observation.

8 MS. GOGLIOTTI: Okay. This is also a
9 LANL case. This is 486, observation 1.

10 And this observation stated that the
11 IMBA file was missing from the DR report. SC&A
12 was still able to go through and confirm the dose
13 reconstruction on this file. We decided to
14 recreate it but typically it would be a file
15 included with the DR files.

16 NIOSH agreed with us. The file was
17 missing. We did confirm that.

18 CHAIR KOTELCHUCK: Okay. That's
19 right, observation, okay. All right. We step
20 back. We don't close it. We accept and let's go
21 on.

22 MS. GOGLIOTTI: Okay. Same case,
23 486.1. The finding states that three additional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 scans were not assigned in the medical dose.

2 NIOSH agreed with this finding. There
3 was a PSG scan that should have been included for
4 54, 55 and 56 based on X-ray records.

5 CHAIR KOTELCHUCK: Okay, fine. Clear
6 finding. Okay, so that should be closed.

7 MS. GOGLIOTTI: Yes. It didn't have
8 a strong impact on PoC.

9 CHAIR KOTELCHUCK: No.

10 MS. GOGLIOTTI: Okay. The next one is
11 --

12 CHAIR KOTELCHUCK: Sandia.

13 MS. GOGLIOTTI: Sandia, Albuquerque
14 and also LANL. This is tab 464, observation 1.

15 And the observation states that the DR
16 report incorrectly states that each X-ray
17 examination was assigned a PA and a lat view when
18 in reality only a PA was assigned. Only a PA
19 would be the correct thing to do but the text was
20 not in the actual DR report.

21 CHAIR KOTELCHUCK: Okay, absolutely.
22 Clear observation. Accepted. Again always
23 pending concerns which I hear none. Let's go on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: Okay. The next one is
2 an NTS, Amchitka Island, Rocky Flats and Pantex.
3 And this is tab 489, observation 1.

4 And this observation had to do with
5 the reported site visits in the EE's CATI. They
6 were very, very adamant that they had visited a
7 number of sites that were not included in the DR
8 report.

9 They said that they worked for a
10 different contractor that wasn't included. But
11 NIOSH didn't really have enough evidence or the
12 files weren't available at the time. And so those
13 were not included in the report.

14 But we thought that a mention of them
15 would have been the appropriate thing, that the
16 EE said that in the CATI report and they couldn't
17 include them for documentation reasons.

18 And NIOSH agreed with that.

19 CHAIR KOTELCHUCK: Yes. And I guess
20 that would be an observation. Right. NIOSH did
21 not get a report that would have allowed them to
22 put it in, right? Okay. Accepted.

23 MS. GOGLIOTTI: Okay. The next one is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from the same case, finding number 1.

2 Failure to assign missed dose. And
3 NIOSH agreed with this finding also.

4 When we reevaluated the dose the PoC
5 actually went down from 40 to 38. And they
6 attributed the decrease to fluctuations in Monte
7 Carlo.

8 CHAIR KOTELCHUCK: Right, okay. Okay.
9 That sounds okay. So, that's an observation.

10 MS. GOGLIOTTI: No, that's a finding.

11 CHAIR KOTELCHUCK: I mean a finding.
12 I meant a finding and I said observation.

13 MS. GOGLIOTTI: It's all right.

14 CHAIR KOTELCHUCK: Thank you. This is
15 a finding and we accept.

16 MS. GOGLIOTTI: Okay. The next one is
17 from Pantex and it is tab 461, observation 1.

18 And the observation states that there
19 may have been additional X-ray exams performed
20 during the second employment period.

21 With this particular individual there
22 was a termination scan and then they started
23 employment again and there were no X-rays

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 included in that record for the second employment
2 period.

3 NIOSH states in looking back and said
4 that they did have several results that were not
5 eligible to be included. Injury-related X-rays
6 are not included in dose reconstructions.

7 CHAIR KOTELCHUCK: Correct.

8 MS. GOGLIOTTI: And they believed that
9 they had all the records.

10 We weren't entirely convinced with
11 that argument because after the dose
12 reconstruction was completed at least five more
13 records of X-rays were added to the EE's files.

14 And so we don't really agree with the
15 argument that they were all available in the EE
16 files and we think that the default frequency
17 would have been appropriate for this particular
18 individual.

19 MR. SIEBERT: This is Scott. Let me
20 address that one.

21 The five additional records are film
22 and TLD badge information. They don't have
23 anything to do with medical records if I recall

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correctly.

2 MS. GOGLIOTTI: I don't remember the
3 exact -- what records were added. However, I
4 think that it speaks to the fact that the EE's
5 files were not complete.

6 But in this case it was compensated so
7 it's not really an issue.

8 MR. CALHOUN: This is Grady and I just
9 wanted to throw in it should be noted that anytime
10 we get any documents that are associated with a
11 claimant file from any source after the DR is
12 done we review those files and we determine if
13 they were present before the dose reconstruction
14 or after the dose reconstruction was completed.

15 If they are important and are new
16 documents we will revise the dose reconstruction.
17 We'll actually redo the calculations and if a
18 compensation decision changes we'll actually
19 recall and reopen the case from the Department of
20 Labor and revise the dose reconstruction and send
21 it on.

22 Just so you know there is a system in
23 place to deal with additional data that's found

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 after a dose reconstruction is completed.

2 MS. GOGLIOTTI: Absolutely, and I know
3 that that has happened.

4 CHAIR KOTELCHUCK: Right.

5 MS. GOGLIOTTI: In this case just the
6 EE reported having annual scans. They were
7 employed for a very long period of time. I feel
8 like you would remember getting an annual scan
9 but that was not taken into account for the second
10 employment period.

11 It didn't impact anything in this
12 particular case, but it's an observation. We
13 brought it up.

14 CHAIR KOTELCHUCK: It was an
15 observation because it was something incorrect
16 that was done. New records came in later.

17 Okay. Move to close this one. We
18 don't have to close it. We recognize it, right?
19 You don't close an observation. Or do we?

20 MS. GOGLIOTTI: Typically we close
21 observations.

22 CHAIR KOTELCHUCK: Okay. Then we so
23 close it. All right. And the next observation?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: Okay. This is a
2 Pantex from the same case, observation 2.

3 It states that Table 5-6 of the TBD-6
4 does not state the units of intake. SC&A assumed
5 one and we picked the correct one. The setting
6 was wrong. It's being updated in the new
7 revision.

8 CHAIR KOTELCHUCK: Good, good. Okay.
9 And we should accept this and close it. All
10 right.

11 Let's go on now to the first finding.
12 Pantex.

13 MS. GOGLIOTTI: Okay.

14 CHAIR KOTELCHUCK: 469 number 1,
15 right?

16 MS. GOGLIOTTI: Yes. States that
17 NIOSH incorrectly interpreted the application as
18 skin dose from TBD-6.

19 NIOSH said that basically they updated
20 the TBD since OTIB-6 and the DR guidance to
21 clarify how a monitored electron dose should have
22 been assigned. And the dose that was assigned in
23 this case was claimant-favorable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: Right.

2 MS. GOGLIOTTI: The guidance has been
3 updated. What they did was claimant-favorable.

4 CHAIR KOTELCHUCK: But they updated it
5 to correct -- to make a corrected assessment. So
6 it would be an observation. Excuse me, a finding.
7 It was a finding.

8 Since I haven't called upon the other
9 Subcommittee Members you're all there and in
10 agreement I hope that we close this?

11 MEMBER BEACH: Yes, Dave.

12 CHAIR KOTELCHUCK: Okay. All right.
13 Didn't want you to think this was a one-person
14 show, that's all. Okay.

15 MEMBER POSTON: Like the little boy
16 said that never spoke till he was seven years old
17 he said up till now everything has been okay.

18 (Laughter)

19 CHAIR KOTELCHUCK: Okay, very good.
20 All right. Let's go on to finding 3.

21 MS. GOGLIOTTI: And this states that
22 NIOSH used the incorrect MDL value for the years
23 1973 and '74.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I believe this is a TBD table issue.
2 The TBD has since been revised and then modified
3 the guidance so this issue kind of went away.

4 If they followed the current guidance
5 it would reduce missed dose substantially.
6 Therefore we recommend this issue.

7 CHAIR KOTELCHUCK: But was anything
8 done incorrectly initially? It doesn't seem that
9 way.

10 MS. GOGLIOTTI: I think that there was
11 a discrepancy in the TBD as to what should have
12 been done. And one table said one thing, and
13 another table said something different.

14 I could go back to the actual BRS if
15 you wanted the more complete answer.

16 CHAIR KOTELCHUCK: No, the question is
17 what do the NIOSH colleagues say.

18 MR. SIEBERT: We do maintain that we
19 did it correctly.

20 CHAIR KOTELCHUCK: Yes, I thought you
21 might.

22 MR. SIEBERT: But I agree there was an
23 inconsistency in the TBD and we did rectify that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But we did the claim correctly.

2 So I would have the tendency to say
3 it's an observation, but that's just me.

4 CHAIR KOTELCHUCK: Right. And that
5 partially is why I'm asking because in fact there
6 were different interpretations available to you
7 from the TBDs that existed, from the tables that
8 existed, right?

9 I don't know quite what to say. Other
10 folks? Here's a case where it was interpreted -
11 - there were contradictions within the materials
12 from which they were drawing. And they drew one
13 and it curved on the other. And it's been taken
14 care of.

15 MR. SIEBERT: Let me just clarify one
16 thing. Part of our response is yes, the two
17 tables were in disagreement, but there's a
18 section of the TBD that very clearly states which
19 table to use for the calculation of missed dose
20 and that is what we followed.

21 CHAIR KOTELCHUCK: Aha. SC&A that
22 makes a case.

23 MS. BEHLING: This is Kathy. There

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was still a discrepancy and an error in the TBD.
2 So shouldn't that have been pointed out.

3 MR. KATZ: Well, it should be pointed
4 out, Kathy, it's just a question of whether this
5 is an observation. If the case is done correctly
6 then it's an observation.

7 And it is a useful correction or
8 finding in terms of the document, that we record
9 the observations when they don't affect the case.

10 MEMBER MUNN: This is a case where the
11 fault is in the stars and not in the individual.

12 CHAIR KOTELCHUCK: Nice. Right.

13 MEMBER MUNN: If that's the case then
14 this should be an observation.

15 CHAIR KOTELCHUCK: I think that's --
16 the stars would be an observation. And I would
17 agree. So let's close this as an observation.

18 MS. GOGLIOTTI: Okay, but just to
19 clarify, this is something that you were happy
20 that we made this --

21 CHAIR KOTELCHUCK: Yes. Let me say
22 this. Let it be clear that when you folks observe
23 and something is accepted as an observation it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appreciated at least by this Subcommittee member
2 and I suspect by others as well.

3 Just because it's an observation and
4 not a finding, all of them are welcome and all of
5 them play a role, seriously. I mean, I'm not
6 trying to be nice. It is useful as an observation
7 and it is appreciated that you found it.

8 MEMBER MUNN: It's also especially
9 useful because it pointed out an error, not an
10 error the way that the calculation was made.
11 That's the whole point. We got to the error
12 through this observation and that's helpful.

13 CHAIR KOTELCHUCK: Yes, that's right.
14 Our procedures were improved as a result of this
15 observation.

16 MEMBER CLAWSON: That's the bottom
17 line is it's correcting our process. Beyond
18 popular belief we don't like findings and
19 observations, are wonderful because bottom line
20 they're just to make the process better and more
21 clear.

22 CHAIR KOTELCHUCK: Agreed. All right.
23 Closed and we move on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: Okay, great. And the
2 next one here is going to be from the same case.

3 CHAIR KOTELCHUCK: Right. Finding 4.

4 MS. GOGLIOTTI: And this one states
5 that NIOSH assigned neutron dose for the years
6 1962 to '64.

7 NIOSH agrees with us that the TBD was
8 unclear and that the neutron dose has been
9 favorable in this particular case.

10 The latest revision is revised to
11 include neutron dose starting in 1960 for
12 unmonitored workers. So apparently the older
13 revision did not allow that.

14 In this case the PoC is also over 50
15 percent.

16 CHAIR KOTELCHUCK: But that I think
17 identifies an observation. It was unclear,
18 revisions were made as a result to clarify, but
19 it was not --

20 MS. GOGLIOTTI: What was done was
21 claimant-favorable. In the current sense this
22 case probably would not have been compensated
23 based on where the PoC is if it was done today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: Yes. So anyway,
2 let's close it as an observation.

3 MEMBER CLAWSON: Well, while we're
4 speaking about observations, Dave, I'd like to
5 make the comment that I've never heard so many
6 fire engines or ambulances in my life than when
7 you're on the phone.

8 CHAIR KOTELCHUCK: By the way, I --
9 this was terrible. You folks don't know, but I
10 had some persons going out with a jackhammer in
11 the middle of the afternoon. I closed the window.
12 I'm hot. Not bothered. But that is the way of
13 life and I always wonder how much you folks hear
14 through our windows.

15 MEMBER CLAWSON: Everything.

16 CHAIR KOTELCHUCK: Okay, very good,
17 very good. Did you notice that my wife walked in
18 in the middle of this? No.

19 (Laughter)

20 CHAIR KOTELCHUCK: Okay. I can't do
21 much about that.

22 MEMBER CLAWSON: I'm just teasing you.

23 CHAIR KOTELCHUCK: I know, I know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Anyhow, let's go on.

2 MS. GOGLIOTTI: Okay.

3 CHAIR KOTELCHUCK: Pardon?

4 MEMBER MUNN: I said you live in
5 Manhattan and that's why Brad doesn't.

6 CHAIR KOTELCHUCK: That's right,
7 that's right.

8 MEMBER CLAWSON: Yes, that's a good
9 point.

10 CHAIR KOTELCHUCK: All right.

11 MS. GOGLIOTTI: Okay, the next one is
12 also a Pantex case, tab 462, observation 1.

13 CHAIR KOTELCHUCK: My goodness,
14 Weibull again.

15 MS. GOGLIOTTI: The Weibull
16 distribution. With this particular case we
17 assigned it for every single one based on Dr.
18 Melius's recommendation. So here's Weibull
19 again.

20 CHAIR KOTELCHUCK: Very good. And
21 agreed that it's an observation.

22 MS. GOGLIOTTI: Okay.

23 CHAIR KOTELCHUCK: Very good. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 close.

2 MS. GOGLIOTTI: And the next one here,
3 462.1 from the same case. It states that NIOSH
4 did not factor in the fraction of the year that
5 was unmonitored during 1982.

6 NIOSH agrees. For the year '82
7 unmonitored external dose was applied for the
8 full year for skin even though the claimant was
9 monitored only for eight months out of the year.

10 The unmonitored dose was prorated in
11 the year '82. All other years the partial
12 monitoring was properly prorated for both organs.

13 So in this case one organ was not
14 prorated and the other one was. It resulted in
15 slightly excess dose to both cancers. It didn't
16 affect compensation.

17 CHAIR KOTELCHUCK: Right. Okay.
18 Well, that's a clear case of an error. So that's
19 finding 462.1 and we should close that unless I
20 hear objections. And what seems to be the last
21 one on our last. Weldon Spring.

22 MS. GOGLIOTTI: Weldon Spring. Tab
23 469.1. And this shows that there was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inconsistency in missed and unmonitored dose
2 assignments.

3 And NIOSH did provide us with an
4 explanation for how they assigned dose for the
5 three years in question.

6 We agree that a finding of full year
7 of ambient dose was claimant-favorable. However,
8 we question it because it was assigned during the
9 start of employment which was 1959 and you're not
10 technically supposed to apply dose prior to
11 employment. And finding a year of ambient dose
12 would do that.

13 But ultimately it doesn't impact
14 compensation.

15 CHAIR KOTELCHUCK: Well, but it went
16 in with the spirit of claimant favorability. In
17 that sense it was not -- it was not missed. It's
18 simply for a case that we were looking for --
19 we're looking at the maximum PoC that might be
20 assigned.

21 So I would tend to feel that this was
22 an observation. I don't know how others feel.

23 MR. CALHOUN: This is Grady. I agree

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with that because if it was a comp case we
2 wouldn't have done that.

3 CHAIR KOTELCHUCK: Yes.

4 MR. CALHOUN: This must be an older
5 case. It's probably not a best estimate at only
6 40 percent.

7 CHAIR KOTELCHUCK: Yes, yes, I agree.
8 So I'd like to close this as an observation.

9 MS. GOGLIOTTI: Okay.

10 CHAIR KOTELCHUCK: And there we are.

11 **Review Type 2 Cases**

12 MS. GOGLIOTTI: So that was all the
13 type 1 findings in this matrix. There are a
14 handful of type 2 findings that we could go
15 through if you wanted to.

16 CHAIR KOTELCHUCK: Pardon?

17 MS. GOGLIOTTI: There are a handful of
18 type 2 findings. I'm not sure that we'll get any
19 resolution on those today though.

20 CHAIR KOTELCHUCK: Well, I would say
21 at 3:40 I would be open to discussing a couple of
22 these type 2 cases and get the discussion going
23 and getting us thinking about it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 No more than half an hour to an hour.
2 What do my colleagues, particularly my
3 Subcommittee colleagues think? Although in this
4 case staff as well.

5 Would folks be willing to go ahead
6 with a couple of type 2?

7 MEMBER CLAWSON: Yes.

8 MEMBER POSTON: Yes.

9 CHAIR KOTELCHUCK: Then let's do it.
10 All right, excellent.

11 MS. GOGLIOTTI: Okay, we're going to
12 go back to 453.6 which was the Iowa Ordnance
13 Plant, Sandia, PPG, and NTS.

14 And this finding had to do with
15 improper method used for calculating shallow
16 dose.

17 And here there appears to be some kind
18 of disagreement. Actually I should switch to the
19 BRS. I'm sorry. One second. Let me just get
20 that pulled up.

21 I summarized them in there. NIOSH
22 probably wants to use their real text.

23 MEMBER BEACH: -- are you going to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to?

2 CHAIR KOTELCHUCK: Pardon?

3 MEMBER BEACH: I was asking what
4 number that we're going to. In the BRS.

5 MS. GOGLIOTTI: 453.6.

6 MEMBER BEACH: Thank you.

7 CHAIR KOTELCHUCK: All right.

8 MS. GOGLIOTTI: And the next one will
9 be 462.2. Okay, so again the finding stated that
10 there was an improper method used for calculating
11 shallow dose.

12 And here Scott responded back that
13 NIOSH followed the guidance in the TBD and the
14 approved NTS document states that the beta to
15 gamma ratio 1.04 was applied.

16 This ratio was based on empirical
17 measurements. But NIOSH is going to review the
18 guidance as it applies to this particular dose.

19 I'm sorry, not this particular one.
20 And they're going to review the guidance while
21 they're going to the next revision of the TBD.

22 So we recommend that we hold off on
23 resolving this finding until that has occurred.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: Right. So this
2 actually would be referred. Well, would it be
3 referred to the PPG Working Group?

4 MS. GOGLIOTTI: I'm not sure they're
5 going to need to refer to them.

6 MR. KATZ: There's no Working Group
7 here.

8 CHAIR KOTELCHUCK: Pardon?

9 MR. KATZ: There's no Work Group here
10 for this one.

11 CHAIR KOTELCHUCK: We don't have a
12 Pacific Proving Grounds Work Group?

13 MR. KATZ: Oh, I thought you said IOP.

14 CHAIR KOTELCHUCK: No, no, Pacific
15 Proving Grounds.

16 MEMBER MUNN: Yes, we do now.

17 CHAIR KOTELCHUCK: So it's in progress
18 awaiting their response but since the person, the
19 case involves several different facilities can we
20 -- we can't -- well, we have to wait for PPG to
21 come back. So it has to be in progress and
22 referred, right?

23 MR. KATZ: Wait, what's going on with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 PPG? You said they're changing their guidance?
2 It doesn't necessarily need to go to the Work
3 Group at all if this is something NIOSH is
4 changing the guidance and then it'll be able to
5 be resolved.

6 I'm unclear on what the Work Group
7 would be doing.

8 MR. CALHOUN: I think once the Work
9 Group has a discussion and the outcome of that
10 discussion, if it affects what we've done here
11 we'll change the TBD based on that.

12 I think what we're saying is we're not
13 going to change the TBD until the discussion in
14 that Work Group is completed.

15 But I kind of agree with Ted. I don't
16 think there's a reason to refer it to the Work
17 Group.

18 MR. KATZ: Moreover I think the Work
19 Group, I mean unless I'm mistaken the Work Group
20 reported out on the Site Profile review at the
21 last Board meeting.

22 And there's one little nitty matter to
23 resolve, but I'm sure it's not this matter. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't think the Work Group has more work to do
2 on the Site Profile.

3 I think this is in NIOSH's hands, DCAS
4 hands to say when they're ready to respond to
5 this.

6 MS. BEHLING: Ted, you're correct.
7 And that beta to gamma ratio did change. And the
8 new TBD is out for PPG and we like you said closed
9 out all items but one I think NIOSH has to report
10 back.

11 MR. KATZ: Yes. Okay. So this can
12 go to the next meeting.

13 CHAIR KOTELCHUCK: Good.

14 MS. GOGLIOTTI: Okay. Then the next
15 one is 462.2. And this is the Pantex case.

16 NIOSH used the NT value for
17 unmonitored workers instead of monitored workers
18 for assigned neutron dose.

19 And Scott, if you just want to
20 respond. Otherwise I could read your response.

21 MR. SIEBERT: Sorry, I'm looking at it
22 here. You're skipping around so I have to jump
23 around. 462.2, right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR KOTELCHUCK: Yes. What is the
2 issue because it's now no longer on the screen.

3 MR. SIEBERT: This is the discussion
4 of whether a clothing electron attenuation factor
5 to the forearm and the elbow is appropriate or
6 not.

7 MS. GOGLIOTTI: No, no, this is
8 unmonitored worker.

9 MR. SIEBERT: Okay, let me flip again.
10 As I said I have to jump around here.

11 CHAIR KOTELCHUCK: Yes, sure.

12 MR. SIEBERT: 462.2. Okay, I'm sorry.

13 CHAIR KOTELCHUCK: The NT value.

14 MR. SIEBERT: Well, are you going to
15 cover the first part of it?

16 MS. GOGLIOTTI: I can certainly read
17 the rest of the finding. We don't normally
18 include the full finding text in the heading just
19 because it interferes with printing and whatnot.

20 CHAIR KOTELCHUCK: Sure.

21 MS. GOGLIOTTI: The TBD recommends
22 that use of an NT value of 1.7 for monitored
23 workers and an NT value of 0.8 for unmonitored

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 workers.

2 In this case the EE was monitored
3 during this period of dose assessment. Therefore
4 we interpret the TBD to recommend a 1.7 should
5 have been used. This would result in an
6 additional 0.291 rem of dose for one organ and
7 0.567 for the skin cancers.

8 It would also have a small impact on
9 the PoC value.

10 MR. SIEBERT: This is an extensive
11 result. I have to read over it because we were
12 doing the type 1s today and I didn't prep this
13 one.

14 Okay. What it basically comes down to
15 is about halfway down in the response that we put
16 in on I believe 6/26. Table 6-19 does give
17 clearer guidance. It shows that a factor of 1.7
18 is to be used in maximizing cases only.

19 And that table says when a best
20 estimate was required as it was in this claim the
21 table directs the DR to use Monte Carlo analysis.

22 When you're doing Monte Carlo analysis
23 you use the full distribution which is the mean

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 value plus the distribution. So we were
2 following the Table 6-19.

3 I agree there's some inconsistency in
4 the TBD as to what it says and how that's applied.

5 However, in best estimate cases this
6 is the norm that we use the actual GM with the
7 full actual distribution in Monte Carlo
8 calculations.

9 MS. GOGLIOTTI: Okay. I think that
10 I'm going to have to review this offline. I
11 didn't see this one posted.

12 MR. KATZ: That's fine, Rose. We can
13 get this at the next meeting.

14 CHAIR KOTELCHUCK: Where are we in the
15 discussion?

16 MR. KATZ: So, Rose is going to review
17 this for the next meeting, the response. Because
18 NIOSH has a response but it's recent.

19 CHAIR KOTELCHUCK: Okay. So that'll
20 be in the next meeting. Okay. Well then we have
21 two reports that we'll have next meeting for type
22 2.

23 MS. GOGLIOTTI: Oh, we have lots more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 type 2.

2 CHAIR KOTELCHUCK: Okay.

3 MS. GOGLIOTTI: It looks like
4 altogether here there's maybe about 20 type 2s
5 that are remaining from past sets. And then we'll
6 have all of the AWE cases next time.

7 CHAIR KOTELCHUCK: We are talking
8 about in sets 19-21 are we not?

9 MS. GOGLIOTTI: We have been up until
10 --

11 CHAIR KOTELCHUCK: Yes, sure. We had
12 type 1 and then we're going through type 2 in
13 those. Okay, just want to clarify.

14 MS. GOGLIOTTI: Yes, we have done some
15 of those type 2s but we haven't finished those up
16 yet.

17 CHAIR KOTELCHUCK: No, no, I'm fine,
18 I'm fine. I just want to make sure that I'm
19 understanding. The next one we want to discuss
20 in sets 19-21 the type 2s in which file?

21 MS. GOGLIOTTI: For sets 19-21 we'll
22 have to do the type 2s that are remaining from
23 the SRS and the Oak Ridge site.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then we also have the AWE matrix
2 that we haven't started yet. So we need type 1s
3 and type 2s from that matrix.

4 CHAIR KOTELCHUCK: All right. Good.
5 So should we continue on?

6 MS. GOGLIOTTI: Those were all of the
7 type 2 findings in that matrix, but I did pull up
8 here 482 observation 1 which we got a response
9 back from yesterday afternoon.

10 But I just want to ask NIOSH, we're
11 going to need some more guidance on this one.
12 This is the Hanford case. It has to do with the
13 glove boxes adjustment factor.

14 And they used a factor of 2.19 as the
15 determining and whether or not to assign glove
16 box dose.

17 And we were trying to track down where
18 this number came from. It just kind of popped up
19 in one of the guidance documents. We've never
20 seen it before.

21 It didn't make sense to us. NIOSH
22 provided us with several templates and we're
23 still trying to track down where this number came

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from.

2 And we got a response yesterday that
3 basically says that it came from a Savannah River
4 neutron exposure. And again this is a Hanford
5 case.

6 The text says essentially someone
7 worked on the SC or AC lines at SRS. The shallow
8 to deep dose ratio was greater than 2.

9 And it seems like you guys are hanging
10 your hat on that.

11 MR. SMITH: This is Matthew Smith with
12 ORAU team. As mentioned at the previous meetings
13 that particular OCAS at the time was early on in
14 the project.

15 And certainly the guidance there,
16 although the TIB is aimed at neutron exposure,
17 that guidance was in the document as helpful for
18 identifying when people are working in a glove
19 box environment.

20 So yes, I mean that very early
21 guidance is in something that's been used ever
22 since.

23 In Savannah River and it's written --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the topic of the TIB is neutron exposure. But
2 the subtopic in there that's called out is a
3 portion of SRS.

4 And certainly other things other than
5 the shallow to deep dose are discussed even in
6 that TIB such as taking a look at what the
7 bioassay situation is for the energy employee.

8 Obviously the DR is going to take a
9 look at what the CATI discussion entails.

10 MS. GOGLIOTTI: I think the big
11 question is where did 2.19 come from. Because
12 that document only says greater than two.

13 CHAIR KOTELCHUCK: Is this an internal
14 discussion between you?

15 MR. SMITH: Not necessarily. I
16 discussed that in the last meeting. It's in the
17 transcript.

18 MS. GOGLIOTTI: The 2.19?

19 MR. SMITH: Yes. It's discussed. I
20 mentioned in the transcript in the last meeting.
21 Well, I talked about it. It is captured in the
22 transcript of the last.

23 The 2.19 factor is something that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comes out of DCAS-TIB-10. In a sense speculating
2 to a degree, but my guess is that a factor was
3 put in there with the glove box factor TIB in
4 mind.

5 MS. GOGLIOTTI: I think I'm going to
6 have to review the transcript again and we'll
7 post a follow-up response here.

8 You directed us here to TIB-7.

9 MR. SMITH: Right, and I did so last
10 time as well. That's also in the transcript.
11 I'm basically saying the same thing I said last
12 time.

13 CHAIR KOTELCHUCK: Okay.

14 DR. ANIGSTEIN: This is Bob Anigstein.
15 I just rejoined the call. This is for part of
16 the discussion if I can shed a little light on
17 this issue.

18 The 2.19 factor comes from the fact
19 that the dosimeter which is likely worn in the
20 upper part of the body, typically on the lapel,
21 is further away from the source than some of the
22 lower organs and usually the prostate in this
23 instance that was in question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It has nothing to do with the shallow
2 to deep dose ratio. It's primarily a result from
3 geometry, from the distance.

4 MR. SMITH: That's correct and I
5 acknowledged that in the discussion on the last
6 meeting. It's in the transcript.

7 DR. ANIGSTEIN: Okay. I wasn't part
8 of the last meeting.

9 MR. KATZ: This is Ted. It just sounds
10 like this is something that would be helpful once
11 Rose has a chance to read the transcript from the
12 last meeting and she can confer with Bob and get
13 clarification before we try to hammer this out.

14 MS. GOGLIOTTI: Okay, great.

15 CHAIR KOTELCHUCK: I think we've come
16 to a time to set a date for the next meeting.

17 MR. KATZ: Let me pull up the calendar
18 so I can see where we are.

19 CHAIR KOTELCHUCK: The question is
20 whether we might be able to meet in early December
21 or we'll have to postpone it until early January,
22 till January.

23 MR. KATZ: Well, it cannot happen

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 before early December.

2 CHAIR KOTELCHUCK: That's correct.

3 MR. KATZ: Because of the way things
4 work now in the department.

5 CHAIR KOTELCHUCK: Right. Understood.

6 MR. KATZ: So December is in the
7 scheme right now, we have a Board meeting on the
8 13th and the 14th. It may only be the 13th but
9 that's the Board meeting.

10 And if this meeting is typical we
11 usually have a lot of Work Group meetings swing
12 in in the couple of weeks before the Board
13 meeting.

14 CHAIR KOTELCHUCK: That's right.

15 MR. KATZ: It's not the best time to
16 have a dose reconstruction meeting for that
17 reason because you want to leave it as flexible
18 as possible for necessary meetings until the
19 Board meeting.

20 It really probably is better to
21 actually wait till January for the next meeting
22 if that's okay with the Board Members.

23 CHAIR KOTELCHUCK: Sure. Second week

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in January? Often a slightly quieter week.

2 MR. KATZ: So, January, the week of
3 the eighth. That -- I don't know when the
4 holidays are but I don't think there's any that
5 week.

6 CHAIR KOTELCHUCK: No. The 15th is
7 Martin Luther King's birthday.

8 MR. KATZ: I think that week's
9 probably good.

10 CHAIR KOTELCHUCK: Yes.

11 MR. KATZ: Yes, the 10th is not good,
12 but 8th, 9th, 11th.

13 MEMBER BEACH: The 11th doesn't work
14 for me.

15 CHAIR KOTELCHUCK: You say 11th does
16 or does not work?

17 MEMBER BEACH: Does not.

18 MR. KATZ: So how about the 9th?
19 That's a Tuesday.

20 MEMBER BEACH: That's good.

21 CHAIR KOTELCHUCK: Good for me.
22 Pardon?

23 MR. KATZ: I'm sorry, Wanda, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 couldn't hear you.

2 MEMBER MUNN: That entire week is
3 problematic for me.

4 MR. KATZ: Okay, then let's do --

5 MEMBER BEACH: The next week I'm not
6 available.

7 MEMBER MUNN: Okay, so in a case like
8 that I'll probably be in a spot -- I'll be
9 traveling, but I'll probably be in a spot for
10 example on the 9th I could probably do that even
11 though I'll be away.

12 CHAIR KOTELCHUCK: Well, if you could,
13 could the 9th work for most of the rest of us?

14 MR. KATZ: It just needs to be fairly
15 reliable, Wanda, because these days we're cutting
16 close with our quorum.

17 MEMBER MUNN: I realize that and
18 that's why one never knows in these vacation
19 situations whether the facility where you are is
20 going to truly have reliable wifi or not. That's
21 what it really comes down to.

22 I will have my cell phone with me and
23 if worse comes to worst I can do that, but it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really bad if I don't have the screen.

2 Let's go ahead and do it if that's
3 what fits for everybody else. Because the next
4 week is not going to work and the week after that
5 is getting two months away from where we are
6 today.

7 CHAIR KOTELCHUCK: That's right. I
8 agree. Let's try to find, however, one backup
9 date.

10 MR. KATZ: Yes, I need a backup date
11 anyway.

12 CHAIR KOTELCHUCK: In case David
13 Richardson --

14 MR. KATZ: Right.

15 CHAIR KOTELCHUCK: John, by the way,
16 is the 9th okay for you? John Poston?

17 MEMBER POSTON: Yes. Can you hear me?

18 CHAIR KOTELCHUCK: Yes, there we are.

19 MEMBER MUNN: Now we can.

20 MEMBER POSTON: Dave and I were talking
21 at the same time. So I think that's okay with me.

22 CHAIR KOTELCHUCK: Good.

23 MR. KATZ: So the 9th is first choice

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and then let's just choose a date on the week of
2 the 22nd as a backup.

3 CHAIR KOTELCHUCK: Correct. I'm free.

4 MEMBER BEACH: I'm clear on all of
5 them.

6 CHAIR KOTELCHUCK: And I am as well.

7 MR. KATZ: How about -- well, they're
8 all fine. How about Wednesday the 24th?

9 MEMBER BEACH: Okay.

10 CHAIR KOTELCHUCK: Sure.

11 MR. KATZ: Okay. It's just a backup.

12 MEMBER CLAWSON: I just realized that
13 Mondays and Wednesdays I will have to leave
14 earlier than that so I may not be able to be a
15 part of it the last hour or so.

16 MR. KATZ: You have to leave by what
17 time, Brad?

18 MEMBER CLAWSON: I have meetings on
19 Mondays that would be 3 o'clock your time and on
20 Wednesdays I have them at 4 o'clock.

21 MR. KATZ: That'll be okay anyway.

22 CHAIR KOTELCHUCK: Oh, yes, 4 p.m.
23 that's fine. We're normally pretty close.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: So we're doing the 23rd
2 as backup?

3 CHAIR KOTELCHUCK: The 24th.

4 MR. KATZ: The 24th is backup. That's
5 a Wednesday. And we'll scheduled for the 9th.
6 I'm just going to send both of these dates to
7 David Richardson.

8 MEMBER MUNN: Sounds good.

9 **Adjourn**

10 CHAIR KOTELCHUCK: Okay. Folks, thank
11 you all very much. Productive meeting.

12 MEMBER MUNN: Thank you. Have a
13 wonderful day.

14 (Whereupon, the above-entitled matter
15 went off the record at 4:05 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701