

UNITED STATES OF AMERICA
CENTERS FOR DISEASE CONTROL

+ + + + +

NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

116th MEETING

+ + + + +

THURSDAY
MARCH 23, 2017

+ + + + +

The meeting convened at 8:30 a.m.,
Central Time, in the Embassy Suites by Hilton,
Chicago Naperville, 1823 Abriter Court,
Naperville, Illinois, James M. Melius, Chair,
presiding.

PRESENT:

JAMES M. MELIUS, Chairman
HENRY ANDERSON, Member
JOSIE BEACH, Member
BRADLEY P. CLAWSON, Member
R. WILLIAM FIELD, Member*
DAVID KOTELCHUCK, Member
JAMES E. LOCKEY, Member
WANDA I. MUNN, Member
GENEVIEVE S. ROESSLER, Member
PHILLIP SCHOFIELD, Member
LORETTA R. VALERIO, Member*
PAUL L. ZIEMER, Member
TED KATZ, Designated Federal Official

REGISTERED AND/OR PUBLIC COMMENT PARTICIPANTS:

ADAMS, NANCY, NIOSH Contractor
BARRIE, TERRIE
BROCK, DENISE, DCAS
DOMINA, KIRK
FITZGERALD, JOE, SC&A
HINNEFELD, STU, DCAS
HUGHES, LARA, DCAS
KINMAN, JOSH, DCAS
JERISON, DEB
LIN, JENNY, HHS
MCFEE, MATT, ORAU Team
NETON, JIM, DCAS
PEARSON, TIFFANY
RUTHERFORD, LAVON, DCAS
STEPHENS, HUGH
STIVER, JOHN, SC&A
TAULBEE, TIM, DCAS
ZIEMER, MARILYN

Contents

WELCOME AND INTRODUCTION.....	4
SAVANNAH RIVER SITE SEC PETITION UPDATE.....	5
ROCKY FLATS SEC PETITION UPDATE.....	33
KANSAS CITY PLANT SITE PROFILE REVIEW.....	89
BOARD WORK SESSION.....	103
ADJOURN.....	105

1 P-R-O-C-E-E-D-I-N-G-S

2 8:29 a.m.

3 **WELCOME AND INTRODUCTION**

4 CHAIR MELIUS: Good morning,
5 everybody. We will get started this morning and
6 I will turn it over to Ted to do the housekeeping.

7 MR. KATZ: Right. Welcome, this is
8 the Advisory Board on Radiation and Worker
9 Health, our second day of our Naperville meeting.
10 Welcome, everybody.

11 Folks on the line, if you want to
12 follow along with today's presentations, we have
13 the agenda is on the NIOSH website under of
14 schedule of meetings, today's date. The agenda
15 is there, also all the written materials and
16 presentations, written materials as the basis of
17 some of the presentations and the presentations
18 themselves. They are all posted there. You are
19 welcome to follow along that way, you can just
20 open up those documents, or we also have -- we
21 also -- what's it called -- we also have a Skype
22 link, which is on the agenda. And if you go to
23 the Skype link, you can see the presentations as

1 we see them, as the slides change. So it's not
2 necessary but that is if you would like to do it
3 that way, you are welcome to.

4 There is no public comment session
5 today.

6 And just as a note upfront, please
7 mute your phones press *6 if you don't have mute,
8 because that will improve the audio for everyone
9 also on the line, as well as in the room.

10 (Roll call.)

11 SAVANNAH RIVER SITE SEC PETITION UPDATE

12 CHAIR MELIUS: Okay, thanks, Ted. We
13 will start with an update on the Savannah River
14 SEC Petition and Site. I guess there is lots of
15 work going on. So, we will star with Tim
16 Taulbee, ably assisted by Stu Hinnefeld on the
17 computer.

18 DR. TAULBEE: Thank you, Dr. Melius.
19 I am going to give a brief update of where we are
20 at with the Savannah River Site.

21 As you may recall back in I believe
22 last July, we listed nine different deliverables
23 that we were planning to give to the Work Group.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 We have delivered five of those over the past
2 months and you will see our initial estimated
3 completion and when we completed them here on
4 this particular slide.

5 But what I want to focus on is the
6 four remaining, to give you an update on where we
7 are at. All of the green items we have delivered
8 to the Work Group and are ready for discussion on
9 those.

10 So, the first one that I would like to
11 talk about is the coworker models. Revision 3
12 was sent to the Advisory Board on Radiation and
13 Worker Health, the Work Group -- the Savannah
14 River Site Work Group, as well as the SEC Issues
15 Work Group back in November. And then last week
16 or maybe it was the week before, we did receive
17 some comments back from SC&A on that and we are
18 beginning to look at that.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 River Site.

2 Our current status is we coded some
3 additional NOCTS data and it is undergoing data
4 completeness and QA verification right now and it
5 is progressing nicely there. The scheduled
6 completion is the end of June of 2017.

7 The next report that I want talk about
8 is what we call Report-70. This is thorium
9 exposures at the Savannah River Site in the post-
10 1972 time period.

11 The coworker model itself will cover
12 the time period from 1972 up through May of 1980,
13 at which time the thorium fuel cycle research
14 being conducted in the 773 Building was
15 discontinued. The program was canceled.

16 And from there, you see a wind down of
17 thorium inventory there in the building. And
18 there weren't any significant operations going
19 on.

20 So this report will be describing the
21 justification of why we believe that ten percent
22 of the derived air concentration will bound the
23 thorium doses. This report is in draft form. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is undergoing internal review.

2 I'm not sure why that jumped there.

3 Let me back up.

4 It is on my desk right now for review.

5 So the scheduled completion should be the end of
6 next month in April or the beginning of May.

7 The next report is Report-81. This
8 is the thoron exposures at the Savannah River
9 Site and this is where the report would
10 demonstrate the appropriateness of the bounding
11 approach of using the tank farm air monitoring
12 data. And the tank farms is where there is
13 30,000 kilograms of thorium stored in a tank.
14 And so what we were looking at was the emissions
15 from that to bound for on throughout the site
16 during the miscellaneous thorium operations.

17 This has been delayed due to what I am
18 calling a non-uniformity in the computation
19 methodology. One of the kind of the lessons
20 learned here is whenever you have different
21 health physicists doing calculations of this
22 manner of two-count -- we call it the two-count
23 method of a six-hour count and 24-hour count on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 samples to determine -- I'm not sure why it's
2 jumping ahead here, Stu but -- but they will make
3 different assumptions.

4 And so what we ended up with different
5 methods for calculating this. So what we have
6 decided to do here is come up with a generic
7 methodology to be used by all sites, not just
8 Savannah River, because we found that in various
9 documents we have calculated it slightly
10 differently. And so that just kind of opens up
11 for multiple critiques of the different
12 assumptions. So by having one method that
13 everybody can agree to, then we apply that.

14 Report-81 has been revised to
15 incorporate this and is working its way through
16 the review cycle. We do hope to get both of
17 these out by the end of next month.

18 And the final one that I want to talk
19 about is Report-83. This is our subcontractor
20 evaluation that was discussed back in July, as
21 well as a little bit in November, and we had some
22 technical calls on this.

23 We have sampled the job plans and

randomly selected 110 construction trades workers for follow-up. In November, we did a data capture out at the site about that. We were expecting to get those results right there around Thanksgiving, maybe a week or two after. It turns out that the Savannah River Site's classification officer abruptly retired in December. And so this resulted in a delay.

9 We actually didn't receive these
10 records until January 26th. So this introduced
11 a couple of months longer than what we
12 anticipated. So it has pushed back the schedule
13 but we do expect to have this completed in June
14 of this coming year.

15 And with that, I will be happy to
16 answer any questions.

17 CHAIR MELIUS: Thanks , Tim.
18 Questions from Board Members? Okay, thank you.
19 Don't go too far.

20 And now we will switch over and SC&A
21 will provide an update, Joe Fitzgerald, of their
22 work at the site.

23 MR. FITZGERALD: Good morning. Yes,

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this is going to dovetail pretty well with Tim's.

You know we had a special tasking last fall to look at the subcontractor issue in terms of records completeness and we have just last week, I think, issued three out of the five responses to the five deliverables that Tim mentioned a little earlier. So things are breaking but they are happening rather soon.

9 Let me see if I can get this to work.
0 Okay, let's try that again.

11 Anyway, this is the issue we briefed
12 you last, I guess it was even as early as July
13 but maybe in the fall. The concern is that in
14 terms of SRS subcontractor records, whether or
15 not the records are complete. And of course this
16 is an issue we typically want to address early in
17 the process but in the course of an interview
18 that we did in 2014 with a senior health physicist
19 at the site, we learned that the subcontractor
20 records were being kept separately in company
21 files. And of course that kind of engendered the
22 immediate question well, how were they addressed,
23 how were they treated and were they, in fact,

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 incorporated into the main body of electronic
2 records as thoroughly as the other records that
3 we were familiar with.

12 In these cases, and we have done this
13 at other sites over the last ten years, in fact,
14 where you sample to look at completeness and try
15 to get some confidence level that you are dealing
16 with a complete deck of cards. In this case,
17 those options didn't really pan out as
18 effectively as we were hoping.

19 So the basic question is how complete
20 were the files when they were originally
21 maintained and whether it is company files or
22 other files, how complete are those files.

23 And then the second question is, and

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 this is a common question, were they in fact
2 transferred into the electronic database or any
3 database, in fact, that is relied upon for dose
4 reconstruction or not. So that is kind of the
5 basic question.

6 And this is the task that Tim just
7 talked about. I'm not going to go through that
8 again but that is ongoing.

9 In terms of our status, the Board
10 tasked us with looking at an expanding scope for
11 the validation to perhaps go beyond that one
12 facility, 773A for the early '80s and see if there
13 is any way one could expand that. And how we
14 went about this was to rely on the EDWS, which is
15 the secure electronic data system that Savannah
16 River has and we used that to actually search
17 their document file to see if we could find not
18 only construction job plans but RWPs, radiation
19 work permits, any mechanism, any permits that
20 would indicate that workers would have been
21 obliged to get a bioassay as part of the course
22 of their work during a certain time frame at
23 certain facilities. That was the trigger we were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 looking for because that would lend some credence
2 to the fact that that you would expect to find a
3 bioassay record, either hard copy or electronic.

4 So we were going to go ahead and do
5 that kind of a sampling. And we were going to
6 focus on CTWs, construction trade workers as a
7 group and, therefore, we were looking for tags
8 that would highlight the fact that we were
9 dealing with subcontractors, on one hand, and
10 ones that were more likely than not, or if not
11 clearly outside contracts, subcontractors. And
12 the distinction I am making there is that
13 actually DuPont had an in-house group of
14 construction trade workers and it was, in fact
15 DuPont Construction, as they termed it. And they
16 did, in fact, do some hiring from hiring halls
17 but that was a pretty coherent group that was
18 monitored, as far as we know, pretty much the
19 same as the other DuPont employees. So we were
20 kind of looking for subcontractors that were
21 coming in, say electricians, construction trade
22 workers that were being brought in. And the way
23 we were doing that, of course, is in the DuPont

1 system, they had roll numbers, payroll numbers
2 that signified that they, in fact, were
3 subcontractors. And in the later Westinghouse
4 system, they actually listed the subcontractor
5 companies.

6 So anyway, we went ahead and searched
7 those. We came up with the names and the
8 identifiers, identified these for searches. We
9 also found boxes that we had to look at on-site,
10 did the data request, looked at those records,
11 made two site visits. Effectively, two full
12 weeks of review on-site. We had Tim and his
13 staff along.

14 And these were scanned and will be up
15 on the SRDB. So that was a key to that whole
16 process.

17 And in the end, the notion, and this
18 is not too complicated, to match the
19 subcontractor CTW names and identifiers with the
20 corresponding radiation record, particularly the
21 bioassay. So, month-to-month, looking for that
22 match.

23 These were workers that were on RWPs.

1 They signed RWPs. The RWPs signified that they
2 in fact would need to leave a bioassay sample
3 upon the completion of their task.

4 Now some of these RWPs were standing
5 RWPs, there were sign-up lists, partly because at
6 Savannah River you had such an influx of
7 subcontractors that the discrete RWPs weren't
8 necessarily used anymore. They were using sign-
9 up sheets. So that is less tight in the sense
10 that we can do one for one checks on whether or
11 not they actually did a certain type of work.
12 But nonetheless, it was a good indicator that
13 these were people that were being tagged to do
14 work in radiological areas and they were to have
15 dosimetry.

16 And this is just a quick status in
17 terms of expanded scope. Here is the facilities
18 that came off the RWPs, clearly a number of
19 different facilities. Timeframes, even though
20 we did look in the '80s, by virtue of the search
21 results, most of our results were from '89 to
22 '95. Not totally unexpected. This was when they
23 had K Reactor restart at Savannah River and there

1 was a heavy reliance of subcontractors trying to
2 get that reactor to restart. A lot of physical
3 hardening of the reactor, a lot of seismic
4 bracing. So they brought a lot of construction
5 trades in from about '89 through the early '90s.
6 So that sort of corresponds to the number of subs
7 that we actually picked up on.

8 And here is just a list of some of the
9 crafts that we identified as part of the RWPs.
10 Quite a diversity of crafts.

We are still in the process of
actually getting the records online. Actually,
Savannah River did it. As Tim pointed out, they
had a problem with declassification but to our
benefit, not so much to Tim's, we were able to
take advantage of the fact that they were solving
those issues when we got our records and we
actually got all of our documents cleared last
week and we are now getting access to that online.
And I think Tim has the records as well and is
putting them up on the SRDD.

22 So we are going to be able to start
23 crunching some of this information, getting it

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 into a report. But the bottom line so far, the
2 only hard numbers is that we weren't able to find
3 29 out of the 360 individual subcontractors in
4 the process of working with Savannah River
5 dosimetry records staff. And we will re-verify
6 that but that is kind of one bottom line.

The other bottom line that we are going to look at is whether the corresponding bioassay records from a time frame standpoint match up with the RWPs. If the RWPs say that you need to leave a bioassay sample after you finish work at a certain time, we are going to be looking to see if that, in fact, is the case on the bioassay side. So we are going to look at that a little more tight. And we saw some evidence that there were some mismatches but, again, I think that takes a little more review.

That is it on those subcontractor

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 review. Any questions on that before I go over
2 just -- there isn't much more to say about the
3 neptunium reports. I mean we did look at them.
4 We did provide some responses on that in OTIB-81
5 last week. I know Tim hasn't had a chance to
6 look at them and they are just relatively new but
7 we should have all of those pretty much in hand
8 as well.

9 Questions?

10 CHAIR MELIUS: Yes, Paul.

11 MEMBER ZIEMER: Joe, could you
12 clarify is SC&A attempting to independently
13 establish the completeness of records or are you
14 simply monitoring what NIOSH is doing? In other
15 words, are there two separate tracks of
16 attempting to verify or you all doing the same
17 thing?

18 MR. FITZGERALD: No, I think they
19 actually are very coordinated. This is an
20 expanded version of what Tim is doing. And I
21 think the Board from the last couple of sessions
22 felt that the one facility that the construction
23 job plans, you if remember, the 3,000 pages of

construction plans were identified, that one facility for those four years probably would not easily answer the question of completeness for Savannah River, given the diversity of operations and the time frame.

12 MEMBER ZIEMER: Okay.

13 MR. FITZGERALD: So this is really an
14 expanded scope review to answer the same question
15 that Tim was getting into as well last year.

16 MEMBER ZIEMER: So is it fair to say
17 you are following the same protocol and we want
18 to see if you come up with the same conclusion as
19 NIOSH?

20 CHAIR MELIUS: But a different
21 sample. A different scope --

22 MEMBER ZIEMER: Different sample but
23 the same protocol.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 MR. FITZGERALD: Yes, it is the same
2 protocol, a broader sample, and hopefully the
3 same answer. But, again, you are dealing with a
4 difference in time frame and a difference in the
5 scope of facility. One facility versus maybe 15-
6 20 facilities. So it is not clear.

I think the concern was whether the answer for the one facility for that time frame would be representative. And I think what we were hoping for is to deliver, both Tim and I, to the Board in June a complete answer that would be pretty solid from the standpoint of representativeness, rather than saying well, there is a concern we will go back and take another look and have this become a sequential process that will take a long time. This way, I think we will be finished with it, hopefully, in June.

19 MEMBER ZIEMER: Thank you.

20 CHAIR MELIUS: Can I just add I mean
21 the Board deliberately tasked it in this way.
22 Savannah River Site SEC has been around for a
23 long, long time. And we needed to be able to

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 expedite it. There is a lot of work to be done
2 and we felt this was a key issue in terms of an
3 SEC for the construction workers there and trying
4 to resolve that issue. And this, having the two
5 parallel efforts under it would be better than
6 doing the usual sequential approach, saving time.

7 Again, this is probably a candidate
8 for, you know we have never done this before, but
9 for an SEC which has just been under evaluation
10 for so long that we should consider what do we
11 do. How long is long enough?

12 Yes.

13 MEMBER BEACH: I have a question.

14 CHAIR MELIUS: Yes, go ahead, Josie,
15 then Brad.

16 MEMBER BEACH: You mentioned that the
17 two types of subcontractors, the prime
18 subcontractors and then the other subcontractors.

19 MR. FITZGERALD: Right.

20 MEMBER BEACH: How is the sampling
21 being done? Are you sampling from both pools?
22 Because that seems like it would lend to some
23 complication and the records may not be the same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

for both sets of or the two different types of subcontractors.

3 MR. FITZGERALD: Yes, these -- to keep
4 the scope the same as where I think NIOSH started,
5 we have scoped it to just be the construction
6 trade workers, the subcontractors that were
7 sourced in to Savannah River. And in the DuPont
8 system, that would be roll -- payroll 4 and 6.
9 Those were two codes. And for Westinghouse,
10 those would be clearly Bechtel and other
11 subcontractors. So that is kind of how we scoped
12 it.

Now, again, there is construction trade workers that work for DuPont who might have been hired from town halls as well but they worked directly for DuPont Construction. And I think that actual practice probably carried forward to Westinghouse as well. We didn't look at those, per se. We were kind of sticking to the scope of CTW subcontractors that were in those specific other areas.

22 MEMBER BEACH: Okay. And then you
23 said that the records were ready to get loaded on

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 the SRDB. Any timeframe? Tim, I think you are
2 in charge of that, aren't you, of when those will
3 be available?

4 DR. TAULBEE: Well, Tuesday morning
5 before I drove up here is when I downloaded them
6 from the site. So we are hoping within the next
7 week or two.

8 MEMBER BEACH: Oh, in the next week
9 or two?

10 DR. TAULBEE: Yes.

11 MEMBER BEACH: Great.

12 DR. TAULBEE: Yes, that they will be
13 in the SRDB.

14 MEMBER BEACH: Okay, that's good.
15 Thanks.

16 MR. FITZGERALD: Which actually is
17 pretty essential because I have access to the
18 Savannah River secure system but I can't download
19 anything from it. I can look at it but I can't
20 do anything with it. So, it is an important
21 distinction to have to go on the SRDB.

22 MEMBER BEACH: Right, thanks.

23 CHAIR MELIUS: Brad.

1 MEMBER CLAWSON: Joe, help me
2 understand this 29 that were not found. Out of
3 360 subcontractors that you identified when you
4 say were not found, what are you saying? Are you
5 saying that they were on the RWPs but you can't
6 find them or --

7 MR. FITZGERALD: Well, the process we
8 went through at the site, we had three avenues by
9 which we had to look at records. One was hard
10 copies; a lot of the files there are still in
11 hard copy. Some are on microfiches; these are
12 the radiation records, both external and
13 internal. And they, in I think maybe ten years
14 ago, maybe longer, maybe 20 years ago, went to
15 their electronic system which is called ProRad,
16 which is the system they primarily rely on
17 because it actually points to records that are
18 maintained as microfiche or -- so it is
19 definitely the more comprehensive system.

20 And we gave Savannah River the names
21 and the identifiers, Social Security number,
22 whatever, and when we could not find somebody in
23 the system, we had them search ProRad as well.

1 And if they could not locate a subcontractor in
2 ProRad, again these are the individuals that
3 would actual handle claims for the electronic
4 program, so if they could not find an individual
5 in that system, we could not find anybody, and we
6 did look, in the hard copy or microfiche, then we
7 just kind of indicated that you know that worker
8 could not be found. And that is kind of where
9 we are at now.

Now, we will go back and probably revalidate those identifiers with Savannah River, have them look again, but that is where it stands right now. That is the only hard number we have because we haven't had a chance to look at the timeframes for the bioassays, which is another area of interest.

17 But for those, SRS came back and said
18 we could not locate those individuals. So that
19 is where that number comes from.

20 MEMBER CLAWSON: That being said, is
21 Savannah River, these people that are looking for
22 this data, are they hampered by any of the
23 problems, lacking manpower, or anything else, or

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 are they pretty good at this, I guess I would
2 ask?

3 MR. FITZGERALD: No, no, there wasn't
4 any issue. I mean the ProRad system is a pretty
5 straightforward system. And you put the
6 identifier in, Social Security number, and they
7 can locate it.

Now the presentation yesterday where
you have 60 days and Savannah River was actually
the only site that was put up there as sort of
100 percent. I mean it is a pretty good -- they
have a pretty good program and good system. It
is a tight system. So I think there is a
relatively high confidence that if they can't
locate a worker through that system, then it is
probably a gap.

17 Now, normally you do have 60 days. So
18 I want to go back and have them look again but
19 right now, that is where it stands.

20 MEMBER CLAWSON: Okay. This one is
21 kind of more for Tim. Have we ever verified the
22 operations personnel side of this for -- have we
23 V&V'd the operations personnel?

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 DR. TAULBEE: No, we have not.

2 MEMBER CLAWSON: What's that?

3 DR. TAULBEE: We have not. This
4 petition was originally filed by the construction
5 trades workers. So all of the evaluations we
6 have done to date have really focused on
7 construction trades.

8 Early on, we did look at external
9 dosimetry. In fact in the initial evaluation
10 report, we look at operations, as well as
11 construction trades and did some comparisons from
12 that standpoint, but we have not looked at
13 operations bioassay from this standpoint.

14 MEMBER CLAWSON: Okay. I know that
15 you guys did a verification of the data being
16 transferred from the hard copy to the electronic
17 copy and that has been done, though, correct, if
18 I remember right?

19 DR. TAULBEE: Are you meaning from the
20 NOCTS data set?

21 MEMBER CLAWSON: Yes.

22 DR. TAULBEE: Yes. That is what we
23 are calling data completeness and that is what is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 currently going on within Revision 4 of the
2 coworker model is looking at the data from NOCTS
3 and going back and then looking at the hard copy
4 and comparing with the electronic database to
5 make sure it is all in there.

6 MEMBER CLAWSON: Okay. Now, Joe,
7 your is overarching all of the different
8 facilities but your ten years is only look at
9 773A?

10 DR. TAULBEE: That's correct. With
11 773A, what we found was a complete set of job
12 plans over a time period, so we could pull a
13 random sample. We weren't trying to look from
14 one box to another box. We could actually look
15 at this group from 1981 through 1986 and sample
16 from within that, a statistical sample, in a
17 sense.

18 We did not have them, at the time,
19 readily available in order to look at other
20 facilities, job plans. And as Joe has learned,
21 as well as myself, that those are more allusive
22 by the way that they are named and there is other
23 -- you type in job plan within the EDWS system,

1 you will get a lot of different hits and they are
2 not necessarily what it was you were looking for.

3 So we had this one random sample here.
4 We could pull it and look and see with the thought
5 that if subcontractors are missing out of this,
6 we would see it under any construction trades job
7 over this time period in 773A. If you recall,
8 that was the initial concern that was raised by
9 the interviewee. He felt all of those
0 construction subcontractor company files had been
1 incorporated into individual files and that is
2 what we are trying to verify.

13 So we felt that this sample should be
14 able to do that.

15 MEMBER CLAWSON: Okay, thank you.

16 MR. FITZGERALD: I would say the only
17 other comment was I think one impetus to look a
18 little later in the time frame was the concern
19 that the Savannah River System, if it was going
20 to be stressed from the records standpoint, would
21 have been probably in that era where you are
22 bringing in the thousands of subcontractors,
23 which was the '89 to the early '90s when they had

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 the influx. So you know if you are talking about
2 a stress test for Savannah River records, that
3 would have been the time period. So that is
4 another reason we wanted to expand this and look
5 at that particular time frame to see if you did
6 see any issues with the completeness at that
7 point.

8 MEMBER CLAWSON: And that was with the
9 K Reactor?

10 MR. FITZGERALD: That was with
11 primarily -- it was other things but K Reactor
12 restart was the only tritium source for the
13 country and when that went down in '88, I guess
14 it was, there was a large, large effort, almost
15 no holds barred effort to get that back up, which
16 was only shortchanged by the end of the Cold War.
17 But before that time frame, there were thousands
18 of workers brought in to do seismic bracing, to
19 upgrade, and get that reactor so it would be
20 operating again.

21 So you know that was an unprecedented
22 amount of subcontractor influx at that site. And
23 I think that was one concern to take a look at

1 that time frame.

2 MEMBER CLAWSON: Thank you.

3 CHAIR MELIUS: Well one quick
4 question for Tim on the thoron computations.

5 DR. TAULBEE: Yes, sir.

6 CHAIR MELIUS: How far off are they?
7 How much difference is there? Any estimate on
8 the different methods that were used? Is it one
9 percent, ten percent?

10 DR. TAULBEE: Within an order of
11 magnitude.

12 CHAIR MELIUS: Okay. Okay, good.
13 I'm asking more concerned you know we are talking
14 about dose reconstruction reviews and
15 consistency.

16 Thank you very much. And with that,
17 thank you both.

18 Any further questions? Did I miss
19 anybody? Okay, thanks.

20 Okay, now we will move on to the Rocky
21 Flats SEC Petition. And Dave, I guess you are
22 going to start.

23 MEMBER KOTELCHUCK: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIR MELIUS: Okay.

2 **ROCKY FLATS SEC PETITION UPDATE**

3 MEMBER KOTELCHUCK: Okay. Let's go
4 ahead. The Working Group at Rocky Flats Plant
5 was myself as Chairperson, Bill Field, Wanda Munn
6 and Phil Schofield.

7 Just a quick petition overview. In
8 2011, NIOSH received an 83.13 petition for 1952
9 through '89, 4/1/52 to 12/31/89, covering tritium
10 exposures.

11 The petition qualified for evaluation
12 and the period was extended to 12/30 petition to
13 12/2/05.

14 In October of 2013, the Board extended
15 SEC-30 to cover all employees with at least 250
16 workdays between April 1st, 1952, and December
17 31st, 1983.

18 The Board then voted to extend the
19 investigation from 12/31/83 to 12/31/2005 in
20 order to do the following, the five following:
21 Evaluate the use and exposure potential for
22 magnesium-thorium alloy; continue to evaluate for
23 '84 through '88 the neptunium exposure potential;

1 resolve open questions with SC&A and the Work
2 Group concerning tritium; and examine implication
3 of data falsification issues, which of course was
4 a major concern; and examine exposures at the
5 Critical Mass Lab.

6 There were other issues that came up
7 as raised by the petitioners, the co-petitioners.
8 I'd like to talk and deal with these -- there are
9 five of them and you'll see there are other issues
10 that came up -- in the order in which they were
11 -- the Working Group was charged.

12 First, let's do magnesium-thorium
13 alloy, which presented a number of important and
14 difficult issues.

15 So, the alloy was allegedly shipped to
16 Rocky Flats Plant for use in plates to
17 bulletproof military trucks. Typically two to
18 four percent thorium -- the plates contained two
19 to four percent thorium.

20 As part of the earlier 192
21 examination, NIOSH, in 2013, carried out another
22 review of the Site Research Database for the
23 magnesium-thorium link and found no corroborating

1 evidence. However, workers at the Dow-Madison
2 plant in Wisconsin reported shipping magnesium-
3 thorium plates to Rocky Flats.

4 The Dow-Madison workers worked with
5 these plates, according to records, between '62
6 and '75.

7 NIOSH asserted in its report, that
8 workers there were apparently not aware of Dow
9 facilities in the Denver area and may have
10 delivered magnesium-thorium to these instead.

11 That's a thought, a possibility, but
12 we really -- ultimately, we don't know what
13 happened, but we, again, found no corroborating
14 RFP links.

15 So, on 5/31/13, NIOSH was informed
16 that an RFP worker reported that magnesium-
17 thorium was used at the plant.

18 NIOSH further reviewed the databases
19 and still could not find corroborating
20 documentation, and concluded that there was no
21 corroborating evidence for the use of magnesium-
22 thorium at the site.

23 Additionally, NIOSH observed that if

1 the alloy was sent to the Rocky Flats Plant, this
2 took place between '62 and '75, which was part of
3 the SEC period -- covered SEC period. SC&A,
4 however, had a different perspective.

5 The workers interviewed both by NIOSH
6 and SC&A provided a high level of clarity and
7 detail and they specifically named five different
8 magnesium-thorium alloy specifications or types,
9 only two of which were searched for.

10 Rather than confusion, SC&A concluded
11 it is just as possible that the worker had it
12 right all along, that is, the Dow-Madison worker
13 had it right all along and the SC&A's conclusion
14 was the receipt and use of magnesium-thorium
15 alloy at RFP remains inconclusive.

16 Then the Work Group had to decide the
17 path forward on this. There was basically
18 disagreement between NIOSH and the SC&A, or a
19 difference of opinion about what might be going
20 on.

21 SC&A noted that 400 boxes of records
22 sit at LANL, according to the Department of
23 Energy, and would have to be hand-searched.

1 Estimated search time, two years.

2 Also in the SC&A report, a project
3 manager noted that two percent to four percent
4 thorium in the magnesium may not have been
5 considered a reportable quantity, that is, that
6 it was not a highly radioactive material and is
7 used in a number of things.

8 For example, it was used in the
9 bulletproofing of trucks and military vehicles.
10 So, obviously, it was not considered by the
11 military to be highly radioactive.

12 Two years ago on 3/17/15, the Working
13 Group decided not to ask NIOSH or SC&A to pursue
14 this issue further and to close the issue.

15 And our reasons were the failure of
16 intensive, year-long searches for documentation
17 at the plant and agency levels. This had been
18 going on since 2007. So, it was ten years of
19 looking at data.

20 And of course once the issue was open,
21 it was clear that both groups, had they seen
22 anything that related to magnesium-thorium, would
23 have so reported.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

I should note that the vast majority
of cancers during the years of possible
magnesium-thorium use are compensable under the
existing SEC, which goes to 1983. So, only those
with noncompensable cancers not in the SEC might
be negatively affected by this.

14 So, in February of this year, co-
15 petitioners released the transcript that they got
16 via FOIA of a 2013 interview with an RFP worker
17 who reported use of the plates between '84 and
18 '89 that he believed might have been magnesium-
19 thorium.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 again, might not have been considered a highly
2 radioactive material. Co-petitioners asked that
3 the interview be reopened.

4 At its meeting three days later, the
5 Working Group, with input from NIOSH and SC&A,
6 decided the transcript had been evaluated, the
7 context of all the information, and did not
8 warrant further reconsideration or elaboration
9 and this issue remained closed.

10 So, then let's go now to the neptunium
11 issue, the second one. A NIOSH search concluded
12 that neptunium-237 was used at RFP after the '83
13 SEC date perhaps up until 1988.

14 Evidence points to a series of
15 discrete tasks performed from '62 through '83
16 involving a few grams to a few hundred grams,
17 usually at the request of other DOE facilities.
18 The maximum use was 300 grams in 1966 during the
19 covered period.

20 The only processing operation in the
21 post-'83 period involving neptunium was the
22 plutonium-neptunium separation and residue
23 recovery operation from late '85 to the end of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 '87. Which was outside, then, of the SEC period.
2 This was a glove box operation involving five
3 operators and one engineer.

With the plutonium-neptunium mass ratio of 6.4 and the greater specific activity of plutonium, the neptunium operation and later waste cleanup were monitored by a plutonium air sampling, contamination surveys, and bioassays, which were consistently implemented in the post-'83 period.

23 Prior to the 1970s, the radiological

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 program did very little monitoring for tritium,
2 because they believed there was limited tritium
3 exposure potential. However, a '73 incident
4 revealed that returned trigger containers could
5 emit as much as 500 to 2,000 curies of tritium.

6 So, as a result, changes were
7 implemented. This was a major issue, and of
8 course majorly affected the -- the tritium
9 majorly impacted in the environment and it was a
10 national issue. But, as a result, a series of
11 changes were implemented: increased number of
12 tritium bubblers and swipe samples, air sampling
13 on opening incoming containers that used pits,
14 and urine samples for 250 workers thought to be
15 most effective followed two years later by
16 sampling only among those job-specific
17 categories, because the earlier results showed no
18 excess exposure levels.

19 In addition, ten percent of urine
20 samples for plutonium were tested for tritium.
21 Result: greatly reduced level of tritium exposure
22 by the 1980s.

23 Since virtually all RFP workers before

1 1983 are covered by the SEC, the crucial issue
2 for the Work Group, for NIOSH, SC&A, was whether
3 the post-'83 tritium exposure control program was
4 adequate and individual tritium exposures
5 appropriately assessed.

After extensive group discussion about the placement of bubblers, their efficacy, the sampling procedures, the Working Group agreed that the exposure control program after '83 was adequate to protect workers exposed to tritium. So, we'll talk about partial dose reconstruction. So, the Working Group closed on this.

Now, data falsification. As is publicly well-known, an FBI raid was conducted at the Rocky Flats Plant in 1989 concerning alleged data falsification, improper bioassay processing, and document destruction. Also in '89, a related DOE study was conducted. However, the FBI did not release the redacted interview transcripts until 2015.

21 NIOSH and SC&A interviewed a number of
22 Rocky Flats employees, including one who reported
23 being ordered to destroy records. NIOSH reported

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 no loss in essential records which would
2 interfere with radiation dose reconstruction, nor
3 did it find evidence of relevant data
4 falsification.

5 There may well have been problems with
6 processing that might have affected chemical
7 composition and chemical deterioration during
8 storage, but we're talking in our program about
9 radiation dose reconstruction.

10 Another interviewee made statements
11 about the inadequacy of fume hoods, stack
12 samples, and improper handling and/or preparation
13 of environmental samples.

14 NIOSH said, from a radiological
15 perspective, NIOSH finds no scientific basis for
16 concluding the issues raised regarding the
17 samples would compromise -- and my emphasis --
18 radiological count results.

19 Another interviewee raised the issue
20 of dosimeter technicians writing down dose rate
21 information in pencil, which might allow others
22 later to direct changes in the data.

23 This might impact results recorded for

1 field survey instruments, but the primary sources
2 of dose reconstruction data are personnel
3 dosimeters and bioassays, which are assessed in
4 the lab, not in the field.

5 SC&A reviewed eight documents
6 mentioned in the NIOSH White Paper. The
7 documents were concerned with other aspects of
8 the operations and environmental issues rather
9 than data falsification, record destruction, or
10 bioassay data that would potentially impact the
11 ability to perform adequate dose reconstruction.

18 But in addition to its basic support
19 of conclusions of the NIOSH White Paper -- I moved
20 ahead of myself -- SC&A expressed concern that
21 the data used to generate the intakes might be
22 impacted by the environmental sampling and data
23 issues that surfaced both after the FBI raid and

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 the DOE investigation.

7 And claimant representatives wrote a
8 detailed response to the NIOSH White Paper, and
9 NIOSH combines all the issues raised by the
10 petitioners and the relationship to Building 123.
11 Each of the issues raised are separate concerns.
12 Some concerns may be related to Building 123, but
13 not all of the issues are. Therefore, each of
14 the issues needs to be addressed on an individual
15 basis.

16 It is the petitioner's position that
17 the problems associated with each individual
18 concern is sufficient for NIOSH to determine that
19 they cannot reconstruct dose with sufficient
20 accuracy. And they itemized six different areas
21 of concern.

22 Let's address some of these. For
23 records destruction, the petitioner's concern was

1 that there was a relatively low number of urine
2 samples -- 10,000 in 1984 -- which equates to
3 less than two samples per employee that year.

4 NIOSH noted that this incorrectly
5 assumes -- NIOSH asserted this incorrectly
6 assumes that every worker employed at RFP in 1984
7 had a potential for internal exposure and that
8 this metric, as NIOSH noted, it was not
9 appropriate.

In terms of record destruction, the petitioner said that no fecal samples were listed for years 1980 through '88, eight full years. The number of fecal samples pre-'88 is very important. And agreed, NIOSH said fecal samples are not necessary to bound inhalation intakes. Urinalysis can also be used.

17 However, they noted in their White
18 Paper about it that more than a thousand fecal
19 sample results were available for the 1980 to '88
20 period.

Based on its review of the rebuttal document, NIOSH concludes --- NIOSH --- sorry, typo -- concludes that no new information has

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 been presented that impact its ability to bound
2 or reconstruct with sufficient accuracy and the
3 dose for the Class evaluated. The Working Group
4 concurred and this aspect of the investigation
5 was closed.

Finally, next to finally, the Critical Mass Lab. The operations at the Critical Mass Lab took various assemblies and radioactive materials to criticality levels.

In addition to emissions from the criticality studies, the NIOSH White Paper in June of 2015, noted that "radioactive materials at the lab included the nuclear fuels and sealed radioactive sources used in criticality experiments, fission and activation products generated in the fuels, building materials and fixtures as a result of the nuclear criticality experiments conducted there are an additional source of radiological exposure."

The White Paper concluded external radiation exposure to CML from criticality experiments is accounted for by the personnel dosimetry program, which assigned radiation

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 dosimeters to all CML workers. And this program
2 also included periodic bioassays.

3 NIOSH found no significant personnel
4 dose to CML workers from mixed fission and
5 activation products over the lifetime of the lab.
6 SC&A agreed and the Working Group accepted the
7 paper.

16 During the interview, this CML
17 scientist argued that no one could bound the
18 neutron flux in the lab's near-criticality
19 experiments. He disputed NIOSH's ability to
20 calculate upper bounds on the neutron flux via
21 the reactor's energy input during the criticality
22 experiment.

He also asserted that radiation levels

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 at CML were not properly documented and that
2 Rocky Flats' personnel dosimetry program only
3 performed lung counts, not full body counts, on
4 the lab's 30 to 35 employees and conducted these
5 urinalyses irregularly.

6 In addition to exposure to its full-
7 time employees, this CML scientist reported that,
8 during the 1980s, typically one to 200 non-CML RF
9 employees entered the lab annually to observe
10 ongoing experiments.

11 At the conclusion of the discussion,
12 NIOSH staff agreed to review existing data,
13 extend the search, and issue an updated White
14 Paper on CML. Including in this effort was a
15 capture of CML data from Los Alamos National Lab.
16 A reassessment paper was issued in November of
17 2016.

18 Here's what the NIOSH paper,
19 reassessment paper -- NIOSH found that CML staff
20 had on five occasions satisfactorily assessed the
21 thermal power and neutron flux, and the power in
22 all cases was less than the 10 milliwatts
23 estimated in its 7/14/15 White Paper.

1 Routinely collected data was found for
2 external exposures monitored via personnel badges
3 and daily radiation surveys at control points.
4 Potentially contaminated surfaces were checked
5 regularly for alpha radiation via tissue smears.

Internal exposures resulting from inhalation and ingestion of airborne dust and resuspension from contaminated surface were assessed via bioassays and with an adequate amount of exposure data and amount of data.

20 Another additional issue that came up
21 from the claimants. In spring 2015, claimants
22 raised new concern about radiation exposure from
23 the 600-curie cobalt-60 source at Rocky Flats and

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 presented information from DOE via a FOIA
2 application that --- presented information from
3 DOE as well as employee testimony alleging lack
4 of proper exposure protection during removal of
5 this source from RFP.

13 So, these are the issues. I don't
14 know if they may be a little small to read, but
15 basically we closed on each of the five issues
16 that we were charged with investigating, as well
17 as examining exposure from the cobalt-60, as
18 raised, and a number of the issues that were
19 raised in rebuttal by the co-petitioners.

20 Our recommendation is that for the
21 period January 1, 1984, to December 31st, 2005,
22 the Rocky Flats Plant Working Group finds that
23 radiation dose estimates can be adequately

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 reconstructed for individual claimants and
2 recommends that this Class not be added.

3 Okay. Questions?

4 CHAIR MELIUS: Thanks, Dave.

5 Questions? Phil.

6 MEMBER SCHOFIELD: Yeah. I got a
7 couple things I was thinking of yesterday. And,
8 one, on the reading of either film badges or TLDs,
9 depending on the timeframe, because of the
10 different type of processes some people are going
11 to be exposed to higher neutron fields and others
12 are going to be exposed to higher gamma fields.

13 And based on my experience, did they
14 give them full credit for those exposures, or
15 were they assuming that some of this neutron or
16 some of those higher gamma levels was erroneous?

17 CHAIR MELIUS: LaVon. Go ahead.

18 MR. RUTHERFORD: I was going to say,
19 the neutron exposures were checked for the CML
20 lab and at different energy levels suspected.
21 And it was also accounted for, I believe, in the
22 NDRP report as well.

23 MEMBER SCHOFIELD: Okay. Then the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 other question I have is, when people were
2 suspected of having an update, you know, maybe a
3 minor one, 50-70 dpm, something like that, when
4 they did swabs to see what they did, did they do
5 just nasal, or did they do oral swabs, or both?

6 MR. RUTHERFORD: Are you talking for
7 any individual on the site, or CML, or what?

8 MEMBER SCHOFIELD: I'm talking about
9 individual. Say they have a --

10 MR. RUTHERFORD: They were nasal
11 smears.

12 MEMBER SCHOFIELD: They were all
13 nasal smears?

14 MR. RUTHERFORD: Yes, from what I can
15 remember. I don't remember any --

16 MEMBER SCHOFIELD: Okay.

17 CHAIR MELIUS: Josie?

18 MEMBER BEACH: Dave, I'm going to go
19 back to your slide 10 and those 400 boxes that
20 sit at LANL.

21 MEMBER KOTELCHUCK: Yes.

22 MEMBER BEACH: I understand the Work
23 Group closed that out.

1 MEMBER KOTELCHUCK: Right.

2 MEMBER BEACH: Who knows what could
3 possibly be in those boxes, those 400 boxes and
4 -- yeah, I just keep going back to that
5 information.

6 MR. RUTHERFORD: I would like to say
7 that it's not 400 boxes. We have reviewed some
8 of those boxes. When we went through the Rocky
9 Flats early on in not only SEC-30, but SEC-192,
10 we have been to LANL, we have captured documents
11 and we have, you know, looked for this issue.

12 I know we haven't looked in all of
13 those boxes, but it's not 400 boxes.

14 MEMBER BEACH: Okay.

15 MR. RUTHERFORD: And I'd also like
16 to point out that, in our opinion, this is not an
17 SEC issue. We can reconstruct the thorium
18 exposures from cutting, grinding, welding, your
19 two to four percent thorium alloy. And we've
20 done this for a number of metals operations.

21 MEMBER BEACH: Okay. I understand
22 that part of it. I just wonder if there's
23 anything -- did you just overlook -- did you just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 didn't go into some of those boxes?

2 MR. RUTHERFORD: Well, what we did was
3 we tried to -- LANL indexed the number of boxes
4 when they got them. And we tried to pull boxes
5 we thought we would find information in. So, we
6 didn't look at all of them, but we pulled the
7 ones we thought might have information.

8 MEMBER BEACH: So you don't know what
9 you don't know, basically.

10 MR. RUTHERFORD: Exactly.

11 MEMBER BEACH: Okay.

12 MEMBER KOTELCHUCK: But you never
13 know what you don't know.

14 MEMBER BEACH: I know. That's why I
15 like to look at all of them.

16 MEMBER KOTELCHUCK: And I respect
17 that, but --

18 MR. RUTHERFORD: I would like to point
19 out another thing is we did look at the classified
20 documents at LANL. That was the ones we focused
21 on, because the operation was a classified
22 operation. And so those were the documents we
23 were specifically looking at at LANL.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER BEACH: Okay.

2 MEMBER KOTELCHUCK: And I just went
3 over, I mean, the list in the databases that had
4 been gone over over the years and the interviews
5 that have been conducted have been going on for
6 ten years and we could never find anything to
7 corroborate internally what was quite reasonably
8 described by the Dow-Madison workers. We just
9 didn't find it in the plant.

10 MR. RUTHERFORD: Yeah. We also did
11 investigations at Sandia, we did investigations
12 at Lawrence Livermore, we did investigations at
13 Kansas City and have no indication of magnesium-
14 thorium alloy use from documents we retrieved
15 there.

16 MEMBER KOTELCHUCK: Obviously, if
17 ever information were to come up of evidence, any
18 time there's new evidence about any plant, that
19 will be looked at, and that would be looked at,
20 but the evidence has to be there.

21 And it would be easy to calculate,
22 "oh, what could have happened if they had used?"
23 Although we don't even know, if it was used there,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we don't know how extensively it was used.

2 It's just -- we just keep looking and looking and
3 weren't able to find anything.

4 MEMBER BEACH: Okay. So, just a
5 follow-up.

6 MEMBER KOTELCHUCK: Sure.

7 MEMBER BEACH: So, the Rocky Flats
8 individuals will not get dose, the four percent
9 during that time period, because you haven't been
10 able to prove that there was mag-thorium there,
11 is that correct?

12 MR. RUTHERFORD: That's correct.

13 MEMBER BEACH: If you did prove it,
14 then you could.

15 MR. RUTHERFORD: Yes.

16 MEMBER BEACH: But they're not going
17 to --

18 MEMBER KOTELCHUCK: Oh, yeah.

19 MEMBER BEACH: Okay.

20 MR. RUTHERFORD: Exactly.

21 MEMBER KOTELCHUCK: Absolutely. And,
22 of course, most of the people -- if we are wrong
23 and it was -- or if we corroborated the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 assertions, the reasonable assertions by the Dow-
2 Madison workers, and they did use it, we could -
3 -- excuse me. If we were able to corroborate it,
4 we could do the calculations, and we would. I
5 mean, there's no question we would reopen, but it
6 hasn't --- we haven't found that corroboration.
7 And at a certain point, we just said it's been
8 going on for a long time in ---

9 MR. RUTHERFORD: Another thing I'd
10 like to point out on that, most of the operations
11 that we've seen throughout the DOE sites, those
12 sites were not using any magnesium-thorium alloy
13 after 1983.

14 Now, that doesn't mean that, you know,
15 as Dr. Kotelchuck said, we may find something at
16 some later date. But at this point, nothing we
17 have seen goes past 1983, and we're already in
18 SEC up to 1983.

19 MEMBER BEACH: Right.

20 MEMBER KOTELCHUCK: Right. Again, if
21 we had found any, most of it would be covered in
22 the existing SEC.

23 CHAIR MELIUS: Okay. Any other Board

1 Members with questions? Anybody on the line that
2 has questions?

3 (No response.)

4 CHAIR MELIUS: Okay. Thank you,
5 Dave.

6 MEMBER KOTELCHUCK: Okay.

7 CHAIR MELIUS: And I believe that the
8 petitioner, Terrie Barrie, wants to make
9 comments.

10 MS. BARRIE: Yes.

11 CHAIR MELIUS: I'm not sure if it's a
12 PowerPoint or written.

13 MS. BARRIE: No, PowerPoints take
14 too long to --- and we only have ten minutes and
15 that's not enough for the petitioners to present
16 our objections. And I ask the Board to
17 reconsider that for the future petitioners.

18 My name is Terrie Barrie, and I thank
19 you for appearing here --- or for allowing us
20 comments.

21 I'd also like to mention that when
22 NIOSH sends documents to the petitioners, we need
23 a whole lot more lead time than two days. okay?

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 My packet is waiting at my house. I was already
2 in Chicago. And although I got online, not every
3 petitioner gets their information online. So I'd
4 like that to be reconsidered also.

I'd like to --- obviously, I object to
the conclusions by the Work Group and NIOSH and
SC&A. And the reason for this, we'll start off
with the tritium issue, which was the basis of
the qualification in the beginning. They
haven't researched the metal tritides.

Now, I didn't know about metal tritides when [identifying information redacted] and I first filed for the SEC petition, but it was mentioned in General Atomics and I brought that up in November. And also SC&A raised the issue of metal tritide presence at Rocky Flats.

There's also a document titled

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com

1 "Tritium Permeation and Passivated 304 Stainless
2 Steel," a third one from DNFSB, and an FBI
3 interview where a chemical engineer, former
4 worker, stated that there was a tritium site that
5 was separate. "Due to the ongoing practice of
6 conducting classified projects at Rocky Flats,
7 tritium was produced and disposed of at the plant
8 in the areas of the 207 ponds."

9 And that's been overlooked. It
10 hasn't been investigated at all although NIOSH
11 has had this, that interview, for quite a while.

When it comes to the Critical Mass Lab, [identifying information redacted], the expert for that, voiced a number of concerns. [identifying information redacted] yesterday brought many of them up, but I'd like to call your attention to the White Papers on page 30, which states, "NIOSH has found no indication that confirmatory bioassays were performed for employees involving in the cleanup of any accidental UNH spills. Fission and activation products, which decay primarily into beta gamma emissions, are not likely, in any case, to have

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 been detected by bioassay intended to detect
2 alpha particles emitted by uranium or transuranic
3 radionuclides."

11 And I know I'm kind of nervous here,
12 but I did submit a fully-written statement to
13 everybody and I hope you consider that before
14 voting.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 company or whatever. And it hasn't happened, as
2 far as I know.

3 Let's see. The new information ---
4 and I apologize, this was brand new for us. I'll
5 give you a little story. Deb Jerison was working
6 on Part E database to help the claimants and she
7 sent me some stuff over from the CEDR database
8 that applied to Rocky Flats.

9 And I was just doing to thank her.
10 You know, I thought I'd open it up and just take
11 a look so I can, you know, properly thank her and
12 tell her I'd take a look at it later. And lo and
13 behold, at the top of the columns of her
14 spreadsheet, it says "Gamma Neutron Radiation,"
15 along with the building numbers.

16 So, I scroll through, and sure enough
17 Building 460, a cold building, has neutron
18 radiation assigned to the workers, as does
19 Building 444. And this is detailed in my
20 response.

21 But Deb had previously went to NIOSH,
22 asked if they were aware of the CEDR database and
23 if it was used for dose reconstruction.

1 And NIOSH replied, "NIOSH has made use
2 of CEDR data to complete coworker studies for
3 some sites. This includes all three Oak Ridge
4 sites, as well as the Rocky Flats and Hanford
5 sites."

15 And just to clarify, on the CEDR
16 thing, that also is not complete. I know of one
17 worker whose radiation records are not included
18 in Building 460.

When it comes to NIOSH responding about my question about neutron radiation in Building 444 because there was depleted uranium and beryllium in the same building, and they responded that, "Therefore, it would take about

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 a thousand kilograms of depleted uranium and
2 intimate contact with beryllium to give a dose
3 rate of one millirem per hour at a meter."

4 Well, I found the document and I did
5 send that off, I believe, to NIOSH a while ago,
6 from DOE, that says that there was at least 300
7 metric tons per year for many years at Rocky
8 Flats, which would equate to about a thousand
9 whatever I just said --- a thousand kilograms of
10 depleted uranium in contact with beryllium.

11 Two site experts, which I know you
12 will have to research this and find
13 documentation, but I believe them when they tell
14 me that the beryllium and the depleted uranium
15 would have been in close contact, at least on the
16 loading docks and in the ductwork, the heating
17 ductwork, because it was one heating ductwork and
18 they would intermix and, you know, neutrons
19 penetrate different things.

20 So, I think --- oh, neptunium. My
21 favorite. I'm sorry, I'm nervous here. I have
22 to reiterate that the SEC for Los Alamos was
23 approved because of a document from DOE that says

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you cannot use plutonium bioassay to reconstruct
2 dose for neptunium. And that's exactly what
3 they're doing for Rocky Flats and that's wrong.
4 It's inconsistent.

12 So, I think that's about it. I
13 believe, and [identifying information redacted],
14 the petitioner, believes that NIOSH has failed to
15 provide reasonable and factually-based evidence
16 to support their position to reconstruct dose for
17 the Rocky Flats workers after 1983.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 the Board cause --- or pause.

2 Rocky Flats, I know there's a problem
3 with resources. This has been going on for years
4 and years, but they deserve a fair investigation.
5 And we just don't think -- to a degree -- to a
6 degree, there's certain things that have been
7 omitted. We just deserve a fair shake that all
8 the evidence be honestly evaluated. And I ask
9 that you vote to expand the Class. Thank you.

10 CHAIR MELIUS: Thank you, Terrie.

11 LaVon or Dave, do you have responses
12 to --- I think there's ---

13 MEMBER KOTELCHUCK: Well, why don't
14 we start with the metal tritides.

15 MR. RUTHERFORD: Metal tritides, we
16 actually assessed the metal tritide issue. I
17 actually did get the documents from Terrie.

18 The only period where we initially
19 thought there could possibly be was the mid-'70s
20 time era when some activities were going on.

21 However, when we did classified search
22 on that and discussions, we concluded that this
23 was not a concern during that period. And so we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have assessed that and it was reported to the
2 Work Group. And we also gave SC&A the
3 opportunity to rebut that.

4 CHAIR MELIUS: The CML cleanup
5 workers spills?

6 MEMBER KOTELCHUCK: The cleanup of
7 activity was --- that they said that they could
8 not --- that we were not detecting alpha
9 particles. I mean, there was -- there was
10 cleanup.

11 MR. RUTHERFORD: Oh, we have
12 documented surveys. We have contamination
13 surveys. We have air sampling from the entire
14 period.

15 The issue was, was there post-accident
16 bioassay? We could not confirm that, but we do
17 have the actual bioassay for individuals that
18 worked in the actual Critical Mass Laboratory
19 during their period.

20 It does not address fission and
21 activation products, and we did mention that,
22 because, at the time, the site did not feel that
23 it was necessary. And our calculations prove

1 that it was not.

2 The highest dose we came up with was
3 2.5 times 10 to the minus fourth millirem
4 potential exposure at the Critical Mass Lab from
5 fission and activation products.

6 MEMBER KOTELCHUCK: Yeah, I mean, if
7 I may say, on the cobalt-60, the assertion is
8 that we didn't interview someone outside ---

9 MR. RUTHERFORD: Actually, the
10 cobalt-60 source, the person did request an
11 interview. That interview was conducted in a
12 classified setting. The individual was not
13 concerned with Cobalt-60. She was concerned with
14 a tritium capture system that was employed during
15 the production years.

16 She did not identify any situation
17 where exposures could have been received from
18 work with that unit. I can't discuss all of it,
19 but I can give you that much. But, in fact, her
20 interview said she had no issues with the cobalt-
21 60 gamma cell. It was routinely surveyed. We
22 could only come up with two or three of the leak
23 checks, but we did find the complete work package

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for the removal of the gamma cell and we had no
2 indications there was ever any problems with
3 that.

4 CHAIR MELIUS: Josie, you had a ---

5 MEMBER BEACH: Yeah, I have two,
6 LaVon. Hang on. Hang on there.

7 MR. RUTHERFORD: I might as well just
8 hang out.

9 MEMBER BEACH: Can you just respond
10 to the 460 building that was supposed to be a
11 cold building, but --

12 MR. RUTHERFORD: Yeah, and I do
13 believe that we have, you know, found that there
14 were some activities that were conducted, you
15 know, just based on interviews, that there may
16 have been some exposures in there.

17 However, we also have personnel
18 monitoring data for all those situations that we,
19 you know, we have personnel monitoring data for
20 those individuals, so it's not a dose
21 reconstruction issue.

22 MEMBER BEACH: Okay. And then the
23 other one was on the CEDR. Did you guys look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that?

2 MR. RUTHERFORD: CEDR database is
3 part of our coworker model.

4 MEMBER BEACH: Okay.

5 MR. RUTHERFORD: However, it is also
6 adjusted based on any additional data that we
7 have. And, again, the coworker model will have
8 to be reevaluated once the coworker methods have
9 been finalized, you know, after SRS is completed.

10 MEMBER BEACH: Under the Site
11 Profile.

12 MR. RUTHERFORD: Yeah. Right.

13 MEMBER BEACH: And then last one, the
14 neptunium dose using alpha to ---

15 MR. RUTHERFORD: Yes. Neptunium,
16 actually, the reason why we can use it there is
17 we have documentation of the maximum ratios
18 between the plutonium and the neptunium.

19 We employed those maximum ratios and
20 compared that to the airborne concentrations and
21 they were negligible. You could not --- it would
22 clearly be bounded by the plutonium intake. And
23 those individuals had monitored plutonium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bioassay.

2 CHAIR MELIUS: LaVon, don't go away.

3 (Laughter.)

4 MEMBER KOTELCHUCK: Also, I may say,
5 while we're waiting, I mean, when people, in the
6 plant, there were at least two people who
7 reported using magnesium-thorium. One of them
8 was --- and it was told to us a while ago there
9 was an interview that was held. And that was the
10 quote that I said that it was hard to tell. And,
11 sincerely, it may have been, but that's not, if
12 you will, solid corroboration.

13 MR. RUTHERFORD: Well, I can say the
14 interviews, when we conducted the interviews, the
15 question was specifically asked, "Do you recall
16 ever using magnesium-thorium alloy in your
17 operations?"

18 No time period was put on it. It was
19 basically, have you heard magnesium-thorium alloy
20 -- and none of them that we interviewed, we
21 interviewed workers that worked on the project
22 that, you know, the SST&S, their railcars that
23 were actually -- which were brought into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 question, and none of them could corroborate that
2 there was magnesium-thorium alloy.

3 CHAIR MELIUS: My question is
4 different, and it's the X number of boxes, since
5 we don't know it's 400. Are those ever going to
6 be looked at?

7 MR. RUTHERFORD: I would suspect that
8 we will, but I, you know, again, I would suspect
9 we'll look at them just because of other issues
10 as we move down the Rocky Flats path of Site
11 Profile issues. And given opportunities where
12 we go to LANL for different things, we will have
13 opportunities that we can look into those boxes,
14 I believe.

15 CHAIR MELIUS: Okay. And suppose
16 that they corroborate the use of magnesium-
17 thorium. Are you going to --- I mean, I think
18 you've stated that that's, you know, sort of a
19 Site Profile issue that you can reconstruct dose,
20 but will you be able to place workers into that
21 area?

22 MR. RUTHERFORD: Well, obviously, I
23 mean, we do actually have a pretty good list of

the workers that worked on that project. So, we
do have that.

3 It would be more of timing of what we
4 would probably end up doing is identifying the
5 exposure --- first of all, if the exposure is,
6 you know, when we calculate it, is it a measurable
7 exposure? That would be the first thing.

8 Then we would probably identify it to
9 just the people, any individual that worked
10 within that building over that time period, and
11 we could apply that dose.

12 CHAIR MELIUS: Back to the boxes. Is
13 there any sort of index of the boxes or of what
14 the content may be?

15 MR. RUTHERFORD: Yeah. Actually,
16 LANL had an index, that I remember, but it wasn't
17 a very detailed index. And, actually, I've been
18 sending emails to our staff to get a better feel
19 for that as well. So, and I haven't got it back
20 yet.

21 CHAIR MELIUS: Okay.

22 MEMBER KOTELCHUCK: Right. I mean,
23 we have been --- also the Working Group has been

1 working on this for years.

2 MR. RUTHERFORD: And recognize,
3 again, that this was also addressed under SEC-
4 30. This was looked at under SEC-30. It was
5 extensively looked at by --- I mean, because of
6 [Identifying information redacted] concern with
7 Dow-Madison and his concern with extending the
8 covered period at Dow-Madison.

9 And so it was looked at there, because
10 if they sent magnesium-thorium alloy to another
11 plant after the covered period, then they would
12 make the argument that it should extend that.

13 So, we looked at it there. We've
14 looked at it under this, you know, so it's been
15 looked at a lot.

16 MEMBER SCHOFIELD: LaVon, I've got a
17 couple questions for you.

18 (Laughter.)

19 MEMBER SCHOFIELD: Okay. Was HF
20 reductions done at Rocky Flats? And if so, was
21 that an isolated area or was there other people
22 working there who were not directly tied to the
23 HF reductions?

1 MR. RUTHERFORD: Well, HF doesn't
2 have --- it's not a radiological issue.

3 MEMBER SCHOFIELD: No, but if you're
4 reducing plutonium fluoride with HF you do have
5 that neutron flux.

6 MR. RUTHERFORD: Yeah. I can say ---
7 I don't know. I can say that the neutron
8 exposures were thoroughly evaluated under the
9 NDRP program. They were evaluated extensively
10 under SEC-30 and all issues were closed out under
11 that.

12 MEMBER SCHOFIELD: Have you
13 investigated --- there's some indication that
14 some people say that californium was used there.
15 Have you investigated that, or not?

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 would have been picked up by our -- by the
2 monitoring.

3 MEMBER SCHOFIELD: Okay. Thanks.

4 CHAIR MELIUS: Anybody else have
5 further questions?

6 MEMBER KOTELCHUCK: There were an
7 extensive number of issues that we looked into
8 and we tried to respond as we thought appropriate
9 to the information that was provided by the co-
10 petitioners and their representatives. So, and
11 this is the conclusion that we came to that we
12 should not add the SEC.

20 CHAIR MELIUS: So, we have a motion
21 from the Work Group that we closed?

22 MEMBER KOTELCHUCK: Yes, we do.

23 Yeah.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 MEMBER BEACH: Can I ask, was that a
2 unanimous recommendation by the Work Group?

3 MEMBER KOTELCHUCK: Yes, it was.

4 MEMBER BEACH: Okay. Thank you.

5 CHAIR MELIUS: So, further discussion
6 on that?

7 I will say I have some misgivings
8 simply because I wish we had more information on
9 the X number of boxes available to us, to know
10 how you targeted them and so forth. And it
11 seems, I guess, a little bit troublesome that
12 there's information out there that we're not
13 looking at.

14 On the other hand, I understand the
15 impracticality of it, and, you know, stretching
16 out an evaluation for a longer period of time for
17 what may very well be an SEC -- or a Site Profile
18 issue, not an SEC issue.

19 Though, I can imagine a scenario
20 that's fairly common when we discover these
21 where, you know, not because of the nature of the
22 exposure, but because the issue of placing
23 workers into a particular area, that we can't do,

1 you know, dose reconstruction for that particular
2 exposure.

3 But, again, we haven't really gotten
4 to that point and we haven't been able to explore
5 it yet.

6 MEMBER KOTELCHUCK: Right. Coming to
7 a conclusion that you can't find corroborating
8 evidence is always troubling, I mean. But it
9 seemed to us that there are many people who are
10 going to be affected by the SEC petition and that
11 it was reasonable after four years to say we've
12 done a good job. And we are always open to
13 reopening if more information is found ---- or I
14 should say if information is found to
15 corroborate.

16 MR. RUTHERFORD: I'd like to add onto
17 that, you know, recognize that SEC-30 went
18 through the entire period. It was only because
19 new information was brought forth by the
20 petitioner that was not previously evaluated that
21 moved this petition forward.

So, in the future, if there's new information that comes out that provides a basis

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 for an SEC petition, we will open that petition
2 and look at it. So, I mean, it never shuts the
3 door on future petitions. I just wanted to make
4 sure everybody remembered that.

5 CHAIR MELIUS: I'll just add that some
6 of us were not very satisfied nor agreed with the
7 conclusion on SEC-30. So, I'm not sure I'm as
8 comfortable with the investigation there as I am
9 with what's going on so far, except for this one
10 issue which is somewhat troubling.

11 Any further comment on that? Paul?

12 MEMBER ZIEMER: Well, let me just ask
13 a question on that.

14 Let's suppose that sometime in the
15 future we found that there was the magnesium-
16 thorium, some records of it for those group of
17 workers that you're talking about. The main
18 issue there, would it not be one where those who
19 were doing welding would have internal exposures?

20 So, the likelihood of that ever
21 becoming an SEC issue seems, to me, it's pretty
22 low. It's still reconstructible.

23 CHAIR MELIUS: I agree it's

1 reconstructible. I'm not sure that --- usually
2 the problem we have is placing workers into those
3 categories. Do the records support people being
4 there and does it cover all the people that were
5 potentially involved in that work?

I mean, it's a hypothetical. I don't
want to go over, you know --

8 MEMBER ZIEMER: It's pretty hard to
9 call everybody on the site a welder.

10 CHAIR MELIUS: Well, and I don't
11 recall enough about the record system there in
12 terms of personnel records and placing people in
13 sites. I'm going based on our experience at
14 other sites. I don't want to exaggerate the
15 possibility, but I don't think it's always, you
16 know, it's as straightforward as just doing the
17 dose reconstruction.

18 MEMBER KOTELCHUCK: It's certainly, I
19 think, for people there, I think that if we were
20 to find, in the future, records that it would not
21 result in majorly increase in a dose that that
22 workers got.

23 And we do know something about the

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 nature of the plates that were put up. And it
2 was largely, as I understand, punching, using a
3 punch to punch holes so that they can --- no, not
4 welding, but I won't say that they didn't do any
5 welding, because I don't know, but I would say
6 that it doesn't look like the operations and the
7 nature of the material would turn things upside
8 down.

16 CHAIR MELIUS: A separate set of
17 issues that I have, or issue that I have, so
18 assuming, I guess, one way or the other whether
19 how we deal with this SEC, the next step would be
20 to further review the Site Profile? And would
21 that further review of the Site Profile involve
22 looking at those boxes?

23 (Laughter.)

1 MEMBER KOTELCHUCK: It certainly
2 could.

3 MR. RUTHERFORD: I'm looking at my
4 director.

5 MR. HINNEFELD: Well, I hate to make
6 decisions on the fly like that. I think it would
7 be something, since it's on the table, it would
8 be something we would have to consider. I think,
9 going forward, you know, it competes, like every
10 other task, with our time and attention. So, you
11 know, it would be something we'll put on the list
12 of things to consider going forward.

13 MEMBER KOTELCHUCK: Yeah. I mean,
14 thinking about this over a period of time,
15 there's no question that sampling could be done.
16 That is, if we have the number of boxes, we could
17 sample some of the boxes so we didn't have to go
18 through all 200 to see if there's some end and
19 make some assessment based on the statistical
20 analyses that would suggest that there's a pretty
21 good chance that there was no magnesium-thorium
22 used at the plant.

23 MEMBER BEACH: So, can I ask

1 something, Jim?

2 CHAIR MELIUS: Go ahead.

3 MEMBER BEACH: Back on those boxes,
4 we're assuming that we're only going to find mag-
5 thorium or not find mag-thorium. Is there any
6 idea if there's other items in the boxes? I
7 mean, we keep going back to that mag-thorium
8 which ---

9 MEMBER KOTELCHUCK: We don't have
10 outstanding issues on the other, that's all.

11 MEMBER BEACH: Is that it?

12 MEMBER KOTELCHUCK: Of course, one
13 could find information about any sorts of things.
14 Either one of the five things we were charged
15 with or others. I mean, that's always, of
16 course, possible.

17 But in terms of the issues, the only
18 one that's outstanding in the investigation that
19 the Board told us, suggested to us to do and the
20 co-petitioners asked about, is the magnesium-
21 thorium. It is the only issue that has --- that
22 there's still some quality of openness to. And
23 a sampling might be able to tell us, but we will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 always have boxes, even if we sample, there's
2 always the possibility that there could be
3 something in one of the boxes we didn't sample.
4 And that's real. I don't want to skim over that.

5 Could I ask, Dr. Melius, I mean, is it
6 in the Board's purview to suggest that there be
7 a look, that NIOSH look into revising the Site
8 Profile by perhaps looking, I mean ---

14 MEMBER KOTELCHUCK: Okay. Well,
15 that's good.

16 CHAIR MELIUS: And I concur that ---
17 I'm not trying to make Stu commit to going through
18 every folder or whatever. I mean, there may be
19 --- I think you have some information on what's
20 in them and so forth.

21 So, any further discussion on the
22 motion? Yeah, Phil.

23 MEMBER SCHOFIELD: Just one quick

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 question. I don't know, do you have access to
2 their purchasing records? My point being that I
3 don't know how common welding rods that contained
4 thorium were used at Rocky Flats. Maybe they
5 hardly ever used them, maybe they were only
6 during the period of the SEC. I was wondering
7 if you've run across those in any purchase
8 orders.

9 MR. RUTHERFORD: No. We did not look
10 for thoriated welding rods. What we did look for
11 was magnesium-thorium alloy. We looked for other
12 items of concern.

13 MEMBER KOTELCHUCK: Right. And it's
14 use in plates. So we didn't look at that.

15 CHAIR MELIUS: Okay. So, any further
16 questions? Board Members on the phone,
17 questions?

18 PARTICIPANT: I have a question. I'm
19 not on the Board. Is it open for ---

20 CHAIR MELIUS: Sorry, no. This is
21 Board. No public comments at this point. I'm
22 sorry.

23 PARTICIPANT: Excuse me. I'm sorry.

1 CHAIR MELIUS: That's fine. Okay.

2 If no further questions, then I think, Ted, do
3 you want to do the roll call?

4 MR. KATZ: Thanks. So, Dr. Anderson.

5 MEMBER ANDERSON: It's been a long
6 process here and complicated. I mean, there are
7 some remaining issues, but I do think we've done
8 the best we can and I would vote yes on it.

9 MR. KATZ: Ms. Beach.

10 MEMBER BEACH: No.

11 MR. KATZ: Mr. Clawson.

12 MEMBER CLAWSON: No.

13 MR. KATZ: Dr. Field? Bill?

14 MEMBER FIELD: Could you clarify what
15 the motion is, Ted?

16 MR. KATZ: Yeah, sure. The motion is
17 to agree with NIOSH that dose reconstruction is
18 feasible. And as a result, to deny the petition.

19 MEMBER FIELD: Yes.

20 MR. KATZ: Okay. Dr. Kotelchuck.

21 MEMBER KOTELCHUCK: Yes.

22 MR. KATZ: Dr. Lemen is absent. I'll
23 collect his vote after the meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Dr. Lockey.

2 MEMBER LOCKEY: Yes.

3 MR. KATZ: Dr. Melius.

4 CHAIR MELIUS: No.

5 MR. KATZ: Ms. Munn.

6 MEMBER MUNN: Yes.

7 MR. KATZ: Dr. Poston, are you on the
8 line?

9 (No response.)

10 MR. KATZ: Okay. Absent. I will
11 collect his vote after the meeting.

12 Dr. Richardson, are you on the line?

13 (No response.)

14 MR. KATZ: Okay. Also collect his
15 vote.

16 Dr. Roessler.

17 MEMBER ROESSLER: Yes.

18 MR. KATZ: Mr. Schofield.

19 MEMBER SCHOFIELD: Yes.

20 MR. KATZ: Ms. Valerio.

21 MEMBER VALERIO: No.

22 MR. KATZ: Dr. Ziemer.

23 MEMBER ZIEMER: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: We have eight yeses
2 already. We have 15 Members. So, that's a
3 majority. So the motion passes. And I will
4 report on the remaining votes after I've
5 collected them. And they will be reported on
6 publicly for the teleconference, which is in
7 June.

8 CHAIR MELIUS: Okay. So, we're
9 scheduled now for a break. It's 10:15. So, why
10 don't we come back at 10:30 and we will restart.

11 (Whereupon, the meeting went off the
12 record at 10:14 a.m. and resumed at 10:42 a.m.)

13 CHAIR MELIUS: Okay, we are going to
14 have an update on the review of the Kansas City
15 Site Profile.

16 MEMBER BEACH: Okay.

17 CHAIR MELIUS: And Josie Beach.

18 **KANSAS CITY PLANT SITE PROFILE REVIEW**

19 MEMBER BEACH: Alright. Can
20 everybody hear me? Do I sound okay?

21 The first slide just gives you the
22 Work Group Members, of course myself as Chair,
23 Brad Clawson, John Poston, Loretta and Jim Lockey

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 -- Loretta Valerio.

2 The Site Profile activities to date,
3 the notice went out on April 21st, 2016. The
4 last time I presented to the Board was in November
5 at the Oakland meeting and we completed our SEC
6 work at that time. We combined all Site Profile
7 issues.

8 And then in 2017, NIOSH presented
9 their final TBD-31 Rev. 1 for the Kansas City
10 Site. We found that there was conformance with
11 the matrix action items and the Work Group, the
12 comments from the Work Group. In fact, we felt
13 that NIOSH really responded to all the Work
14 Group's comments over the years in all the Work
15 Group meetings so we were pleased with that.

16 In March 2nd of 2017, the Work Group
17 met and discussed all the issues.

18 What we have here is there is the first
19 three slides are the SEC issues that were tabled
20 for Site Profile later review. And then we had
21 the Site Profiles from the 2013, the original
22 TBD, and then we had comments that were made
23 during our Work Group sessions that ended up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 being added to the new TBD.

2 So, I will just start with the
3 original SEC issues and some of them, you will
4 notice, are similar.

5 So we started with worker job
6 categories, worker locations, and the coworker
7 model. Because there was a varied history of
8 operations and there as a lack of specific work,
9 worker locations, and they moved around, they
10 moved different operations at different time
11 periods, the application of the coworker model
12 and the generalized TBD, we didn't feel like we
13 would get a result in good dose assessments.

14 So the revised TBD provides guidance
15 for different categories of workers for depleted
16 uranium and for different categories of workers
17 and time periods for other radionuclides.

18 Attachment B is the External Coworker
19 Dose Assessment Guideline and that is helpful for
20 dose reconstructors.

21 SEC 3, which was the chronic versus
22 acute intakes, the uranium coworker model may not
23 be applicable to all or most workers. When

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 estimating the intakes, dose reconstructors
2 should assume exposure to uranium dust were
3 chronic. A chronic exposure pattern best
4 approximates the true exposure conditions for
5 most workers with the potential for intake. In
6 addition, a chronic exposure pattern approximates
7 a series of acute intakes, which makes it
8 approximate when no specific information was
9 given for the individual.

10 The next one was non-penetrating dose.
11 And for some of the periods, especially during
12 the 1959 to 1962 time frame, there was
13 information lacking. The TBD has resolved that
14 issue and our concerns with the use of that
15 recorded dose and the worker was satisfied with
16 all three of these.

17 Of course, when you read the TBD, it
18 is difficult to compile it down into a one- or
19 two-minute brief presentation. So hopefully,
20 you have the time to look at the full TBD.

21 The next slide, this is information
22 that was collected as part of data capture during
23 our SEC Work Group. There is three slides on

1 these. These were not in the original TBD but
2 we found that they needed to be added.

3 So natural uranium operations from
4 1950 to 1955 we are applying the TBD-6000
5 methodology as a bounding for inhalation and
6 ingestion intakes and accompanying information
7 and guidance for these operations.

8 Then we had the post-operation period
9 of 1955 to 1959. We are going to use the -- or
10 NIOSH is using the max gross-alpha measured air
11 sample 49 picocuries to determine internal
12 exposure at KCP after natural uranium ceased in
13 1955 and again until the start of KCP urinalysis
14 program which started in 1959.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 that is going to be applied to all workers.

2 And then we get to the mag-thorium
3 issue. The mag-thorium operations that we could
4 find were between 1961 and 1963 and then again in
5 1970 through 1977. They used an engineering
6 control limit of 3×10^{-11} milliliters of alpha
7 applied as a constant distribution to estimate an
8 exposure dose identified for the identified mag-
9 thorium workers. They also used a TBD applied
10 to determine the air concentration for classes of
11 workers that have less exposure or spent less
12 time in the mag-thorium machining areas, which
13 were listed as Department 20 and the Model Shop.

14 The TBD also applies TIB-9 for
15 ingestion rate of internal doses. We will talk
16 about mag-thorium again, those years in-between
17 '63 and '70.

18 And if you look -- well, I'll get to
19 that in a minute.

20 So post-operations '72 to '84 bounded
21 using a maximum measured surface contamination
22 survey data during DU and D&D operations that
23 also applied the OTIB-70.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And organically bounded tritium
2 operations, they were using tritiated phosphor on
3 hi-lo switch plates. It was, again, a small
4 operation between '63 and '68 and NIOSH is going
5 to bound that using a 95th percentile
6 contamination transferred to the skin and
7 absorbed as a 1.77 millirem dose. That will be
8 applied to all workers.

9 We weren't able in this one and the
10 previous one I identified, we couldn't identify
11 which workers. So it is going to be applied to
12 all, as I said.

13 Okay. Then there was a lot of
14 discussion on the D&D. Rockwell came in and did
15 a D&D activity between '84 and '86. I don't
16 believe they used any Kansas City folks but that
17 time period was under much discussion in our Work
18 Group meetings and NIOSH is going to bound that
19 time period using assuming an alpha inhalation
20 rate of 6.76 picocuries a day within an ingestion
21 intake of 0.135 picocuries a day based on the air
22 sample control level of 1×10^{-12} , a breathing
23 air rate of 1.2 m³ per hour for a period of 2,000

hours per year. This is all in Rockwell's report. They did air sampling during that time, Rockwell did, and we were able to look at those sample results but we weren't able to determine if any Kansas City people were in and around that area. So again, those rates will be applied to everybody during that time period.

8 Rad handling for the operations
9 associated with routine rad waste handling, rad-
10 area maintenance, housekeeping, D&D bounding
11 doses assigned to all unmonitored workers. They
12 classified their D&D folks. During our
13 interviews, we were able to kind of pinpoint who
14 handled the rad waste. Anyway, so that we are
15 using a coworker model and apply exposure
16 category 2 to workers. And then there is also
17 some occasional exposure there.

18 So now we are going to get into --
19 these are from the original TBD that was issued
20 in 2013 -- or 2006, excuse me. Some of you will
21 find are somewhat similar.

So the first one, activities at KCP involved handling substantial quantities of

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701 www.nealrgross.com
(202) 234-4433

1 uranium oxide powder. Site Profile recommended
2 using a default option of AMAD of 5, which was
3 questioned by SC&A. The revised TBD includes
4 additional guidance and determined
5 specifications for uranium oxide during that
6 period. I believe they are using a smaller
7 number there than the 5.

8 The bioassay data appears to be
9 incomplete. That was resolved by revised
10 coworker data and validation and verification
11 that was performed.

17 So now if you look at the TBD, you
18 will find job categories, placement, different
19 exposure rates of where different individuals
20 would have worked. It is much clearer.

21 And then 13 was the mag-thorium alloy
22 operations inhalation and ingestion intake rates
23 by job category. Again, we covered that. I

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 covered that a little bit earlier. The TBD now
2 provides information on this issue with the
3 inhalation and ingestion rates by job category
4 and time periods. It is much, much clearer.

5 SP20, photon calibration, correction
6 factor for exposures to photon radiation,
7 especially exposure to the skin and shallow
8 organs was found to be needed. In the revised
9 TBD it provides coworker with shallow dose
10 parameters and recommends the use of a completed
11 list of dose conversation factors in the IG-1
12 Rev. 3, which is the External Dose Reconstruction
13 Implementation Guide, instead of the abbreviated
14 list that was in the previous TBD.

15 Now, so that takes care of all of our
16 Site Profile issues. We do have one that NIOSH
17 has agreed to carry forward back to that mag-
18 thorium operation, the years between 1963 to
19 1970. We looked and looked but we were never
20 able to find any documentation source term that
21 showed that there was anything happening with
22 mag-thorium during those years. We did have it
23 on both ends. So NIOSH will carry that forward

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and if anything comes to light in any document
2 searches, of course, we will move forward with a
3 Rev to the TBDs on that.

4 And I don't have a question slide. So
5 that concludes my presentation. Questions for
6 anyone?

7 The recommendation from the Work
8 Group, I can guess I can say that, is that we
9 recommend closing all the TBD issues for Kansas
10 City at this time. That was a unanimous Work
11 Group recommendation at our March meeting.

12 CHAIR MELIUS: Board Member
13 questions? I'm assuming there are no boxes that
14 we are looking for about magnesium-thorium.

15 MEMBER BEACH: We left no boxes
16 unturned.

17 CHAIR MELIUS: Right, okay.

18 MEMBER BEACH: Each one of these was
19 vetted very rigorously.

20 CHAIR MELIUS: Okay, good. Yes,
21 Phil, go ahead and then Paul.

22 MEMBER SCHOFIELD: Okay, I have got a
23 couple of questions here. One, did they receive

1 their uranium metal as like an ingot? And did
2 they generate their own uranium oxide? And then
3 did they actually have to process it to get it
4 down to the size they wanted or did they receive
5 it as an oxide powder?

6 MEMBER BEACH: They machined it.

7 What I remember is that they machined it but that
8 is more technical than --

17 They machined components that
18 included uranium oxide but it came in. You know
19 they didn't manufacture the oxide out of metal.
20 Very early on, they did machine some metal.

MEMBER BEACH: And I don't know, Joe,
if you have any more information on that.

23 CHAIR MELIUS: Yes, Paul, go ahead.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: So this is sort of a
2 follow-up on Phil's question. It has to do with
3 the powder.

4 Originally, NIOSH was going to use a
5 default for the dynamic mean diameter of 5 and
6 then I think you said in slide 9 that they would
7 use detailed specs for the uranium oxide. So
8 where there actually specs for that powder that
9 gives you a different distribution or were there
10 air samples actually done?

11 MR. HINNEFELD: There is actually a
12 purchase --

13 MEMBER ZIEMER: The purchase specs.

14 MR. HINNEFELD: -- specified things
15 that would give you information about particle
16 size.

17 MEMBER ZIEMER: Okay, thanks.

18 CHAIR MELIUS: Any other questions
19 from Board Members?

20 MEMBER ANDERSON: So has the Site
21 Profile actually been updated, then?

22 MEMBER BEACH: Yes.

23 MEMBER ANDERSON: Okay.

1 MEMBER BEACH: Did I not mention that?

2 It was --

3 MEMBER ANDERSON: Yes, I think --

4 MEMBER BEACH: -- on the very first
5 slide. It was updated on November -- January of
6 2017.

7 MEMBER ANDERSON: So you were just
8 describing what transpired --

9 MEMBER BEACH: What went into this.

10 MEMBER ANDERSON: -- into it.

11 MEMBER BEACH: Correct.

12 MEMBER ANDERSON: So we don't have to
13 worry about waiting for it to come out.

14 MEMBER BEACH: Yes, the first one was
15 in '06. This one was in 2017 and we reviewed it,
16 yes.

17 MEMBER ANDERSON: Okay, good. So
18 then it is done.

19 MEMBER BEACH: And the thing that
20 amazed me on this was everything that we
21 discussed during our Work Group meetings,
22 everything we asked for, it was in the new TBD.
23 So we were quite satisfied during our meeting,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 which is unusual. I mean it was.

2 CHAIR MELIUS: We often agree. Any
3 further questions? Board Members on the phone
4 with any questions?

5 If not, we have a motion from the Work
6 Group to close out the Site Profile review.

7 So, if no further discussion or
8 questions, Ted. Why don't we do it by voice?
9 Okay, we'll do it by voice.

10 MR. KATZ: Sure.

11 CHAIR MELIUS: Okay, people on the
12 phone, do you have your voice? Board Members,
13 have your voices ready.

14 All in favor say aye.

15 (Chorus of ayes.)

16 CHAIR MELIUS: Opposed?

17 (No audible response.)

18 CHAIR MELIUS: Abstain?

19 (No audible response.)

20 **BOARD WORK SESSION**

21 CHAIR MELIUS: Okay, we're all set.

22 Very good. Thank you, Josie.

23 We want to finish up. I think we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 taken care of our Board Work Session but I did
2 want to mention Pat and I actually talked to --
3 we will do the letters afterwards. As soon as
4 Stu reports back on the 400 boxes, I will finish
5 the letters. No, seriously, I will do them
6 tomorrow. I already told Jennie. She will hold
7 me to it.

8 On a more somber note, I have already
9 mentioned this to Jim Lockey and Gen Roessler,
10 one of our original Board Members, I believe it
11 was, Roy DeHart, died recently over the Christmas
12 holiday. A sudden illness. He was someone that
13 was very involved on the Board for several years
14 and worked hard. And I had the privilege of
15 serving with him on a number of other Advisory
16 Boards on Occupational and Environmental Health.
17 So he was a very good person to work with.

18 I just wanted to acknowledge. I know
19 many -- all the Board Members, we all knew him or
20 many of us here served with him on the Board and
21 he was always very involved and very thoughtful
22 about reviewing and contributed a lot to the
23 Board. So I just thought we should acknowledge

1 for the public record that he had died recently.

2 MEMBER MUNN: A fine life, well lived.

3 **ADJOURN**

4 CHAIR MELIUS: Yes. So on that sad
5 note, I will close and we will see everybody in
6 someplace -- New Mexico -- someplace in New
7 Mexico and not in the casino hotel. No camping.
8 We will find someplace.

9 Anyway, so, thank you, and we will be
10 busy between now and then.

11 (Whereupon, the above-entitled matter
12 went off the record at 11:03 a.m.)

13

14

15

16

17