

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

NEVADA TEST SITE WORK GROUP

+ + + + +

THURSDAY
JANUARY 5, 2017

+ + + + +

The Subcommittee met at the Marriott Cincinnati Airport, Montreal Room, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m. Eastern Time, Bradley P. Clawson, Chair, presiding.

PRESENT:

BRADLEY P. CLAWSON, Chair
WANDA I. MUNN, Member
GENEVIEVE S. ROESSLER, Member*
PHILLIP SCHOFIELD, Member*

*participating by telephone

This transcript of the Advisory Board on Radiation and Worker Health Nevada Test Site Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Chapman Valve Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

2

ALSO PRESENT:

TED KATZ, Designated Federal Official
LYNN ANSPAUGH, SC&A
BOB BARTON, SC&A
ROSE GOGLIOTTI, SC&A*
JENNY LIN, HHS*
ARJUN MAKHIJANI, SC&A*
JOHN MAURO, SC&A*
JIM NETON, DCAS
MARK ROLFES, DCAS
GENE ROLLINS, ORAU Team*
MATTHEW SMITH, ORAU Team*
JOHN STIVER, SC&A*
DENNIS STRENGE, ORAU Team*

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health Nevada Test Site Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Chapman Valve Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

Table of Contents

Welcome..... 4
Chair's Opening Remarks..... 5
Response to NIOSH's Review of SC&A's Nevada..... 6
Test Site Resuspension Issues Status Report..... 6
SC&A's Position on comment 8 -- Resuspension..... 96
Issues at the Nevada Test Site..... 96
Review of Closed and Remaining Open Items..... 132
Wrap-up and Adjourn..... 245

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 8:54 a.m.

3 **Welcome**

4 MR. KATZ: Welcome, everyone, in the
5 room and on the line. This is the Advisory Board
6 on Radiation Worker Health. It is the Nevada Test
7 Site Work Group. We haven't met in quite a few
8 years. We have got some work ahead of us.

9 The agenda for today's meeting and all
10 the papers that we could clear, I haven't checked
11 recently, but most of the material for today should
12 be posted on the NIOSH website. So for folks on
13 the line, from the public, for example, who don't
14 have those papers directly, you can go on the NIOSH
15 website for this program, schedule of meetings,
16 today's date, and you can follow along with all the
17 papers and perhaps the presentation. I'm not sure
18 if that is posted yet. If it is not posted, it will
19 get posted after this meeting. That doesn't help
20 you as much but you can listen along and then see
21 what that presentation looks like as soon as it does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 get posted.

2 Roll call, let's do that.

3 (Roll call.)

4 MR. KATZ: Okay, then. I think that
5 takes care of preliminaries. I would just remind
6 all you folks on the phone to mute your phones,
7 except for when you are speaking to the group --
8 star 6 to mute your phones, star 6 to come off of
9 mute.

10 And Brad, it is your meeting.

11 **Chair's Opening Remarks**

12 CHAIR CLAWSON: I appreciate that.
13 First of all, I would like to tell everybody thanks
14 for getting with this. One of the things that I
15 have to do is I have to go back. It has been since
16 2013 that we had a Work Group on this. So things
17 might be a little bit old. So I just want to make
18 sure that we take the time that we need to be able
19 to discuss these issues.

20 With that, I am going to turn it over
21 to Mr. Anspaugh and we will start into it. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 believe we are going to be talking about the
2 resuspension.

3 DR. MAKHIJANI: Brad, this is Arjun.

4 CHAIR CLAWSON: Yes, Arjun.

5 DR. MAKHIJANI: Before we start, I
6 would like to make a correction in the update that
7 went out from SC&A. It was a cut and paste error.
8 On item 20 it says review continuing. It should
9 actually have said issue resolved. I was just
10 trying to cut and paste the heading for each one
11 and I cut and pasted the whole thing. I'm sorry
12 about that but item 20 should say issue resolved.

13 MEMBER MUNN: That's the kind of change
14 we always like to see, Arjun.

15 MR. KATZ: Thanks, Arjun.

16 DR. MAKHIJANI: You're welcome.

17 CHAIR CLAWSON: Okay, I appreciate
18 that, Arjun.

19 Lynn.

20 **Response to NIOSH's Review of SC&A's Nevada**
21 **Test Site Resuspension Issues Status Report**

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ANSPAUGH: Okay. Well, thank you.

2 It has been a long time since I have been here. I
3 think 2008 was the last time. So, we all have a
4 little bit of a problem with trying to remember what
5 was going on and try and resolve some of these
6 issues.

7 The first slide just shows my title
8 slide. And the next one we start out with just to
9 remind you what SC&A's task was related to
10 resuspension. This was item number five on the
11 long-standing matrix and that cascades down into
12 several other issues that are shown too, I think
13 item 6 and 7 plus some others.

14 So the basic task that SC&A was given
15 related to the Nevada Test Site was basically about
16 resuspension. And so our task was to review the
17 calculation of the doses from the resuspension the
18 radionuclides deposited on the ground. And the
19 issues mainly relate to the resuspension of
20 short-lived radionuclides previous to 1972 or 1971
21 when the start of measurements of airborne

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 plutonium were started.

2 So besides just the resuspension, this
3 also involves the review of ORAUT 2012, which is
4 the Technical Basis Document on Environmental
5 Occupational Dose, Revision 3. That is a very
6 complex document and difficult to follow, as we
7 will get into.

8 And also, there was a review of how
9 doses were actually being constructed by the dose
10 reconstructors and Bob Barton will make some
11 additional presentation on that later.

12 The next graph just indicates some
13 fairly recent documents. So we started out with
14 this Technical Basis Document that was dated 2012
15 now and I didn't know that there was a new update
16 of this famous matrix that occurred in May 2015.
17 I don't know if that has been released in a
18 PA-cleared version or not but I hadn't seen it until
19 last night when reading Bob's computer.

20 MR. ROLFES: This hasn't been cleared
21 yet. It has a bunch of claim information that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have reviewed and provided. So that is why it
2 wasn't emailed because there were a number of
3 individuals who didn't have government emails as
4 well or government email access.

5 DR. ANSPAUGH: Including me.

6 Anyway, going on, there was from SC&A
7 a review of the issues and comments matrix. And
8 then we had two additional what are known as White
9 Papers. The first was from Strenge and I am glad
10 to hear that Dennis is on the line, which was
11 NIOSH's response to short-lived radionuclide
12 issues raised in comment 5 in one of the
13 resuspension issues report.

14 Then we also have a report by Rollins
15 related to the inconsistency issues and I am also
16 glad to know that Gene is on the line.

17 And then we have the document that is
18 in your hands, I hope, which I was the main author
19 on it, the response to NIOSH's review of the Nevada
20 Test Site resuspension issue status report, which
21 that report goes back to 2015.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then we have, finally, Bob Barton's
2 memo about the comment 8, the White Paper given by
3 Rollins.

4 So just to remind you that I would like
5 to go back to the next side, which shows major
6 events at the Nevada Test Site and maybe this is
7 a good refresher for us all. Looking at the major
8 events that occurred at the Nevada Test Site, the
9 first one, of course, is January 1951 when
10 atmospheric testing began. And then in January
11 1962, atmospheric testing stopped. And finally in
12 September 1992, all testing stopped.

13 And there were two SEC petitions, both
14 of which were eventually granted. The first one,
15 55, carried us from January '51 through January 19
16 -- well actually, the end of December 1962. And
17 then the second petition, number 84 went from
18 January 1963 through December 1992. And there was
19 a lot of -- I think controversy is a reasonable
20 comment, about whether or not it was possible,
21 given the data on hand to reconstruct internal dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 based on the information that was available. And
2 the ultimate resolution was that from the period
3 of January 1951 through December of 1962, for both
4 SEC classes, NIOSH decided that they were unable
5 to calculate internal dose.

6 In an effort to calculate some dose for
7 people who did not have presumptive cancers or
8 perhaps did not work 250 days, there was an effort
9 established to try and calculate occupational
10 environmental dose. And the way it stands right
11 now, there is what I call the NIOSH resuspension
12 window which carries from January 1963 up to about
13 1972 or '71, when the measurements of airborne
14 plutonium were actually started at the Nevada Test
15 Site.

16 So in order to look at this resuspension
17 window, it is necessary to try -- and I would use
18 the word bootstrap the measurements of airborne
19 plutonium into all the other radionuclides that
20 were present. And the way that is being done is
21 to make use of what we call the RIDP data, RIDP

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standing for not a frog but for the Radionuclide
2 Inventory and Distribution Program, which took an
3 inventory of all radionuclides on the test site.
4 Those measurements were made in the 1980s.

5 And so that plus the Hicks tables, as
6 used by NIOSH, takes us back to January 1963,
7 according to the present calculations. And it has
8 always been a bone of contention of what happens
9 to the people who were on-site in the latter part
10 of 1962 after atmospheric testing stopped but
11 before January 1st of 1963. So the way it stands
12 right now, these people who are shown in this circle
13 who represent claimants who were at the Nevada Test
14 Site working from January 1962 through the end of
15 1962 and these people are not getting any
16 occupational environmental dose, which means I
17 think that these people are not being treated
18 equally as others.

19 And just to remind you, the next slide
20 indicates that there was a very busy time in the
21 latter part of 1962 because 30 underground nuclear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 tests were conducted during that six months and
2 also the preparations were underway for 46
3 additional nuclear tests in 1963. And I think you
4 all understand that digging the tunnels and then
5 placing the devices and taking care of diagnostics
6 requires that there be a lot of work being done at
7 the site. So, even though atmospheric testing
8 ended in July 1962, there was a lot of activity
9 going on in the latter part of 1962.

10 So, the next slide indicates our
11 Recommendation 1 to the Members of the NTS Work
12 Group, which is change the time period of
13 reconstruction of occupational environmental dose
14 to January 17, 1962 through December 31, 1992. So,
15 that would take care of those workers who are
16 enclosed in that small circle who are not getting
17 any dose calculated for them in terms of
18 occupational environmental dose.

19 One of the reasons for this
20 recommendation is that the same methodology can be
21 used just as it is used to get back to January 1,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 1963. That same methodology can be used to get
2 back to July 17, 1962.

3 MR. KATZ: Lynn, can we -- let's stop
4 there with that issue because it is not an issue
5 that we can really -- there is any point in spending
6 much time on.

7 DR. NETON: Yes, I think that this,
8 although technically Lynn is correct that the
9 methodology could be used, the decision was made
10 in the evaluation of SEC 55 to purposely add that
11 extra six months period after atmospheric testing
12 stopped to allow for the stabilization of the
13 source term after atmospheric testing stopped.
14 So, we specifically didn't stop the SEC 55 in July
15 of '62. We extended it six more months
16 intentionally and added that to the entire Class
17 so that no dose reconstructions, by definition,
18 could be done under SEC 55. I mean it was added
19 under those -- that thought process.

20 So it is an SEC. No dose
21 reconstruction could be done, whether it is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 resuspension model or not. It is already decided.
2 It is a fait accompli, essentially. We can't go
3 back and change the definition of a Class at this
4 point.

5 MR. KATZ: You would have to withdraw
6 the Class, basically and that is just really not
7 realistic.

8 DR. ANSPAUGH: You know, I understand
9 what you are saying but I don't really think that
10 is correct. If we could go back one to my slide,
11 you know, the SEC Class runs continuously from 1951
12 through December of 1992. There is really no
13 distinction between what can be done for people in
14 the two SEC classes.

15 DR. NETON: Well if you look at the two
16 Evaluation Reports, SEC 55 spoke nothing about a
17 resuspension or environmental model. The SEC
18 Evaluation Report for SEC 84 clearly had the
19 environmental model in there moving forward from
20 1960.

21 I mean it is pretty clear in those two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 separate reports, if you look at them, that is the
2 way it was treated. I mean there is no
3 environmental -- as a matter of fact, there was no
4 environmental model in the Site Profile prior to
5 its revision after the SEC was added.

6 So, it was not considered to be
7 possible. And again, the language is very
8 specific in Section 4.5 of the SEC Evaluation
9 Report why we intentionally added that six month
10 period after atmospheric testing stopped. So, it
11 is a policy decision not a scientific decision.

12 DR. ANSPAUGH: Exactly.

13 MR. KATZ: It is not a Work Group
14 activity, unless SC&A is recommending that the
15 Board retract part of that Class, which honestly
16 is not a realistic path forward.

17 DR. MAKHIJANI: This is Arjun. I have
18 a question for Jim Neton. When you say no dose
19 reconstructions, not even partial doses or
20 anything?

21 DR. NETON: I'm sorry, Arjun. I meant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to say no internal dose reconstructions unless
2 there is bioassay data, you know the normal --

3 DR. MAKHIJANI: Right. Right, I
4 understand that and agree with it except that here
5 we are talking about environmental dose.

6 MR. ROLFES: I would disagree with that
7 because testing is going on and there is
8 resuspension occurring, you know it is an outdoor
9 environment, an outdoor workplace. So I would
10 consider it to be an occupational exposure, not
11 necessarily an environmental one, which would be
12 more reflective of work in mercury, for example,
13 outside of a trailer in an area that is not having
14 active weapons testing going on.

15 DR. MAKHIJANI: Right. So I am not
16 understanding the difference between
17 environmental dose in this six month period and in
18 the subsequent period when you have similar
19 activities going on. You are distinguishing
20 between occupational internal dose and
21 occupational environmental dose.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Well, I could read you the
2 language in 4.5, where we added that six months
3 after atmospheric testing stopped. In the
4 Evaluation Report for SEC 55 it said the extension
5 of the SEC period through December 31, 1962,
6 approximately six months after the last
7 atmospheric test allows for the stabilization of
8 the source term and for decay of a shorter-lived
9 radionuclide associated with the final atmospheric
10 test. That was our conclusion at that time in
11 2006.

12 DR. MAKHIJANI: Right.

13 DR. NETON: So we added, we
14 intentionally added that six month period to allow
15 for stabilization of that rapidly changing source
16 term and we said we cannot do, with sufficient
17 accuracy essentially, any dose reconstructions for
18 internal dose during that period unless we have
19 bioassay data. That is the way we have been
20 behaving since 2006 and that is the conditions
21 under which the Class was granted.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: Yes, I am not
2 questioning it. I am just confused. I am just
3 trying to clear up the confusion is that I am still
4 not understanding the difference between the
5 environmental dose and the six month period. Not
6 occupational dose. I understand -- not
7 occupational, internal dose. But the
8 environmental dose between that six month period
9 and the subsequent environmental doses that we are
10 agreeing we should try to estimate.

11 DR. NETON: In SEC 84 there is an
12 Environmental Dose Report because an environmental
13 dose is described how that is done. That is not
14 considered in SEC 55 at all.

15 DR. MAKHIJANI: Okay.

16 DR. MAURO: This is John Mauro. I do
17 have one additional observation that I think I
18 would like to bring to the table. There is nothing
19 about I guess the work we have done related to that
20 six month period that would imply withdrawing or
21 making modifications to the definition of the SEC.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That is you cannot reconstruct doses. So it still
2 would be covered under the SEC. The only real
3 question is for those workers that are not covered
4 by the SEC, is it possible to assign some dose, as
5 you do starting in January '63, is it possible or
6 plausible to assign some dose to that six month
7 period from July '62 to January '63.

8 I just want to make it clear that there
9 is nothing about the comments we are making that
10 have any impact on the --

11 DR. NETON: John, there is a policy
12 decision that we couldn't do doses at that time.
13 You can concoct any scientific model and go back
14 and demonstrate that something could be done but
15 the decision has already been made that it can't
16 be done.

17 DR. MAURO: Okay, that is an important
18 point and that is why I jumped in. Really what we
19 have here is a judgment that is being, I guess,
20 discussed on where I believe it would be fair to
21 say that SC&A's position is that we believe using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 your very same methodologies some dose could be
2 assigned for that, at least a portion of that six
3 month period, because you have a certain
4 methodology in place. That can actually be
5 extended back in time. However, it is NIOSH's
6 position that you probably can't because of the
7 complexities associated with the rapid decaying
8 and perhaps in-growth and change of radionuclides
9 perhaps in time and space. It is a very fluid time
10 period.

11 And I guess I would like to try to get
12 to the nub of the issue. I believe, and correct
13 me if I am wrong, the nub of the issue is there is
14 a point when the complexity of the problem is such
15 that it is really beyond that you could reasonably
16 perform the dose reconstruction and it is a
17 judgment call. And I guess the judgment call on
18 SC&A's part in our work is that, well, it appears
19 that you could do it, even though it gets a little
20 bit more complicated. While it is NIOSH's
21 position that not only do you have your definition

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the Class but also there is a technical
2 underpinning and that underpinning goes toward the
3 fact that you are dealing with a very complex,
4 changing dynamic situation for that six month
5 period.

6 That is my understanding of the nub of
7 the issue and it really becomes not only -- I don't
8 want make it sound as if somehow we are challenging
9 the definition of the Class.

10 MR. KATZ: Well, John, it is Ted. I
11 mean but it doesn't -- the technical business
12 doesn't matter. It doesn't even matter because it
13 is a policy bright line. It is not a NIOSH
14 discretionary matter. It is the Secretary signed
15 on the bottom line and drew this line and it
16 applies. And we are beholden to the Secretary's
17 decision.

18 So, it is not a NIOSH discretionary
19 matter as to whether dose is being reconstructed
20 for that six month period.

21 So that is why I say that the only way

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for dose to be reconstructed for that six month
2 period that we are talking about here is for the
3 Secretary to reverse the Secretary's decision and
4 remove that period of the Class for this cause,
5 basically, for this element.

6 MS. LIN: This is Jenny with OGC. I
7 would agree with Ted's assessment as well.

8 DR. MAKHIJANI: Well, in that regard I
9 think we should just defer to OGC and NIOSH, in my
10 opinion.

11 MS. LIN: I am not sure why we need to
12 defer it. I think the message is very clear we
13 agree with Ted that if the dose reconstruction --
14 if now the Advisory Board is making a decision about
15 or wanted to make a decision that dose
16 reconstruction is feasible, that would need to be
17 escalated back to the Secretary. That is not a
18 NIOSH discretionary issue.

19 DR. MAKHIJANI: And I guess what I am
20 saying is that we go along with that opinion because
21 we have been saying something different,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presumably. I mean I am still confused but --

2 MS. LIN: I think we should move on from
3 this discussion.

4 DR. ANSPAUGH: Well is it fair to say
5 that scientifically we could do it?

6 MR. KATZ: Well, it is just --

7 DR. NETON: Well, can you do it with
8 sufficient accuracy? That is the question.

9 MR. KATZ: And there is no point in
10 debating that.

11 DR. NETON: There is no point in
12 debating. The decision about doing it with
13 sufficient accuracy has already been decided.

14 MR. KATZ: Yes, this is not unique.
15 There are other classes we have established where
16 we have similar analogues where something could be
17 done and we can't do it because it is just a legal
18 bright line. So, it is not worth the Board's time
19 I think to spend on this matter because it is out
20 of our hands.

21 CHAIR CLAWSON: Well also, too, back to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 my predecessor before me, the late Robert Presley,
2 we basically pushed for this six month time period
3 past it because at the Work Group, we were concerned
4 with these radionuclides going past that time
5 period. There wasn't that drop dead off and
6 actually, NIOSH agreed with us on that. And this
7 is where we got that six month period.

8 And now to come back and say -- it really
9 is out of our hands now but what we were doing at
10 that time period was taking that SEC and we were
11 wanting to make sure that the people were covered
12 under that. That is where that whole six months
13 came from.

14 MEMBER MUNN: It's not as though this
15 hasn't been debated in Work Group and in the full
16 Board before.

17 CHAIR CLAWSON: Correct.

18 MEMBER MUNN: We have covered it very
19 thoroughly, granted, in early years, but there was
20 a great deal of discussion on exactly this point
21 what you can do and what you can't do, as Jim said,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with sufficient accuracy. That was always the
2 sticking point.

3 CHAIR CLAWSON: And this six month
4 period, we felt, gave the petitioners their best
5 opportunity because this other SEC came in later.
6 And if it would have all been one thing, it may have
7 been a little bit different.

8 My personal opinion is that it is really
9 out of our Work Group's hands to be able to do this.
10 We have already addressed this and already gone
11 through the Secretary and we can't do anything
12 about it.

13 MEMBER MUNN: It is actually out of the
14 Board's hands completely.

15 CHAIR CLAWSON: Correct.

16 MEMBER MUNN: It is a fait accompli.

17 MR. BARTON: I just think their
18 confusion was, when we read the decision, it was
19 based on the inability to reconstruct internal dose
20 because of the same definition for both periods.

21 CHAIR CLAWSON: Correct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: On the second period, we
2 can do environmental dose. So we were sort of
3 asking the question could you possibly do
4 environmental dose. But it seems like what we are
5 all hearing is that for that first SEC it is the
6 inability to do occupational and environmental
7 internal dose; whereas, for the second SEC, it is
8 occupational internal dose but we can do
9 environmental.

10 CHAIR CLAWSON: Correct.

11 MR. KATZ: Correct.

12 MEMBER MUNN: Yes.

13 MR. KATZ: Okay, then.

14 DR. ANSPAUGH: Okay, so we will move
15 on.

16 MR. KATZ: Thanks, Lynn.

17 DR. ANSPAUGH: Okay, the next one is a
18 small point but we were somewhat taken aback by a
19 very optimistic statement about the performance of
20 bioassays during the period of time addressed when
21 people are doing some dose reconstruction. And so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the second recommendation was simply to provide a
2 modified statement.

3 Instead of saying very positively that
4 people who entered the underground test areas had
5 their name on the roster and so forth, and that if
6 they were exposed then they would have had
7 bioassays, we would like to change that to the
8 wording on this slide that says -- the next
9 one -- that these workers may have been identified
10 on the rosters that were published before the event
11 and these workers may have had bioassay results.
12 I think this is the more accurate reflection of the
13 truth. We know that some people had their names
14 on the rosters and never showed up and we have seen
15 evidence of people who were in the tunnels who did
16 not have their names on the rosters. Plus we do
17 know that lots of people, rosters did not have
18 bioassays, which is why the SECs were granted in
19 the first place.

20 So, that is just a very minor second
21 recommendation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: You'll have to forgive
2 me. I do not remember the details of our years ago
3 discussions about this particular point. But do
4 we have any evidence that there is a significant
5 number of people who were not on the roster who were
6 actually in the tunnels? I know a significant
7 amount of effort was made at the time on-site to
8 try to assure that they had a good handle on who
9 went in and who did not because early days or not,
10 it was well understood that this was hazardous area
11 and that records needed to be kept. So I don't
12 recall. You may have seen this much more recently
13 than I. What is our concern with respect to how
14 many people may or may not have been admitted to
15 the tunnels without any indication that they were
16 in fact going to be working in that hazardous area?

17 DR. ANSPAUGH: Well I certainly can't
18 tell you how many. I did look in detail at one
19 particular accident of some note, which is the Yuma
20 accident in 1963, I believe. There were a lot of
21 people's names on the roster who were known not to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have been there. There were several people who
2 were there who did not sign in and did not have their
3 names on the roster. And I think, further, it is
4 clear that people who may have been on the rosters
5 didn't necessarily have bioassays done because we
6 went through a very long analysis of who had
7 bioassays and who didn't. And it turned out that
8 the bioassays were very selective, only directed
9 towards radcon workers -- I shouldn't say radcon
10 -- rad protection people and also security people.

11 DR. NETON: I agree with that but it
12 makes no difference at all. We are doing dose
13 reconstruction at this point. If a worker has a
14 bioassay, we are going to use it; if they don't,
15 they are in the Class already.

16 All workers are in the Class for
17 presumptive cancers. If they have
18 non-presumptive cancer, then they will get a dose
19 reconstruction using available bioassay data. If
20 they don't have it, we don't do anything. I mean
21 this wording may be more accurate but it makes no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difference in how we are doing dose reconstructions
2 at all.

3 DR. ANSPAUGH: Well, I understand that
4 but I object to the very optimistic statement that
5 they would have been on the rosters that they would
6 have had bioassays.

7 DR. NETON: Well and I suspect that
8 that is a holdover from before the Class was added
9 and it is easy to change that "was" to "may." I
10 mean that is not a problem but, again, it makes no
11 difference in dose reconstruction at all.

12 MR. BARTON: As Lynn said, it is kind
13 of a minor point. And I think that is exactly what
14 we are talking about.

15 DR. NETON: I don't think that we would
16 revise the document just to make this one change
17 but if we are doing it for -- we are going to
18 obviously have some other changes. We would be
19 happy to put that in there. It is not a problem.

20 CHAIR CLAWSON: That would be good.
21 We have learned a few things through the years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I agree with Lynn on the "may" but also, too,
2 I agree with you that it doesn't make any difference
3 in the dose reconstruction. But if we do make a
4 change or whatever, it would be nice to be able to
5 put that in because I look at the perception from
6 the petitioners and so forth.

7 DR. NETON: I don't disagree it is more
8 appropriate wording.

9 CHAIR CLAWSON: Okay.

10 DR. ANSPAUGH: Okay, so then we will go
11 on.

12 Again, this is just a reminder of the
13 NIOSH calculations. The goal was to reproduce
14 concentrations of radionuclides in air. And we
15 will stick to 1963 through 1971, when the
16 measurements of plutonium in air started in 1971.

17 So then the problem was that -- again
18 if you remember, that the measurements of plutonium
19 were sort of singular. There weren't measurements
20 of a lot of other radionuclides. The corrections
21 were made for long-lived radionuclides based on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measured concentrations in soil. And just, as I
2 recall, in addition to plutonium-239 and -240,
3 those measurements included things like
4 cesium-137, strontium-90, europium-152, -154, and
5 -155, long-lived radionuclides that were there
6 many years afterwards. That was in the 1980s.

7 And so the key issue thing here is you
8 get a ratio of plutonium to these other
9 radionuclides and then you can infer the
10 concentration of these other radionuclides in the
11 air. So, that takes care of the situation in terms
12 of long-lived radionuclides.

13 Then the situation gets much more
14 complicated of how do you correct for the
15 short-lived radionuclides that were present during
16 the early times and which were not present in the
17 soil in the 1980s. So, those corrections were made
18 on the basis of the Hicks tables, which indicate
19 the presence of short-lived radionuclides at
20 various times after deposition.

21 Now the Hicks tables do not include data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on plutonium-239, -240 and this is for
2 classification reasons. They do include data on
3 a long-lived radionuclide of use, which is
4 strontium-90. So the idea is you have measured air
5 concentration of plutonium in 1971, you get a ratio
6 of plutonium to strontium-90 based on the RIDP
7 measurements, the Radionuclide Inventory Project,
8 and then you get measurements of strontium-90
9 compared to all the other radionuclides based on
10 the Hicks tables.

11 So, it is kind of a complex chain. You
12 start with measured air concentrations. You have
13 concentrations in soil. Then you have
14 concentrations inferred from Hicks. That gets you
15 back to the point of being able to reconstruct the
16 concentration in air of all these radionuclides,
17 including the short-lived ones. So, it is a
18 complicated process.

19 The other thing about it is it is
20 well-known that resuspension decreases as a
21 function of time. And so the way the correction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was made for the decrease of time was using a
2 resuspension equation that was actually developed
3 by me based on measurements at the Nevada Test Site
4 back in the 1970s.

5 And just to show you how this equation
6 looks, this is actually taking from an ORAU report,
7 the resuspension factor is a function of time. And
8 you see that it decreases very rapidly from a level
9 of one times ten to the minus five per meter down
10 to about five times ten to the minus nine or
11 something by 150 days or so. And eventually, the
12 resuspension factor goes down to what is assumed
13 to be a constant value of ten to the minus nine per
14 meter after a long period of time. So, it does
15 indicate that during very early times after
16 deposition you have very high levels of resuspended
17 activities, which decreases very rapidly.

18 The next one is just an example of the
19 Hicks tables. You may not have seen these things
20 in person before. And this is just to show you an
21 example. This is a very complicated process but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it started out with Harry Hicks, who had access to
2 all the classified information on every single
3 device fired at the Nevada Test Site and in the
4 Pacific in terms of what were the fissile materials
5 in case of a thermal nuclear event. What were the
6 devices? What were the materials around the
7 device that would have been activated? And one of
8 the things that was very much present was tungsten,
9 which is used as sort of a mass thing to keep this
10 whole thing together for a picosecond or two.

11 So, if you look at the complete set of
12 radionuclides, you see there are a lot of tungsten
13 isotopes for some events and not for others.

14 One of the key things about the Harry
15 Hicks tables was these values were all normalized
16 to an mR per hour at H plus 12 and that the document
17 we submitted goes through some rationale for why
18 this was done and the reason, basically, was that
19 we had all kinds of measurements of mR per hour
20 downwind of the test site because people knew in
21 advance exactly when this would occur. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitors were all placed out there with their
2 meters. They measured mR per hour. What we
3 wanted to do was to get the deposition of
4 radionuclides on the ground so that it could be
5 referred to that mR per hour.

6 And so what you see here is the Hicks
7 tables which do exactly that. And if you wanted
8 to look at something in particular like cesium-137,
9 you can see what the deposition of cesium-137 in
10 terms of millicuries, microcuries per square
11 meter.

12 This was also important because if you
13 knew the presence of one radionuclide at a given
14 point in time, then you could reconstruct the
15 presence of all the other radionuclides. So,
16 these were a very important calculation.

17 But in order to actually achieve this,
18 the Hicks tables were normalized, as I mentioned
19 before, to an external gamma exposure rate of 1 mR
20 per hour at H plus 12 hours with use of Beck's
21 tables.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Then the next one gives you an example
2 of what the Beck's tables were. This is Harold
3 Beck from the U.S. Department of Energy's
4 Environmental Measurements Laboratory.

5 And so this gives -- for every
6 radionuclide within the Harry Hicks tables, this
7 gives the microR per hour per millicurie per square
8 kilometer. So, the Hicks tables, as derived on the
9 basis of fissile materials and so forth, in order
10 to be normalized, you had to know the amount of
11 emission of different radionuclides in order to
12 come up with this normalization. So, it is not
13 just the Hicks tables by themselves that are
14 important. It is also the Beck tables, in order
15 to derive that.

16 The next one is just a reminder of what
17 these Hicks tables were for. They were not derived
18 by or for NIOSH. They were derived for the offsite
19 radiation dose reconstruction activity that was
20 carried out by the Department of Energy in the
21 1980s.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now one of the things that NIOSH has
2 keyed in on was the Small Boy, which was one of the
3 -- almost the last test at the Nevada Test Site.
4 And another point about the Harry Hicks calculation
5 is that they had to take into account
6 fractionation. And this, again, gets into
7 something that is very complex because it is known
8 that as debris carries downwind, say from a nuclear
9 explosion at the Nevada Test Site, the volatile
10 elements which condense later are enriched in the
11 material that goes downwind. And so what the
12 problem is, in terms of this kind of dose
13 reconstruction is if the volatiles are enriched
14 downwind, that means that the refractories are
15 missing downwind.

16 So then, where are the refractories,
17 the missing refractories? Well, the missing
18 refractories have to be on-site at the Nevada Test
19 Site. So, in order to use the Hicks tables for
20 on-site at the Nevada Test Site, you have to correct
21 for this fractionation that was done to facilitate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the use for the people downwind. So that means
2 that if you want to correct for what is on-site,
3 the best way to do this takes four steps and the
4 first two are shown on this slide.

5 So for example, for Small Boy, we start
6 with calculations for 0.4 of the refractories
7 present downwind. So in order to get back to even
8 situation, then you need to add back in the 0.6 or
9 60 percent of the refractory radionuclides that
10 were missing to create an unfractionated source
11 term.

12 And then it is necessary to renormalize
13 the unfractionated source term to 1 mR per hour at
14 H plus 12.

15 Then the next two steps, you start with
16 the now unfractionated source term and then you add
17 back in another 60 percent of the refractory
18 radionuclides that are presumed to have been on the
19 Nevada Test Site and then you need to renormalize
20 again.

21 Now, this is a situation where,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clearly, ORAU has gone through steps one and three
2 with adding back in the refractories but I don't
3 think the renormalization was done.

4 MR. STRENGE: This is Dennis Strenge.
5 You are correct, we did not normalize --
6 renormalize because the normalization is not
7 necessary because the only thing we needed was the
8 relative activity among all the radionuclides.
9 And you could take -- well, Hicks prepared a 1981
10 report called Calculation of the concentration of
11 any radionuclides deposited on the ground by oxide
12 fallout from nuclear detonation. I'm sure you are
13 aware of that one. And in there, he has the
14 equations for the normalization and it shows quite
15 clearly that every activity value in that table is
16 corrected by the same factor. So, the
17 normalization that he did did not change the
18 relative activities. And for that reason, we have
19 not done the renormalization. We did do the
20 corrections you mentioned, quite clearly, that we
21 removed the refractory fractions and we corrected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 back to -- not to times zero but to the initial site.

2 So, those corrections, we did do.

3 DR. ANSPAUGH: Okay I understand. The
4 question of whether or not the renormalizations
5 should be done in order to get the correct relative
6 things is something that I think needs to be looked
7 at. And that gets to our Recommendation Number 3
8 that we would like to see the details of the result
9 of that calculation so we could actually check that
10 very issue.

11 One of the things that is missing from
12 both the ORAU team and also your report, Dennis,
13 was the results of the recalculation process. And
14 in order for us to verify the calculations were done
15 correctly, we would really like to see the results
16 of those calculations.

17 MR. STRENGE: Well I guess we just felt
18 that dividing by the listed refractory fractions
19 wasn't that complicated and the tables would be
20 huge. So those things, I am sure, could be
21 provided.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ANSPAUGH: Okay well, we would
2 certainly like to see that. My feeling is that it
3 would be necessary to renormalize but I am open to
4 being convinced otherwise. But I would really
5 like to see the results of the calculations. In
6 essence, you would be reproducing the Hicks tables,
7 which is not that big a file and certainly not
8 nearly as bad as what we are going to ask you to
9 do later on.

10 MR. STRENGE: Okay.

11 MEMBER MUNN: This is a fascinating
12 scientific puzzle. The question that arises in my
13 mind immediately is would the additional steps to
14 normalize these data, as has been requested, make
15 a significant difference in the calculation of
16 dose? That is the bottom line from my point of
17 view.

18 I can understand -- as I said, it is a
19 wonderful science puzzle and it would be great fun
20 to delve into that for a few months and play with
21 the numbers but whether it makes any significant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difference to the claimant is a key for us in the
2 Work Group I think. Does anyone have any feel for
3 what normalization --

4 MR. ROLFES: This is Mark. As of right
5 now, we are calculating doses for non-presumptive
6 organs, essentially, those that don't fall into the
7 SEC.

8 MEMBER MUNN: Right.

9 MR. ROLFES: The environmental
10 intakes, the environmental internal doses that are
11 calculated with the current model for many of the
12 non-presumptive cancers are less than a millirem
13 per year.

14 MEMBER MUNN: Yes, that was my concern.
15 Is this really going to -- and less than a millirem
16 a year is certainly not going to affect our final
17 dose reconstructions. So that raises another
18 aspect of the question, I suppose.

19 MR. BARTON: Mark, this is Bob Barton.
20 Does that same concept apply to the less than 250
21 days where even for presumptive cancers you apply

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the environmental doses? Would they all be very
2 low like that or did that make a difference for less
3 than 250?

4 MR. ROLFES: I have a table somewhere
5 in the past several years that might provide
6 example calculations of the resulting internal
7 doses from the various organs. I don't know where
8 that is in my emails or files at the moment but I
9 could dig it up again.

10 I think we provided that to the Board
11 in the past.

12 DR. NETON: Well I think the question
13 right now is does this normalization need to be done
14 or not. I mean it sounds -- I didn't understand
15 completely what Dennis was saying but it sounds to
16 me like it was a pretty simple logic argument that
17 showed that it wouldn't make a difference because
18 it is all relative.

19 Couldn't we start with that and maybe
20 try to convince SC&A that that normalization is not
21 required? Can you put a page of writing and you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could go check that yourself to verify that it is
2 appropriate not to normalize, rather than redo all
3 the calculations for the normalization that may not
4 be required anyway, which is what you are
5 proposing, I think.

6 DR. ANSPAUGH: It certainly is
7 possible to check that and determine whether it
8 would make any difference or not. Yes, it could
9 be done.

10 DR. NETON: I would rather start with
11 that, rather than have you guys redo all the
12 calculations using a normalization that might not
13 be required. I mean the first step would be to say
14 is it required yes or no. And I don't know what
15 could be provided to convince you it is not required
16 or how we need to guide you down that path, but
17 clearly it is more than just Dennis saying that is
18 so.

19 DR. ANSPAUGH: Well, I would like to
20 see the results of Dennis's calculation and then
21 I would like to redo it myself, if the Board asked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 me to do it. I don't want to wander off.

2 DR. NETON: Well what I am saying,
3 though, is it really necessary to redo them using
4 the full normalization, if it is not required?
5 That would be -- wouldn't seem to be worth the time
6 to do that if one could convince others that it is
7 not a required step.

8 CHAIR CLAWSON: So, Jim, it wouldn't be
9 that much from what I hear to show what Dennis was
10 saying about whether the normalization does not
11 matter.

12 DR. NETON: Dennis, is it possible for
13 you to put together some brief discussion write-up
14 that would lead us down that path better?

15 MR. STRENGE: Well maybe I have been
16 looking too closely at it. It is really simple to
17 me because if you have a set of numbers and you
18 multiply them all by the same value, which is what
19 the normalization does, you are not changing the
20 relative values at all. It is sort of like you
21 didn't change the relative values, you are going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to get the same answer out when all you are using
2 is the relative values.

3 DR. ANSPAUGH: Well I would disagree
4 that you are multiplying all the values by the same
5 number. The numbers are different, depending on
6 whether it is volatile or refractory.

7 MR. STRENGE: Could you go through
8 that? I don't really understand that.

9 DR. ANSPAUGH: Well if you look at your
10 table, which I believe is Table 1, you see the
11 numbers are not all the same. Some of them are 1
12 and some of them are 0.4. And there are a few
13 oddballs that are in-between.

14 MR. STRENGE: Oh, those numbers are not
15 involved in the normalization that Hicks did for
16 the 12 hours.

17 DR. ANSPAUGH: Well, we have a
18 disagreement there. Maybe it would be better for
19 you and I to try and resolve that offline. I don't
20 know.

21 MR. STRENGE: Okay. Do you have my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 email?

2 CHAIR CLAWSON: Actually to be able to
3 come to this, both sides fill further out. But I
4 agree with you, Jim, that I don't think we have to
5 go through this.

6 I think what we need to be able to do
7 -- but I would like to see whether the Board will
8 concede to a write-up, a White Paper, whatever you
9 want to call it of why or however we want to be able
10 to do this.

11 But there is a disagreement here.
12 Somehow --

13 MR. KATZ: Why don't we have -- Dennis
14 and Lynn can talk in a technical call offline and
15 write up a memo just summarizing that discussion
16 and, if there is still a difference, what the
17 difference is and why. And if there is
18 concurrence, explain that. And we will have that,
19 distribute that to the Work Group.

20 DR. NETON: Yes, I think that sounds
21 reasonable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: That would be helpful.

2 MR. KATZ: And the other matter I think
3 that is equally relevant is when Mark goes back and
4 looks at his files, if he finds that this has no
5 bearing on doses, it is a non-issue.

6 DR. NETON: Well, I agree. I mean a
7 250-day exposure limit is not going to provide a
8 tremendous amount of dose.

9 MR. KATZ: Right. Right. I think the
10 bottom line is the Board doesn't want to spend a
11 bunch of money on an issue that has no bearing on
12 doses. So, if that is the case then it doesn't even
13 really matter to resolve the -- if it --

14 DR. NETON: I mean to me, though, if
15 there is a technical issue, we should address this.

16 MR. KATZ: I know, but I am just saying
17 Lynn doing a bunch of recalculating and so on, that
18 kind of spending real time and real money on an
19 issue that has no bearing on dose, to me, is a waste
20 of the Board's money and time.

21 MEMBER MUNN: As I said, it is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wonderful exercise and would be great for him to
2 do but the bottom line to me still is does it
3 actually affect the dose reconstruction. That is
4 our job here.

5 DR. ANSPAUGH: Well I think the other
6 bottom line that I have been wondering about from
7 the very beginning is how many people do we really
8 have who would benefit from such a calculation to
9 the point where they might actually be compensated.
10 I don't know whether there is anybody.

11 DR. NETON: Well the problem with that
12 logic, and we have gone down this path before is
13 if there is one --

14 MR. KATZ: Yes, we want to do right by
15 everybody.

16 DR. NETON: But, you know, I looked at
17 those doses before the meeting and they are pretty
18 small. These environmental doses, these
19 resuspension doses, especially the
20 non-presumptive cancers, remember these are --

21 MR. KATZ: No, I know. Mark already

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 addressed that.

2 MR. ROLFES: Yes, there was a specific
3 example, I guess, that SC&A had asked us to provide
4 a sample dose calculation for a thyroid cancer and
5 we had redone that and I am trying to find the
6 results here.

7 MR. KATZ: But you don't need to
8 scurry, Mark, because we will have this technical
9 discussion offline, we will have a memo. So you
10 have time. You don't need to dig it up now, Mark.

11 MR. ROLFES: I was just going to say the
12 doses were very low and it wouldn't have made a
13 difference in the compensation decision.

14 DR. ANSPAUGH: The other thing is that
15 if you presume that the calculations were done
16 correctly, the doses are very low except for ET and
17 the doses were up to a level of around for ET, I
18 believe --

19 DR. NETON: Oh, for ET1 and ET2? Yes.

20 MR. ROLFES: Yes, I think I had looked
21 into the number of nasal cavity cancers as well and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I didn't see any at the time. I think that was also
2 combined with the issue of 1962 because you had
3 asked us to extend the internal dose calculations
4 back to 1962. Since we are not doing that, the
5 doses received -- the dose is really only important
6 in that first year for the ET1. Virtually 99
7 percent of the internal dose is all delivered in
8 that single calendar year. And we are not going
9 to be doing any internal dose calculations for 1962
10 because of the SEC determination.

11 As I said, I didn't see any individuals
12 with employment in 1962 or 1963 that had cancers
13 of the nasal cavity. So, there wasn't anyone for
14 which the model would apply.

15 MR. BARTON: When you say the calendar
16 year, you mean just 1962 or you mean July '62 to
17 June '63?

18 MR. ROLFES: I have looked
19 specifically, I believe, into 1962 because, at the
20 time, SC&A had requested that we had redo the model,
21 essentially, to start calculating internal doses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Back -- develop our resuspension model,
2 recalculate intakes dating back six months
3 earlier.

4 MR. BARTON: Well, I didn't know if you
5 were talking about that six month period or
6 actually into 1963 where we are doing the
7 environmental doses.

8 MR. ROLFES: It was during 1962 is what
9 I had done for. Looking back at an email because
10 I had looked into this. Let's see.

11 It looks like the doses were
12 calculated. I'm just looking at an email. The
13 doses were pretty low, with the exception of those
14 that would potentially be received by a
15 hypothetical claimant. We didn't have a claimant
16 in our -- not this database at the time I had looked
17 at this. The exception was the ET1 region, the
18 nasal cavity, and the high doses from the
19 short-lived fission and activation products for
20 ET1 and other organs were almost entirely delivered
21 in the year that the intake occurred.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So this issue was really only an issue
2 that would affect non-presumptive cancer cases
3 with employment in 1962. And that was when we were
4 considering re-estimating intakes back to July 31,
5 1962.

6 And I agree there were some high doses
7 to ET1 from the short-lived fission and activation
8 products.

9 DR. NETON: But even if you put a rem
10 dose into a nasal cavity, it is not going to be
11 compensated. I mean it is not even close to being
12 close to a 50 percentile case I don't think.

13 MR. ROLFES: That was one of the
14 highest exposed organs, ET1. I think some
15 elevated doses to surfaces and other metabolic
16 organs.

17 DR. ANSPAUGH: Well, I guess it is an
18 interesting question whether or not any of this is
19 worth doing but if it is worth doing, I suppose it
20 is worth doing right.

21 DR. NETON: Yes, I can't disagree. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think we should resolve this first question, which
2 is the normalization factor. I mean if it needs
3 to be completely -- first we can decide whether it
4 does not need to be completely redone and if it
5 does, then we can decide how to proceed I think
6 after that.

7 DR. ANSPAUGH: Well my understanding
8 of what the recommendation is that Dennis and I are
9 trying to resolve this offline.

10 MR. KATZ: Yes. So we will set up a
11 call. Work Group Members can listen in and you
12 folks can discuss this. If Dennis wants to send
13 you a piece of paper first, that is great and that
14 should be back to the Work Group Members. You will
15 have your call and then we will report out with a
16 memo the results of that.

17 DR. ANSPAUGH: Dennis, are you still in
18 Richland?

19 MR. STRENGE: No, I am in Washington
20 State but I am in Western Washington. I moved to
21 be close to grandkids.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ANSPAUGH: I see. Okay. Well,
2 we'll figure how to get in touch.

3 MR. KATZ: Yes, we will set that up.
4 It will be a conference line so that other folks
5 can listen in.

6 MEMBER MUNN: Terrible choice, Dennis.

7 DR. NETON: Yes, this will be a
8 conference call Ms. Copeland will set up, to get
9 the number --

10 MR. KATZ: Yes, we will set that up.

11 DR. NETON: -- and Board Members can
12 listen in. Because we need to do this somewhat
13 transparently.

14 MR. KATZ: Yes, absolutely.

15 DR. ANSPAUGH: In order for Dennis and
16 I to be prepared for such a conference call, I think
17 we need to exchange some data first.

18 DR. NETON: Yes.

19 MR. KATZ: Send -- you are welcome to
20 email and just copy me in the process of emailing
21 so that I can share that with the Work Group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Members.

2 DR. ANSPAUGH: Okay.

3 MR. KATZ: By all means.

4 MR. STRENGE: Yes, that is good.

5 CHAIR CLAWSON: Well when we get to
6 that point, we kind of know the background of how
7 we got to where we did.

8 MR. KATZ: Exactly.

9 CHAIR CLAWSON: We will look through
10 the emails, then also the transparency of it.
11 Because it is hard for us, as Board Members, too,
12 to be able to come in when these decisions are being
13 made and we are still wanting to know how we got
14 to that. So, if we are involved with it, it would
15 be good.

16 DR. ANSPAUGH: Well, I guess the bottom
17 line is this is very complicated and difficult to
18 comprehend stuff. It doesn't matter whether you
19 are a Board Member or a flunkey. It is still hard
20 to trump in.

21 Okay, well, let's move on to what I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think is also a central problem that we have been
2 discussing here. I find that these calculations,
3 as presented by NIOSH and also by Strenge, the
4 calculations are not transparent and I can't follow
5 them. After we got to the point where we are now,
6 the intermediate results are not shown and the
7 descriptions, I think are, in some cases, they are
8 either not clear or conflicting. And we will go
9 through and show some of these things.

10 And the next one is my favorite cartoon,
11 which is the way I feel after looking at how these
12 calculations have been performed, is that we go
13 along just fine and I understand everything
14 completely and then it is seems like the miracle
15 occurs and I can't follow it.

16 So, the next slide indicates to me what
17 is the miracle. What I infer from what is said in
18 the documents is that IMBA was run to determine the
19 relative importance of 177 radionuclides to 26
20 organs for ten one-year periods. That gets up to
21 be 46,000 IMBA runs. And then Dennis did it for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 five scenarios, which is 230,000 IMBA runs. And
2 then if you add in ingestion, that is up to half
3 a million IMBA runs.

4 And so my conclusion is that I am not
5 understanding what was actually done or running
6 half a million IMBA runs really is a miracle.

7 MR. STRENGE: Yes, well, this data is
8 -- I like running IMBA but not that much.

9 In the Technical Basis Document in the
10 attachment A.6, what Gene indicated there was the
11 dose from the short-lived fission products was
12 actually taken from ICRP 68. So, we didn't need
13 to run IMBA for all those radionuclides.

14 And in my White Paper, I indicated that
15 I did run IMBA but that was only to get the annual
16 dose values from a unit intake, one becquerel per
17 year of strontium-90, which I then used to generate
18 the final results.

19 So, we did use IMBA but it was only one
20 run. And, fortunately, when you run IMBA you get
21 the results for all the organs in one run. So, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 simplifies things also.

2 DR. ANSPAUGH: Okay well that is a
3 factor of 26.

4 MR. STRENGE: Yes, right.

5 DR. ANSPAUGH: That does help.

6 MR. STRENGE: Yes.

7 DR. ANSPAUGH: Well you know the bottom
8 line of all this stuff is that I think it would be
9 very helpful if somehow or another in this process
10 that there would be a very clear description of
11 exactly what was done and with the intermediate
12 results shown so that people like me, and I presume
13 some of the other people here could understand
14 exactly what was done.

15 MR. STRENGE: Yes, I can certainly
16 understand the difficulty in going through that
17 because when I did the work for the White Paper,
18 I started with some of the files and information
19 from Gene Rollins, who did the original calculation
20 and it took quite a while to figure out what was
21 going on. So, I can really understand where you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are coming from.

2 DR. ANSPAUGH: Okay. Well, maybe we
3 can skip over some of this other stuff and get down
4 to --

5 MR. KATZ: Well, can I just ask do we
6 have a path forward into what will address your
7 concern at this point?

8 DR. ANSPAUGH: Well, if we could skip
9 over to slide 26, this is our recommendation to the
10 Members of the Work Group. And that is basically
11 what we just discussed. I think it would be very
12 helpful to me and I think to everybody else that
13 this recommendation is that NIOSH and their
14 contractors should be very specific about how the
15 calculations were done and to provide the
16 intermediate results so that we could understand
17 exactly what was done and also do some
18 verification, independent verification.

19 And I also understand that this could
20 be voluminous in amount of material that may not
21 be presentable in a written form but it could be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 done on a DVD or some method of communicating things
2 that are other than paper.

3 MEMBER MUNN: Well the volume may be
4 reduced significantly, once you and Dennis carry
5 on some conversations.

6 DR. ANSPAUGH: Hopefully so.

7 MEMBER MUNN: A great deal of this may
8 be easily explainable verbally so that the written
9 result can be much clearer for all concerned.

10 The first step is for you two that know
11 what is going on to talk about it.

12 DR. NETON: Well this is a little
13 different from that last conference call we were
14 talking about.

15 MEMBER MUNN: Yes, it is. It is.

16 DR. NETON: It would seem to me if you
17 provide all these calculations, it is still going
18 to need a roadmap of some type because, obviously,
19 they are going to be just massive, I assume, things
20 like spreadsheets and whatnot that were done.

21 DR. ANSPAUGH: Well, I am presuming

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that the spreadsheets are not going to fit on a
2 piece of paper. I think that is the problem.

3 (Simultaneous speaking.)

4 DR. NETON: But if they are not
5 annotated in sufficient detail, it would be
6 difficult for this third party to look at and
7 decipher because they probably weren't developed
8 with that intent. I mean I shouldn't speak for
9 Dennis. Maybe they are.

10 MR. STRENGE: There are a lot of
11 spreadsheets and they are fairly complicated. It
12 might be possible to do some extractions for say
13 one organ instead of all 26 and put something
14 together that can show the numerical progress.

15 DR. NETON: That is what I am thinking
16 is maybe just an example, maybe a once through for
17 an organ to show the concept or the process that
18 was used.

19 I think if the concept can be shown to
20 be accurate, then I'm not sure we really need to
21 go and verify every single cell of every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 spreadsheet is valid.

2 DR. ANSPAUGH: Well, I certainly
3 wouldn't have that kind of intent but I would
4 certainly like to be able to go through a
5 calculation say for an organ just to be able to
6 follow the calculation.

7 MR. ROLLINS: This is Gene Rollins. I
8 am the author of all this stuff, originally, I
9 guess.

10 When I handed this off to Dennis to do
11 a third-party review on it, I didn't give him much
12 information about how to follow the calculations
13 and he is a pretty smart guy but he figured it out.
14 And I believe Dr. Anspaugh could figure it out, too.
15 I don't think it is going to require that much for
16 him to understand what we did.

17 DR. ANSPAUGH: You overestimate how
18 smart I am but thank you anyway.

19 DR. NETON: I guess then the question
20 is what can we provide, other than just a data dump
21 of everything you have done to SC&A and Lynn

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Anspaugh that will allow him to review?

2 MR. KATZ: Well you suggested he
3 provide an example and maybe he could be on the line
4 and walk Lynn through -- on the phone with Lynn and
5 walk Lynn through the process, so that Lynn doesn't
6 have to decipher it. We could do that, right?

7 Bob, you could use a little of it, too,
8 because you could help facilitate at least Lynn
9 getting the spreadsheets and all of that.

10 MR. BARTON: Oh, absolutely.

11 DR. ANSPAUGH: Well, Bob is a lot
12 smarter than I am.

13 MR. KATZ: So anyway, why don't we do
14 that? It is almost -- it is not really a technical
15 call, per se, but they can walk Lynn through the
16 spreadsheet for an example.

17 DR. NETON: That is a clarification
18 type issue.

19 MR. KATZ: And then Lynn can ask
20 questions and sort that out. And then if we need
21 more follow-up after that, we will have more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 follow-up.

2 Is that okay, Lynn?

3 DR. ANSPAUGH: That's fine.

4 MR. KATZ: Yes, okay.

5 DR. ANSPAUGH: Okay, we are almost
6 done. So, let's go to Recommendation Number 5.
7 And I had made this recommendation several times
8 that I would like to see NIOSH and contractors also
9 consider the source term for the Sedan event and
10 the reason is this is a very large event that
11 occurred on July 6, 1962 almost at the end of
12 testing.

13 And the Sedan source term is very
14 different because it was a large thermonuclear
15 event, less than 30 percent fission and 70 percent
16 thermonuclear. And this results in quite a
17 different mix of radionuclides. And just as one
18 example that I pointed out in the paper was if you
19 look at the relative amount of some of the tungsten
20 isotopes, it differs by five orders of magnitude
21 larger for Sedan than for Small Boy, for example.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And the next slide just shows that Sedan
2 was a major event. Even though it was 600 feet
3 underground, it created a huge crater that was 1280
4 in diameter and 320 feet deep. And so it produced
5 a massive amount of fallout, which was falling
6 clear across the U.S. and also had appreciable
7 residue on the Nevada Test Site. It created large
8 amounts of activation products, in particular.
9 So, this is just a suggestion that I think it would
10 be helpful to see what the differences would be if
11 we considered Sedan in additional to Little Feller
12 I or Small Boy.

13 So, that was Recommendation 5.

14 DR. MAURO: This is John Mauro. Just
15 a quick clarification for my benefit. So, the
16 essence of this is that the construct that was just
17 described with regard to the Hicks tables and the
18 relative amounts and how that was back calculated
19 out, are you saying that if Sedan was -- the
20 incident because this is also in more or less the
21 same time period I believe, would that change the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whole paradigm? I guess I am not quite sure of the
2 implications of looking particularly at this event
3 that was, I guess, one of the tests that contributed
4 to the residual radioactivity that was actually
5 observed in the soil and in the air. Does this
6 change the paradigm?

7 DR. ANSPAUGH: Well, it is going to
8 change the radionuclide mix in a substantial way
9 and I don't know if that means it is going to be
10 worse or better. Well, if I had to guess I would
11 say it was probably better because there is less
12 fission product but I don't know.

13 MR. ROLFES: Gene, this is Mark Rolfes
14 and I know we have discussed this issue as to
15 whether or not we would. We looked at the Sedan
16 event and I don't recall -- I'm looking for a
17 write-up or anything in my email but I am unable
18 to find anything. I know we discussed this. Do
19 you have any recollection of this issue as to
20 whether the source term would be significantly --
21 from what I recall, I thought that what we were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 currently doing would have resolved it in more
2 claimant-favorable mixes of radionuclides to use.
3 But I might be imagining that.

4 Do you --

5 MR. STRENGE: This is Dennis. Yes,
6 when I saw the comments on Sedan, it made me
7 curious. As Mark indicated, I actually did do some
8 calculations but they were never written up. What
9 I found, briefly, was that -- for 1963, some of the
10 values went up but it was, at most, a factor of
11 three.

12 And then when you get to 1964 and
13 beyond, there is really not much difference and I
14 think the reason is is because the three tungsten
15 radionuclides, the longest half life is like 120
16 days. So, even though they start out at a high
17 amount, in a few years, they do decay away and don't
18 contribute that much.

19 MR. ROLFES: Thank you, Dennis.

20 DR. ANSPAUGH: Well, you know if Dennis
21 has already done this and it could be written up,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think that would be helpful because Sedan was a
2 very major event.

3 MEMBER MUNN: Atypical.

4 DR. ANSPAUGH: Atypical, right.

5 DR. NETON: Yes, I agree. It sounds
6 like we could just put that in writing, form a White
7 Paper.

8 MR. STRENGE: Yes, one question I have
9 on that, for the underground event we took the Hicks
10 tables and backed out the refractory fraction
11 twice. Is that -- I'm not sure how that second
12 removal of the refractory fraction really
13 physically is described when you have an
14 underground event. It is kind of hard to imagine.

15 DR. ANSPAUGH: Well, the way Hicks did
16 it was the same as the Small Boy, as I recall.

17 MR. STRENGE: The same refractory
18 fraction, yes. It was 0.4.

19 DR. ANSPAUGH: Right.

20 MR. STRENGE: But when we apply it,
21 take it out the second time, we are, in effect,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 saying that at the site of the detonation, the
2 refractories are enhanced relative to the
3 volatiles.

4 DR. ANSPAUGH: Correct.

5 MR. STRENGE: Well, I guess that would
6 still be applicable because I am sure the volatiles
7 were probably just blown out and most of them went
8 a ways. So maybe it is still valid.

9 DR. ANSPAUGH: I think it is still
10 valid.

11 MR. STRENGE: Yes, okay.

12 MR. KATZ: Okay, so then Dennis, you
13 will write up a little White Paper on that analysis.

14 MR. STRENGE: On the Sedan, yes.

15 MR. KATZ: Yes.

16 MR. STRENGE: I will have to go through
17 and check on my calculations again but I will do
18 that.

19 CHAIR CLAWSON: Yes, if I remember
20 right, back -- and I have to agree with Mark, I
21 thought we looked at kind of all the Plowshare

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 programs because that is where we got some of the
2 larger releases and stuff like that. And there
3 wasn't that much difference.

4 But you know it is a question we have
5 if we have got a write-up that we could have, then
6 it has been addressed and taken care of.

7 MR. KATZ: Okay.

8 DR. ANSPAUGH: Okay, well the next
9 slide indicates that sort of what we were just
10 talking about, actually. You mentioned, Brad,
11 that there was a large number of underground shots
12 that actually vented 1963 to 1970. We had these
13 five Plowshare events that released from 100,000
14 to a million curies. The Baneberry event released
15 10 million curies and then we have all these tests
16 of nuclear rocket engines.

17 The question here is just what does this
18 mean relative to how we calculate the doses. And
19 I don't have an answer to that and I am not sure
20 that it has been seriously considered. But the
21 next slide is the photograph of the Baneberry

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 event, which, as you can see, it was, indeed, a very
2 massive event and it nearly resulted in getting the
3 test site shut down because this was detected well
4 beyond the borders of the United States, which was
5 in violation of the treaty, of course.

6 So, the Recommendation 6 then is have
7 we really considered these impacts of the hundreds
8 of other releases in a serious manner enough that
9 exposures to claimants are really considered
10 fairly. And maybe NIOSH has already gone through
11 that calculation. I don't know but my impression
12 is it hasn't really been considered seriously.

13 CHAIR CLAWSON: I thought we had
14 because that was one of my question is what created
15 what is referred to as the Plutonium Valley. And
16 that is where the write-up Mark brought out of
17 looking at this.

18 If my memory serves me right, it was
19 addressed and that this was all put in, that we were
20 good on it. But I guess we will have to resurrect
21 that paper, Mark, if you can find that. Because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this was a big issue. The reason why is because
2 that was one of my issues because I had dug into
3 quite a bit of this and I wanted to know all of
4 these, especially Plowshare because of the release
5 into the environment and that is where I remember
6 Mark did a write-up that also SC&A reviewed and they
7 both came up with the same thing. But maybe we need
8 to bring it back.

9 MR. ROLFES: Yes, I will see what we can
10 do to find the previous document that we discussed.

11 MEMBER MUNN: I recall a lot of
12 conversation about this during our site visit but
13 I don't know about the documentation for it.

14 DR. MAURO: This is John. I have a
15 question, conceptually. When I was working with
16 Lynn on this, I was thinking in terms of the whole
17 motivation behind this array of calculations was
18 environmental. We all recognize that there were
19 occupational exposures during this time period
20 which were transient situations where it was
21 impossible to try to reconstruct doses to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 individual workers who might have been involved in
2 the individual occupational activities, along the
3 lines of backdrilling and on-site work.

4 Now, we are bringing into the picture
5 something that in my mind is of -- philosophically
6 or conceptually similar. Namely there were
7 transients related to tests that were -- and I
8 consider these field activities transients also,
9 where a person goes out and does a certain job,
10 which are occupationally related.

11 The question I have is are these
12 matters, such as these venting occurrences,
13 occurrences that appropriately belong to what I
14 would call this chronic environmental exposure
15 that everyone was exposed to throughout this long
16 time period, where you were trying to assign some
17 environmental dose to those workers who are not
18 covered by the SEC.

19 Now, what we are doing here is
20 superimposing on that well there were also these
21 transients that would also have occurred. Do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these scenarios fall within the purview of
2 exposures that cannot be reconstructed and
3 therefore a part of the story that says well, that
4 is why there is an SEC. There are certain
5 scenarios that we can't reconstruct doses to
6 individual workers. Or do these fall into the idea
7 that well, this is part of the chronic
8 environmental exposure that Strenge and Rollins
9 constructed to at least assign some dose,
10 environmental doses to workers who may have been
11 present on-site, where we don't know where they
12 were necessarily, or what their -- but at least
13 there is a way to assign them some internal dose.

14 So, I guess I would like to just raise
15 the question. These particular incidents of where
16 there were these transients that occurred during
17 the time period of interest, do they fall into the
18 category that is appropriately considered part of
19 the chronic environmental exposure that you would
20 like to try to reconstruct or do these represent
21 scenarios that may have been transients but they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can be reconstructed, as opposed to the other
2 situations of occupational exposures that cannot
3 be reconstructed? I'm not quite sure if you
4 understand the question.

5 MR. KATZ: John that was a very clear
6 explaining of the question.

7 DR. NETON: This is Jim. It is not
8 clear to me because I don't recall this evaluation
9 that was done for these events, to be honest. But
10 I don't know when we are talking about atmospheric
11 inhalations or whether these would be resuspension
12 models, deposition and then add to the resuspension
13 model. To me, if they were atmospheric it would
14 be related to the occupational environment. I
15 mean if they are just released to the environment
16 -- I mean released to the atmosphere versus this
17 environmental model, which is essentially just a
18 residual contamination model, I don't know you
19 could do that. Could you really --

20 MR. ROLFES: I think where we are at
21 right now with the current resuspension model to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assign environmental intakes, it is the product of
2 many years of our work and the Work Group's work.
3 So, we are trying to refine the model once again
4 or going back to a previous discussion that we have
5 had in the past with an earlier version of the
6 environmental intake models because we have gone
7 full circle.

8 MR. ROLLINS: This is Gene Rollins. I
9 would like to say something here.

10 A resuspension model was not used to
11 estimate these doses, these intakes. We keep
12 getting back to that and it seems that Dr. Anspaugh
13 seems to think that I am using his model to estimate
14 atmospheric concentrations and I never did. The
15 only atmospheric concentration I ever used in any
16 of my calculations was the highest Pu concentration
17 measured at the site in 1972 in Area 9. That did
18 not require a resuspension model. That was
19 empirical data.

20 So resuspension is really not an issue
21 here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ANSPAUGH: Well, if I recall what
2 you wrote in your report, you certainly have higher
3 values in 1963 and to get there, you did use a
4 resuspension model.

5 MR. ROLLINS: No. No, I did not.

6 DR. ANSPAUGH: Then I totally
7 misunderstand what is in your report. And you also
8 have a graph of the resuspension model in your
9 report.

10 MR. ROLLINS: Right and that was used
11 to estimate the effects of short-lived -- of early
12 resuspension. And that was just a multiplication
13 factor that I used based on that 1972 air
14 measurement.

15 DR. ANSPAUGH: Well, I understand, but
16 the ratio is based on resuspension, as stated in
17 your report.

18 MR. ROLLINS: Well yes, I integrated to
19 a function on how important it could be.

20 DR. ANSPAUGH: Yes and you applied it.

21 MR. ROLLINS: But I did not use it to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 estimate airborne concentrations. I used it
2 generally as a factor I could multiply the derived
3 intakes by to account for early resuspension.

4 DR. ANSPAUGH: Well that is using the
5 resuspension model in my book.

6 MR. ROLLINS: Well okay but I didn't
7 use it calculate airborne concentrations. I used
8 it to develop a multiplier to multiply the intakes
9 that I derived to take care of the early
10 resuspension.

11 DR. ANSPAUGH: Well I agree and maybe
12 we are just differing on some minor terminology but
13 you did use a resuspension factor model to increase
14 -- well, to create your multiplier for the intake.
15 Right?

16 MR. ROLLINS: Yes, for 1963, '64, and
17 '65.

18 DR. ANSPAUGH: Right. Okay, so we are
19 in perfect agreement. Yes?

20 MR. ROLLINS: Right. But nowhere in
21 the intermediate calculations did any air

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concentrations were ever used, except for one
2 measurement that was used that came from 1972 Area
3 9 for plutonium-239.

4 DR. ANSPAUGH: Okay, yes, I
5 understand.

6 MR. ROLLINS: Okay.

7 DR. MAURO: This is John again. So, my
8 understanding is that the issues of these venting
9 occurrences can be thought of within the concept
10 of there is the actual airborne releases that
11 occurred at the time of the event and there is no
12 -- during this time period -- that is unrelated to
13 resuspension.

14 And the issue is not that we are trying
15 to reconstruct those doses. The point that is being
16 made here is the fact that those events occurred
17 deposited radioactivity in the soil, as was done
18 during atmospheric testing. It has, in fact, been
19 captured by plutonium data that was measured in the
20 air and by the soil activity that was measured
21 because that is the rock you are standing on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ROLLINS: Right, John. It's all
2 based on the soil measurements that were made in
3 1981, which would have included all these releases,
4 they came after 1963.

5 DR. MAURO: Okay, good. That is why I
6 am raising the question. I am trying to say that
7 I think you might be okay.

8 MR. ROLLINS: Yes, I think so too and
9 I am going to tell you why. Because we backed all
10 that data in 1981. The case record goes back to
11 1963. So, there were a lot of persistent
12 radionuclides that were put on the ground by these
13 ventings that occurred after 1963 but really
14 weren't there in 1963. So in my way of thinking,
15 that makes it claimant-favorable.

16 DR. MAURO: And that is why I bring the
17 point up. And I think my sense is I am agreeing
18 with you. That is, what is being said here is that
19 these transients, at least to the degree to which
20 they contributed to the activity in the soil and
21 the associated resuspension, aren't captured by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the data that you base everything on. And you are
2 not trying to reconstruct the doses from the actual
3 airborne ventings but you are saying that,
4 effectively, your methodologies have captured it
5 because you are working with data from the soil that
6 was collected and data from the airborne plutonium
7 that was mentioned that would reflect these
8 transients that have occurred and resulted in soil
9 contamination and resuspension. That is what I
10 understand that -- why you are okay, I guess I am
11 saying that.

12 MR. ROLLINS: Well I appreciate you
13 have a complete understanding of it, John.

14 DR. MAURO: Okay.

15 MR. ROLLINS: Thank you.

16 CHAIR CLAWSON: But this would be part
17 of the environmental, wouldn't it? Because back
18 in the days, I remember because we were talking
19 about this, we wouldn't be able to do these
20 exposures as they were. This would become
21 environmental because we were talking about the guy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that was going out there with a CAT in the Plutonium
2 Valley making all of this.

3 And so this is where I believe this
4 paper that Mark was talking about and also where
5 they were talking about that they back calculated
6 this. And the reason why we didn't address it is
7 because it wasn't an SEC issue. It was a Site
8 Profile issue. And this is what we are back to now.

9 Is that painting a good enough picture
10 for you, John?

11 DR. MAURO: I believe so. And I guess
12 I would want to defer to Lynn because we did not
13 -- Lynn and I did not spend very much time
14 discussing this one particular issue. And now
15 that it is on the table before us and I just
16 articulated my understanding of the issue, I would
17 like to hear if Lynn agrees that my
18 characterization of it is fair, where I am, in
19 effect, agreeing with Gene and whether Lynn would
20 agree that conceptually, in effect, these
21 incidents, at least the resuspension in soil

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contamination aspects of their contribution to
2 dose as environmental is, in fact, a correct
3 representation or did I miss something.

4 DR. ANSPAUGH: Well you know the last
5 major contaminating event was Baneberry and that
6 occurred in 1970. So, I think Gene is correct in
7 the sense that the measurements of the RIDP program
8 went on for years and years but, basically, it was
9 during the 1980s. And so in that sense, anything
10 measured by RIDP in the 1980s would have included
11 most of the major events -- well, all of the major
12 events. So I think probably in that sense, we are
13 okay.

14 The Baneberry event was also very
15 unusual. It was both contributed to the
16 environmental background but it also created some
17 quite large exposures to workers who were evacuated
18 and they were measured. They did have bioassays.
19 So, I think Baneberry goes through both situations.
20 And I think the large exposures to individual
21 workers who were taken care of because they were,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in this case, identified and they were bioassayed
2 or they had external badge measurements. So, I
3 think Baneberry was unusual in that it encompassed
4 both a contribution to the general environment and
5 also very specific exposure to workers.

6 CHAIR CLAWSON: So do you feel good
7 about this? Because my question is because this
8 was a very personal one to me because I wanted to
9 know how they were going to be able to address all
10 these releases. And this is when Gene came in
11 because my memory is starting to work again back
12 here, since I have slept, that they back calculated
13 everything and it brought all this in.

14 But this was more of an environmental
15 dose because, as you said with Baneberry and stuff,
16 they have the bioassay. So, the people were taken
17 care of. But my picture was was how are they going
18 to be able to address all these releases to the
19 general people, people that were just out there
20 working and so forth. And this is when they came
21 up with this and this came down to the environmental

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dose of it.

2 So, do you feel good about what --

3 DR. ANSPAUGH: Well you know in
4 thinking back, I am feeling better. I will tell
5 you what. You know what Gene did originally in his
6 calculations was very conservative. He picked the
7 highest concentration of plutonium ever measured,
8 which appeared in 1972. And for some of these
9 other radionuclides in the ground, he picked the
10 highest concentration measured in any area. So,
11 there is quite a large amount of conservatism built
12 into there already.

13 And then considering that the
14 measurements of the Radionuclide Inventory and
15 Distribution Program were made in the 1980s, it
16 should encompass all these residual activities.
17 And so by the time you move them back to 1963, I
18 think we are fairly well covered.

19 DR. MAURO: This is John. There is
20 something important that has happened here that I
21 just want to make sure I understand.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 When it comes to Baneberry, what I am
2 hearing is that there is a lot of data, which means
3 that the exposures from that, where you have
4 bioassay data which was collected, it sounds like
5 fairly extensively, you will have the data to
6 reconstruct the doses for those workers not covered
7 by the SEC, which would be the way you would always
8 deal with any circumstance where you have data.
9 But there is no intention here to say let's see do
10 we want to address exposures for workers that may
11 have been exposed to Baneberry airborne venting --
12 not the resuspension part now. Remember we like
13 to separate the two ways of thinking. One is the
14 resuspension aspect, which is this chronic
15 exposure from the actual event where there was
16 venting.

17 Here is a circumstance where everyone
18 agrees, yes, there was significant venting. Yes,
19 we do -- where there was direct airborne exposure
20 and not resuspension and that we do have lots of
21 data where, at least for a significant number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 workers, we have data where we can reconstruct the
2 doses for those workers not covered by the SEC but
3 no attempt is being made here to build a coworker
4 model to reconstruct doses to other workers that
5 may have not been bioassayed but exposed to
6 Baneberry direct releases.

7 Is that a correct statement?

8 MR. KATZ: Yes, lots of heads nodding,
9 John.

10 MR. ROLFES: This is Mark Rolfes.
11 John, there is a specific matrix item on the
12 discussion of Baneberry. It is matrix item 13 and
13 SC&A had a comment that the method for estimating
14 iodine-131 exposure due to the Baneberry venting
15 does not appear to be claimant favorable. A
16 similar approach for other ventings may also
17 underestimate dose. And the suggestion was the
18 development of a method for assigning more
19 claimant-favorable partial iodine-131 doses
20 appears to be warranted.

21 The NIOSH response -- following the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 December 2014 Work Group in the matrix that is from
2 May 15, 2015 -- the NIOSH response, we agreed that
3 the iodine concentration measured at Orange Road
4 on December 18th -- there is a typo, it says 1968
5 but it is supposed to be 1970 -- at 9:30 a.m. may
6 be a more appropriate concentration to use to
7 estimate bounding doses to unmonitored workers.
8 Had the concentration 3.5×10^{-7} to the negative
9 seven microcuries per cc been used, an intake of
10 0.835 microcuries for two hours would have been
11 calculated, resulting in a dose to the thyroid of
12 6.5×10^{-4} rem. That
13 would be the maximum exposed organ and that would
14 be less than one millirem, which is already
15 accounted for in other doses assigned in a dose
16 reconstruction for an NTS employee.

17 DR. NETON: But see I am not even sure
18 those doses should be reconstructed, based on what
19 we just talked about here.

20 MR. ROLFES: True.

21 DR. MAURO: That's why I brought it up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think that what is important about this is that
2 we understand the bioassay. You always use that.
3 What we have here is a circumstance where you have
4 other data of airborne sampling that would allow
5 you to -- now I don't know if you have considered
6 this a coworker model, I don't think it would, but
7 you are saying you have some other data which is
8 air sampling data that perhaps might be useful in
9 reconstructing the doses from the direct releases.

10 But, Jim, you just pointed out that --
11 well, perhaps we should not do that.

12 DR. NETON: I think if you look, and
13 this is in the SEC 84 time period, yes, I am pretty
14 certain that the designation for the Class, the
15 determination language, talks about the
16 unavailability of bioassay and adequate bio air
17 monitoring and all those kind of traditional
18 monitoring data that are not sufficiently present
19 to reconstruct doses. So, it already acknowledged
20 that the source term -- not the source term -- that
21 the monitoring information is not sufficient,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 including environmental samples.

2 DR. MAURO: Okay, that was my
3 understanding also.

4 DR. ANSPAUGH: Mark, what was the date
5 of that measurement?

6 MR. ROLFES: The date was December 18th
7 and there is a typo up here that says 1968 but the
8 Baneberry event occurred in 1970.

9 DR. ANSPAUGH: It was on December 18th?

10 MR. ROLFES: Correct.

11 DR. ANSPAUGH: Because at one point in
12 time, you had a measurement that was made six days
13 after the event. So if that one was really made
14 on the 18th of December, that is better than one
15 six days after the event.

16 MR. KATZ: So, the Work Group can close
17 this one.

18 CHAIR CLAWSON: Well, from what I have
19 just heard from SC&A, we are in agreement that we
20 can close this one, correct? But I leave it up to
21 you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ANSPAUGH: Yes.

2 DR. MAURO: Sorry, the discussion we
3 just had was that, Mark, the fact that you were able
4 to do a calculation that showed doses were
5 negligible based on those air samples, I am going
6 to use a word that is not meant to be pejorative,
7 the fact that you were able to go through that
8 exercise and show that these doses were negligent,
9 really has no play because it has already been
10 agreed we are not even going to try to do that. Is
11 that a fair statement?

12 MR. ROLFES: Right, I agree.

13 MR. KATZ: Yes, it is not pejorative.

14 DR. MAURO: Pejorative.

15 DR. MAKHIJANI: This is Arjun. Just
16 for clarity, so we are talking about item 13 being
17 resolved?

18 MR. KATZ: Yes.

19 DR. MAKHIJANI: Thank you.

20 MR. KATZ: Yes, thanks, Arjun. Right,
21 we do want an updated matrix.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, you have to ask your Work Group
2 fellow Members for their concurrence.

3 CHAIR CLAWSON: Wanda, how do you feel?

4 MEMBER MUNN: I concur.

5 CHAIR CLAWSON: Okay, Phil?

6 MEMBER SCHOFIELD: I think that is
7 settled.

8 CHAIR CLAWSON: Gen?

9 MEMBER ROESSLER: It sounds settled to
10 me.

11 CHAIR CLAWSON: Okay. And I agree,
12 too.

13 MR. KATZ: And then we have a petition
14 for a comfort break. Some of you may want one as
15 well, on the line. So, why don't we take a
16 ten-minute break?

17 (Whereupon, the above-entitled matter
18 went off the record at 10:37 a.m. and resumed at
19 10:49 a.m.)

20 MR. KATZ: Okay, we are all back here
21 in the room and I think ready to go again, assuming

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we have folks back on the line. Do we have Phil
2 back and Gen?

3 MEMBER ROESSLER: I'm on. This is
4 Gen.

5 MEMBER SCHOFIELD: I'm on. This is
6 Phil.

7 MR. KATZ: Okey-doke.

8 So, Bob, is it your turn?

9 **SC&A's Position on comment 8 -- Resuspension**

10 **Issues at the Nevada Test Site**

11 MR. BARTON: Okay, so we have just gone
12 through essentially what was SC&A comments 1
13 through 7 on the resuspension issue, which Lynn did
14 excellent work on. There is also comment 8, which
15 was the subject of a separate White Paper response
16 by NIOSH. And just to give a little back story on
17 what that was, in addition to looking at sort of
18 the technical aspects of how we derive these
19 environmental intakes, we also ask the question,
20 well let's go take a look at some actual claimant
21 dose reconstructions and see what is happening

1 there as far as application of it. So, I am sure
2 you all will be relieved that you can take your
3 analytical hats off for a few moments.

4 And what we have found is discrepancy
5 or -- inconsistency is a pretty harsh word because
6 there are a couple of different, I guess you could
7 call them methods that were being employed, one
8 which is OTIB-18, which sort of a generic document,
9 among many sites that employed an air sampling
10 program to assign internal doses without actually
11 constructing a coworker model, sort of an
12 efficiency measure. So, we saw that that was
13 actually being used a couple of times during the
14 SEC period.

15 Also sometimes the environmental
16 intakes were being applied. Sometimes there would
17 be overlapping employment with Tonopah Test Range
18 and in most cases, we saw that Nevada Test Site was
19 being applied. Sometimes the TTR intakes were
20 being applied. So we said you know this might be
21 an opportunity to sort of shore up some of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consistency because one of the great tenets of this
2 program that we strive for is, to the extent we can,
3 theoretically if a number of dose reconstructions
4 were to look at the same case, they would all come
5 to the same conclusions, within reason. I mean,
6 obviously, every individual case will have its own
7 nuances that will necessarily require some
8 professional judgment but we felt that in some
9 cases a more standardized procedure would be
10 beneficial so that, again, let's say you had two
11 claimants that were pretty much doing the same job,
12 the same work history and are looking at their dose
13 reconstruction report. Are they actually getting
14 assigned the same thing within reason?

15 NIOSH responded to that and provided a
16 really excellent discussion, which I think is
17 beneficial not only to the Work Group, us and other
18 interested parties such as the claimants
19 themselves, about sort of the evolution of how
20 doses get reconstructed and how necessarily they
21 are not always going to be up to date with what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 TBD says. Just that is the nature of the beast.
2 TBDs can't be revised. Every day you have a
3 change.

4 But anyway, I don't have a presentation
5 but I think just for everyone's benefit I am going
6 to throw up our memo in response, which is on the
7 website for everybody and just for ease of everyone
8 seeing it here. So give me just one minute here.

9 Okay, can everybody see? It should be
10 showing a PDF file, page two of SC&A's response
11 memo. Is that up on the screen?

12 MR. ROLFES: Yes.

13 MR. BARTON: Yes, okay, great. So
14 what we are looking at here is after the discussion
15 that NIOSH, which really provides a lot of clarity
16 on how the dose reconstruction methods sort of
17 evolve over time, they did agree that, and I will
18 read this into the record, "NIOSH agrees that, over
19 the years, the lack of detailed instructions and
20 the evolution of project- and NTS-specific
21 guidance has resulted in inconsistencies in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 manner in which OTIB-18 and environmental intakes
2 are assigned and the resultant doses evaluated."
3 And this next part is definitely important,
4 "However, these inconsistencies have not resulted
5 in the discernible effect on case decisions."
6 Essentially, the compensation.

7 But they did agree and they drafted up
8 -- and I will scroll so everyone can see -- these
9 eight sort of instructions. And I will back it up
10 here just a little bit so you can see all eight.

11 And these are taken out of NIOSH's White
12 Paper response. And as you can see, it really is
13 kind of a step-by-step instruction for the dose
14 reconstructor about how you apply these different
15 things, such as OTIB-18 environmental intakes,
16 when you have overlapping periods of employment at
17 Tonopah and NTS, how you deal with all these
18 different facets of it so that you kind of
19 standardize the process, which is really what we
20 were looking for.

21 And so we took a look at these essential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 steps or punch list and all we have is we came up
2 with five, I guess, comments or maybe suggestions,
3 two of which are really sort of suggestions on how
4 the TBD could be improved, if it were to be revised
5 so that it is a little more clear to readers such
6 as the claimants, who might ask similar questions
7 that we ask when we do these reviews, or just other
8 interested parties, or just for clarification to
9 improve the document and the program as a whole.

10 So as we see these instructions, I am
11 going to leave them up there because it is just
12 easier to talk about them when everybody can see
13 them.

14 Our first comment, and this is one of
15 those that is sort of just a suggestion, but the
16 first instruction says: Assign environmental
17 intakes for all employees who were issued dosimetry
18 at NTS between 1963 and 1992 and to all employees
19 after 1993.

20 SC&A's comment on that was sort of for
21 clarity and we already discussed sort of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 atmospheric period and that six months after
2 testing and why that can't be reconstructed. And
3 obviously there is the legality of it but there is
4 also the technical reasons behind it, why that was
5 chosen. And I think if you were reading this as
6 a claimant, you might look at it and say well, why
7 are we doing environmental intakes for that latter
8 period? What about me? I work in the earlier
9 period. And, obviously, for, I am sure, several
10 valid reasons, it is just impossible during the
11 atmospheric period to separate out source term that
12 is purely environmental versus occupational. And
13 so we completely understand why the decision was
14 made not to try to tackle the environmental dose
15 problem.

16 And our only suggestion is that maybe
17 some text could be added to the environmental TBD
18 to sort of flesh that out and explain why we are
19 only talking about 63 to 92 and why we are not
20 talking about the earlier period.

21 So, again, that is just a suggestion to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 improve the TBD. I think it provides clarity,
2 especially for outsiders who are reading this and
3 they are wondering the same question.

4 So, again, that is just a suggestion,
5 sort of an editorial suggestion on how the TBD could
6 be improved. It doesn't have an effect on dose
7 reconstruction.

8 Our second comment is related to
9 instructions 2 and 3. And so instruction 2 is:
10 Beginning in 1993, OTIB-18 can be applied in lieu
11 of environmental intakes as an overestimating
12 technique for cases that do not require a best
13 estimate.

14 And number 3 is: Beginning in 1993,
15 OTIB-18 intakes may be applied in lieu of
16 evaluating claims that had bioassay results (in
17 vivo and in vitro) that were less than the minimum
18 level of detection or had relatively-low positive
19 results reported.

20 It was a little confusing to me but I
21 think I got it. So what we are saying is if it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just a best estimate and you want to apply
2 environmental intakes, you would use OTIB-18 to
3 apply those environmental intakes. But I guess
4 what I was confused about was it seems that OTIB-18
5 is being used to assign occupational intakes in
6 that post-1992 period. So, I was a little confused
7 about how does that work. I mean, would you still
8 be assigning some sort of occupational intake,
9 based on bioassay or would that just --

10 MR. ROLFES: Well, if a person has
11 bioassay data and we want to provide an
12 overestimate of that person's internal dose, if
13 they had several non-detectible bioassay results,
14 non-positive bioassay results, the application of
15 OTIB-18 would be a claimant-favorable
16 overestimate.

17 MR. BARTON: It was just confusing
18 because it said, I guess, in lieu of environmental
19 intake. So environmental intake would not --
20 well, we will get to that point.

21 Part of the comment there was that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 obviously, it is always preferable to construct a
2 coworker model for any unmonitored doses but we
3 looked into, specifically, the technical basis
4 from EG&G from 1993, which was basically their
5 document on showing how they are in compliance with
6 835 and they even say in there that only about 2.5
7 percent of the NTS worker population was on any sort
8 of routine bioassay schedule. So, it is a very,
9 very small portion.

10 And we went in and look at 100 random
11 claims and we found that only five of those had
12 routine gamma whole body counts. So, it is pretty
13 much in line with what was said in that 1993
14 document. So, we certainly find it maybe
15 implausible to construct any sort of coworker
16 model. But again, this is a recommendation to
17 improve the TBD to say the reason that we are not
18 considering a coworker model to specify
19 unmonitored doses versus the OTIB-18 approach is
20 that it is simply not feasible for reasons A, B,
21 and C. So, again, that is --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Well, I think that is a
2 little different logic, though. What they are
3 saying here is OTIB-18 -- if a worker were going
4 to be assigned environmental dose, that decision
5 would have been made up front. They were not a
6 general worker in the area. They were
7 environmentally exposed.

8 And OTIB-18, you are right, is an
9 occupational assignment but it clearly would bound
10 any environmental intake that would be assigned.
11 So, it is an overestimating technique. It is an
12 efficiency process almost.

13 MR. BARTON: Right. I guess my
14 question is then for unmonitored workers because
15 that was the impression I got from this. If you
16 are an unmonitored worker, then you would get
17 OTIB-18. Do I have that correct?

18 DR. NETON: Only if the case is not
19 compensable. OTIB can only be applied for
20 noncompensable cases.

21 MR. BARTON: Okay so if you were trying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to perform a best estimate on a case --

2 DR. NETON: You would assign
3 environmental dose.

4 If you can assign an OTIB-18 intake,
5 which is way above an environmental intake and it
6 is still not compensable, it is not compensable.
7 It is just quick that way, rather than go on through
8 the details of environmental.

9 MR. BARTON: Okay. I guess I was
10 thinking of this in terms of how you assign the
11 occupational portion. My impression was --

12 DR. NETON: Well, if it is
13 environmental intake, it is not an occupational.
14 The person would -- it would already have been
15 decided that he is not going to get an occupational
16 dose.

17 MR. BARTON: Okay. Maybe this is just
18 not applicable to the environmental TBD. But
19 again, if you had an unmonitored worker who was
20 considered a rad worker out at the site post-1992
21 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: He wouldn't get an
2 environmental intake.

3 MR. BARTON: He would be able --
4 OTIB-18, right?

5 DR. NETON: Well, OTIB-18 could be used
6 to overestimate his dose.

7 MR. BARTON: Okay.

8 DR. NETON: OTIB-18 is an
9 overestimating TBD -- TIB. You can say we don't
10 know exactly what this guy's exposure was.
11 Clearly, it was less than what was it, ten percent
12 of the MPC or DAC or whatever it was at that time.

13 MR. BARTON: Well, post-1992 you have
14 to assign occupational dose.

15 DR. NETON: If it is an overestimating
16 technique, you just say it is less than ten percent.
17 You got less than ten percent of the DAC after 1992
18 and if it is not compensable it is okay. If it is
19 compensable under those conditions, you can't use
20 OTIB-18. OTIB-18 can only be used as an efficiency
21 process. It is not used to compensate cases.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: Okay, I guess I am still
2 confused. So, you have a case where you have a rad
3 worker that is not monitored outside of the SEC
4 period --

5 DR. NETON: He cannot be assigned -- he
6 should not be assigned environmental dose. He
7 would be assigned an occupational dose.

8 MR. BARTON: Which it is not a coworker
9 dose, right? There is no coworker model.

10 DR. NETON: No. You would take his
11 bioassay --

12 MR. BARTON: But unmonitored.

13 DR. NETON: All right, we are getting
14 into the post-835 compliance era.

15 MR. BARTON: Yes.

16 DR. NETON: And we are probably going
17 to take that up at the next Board meeting.

18 MR. BARTON: Okay.

19 DR. NETON: We have some approaches
20 that we have developed to deal with this
21 requirement and 835 is everybody that had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potentially received 100 millirem CEDE in a year
2 is required to be monitored.

3 MR. BARTON: Okay.

4 DR. NETON: And if you can demonstrate
5 that they met that compliance requirement, then by
6 definition everybody that wasn't monitored
7 receives less than 100 millirem CEDE.

8 MR. BARTON: Okay.

9 DR. NETON: And we are working ways to
10 deal with that universally --

11 MR. BARTON: Okay.

12 DR. NETON: -- as long as you are in the
13 835 compliance era.

14 MR. BARTON: Okay. Yes, that one was
15 really concerning, that post-835 period. That is
16 why I had the question about it.

17 MEMBER MUNN: I have a question. Give
18 me an example of an individual who is classified
19 as a rad worker but is not badged.

20 MR. BARTON: We're talking internal
21 dose, so it would be not bioassayed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Okay.

2 DR. MAURO: This is John. Just to
3 confirm my understanding, the reason OTIB-18 is
4 bounding is that you actually compare the outcome
5 of this ten percent DAC doses, which are too with
6 the workers that were monitored and you found that
7 they are grossly overestimated. In other words,
8 for the places where you do have data, bioassay data
9 and you can reconstruct the doses on that basis,
10 those doses were always much lower than what you
11 would have gotten if you assumed OTIB-18. That is
12 what makes it bounding.

13 DR. NETON: That would necessarily be
14 true, yes.

15 DR. MAURO: Yes, all I am trying to look
16 for is you chose OTIB-18 because you felt it was
17 a bounding analysis that can be used for the purpose
18 of denial. And we know it is conservative because
19 when you compare the results for places where you
20 do have data, it is always conservative.

21 DR. NETON: Well, this item does refer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to Table 7-1 and -2 of ORAUT 2005 and I'm not sure
2 exactly what that table is. But yes, that
3 calculation would have had to have to have been done
4 for us to use that approach.

5 DR. MAURO: Right. That is all I
6 wanted to confirm.

7 MR. ROLLINS: John, Gene Rollins. I
8 think you need to understand that when you compare
9 OTIB-18 to when you have data, it is typically
10 compared to negative data. We don't use OTIB-18
11 if we have significantly positive data.

12 DR. MAURO: Oh, no, and I understand
13 that. No, I was just looking for the reason you
14 believed OTIB-18 was always going to be bounding
15 as a way of assigning a dose and the reason is when
16 you look at the people where you do have data, it
17 certainly demonstrates that it is a very high dose
18 that you are assigning by using OTIB-18.

19 MR. ROLLINS: For instances where the
20 results are negative or very strong, positive.

21 DR. MAURO: I guess I am a little bit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lost on that. I'm sorry. I didn't quite
2 understand.

3 MR. ROLLINS: We can only use OTIB-18
4 if we have like a plethora of negative data or in
5 certain instances and they are called out in OTIB
6 and they give values, that the processor has to
7 remain below those values to be able to apply
8 OTIB-18 and assure that you are getting a
9 conservative answer.

10 DR. MAURO: Okay.

11 MR. BARTON: Okay, well it sounds like
12 the issue of post-'93 is still in the works. So,
13 I guess my main comment there was that for
14 unmonitored, and so this is not environmental
15 intakes, I thought that the approach I was reading
16 there of using OTIB-18, which may not be the truth
17 anymore, I thought the TBD would benefit from a
18 discussion of why a coworker model was not being
19 used there. And again, it is for the benefit of
20 the claimant or other interested parties looking
21 at it and saying well other sites get coworker

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 models for unmonitored workers. What happened
2 here is really the situation that you only have 2.5
3 percent of those population actually on a routine
4 monitoring program. So that is a very small
5 population.

6 DR. NETON: Oh, just to clarify John's
7 question, I did look up Table 7-2 in TIB-18 and it
8 definitely has values that were evaluated that
9 would indicate that the TIB-18 is an overestimate
10 against whole body counts and exclusion data. So,
11 that was done as part of a TIB-18 exercise.

12 DR. MAURO: Thank you.

13 MR. BARTON: And again, that was meant
14 to be sort of an editorial suggestion.

15 Alright, anyway, moving on to comment
16 3 and this has to do with instructions 4 and 8. And
17 this is a situation where -- instruction is: When
18 OTIB-0018 intakes are assigned, environmental
19 intakes do not need to be assigned but may be
20 assigned for claimant favorability.

21 So, that is the situation where the dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconstructor themselves is making that choice.
2 Obviously, adding on the environmental intakes is
3 going to be claimant-favorable. Not including
4 them is probably still claimant-favorable but,
5 again, it is one of those situations where is this
6 an opportunity to take that decision out of the dose
7 reconstructors' hands so that, again, if you have
8 a bunch of people looking at the same dose
9 reconstruction, we don't have one electing to
10 assign environmental intakes and another one
11 electing not to do it. Does this have significance
12 from a compensation standpoint? No, because we
13 are talking overestimate cases. But again, it is
14 the issue of trying to make these dose
15 reconstructions as consistent as possible so that
16 if we were to look at them, compare cases, we see
17 that the same decision is being made in the same
18 situations.

19 And 8 is very similar to that and also
20 it has very built-in claimant favorability that
21 says if you have no external dosimeter, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assumption should be that the EE is not on site and
2 environmental takes should not be assigned;
3 however, you can assign them for cases that do not
4 require a best estimate.

5 So, those are two of the instructions
6 that kind of leave it out there for the dose
7 reconstructor to do it or not do it, based on
8 probably the individual preferences of the dose
9 reconstructor. And I think that is something that
10 could be shored up.

11 It is not going to make much of a
12 difference but, for example, if you are saying well
13 you can apply environmental intakes if you want to
14 but you don't have to, I mean you can always make
15 it that if it is an underestimate you don't apply
16 it; if it is a best estimate, you do whatever the
17 best estimate methodology is and if it is an
18 overestimate, you apply it. You know something
19 standardized like that to take the decision out of
20 the dose reconstructors' hands, even though it
21 probably doesn't make any difference in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 compensation because we are talking about non-best
2 estimate cases. It is sort of an example of one
3 of those steps that maybe we could standardize even
4 more.

5 Our fourth comment had to do with
6 instructions 5 and 7 and this was the whole
7 employment overlap between Nevada Test Site and the
8 Tonopah Test Range.

9 And I think this probably is just the
10 way it is written but instruction 5 is: If the
11 employment periods at TTR and NTS overlap for less
12 than a year, we will apply the NTS intakes, which
13 is claimant-favorable. They higher than the TTR
14 intakes.

15 And then you get to 7 and it says: If
16 there was only employment at the TTR and no overlap
17 with NTS, then, obviously, we are going to go with
18 TTR. We know they were there.

19 I guess my only question there was the
20 whole notion of if there is an overlap of less than
21 a year, we are going to assign NTS and, I assume,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 although it is not written, if there is overlap of
2 more than a year, NTS would also be assumed as the
3 claimant-favorable assignment.

4 MR. ROLFES: NTS would always result in
5 a higher internal dose than Tonopah.

6 MR. BARTON: Okay. I mean that one is
7 easy.

8 The last comment is the only one that
9 I think really might need some discussion. Let me
10 see if I can find the spot in the report. Just bear
11 with me, folks. I'm getting a little lag here.

12 Okay, in the section of NIOSH's
13 response, and it's titled Path Forward for the
14 Application of Ambient Environmental Intakes at
15 NTS, it says that the environmental intakes should
16 be applied as a constant because they are
17 considered over estimates. And that is not new.
18 That is right out of the TBD. It says that right
19 in there.

20 What is new is the language in here that
21 says if it is a best estimate, we are going to use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ten percent of the environmental intakes and assume
2 its distribution with GSD of three. And that is
3 the first time I had seen that before this White
4 Paper exchange. And we didn't really understand
5 the technical basis of the ten percent and we
6 weren't sure if this is a new sort of programmatic
7 approach. I know that in other situations, we
8 often use a reduction factor for cases where you
9 have job titles and exposure potentials. That is
10 different. For example, sometimes you will have
11 a coworker model where the rad worker will have 95
12 percent of the constant and non-rad workers or
13 partial rad workers would get the 50th percentile
14 in distribution.

15 Or I think there were situations, and
16 John Mauro maybe you can remind me, in the old
17 TBD-6000 methodology where the rad worker got 100
18 percent, the supervisor who was there part of the
19 time got 50, and the secretaries and administrative
20 who really didn't enter that ten percent.

21 So, there were other reduction factors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 used but I have never seen it used based on the type
2 of dose reconstruction.

3 MR. ROLLINS: Let me explain before we
4 get too wrapped around and too technical because
5 there is really not much technical involved here.
6 This is Gene Rollins.

7 The way I came up with the ten percent
8 was pretty simple. If you go back and look at the
9 air concentrations, the maximums to the averages
10 for all the areas, it works out mostly to be less
11 than 20 percent. In other words, the average is
12 less than 20 percent of the maximum. And in the
13 case of plutonium, it is much higher than that,
14 which is what we based everything on initially.

15 So, there is almost a factor of ten
16 there to get from a maximum to an average. And as
17 you know, I used the maximums to come up with these
18 environmental intakes. That is why they are
19 bounding.

20 Now, further, when you go to add in the
21 other radionuclides, there was measurement ---

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 excuse me. So you look at the average, the maximum
2 of the four concentrations and they average between
3 0.21 and 0.63, with an average of about 0.31. So
4 there is another factor of three right there.

5 So, I felt like that just by assuming
6 ten percent to be closer to an average than values
7 that were in the OTIB for bounding was reasonable.
8 And that is all the analysis I did.

9 MR. BARTON: Okay. Well, I am sure you
10 understand my confusion because the original TBD
11 had no such language in it. It was just we are
12 going to assign these environmental intakes as a
13 constant to the workforce. And now we are doing
14 a ten percent reduction and it sounds like it is
15 a reasonable, maybe not exactly quantitative
16 reduction. It is just something I had never seen
17 before and it certainly gave us pause because I mean
18 essentially what you are saying is the real
19 environmental intakes are the ten percent of those
20 derived values in the TBD.

21 MR. ROLLINS: In my opinion, we would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be closer to the average values that we see out
2 there instead of the bounding values that I
3 included in my calculations.

4 MR. BARTON: I'm not sure I have any
5 more to comment on that. Again, it gave me pause
6 that the reduction factor, which what I am hearing
7 is it is not a reduction factor, that is the true
8 value that NIOSH believes should be used. So, it
9 is not a reduction factor and it is not based on
10 the type of dose reconstruction, per se. It is
11 what you are saying is that what is in the TBD now
12 is only for overestimates.

13 MR. ROLLINS: Correct. And it is
14 stated as such, that it is a bounding estimate.
15 And so this came about when we had to do a best
16 estimate and we had some discussions about this and
17 I went back and looked at the original data. And
18 based on observation, what I just told you, the
19 differences between the soil concentrations maxed
20 then -- maxed average and air concentrations maxed
21 average, the ten percent seems a reasonable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assumption to reduce those maximum values is why
2 I came up with the best estimate.

3 MEMBER MUNN: More accurate data, more
4 accurate assessment.

5 MR. ROLLINS: Excuse me, Gen. I
6 couldn't understand you.

7 MEMBER MUNN: I just said it is a more
8 accurate assessment.

9 MR. ROLLINS: I think it is more
10 reasonable for a best estimate.

11 MEMBER MUNN: Yes.

12 MR. BARTON: Well, like I said, it was
13 certainly new to us and I wanted to bring it to the
14 Work Group's attention in case they had questions
15 about it. I'm not sure if there is anything more
16 that should be done about it. Maybe I guess a more
17 analytical response, beyond what Gene just
18 provided, to sort of justify the ten percent
19 because I mean it kind of -- it might be a reasonable
20 number. It is just we didn't know where it came
21 from and, again, we had never seen a reduction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 factor used for -- based on the type of dose
2 reconstruction. But like you are saying, that is
3 not what is happening. You are saying that were
4 the TBD to be revised today, it would say assigned
5 ten percent as a distribution for best estimates
6 and then if it is not a best estimate, we are going
7 to assign the original environmental intakes.

8 MR. ROLLINS: That would be my
9 recommendation.

10 CHAIR CLAWSON: So, if I follow this
11 right, the reason why we went down to the 90 is
12 because the original was a best estimate.
13 Correct?

14 MR. ROLLINS: The original was a
15 bounding. What is in the TBD now is bounding.

16 CHAIR CLAWSON: Okay and you reduced it
17 by ten percent why?

18 MR. ROLLINS: The justification for
19 that was going back and looking at the raw data,
20 the empirical data --

21 CHAIR CLAWSON: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ROLLINS: -- and comparing the
2 maximum values, which I used in my calculations to
3 the average values.

4 CHAIR CLAWSON: Okay, now I
5 understand.

6 MR. BARTON: The reduction of 90
7 percent. They are using ten percent of the value.

8 MR. ROLLINS: We got a reduction of 20
9 percent when you just look at the air
10 concentrations and then you get another reduction
11 of a factor of three when you look at the maximum
12 to average soil concentrations when you enter the
13 other radionuclides back in.

14 CHAIR CLAWSON: Okay.

15 MR. ROLLINS: We put those two together
16 and I came up with ten, which I think is still too
17 high but I think it is reasonable.

18 CHAIR CLAWSON: Okay.

19 DR. NETON: And you also have the GSD
20 of three built in there, which does not apply to
21 the bounding value.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ROLLINS: That is correct.

2 DR. NETON: The standard deviation is
3 three, which would put the 95th percentile
4 somewhere around six times that.

5 CHAIR CLAWSON: Okay.

6 DR. NETON: So, when you are sampling
7 it and the compensation is the 99th percentile, it
8 is going to use more than just the ten percent. It
9 is going to sample that distribution up to the 95th
10 percentile around 60 percent, I think.

11 MR. BARTON: Okay. This is a
12 site-specific approach. This isn't something
13 that is programmatic.

14 MR. ROLLINS: Correct.

15 CHAIR CLAWSON: No, and this is
16 something we found out. Each one of these sites
17 are unique in their own aspects. And Nevada Test
18 Site has been one of those sites. There is not that
19 many places you go and blow stuff up.

20 MR. BARTON: Thankfully.

21 CHAIR CLAWSON: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: Okay. Well, then the
2 reason I brought it up is we hadn't seen it before
3 and it kind of was kind of included in the response
4 about consistency. So, we weren't sure where that
5 came from and NIOSH has provided their explanation.
6 I don't know if there is anything more the Work
7 Group wants to say about it.

8 CHAIR CLAWSON: No, I am understanding
9 why we got that. I was looking at it and I and I
10 am just saying that with being everybody explaining
11 to me, I now see the picture.

12 MR. BARTON: Well those were the five
13 comments. And I guess in summary, and I kind of
14 saved my summary paragraph, I think this is exactly
15 the type of thing we were looking for where to
16 standardize what we can standardize within reason
17 so that, again, the goal is if you have a bunch of
18 different dose reconstructors looking at the same
19 case, they would all evaluate it the same way. So,
20 I really appreciate the response here by NIOSH.

21 CHAIR CLAWSON: Well also, too, with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Ron and myself where we sit on the Dose
2 Reconstruction Work Group, this is something that
3 we deal with every time and that is getting it to
4 where we can actually -- SC&A or whoever else could
5 come in and take a dose reconstruction and have
6 enough information in there to be able to
7 understand and go through the process. And that
8 is a continuing thing.

9 MEMBER MUNN: Without completely
10 removing the discretion of appropriately trained
11 professionals to do their job.

12 MR. BARTON: Right. There are certain
13 things that just can't be dictated by a proceeding.
14 I understand that.

15 MEMBER MUNN: Absolutely.

16 MR. BARTON: That is all I had on
17 comment 8.

18 CHAIR CLAWSON: Okay.

19 MR. KATZ: So now we go to the rest of
20 the matrix.

21 CHAIR CLAWSON: Did you have anything

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 else?

2 DR. ANSPAUGH: No, that was it for the
3 issues that were before us related to resuspension
4 or environmental/occupational dose
5 reconstruction.

6 CHAIR CLAWSON: Okay. Okay, so I
7 think we only closed one in the matrix, right?

8 MR. BARTON: There was a number of them
9 that were kind of cascaded from the other issues.

10 CHAIR CLAWSON: That is what I was --

11 MR. BARTON: There is still some
12 technical things to work out.

13 MR. KATZ: We closed a couple.

14 MEMBER MUNN: Yes, we definitely
15 closed 13.

16 CHAIR CLAWSON: So where are we at on
17 the number one issue, the Nevada Test Site matrix?
18 Do we agree workers -- I'm trying to see if we have
19 got a --

20 MR. KATZ: Are you working off of the
21 SC&A matrix or both that and the NIOSH?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ROLFES: There is three matrices
2 out there.

3 There is an SC&A matrix that was
4 recently sent. There is also the resuspension
5 model matrix that had the eight comments. And then
6 there is the original bigger matrix that is out
7 there.

8 MEMBER MUNN: I appreciate Ted's
9 suggestion that they be combined.

10 MR. ROLFES: Yes.

11 CHAIR CLAWSON: I have the one that is
12 dated 1/29/2016. Do we have a --

13 MEMBER MUNN: I am working off Arjun's.

14 DR. ANSPAUGH: That is only related to
15 resuspension. So, that is a small subset.

16 MEMBER MUNN: Yes, it is.

17 CHAIR CLAWSON: Okay, who wants to
18 start working through the --

19 MEMBER MUNN: It seems to me that the
20 one Arjun sent out on the 29th was, at least,
21 recently up to date.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes, except it lacks the
2 most recent upgrade.

3 MR. ROLFES: I don't think SC&A's
4 matrix contains the response that NIOSH provided
5 on May 15, 2015.

6 MR. KATZ: So you are going to have to
7 work with both those matrices to go through this.

8 DR. MAKHIJANI: This is Arjun. Might
9 I suggest that -- I guess we are now below item 7,
10 after Bob and Lynn's discussion. And a number of
11 items are closed. If we could go through them in
12 order, ones that are closed. And I would suggest
13 for the ones that are open, we should work from the
14 NIOSH 2016.

15 MR. KATZ: Right, that sounds good.

16 DR. MAKHIJANI: So that way, we kind of
17 go in order and also address the open issues.

18 MR. KATZ: Right. Arjun, that sounds
19 like a good plan.

20 MEMBER MUNN: I had a hard time getting
21 to the --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR CLAWSON: Okay, so you can take
2 care of that, Arjun?

3 **Review of Closed and Remaining Open Items**

4 DR. MAKHIJANI: Sure, Brad.

5 So, starting with item 8, so what I had
6 done is I went through the meeting transcript and
7 the previous materials to come up with this. And
8 of course, the Working Group should endorse, if
9 they see fit, these comments.

10 So, item 8 about 1967 external dose data
11 was closed and that was discussed in December of
12 2014. And I think NIOSH agreed with that.

13 DR. NETON: So which matrix are we
14 working from?

15 MR. KATZ: Arjun, one second. So, we
16 are working from Arjun's matrix but we will refer
17 to the latest NIOSH responses for items that are
18 not already closed.

19 MR. ROLFES: We started at 8 on the SC&A
20 matrix, the memo there.

21 MR. KATZ: The full matrix, not the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 resuspension matrix.

2 Okay, is everybody -- okay. Sorry,
3 Arjun. Go ahead.

4 DR. MAKHIJANI: Yes. So, according to
5 the December 2014 transcript, the issue was closed.
6 We haven't formally closed it in the matrix. So,
7 that is the reason for the comment. So, maybe the
8 Work Group can indicate whether it should be
9 formally closed.

10 MR. KATZ: So, Arjun, when you say that
11 you closed it and if the Work Group closed it in
12 2014, then it is closed.

13 DR. MAKHIJANI: That's right. You are
14 right. The Work Group did close it and that is the
15 reason for the comment.

16 MR. KATZ: Yes, so it is closed.

17 DR. MAKHIJANI: Okay.

18 So, number 9 is similarly closed from
19 the Work Group transcript and discussion.

20 So, number 10 is pending some action by
21 NIOSH regarding pre-1963 external environmental

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doses. I think we covered this earlier.

2 CHAIR CLAWSON: Wasn't that discussed
3 today?

4 MR. KATZ: Yes.

5 MR. BARTON: Well this says it is
6 external. I know we covered internal.

7 DR. MAKHIJANI: External
8 environmental doses.

9 Yes, so as I read the transcript, and
10 as I read, going to the NIOSH 2015 matrix update,
11 that NIOSH is going to revise the TBD according to
12 the discussion that was held in 2014, December.

13 MR. ROLFES: Yes, and our response
14 indicates that we are going to add a missed dose
15 into the coworker doses in the TBD when we revise
16 the external dose TBD. I guess the coworker doses
17 currently don't have missed dose incorporated into
18 them.

19 DR. NETON: So this is environmental
20 dose. Is it environmental doses?

21 MR. KATZ: External.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: It was the external
2 environmental dose.

3 DR. MAKHIJANI: I think the missed dose
4 should solve the problem, in my opinion. But it
5 is for the Work Group to --

6 DR. NETON: Okay. Well then this one
7 would essentially become an abeyance if the Work
8 Group agreed to our path forward but we have to
9 revise a TBD.

10 MR. KATZ: Right.

11 DR. NETON: So mark that one in
12 abeyance.

13 MR. KATZ: Right. Yes, so that is a
14 current response and the Work Group agrees.

15 CHAIR CLAWSON: We agreed to --

16 MR. KATZ: Well, you already did that
17 --

18 CHAIR CLAWSON: I thought we did.

19 MR. KATZ: -- in '14.

20 MR. BARTON: You know is this really an
21 environmental dose? I mean after 1957, everybody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was badged at the site, right?

2 MR. ROLFES: Right.

3 MR. BARTON: So we would really be
4 looking at unbadged people who probably should have
5 been badged.

6 MR. ROLFES: Right.

7 MR. BARTON: So that would be
8 unmonitored occupational, really, right? I don't
9 want to pick the nit here but I think that we were
10 talking about occupational doses at this point,
11 right?

12 MR. ROLFES: The original issue says
13 external environmental dose but in our response we
14 have indicated external dose.

15 DR. MAKHIJANI: Okay, and I think that
16 is actually correct because it is the external dose
17 TBD that needs to be fixed.

18 MR. BARTON: Right, we are talking
19 about coworker models.

20 CHAIR CLAWSON: Okay.

21 MR. BARTON: I think it is just the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 semantics of how it is written.

2 MR. KATZ: Alright. So, we'll close
3 that one. The TBD is updated. Is that on
4 schedule?

5 MR. ROLFES: Not that I am aware of,
6 just because everything -- we hadn't received any
7 kind of response.

8 MR. KATZ: Yes, of course. That
9 wasn't a leading question. Okay, thanks.

10 Go ahead, Arjun.

11 DR. MAKHIJANI: Yes, so item 11 was
12 open and NIOSH was to provide the basis for the
13 beta/gamma ratio of 1.04. NIOSH yesterday, day
14 before yesterday, sent a spreadsheet. I've only
15 had the briefest chance to look at it. It seemed
16 to change this ratio slightly and provide the basis
17 for that.

18 MR. BARTON: Arjun, NIOSH has a
19 response in their matrix which I think it would
20 probably be appropriate to have them --

21 DR. MAKHIJANI: Yes, right. I agree.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We should go to NIOSH's matrix now, since this is
2 an open item.

3 MR. ROLFES: Okay, I believe the
4 reference that you are referring to, Arjun, was
5 sent out about a year and a half ago, along with
6 the updated issues matrix from May 15, 2015.

7 DR. MAKHIJANI: And you sent the
8 spreadsheet recently, right?

9 MR. ROLFES: No.

10 DR. MAKHIJANI: Or am I mistaken about
11 that?

12 MR. ROLFES: No, that was sent in 2015,
13 May of 2015.

14 DR. MAKHIJANI: Oh, okay, sorry about
15 that.

16 MR. ROLFES: Let's see. I will have to
17 look back at our response here. Let's see. I can
18 go through our response. It says the issues
19 regarding correction factors for skin dose are
20 addressed by using the beta/gamma methodology
21 summarized in Section 6.4.2.1 and discussed in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 detail in Attachment C of the Nevada Test Site
2 occupational external dose TBD. We go on. I
3 won't read the rest of that.

4 We had searched through several
5 original files to derive a beta/gamma ratio of 1.04
6 to 1 originally and that original file could not
7 be located. NTS data from 1966 to 1986 was
8 reanalyzed using current EEOICPA data files and a
9 value geometric mean of 1.16 with a GSD of 2.15 and
10 a 95th percentile value of 4.09 was derived from
11 the data.

12 The published value had a GSD of 2.41
13 and a 95th percentile value of 4.59. The change
14 in the current value compared to the published
15 values is due to the additional claim data
16 available for analysis at this time.

17 Gene, did you want to add anything? I
18 don't need to read everything that we have added
19 here verbatim. Is there anything that you have to
20 add or are there questions about the reanalysis of
21 the beta/gamma ratios?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: I had a question about
2 the spreadsheet. This is Arjun. You have dates
3 in the spreadsheet that said date of claim year.
4 Is that -- I don't understand what claim year refers
5 to.

6 MR. ROLFES: Claim year?

7 DR. MAKHIJANI: Yes, that is not the
8 claim made under EEOICPA.

9 MR. BARTON: Yes, Arjun, it is.

10 DR. MAKHIJANI: It says 1966.

11 MR. BARTON: Right and then there is a
12 claim number next to it, which I won't read out but
13 --

14 DR. MAKHIJANI: Yes.

15 MR. BARTON: -- what seemed to have
16 happened was --

17 DR. MAKHIJANI: What is the date? It
18 can't be 1966 for the claim.

19 MR. ROLFES: That was the year that the
20 dosimetry was recorded.

21 DR. MAKHIJANI: Oh, okay. Alright.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, that clears that up.

2 I had a question, though. One of the
3 items that we discussed in December 2014 was the
4 significant difference between these ratios and
5 the ratios that show up in the Hicks tables and the
6 reason for the difference, which I didn't see
7 discussed.

8 MR. ROLFES: The difference between
9 recorded dosimetry data and Hicks data?

10 DR. MAKHIJANI: Yes.

11 MR. ROLFES: The dosimetry data, which
12 are directly used for dose reconstruction, not
13 calculated values.

14 DR. MAKHIJANI: Yes, I mean Hicks data
15 are representative, I presume, of environmental
16 dose, which is what this was about.

17 MR. BARTON: Yes, at the last meeting,
18 if you go through the transcript, there was a pretty
19 interesting conversation about it. Because if you
20 look at the Hicks data, which was actually provided
21 in an Appendix, I believe --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: Yes.

2 MR. BARTON: -- the ratios were quite
3 high compared to close to unity. I mean we are
4 talking six, seven, eight. And what Hicks had come
5 up with for beta/gamma ratios, and of course it is
6 always better to use the actual empirical data than
7 some sort of construct, but I think the Work Group
8 is certainly curious why we would have such a large
9 discrepancy between what he was predicting and what
10 we were seeing based on the original analysis,
11 which had a ratio of 1.04 and the newer one which
12 is 1.16.

13 So, that was part of the discussion last
14 time. And I think Arjun is correct. I don't think
15 it really addresses it here. Like I said, it is
16 always better to use empirical data.

17 I think we were curious why -- maybe it
18 is just an academic issue but why would we see such
19 large differences between those Hicks numbers
20 which are in the TBD and just I don't think they
21 are being used.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: If I might just
2 supplement Bob's comment -- this is Arjun -- is that
3 these doses which are in the spreadsheet are the
4 badge doses, ratios derived from badge doses, which
5 are integrated for all kinds of exposure. And I
6 think the Hicks tables are explicit for
7 environmental dose, if I am understanding the
8 situation correctly.

9 And for addressing environmental dose,
10 the question is shouldn't that be applied. Aren't
11 the Hicks tables relative?

12 DR. ANSPAUGH: Well, the Hicks tables
13 don't directly have beta to gamma ratios. It would
14 take some appreciable manipulation of Hicks to get
15 ratios like that. And I guess it depends whether
16 the refractories were added back in or not.

17 DR. MAKHIJANI: Well, Lynn, my
18 understanding is that NIOSH did have them back in
19 for the discussion that we have just had.

20 DR. ANSPAUGH: Well, yes, at least for
21 the material we were discussing before. But in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 terms of what the Hicks ratios may have been
2 derived, like I say, it would take some
3 manipulation. And I am not sure which version of
4 the tables were manipulated.

5 MR. BARTON: I can say that the Hicks
6 data that we are looking at is in Attachment C, page
7 118 of the NTS external TBD. And just looking at
8 some of the values, I mean it can get as high as
9 almost 60. And so that is why we are certainly
10 curious as to why we would be seeing such large
11 beta/photon ratios in these predictive tables
12 admittedly and not in the actual badges.

13 MEMBER MUNN: I may be missing a
14 salient point. Time dependency?

15 DR. MAURO: This is John. If I recall
16 correctly, the Hicks tables give you picocuries or
17 becquerels per meter squared, the numbers. And if
18 you are looking to say let's take that value and
19 convert that to what the field would be in terms
20 of photon and beta, you are going to have -- it is
21 going to be difficult to do because of the vertical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 distribution of the radionuclides.

2 And Lynn, am I correct about that?

3 DR. ANSPAUGH: Well, the Hicks tables
4 do assume some partial shielding by the soil, yes.

5 DR. MAURO: Oh, okay, so that is built
6 in. My recollection of the Hicks tables is the mR
7 per hour that you would have at a given point in
8 time is what is measured and from there, you could
9 go and figure out what the becquerels per meter
10 squared is.

11 But you are saying that you could also
12 get picocuries per gram as a vertical and,
13 therefore, somehow get to what the ratio of beta
14 to gamma might be.

15 I'm just trying to follow the logic
16 sequence here of the relevance and applicability
17 of the Hicks tables to getting this ratio. I am
18 having a little trouble with that.

19 MR. ROLLINS: John, if I may make a
20 comment. Gene Rollins here.

21 I think what we are seeing is layering.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think some of the beta particles are being
2 shielded by soil that has been deposited on top of
3 it. But that is the only thing I can think of.

4 DR. MAURO: But that is where I am
5 coming from.

6 By the way, I think there were -- are
7 we working from the -- I think there was a matrix
8 dated mid-2015 that we are working through because
9 I don't see it on the screen.

10 MR. ROLFES: Correct.

11 MR. KATZ: That's right, John.

12 DR. MAURO: And is it something that
13 cannot be put up on the screen? It would be a
14 little easier to follow the discussion. Because
15 I know I read through that and the description that
16 we just heard of the rationale and the curves, the
17 data, which was a spreadsheet, was in a couple of
18 files that I looked at over the last day or two.
19 And it is not here in front of me on the screen.
20 Is there any reason why we can't put that up? It
21 might be helpful to everyone if that was in front

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of us.

2 MR. KATZ: There is no reason not to put
3 it up. It is just that we will be bouncing between
4 two matrices.

5 DR. MAURO: Oh, okay. I thought we
6 were in the 2015.

7 MR. KATZ: Well, we are going through
8 -- we are for this item. But in general, we are
9 running through Arjun's matrix.

10 DR. MAURO: Oh, okay.

11 MR. KATZ: Where needed, we are going
12 to this one. So, if Bob can handle it, that's fine.

13 DR. MAKHIJANI: This is Arjun. I am
14 looking at the third revision of the external dose
15 TBD in Appendix C that Bob Barton just talked about.
16 And there is a Figure C-2 there, which shows the
17 calculated beta/photon ratios for skin and then
18 there are also immersion calculated beta/photon
19 ratios.

20 And Lynn is right, these had to be
21 calculated and the reference given here is 2006.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And all of these values, they are time dependent,
2 I think as Wanda just said, if I recognized the
3 voice correctly, but they don't necessarily go down
4 with time.

5 The ratios for skin actually vary in the
6 first hundred days quite a bit and then they seem
7 to go up and then go down. So, it is quite a
8 complicated time evolution.

9 And similarly -- yes, so there is
10 nuclear rockets, too, but that is not in the present
11 discussion.

12 MR. BARTON: A question. It appears
13 that these are based on the annual, the ratios that
14 have come off the dosimeters, they are annual. Are
15 they annual average ratios?

16 MR. ROLFES: I believe it was an
17 annual. Let's see. Yes, it was per year, by year.

18 MR. BARTON: And this is everybody who
19 had a positive beta and gamma reading?

20 MR. ROLFES: Yes.

21 MR. BARTON: I imagine you couldn't do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it month by month if you have so many detection
2 limit, you need positive results to actually get
3 a ratio.

4 DR. MAKHIJANI: So could that be part
5 of the explanation that maybe there are situations
6 in which the beta dose is high but the gamma dose
7 is below the detection limit and so when you take
8 the badge data where both are positive, you get
9 smaller ratios than calculated when all the
10 radionuclides are taken into account on a time
11 evolution basis?

12 MR. BARTON: That was one explanation.
13 I think when we came out of that meeting, we were
14 all kind of curious and are hoping to have a clearer
15 explanation. I'm not sure we do have one. Good
16 theories. I'm not sure.

17 DR. MAKHIJANI: Yes, obviously, what I
18 am saying is just a theory.

19 MR. KATZ: What is the path forward
20 here?

21 CHAIR CLAWSON: Yes, what is the path

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 forward?

2 DR. NETON: How large are these
3 environmental doses that we are assigning?

4 MR. ROLFES: The environmental doses,
5 I don't recall that there is any elevated external
6 environmental doses being assigned. Gene, is that
7 correct? Gene?

8 DR. NETON: He might be on mute.

9 MR. BARTON: Could this be a situation
10 where environmental is not the right word again?

11 DR. NETON: That is what I am
12 wondering.

13 MR. BARTON: Because I mean if you
14 don't have a dosimeter then actually you are
15 getting environmental dose.

16 MR. ROLFES: Right. Essentially,
17 everybody entering the site is going to be badged
18 for external dosimetry, to be assigned.

19 MR. BARTON: So are these beta/photon
20 ratios, though, I mean are they applicable to the
21 actual badge readings? Because I don't think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 every badge had the open window element.

2 MR. ROLFES: I think the issue was
3 prior to 1966, when they weren't reporting beta
4 doses or only in certain situations, I believe.

5 And so what we did is analyze the 1966
6 through 1986 data, where individuals had positive
7 recorded external dose for both photons and beta
8 to develop a ratio that can be used prior to the
9 time period when beta doses were reported.

10 MR. BARTON: And we looked at this data
11 in-depth. Wasn't the analysis done to see if
12 certain -- because we essentially end up with one
13 number for that entire period and we are also
14 looking at claimants. So, I don't know, we might
15 have some claimants who have 40 comparative results
16 and you might have some that only have one.

17 Again, we have, unfortunately, did not
18 have a chance to really delve into this data set
19 prior to this meeting. And I am wondering because
20 that could be a way that it might be biased towards
21 certain people doing certain jobs that had a lot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of data versus someone who only had maybe one data
2 point because they were only employed in 1966 or
3 something along those lines.

4 DR. NETON: Was it their annual
5 beta/gamma?

6 MR. BARTON: Yes, annual.

7 DR. NETON: Is it 1.1 for every year or
8 does it vary by year?

9 MR. ROLFES: It was done as a summary
10 of all the data from 1966 through 1986.

11 DR. NETON: Okay.

12 MR. ROLFES: We developed a
13 minimum/maximum and we have developed a
14 distribution.

15 DR. NETON: And you assigned the
16 distribution?

17 MR. ROLFES: Let's see. Yes, the
18 published value had a GSD of 2.41 and a 95th
19 percentile of 4.59.

20 DR. NETON: I guess my question is are
21 we really talking about environmental dose here or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are we talking about occupational?

2 MR. ROLFES: This is essentially
3 occupational external dose but it is under the
4 common text of initially environmental.

5 DR. NETON: And I guess, Gene, are you
6 still on the phone?

7 MEMBER MUNN: I think we may have lost
8 Gene.

9 MR. ROLLINS: No, I'm here.

10 DR. NETON: I guess the question we had
11 is how are we assigning -- what kind of
12 environmental doses are we assigning in this early
13 period before 1968?

14 MR. ROLFES: Yes, Gene, this is Mark.

15 MR. ROLLINS: Are you asking are we
16 assigning beta dose to that?

17 DR. NETON: Well, environmental, yes.

18 MR. ROLLINS: I guess we would
19 typically -- currently, we are not doing that, no,
20 if there is strictly gamma doses that are being
21 assigned.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: And how large are the gamma
2 doses that were assigned?

3 MR. ROLLINS: I would have to go look
4 it up but they are not huge.

5 DR. NETON: That's what I thought.

6 MR. ROLLINS: They are in the 25,000 to
7 30,000 millirem per year range.

8 DR. NETON: Alright.

9 MEMBER MUNN: And you are certainly not
10 anticipating that beta doses will be higher.

11 DR. MAKHIJANI: Well, that is the
12 debate. So, in the period where beta doses were
13 not measured, as Mark was just indicating, if we
14 are going to go with a beta/gamma ratio what should
15 the dose assignment be. And I think Jim Neton is
16 right that this is the overall assignment of a beta
17 dose and not just environmental dose in a pre-1967
18 period.

19 MR. ROLLINS: That's correct. And as
20 we pointed out before, the ratio that we are
21 currently using of 1.04 was based on a series of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 empirical measurements with film badges.

2 MR. BARTON: Which has changed,
3 though, to 1.16 after it was reanalyzed.

4 I'm just looking at the data here and
5 for annual doses, at least in 1966, most of them
6 are in the hundreds of millirem, one at 1.9 rem.
7 And I don't know if it goes down to the years
8 subsequent.

9 DR. NETON: Those are occupational
10 doses, though.

11 MR. BARTON: Yes. Yes, well I mean if
12 everybody as a film badge entering the site, I'm
13 not sure we have. I mean this is what we discussed
14 before.

15 DR. NETON: Okay, well, that is --
16 (Simultaneous speaking.)

17 MEMBER MUNN: More than inclusive.

18 MR. ROLLINS: Because they are badged.
19 We don't assign environmental or external because
20 they are all badged.

21 DR. NETON: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: But prior to 1967, they
2 didn't have the open window measurements.

3 MR. ROLLINS: That's correct.

4 MR. BARTON: So there is no measurement
5 of air.

6 MR. ROLLINS: So we put out a
7 beta/gamma ratio.

8 DR. NETON: Right. This is actually
9 for all badged workers. I mean so I think we are
10 okay.

11 MR. BARTON: Yes, if we change it to
12 occupational.

13 DR. NETON: Yes, it is all badged
14 workers, period. It seems they were all
15 occupationally-measured doses.

16 DR. MAKHIJANI: Yes, I agree with Jim
17 and Gene. So, the question is is the number
18 calculated from badges that have both photon and
19 beta measurements after 1967 the right number to
20 use? Because the gamma doses in most badges were
21 zero, right, as I understand the history? And so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we don't know what the beta doses in those
2 situations would be, especially in the early months
3 after a test. And so since we are talking about
4 that specific period before 1967, I think some kind
5 of idea as to why the Hicks tables numbers should
6 not be used on the theory that there might be
7 significant beta doses when the badge registered
8 no gamma dose.

9 DR. MAURO: This is John. Just to get
10 a little orientation here, the important point that
11 we are talking about here is all of the worker --
12 we are talking skin dose. And since skin does is
13 not covered, it is essential that we are trying to
14 assign a dose to workers with skin doses during the
15 covered period that would normally not be
16 compensated for skin cancer.

17 So, getting it right regarding the dose
18 to the skin from beta is going to be very important.
19 And I think the essence of the discussion here is
20 do we use the empirical data to assign skin dose
21 that you have available to you, which might be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 limited. I know you had that graph and spreadsheet
2 that was very useful and you actually list all of
3 the measurements by year of the kinds of data you
4 have and you come up with your ratios and your 95th
5 percentiles.

6 Or is there reason to believe that that
7 curve or that data set is really not representative
8 and you would get, of course, a substantially
9 different result and, I believe, a much higher beta
10 dose -- correct me if I am wrong -- if you went with
11 the Hicks tables?

12 So what I am trying to do right now is
13 just understand what the issue is we are struggling
14 with and I think that -- I'm trying to characterize
15 that so we are all thinking about it the same way.
16 Did I describe our conversation appropriately, the
17 context of what we are discussing and why we are
18 discussing it?

19 MEMBER MUNN: I think so. I would like
20 to know the magnitude of exposure that we are
21 talking about. Are we talking about single digit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 millirem?

2 DR. MAKHIJANI: Well, if Bob Barton is
3 right from the numbers that he just quoted --

4 MR. BARTON: I'm going to throw it up
5 right now.

6 DR. MAKHIJANI: -- we are talking about
7 non-SEC cancers. This could be very important for
8 skin cancer.

9 MR. BARTON: Okay, I don't know if
10 everybody can see this spreadsheet up on the Skype
11 meeting.

12 DR. MAURO: I have it in front of me.

13 MR. BARTON: Yes, so Column E is your
14 gamma dose and Column D is your beta dose. And then
15 you see it is just a simple ratio. And the maximum
16 observed was a little over 18 in the empirical data.

17 DR. MAURO: Right now on my screen I
18 have the matrix but not the spreadsheet.

19 MR. BARTON: Okay, one moment.

20 DR. MAKHIJANI: Do you want to put the
21 spreadsheet up?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes, Bob is doing that.

2 DR. MAKHIJANI: It has the claim
3 numbers on it.

4 DR. MAURO: Yes.

5 MR. KATZ: That's okay, Arjun. That's
6 okay. This is just for us internally anyway, this
7 screen.

8 DR. MAKHIJANI: Okay. Alright.

9 MR. ROLFES: In dose reconstruction,
10 the first thing that we would use is the empirical
11 data, rather than a calculated model. I mean the
12 dosimetry is always the most important thing for
13 us to consider, the very first step in a dose
14 reconstruction.

15 DR. MAURO: Mark, this is John. I
16 agree with you. I think the heart of the matter
17 is that we do need to have confidence that the
18 empirical data that you have tabulated here is
19 complete, reliable, and representative. And, as
20 a result, represents the best information
21 available, as opposed to, if that is the case, then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 defaulting to what I would consider to be a
2 surrogate approach, which would be using the Hicks
3 tables, which would require, as I understand it,
4 quite an elaborate evaluation, given your starting
5 point is picocuries per meter squared. And the
6 issue that Gene mentioned earlier regarding the
7 degree to which the data might be shielded or not
8 shielded -- anyway, what I am getting to is that
9 I would argue that the issue before us is do we
10 believe this data set is an adequate set of data
11 to build a coworker model for assigning beta dose
12 based on observed gamma dose. I think that is what
13 we are talking about here.

14 DR. MAKHIJANI: John, that is what we
15 are talking about but it is not a question of
16 measured data versus some hypothetical. It is a
17 question of what does the measured data beta/gamma
18 ratios represent when the vast majority of recorded
19 gamma doses were zero or below detection limit.

20 DR. MAURO: Oh.

21 DR. MAKHIJANI: And so whatever the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 beta dose might have been at the time is not
2 included in this calculation.

3 And so, I think that is why we are --
4 if the majority of badges had positive gamma doses,
5 then it would be a completely different situation
6 but, if I remember correctly from past discussions,
7 well over 90 percent and maybe over 95 percent of
8 the badges recorded zero gamma dose. And so we
9 have got the majority of badges that are not
10 represented in this ratio calculation.

11 MEMBER MUNN: And it is being
12 postulated that in that scenario you may have beta
13 doses which are significant enough to affect dose
14 reconstruction. Is that right?

15 DR. MAKHIJANI: It is simply we have
16 very different numbers from the Hicks tables which
17 are, after all, based on some very serious
18 scientific work that was done at the time to analyze
19 the testing with actual data from the weapons or
20 the devices that were being tested. So, it is not
21 an arbitrary set of numbers versus measurements.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so the question is when most of the
2 badges, the vast majority of the badges are not
3 represented in this particular ratio calculation
4 in the spreadsheet, is it preferable, especially
5 when it would be more claimant favorable to use a
6 number the Hicks tables compared to this particular
7 ratio.

8 DR. MAURO: Well, Arjun, I would like
9 to add a little to that. My recollection is that
10 I did a lot of work in the Marshall Islands and
11 exposure to people at Rongelap. And if I recall,
12 the beta doses were very, very high relative to a
13 gamma dose in the shorter time periods. I may have
14 flubbed it, I don't know.

15 DR. MAKHIJANI: No, no, I think you are
16 right. The Marshall Islands data do indicate a
17 very high beta, Karl Morgan's measurements at
18 Bikini indicated there indicated very high
19 beta/gamma ratios, obviously, in the period
20 immediately after the test. Sometimes, if memory
21 serves me right, running into the hundreds.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Yes, and I remember using
2 a factor of ten.

3 Now the reason why this is relevant, and
4 I am just trying to help to get clarity in thinking
5 about the problem, so if we have a data set for
6 measurements made at NTS for gamma and a large
7 fraction of them are zero, can an argument be made
8 that there could be a substantial beta dose there
9 that we are missing? In other words, is it
10 appropriate? Is the presumption here that if the
11 gamma dose is not detected, that it is likely that
12 there is very little, if any, beta dose? And I am
13 sure -- and it is not apparent to me that that is
14 fairly the case.

15 DR. NETON: Well, I'm looking at the
16 data in -- I don't see the whole spreadsheet but
17 their 1966 doesn't seem to demonstrate that. We
18 have in 1996 -- 1966, a large number of badges that
19 had a lot of beta activity -- beta dose and no gamma
20 dose. I don't think that is true.

21 What changed between 1965 and '66, '64,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 '66?

2 MR. BARTON: Would it be helpful to the
3 Work Group to see what the Hicks numbers actually
4 are?

5 DR. NETON: I have seen those recently.
6 They are high.

7 MR. BARTON: They are very high.

8 DR. NETON: I'm saying though in '66,
9 the empirical data doesn't support John's argument
10 that there is a substantial portion of beta dose
11 and no gamma, associated gamma dose. At least in
12 '66, that is not true.

13 DR. MAKHIJANI: How do you conclude
14 that from this table, Jim?

15 DR. NETON: I'm just looking at --
16 well, given that the ratios are around one, I don't
17 know how -- I don't see. Do we have a huge amount
18 of beta with no gamma? That would be tremendously
19 high beta/gamma ratios. And I don't see that. I
20 don't have the whole table in front of me but that
21 is my question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: Well, that is the
2 question. That is obviously the question.

3 DR. NETON: Is that supported by the
4 1966 data? No.

5 MEMBER MUNN: Well --

6 DR. NETON: There is virtually no
7 measurements there that don't have --

8 MR. BARTON: There wouldn't be any
9 zeros in the --

10 DR. MAKHIJANI: Yes, this table does
11 not include any measurements where the gamma was
12 zero. That is the whole point I am trying to make.

13 MEMBER MUNN: And what I am asking is
14 so how many of them are there, since we do not have
15 -- we have significant numbers here.

16 DR. NETON: Well, yes, if you look at
17 the ones that the gammas are zero, what are the
18 betas? That is the question.

19 MEMBER MUNN: Exactly.

20 DR. MAKHIJANI: That is the question.

21 And we know both from tables and from the Marshall

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Islands that beta/gamma ratios are -- were often
2 much, much higher than one. The analysis of an
3 Appendix C of the TBD also shows the same results.
4 And you know what was done by Eckermann in 2006.
5 The data from Marshall Islands also show the same
6 thing.

7 Now, it is obviously sketchy data but
8 the question is, in that context, given the
9 importance of skin cancer, what is the
10 claimant-favorable approach to use? Is it this
11 approach or the numbers derived from or maybe one
12 ratio based on the Hicks tables shown in Appendix
13 C?

14 DR. NETON: Well, I think one could
15 actually look at the ones where the gamma was
16 non-detectable and look at the betas and, first of
17 all, determine how high those values were. If you
18 have zero gamma and you have ten millirem beta or
19 something to that effect, it is really a nonissue.

20 DR. MAKHIJANI: I mean it would be
21 useful to see that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: I think the conversation we
2 are having is very important. And I think we have
3 to all be comfortable with the ratio that is
4 eventually selected and the issues we are raising
5 here because we are talking about people with skin
6 cancer need to be assigned appropriate beta skin
7 dose.

8 This is a very interesting issue. And
9 when you think in terms of what the ratios are in
10 other venues that we see, such as the Marshall
11 Islands and in that period of the Hicks tables and
12 then we are looking at your empirical data and we
13 just want it all to ring true. And right now, it
14 doesn't appear to all ring true.

15 DR. NETON: I'm just having trouble
16 visualizing a scenario where the beta is huge and
17 the measured photon is zero.

18 DR. MAKHIJANI: Well, what was the
19 threshold of detection back then?

20 DR. NETON: It is what, 30 millirem,
21 maybe.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: I don't know.

2 DR. MAKHIJANI: It is pretty high.
3 So, if you have a threshold of detection of 30
4 millirem and the beta/gamma ratio was ten, you are
5 talking about omitting a pretty significant dose.

6 DR. NETON: Well, the detection limit
7 for gamma back then is probably ten or so.

8 MEMBER MUNN: I would think so.

9 MR. SMITH: This is Matt Smith with the
10 ORAU Team. Just to point out an addition that was
11 made to Revision 3 of the external NTS TBD, in other
12 words, a document that is on the street right now,
13 language was added to Section 6.4.2.1 and that is
14 page 52 out of 135 of the PDF file.

15 Language was added to I think address
16 this issue. Again, I am trying to refresh my
17 memory tape from several years ago. I guess it
18 would be four years ago. But the language of that
19 is in that section that is here, that a more precise
20 estimate is required for beta to photon ratio. The
21 values in Attachment C can be used.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, in other words, yes, we have had
2 this discussion before. And I believe that
3 paragraph or that section language was added in to
4 address what is being discussed right now.

5 MR. BARTON: When you say a more
6 precise estimate --

7 MR. SMITH: Well, I think it is
8 important to probably read these paragraphs on page
9 52 in context. The paragraph ahead of it, which
10 obviously will get revised with the updated
11 information on the empirical beta to gamma ratio,
12 states that the regulations in 42 CFR allowed
13 claims to be completed with using efficiency
14 methods. So, to some degree, I believe, the
15 approach was if we have claimant-specific
16 information the dose reconstructor has the
17 professional judgment option of using Attachment
18 C. If we have a claim at hand SEC space and we don't
19 have specific information that would let us know
20 what tests or what activities that worker was
21 doing, then you would use the ratio straight -- the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 empirical ratio that we have been discussing, as
2 Gene has pointed out, in order to derive an electron
3 dose.

4 DR. MAKHIJANI: Well, I actually I have
5 the TBD open in front of me. This is Arjun. And
6 I am looking at the section that you have been
7 citing. I actually don't understand how this is
8 constructed. Because if Attachment C represents
9 the precise estimate, why don't we use that as a
10 first resort, rather than as a second resort?

11 I would have thought that the direction
12 to the dose reconstructor would be to use the
13 precise estimate first and then if it were, for some
14 reason, not suitable, to resort to some other
15 method as a second option.

16 MR. SMITH: Well, maybe Gene can jump
17 in and add some background information. But to use
18 Attachment C, I believe we need to know information
19 about what specific tests they might have worked
20 on or been associated with, and whether or not they
21 were out in the field or not. We may have that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information and/or we may not have that
2 information.

3 DR. MAURO: I think that is an
4 important premise we are operating from, as I think
5 about this and these ratios. We have workers out
6 there where they are badged and we have
7 measurements but we are making an assumption that
8 the exposure scenario that this worker, whatever
9 their values are, was because he was standing on
10 contaminated soil from fallout. That is how the
11 exposures occurred.

12 DR. MAKHIJANI: Or immersed in.
13 Immersed in.

14 DR. MAURO: Yes, you are right about
15 that. There is the immersion component also. And
16 we are trying to reconcile what other experience
17 has been with people standing on and I guess
18 immersed in. I would have to go back and look at
19 my experience in Rongelap to reconstruct it. If
20 I recall correctly, it was standing. But
21 nevertheless, nevertheless. All I am trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 say is that we are operating from the premise that
2 the ratios that we expect to see or are seeing is
3 as a result of individuals outdoors standing in an
4 environment where there is a deposit of
5 radionuclides, some of which are resuspended and
6 that is our scenario, when in fact is it possible
7 that the new data also reflect workers that were
8 doing other types of -- exposed in other types of
9 scenarios where the Hicks tables and the fallout
10 assumptions we are making here don't apply. Or are
11 we really dealing with workers, yes, these are
12 workers that were outdoors and the way that they
13 were exposed is to to those fallout radionuclides
14 that were in the soil?

15 DR. ANSPAUGH: If somebody is standing
16 there in 1966, that material would have weathered
17 into the soil and certainly the beta/gamma ratio
18 would have decreased dramatically.

19 DR. MAURO: Yes, that is true, too.
20 Yes.

21 MR. BARTON: I would like to ask you a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question. Are we planning to use beta/gamma ratio
2 method going back into atmospheric testing?

3 MR. ROLFES: That is what the entire
4 issue was for, I believe, because the beta not being
5 recorded.

6 MR. BARTON: So it is not just '63 to
7 '65. It is '66 all the way back and then it is
8 applied to the coworker photon doses as well?

9 MR. ROLFES: It is applied all the way
10 back, back to 1951 and within Attachment C here,
11 we give the photon ratios at various times for
12 listed tests here.

13 MR. BARTON: That is Appendix C?

14 MR. ROLFES: This is H-9 of Attachment
15 C of the TBD and it is on page 121 of 135 of the
16 NTS TBD. And I think it says here, too, if you
17 can't discern what test the employee was involved
18 with that you should use the average beta to photon
19 values for the given test, if the EE isn't directly
20 identified with a specific event.

21 MR. BARTON: Could the differences in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ratio be simply that a lot of these Hicks table
2 ratios appear to being the results of atmospheric
3 testing? Although they do calculate them out to
4 like 50 years. So, let me just throw it out to the
5 Work Group.

6 DR. MAURO: Well let me --

7 DR. NETON: Where are these doses
8 calculated, one meter off the ground or at the
9 ground surface? I mean it makes a big difference.

10 DR. MAURO: Yes. Well, just let me
11 back up for one minute.

12 MR. BARTON: I didn't write the TBD.

13 DR. NETON: No, these are the Hicks
14 table ratios.

15 MR. BARTON: Well, it is in your TBD.

16 DR. ANSPAUGH: These tables, they
17 don't do anything with beta. The gamma is
18 calculated at one meter above ground. But you have
19 to remember the Hicks table assumed no decrease
20 with time of the tritium in going in the depth.
21 They only are designed to look at the decay of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radionuclides but not the distribution into the
2 soil with time.

3 MR. ROLLINS: And this is Gene Rollins
4 again. Something else I think we need to consider
5 that when these weapons were detonated, a lot of
6 non-contaminated dust was taken into the
7 atmosphere and it would fall back down. So,
8 weathering might be almost instantaneous.

9 DR. MAKHIJANI: Well, on the page that
10 Mark just referred to, Table C-3, you have average
11 beta photon ratios for someone was on-site during
12 the year for particular tests. And for those cases
13 where you have information for the worker as to what
14 test they participated in, I don't see why these
15 numbers can't be used in preference to calculated
16 number that is from the spreadsheet, especially if
17 they are more claimant-favorable and they are
18 already in the TBD.

19 MR. BARTON: It's kind of a strange
20 situation because it sounds like that the best
21 estimate method is using the ratio of 1.16;

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whereas, if you go to -- I'm sorry. For an
2 overestimate you are going to use the 1.16 as an
3 efficiency measure. And if you want a best
4 estimate and more precise dose reconstruction, now
5 you are going to go to Attachment C, which now you
6 are getting up into, in some cases, double digits.

7 But even in Table C-3, I mean for a year
8 after the test it is 2.2, 3.4, 3.3, you know numbers
9 like that, with an average usually right around
10 2.0.

11 So it is just strange that usually for
12 an overestimate you are throwing kind of a larger
13 number at it and then if you want a best estimate,
14 we kind of refine it down based on the data. But
15 in this case we are saying you know if you want an
16 overestimate or underestimate, we are going to use
17 the empirical data and if we need a more refined
18 estimate, we are going to use the appendix. It is
19 almost --

20 CHAIR CLAWSON: Reversed.

21 MR. BARTON: -- backwards.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: I do think that looking
2 at the language in the section we were just looking
3 at on page 50-something, the instruction is
4 backwards in terms of the priority. It should be
5 this particular thing.

6 Anyway, the information is available
7 here. I don't see why this particular table
8 shouldn't be used always. I mean, if you don't
9 have data from a particular test, the various tests
10 can be averaged, depending on some judgment about
11 how long the worker was there, during which period,
12 which you always know.

13 DR. MAURO: There is one more dimension
14 to this I guess I am having a little trouble with.
15 I am looking at the tables right now and this is
16 airborne, submerged in a plume. And we are talking
17 about the time period during above-ground testing.
18 I'm getting myself oriented now.

19 And any individual that may have been
20 exposed could have experienced some exposure from
21 airborne activity, which would include beta and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 gamma, but there is also the accumulated activity
2 in the soil, which goes back to Hicks, which is a
3 different situation. Are we trying to reconstruct
4 the doses to the scan of workers for the entire time
5 period of '51 to '92 from beta emitters from both
6 exposure to an airborne activity and also exposure
7 from deposited activity that might be contributing
8 also?

9 If that is what we are doing, which
10 would be a good thing to try to capture the whole
11 thing if that is where we are, to give the benefit
12 we can to the folks with skin cancer, then the data
13 that you do have, if we went back to that curve,
14 does that go back -- does that begin in the '50s
15 -- I don't have it in front of me -- and goes all
16 the way, as a function of time, what the reserved
17 ratios are? And the question of zero --

18 What I am getting at is that I don't feel
19 as if, and this might be because I have not been
20 studying it the way Gene, and maybe Dennis has, and
21 others, but I actually feel off balance right now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and that to understand if in fact we are giving,
2 assigning to the workers during the above-ground
3 testing and then subsequent above-ground testing
4 the benefit of the doubt and giving them the
5 plausible upper bound beta dose to the skin.

6 So, right now I am in a place where I
7 can't say to myself that I think that that is being
8 done here. I'm not. You know the conversation we
9 are having I think is trying to get to that point
10 but it seems to be pretty complicated.

11 DR. NETON: Well, John, I think we have
12 beta/gamma numbers for all workers after '66. So
13 all we are talking about here is they didn't measure
14 beta prior to '66. So, we are talking about '51
15 to '65.

16 DR. MAURO: Okay.

17 DR. NETON: And we have photon
18 measurements on those folks but we don't have beta
19 measurements. So, it is trying to assign a beta
20 dose to people who worked there prior to 1976 only.

21 DR. MAURO: Okay so that includes the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 period of time where there was above-ground
2 testing?

3 DR. NETON: Oh, yes.

4 DR. MAURO: And when there wasn't
5 above-ground testing and when there was venting and
6 when there wasn't venting.

7 DR. NETON: Correct.

8 DR. MAURO: So we have the full mix of
9 scenarios and we are looking for a way to get a ratio
10 that could be used for those workers, where do have
11 some data on both beta and gamma. And that ratio
12 that you observed for that person for that year
13 would be very much a function of where he was and
14 when he was wherever he was doing it, and whether
15 he was exposed to the deposit activity, whether he
16 was exposed to the submerging activity.

17 So, it seems to me we are in a
18 circumstance where the data that we do have has to
19 be fully understood as to what we have captured
20 there or didn't capture and whether or not it is
21 fair to say that this particular ratio would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 applied across the board. I assume with regard to
2 the actual photon exposures, every worker is going
3 to be assigned some photon exposure, whether he was
4 measured or not. Am I understanding?

5 In other words, what you are claiming
6 here is we can reconstruct the photon exposures to
7 everyone and using this ratio, we can reconstruct
8 the beta exposures to everyone and those doses will
9 be assigned to everyone who is not compensated
10 under the SEC. Is that what we are trying to do
11 here?

12 DR. NETON: Yes, I think so.

13 DR. MAURO: Okay, good. So and we do
14 have some limited amount, it sounds like a small
15 percentage of the workers, were badged where we
16 have photon exposures and where we also have photon
17 and beta exposures. And somehow that data, as a
18 function of year, and somehow that data --

19 PARTICIPANT: I can't hear.

20 DR. MAURO: Can you hear me now?

21 CHAIR CLAWSON: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Somehow that data, we feel
2 is sufficient, where we can reconstruct doses with
3 sufficient accuracy. I guess right now, from
4 looking at the data and understanding these ratios,
5 I have to say I am uncomfortable saying we have got
6 a handle on this problem.

7 CHAIR CLAWSON: Well, John, let's talk
8 a path forward then to be able to take care of that,
9 then. What do you feel we need? If NIOSH has
10 given us this, it looks like to me it is in SC&A's
11 hands to evaluate this, make sure we know where we
12 are going at.

13 You know I am going to be honest. This
14 has been a lot of years and, as all of us have been
15 going through the days, we are trying to remember
16 how we got to where this point is at.

17 So, I agree that we need to come to
18 resolution with this but it looks like to this part
19 of it, for me, this is in SC&A's hands to do this
20 evaluation. Am I correct?

21 DR. MAURO: Yes, we already went

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 through this in advance and closed it out but I
2 don't recall.

3 MR. ROLLINS: No, no, we have never
4 closed this out, John.

5 CHAIR CLAWSON: No, this hasn't been
6 closed. This has been one that has been hanging
7 on for quite a while.

8 MEMBER MUNN: But the thing that still
9 bothers me -- there are a couple of things that
10 bother me. In all the discussion, I still haven't
11 had anybody give me an answer to my original
12 question, which is, essentially, what is the
13 magnitude of exposure that we are talking about as
14 a possibility in the scenario that has been painted
15 for us?

16 The other thing that I have a question
17 about is whether or not Marshall Island data is
18 particularly applicable in this particular case.
19 The kind of exposure that I would expect from
20 Islanders is not the same kind of exposure I would
21 -- for beta is not the same kind of exposure that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I would expect from a worker on a Nevada Test Site.
2 You know clothing alone would make a difference.
3 MR. STIVER: Wanda, this is John
4 Stiver. I might be able to help out a little bit
5 here. About ten plus years ago, when I was working
6 at SAIC, we were dealing with this very same issue
7 with dose at PPG and the Nevada Test Site and we
8 used the Hicks tables to generate beta/gamma ratios
9 for various geometries of the most prominent one
10 applicable to the test participant that is kind of
11 standing politically for a claimant, puts in a
12 claim of one of his fission products and activation
13 products and actinides. And I just pulled out from
14 the Health Physics Society website the paper by
15 Barss and Weitz in 2006, which I believe I had sent
16 to Jim Neton about a year ago when we were kind of
17 grappling with this idea of skin dose. And on page
18 385 you guys could probably -- I just sent it to
19 Bob Barton. It is available on the Health Physics
20 Society website and I pulled it down. And you want
21 to know kind of what the beta/gamma ratios might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be for different distances from a flame source with
2 different times after detonation. And Table 2 on
3 page 385 we have got the Nevada Test Site is well
4 based on the Hicks tables actually with the
5 classified information included, the shot specific
6 radiochemistry, although in this case the actinide
7 is really not going to make much difference.

8 For times of detonation from half an
9 hour to two years, distances from the flame source
10 for bare skin exposures. That was just one table
11 of many with distances from one to 200 centimeters.

12 And say just for taking 100 centimeters
13 at one meter above the flame source at 12 hours
14 post-detonation, we are looking at a beta/gamma
15 ratio of 16.

16 And as you go our further in time, this
17 is not including weathering and so forth, this is
18 just the results on the flame, they go from 7.8 at
19 half an hour up to 96 at two years. So, we are
20 talking about pretty serious beta exposures.

21 MEMBER MUNN: But John, my concern is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the scenario that has been painted for us here is
2 when we have zero gamma from which to begin our
3 questioning.

4 MR. STIVER: Right. Zero, I don't
5 know. You know I would assume for a dose
6 reconstruction you would be looking like half the
7 MDL, 20 millirem or whatever it might be. So, in
8 that case, you might, in the worst-case scenario
9 two years post-detonation, you would be looking at
10 100 times that.

11 I don't know how that would be done
12 procedurally, but I know it would be a question for
13 NIOSH. You know just to get an idea of what these
14 beta/gamma ratios might be I know John brought up
15 the PPG information and we talked about open and
16 closed window dosimetry. But based on this work,
17 he put a lot of blood, sweat, and tears into this
18 report way back when and it might be worth us going
19 back and taking a look at that in comparison to some
20 of these other data sources. Maybe we could have
21 a technical call or at the next meeting, get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 together and talk about this in a little more
2 detail.

3 DR. MAURO: And I agree, John.

4 And Wanda, I understand your question.
5 Could we go back to that spreadsheet where the curve
6 is showing the ratios that was compiled? Because
7 there are measurements there, I think reported, of
8 what type of gamma doses were observed, what the
9 scale was. And we are talking about a very low one.
10 We have some gamma doses -- it is hard for me to
11 read. Any way to make it a little bigger? If
12 everybody is in the same position I am, I'm having
13 a little trouble looking at it.

14 MR. BARTON: Just get closer, John.

15 DR. MAURO: I can't get closer. I am
16 about an inch away.

17 All right, so we are talking about --
18 oh, thank you. And the column that has the gamma
19 is -- okay, here we go.

20 So, we are talking about -- these are
21 millirems, I presume. We are talking about a few

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hundred millirems, tens to hundreds of millirems
2 that were observed in a given year. And I assume
3 that the numbers we are looking at, these are
4 individual claims. So, you can see the
5 variability, which is good, the fact we had
6 individual claims. We don't know their duration,
7 where they were, what they were doing, that sort
8 of thing. But we are seeing numbers that are not
9 insignificant, if in fact the ratio for the
10 beta/gamma, in some circumstances, could have been
11 ten to one.

12 Now what we are saying is we are looking
13 at say the very first line in 1966, we are seeing
14 only a modest beta/gamma ratio.

15 MEMBER MUNN: There's Bob's 1.6.

16 DR. MAURO: Yes, it is very low. And
17 to go back, so this is a real number. We don't know
18 what that person was doing in 1966 that this is what
19 he experienced. And in theory the argument could
20 be made well, obviously, he wasn't standing on
21 contaminated soil being exposed that way because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 his beta dose would have been much higher, right,
2 I mean in theory. So, he probably was doing
3 something else. Is that possible?

4 MEMBER MUNN: I'm not arguing the
5 source of the exposures. I'm not arguing any of
6 those things. My point is this table that we are
7 looking at, John, shows us an enormous range in the
8 beta/gamma ratio and I'm not arguing that. What
9 I am saying is this table does not show us zero gamma
10 exposures. And that is what this discussion
11 originated from is the fact that there are some
12 people, I don't know how many people, but there are
13 people who have badges that does not show a gamma
14 ratio -- does not show a gamma exposure. And the
15 argument here is how do you identify the beta
16 exposure and what that was.

17 So, my question is, it is simple
18 mathematics. If you multiply a zero by anything
19 you get zero. So, if we are going to --

20 DR. MAURO: I hear what you are saying
21 but I am assuming then you see zero, you are going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to assume that he as at one-half the MDL for his
2 change out period.

3 MEMBER MUNN: Exactly.

4 DR. MAURO: And that will give you some
5 dose. Let's say it is 10 or 20 per change out
6 presuming the change out was monthly. In theory,
7 the dose that you would assign to gamma for that
8 worker would not be insignificant.

9 And then if you assume, you would have
10 to assume, of course, some ratio to that to get the
11 beta. So, we are not talking about small doses.

12 MEMBER MUNN: My first question was
13 what is the magnitude. If it is not small, how
14 large are we talking about? That is my question.

15 DR. MAURO: Let's try one out. Let's
16 say we are at ten. We will go with the assignment
17 of one-half the MDL being ten but in DCS it is
18 probably closer to 20 and it is monthly change out.
19 So, we are talking 200 millirem per year --

20 DR. MAKHIJANI: Per month.

21 DR. MAURO: The monthly change out and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you are not seeing anything and the MDL is 20. So
2 over the course of the year, I am just multiplying
3 by ten months, should be multiplied by 12, so it
4 is 120 millirem, right?

5 DR. ANSPAUGH: No, 240.

6 (Simultaneous speaking.)

7 DR. MAKHIJANI: So there are two
8 separate issues involved. One is if you -- the
9 issue that I raised wasn't the question of what you
10 would do to apply a beta/gamma ratio, even though
11 in situations where the photon dose was zero in a
12 dose reconstruction.

13 The issue that I raised was given that
14 95 plus percent or whatever the actual number is
15 is very high in the 90 percents of recorded gamma
16 doses are below MDL. So, zero was below the
17 measurement limit, the measurement threshold.

18 What were the missed beta doses in those
19 circumstances? And if those missed beta doses
20 were representative of the high beta doses relative
21 to gamma that are calculated and measures in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 situations like the Marshall Islands, I wasn't
2 referring to doses received by the Islanders,
3 Wanda. I was referring to the measurements made
4 in the working situations by the health physics
5 section of the Marshall Islands tests.

6 So this has nothing to do with dose
7 calculations for the Islanders. These were field
8 measurements that were made of beta/gamma ratios
9 at the time but I recall the ones made by Karl Morgan
10 and published later.

11 So, we are talking about whether it is
12 appropriate to use this approach, given that the
13 vast majority of doses are recorded and gamma was
14 zero. And if not, then secondarily the question
15 arises so what is the appropriate way to assign a
16 beta dose in situations where the recorded gamma
17 dose is zero. And obviously, you could use LOD
18 over two or something like that.

19 But whatever method you use, it would
20 apply to whether you use a ratio of 1.16, or 10,
21 or 5, or 50 or whatever. You would use exactly the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 same thing. So, the method for assigning dose in
2 a situation where the gamma dose is zero would be
3 exactly the same, independently of whatever
4 approach you use to calculate the ratio.

5 DR. MAURO: And I don't think it is
6 insignificant. I mean we are talking rems per
7 year.

8 DR. MAKHIJANI: Yes, we are talking
9 rems.

10 DR. MAURO: It is rems per year.

11 MEMBER MUNN: Thank you.

12 DR. NETON: Right, my original point a
13 while ago was if you looked at the badges in '66
14 that had zero dose, what is the magnitude of the
15 measured beta dose.

16 DR. MAURO: Right, a couple of rem.

17 DR. NETON: Is it within range? So you
18 are arguing it could be in the rem range but I am
19 saying if you have a lot of badges in '66 that have
20 zero recorded gamma and very low recorded beta,
21 then I don't understand how you can assign 2 rem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doses.

2 DR. MAKHIJANI: Well, we haven't seen
3 the recorded beta doses.

4 DR. NETON: Well that is why I say we
5 need to look at that, Arjun.

6 DR. MAKHIJANI: Yes. So, I think
7 there are two sort of questions for investigation.
8 One is you know the values in Appendix C and the
9 kind of values that John Stiver was just referring
10 to. And whether that is the most
11 claimant-favorable way or -- and the second thing
12 is to look at the recorded beta doses in 1966 for
13 those cases where the recorded gamma dose was zero.

14 DR. MAURO: I think this issue that we
15 are discussing right now is one of the most
16 important issues that we have been discussing,
17 simply because we are talking probably about a very
18 large number of workers that were working in that
19 time period who may have developed skin cancer.
20 So, this has the potential to have -- and I think
21 we are talking about rems per year, even when the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doses to the gamma were low, relatively low, or
2 below the MDL level. We are still talking now --
3 Jim, you probably have a feel for this but when you
4 are talking about a rem or two or three per year
5 to the skin to workers, now I don't know how many
6 years they have been there, are we in a realm where
7 we have the very real possibility of compensation?

8 DR. NETON: Oh, yes, for certain skin
9 cancers.

10 DR. MAURO: Yes. So, this particular
11 --

12 DR. NETON: Certain skin cancers are
13 very sensitive.

14 DR. MAURO: So we have been talking
15 about this for quite some time and my reaction is
16 we have got to really nail this and everyone has
17 got to be very comfortable with the methodology,
18 with the ratios that are being applied, and
19 interpreting the validity representativeness of
20 the data that you do have and what it means.

21 So, yes, I feel very strongly. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 don't get too excited about things but I think this
2 is a big one and we have got to get really
3 comfortable with this.

4 CHAIR CLAWSON: Okay. Well, that
5 being said, let's talk about the path forward,
6 then.

7 MR. KATZ: Right. Who wants to look at
8 those empirical data?

9 DR. NETON: Well, I think we need to
10 look at the data that had zero recorded gamma and
11 look at how much -- the magnitude of beta to start
12 with.

13 I also think it seems like we need to
14 look at -- I am a little confused from what I have
15 heard about how we are actually assigning beta dose
16 in those periods, based on Table C-3 versus C-1 and
17 versus the ratio. I'm not quite clear how that is
18 working. So I think we need to begin looking at
19 that.

20 MR. ROLFES: Yes, this has been -- this
21 was put into the TBD years ago and we haven't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 received kind of written comment on it. So, we
2 have proposed this method, of which I would have
3 to look back and review myself, but we haven't
4 received any written comments on that revision.
5 So, I don't know if that is something that the
6 Advisory Board would like to task SC&A to do.

7 DR. NETON: You mean on this specific
8 issue or the whole TBD?

9 MR. ROLFES: I guess that particular
10 issue, that particular piece that was added.

11 DR. NETON: I think it is very clear to
12 me what the comment is, at this point. I think we
13 know.

14 DR. MAKHIJANI: Well, Jim, you know
15 when we were looking at that section on page
16 50-something earlier in the TBD and then at
17 Appendix C, I made the comment that the instruction
18 seemed to be a little bit backward in the sense that
19 if the precise estimate is from Table C-3, then that
20 should be the method of choice, just leaving all
21 other issues aside for the moment. And that if for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some reason Table C-3 cannot be used, then some
2 other approach should be developed.

3 So, it would seem if NIOSH believes that
4 Table C-3 is the precise method, and we generally
5 know what tests people were in, I don't see why we
6 should be resorting to the kind of ratios that are
7 presented in that spreadsheet.

8 DR. NETON: Well I mean if C-3 is the
9 sort of default if you know the values, then we
10 don't have any argument here, right? I mean is
11 there an argument that if we know where the guy was
12 positioned and we can use C-3, those values
13 appropriate, those ratios?

14 DR. MAKHIJANI: I don't think we have
15 reviewed those ratios.

16 DR. NETON: But they are much higher
17 than one, obviously, and they seem to be in the
18 certain ballpark that we are talking.

19 DR. MAKHIJANI: Yes.

20 DR. NETON: But let's say for example
21 if you agree with those ratios, then that is okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Then the second issue then is what do
2 we do if we don't know where this person worked.
3 And it is not clear to me what we are doing.

4 MR. ROLFES: Okay, there is averages in
5 Table C-3 that can be used by year.

6 DR. NETON: So, I guess what is the
7 usage for the 1.1 ratio, if we are not using it?
8 I mean where are we using it?

9 MR. ROLFES: Gene, I am going to have
10 ask you for help on that. When would the
11 beta/gamma ratio of 1.1/1.0 come into play in
12 comparison to Attachment C?

13 DR. NETON: Three.

14 MR. ROLLINS: Well, we are very -- I'm
15 sorry, my battery is running down. Hang on just
16 a second.

17 That is the third telephone I have been
18 through.

19 MEMBER MUNN: You need a charger.

20 MR. ROLLINS: I have got chargers all
21 over the house. I just to have keep moving phones

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 around.

2 Personally, I have never used Table C-3
3 and the reason being is that those ratios were not
4 supported by the empirical data. And I understand
5 there are genuine concerns about what that
6 empirical data may actually represent, as opposed
7 to earlier times. But I just always used the
8 1.04/1.16 when I applied the beta doses prior to
9 the period when they were measured.

10 And in my experience, and I have done
11 probably hundreds of NTS cases, I really haven't
12 seen that many where it was applicable to do that.
13 Either they weren't there during that time period
14 or for whatever reason. Maybe it was a prostate
15 and it didn't matter.

16 DR. NETON: Right. It was
17 specifically skin cancer is the big issue here.

18 CHAIR CLAWSON: Nevada Test Site has
19 got a lot of that.

20 DR. NETON: Yes, I think I would like
21 that. NIOSH, we need to have an internal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussion on our part a little bit on this before
2 -- I'm not confused, I guess. I just need to know
3 a little more about the background behind this. I
4 haven't looked at this in a long time and we have
5 got the John Stiver paper that you provided, which
6 I don't recall getting but I'm sure he sent it to
7 me.

8 We have got the disconnect between the
9 theoretical calculations versus the empirical and
10 I am concerned, I guess, about going back in time
11 where there is active testing going on, applying
12 '62 ratios where the testing has stopped. I mean
13 I do have some concern about that.

14 So, I think we need to look at that a
15 little closer.

16 CHAIR CLAWSON: Okay, so I believe that
17 it is going to be in NIOSH's hands but I also want
18 to make sure that SC&A provides you with what their
19 issue is. I want to make sure that we are all good
20 with the same issue.

21 DR. NETON: That is a good point, Brad.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think maybe it would be good if SC&A would
2 summarize the concerns they have or not. I mean
3 we are not hitting a verbal target. We are hitting
4 a written target.

5 CHAIR CLAWSON: And also, we are all
6 onboard -- we are looking at the same thing for the
7 same process. Because we have gone around the
8 table here several times and we were going in
9 different directions.

10 So, Arjun, I think that will basically
11 come down to SC&A just to make sure that we have
12 clarified what our issue is with it. NIOSH will
13 have the action.

14 MR. KATZ: Right. Just a memo is fine,
15 Arjun, summarizing the points of concern.

16 DR. MAKHIJANI: Yes, in that memo, if
17 I might suggest, you know we have not reviewed the
18 section in question of the external dose TBD nor
19 the numbers in Appendix C. And I don't know what
20 order you want to take them in, Brad, but at some
21 point I think -- or if NIOSH is going to move from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that, maybe we ought to just wait until NIOSH gets
2 back to us.

3 MR. KATZ: Yes, I think it makes sense
4 to first sort out this first order of questions and
5 then, if necessary, you can then review those
6 actual values.

7 DR. MAKHIJANI: Sure, so we can lay out
8 our position in a memorandum as to what we think
9 the issues are and then NIOSH will have that in
10 their reconsideration.

11 MR. KATZ: Yes, that sounds good.

12 DR. MAURO: This is John. I just want
13 to point something out. What is going to happen
14 here is I guess we will just go on to reiterate we
15 are looking at arrays of numbers right now and we
16 have raised a number of questions. So, in effect,
17 the transcript of this meeting -- I think we have
18 articulated all the different aspects to the data
19 sets and why we have some questions and concerns.

20 MR. KATZ: Right.

21 DR. MAURO: So, I think it has already

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been done. So, what I am hearing is you would like
2 a memo from us to try to put into one place the
3 conversation we had in some cogent way.

4 MR. KATZ: Yes and, John, you don't
5 need to reiterate the conversation really. Just
6 crystalize the bullets of concern, basically, that
7 they can then address.

8 DR. NETON: I agree it is in the
9 transcript but it is very hard to start citing
10 transcript pages and stuff. That gets kind of
11 messy.

12 MR. KATZ: Yes, so just a very brief
13 synopsis of the concerns is good.

14 DR. MAURO: Yes, well I think we at SC&A
15 need to collect our thoughts also. You will notice
16 that this has unfolded in front of us as we spoke.
17 And I think John Stiver brought to the table some
18 important experience, as has Arjun and mine. So,
19 to get our story together, it might not -- I would
20 like to have an opportunity for us at SC&A to
21 collaborate a little bit more and get our arguments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 down a little clearer regarding why we have
2 concerns. So, I would like to do a little bit of
3 work on this.

4 MEMBER MUNN: We would like that, too.

5 MR. KATZ: That is fine, John, but you
6 don't have to build a big mountain here because
7 until they get to those initial questions, you
8 don't know where you are. They may answer the
9 problem.

10 DR. MAURO: Sure, okay.

11 MEMBER MUNN: In 500 words or less.

12 CHAIR CLAWSON: Don't put that on them.

13 DR. MAURO: We won't get carried away.

14 MEMBER MUNN: That's great. And John
15 Stiver, I would really appreciate having a copy of
16 your paper.

17 MR. BARTON: I can send you the one he
18 was talking about.

19 MEMBER MUNN: Okay, that will be fine.

20 CHAIR CLAWSON: Okay, should we break
21 for lunch?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: I think so.

2 MR. STIVER: Wanda, I can definitely
3 provide that to you.

4 MEMBER MUNN: Thanks, John.

5 MR. KATZ: Yes, thanks, John.

6 So, let's break for an hour. I guess
7 you can't really get a lunch in less than an hour
8 here, especially since I'm not sure we can drive
9 anywhere with the snow coming down.

10 (Simultaneous speaking.)

11 MR. KATZ: Okay, so anyway it is 12:45
12 and we can reconvene at 1:45 for everybody. Okay,
13 thanks and thanks for hanging in.

14 (Whereupon, the above-entitled matter
15 went off the record at 12:45 p.m. and resumed at
16 1:47 p.m.)

17 MR. KATZ: Welcome back, everyone. I
18 think we have our room assembled here. Let me
19 check on the line. This is the Advisory Board of
20 Radiation Worker Health NTS Work Group.

21 (Roll call.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: All right.

2 CHAIR CLAWSON: I guess back to Arjun
3 and the matrix.

4 DR. MAKHIJANI: Yes, thank you, Brad.
5 So we dealt with item 11 before.

6 MR. KATZ: Yes.

7 DR. MAKHIJANI: Item 12 is sort of
8 open. We can go to the May 2015 version of NIOSH
9 of the matrix and they proposed to revise TBD to
10 take radon doses into account in this particular
11 way. I think it looks okay to me but it is for the
12 Work Group to discuss.

13 MR. BARTON: Arjun, I do -- Arjun, this
14 is Bob. I do have one question which is kind of
15 universal to this site. It kind of has the caveat
16 in here that when records indicate the claimant
17 made entries, how do we know when the claimant made
18 entries into the Gravel Gertie?

19 MR. ROLFES: How do we know when a
20 person made entry?

21 MR. BARTON: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ROLFES: There probably were not
2 very few, I mean with the exception of the Device
3 Assembly Facility. There were some tests on the
4 Gravel Gertie design. We would have to have some
5 sort of indication that an individual had entered
6 the Gravel Gertie and was involved in assembly or
7 disassembly operations inside the Gertie.

8 MR. BARTON: Well this also involves
9 the period after the SEC.

10 MR. ROLFES: Correct. Yes, the Device
11 Assembly Facility would be after the SEC time
12 period. So, we need some sort of information to
13 tie that individual. If an individual identified
14 that they entered the Gravel Gertie, then we would
15 assign radon and thoron exposures.

16 MR. BARTON: So this would be kind of
17 dependent on the additional records section of DOE
18 files.

19 MR. ROLFES: We would have to have some
20 sort of notification in the DOE files or in the
21 telephone interview or in the initial claim of DOL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and giving the benefit of the doubt to someone if
2 they were in a job category that would involve such
3 an entry, then -- it really only comes down to the
4 importance for lung cancer or respiratory
5 tri-cancer claims. So, in those cases, for the
6 benefit of the doubt we would probably assume, as
7 an overestimating approach, that they did if they
8 had a potential job that fit the bill.

9 MR. BARTON: So for instance say like
10 a scientist or something like that and you were
11 doing a best estimate, you would assume that they
12 were? Because if you don't know who went in, it
13 kind of begs the question.

14 MR. ROLFES: Right, you would have to
15 have some details. But that is my initial
16 thoughts. I don't know that that is written
17 anywhere, though.

18 MR. BARTON: All right. I mean I just
19 say this because it is kind of a universal concern
20 in the program is when you start to try to place
21 workers in specific areas, I mean it has gotten

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dicey even in places where the dosimeter badge had
2 an area code associated with it and I don't think
3 they really did that in NTS. Maybe they did that
4 in later years.

5 MR. ROLFES: And the other would be
6 tunnel workers as well, if an individual was
7 involved in tunnel work and kind of assembly work,
8 we would assume that person could have been exposed
9 to radon and thoron.

10 MR. BARTON: Okay, so there would be a
11 sort of, I don't want to say cohort, but a group
12 of job categories.

13 MR. ROLFES: If there is a person that
14 says they were a miner, you know, obviously, they
15 would have likely. But without a specific
16 example, I think you would have to probably take
17 a look into all the pieces of information that we
18 get with the claim.

19 MEMBER MUNN: Well and then there is
20 another item, too, certainly for unusual
21 activities like Gravel Gerties, it would be highly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 likely that any worker who is making a claim
2 themselves would mention that in their CATI.

3 MR. BARTON: I agree with that when the
4 CATI is with the worker.

5 MEMBER MUNN: Yes.

6 MR. BARTON: But there is a lot of
7 claims that it is with the survivor and there are
8 security concerns.

9 MEMBER MUNN: Absolutely.

10 MR. BARTON: So obviously, I mean I
11 think we all want the CATI to always benefit the
12 worker. I would be a little concerned if we were
13 using a CATI with a survivor and it didn't say
14 Gravel Gerties but they had a job title that might
15 have entered them. But if the plan is to assign
16 it to those job categories that could have gone in
17 there, and I know it is pretty much a small group
18 because there weren't that many people at the site
19 going in there, that is reasonable. I think that
20 might want to be defined a little bit as to what
21 instructions there will be as far as -- and I know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it is case by case to a certain extent but this is
2 another one where I think that the intent is to
3 assign this radon dose, which I agree with the
4 approach, it is just a question of how it is going
5 to get implemented on a practical basis.

6 DR. MAKHIJANI: This is Arjun. I
7 agree with Bob. It is kind of jogging my mind --
8 I have been away for a while -- the fact that this
9 question has been a difficult one.

10 And so if the NIOSH could add the job
11 categories and say "job categories such as" would
12 be assigned this radon, that would be a good thing.

13 DR. NETON: So I guess this will be in
14 abeyance.

15 MR. KATZ: Yes. It is probably a may
16 and not a would because it probably depends on the
17 totality of the information.

18 DR. NETON: Right.

19 DR. MAKHIJANI: So, NIOSH will propose
20 some language for the Work Group to look at?

21 MR. KATZ: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: This is John related to the
2 matter. Do we have information -- I haven't looked
3 at the Site Profile -- on the levels so like default
4 concentrations of radon and its progeny? They are
5 assumed inside Gravel Gerties and inside the
6 puddles or wherever there might be this concern for
7 elevated radon.

8 MR. BARTON: I thought we were using
9 Pantex, right?

10 DR. MAURO: Whether you went in or not,
11 if you didn't go in, what would be assumed?

12 MR. ROLLINS: This is Gene Rollins.
13 We have those concentrations in the TBD.

14 DR. MAURO: It's there already. Okay,
15 very good. Thank you.

16 DR. MAKHIJANI: So, do we move on to the
17 next one, Brad?

18 MR. KATZ: Yes, Arjun.

19 CHAIR CLAWSON: Yes.

20 DR. MAKHIJANI: Okay. So the next one
21 is about the environmental I-131 doses. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we discussed this earlier. I will live it up to
2 Lynn Anspaugh to say if we have anything more to
3 say here.

4 DR. ANSPAUGH: I don't think we have
5 anything additional that we didn't discuss this
6 morning.

7 DR. MAKHIJANI: Okay.

8 MR. BARTON: Yes, it was 13. We closed
9 13 this morning.

10 DR. MAKHIJANI: Number 14 is closed.

11 Number 15 was included in the
12 discussion of 5 that we started the day with.

13 Lynn, did you want to say anything more
14 about that?

15 DR. ANSPAUGH: No.

16 DR. MAKHIJANI: I think we covered
17 fractionation earlier on.

18 DR. ANSPAUGH: Yes, we discussed that.
19 We have a path forward, I think --

20 DR. MAKHIJANI: Right.

21 DR. ANSPAUGH: -- in order to resolve

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it.

2 DR. MAKHIJANI: Right. So, it is open
3 but it is part of something we already discussed.

4 Sixteen is closed.

5 Seventeen I think is -- let's see what
6 the NIOSH response is. Yes, so this is covered as
7 part of 5. I don't know, Lynn, if you wanted to
8 say something about 17.

9 DR. ANSPAUGH: Well, I believe that 17
10 will be closed if we close number 5.

11 DR. MAKHIJANI: Yes, I believe so, too,
12 but I am deferring to you.

13 MR. KATZ: All right, so it is open,
14 still, until we finish up.

15 DR. MAKHIJANI: Yes, right.

16 Eighteen is closed.

17 Nineteen I think review of beta/gamma
18 doses we discussed already, pre-1966 beta dose.
19 This 19 and the earlier one should have been
20 consolidated really. I think we have done so
21 today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I think we have a path forward on
2 that.

3 Twenty is closed. I apologize for my
4 error, which I said in the beginning at the time
5 of the start, I said that was an error. It should
6 be 20 should be marked closed.

7 Twenty-one is open and we should go to
8 the NIOSH description and I give it over to Mark.

9 MR. ROLFES: All right. Let's see.
10 This was about whether individuals had extremity
11 dosimetry. And I will just read our response here.
12 Let's see.

13 Well, I think our previous response is
14 above. And following the Work Group meeting that
15 was held in December of 2014, we had completed
16 another review of claims that had been submitted
17 since 2012, which identified 12 NTS employees, two
18 Sandia Albuquerque employees and one Sandia
19 Livermore employee that had skin cancer claims on
20 the [identifying information redacted]. One of
21 these individuals was a [identifying information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 redacted]. The individual was -- well, I don't
2 want to go into the details of his case here.

3 But let's see -- I don't know. I am
4 going to be discussing specifics of one claim and
5 it is probably not appropriate to discuss the one
6 claim.

7 MR. BARTON: Your response is right on
8 the meetings and people could read it.

9 MR. ROLFES: Right but not out loud for
10 members of the public on the line.

11 MR. KATZ: You can mention things that
12 just can't be put together on a person. But I mean
13 like you can't talk about the years he was employed,
14 stuff like that.

15 MR. ROLFES: Okay. It is giving him
16 specific dosimetry results and I don't know -- I
17 don't want to identify the individual but don't
18 know exactly how far I should proceed.

19 MR. KATZ: I think, again, if you
20 mention details that can't be -- that no one can
21 pin to an individual, they are fine, the details

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are fine.

2 MR. ROLFES: Okay. So anyway, this
3 individual was never issued extremity badging at
4 the NTS but during the late 1950s and '60s, he was
5 issued wrist badges by Sandia Albuquerque.

6 While wearing the wrist badges over
7 nine quarters, all of the wrist badges were below
8 the detection limit. Over the same period, his
9 whole body badges measured 40 millirem. His
10 entire career -- I will leave out the dates -- he
11 was assigned 70 millirem from Sandia Albuquerque,
12 25 millirem from NTS and 290 from Pacific Proving
13 Ground. He was a -- what about a job title?

14 MR. KATZ: Don't. Don't go there.

15 MR. ROLFES: Okay. This case was
16 compensable using the external dose from NTS and
17 PPG and the individual had several skin cancers.

18 DR. MAURO: Hey, Mark, this is John. I
19 see in the response, a little hard to read here
20 because it is small, but I read it before but is
21 there a standard procedure for when you encounter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these cases? In this case you had a particular
2 case and you came up with a strategy, how to deal
3 with that person, but is there guidance in general
4 when you are dealing with an extremity? Unless
5 this is the only case where you have to do with OSHA
6 construction for extremities cancers and this is
7 what you do and that is the end of the story. Or
8 do you have the need for a more descriptive
9 prescriptive approach for reconstructing doses to
10 extremities for workers in general?

11 MR. ROLFES: Let's see here. I am
12 looking back in our previous response. Let's see.
13 Our previous response here is that we would
14 evaluate extremity dosimetry to determine the
15 appropriateness of the application of a glove box
16 factor, such as dose to the prostate or gonads.
17 And to determine claimant-favorable doses
18 applicable to skin cancers appearing on the hands
19 and forearms, in cases where extremity monitoring
20 included other parts of the body, such as the head,
21 an evaluation would be made to determine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appropriate adjustments necessary to cancers
2 appearing above the shoulders.

3 When cases of device assembly workers
4 require dose reconstruction, extremity doses will
5 be evaluated for application to cancers appearing
6 on the extremities.

7 DR. NETON: This is Jim. I don't think
8 there is any generic model for dealing with
9 extremities. It depends on the specific
10 situation. I know like at Fernald, we had a ratio
11 extremities, the whole body. But if you are
12 standing in a uniform plane, extremity dose is
13 equal to the whole body dose.

14 DR. MAURO: Yes.

15 DR. NETON: So, it really depends on
16 the specific job or task.

17 DR. MAURO: And I agree that that is a
18 reasonable approach, case by case. What is
19 upsetting was -- and I guess is that already in the
20 Site Profile? So, does it require any additional
21 language or do you feel it is addressed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sufficiently or do you think we need to talk a
2 little bit more about that?

3 MR. ROLFES: I would have to take a look
4 at the context of the TBD but this was for one
5 individual out of the thousands of claims that we
6 had received. And I think we agreed that we would
7 keep this in mind, in the event that an individual
8 with a cancer on an extremity was referred to us
9 for dose reconstruction by the Department of Labor.
10 And as of the review that we had completed in 2012,
11 we had identified those 12 employees.

12 But this is something also that if an
13 individual indicates that they were issued
14 extremity dosimeters for something, then we would
15 certainly also look into that. I would have to
16 look into that.

17 DR. MAURO: I understand that. I was
18 only asking whether or not there needs to be some
19 revisions or editing of the TBD. Because clearly,
20 the TBD is going to require some revision as we go
21 through this process. And this is one of the areas

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that I am only asking is that whether or not there
2 is an action that you think needs to be taken to
3 clarify or expand upon whatever discussions are
4 there already or do you feel that there is really
5 no action item?

6 MR. ROLFES: Let me just search the TBD
7 while we are sitting here and see if I can find
8 anything about the discussion of the extremity
9 dosimetry.

10 DR. MAKHIJANI: This is Arjun. I
11 think I agree with what Jim Neton just said. I mean
12 the ratio of whole body to extremity can be one or
13 it can be very high. It could be an order of
14 magnitude, depending on the job.

15 I remember the higher ratios arise when
16 people are handling materials and maybe some
17 guidance can be included in the TBD for typical
18 cases, not kind of an actual instruction in terms
19 of a number, but typical ratios that the dose
20 reconstructor could consider and then apply in the
21 specific instance, like the plane situation would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be one and handling uranium would be a completely
2 different situation.

3 DR. MAURO: Is there a generic
4 procedure on this? I mean well over 100
5 procedures.

6 DR. NETON: There are some specific
7 geometry corrections procedures. I can't
8 remember the exact numbers anymore but we have got
9 one on machining uranium metal. We have got the
10 glove box one that corrects for extremity dose.
11 So, there is a couple of them out there but they
12 are sort of generically written that we think could
13 be referred to in this situation.

14 DR. MAKHIJANI: But Jim, would you
15 agree that some kind of guidance would be
16 appropriate that these ratios could vary a lot from
17 one upwards and some reference to --

18 DR. NETON: Yes, I mean I am looking
19 through the TBD, the TBD right now, as Mark is
20 doing, and I don't see anything in here that speaks
21 to that. I wouldn't be against adding some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 language in there about reviewing the
2 exposure-specific situation to assign extremity
3 dose.

4 MR. BARTON: Would you end up using
5 like a surrogate from another site?

6 DR. NETON: No, I don't think we put
7 values in there. I think you could talk about
8 these unique -- not unique but specific exposure
9 geometries where extremity dose could be much
10 higher than one, a ratio of whole body, that is,
11 and deal with that in a case by case basis.

12 DR. MAURO: Yes, a little qualitative
13 guidance.

14 DR. NETON: There is not much you can
15 do quantitatively here, I don't think.

16 DR. MAURO: Yes, I agree.

17 MR. BARTON: I'm just wondering
18 because we are talking about bomb assembly workers
19 with that kind of activity that happened at Sandia
20 or something like that.

21 DR. NETON: We ran into this extremity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 issue at other facilities.

2 DR. MAKHIJANI: Have you run into it at
3 ☺Pantex? How was it resolved over there?

4 DR. NETON: I don't recall, Arjun. Of
5 course, Pantex is an SEC for its entire time period,
6 too.

7 MR. ROLFES: There is discussion in the
8 TBD. My search function was not working earlier.
9 There is a discussion of the extremity monitoring
10 conducted at NTS. It is section 6.3.2.3.

11 DR. NETON: Right. It really just
12 talks about the type of monitoring that was done.

13 MR. ROLFES: Okay, page 34.

14 DR. NETON: Yes, I kind of saw that.
15 But it doesn't really get into the -- if people were
16 -- if extremity monitoring was conducted, I guess
17 one could look at some of the values that we have
18 if we have some extremity monitoring. But again,
19 it is specific. You know a photographer walking
20 around the site taking pictures is going to be
21 different than a guy who is doing weapons assembly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 work.

2 MR. BARTON: One other question I had
3 and it might just how it is worded. Under the
4 middle column that says status it says according
5 to NIOSH there were no claims of device assembly
6 workers involving extremity cancers as of 2007.
7 And then the most recent one is a review of claims
8 submitted since 2012. From 2008 to 2011, were
9 those claims looked at as well or --

10 MR. ROLFES: The second review was done
11 in 2012. Let's see. Maybe it was done after. It
12 says a review of the claims submitted since 2012.
13 So this was probably done after the Work Group
14 meeting in December of 2014. So I would have to
15 look to see what date that was done but there were
16 additional claimants that fell into this category.

17 MR. BARTON: I'm just wondering
18 because we looked before 2007 and then we looked
19 2012 on.

20 MR. ROLFES: Correct.

21 MR. BARTON: So there is another period

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that might have another example in there to kind
2 of help.

3 MR. ROLFES: Yes, there could be
4 another claimant, since we are talking about 12
5 employees at this time or at the time that this
6 analysis was completed.

7 MR. BARTON: And this is kind of -- I
8 just happened to be looking at this claim last
9 night. But one of the discussions that we had had
10 previously is that a lot of the bomb assemblers were
11 not necessarily just NTS employees --

12 MR. ROLFES: Correct.

13 MR. BARTON: -- but were actually
14 coming from the sites.

15 MR. ROLFES: Assigned laboratories.

16 MR. BARTON: And that one case, and it
17 was from the '80s, you did an extremity monitoring.
18 He wasn't even a chemist but he was involved in the
19 drill backs and doing their own testing. You know
20 what the nuclear material was that happened in
21 underground tests. And he had that extremity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitoring and actually was associated with NTS,
2 even though he was an employee of one of those other
3 sites.

4 MR. ROLFES: Sure.

5 MR. BARTON: So, that is kind of a
6 mitigating -- again, it is just by happenstance I
7 came across it. It was actually in the list of
8 claimants about how you find out if they were in
9 the NRDS or NRDL or not for that study you did.

10 MR. ROLFES: Got you.

11 MR. KATZ: Okay, so NIOSH is going to
12 propose some additional language, qualitative
13 guidance. So, that is in abeyance.

14 Arjun?

15 DR. MAKHIJANI: Yes, so we are in more?

16 MR. KATZ: Yes, sure. That one is in
17 abeyance.

18 DR. MAKHIJANI: So we were on 21.

19 MR. KATZ: Yes.

20 DR. MAKHIJANI: So 22 is still open and
21 that was pending the resolution of Pantex, the same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question at Pantex on neutron/photon ratios. And
2 so I will hand it over to Mark to update us.

3 MR. ROLFES: Yes, this is Mark. And we
4 had previously proposed neutron to photon ratio for
5 Pantex. However, as a result of many
6 deliberations with the Work Group we ended up using
7 the data that we had and made some adjustments to
8 the recorded neutron doses during certain time
9 periods. And we have developed, essentially, a
10 coworker external dose approach for photons,
11 neutrons, and electrons and have proposed to use
12 that in lieu of the n/p ratios.

13 So, let's see if there is an individual
14 that we believe that would fit the bill at NTS as
15 being involved in a job where neutron dose would
16 be possible and they were not monitored for
17 neutrons, then we could assign a coworker neutron
18 dose from Pantex.

19 MEMBER MUNN: So, if that's
20 incorporated, we can close it, right?

21 DR. MAKHIJANI: Well before we had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussed an approach of using an n/p ratio from
2 Pantex but now the approach is being changed. So,
3 I don't know how Brad wants to consider this.

4 Brad, you are also --

5 CHAIR CLAWSON: Well, if you remember,
6 we were back there and the way NIOSH was looking
7 at this and, Jim, correct me if I am wrong but we
8 were looking at one that we could use throughout
9 all the sites but come to find out that each one
10 of the sites had their unique differences. So each
11 site was then going to have its own ratio, if I am
12 correct.

13 DR. NETON: Well, we found out we
14 couldn't use a ratio at Pantex and, as Mark said,
15 we ended up developing a distribution of monitored
16 neutron doses and apply it over the years. And I
17 am looking up here. The neutron doses were pretty
18 small for Pantex. I can tell you that if I can find
19 them.

20 MR. ROLFES: This approach has been
21 approved. It is in the Pantex TBD as well. I just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wanted to add that, since we said that this was
2 pending the approval of the Pantex external
3 coworker model.

4 CHAIR CLAWSON: How are we applying
5 this at NTS?

6 MR. ROLFES: If an individual was
7 involved in a job category where they had an
8 exposure or a potential exposure to neutrons, such
9 as handling fissile material, for example, then if
10 they had no dosimetry for neutrons, we would apply
11 a coworker neutron dose based upon the Pantex.

12 DR. NETON: I can tell you the Pantex
13 doses, the highest annual dose at Pantex, 50th
14 percentile, is 43 millirem and it goes down from
15 there. It is no higher -- it is 4 millirem in
16 recent -- it is very small.

17 DR. MAKHIJANI: This approach seems a
18 little strange to me. This is Arjun.

19 It is one thing to take an n/p ratio and
20 apply it from Pantex to NTS. But the use of
21 coworker model distribution from Pantex for a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 different work population at NTS doesn't --

2 DR. NETON: Well, I would say --

3 MR. ROLFES: The work population isn't
4 too different. The work is slightly different in
5 that there are different types of devices handled
6 but the work, the hands-on work is essentially
7 using the same fissile materials at Pantex, as they
8 are at NTS.

9 MR. BARTON: Can we argue that it fits
10 the surrogate criteria? Because that is
11 essentially what we are doing.

12 MR. ROLFES: I would think so. It is
13 essentially an assembly worker.

14 DR. NETON: And these guys were
15 probably doing this more full-time than the ones
16 at NTS. Is that right?

17 MR. ROLFES: Oh, yes. Yes.

18 MEMBER MUNN: Oh, yes, absolutely.

19 DR. NETON: These guys were part-time
20 production. And again, the highest total was 43
21 millirem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ROLFES: You are talking the
2 majority of the work involving fissile material
3 handling would be done at Pantex in configurations
4 that would likely maximize the dose rates and doses
5 that the employees received. NTS was an
6 intermittent. There wasn't a lot of time spent
7 directly handling fissile materials in advance of
8 a test. You know these operations that were
9 conducted at NTS would have lasted a short amount
10 of time, not 40 hours a week, as would at Pantex.

11 DR. MAKHIJANI: I would agree.

12 MR. BARTON: And they might be from
13 Pantex.

14 MR. ROLFES: Right.

15 CHAIR CLAWSON: And this is what we got
16 into is that most of the people that would fit this
17 bill were NTS or from other sites, Sandia,
18 Livermore, Pantex, whatever else. People were
19 actually doing it and most of them had their own
20 badges in their facilities, too.

21 So this was kind of the uniqueness of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what NTS was. So, I guess you know Arjun, I really
2 don't see anybody that would really fit this but
3 I think that they have taken the appropriate steps
4 to be able to take care of it. But unless there
5 is a real big outstanding issue --

6 DR. MAKHIJANI: Well, Brad, I think I
7 understand Jim and Mark's point, now that they have
8 explained it and I have no problem in proceeding
9 in the way they have proposed.

10 CHAIR CLAWSON: Okay, so we could close
11 this one or put it in abeyance?

12 DR. MAKHIJANI: Well, there would be
13 some pending change to the TBD.

14 CHAIR CLAWSON: Okay, so this will be
15 in abeyance.

16 DR. MAKHIJANI: Right.

17 MR. KATZ: Mark, did you say this is
18 already in the TBD or not?

19 MR. ROLFES: The Pantex TBD has been
20 approved.

21 MR. KATZ: Oh, the Pantex TBD.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ROLFES: But the NTS TBD, I don't
2 believe has been updated to include a statement to
3 use the Pantex external dose coworker model.

4 MR. KATZ: Okay, good. Thanks.

5 DR. MAKHIJANI: Okay, can we move on,
6 Brad?

7 CHAIR CLAWSON: Yes, go ahead.

8 DR. MAKHIJANI: Okay, 23 we have
9 discussed, unless Lynn has something more to say.

10 DR. ANSPAUGH: No, I think our
11 conclusion has been what the soil data are and we
12 felt that they were adequate for the purpose for
13 which they have been used.

14 DR. MAKHIJANI: Right.

15 DR. ANSPAUGH: So I think this one, in
16 particular, probably can be closed.

17 DR. MAKHIJANI: Okay, so it will be
18 closed in the mix of things to resolve number 5.

19 The next issue -- sorry.

20 MR. KATZ: No, no. I'm just trying to
21 understand whether -- if that is something that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there is nothing more to do with, then we can
2 actually close it.

3 DR. ANSPAUGH: I think this one could
4 be closed.

5 DR. MAKHIJANI: Okay.

6 MR. KATZ: Then let's do that, if the
7 Work Group Members are in concurrence.

8 CHAIR CLAWSON: Phil, do you have any
9 problems with it?

10 MEMBER SCHOFIELD: I don't have any
11 comments. I agree, let's just close it.

12 CHAIR CLAWSON: Okay, Gen?

13 MEMBER ROESSLER: Am I off mute?

14 MR. KATZ: Yes.

15 CHAIR CLAWSON: You are off mute now.

16 MEMBER ROESSLER: I agree, let's close
17 it.

18 MR. KATZ: Okay.

19 CHAIR CLAWSON: Alright, Wanda?

20 MEMBER MUNN: Oh, yes.

21 CHAIR CLAWSON: Great.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Yes, I was ready this
2 morning.

3 CHAIR CLAWSON: You shouldn't be so
4 overzealous on stuff there.

5 MR. KATZ: Okay, thanks, Arjun.

6 DR. MAKHIJANI: Yes, okay, number 24 is
7 closed. Number 25 was transferred sometime back
8 to the Worker Outreach Group, so no longer
9 discussed in this Work Group.

10 And Number 26, the grab bag of things
11 originally in 2005 in the TBD review we raised quite
12 a few issues around waste handling and related
13 activities. And so I will hand this over. This
14 is kind of a lot of it is post-1992 but I will hand
15 it over to Mark to have a response. It's
16 complicated.

17 MR. ROLFES: The concern was about the
18 monitoring practices, primarily for individuals
19 under 10 CFR 835 in the more modern era after 1992.

20 We have put a significant amount of
21 information into the TBD that describes the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitoring practices and can see the response is
2 pretty lengthy here. I don't know that you want
3 me to read this monotonously.

4 Are there specific questions or is
5 there anything that sticks out that you have
6 questions about what we have added to the TBD?

7 DR. MAKHIJANI: No, I just want to
8 point out to Brad that this is a significant change
9 in the TBD and that we have not reviewed it and I
10 don't know whether you wanted it reviewed.

11 CHAIR CLAWSON: Well, yes, I did. But
12 I thought yes, that was part of the thing that we
13 wanted you to take a look at because we have had
14 a lot of changes to the TBD and I just wanted to
15 make sure that we agreed to how it finally got in
16 there.

17 DR. MAKHIJANI: Okay.

18 CHAIR CLAWSON: So, I guess that will
19 be in abeyance.

20 MR. KATZ: No, that one is just SC&A
21 needs to review it. That's it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR CLAWSON: Right.

2 DR. MAKHIJANI: So are you tasking us
3 to do that?

4 MR. KATZ: Yes.

5 CHAIR CLAWSON: Yes.

6 DR. MAKHIJANI: All right.

7 MR. BARTON: I feel a little bit
8 ignorant on this. Can someone tell me what an
9 orphan source is?

10 MR. ROLFES: A lost source.

11 MR. BARTON: A lost source?

12 MR. ROLFES: Yes, a source that
13 industry have -- an industrial facility uses a
14 source and loses it and it is discovered. You know
15 it is sent for disposal.

16 MR. BARTON: Okay.

17 DR. MAKHIJANI: Not in its proper home.

18 MR. BARTON: That's the end.

19 DR. MAKHIJANI: Yes, that is the end.

20 CHAIR CLAWSON: Okay. So do we want to
21 go over what --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Do we really want to go over
2 it?

3 CHAIR CLAWSON: -- the TIB as well? We
4 had better make sure that everybody understands or
5 -- well, just to make sure that everybody is in
6 agreement.

7 MEMBER MUNN: I have one question
8 before we start that and that is on number 25. Do
9 we know if anything at all is going on with the Work
10 Group?

11 MR. KATZ: No, nothing is going on with
12 that Work Group. And moreover, this is really a
13 little odd to transfer it even to another Work
14 Group. I didn't go back and look at the transcript
15 to see what had been said at the time about why this
16 would be transferred.

17 MEMBER MUNN: But you know since
18 December 2014, I haven't heard anything about it.

19 MR. KATZ: No. Well, the Worker
20 Outreach Work Group is not going to meet over this
21 little thing here, anyway.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: I didn't think so.

2 MR. KATZ: But Arjun, do you want to
3 talk more about that item 25?

4 DR. MAKHIJANI: Well you know this
5 dates back to quite a long time ago when NIOSH and
6 us and the Work Group and the Board had extensive
7 discussions about how worker outreach should be
8 approached and specifically on documentation of
9 interviews and things like that.

10 And since that time, as noted here and
11 as you all know, NIOSH has changed its
12 documentation approach and a lot has happened and
13 there is much more documentation now.

14 I mean I, if you want to close it here,
15 that would be okay with me. I just don't know if
16 it is not being handled in the Worker Outreach and
17 there is no intent to do it, we could close it. It
18 doesn't matter to me.

19 MR. KATZ: Yes I think, Brad and
20 company, this is -- I mean the only other thing
21 specific that I recall from what you brought up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there was about whether the appropriateness or the
2 completeness of the interview conducted. But that
3 is water under the bridge. And SC&A and NIOSH do
4 these interviews together these days and have been
5 for the past eight years or nine years. So it is
6 really I think the whole matter can just be closed.

7 DR. NETON: Yes, and the issue is now
8 it is an SEC for the entire period. It is not
9 necessarily relevant.

10 MR. KATZ: Yes.

11 DR. NETON: I mean as important as it
12 might have been if the interviews were used to
13 establish dose reconstruction for presumptive
14 cancers.

15 MEMBER MUNN: Yes, I think -- can't we
16 just say that NIOSH is --

17 CHAIR CLAWSON: I think we ought close
18 this. Just close it. A lot of things have changed
19 since --

20 MR. ROLFES: Our notes say that the
21 matter was closed in the December 2014 meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Oh, okay.

2 DR. MAKHIJANI: No, no, no. That is a
3 little bit of misleading. If I am remembering the
4 transcript of the meeting, it was closed for the
5 purposes of this Work Group because it was
6 transferred.

7 MR. KATZ: I see.

8 DR. MAKHIJANI: But what we are
9 discussing now is that it should be closed, period.

10 MR. KATZ: Okay. Okay well, we
11 probably could have closed it then but --

12 MR. BARTON: We basically had the same
13 conversation back in 2014.

14 MR. KATZ: Yes. So let's consider it
15 closed now.

16 MEMBER MUNN: NIOSH has changed its
17 documentation process and it is now closed.

18 DR. MAKHIJANI: Okay.

19 CHAIR CLAWSON: It will state in this
20 transcript that it is closed.

21 I don't know about anybody else but I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 go back and read those transcripts and I think to
2 myself, wow, do I really sound that stupid?

3 You know, you stop in the middle of
4 sentences and stuff. Oh, my God. So you guys do
5 a great job on transcribing this, maybe help us a
6 little bit.

7 MEMBER MUNN: This is a rhetorical
8 question, right?

9 CHAIR CLAWSON: Yes. What can we say?
10 Okay, I guess it is all yours, Ted.

11 **Wrap-up and Adjourn**

12 MR. KATZ: That's all. We are all
13 finished, I think. And we can't schedule another
14 meeting until we have a new TBD and/or the follow-up
15 when Lynn has his discussions and so on.

16 So, I think that is all we have. Oh,
17 you want me to run through all we have.

18 CHAIR CLAWSON: Yes.

19 MR. KATZ: The only problem is that
20 part of my -- I lost my internet connectivity. So,
21 I have two -- so like the early part, I don't have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those items because they are online but they are
2 not on my current document.

3 CHAIR CLAWSON: Maybe after you get
4 back, maybe you could just send out to everybody
5 and we could just --

6 MR. KATZ: I would be happy to do it.
7 I will send out a very brief thumbnail on what the
8 action items were and who has them. So, I will do
9 that when I --

10 MR. BARTON: I have some notes but I
11 don't know how complete they are.

12 MR. KATZ: Sorry?

13 MR. BARTON: I have some notes but I
14 don't know how complete they are.

15 MR. KATZ: Yes, so I will send it to the
16 Group and people can correct or add to it as
17 necessary.

18 Okay and thanks, everyone, for all the
19 work and diligence, attention and good luck with
20 your weather in various places.

21 (Whereupon, the above-entitled matter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health Nevada Test Site Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Chapman Valve Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

247

1 went off the record at 2:27 p.m.)