

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

WORK GROUP ON ANL-EAST

+ + + + +

FRIDAY  
MARCH 10, 2017

+ + + + +

The Work Group convened via teleconference at 10:30 a.m. Eastern Time, Bradley P. Clawson, Chairman, presiding.

PRESENT:

- BRADLEY P. CLAWSON, Chair
- JOSIE BEACH, Member
- GENEVIEVE S. ROESSLER, Member
- LORETTA R. VALERIO, Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## ALSO PRESENT:

TED KATZ, Designated Federal Official  
BOB BARTON, SC&A  
NICOLE BRIGGS, SC&A  
RON BUCHANAN, SC&A  
JOE FITZGERALD, SC&A  
ROSE GOGLIOTTI, SC&A  
LARA HUGHES, DCAS  
MARK LEWIS, ATL  
JENNY LIN, HHS  
VINCENT KING, ORAU Team  
JOHN MAURO, SC&A  
LaVON RUTHERFORD, DCAS  
JOHN STIVER, SC&A  
ELYSE THOMAS, ORAU Team

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## Contents

Welcome and Roll Call.....	4
SC&A 2016 Review of Site Profile Issues &.....	6
NIOSH status/preliminary responses.....	6
Plans for March ABRWH Meeting Presentation.....	71
(including issues to solicit from ANL-E.....	71
workforce).....	71
Adjourn.....	77

### NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## 1 PROCEEDINGS

2 (10:30 a.m.)

3 **Welcome and Roll Call**

4 MR. KATZ: First of all, welcome  
5 everybody to the Advisory Board on Radiation and  
6 Worker Health. This is the Argonne East Work  
7 Group. And the Argonne East Work Group is working  
8 on a review of the Argonne East Site Profile.

9 The agenda for today is very simple.  
10 It's on the NIOSH website. The scheduled meeting,  
11 today's date. But it's almost not worth going  
12 through the agenda. Although there is a document  
13 there which is the SC&A review of the current Site  
14 Profile. So, or the issues that are being resolved  
15 related to that.

16 So that SC&A review is posted on the  
17 website. And people can go to it and read that  
18 background material for the lead part of the  
19 discussion for today. And then, also, I think at  
20 the end we'll try to work out then what's going to  
21 be presented at the Board meeting, which we're  
22 having a Board meeting in a couple weeks in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Naperville, close to the facility. That's on  
2 March 22nd we'll be having a presentation, a brief  
3 presentation about the review of that Site Profile.  
4 And what we'll be looking for, also, is issues that  
5 we can ask people who've worked at the website --  
6 at the site there about, you know, holes there may  
7 be to fill and questions we may have.

8 So, anyway, that's more or less what's  
9 going on today.

10 For roll call, I have all my Board  
11 Members. My chair of this Work Group is Mr. Brad  
12 Clawson. And then we have Ms. Josie Beach, Dr. Gen  
13 Roessler, and Ms. Loretta Valerio. And none of  
14 them have conflicts of interest.

15 And we'll go on to the NIOSH ORAU team  
16 and please keep the conflict of interest as you run  
17 through your roll call. Thanks.

18 (Roll call.)

19 MR. KATZ: Brad, it's your meeting.

20 CHAIR CLAWSON: Great, I kind of don't'  
21 know where to start with this. If Lara wants to  
22 start first and give us some background, where they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are at or if SC&A wants to go over the Site Profile  
2 Review issues.

3 DR. BUCHANAN: I'd prefer seeing if  
4 SC&A could bring up the issues and then have NIOSH  
5 respond to them, if that would be okay with you.

6 CHAIR CLAWSON: That would be fine.  
7 Ron, go ahead.

8 **SC&A 2016 Review of Site Profile Issues &**  
9 **NIOSH status/preliminary responses**

10 DR. BUCHANAN: Okay. This is Ron  
11 Buchanan, SC&A. And Bob Barton is doing the  
12 display today. If you'd put up page 5 of the SC&A  
13 2016 report. That is the introduction part.

14 And what I'd like to do today, okay,  
15 it's been a long time since we visited this site.  
16 Many of you might not be familiar with it. And even  
17 SC&A, it's been a while since we've worked on it  
18 much. And so I'd like to do a little review, set  
19 a little background so that we're all on the same  
20 page.

21 And I think that's one of the main  
22 things we want to do today is to get everybody up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to speed, get all on the same page and then see where  
2 we want to go from here.

3 The TBDs for this site were issued way  
4 back in '05 and '06. And so most of you know TBD-6  
5 was revised in 2014. Now, as we progressed, then  
6 nothing was done on that till about 2008. Back in  
7 those days NIOSH and SC&A had back and forth  
8 conversations so that we could discuss questions,  
9 answers, clarifications, issues. And that is what  
10 is contained in Attachment 4 of our 2009 report.

11 And so this was some -- we asked  
12 questions, NIOSH responded. And on a few of them  
13 we replied back. And so that's then pages 91  
14 through 102 of the 2009 report, Attachment 4, which  
15 gets referred to sometimes. And so I wanted to  
16 give a framework of where that fit in.

17 So then in March the 11th of 2009, we  
18 actually issued our evaluation of the Site Profiles  
19 for Argonne East. And that included Attachment 4  
20 in the appendix, or in the attachments.

21 And so nothing more was done on it until  
22 TBD-6, Revision 1, was issued the 16th of October

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of 2014. And, again, nothing much was done until  
2 the Board tasked SC&A to do a status report in March  
3 of 2016, about a year ago. So, SC&A gathered this  
4 information up which, as you know, was kind of  
5 mothballed. So we gathered this information up  
6 and tried to put it in a report that brought it all  
7 together. And did some Site Profile issue  
8 recommendations in June of 2016. So not quite a  
9 year ago. So that's the introduction page you see  
10 displayed on the display at this time.

11 And in that, what we tried to do was  
12 bring together some of these issues and accomplish  
13 three things:

14 Look at what the revised TBDs may be at  
15 that time. And the only one was the TBD-6 from  
16 2014;

17 And perhaps address some of the issues  
18 we brought up by other Board venues at other sites  
19 and other documents to see if some of those answered  
20 some of the questions;

21 And number three was to look at new  
22 procedures or OTIBs and such that might address

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some of the issues. And, for example, OTIB-6 for  
2 medical X-ray did address some of the issues.

3 So that's where we were last summer.  
4 And then recently this information was put on the  
5 BRS for everyone to look at and try and consolidate  
6 it so everybody could follow that roadmap. And  
7 this was put on in February by SC&A.

8 And then we noticed, about day before  
9 -- well, we noticed yesterday that day before  
10 yesterday NIOSH had responded or had responded day  
11 before yesterday on the BRS to our 13 findings.  
12 And so, obviously we haven't had time to digest so  
13 we can respond to them.

14 And so what we'll do today is outline  
15 the finding and then have NIOSH give us our current  
16 response and then we'll decide, you know, whether  
17 that's a NIOSH action. Some of them they're going  
18 to do further work on. A few of them, SC&A needs  
19 to read and then provide a written response. And  
20 then I think one of them perhaps can be closed.

21 Now, I would like to make a point of  
22 clarification in that the 2009 Site Profile Review

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 lists items number in Attachment 4. And there's  
2 13 item numbers that we discussed back and forth  
3 with NIOSH. These correspond somewhat with our  
4 2016 report but not exactly. There's not always  
5 a one to one correspondence because some of those  
6 items we took and put into topics.

7 And so on the BRS and then today and here  
8 forward we will use our 2016 numbering system for  
9 our findings so we don't get confused and we have  
10 a uniform method.

11 So, if that's agreeable to everyone, I  
12 will start on Finding 1. If Bob will put up the  
13 BRS Finding 1.

14 Any comments or questions before we get  
15 started?

16 CHAIR CLAWSON: I don't have any at  
17 this time.

18 Is everybody hearing that cut-in or  
19 cut-out? Or is that maybe my fault?

20 MR. KATZ: He's clear on my phone,  
21 Brad.

22 CHAIR CLAWSON: What's that?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: He's clear on my phone.

2 CHAIR CLAWSON: Okay. I might change  
3 the phone. But I'll plug in there. So, okay, go  
4 ahead, Ron.

5 DR. BUCHANAN: Okay. So we see that  
6 Finding Number 1 is potential missed dose from lack  
7 of definition of radionuclide compositions and  
8 radionuclides not addressed in the Site Profile.  
9 And what SC&A was concerned with when we did this  
10 review in 2009 was issues with the source term,  
11 really.

12 For example, the percent enrichment, of  
13 enriched uranium, what would be used? Because  
14 most of the time back in those days they had gross  
15 alpha, gross beta, so how would you assign dose?  
16 Or what was the radioisotopes because it wasn't  
17 completely described in the TBD? And so  
18 plutonium, what radionuclides of plutonium were  
19 there?

20 Accelerator-produced radionuclides,  
21 which are usually fairly short-lived activation  
22 products. And back then what we called exotic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radionuclides such as californium-252, et cetera.  
2 And so we felt there needed to be some further  
3 description on that for the dose reconstructor  
4 tiers. And so that was our Finding Number one.

5 So now I'll turn it over to Lara and she  
6 can provide her response to that.

7 DR. HUGHES: Okay. We had some  
8 discussion with the group about the dose  
9 reconstruction part. I meant to point out during  
10 roll call, we are expecting some folks from ORAU  
11 to call in. But I was notified that they might be  
12 running a little late today. So I just wanted to  
13 put that on the record.

14 As for the uranium mixtures, what's  
15 typically done in the dose reconstruction is a lot  
16 of the uranium bioassay that we see in front of  
17 units, not in mass units but in radiological units.  
18 And in that case it would be assigned as uranium  
19 -- was whatever uranium -- let me see, typically  
20 it would be assigned as uranium-234.

21 I haven't seen a lot of mass units in  
22 the claims. But in case a claim has uranium

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 bioassay mass units it would be assigned depending  
2 on the individual scenarios. So there would be  
3 some research into where does this person work at,  
4 and would we assume that the person most likely  
5 worked with National Uranium.

6 So, and then some might assign it in a  
7 claimant-favorable way but also in a reasonable  
8 way, depending on the individual claim.

9 For plutonium mixtures we typically, I  
10 think some of it is discussed in the TBD. It's  
11 often with plutonium-231 -- 239 because it's  
12 claimant favorable.

13 So but that's in a nutshell. I mean,  
14 there could certainly be some additional guidance  
15 in the TBD, and we're currently assessing to see  
16 if any information is available regarding any other  
17 exotics such as accelerator turns. I believe the  
18 accelerator startup at ANL was in the 1950s.

19 So, we have currently mostly looked at  
20 the very early periods in focusing on to see if we  
21 find any infeasibilities in the 1940s. So in that  
22 regard, yes, there could be some more information

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the TBD, but we're still assessing and we have  
2 not come to any final conclusions whether or not  
3 the information is available.

4 I'd like to point out that since the  
5 TBDs were written 2006, we have currently about  
6 4,000 documents in the SRDB. And would say  
7 probably half of those have been added since the  
8 TBDs were issued. So we have a very large  
9 information, very large amount of information to  
10 go through and to research to see how we're going  
11 to refine these TBDs. And also to assess the  
12 status and feasibility of the early, the early  
13 period, especially for internal dose  
14 reconstruction.

15 DR. BUCHANAN: Okay. So I guess the  
16 procedure at this time is we should wait to evaluate  
17 this until you, you are planning a revised internal  
18 TBD. Is that correct then?

19 DR. HUGHES: Yes. There will be a  
20 revision.

21 There will also be an assessment  
22 whether or not there is any infeasibilities and,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you know, whether or not there will be an SEC added.  
2 That's obviously going to go along very similar to,  
3 to other sites.

4 At this point I cannot -- we have  
5 obviously not come to a conclusion. We're still  
6 in the middle of doing the research. It's a lot  
7 of -- it's rather time consuming.

8 DR. BUCHANAN: Okay, thank you.

9 Brad, then I assume that you would  
10 prefer SC&A to wait to provide a written response  
11 to Finding Number 1 and NIOSH's response until we  
12 see a revised TBD-5. Is that correct?

13 CHAIR CLAWSON: That is correct, Ron.

14 DR. BUCHANAN: Okay. So I think that  
15 probably on a lot of these findings we will be  
16 looking for a revised TBD. But we will address  
17 each one individually and then make sure that the  
18 SC&A is clear on what we should do next.

19 So is there any questions or comments  
20 or clarification anyone wants to ask on Finding  
21 Number 1?

22 MR. KING: This is Vincent King from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ORAU. I just wanted to -- I think I missed the roll  
2 call. And wanted to let you know I'm on the line.

3 DR. BUCHANAN: Okay. Any comments on  
4 1, Finding 1?

5 MEMBER BEACH: Ron, this is Josie. I  
6 don't have any right now.

7 DR. BUCHANAN: Okay.

8 CHAIR CLAWSON: I'm good for right now.  
9 This is Brad.

10 MEMBER ROESSLER: This is Gen. I  
11 don't either.

12 MEMBER VALERIO: This is Loretta. I  
13 don't either.

14 DR. BUCHANAN: Okay, thank you.

15 So, Bob, you want to bring up the  
16 Finding Number 2.

17 Okay. Finding number 2 was missed dose  
18 from the use of gross alpha counting for bioassay  
19 from 1946 to 1972.

20 And this had to do with, kind of related  
21 to Finding 1 in that not knowing the radioactive  
22 material was present. And back then, again, they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 did gross output. In the early years they didn't  
2 have any way to do spectrometry much, especially  
3 on a routine basis.

4 And so it would be important to know  
5 what isotopes we were counting for. And so this  
6 is, like I say, similar to 1, only this is concerned  
7 more with the bioassay results themselves. And so  
8 that is the issue that we have.

9 And so, Lara, do you want to address  
10 that?

11 DR. HUGHES: Yes. I mean this is  
12 obviously the early internal. It's always a big  
13 issue. And we're still assessing. It's true that  
14 mostly it was alpha in the late '40s, early '50s.

15 We're trying to figure out at what point  
16 they actually, they had the capacity to do all the  
17 specific analytes if needed. The current -- it  
18 looks like they were I think attempting to analyze  
19 for specifics if needed. But I just think we need  
20 to kind of figure out, you know, what the capacities  
21 were, what were the methods used and all that. But  
22 we're still, we're still assessing that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Again, that's obviously one of the  
2           major issues to look at with regards to potential  
3           infeasibility. And we're still assessing it.

4           What we did, our main -- well, one of  
5           the big things we looked at was the comparison  
6           between the Metallurgical Laboratory and ANL-East  
7           because of the, as you might be aware, but the Met  
8           Lab is an SEC based on that there was no monitoring  
9           data available at the time. And so isn't -- Now,  
10          we're trying to figure out what, what happened in  
11          the meantime, like once ANL-East came up and  
12          running, so to speak.

13          It was a transition from the Met Lab to  
14          ANL-East which essentially not so much the same  
15          facility but it's the same contractors, the same  
16          people working. So there is a continuation at this  
17          facility. So what we're trying to figure out is  
18          what changed? Why, why did they -- were the same  
19          infeasibilities there that were at the Met Lab?

20          And we found that, no, indeed there were  
21          not. They did have a potential to be internal in  
22          the late '40s, which is somewhat, not necessarily

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 unusual, but we don't see it at many of the other  
2 sites.

3 So there's no clear indication that  
4 they didn't do the bioassay. However, we still  
5 need to assess whether or not this program is indeed  
6 robust enough for our requirements. And this is  
7 an effort that is still ongoing. As I said, there  
8 are additional documents regarding health and  
9 safety. Regarding the program that has been  
10 captured, that has not been, that information has  
11 not been included in the TBD. And that is all on  
12 our to-do list currently.

13 DR. BUCHANAN: Okay, thank you. So  
14 that is saying this Finding 1 will be issued, a new  
15 TBD, and like I say, SC&A will review it. And any  
16 questions, comments, clarification at this time on  
17 Finding Number 2.

18 CHAIR CLAWSON: This is Brad. I'm  
19 good.

20 MR. KATZ: I think that's all good,  
21 Ron.

22 Just could I ask everyone that's not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 speaking please mute your phones because there's  
2 a lot of sort of static that's coming through and  
3 interfering. Thanks.

4 DR. BUCHANAN: Okay. Finding number 3  
5 is -- what that was concerned with was assuming the  
6 TBD said that they assumed the inhalation pathway  
7 for radionuclides if no other information was  
8 available. And mainly SC&A wanted to point out  
9 that ingestion also needs to be included. And  
10 looked at a pathway for some organs such as the GI  
11 tract.

12 And so that was our issue there was, is  
13 ingestion considered in some dose reconstruction  
14 where it would lead to a higher dose, or should be  
15 included with the dose? And so that was our  
16 question on that.

17 Lara, do you want to address the Finding  
18 Number 3?

19 DR. HUGHES: Yeah. Based on our  
20 discussion with our contractor that is involved in  
21 the DR processes, I was told that ingestion,  
22 intakes are included as appropriate. However,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 inhalation is our default intake mode based on, you  
2 know, all the program documentation.

3 So, I mean, that's really it. It would  
4 be considered if needed or if appropriate. And I  
5 think that's always been the case. So I mean it's  
6 not ingestion, it's --

7 DR. MAURO: This is John Mauro.

8 DR. HUGHES: -- considered.

9 DR. BUCHANAN: Yes?

10 DR. MAURO: Yes, I just wanted to ask  
11 a question because it may help clarify.

12 Typically in the more recent cycle of  
13 files there is a coupling between the methods you  
14 use to do inhalation and ingestion where you draw  
15 upon OTIB-9 and on the airborne activity. In this  
16 case, since you have biological data and on your  
17 Findings 1 and 2 you're going to clearly take  
18 advantage of the unit samples, and then if you find  
19 yourself, well, you know, usually -- this is not  
20 how I would speak if I was NIOSH -- include the  
21 OTIB-9 approach. Knowing the airborne activity  
22 during operations, let's say, you have your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 protocol to convert to ingestion, which always  
2 turns out to be a relatively small contribution.

3 Do you, or I guess the question posed  
4 is, do you plan on taking that sort of line of attack  
5 whereby either you use available airborne activity  
6 or you back-calculate what the airborne activity  
7 might have been, given the biological data, and  
8 then go forward with the ingestion pathway on that  
9 basis?

10 DR. HUGHES: That's how I understand  
11 it, yes.

12 DR. MAURO: Okay. I'm bringing it up  
13 only because there seems to be a tractable problem.  
14 And if you are able to get to the point where you're  
15 able to reconstruct the inhalation or the internal  
16 dose, in theory then you could also come up with  
17 a way to get airborne activity if you don't already  
18 have the measurement.

19 So, I bring this up as just a line of  
20 approach that might work.

21 DR. BUCHANAN: Okay. I think that's  
22 one then that, yes, if NIOSH has completed with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 their response that's one that SC&A will have to  
2 evaluate and provide a written response on. If  
3 that's okay with everyone?

4 MEMBER BEACH: Sounds good, Ron.

5 DR. BUCHANAN: Okay. Okay, if there's  
6 no further questions or comments, we'll go on to  
7 Finding Number 4.

8 MR. KATZ: Am I the only one who's  
9 hearing a lot of static?

10 MEMBER BEACH: I'm not hearing any  
11 static at all.

12 CHAIR CLAWSON: Yes, clear as a bell  
13 for me, too.

14 MEMBER ROESSLER: I can hear  
15 everything fine.

16 MR. KATZ: Okay, thanks. It's strange  
17 because I have a hard line here. Okay, thanks.

18 CHAIR CLAWSON: I had to change phones.

19 MR. KATZ: Go ahead, Ron. It's just me  
20 then, apparently, who has the problem.

21 DR. BUCHANAN: Okay. So Finding  
22 Number 4. We had concerns about insufficient

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information on the calculation of the MDA, minimum  
2 detectable concentration, and uncertainties in  
3 bioassay methodology.

4 And so our concern there was that there  
5 was too little information to really give the dose  
6 reconstructor confidence in what the MDA values  
7 were and the associated uncertainties there. And  
8 so we would like to have seen, you know, further  
9 investigation into perhaps finding more  
10 information on that.

11 And so I'd like to turn it over to Lara  
12 now for her response.

13 DR. HUGHES: Yeah. The MDA values  
14 that are in the TBD are based on the information  
15 that was available at the time. Often they are  
16 taken from individual bioassay results. So we  
17 will not necessarily find a report that states  
18 explicitly to any effort in this method of what,  
19 you know, this value that we reach from the  
20 available bioassay data.

21 And anything that's included in the TBD  
22 is what was available at the time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And we can certainly attempt to refine  
2           that based on, you know, any additional research  
3           from data that has been collected since that time.  
4           But I have no indication at this time that we  
5           necessarily have any more data than we had nine  
6           years ago.

7           There might be some, yes. I mean, but  
8           I mean essentially what's included in the TBD is  
9           usually all of the information that we have. And  
10          it's almost early if minimum detectable levels are  
11          quite high, which gets resolved in a large missed  
12          dose. That's pretty typical.

13          DR. BUCHANAN: Okay. Now, in your  
14          reply you say records between ANL are being  
15          reviewed to determine if they may refine the  
16          current estimates of the MDA values. What are you  
17          -- should we evaluate this as it stands now? Or  
18          do you anticipate any changes in TBD-5 when it's  
19          reissued?

20          DR. HUGHES: It is quite possible there  
21          might be some changes. I cannot -- I do not have  
22          any, you know, refined values in front of me at this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 time. We have not gotten to that point.

2 DR. BUCHANAN: I think probably it  
3 would be best then for SC&A to postpone further  
4 evaluation until we see the revised TBD in case  
5 there are additional values in it; if that's  
6 agreeable to everyone?

7 CHAIR CLAWSON: That's fine, Ron.

8 DR. BUCHANAN: All right.

9 MEMBER BEACH: Sounds good.

10 DR. BUCHANAN: Okay. Finding Number 5  
11 is guidance for missed dose for unmonitored  
12 workers, for large gaps in monitored workers' dose.  
13 And this is concerned, of course, with the issue  
14 of what would be done when there was a gap in the  
15 bioassay records for people. And, of course, at  
16 this time we had no coworker data for this site.

17 And so, Lara, do you want to address  
18 that issue?

19 DR. HUGHES: Yes. There's no coworker  
20 model for this site. We at this point do not know  
21 if it's possible to develop one. I would think  
22 that at some point it's probably possible.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Currently, you know, the guidance that  
2           is followed in the TBD is that for unmonitored work  
3           -- the TBD states that all workers that needed to  
4           be monitored were monitored. And where it's often  
5           questionable, we have found some reasonably  
6           reassuring information that ANL actually had, you  
7           know, workplace restrictions in place and that it  
8           had a fairly good program.

9           We found program documentation that  
10          was, like, all the way to 1948. So, there is a  
11          reasonable amount of confidence that the workers  
12          that were rad workers were indeed monitored.

13          So the current approach is that  
14          somebody who wasn't monitored is not considered for  
15          that period that they weren't monitored, is not  
16          considered to be going into a radioactive area and,  
17          therefore, wouldn't receive an occupational  
18          exposure other than the environmental exposure.  
19          And that's how this is currently used in the dose  
20          reconstruction.

21          Now, this is always an issue. And we  
22          certainly need to look into it some more. It's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 quite difficult to produce. We're currently  
2 reviewing all the available claims in NOCTS to kind  
3 of see what job titles are available and, you know,  
4 whether or not the worker was monitored to see if  
5 we can somehow, you know, correlate the job with  
6 their monitoring status. And then that is still  
7 ongoing.

8           There is surprisingly large number of  
9 claims that have early bioassay data, even from the  
10 1940s, especially compared to the data I've seen  
11 at other sites. Now, that being said, there is  
12 also a fair number of workers that were not  
13 monitored in their early years. So we're still,  
14 again, still assessing. This is somewhat of a  
15 difficult problem to prove. It's essentially  
16 proving the negative. But, yes, I mean it needs  
17 to be worked out because we often run into this  
18 issue.

19           DR. MAURO: This is John again. Just  
20 another sort of observation is Jim Neton put out  
21 a superb guideline document on coworker modeling  
22 and the criteria. And I see this as a perfect

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 opportunity to apply that. That is, you know, when  
2 you start to sort out the bioassay data and you see  
3 its completeness, accuracy, et cetera, the degree  
4 to which you could build a coworker model from that  
5 is following Jim's procedure.

6 I don't recall the number. I mean,  
7 this is the perfect place to try it out. We have  
8 used that procedure in the past and found favorably  
9 regarding that protocol for making these kinds of  
10 determinations.

11 MEMBER ROESSLER: This is Gen. Am I  
12 off mute?

13 CHAIR CLAWSON: You are.

14 MEMBER ROESSLER: Okay. On this issue  
15 of whether people were actually monitored or not,  
16 and especially in the early years here, what have  
17 you found out from worker interviews? Are there  
18 people still available who can give us some  
19 information on that?

20 DR. HUGHES: This is Lara. NIOSH has  
21 not done any worker interviews in the recent past.

22 MEMBER BEACH: SC&A did some, what was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it, back in 2009 I think, 2008.

2 DR. BUCHANAN: Yes. They did 32  
3 workers' interviews. And they're outlined in one  
4 of our reports, the 2009 report I think, Attachment  
5 1 or 2. And outlined not by the interviewee but  
6 by the subject matter and content.

7 And so, yes, the last interview we did  
8 was we did these 32 in two thousand -- before 2009,  
9 obviously, because that's when the report came out.  
10 And so at this point we are looking to find out,  
11 you know, where SC&A stands, where NIOSH stands and  
12 what's coming down the road really before we  
13 approach any more interviewees to get any  
14 additional information, unless we seek points like  
15 this like who was monitored and stuff. Then that  
16 might be helpful at that point.

17 MEMBER ROESSLER: Okay, thank you.

18 DR. BUCHANAN: Okay. So it looks like  
19 Finding 5, again, is one that we're waiting to see  
20 if they have -- what information they need and  
21 probably that will appear in TBD-5 whether they  
22 think we need a coworker model or not or whether

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the records support the fact that people needing  
2 to be monitored was monitored, and those who  
3 weren't monitored did not need to be monitored.

4 So, again, I would think that we would  
5 wait to see what their finding is and decide on  
6 that. And we will evaluate that at that time.

7 If there's no further comments or  
8 questions, I'd like to turn it over to Nicole. And  
9 she has the medical part. These 13 findings are  
10 divided up into internal, which I have covered and  
11 medical which is on 6, 7, and 8. And then we'll  
12 come back with the external and environmental for  
13 the remainder of the findings.

14 So, Nicole, are you ready for your  
15 medical X-ray?

16 MS. BRIGGS: Yes. Yes.

17 DR. BUCHANAN: Okay, thank you.

18 MS. BRIGGS: Before I get into the  
19 individual findings I just wanted to give a little  
20 background. There was something that emerged  
21 since the publication of the findings related to  
22 occupational medical. So I'll start with that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, there was limited information about  
2           the X-ray screening program at ANL-East before  
3           1988.       So the TBD recommends that dose  
4           reconstructors use guidance in OTIB-6, which is the  
5           general site-wide guidance document for assignment  
6           of occupational medical dose.

7           The TBD was published in 2006, so it  
8           references the 2005 version of OTIB-6, which I  
9           believe was Revision 3.   And since that time there  
10          has been a complete revision of OTIB-6, which was  
11          published in 2011, which is Revision 4.

12          So, the first thing we did a few months  
13          ago when we revisited this Site Profile Review for  
14          occupational medical is we looked at this new  
15          Revision 4 of OTIB-6 to see if anything was changed  
16          or added that would affect the guidance in the TBD.  
17          And also to see if any of those changes would have  
18          an effect on our findings, which were published in  
19          2009.

20          So we did note that the conventional  
21          X-ray doses have not changed from Revision 3 to  
22          Revision 4 of OTIB-6.   But there were changes to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the recommended PFG doses and the lumbar spine  
2 doses. So in our report, I believe it's pages 9  
3 and 10, we've got Tables 1 and 2 which compare those  
4 changes for the occupational medical dose as  
5 published in the 2006 TBD, which were -- which is  
6 from the older version of OTIB-6, as compared to  
7 the new published values in the revision of OTIB-6  
8 from 2011.

9 The changes are relatively small. But  
10 that's something that I guess would be included in  
11 a new revision of the TBD, like we had mentioned  
12 earlier. So, I think we could probably just leave  
13 it there until there is another revision of the TBD.

14 CHAIR CLAWSON: Yes, that sounds like  
15 we're going to do that this draft.

16 DR. MAURO: Nicole, this is John. One  
17 of the matters that I recall was once you move into  
18 PFG world, which we all understand the changes were  
19 made, is there any -- and this may be another  
20 finding coming later -- but is there any issues  
21 related to whether or not there was PFG at that time  
22 or was that just another issue that you'll be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 looking at shortly?

2 MS. BRIGGS: Yes, yes, that's correct.  
3 That's covered in Finding 8. So I'll do that when  
4 we're there.

5 DR. MAURO: Okay. Thank you.  
6 Thanks. Sorry about that, okay.

7 CHAIR CLAWSON: John, just wait your  
8 turn now.

9 DR. MAURO: I know. I can't help it.

10 MS. BRIGGS: Okay. So, I guess I can  
11 move on unless anyone has any questions about the  
12 OTIB-6 revision. I can start on SC&A Finding 6.

13 Okay, so this one was described as a  
14 failure to adequately define and assess  
15 occupational medical exposures in the pre-1988  
16 years, and potentially missed special employment  
17 exams.

18 We found when we revisited these  
19 findings that the findings have some overlap to  
20 them. And a particular finding sometimes  
21 addresses more than one issue. So I'm going to do  
22 the best I can to sort of tease out those issues

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and try to address them individually.

2 For example, for this finding there are  
3 essentially two main issues that were included  
4 here.

5 The first one addresses doses that  
6 could have been assigned from special screening  
7 exams.

8 And the second issue has to do with, in  
9 this particular finding, Number 6, has to do with  
10 the frequency of the X-ray exams.

11 So I'll back up. For the issue of the  
12 special screening exams, which would include  
13 things like screening for beryllium workers,  
14 asbestos workers, exams that were performed at the  
15 end of employment for a termination exam, Revision  
16 3, which is an older version of OTIB-6, had  
17 recommended that those doses from these types of  
18 exams should be included in dose reconstructions.

19 So we just noted that this is another  
20 one of the examples where the TBD would simply need  
21 to be updated to include I guess some of the  
22 language from the revision from OTIB-6.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And then for the second part of this  
2 finding, which relates to the frequency of the  
3 X-ray exam, the TBD recommends a finding X-ray  
4 exams every four years. Now, in the Attachment 2  
5 of this document which contains the interviews that  
6 were performed with the ANL-East workers, some of  
7 those workers indicate that annual X-ray exams were  
8 in fact performed as part of their annual physicals  
9 beginning in about 1950. And they had stated that  
10 that extended some time into the 1990s.

11           And then during the 1990s it seems like  
12 the X-rays were done once every, every two years.

13           So, for this we, SC&A recommends that  
14 the finding stay open for discussion. So, I'll  
15 pass that over and see what the NIOSH team proposes  
16 in the BRS for that.

17           DR. HUGHES: Okay. So, yeah, the  
18 medical TBDs will be updated with the data that's  
19 in OTIB-6.

20           As to the frequency, I'm not sure we  
21 considered it that much of an issue because the site  
22 typically reports all the X-rays, all the X-ray

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dates with each individual claim. I think that's  
2 what I've seen in the claim data. So I think that's  
3 what's used at least in best estimate cases.

4 There might be some cases where they do  
5 an annual, assume an annual as an overestimate. I  
6 would have to refer to the ORAU dose reconstruction  
7 team to provide details. But, I mean, in general  
8 we will use claimant-favorable assumptions, or in  
9 most cases the actual data that is available.

10 MS. BRIGGS: Okay. I guess for this it  
11 was I looked specifically in cases where the dose  
12 reconstructor doesn't have data to work from and  
13 has to refer to OTIB-6.

14 DR. HUGHES: Right. I'm not sure how  
15 frequent that is at the site.

16 MS. BRIGGS: Okay. So, Ron, I guess  
17 we'll just leave that open.

18 DR. BUCHANAN: Okay. So we're  
19 planning on, Lara, we're planning on revising the  
20 TBD-3 to reflect OTIB-6 current recommendations?

21 DR. HUGHES: That is correct. It  
22 needs to be updated with the current

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recommendations.

2 DR. BUCHANAN: Okay.

3 DR. MAURO: Lara, this is John Mauro.  
4 A thought has come to me and I think it might be  
5 helpful.

6 One of the areas that I've encountered  
7 more recently is that there is a degree of  
8 discretion used. There was a time when it was  
9 automatic at a DOE site to assign some type of  
10 medical X-ray, usually just a chest X-ray. And it  
11 was automatic annually. But I've seen more and  
12 more where you go into a particular, on a  
13 case-by-case basis and see what the records are for  
14 that worker. And at that point decide whether or  
15 not you will be assigning medical X-ray doses to  
16 that case or not.

17 And I always felt that that was -- how  
18 you go about doing that is that simply you just look  
19 at, you know, you presume that if no records are  
20 there related to the X-ray to that person that it  
21 did not get the exposures? That was always a bit  
22 troubling to me because there's a presumption

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       inherent in that.  When previously, if I recall  
2       correctly, you usually universally just assigned  
3       that.

4                Maybe I'd like, if you wouldn't mind,  
5       just a little bit of how you come about this more  
6       refined approach, more, I guess you would call more  
7       realistic, but also a little bit more vulnerable  
8       in terms of being claimant favorable.

9                DR. HUGHES:  Right.  I'm not sure.  We  
10       either use an assumption or we try to use the  
11       claimant favorable, or we use the actual data  
12       that's available.  Anything else I would have to  
13       defer to the ORAU team that actually did the  
14       hands-on dose reconstruction because I have not  
15       done any of those myself.

16               DR. MAURO:  Yes.

17               DR. HUGHES:  So other than that, I  
18       can't really elaborate on that.

19               MS. BRIGGS:  I guess our just concern  
20       here was that because it says, because the TBD  
21       states that the exams were done every four years  
22       that it may be misleading in cases where there is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 no data. I guess if there is data for most cases,  
2 then that's fine and the dose reconstructors use  
3 that information for the particular individual.

4 But I guess that's why because we were  
5 concerned because it said every four years in the  
6 TBD.

7 DR. HUGHES: I believe that statement  
8 was put in the TBD based on information that was  
9 found in the records. However, it's quite  
10 possible if it was more frequent that we have  
11 additional data to update this with.

12 MS. BRIGGS: Okay. I guess any  
13 comments about Finding 6?

14 (No response.)

15 MS. BRIGGS: All right, I'll keep going  
16 on Finding 7.

17 For this one the description was for the  
18 -- described there's a lack of techniques and  
19 protocols for medical examinations prior to 1988,  
20 increases the uncertainty of dose conversion  
21 factors listed in the TBD.

22 So, so this finding it seems that SC&A

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was concerned about a lack of documentation of the  
2 type of X-ray equipment that was used before 1988.  
3 Along with the, seems like the beam quality, the  
4 calibration of the equipment, and the protocols and  
5 the techniques that were used for their dose  
6 calculations.

7 I am not going to get into the details  
8 of the different types of X-ray equipment used at  
9 ANL over the years. I think we can simplify that  
10 for the finding. Both Revision 3 and Revision 4  
11 of OTIB-6 were reviewed by SC&A. And all of those  
12 issues associated with those reviews have been  
13 resolved and closed.

14 So SC&A found the protocols and the  
15 assumption in OTIB-6 to be claimant favorable.  
16 And since the TBD relies on the guidance in OTIB-6,  
17 I think we might be able to select them in closing  
18 this finding, if others agree.

19 DR. BUCHANAN: Well, do we need to see  
20 this in the reference to OTIB-6 though in the  
21 revised TBD before we recommend closure? Because  
22 it looks like OTIB-6 answered some of our questions

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but it has not been incorporated into the revised  
2 TBD-3 yet. Is that correct?

3 MS. BRIGGS: Yes. I guess that's  
4 true.

5 MR. BARTON: Well, I think in this  
6 situation we would probably recommend waiting  
7 until we can actually see the changes.

8 DR. BUCHANAN: Right.

9 MS. BRIGGS: Okay.

10 CHAIR CLAWSON: I would agree.

11 MS. BRIGGS: Okay. If there is  
12 nothing else, I think I will move on to the last  
13 finding related to occupational medical dose,  
14 which is Finding 8. And, again, that has to do with  
15 the frequency and the types of X-ray exposures and  
16 their uncertainties.

17 So, again, there is a little overlap  
18 between some of these findings. So this again  
19 includes the issues, the issue of special screening  
20 exams and the issue of the frequency of the exams  
21 that were raised in Finding 6. But it also raises  
22 the issue of PFG exams.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           As we mentioned, some of the PFG doses  
2           have been changed from Rev 3 to Rev 4 of OTIB-6.  
3           And we included them there in our tables. And,  
4           like I said, those just needed to be updated to  
5           include the new values.

6           The TBD did state that although it was  
7           unlikely that PFGs were performed after 1948, some  
8           claimants' files indicated that it was possible for  
9           PFGs to be performed through 1956. So the  
10          recommendation in the TBD is that PFGs be assigned  
11          through 1956.

12          Now, as part of the Site Profile Review,  
13          SC&A referenced a paper from 1961, authors Januska  
14          and Smith. And in that paper it suggests that the  
15          type of equipment that was used at ANL through 1958  
16          was actually capable of photofluoroscopy. So SC&A  
17          as part of its finding brought up the suggestion  
18          that the PFG assignment should be extended through  
19          1958 as opposed to stopping in 1956.

20          I'm not sure how, where to go with this  
21          one. I didn't even spend a lot of time analyzing  
22          the equipment here. I was going to see if others

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on the SC&A team remember the details about when  
2 this finding was put in related to PFGs.

3 Because it seems that there's, you  
4 know, with the exception of the paper from '61 to  
5 discuss the material, there really doesn't seem to  
6 be evidence that -- I'm actually going against the  
7 findings -- doesn't really seem to be solid  
8 evidence that PFGs were performed as late as 1958.  
9 And that their claim is that assigning PFGs through  
10 1956 would be claimant favorable.

11 I don't know if anyone has any other  
12 opinion about that.

13 MS. THOMAS: Yes, hi. This is Elyse  
14 Thomas. And I'm the medical dosimetrist for the  
15 ORAU team.

16 And I think that paper -- I haven't  
17 looked at it recently -- but it think it mentioned  
18 fluoroscopic, that the equipment at ANL had  
19 radiographic and fluoroscopic capability. And  
20 that's different from PFG.

21 MS. BRIGGS: Right.

22 MS. THOMAS: So, so just because it has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fluoroscopic capability which is, you know,  
2 dynamic, realtime viewing moving organs, that is  
3 a different technology than photofluorographic.  
4 And they're often confused.

5 So, you know, we looked into that to  
6 make sure that that equipment didn't have PFG  
7 capability. But if I recall from that article, I  
8 don't think that's the case. I think it was  
9 fluoroscopic capability, which is different.

10 MS. BRIGGS: Okay.

11 MS. THOMAS: So we'll look into it.

12 MS. BRIGGS: Okay. All right. Yes,  
13 we'll keep that open for discussion for the  
14 revision of the next TBD.

15 MS. THOMAS: Yes. Okay.

16 MS. BRIGGS: Okay. I think that  
17 completes the finding for occupational medical  
18 dose.

19 DR. BUCHANAN: Okay, thank you.

20 MR. KATZ: Ron, before you get started,  
21 just to SC&A, just for proper accounting of this,  
22 we've talked all along about keeping things open.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Next time when BRS is in progress, once they're  
2 engaged, please do that.

3 MS. BRIGGS: I'm sorry. I think I'm a  
4 little unfamiliar with the terminology.

5 MR. KATZ: Ron did it too. But it's  
6 quite okay. It's just that way we know that the  
7 Board needs to have a discussion on that issue.  
8 That's all.

9 MS. BRIGGS: Okay.

10 MR. KATZ: Thanks.

11 DR. BUCHANAN: Okay, you want to -- so  
12 it stays open. Is that your point, Ted?

13 MR. KATZ: Yeah. Right.

14 DR. BUCHANAN: Okay.

15 MS. BRIGGS: Ron, I'll take care of  
16 that.

17 MR. KATZ: Okay.

18 DR. BUCHANAN: Okay. So that  
19 concludes our medical. And generally all of those  
20 will be addressed by revision on TBD-3. And so  
21 SC&A will review that when it comes out and make  
22 a written reply at that time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So we can move on now to external, which  
2                   is Finding 9. And so, Bob, do you have Finding 9  
3                   up there.

4                   MR. BARTON: Yeah, Ron. It should be  
5                   good to go.

6                   DR. BUCHANAN: Okay. Well, I guess  
7                   you got the very top of it cut off. Otherwise  
8                   that's fine.

9                   MR. BARTON: Okay.

10                  DR. BUCHANAN: Okay. Anyway, that's  
11                  good. Thank you.

12                  Okay, we've got uncertainty and  
13                  undocumented aspects of the film dosimetry needs  
14                  reexamination. And essentially this was, you  
15                  know, like at most sites back when they used film  
16                  dosimetry up to about '88 or so, before TLDs took  
17                  over, and there was a question on the response of  
18                  film to the beta and gamma radiation.

19                  And this is especially important at a  
20                  research facility like Argonne where you have  
21                  accelerators, reactors, solid-state sources, so a  
22                  number of radiation-condition equipment. And so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dosimeter needs to respond correctly to the  
2 radiation field.

3 And so in our original findings in 2009  
4 we did do a pretty elaborate listing of things that  
5 could affect response, and saw that there was more  
6 information needed to justify using the thought  
7 that the ANL dosimeter was similar to INL. And so  
8 we could use their parameters and such. And that  
9 might be true, but we needed some documentation and  
10 some more investigation of the ANL-East dosimeter,  
11 either in itself or how it compared to INL  
12 documentation that it was the same.

13 But then beyond that you need to say,  
14 okay, was it made for the fields that were present  
15 at ANL? And so that was our main issue there with  
16 Finding Number 9.

17 And so I will turn it over to Lara to  
18 have her response.

19 DR. HUGHES: Okay. Yes, same with the  
20 internal issues, this is ongoing because we have  
21 to evaluate what additional data that, you know,  
22 has been collected or still needs to be collected.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And then we will evaluate and try to refine the  
2 approach that's in the current TBD.

3 The ANL Work Group has been updated  
4 since, since the TBD was issued, or at least since  
5 the original TBD was issued in 2006 I believe. So  
6 but, yeah, any refinement would require us to find  
7 additional data.

8 DR. BUCHANAN: Okay. So, like the  
9 internal, we can expect to see that reflected in  
10 Rev 2 of the external dosimetry TBD?

11 DR. HUGHES: Right. Probably Rev 3,  
12 but yeah.

13 DR. BUCHANAN: Okay. Okay, any  
14 questions or comments on this?

15 CHAIR CLAWSON: This is Brad. Not at  
16 this time.

17 DR. BUCHANAN: Okay. Okay, we'll move  
18 on to Finding 5 which is similar. It's neutron  
19 dosimetry -- Finding 10, excuse me. Finding 10  
20 which is neutron dosimetry. And of course this is  
21 the standard questions.

22 We used NTA film for neutron dosimetry

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 up until about '87-'88 when TLDs took over. And,  
2 of course, I'm sure you're all aware, NTA film had  
3 the rapid drop-off and response to about 1 MeV.  
4 And if you put shielding around neutron sources  
5 then you get lower energy neutrons which some of  
6 them fall below 1 MeV.

7 So our concern is did the NTA film see  
8 the dose the workers were receiving? And also if  
9 they're worn for a month there can be fading of the  
10 tracks, and of the heavy count individual tracks  
11 in the neutron interaction. And that even if they  
12 did it every month, there's still fading from the  
13 first part of the month till they're read. And so  
14 fading is an issue, especially for lower energy  
15 neutron tracks.

16 And then we addressed this some at  
17 Mound. And resolved some of those issues there.

18 Now, also the energy response of NTA  
19 film was checked to know how it was calibrated and  
20 then if there was any compensation for the energy  
21 response to see if it's calibrated from a frontal  
22 radiation and the worker might receive it from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sides or the back.

2 And so this was our issues with, in  
3 Finding 10 with the neutron dosimetry, the standard  
4 issues that we have. And then at ANL, of course,  
5 they had, again, accelerators which produced a lot  
6 higher energy neutrons. And the beam ports and  
7 such reactors, and then your solid-state sources  
8 which can give you a pretty wide spectrum of neutron  
9 energy.

10 And so I'll turn that over to Lara for  
11 her response at this time.

12 DR. HUGHES: Right. NIOSH concurs  
13 that the improvement of the guidance is needed.  
14 Again, any new information will be incorporated.  
15 However, the NTA issue is, you know, well known and  
16 somewhat overarching. So, we will look into if we  
17 can, you know, develop a neutron-photon ratio model  
18 henceforth to address this issue.

19 DR. BUCHANAN: Okay.

20 DR. HUGHES: Again, this will require  
21 additional data evaluation.

22 DR. BUCHANAN: Okay, thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Any questions or comments on Finding  
2                   Number 10 then?

3                   CHAIR CLAWSON:   No.

4                   DR. BUCHANAN:   Okay.

5                   MR. STIVER:   Ron, this is John Stiver.  
6                   Before you move on, if I could back up to Finding  
7                   9.

8                   DR. BUCHANAN:   Okay.

9                   MR. STIVER:   For our June report we had  
10                  stated that, you know, because the work book has  
11                  changed for each one of those calculations and it  
12                  had not yet been reviewed as we had recommended to,  
13                  you know, possibly review that work book in a little  
14                  more detail.  Is that something that you feel would  
15                  be appropriate to do now or to wait until a new  
16                  revision could come out?

17                  DR. BUCHANAN:   Go ahead.

18                  MR. KATZ:   This is Ted.  If the TBDs  
19                  get updated that will result in changes to the work  
20                  book too, right?  So that fix this issue?

21                  DR. BUCHANAN:   Yes, that's why I want  
22                  to ask Lara does she anticipate the work book being

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 updated with the TBD change?

2 DR. HUGHES: I'm not sure at this  
3 point. I would assume so if there's any  
4 significant changes or numbers would result.  
5 Yeah, absolutely.

6 DR. BUCHANAN: Okay. So, John, I  
7 guess we would probably wait until the TBD is  
8 updated and the work book is updated and then review  
9 them both at the same time.

10 MR. STIVER: Okay. Yeah, that sounds  
11 good.

12 DR. BUCHANAN: Okay, thank you.

13 Okay. So that brings us to the  
14 environmental section. So we did the internal  
15 X-rays and then the external. Now we have the  
16 environmental section which is Finding Number 11.

17 And this has to do with the  
18 environmental data before 1972. And there just  
19 does not seem to be much information available at  
20 the time of our writing in 2009 of any environmental  
21 data to be used for TBD-4. And so I guess my  
22 question is have we found any additional

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information? And I see briefly in their response  
2 they talk about using Procedure 60. Is that going  
3 to be incorporated in the new TBD-4?

4 So, Lara, you want to address those  
5 issues?

6 DR. HUGHES: Yes. As far as I've seen,  
7 there have been no additional data found. And I'm  
8 not sure if we're anticipating to find anything  
9 else.

10 So, yeah, I mean as you mentioned, any  
11 procedure that is used would be incorporated in the  
12 revised TBD.

13 DR. BUCHANAN: Okay. Thank you.

14 Any issues, comments, or questions on  
15 that one?

16 MEMBER BEACH: None here, Ron.

17 DR. BUCHANAN: Okay, thank you.

18 Okay, now we move to the general kind  
19 of overarching issues in Question Number 12,  
20 Finding Number 12. And this was the outdoor  
21 exposure, inhalation exposure associated with  
22 waste disposal operations in Area A and near

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 accidents.

2 And so in this case Area A workers could  
3 have been exposed during waste disposal or if there  
4 is accidental one-time or, you know, acute  
5 releases. And so we would like to know, you know,  
6 if that's been investigated and to what extent  
7 that's been addressed.

8 If you could address that, Lara?

9 DR. HUGHES: It has not been  
10 investigated yet. It's certainly something we can  
11 look into.

12 I would, based on our -- the information  
13 in TBDs and review of the claims, I would assume  
14 that any worker who's involved in hands-on disposal  
15 of waste would have received some kind of  
16 monitoring. Other than that, the Site A waste  
17 disposal operations starts in the early '40s, '43  
18 to '49, which would be covered under the Met Lab  
19 -- well, no, I'm sorry -- up until '46 would be  
20 covered under the Met Lab SEC.

21 So, no, at this point that has not been  
22 investigated. Typically with incidents, not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 every single incident that is in our Site Research  
2 Database would be, you know, addressed in the TBD  
3 just because the TBD is meant to be more an  
4 overview-type document. Now, if there's any  
5 indication that a worker was involved in an  
6 incident, it would be something that would be  
7 addressed on an individual basis during those  
8 reconstructions.

9 It's not going to be ignored if that  
10 information is available.

11 DR. BUCHANAN: Okay. So, is this a  
12 finding we should evaluate then at this time? Or  
13 do you see any upcoming changes in TBD-4 that would  
14 address this issue?

15 DR. HUGHES: This is information that  
16 would have to go back into the 1940s. I have not  
17 a good indication of how much additional data we  
18 could possibly find.

19 DR. BUCHANAN: Okay. So, you will  
20 look at that and incorporate it in TBD-4 if you find  
21 any?

22 DR. HUGHES: That's correct.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: Okay. Okay, so I think  
2 that we will wait because we don't have any  
3 additional information to evaluate. And so I  
4 think we will wait on any changes to TBD-4, and look  
5 and see if we find any documentation that would  
6 impact this finding, and then evaluate that and  
7 reevaluate TBD-4. If that's agreeable with  
8 everyone.

9 CHAIR CLAWSON: That's fine, Ron.

10 DR. BUCHANAN: Okay, thank you.

11 A similar finding in Finding 13 is a  
12 lack of consideration of occupational radiation  
13 exposure in Site A and Site M. This is part of the  
14 Met Lab and was indicated that it would be addressed  
15 outside ANL-East TBD. And there is currently no,  
16 I guess, TBD for the Met Lab but there is  
17 instructions for the Met Lab. Dose reconstruction  
18 procedures guidance.

19 We just didn't know what was -- how that  
20 was sorted out and what took place during dose  
21 reconstructions for the -- we addressed this a  
22 little bit earlier -- but perhaps for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 environmental part, translation from the Met Lab  
2 to the ANL-East. What is the current status of  
3 that?

4 DR. HUGHES: Yeah, this falls into the  
5 covered sites issue that was done by the Department  
6 of Labor. But the Met Lab, Metallurgical  
7 Laboratory is a covered site under EEOICPA up until  
8 June 30th, 1946. And then the ANL site designation  
9 starts July 1st, 1946.

10 There was basically a continuing of  
11 operations, however, at the cover sites if one  
12 switches to the other, regardless of where the  
13 workers actually worked. So, you see that for the  
14 Met Lab they initially worked at the campus of the  
15 University of Chicago. Then they moved operations  
16 to Site A in 1946, I believe to what's called Site  
17 B, which is the current ANL-East. Wasn't even  
18 fully operational at the time. They were still  
19 constructing the facility. I think they didn't  
20 really start up at Site B until the 19 -- until  
21 around 1948.

22 So all the operations in the early

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what's considered Argonne National Lab was done at  
2 Site A. And it would be covered under the current  
3 ANL-East site designation. So, when we say, well,  
4 we do dose reconstruction for somebody who worked  
5 in 1946, that would be somebody who worked at Site  
6 A most likely. Even somebody who would have still  
7 worked what's commonly referred to as the West  
8 Band, that would still be covered under ANL-East  
9 site designation if they worked, if they were  
10 employed after July 1st, 1946.

11 Did I confuse everybody? I'm sorry.

12 DR. BUCHANAN: Okay, I think that SC&A  
13 needs to evaluate the response. Actually we just  
14 received these about 24 hours ago. So we will  
15 evaluate that if you don't plan on doing anything  
16 else with the TBDs.

17 DR. HUGHES: That's right. Just keep  
18 in mind that this was not something that NIOSH  
19 designates. We cannot, it wasn't covered by  
20 versus another covered site.

21 DR. BUCHANAN: Okay. Well, we'll look  
22 further into that. And then provide a written

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 response on that, if that's agreeable with  
2 everyone.

3 CHAIR CLAWSON: That's fine, Ron.

4 DR. MAURO: This is John. I've got a  
5 question. The Met Lab world was the Chicago pile.  
6 And I remember -- now this goes back years -- that  
7 then that was terminated and they continued reactor  
8 operations but they had a new generation of  
9 reactor, a new reactor. And that was the boundary.

10 And I guess I'm asking the question, is  
11 that the boundary, when you leave the Met Lab and  
12 you go to ANL-East where the rest of the pile went  
13 to this new generation reactor? Or am I  
14 misremembering?

15 DR. HUGHES: That would be considered  
16 what's called Site A.

17 DR. MAURO: Okay.

18 DR. HUGHES: That was the interim site  
19 where they operated at least two reactors and  
20 various laboratories. And that was operated from  
21 I think 1942 till 1954 when the lease at the site  
22 ended. And it all, whatever was at Site A was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 transferred, was either shipped out or transferred  
2 to what's called Site B, which is the current  
3 location of ANL-East.

4 DR. MAURO: And then there were this  
5 waste area that we talked to, talked about earlier.  
6 Was that a continuum, that just continued that  
7 waste facility area where apparently there was some  
8 significant potential for exposure? Was that  
9 something that was a continuation of operations  
10 going from the Met Lab days to the ANL-East days?  
11 Or is there a boundary there also?

12 DR. HUGHES: That is outside the  
13 boundary of Site A, as I understand. However, it  
14 is in the vicinity of Site A. And it was associated  
15 with the operations at Site A.

16 From an employment standpoint, it would  
17 be workers who were employed either by the Met Lab  
18 or ANL-East that would be conducting work there.  
19 At least that's my understanding of who would work  
20 there and who could potentially get exposed.

21 DR. MAURO: But there is an SEC for the  
22 Met Lab. I guess part and parcel of that was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 inability to reconstruct doses associated with  
2 that aspect of the Met Lab operations. And I guess  
3 I'm just alerting that if the personnel continued  
4 working in that mold and the transition, I guess  
5 I would be interested in what changed between the  
6 Met Lab and ANL-East that put you in a position to  
7 feel much more comfortable that we don't have an  
8 SEC situation when we move into the ANL-East realm.  
9 We'd be glad to discuss management part. Which did  
10 -- it did break with the reactor, but I was  
11 wondering if there is also a clean break with regard  
12 to waste management?

13 DR. HUGHES: I can't speak  
14 specifically to the waste management issue. But,  
15 of course, one of the first things we did was look  
16 at what changed, as we said, --

17 DR. MAURO: Right.

18 DR. HUGHES: -- between Met Lab.  
19 Because here we have an SEC based on having actually  
20 very, very limited, almost no useable data --

21 DR. MAURO: Right.

22 DR. HUGHES: -- to, you know, this site

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 obviously not being an SEC ANL-East, even though  
2 many of the major sites in the early period have  
3 an SEC. So we're kind of trying to evaluate.

4 And but we found is that it seems with  
5 the startup of ANL-East they made a conscientious  
6 effort, they were aware that they needed to monitor  
7 their workers. And they made an effort to do as  
8 good a job, I believe, as they were capable of doing  
9 at that period of time.

10 Now, if the data is indeed robust  
11 enough, and it remains to be seen, but they did,  
12 we have found information they did start up their  
13 health and safety program with the health physics  
14 program and also a medical program that would do  
15 the bioassays and that sort of thing.

16 So there's not necessarily  
17 continuation of those issues, especially with  
18 internal infeasibilities. It's not a clear cut,  
19 you know, transition from Met Lab to ANL-East.  
20 There seems to have indeed -- there was indeed a  
21 ramp-up of a program that was in place starting in  
22 1946 sometime.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So it's not clear cut. It's a little  
2 more refined. That's why we haven't really  
3 arrived at any conclusion yet. Because there's  
4 definitely the data there. There's relatively  
5 good documentation for this. It's much more  
6 tricky to determine, you know, do we have an  
7 infeasibility or do we not.

8           DR. MAURO: Oh no, thank you. And  
9 that's the only reason I raised it. Thank you very  
10 much.

11           MEMBER ROESSLER: This is Gen. I have  
12 a question, too, on the Met Lab.

13           As I was reading SC&A's report, and in  
14 this particular item they mentioned that this issue  
15 should be transferred to the Board Work Group that  
16 oversees Met Lab. So I went on the website to look  
17 to see if that Work Group had been established.  
18 And I don't find anything. And, in fact, I can't  
19 find anything on the website about the Met Lab.  
20 But am I looking -- not looking in the right area  
21 or is it just not on there?

22           MR. KATZ: Well, Gen, this is Ted.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 With respect to Met Lab, there is no Met Lab Work  
2 Group.

3 MEMBER ROESSLER: Okay. I suspected  
4 there was.

5 MR. KATZ: No, no. So, and anything  
6 related to Met Lab I imagine will end up using this  
7 Work Group to address if there's anything left to  
8 address. I don't know if it's -- but as far as  
9 whether there's information on Met Lab on this, if  
10 you go to the worksite section, that's where it  
11 would be. If it's not there, I don't know, but.

12 MEMBER ROESSLER: Well, I couldn't  
13 find it under the M's. I was wondering if -- I  
14 looked under University of Chicago. I just  
15 couldn't find it anywhere.

16 MR. KATZ: Yeah. Lara, you should --  
17 Lara should know.

18 MS. BRIGGS: It's listed under the  
19 Metallurgical Laboratory.

20 MR. KATZ: Ron, have we run the course?

21 DR. BUCHANAN: Now, that is the 13  
22 primary findings. Not shown on the BRS is seven

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 secondary issues. And I don't know if NIOSH has  
2 prepared any response to our secondary issues or  
3 not other than that the 1 and 2 are covered by the  
4 OTIB-6, and perhaps 3, 1, 2, and 3, the medical  
5 issues.

6 Where does NIOSH stand on the secondary  
7 issues?

8 DR. HUGHES: I do have brief responses.  
9 I did not put it under BRS.

10 DR. BUCHANAN: Right.

11 DR. HUGHES: The list of issues. I  
12 mean I can, I can at least attempt to respond.

13 DR. BUCHANAN: Okay. Okay, Brad, do  
14 you want to continue on with the secondary? Do you  
15 want to take a break? Or what do you want to do  
16 at this point?

17 CHAIR CLAWSON: Well, from everything  
18 we've already gone through, the secondary issues  
19 on this is there much to say, Lara, or are those  
20 still under evaluation with a new TBD?

21 DR. HUGHES: Yes, I mean pretty much.  
22 There is not anything -- I can go through it. Do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       you prefer to go through it piece by piece? I can  
2       attempt to respond. I have some of the -- there  
3       was one issue that was, asked the question whether  
4       or not the human radiation experiments would be  
5       covered or that they're not addressed in the TBD.  
6       They are not addressed in the TBD.

7                   But in the rare case that an actual  
8       worker would be one of those individuals that were  
9       involved in the human radiation experiments and  
10      that they were actually experimented on, that would  
11      be an occupational, considered an occupational  
12      exposure and that would be addressed in the BRS.  
13      I did clarify that with the dose reconstruction  
14      team. And --

15                   CHAIR CLAWSON: Lara, I really, I  
16      really don't see any use really until we get this  
17      information out. And I understand, Lara, that,  
18      you know, it was kind of a push to be able to get  
19      to this. And you put out an earlier email that,  
20      you know, you'd do your best for it, and stuff like  
21      that.

22                   But this time I really don't see, Ron,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       until we see kind of their finished product even  
2       going through it. I think we'd better spend our  
3       time figuring out our path forward on this. But  
4       that's just my personal opinion.

5               DR. BUCHANAN:     Okay.     What about  
6       addressing the secondary issues, if we posted on  
7       the BRS could Lara put her response so that we could  
8       respond to them? Because we don't know their  
9       response to the seven secondary issues.

10              MR. KATZ:     Well, that's okay, Ron.

11              DR. BUCHANAN:   Okay.    So we will put  
12       our, we will add the seven secondary issues on the  
13       BRS.

14              And, Lara, if you could put your written  
15       response on that, that way we can evaluate them,  
16       you know, on our own and see where we need to go  
17       from there.

18              DR. HUGHES:    Absolutely.

19              DR. BUCHANAN:   Okay, thank you.

20              Okay.    So, Brad, that's all I have.

21              CHAIR CLAWSON:   Okay.    Is there any  
22       questions from any of the other Board Members that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           they have?

2                       MEMBER BEACH:    This is Josie.    I'm  
3           just curious.    Is there any plans to do an  
4           Evaluation Report for this site?

5                       DR. HUGHES:    That would depend on  
6           identifying an infeasibility.    It's definitely  
7           not ruled out.    But at this point we're still  
8           evaluating.    I mean, we may -- we haven't  
9           identified a clear infeasibility.    We now,  
10          however, we do have a lot of issues.    But, you know,  
11          early internal data is often an issue.    We have the  
12          neutron data.

13                      Although, yeah, that remains to be  
14           assessed.    So I would not rule it out.    But at this  
15           point I cannot speak to it.

16                      MEMBER BEACH:    Okay.    So still looking  
17           at it.    Thank you.

18                      DR. MAURO:    Along those lines -- this  
19           is John again -- so I'm presuming that there's no  
20           83.13 in the mill.    But you're saying that your  
21           research may trigger 83.14?

22                      MR. KATZ:    Right.    Right, John.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Okay, thank you.

2 CHAIR CLAWSON: So that's, putting it  
3 in a nut shell, that's kind of where we're at now,  
4 if I'm taking this right, Lara, that you guys are  
5 still evaluating the data, you're still collecting  
6 it, and you're trying to figure out basically where  
7 we're at on it. And with 83.14, we may not. It's  
8 just, well, that decision has not been made yet;  
9 correct?

10 DR. HUGHES: That's correct.

11 CHAIR CLAWSON: Okay. So I guess,  
12 Ted, you know, I guess the one question I have,  
13 Lara, from the Work Group chair is this: what kind  
14 of a time frame do you think that we are looking  
15 at on this?

16 DR. HUGHES: Okay. Well, that's the  
17 question.

18 CHAIR CLAWSON: I know that's the  
19 million dollar question and stuff, but I'm just  
20 trying to get a basis.

21 DR. HUGHES: Yes. Maybe I could defer  
22 that to Mr. Rutherford because it depends a lot on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 our resources.

2 MR. RUTHERFORD: This is LaVon. I  
3 think, you know, we can probably give you a feel  
4 for what the project plans are right now. But it,  
5 as Lara said, it depends a lot on resources and  
6 priorities. So, you know how things go, depending  
7 on what the hot item is at the time.

8 But I think we can give you the  
9 estimates based on the project plan now. And I  
10 don't have it in front of me or I'd do that.

11 MR. KATZ: We can get this in the Board  
12 coordination report, LaVon.

13 MR. RUTHERFORD: Yes.

14 **Plans for March ABRWH Meeting Presentation**  
15 **(including issues to solicit from ANL-E**  
16 **workforce)**

17 MR. KATZ: Okay. Right. So, Brad,  
18 part of the Board materials for the meeting will  
19 be a Board coordination report. And so they can  
20 put in there what their current time frame is for  
21 the new regs.

22 CHAIR CLAWSON: I was just kind of,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 well, I figured if Bomber was doing it would be,  
2 you know, they call him Two-Weeks Bomber for --

3 (Laughter.)

4 MR. KATZ: So I think what would be  
5 useful now to have on the agenda is opportunity to  
6 talk to the folks in the audience there about where  
7 this stands now. And, you know, again, issues for  
8 which people in the audience might either  
9 themselves or know people who could help contribute  
10 information on sort of that.

11 So I think if you both could just speak  
12 a little bit about what you think some of that might  
13 be. And then we need someone to sign up to -- Lara,  
14 you are giving a presentation, I believe?

15 DR. HUGHES: I can. That's a good  
16 question. I would assume so. I mean, I can  
17 definitely give an update on, you know, the issues  
18 and the path forward if that's, if that's desired.

19 MR. KATZ: Yeah. But I think, so the  
20 punch line of that though ought to be here are some  
21 areas where we have a lead and we'd be happy for  
22 information from people who worked at the site.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 You know, for example, you talked about the issue  
2 of whether, you know, everybody indeed was  
3 monitored, or whatever. But that's really up to  
4 all of you to discuss what might be some sort of  
5 key questions to ask of the public.

6 That's why there's no need to decide at  
7 this point for the Board meeting.

8 MR. RUTHERFORD: Ted, this is LaVon.  
9 I think we can come up with some key points or key  
10 issues. We can then offer the presentation to kind  
11 of prod the audience to offer up some additional  
12 information.

13 MR. KATZ: Thanks, LaVon. And I'll  
14 just say to the Work Group Members and to SC&A, if  
15 you all would just send some emails. You don't  
16 have to do it on the spot but we've had this  
17 discussion now, and it may be clear to you something  
18 that's been particularly salient or as worthy of  
19 input from the public. If you would just send  
20 then, Lara, by email some suggestions for questions  
21 or issues that we'd like to hear from the public  
22 about, that would be great.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: This is John. One thought  
2 I had, since we had this Attachment 2 to our report  
3 where we -- the original one, all the way back to  
4 2009, where I think quite a bit of interview work  
5 was done and there was answer material. That would  
6 serve as a nice platform to say, okay, here's this  
7 platform of the original round of interviews. And  
8 then build from there given the fact that we're back  
9 into this discussion again. So, you know,  
10 marrying the two might be helpful.

11 MR. KATZ: Yeah, John, you guys are  
12 familiar with what you covered in the interviews.  
13 So, I mean, by all means you can refer to those in  
14 considering what might be some key questions to  
15 ask.

16 DR. MAURO: Yes. That's why I bring it  
17 up.

18 MR. KATZ: Yeah, thanks. Yeah.

19 So, and then schedule-wise, you know,  
20 we have Ron on short lease. But I think  
21 presentations that could be, those presentations  
22 have to be in by close of business Monday. That

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 means we'll first have questions from Lara to  
2 highlight once we get system update here. But need  
3 to get them in this afternoon, the end of the day  
4 I think, for her to be able to make any use of them.

5 And, Brad, I don't know whether you want  
6 to be part of the talking on the update or do you  
7 just want introduce Lara --

8 CHAIR CLAWSON: No.

9 MR. KATZ: -- you want to introduce  
10 Lara.

11 CHAIR CLAWSON: Yeah, you know, we can  
12 do whatever we need to be able to do. But I just,  
13 right now I agree with you, especially where we're  
14 in the venue we are, a lot of these questions that  
15 we have, and they're also what NIOSH has, there may  
16 be people in that venue that might be able to help  
17 with this.

18 MR. KATZ: Sure.

19 CHAIR CLAWSON: I just want to make  
20 sure that we have something to be able to put out  
21 to them.

22 MR. KATZ: Sure. Now, so you'll just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be introducing Lara basically. And then Lara can  
2 give a brief presentation. Is that, are we all  
3 good with that? Lara, can you?

4 DR. HUGHES: Yeah, absolutely.

5 MR. KATZ: Okay.

6 CHAIR CLAWSON: Sounds good.

7 MR. KATZ: All right, if there's  
8 nothing else, I think we can, I think we can  
9 adjourn.

10 CHAIR CLAWSON: Okay, that sounds  
11 good. I was just going to ask if -- I've asked this  
12 once before, but if any of the Board Members or any  
13 of the SC&A or ORAU if they have any questions, you  
14 know, we can help with. Is there any?

15 DR. BUCHANAN: This is Ron with SC&A.  
16 And I just want to summarize.

17 Our responsibility will be to address  
18 Finding 3 and 13 and provide a written response.  
19 The remainder of the findings we will wait for  
20 changes in TBDs to evaluate them, and perhaps the  
21 work books that go with them.

22 And we will also put the seven secondary

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 findings on the BRS. And then, so when Lara has  
2 time she can go in and address those with their  
3 response so that we can move forward on that area.

4 MR. KATZ: Yes. And as new TBDs get  
5 issued, you know, I'll pass those right away. They  
6 won't have to wait for a Work Group meeting.

7 DR. BUCHANAN: Okay, thank you.

8 MR. KATZ: Yes.

9 CHAIR CLAWSON: Okay. That being  
10 said, we'll see you all in Naperville.

11 MR. KATZ: Yes. Yes. And thank you,  
12 everybody, for the work on this meeting.

13 **Adjourn**

14 DR. BUCHANAN: Thank you.

15 CHAIR CLAWSON: Have a wonderful day.  
16 Thanks. Bye.

17 (Whereupon, at 11:59 a.m., the meeting  
18 concluded.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701