

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

CARBORUNDUM WORK GROUP

+ + + + +

MONDAY  
MARCH 13, 2017

+ + + + +

The Work Group convened via teleconference at 9:30 a.m. Eastern Time, Genevieve Roessler, Chair, presiding.

PRESENT:  
GENEVIEVE S. ROESSLER, Chair  
BRADLEY P. CLAWSON, Member  
R. WILLIAM FIELD, Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official  
BOB ANIGSTEIN, SC&A  
BOB BARTON, SC&A  
KARIN JESSEN, ORAU Team  
ROBERT KIFER  
JANICE KNAPP  
JENNY LIN, HHS  
JOHN MAURO, SC&A  
JIM NETON, DCAS  
JOHN STIVER, SC&A  
TOM TOMES, DCAS

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

**Contents**

WELCOME AND ROLL CALL..... 4  
USE OF SURROGATE DATA..... 8  
EXAMPLE OF DOSE RECONSTRUCTION..... 11  
PATH FORWARD FOR ISSUE RESOLUTION OR PRESENTATION TO  
BOARD..... 71  
PETITIONER COMMENTS..... 79  
ADJOURN..... 82

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## P R O C E E D I N G S

9:39 a.m.

**WELCOME AND ROLL CALL**

MR. KATZ: Welcome, everyone, to the Advisory Board of Radiation and Worker Health, the Carborundum Work Group. And this is a preliminary call before the Board meeting which occurs next week, the 22nd and 23rd of March in Naperville, Illinois.

And the agenda for today is to wrap up some issues that the Board had addressed when we had the last Board meeting in November. The agenda for the meeting is posted on the NIOSH website and it is under Schedule of Meetings, today's date. You can find the agenda there and also, I believe, a White Paper from Tom Tomes from NIOSH following up on the issues that the Board had raised and the Work Group had raised previously.

There is an interim review by SC&A. It just came in because they didn't have much time to do it. It just came in this weekend. It will get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 posted to the NIOSH website. It's not posted yet.  
2 So you won't find it there.

3 And I'm certainly able to send it on to  
4 you, for example, members of the public who want  
5 it. I can email it to you right after this meeting.

6 (Roll call)

7 Okay. Then everyone please mute your  
8 phones. If you don't have a mute button, \* and then  
9 6 to mute your phone. And that will improve the  
10 audio of the people who have to speak during this  
11 call.

12 If you want to take your phone off of  
13 mute, you press \*6 again and it will take off of  
14 mute. And please don't put this call on hold at  
15 any point because that will cause real problems for  
16 the audio.

17 And with that, Gen, it's your meeting.

18 CHAIR ROESSLER: Okay. I have a  
19 question first. What is our time limit today? I  
20 know Bob has another appointment.

21 MR. KATZ: Bob needs to leave around  
22 noon. We'll still have Mauro and Stiver from SC&A,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 if we need to go longer, that's fine. Bob said just  
2 before this call whether he might present both  
3 Tom's report and his together if that would save  
4 time. But let's hear from Tom whether he wants to  
5 present first or how you want to do that.

6 MR. TOMES: I'm fine with Bob going  
7 ahead and summarizing what we would present. That  
8 would work fine for me.

9 CHAIR ROESSLER: Okay. So, Tom, you  
10 won't be presenting then.

11 MR. TOMES: It's whatever you prefer.  
12 I can go through our responses or however you prefer  
13 to do it.

14 CHAIR ROESSLER: Well, it saves time  
15 and you and Bob are willing let's just let him go  
16 ahead with it then.

17 I did want to make a couple comments  
18 just so everybody is on the same page. I wanted  
19 to remind the Work Group that at our last meeting  
20 on November 17th, the Work Group had concluded that  
21 we had resolved all issues.

22 So I prepared a slide presentation for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the November 30th Board meeting and I was unable  
2 to attend. John Stiver made the presentation.  
3 Thank you, John. Let me just read that final slide  
4 so that we're oriented as to where we're going.

5 The conclusion slide was: the Work  
6 Group concluded that with appropriate adjustments,  
7 NIOSH can reconstruct doses for the proposed SEC  
8 Class. And then the Work Group moved that the SEC  
9 Petition 00223 be denied.

10 I wasn't there, but I read the 40 pages  
11 of the transcript. From there, I realized that  
12 there were some concerns about some of the things,  
13 particularly Dr. Melius said that he felt that the  
14 Board needed to be assured that the dose  
15 reconstruction could be done with sufficient  
16 accuracy.

17 What had been left is that NIOSH said,  
18 "Yes, we'll do this," but there wasn't anything  
19 specific on several of the items. So the  
20 conclusion was that NIOSH should develop the  
21 responses which they have done, that SC&A should  
22 review and the Work Group would meet again. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we have done that.

2 With that, I think we're ready to go.  
3 And we'll let Bob lead off. Bob, are you going to  
4 be using slides?

5 DR. ANIGSTEIN: No, I don't have any  
6 slides.

7 CHAIR ROESSLER: Okay. But we got  
8 your final report. Actually, I saw it this  
9 morning. It came through last night. So if  
10 people have that in front of them, they can just  
11 follow along.

12 DR. ANIGSTEIN: Yes. I had the  
13 preliminary one on Friday and then the one  
14 yesterday.

15 COURT REPORTER: Dr. Anigstein, can you  
16 get a little closer to your receiver?

17 DR. ANIGSTEIN: Is that good now?

18 CHAIR ROESSLER: That's much better.

19 **USE OF SURROGATE DATA**

20 DR. ANIGSTEIN: Okay. Let me start off  
21 my saying I did not do a complete top-to-bottom

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       audit of the dose reconstructions because we  
2       certainly didn't have enough time. We got the  
3       report at the end of the work day on Thursday. That  
4       gave us basically one work week to work on it.

5               So I did find a number of -- I'll just  
6       go through them. Starting off with surrogate data  
7       issues. On the first AWE period, NIOSH accepted  
8       our suggestion that we use the uranium slug that  
9       had been previously modeled for TBD-6000. The  
10      results were published in the Journal of Health  
11      Physics. So the photon dose rates from those had  
12      been calculated. And we're fine with that.

13             However, what we didn't see until now  
14      was the beta doses from the same materials. Since  
15      this was an MCNP calculation in the first place --  
16      this is a state-of-the-art radiation transport  
17      code -- it made sense to do the beta doses in the  
18      same manner.

19             So the beta doses were at contact and  
20      at one foot for purposes of skin, even though skin  
21      was not one of the organs in the example. But  
22      nevertheless the methodology needs to apply to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 skin.

2 And we found that in fact the calculated  
3 beta dose was not significantly different than the  
4 one that was used. NIOSH just assumed that we  
5 would go 10 times the gamma dose. So it would go  
6 from 0.524 to 5.24 millirem per hour. And we got  
7 5.4 instead of 5.24 which is close enough.

8 However, for the skin dose we find that  
9 NIOSH was using a generic number that was based on  
10 a publication from 1989 of 230 millirem per hour  
11 at the surface. And that was undoubtedly for a  
12 very large shape. And the same calculation they  
13 did it at one foot showed at contact only 77  
14 millirem per hour instead of 230.

15 So we suggest -- and we're not going to  
16 make that a finding. By the way, my whole  
17 presentation right now we should say is preliminary  
18 observations and preliminary conclusions. Given  
19 the very short time we did have the time to have  
20 a thorough, in-depth review that we would normally  
21 do. So we can't say, most likely these are  
22 correct, but we are just saying these would be the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 preliminary observations.

2 **EXAMPLE OF DOSE RECONSTRUCTION**

3 MR. KATZ: Bob, this is Ted. If I  
4 could just interject here. It might be helpful for  
5 you -- perhaps you've been so much involved in a  
6 lot of the SEC DR example cases. But the intent  
7 with reviewing dose reconstruction examples for  
8 SEC evaluations is really a proof of concept. It's  
9 not so much that everything be buttoned down to some  
10 sort of perfection. But it's proof of concept  
11 again so that the Board can feel confident that dose  
12 reconstructions can be done, but not necessarily  
13 that everything be perfect.

14 DR. ANIGSTEIN: I see. Understood.

15 MR. KATZ: Yes.

16 DR. ANIGSTEIN: My impression from  
17 listening in to the Board meeting was they did want  
18 to know though what --

19 MR. KATZ: I just covered it for you,  
20 Bob, they want to know those dose reconstructions  
21 can in fact be done in reality. That's why they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 want to see a dose reconstruction example.

2 DR. ANIGSTEIN: Alright. Well,  
3 there's no question that there is sufficient  
4 information out there particularly including what  
5 the analyses that were just performed and I can  
6 share these with NIOSH. We have precedent with  
7 that for General Steel Industries where NIOSH  
8 simply took -- we did MCNP runs and basically  
9 examined our files, said, "Yes they agree with the  
10 methodology. They agree with the results." So it  
11 became, sort of, jointly adopted numbers.

12 The fact is that the use of the hand  
13 uranium slugs during the first AWE period, which  
14 is 119 days in 1943, is acceptable for the photon  
15 doses. It's acceptable for the beta doses. We  
16 think there is an overestimate of the contact dose.  
17 And NIOSH may want to revise that downward. But  
18 in principle, it can be done.

19 The second AWE period is a little  
20 different in that there again NIOSH accepts our  
21 recommendation as adopting as a source term --

22 CHAIR ROESSLER: Bob, I'm wondering --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       this is Gen -- if we should stop and take each item  
2       as you present them.

3                   DR. ANIGSTEIN:   Say again?

4                   MR. KATZ:    Right.   Bob, give a pause  
5       and ask the Board Members if they have any questions  
6       on this first.

7                   DR. ANIGSTEIN:   Sorry.   Go ahead.

8                   CHAIR ROESSLER:   I guess my question  
9       would be on this one that you state we conclude that  
10      the surrogate data used by NIOSH, blah, blah, blah,  
11      are reasonable except for the skin dose.   So I  
12      guess on this one and following what Ted said that  
13      we're really looking for proof of concept as we go  
14      through these, I'd like to make sure that the Work  
15      Group has a chance to discuss it and that we get  
16      SC&A's final word on it.   I'd like to close the  
17      items, in other words, as we go along.

18                   DR. ANIGSTEIN:   Okay.

19                   CHAIR ROESSLER:   So I wonder if anybody  
20      has any questions or concerns on this one.

21                   MEMBER CLAWSON:   Jim, I understand  
22      what you're doing.   What I also thought now is --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I thought NIOSH was going to -- have they given us  
2 a test run and dose reconstruction? Is this Bob's  
3 --

4 MR. KATZ: Yes.

5 MEMBER CLAWSON: Okay. I just wanted  
6 to make sure. So this is what we were going off  
7 of. Okay, that's all I wanted to make sure on that  
8 and I'll just follow through.

9 MEMBER FIELD: Okay. This is Bill.  
10 I'm fine to sign off and close it out.

11 CHAIR ROESSLER: Okay. Would this be  
12 SC&A's final word on this then that NIOSH has the  
13 concept and that you feel confident that they'll  
14 follow through.

15 DR. ANIGSTEIN: For the external  
16 exposure during the first AWE period, we're fine.

17 CHAIR ROESSLER: But we don't want to  
18 get into the same situation at the Board meeting  
19 that we did last time where there are still  
20 questions on it, I guess. I'm looking to hear what  
21 Stiver has to say on this.

22 DR. MAURO: This is John Mauro --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: This is Jim Neton. I think  
2 that the issue before us back in November was  
3 basically looking at doing the dose reconstruction  
4 with a different configuration for source term.  
5 And as far as the modeling and all that goes, it's  
6 certainly tractable. Again, the issue was whether  
7 it's sufficiently accurate because the doses were  
8 so much higher. And I could understand why Dr.  
9 Melius wanted to run that to ground.

10 I think that what Bob has shown is that,  
11 yes, they are certainly tractable and within  
12 reasonable bounds. Now the skin contact dose  
13 rate, it's a bit different, a little lower, based  
14 on our calculations. But certainly, I don't think  
15 that's something that would hold up a decision on  
16 the part of the Board, though.

17 John, I know you wanted to say  
18 something. Do you want to jump in there?

19 DR. MAURO: You stole my thunder.

20 I second what you're saying. I spent  
21 a lot of time with Bob going through these. There  
22 are differences in the assumptions, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       fundamentally -- you see, originally, the problem  
2       was we could not match the example problems.  And  
3       as a result, Dr. Melius thought until we could do  
4       that, there really is no assurance that it can be  
5       done.

6                   And spending time with Bob on the phone,  
7       it's clear that we can now match their numbers,  
8       except we don't agree with them.  But that doesn't  
9       mean --

10                   DR. ANIGSTEIN:  Let me interrupt you.

11                   DR. MAURO:  Yes.

12                   DR. ANIGSTEIN:  Actually I was not able  
13       to do a top-to-bottom audit of the dose  
14       reconstruction.  There just wasn't enough time.  
15       So I did not run all the internal doses.  I have  
16       no reason to believe they can't be done.  But it's  
17       simply that I could not do it in the time remaining.

18                   I could continue, we could continue,  
19       this and hopefully have a complete or more or less  
20       complete report let's say within a week prior to  
21       the next Board meeting, certainly with the Work  
22       Group.  Barring any major disagreements, we may be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 able to put it entirely -- formally put it to rest.

2 MR. KATZ: Bob, why don't we just keep  
3 going through these and see what it is that  
4 actually, if anything, there's discomfort with.  
5 But it seems like it's premature to already be  
6 abandoning ship here.

7 CHAIR ROESSLER: Okay. Let's do that.

8 DR. NETON: This is Jim. I'd just to  
9 point out on this first item the difference in the  
10 skin dose rate is really related not to any  
11 calculational differences but a geometry  
12 difference.

13 DR. ANIGSTEIN: Yes.

14 DR. NETON: I mean we have assumed that  
15 there was a potential exposure to a somewhat  
16 distributed source and Bob and SC&A has indicated  
17 that a person could only hold one uranium slug or  
18 rod at a time. Therefore, the dose is equivalent  
19 to what was modeled by Anderson and Hertel.

20 I'm not sure that we agree with that  
21 assumption. I mean it's an assumption. I think  
22 we prefer to stick with the higher dose rate because

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we don't really know for certain. What Bob says  
2 has some merit, but again it's just an  
3 interpretation issue on our part. It's not a  
4 calculational issue here.

5 DR. ANIGSTEIN: There is very good  
6 documentation which Tom or NIOSH found and we  
7 confirmed that they did, in fact -- I mean this is  
8 one time we have a source term even towards way back  
9 in 1943 that is very well defined. They said they  
10 did ship in what are called Clinton slugs and they  
11 weighed a total of 30 pounds. So you can say  
12 they're three pounds each. And three pounds is  
13 very close to the slugs that Jerry Anderson and  
14 Nolan Hertel did in the paper in the Health Physics  
15 paper.

16 So there apparently was not a large  
17 chunk of uranium that someone could put their hand  
18 on and get --

19 DR. NETON: But in reality, we're using  
20 a 10 slug value which is more of a distributive  
21 source.

22 DR. ANIGSTEIN: Understood.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: And you can't certainly be  
2 near that source.

3 DR. ANIGSTEIN: No, that's for the  
4 noncontact. And the noncontact we come very  
5 close.

6 DR. NETON: I understand that, but --

7 DR. ANIGSTEIN: But for the skin  
8 contact --

9 DR. NETON: Someone is going to be  
10 grabbing those slugs in a pile, right? I mean  
11 they're there.

12 DR. ANIGSTEIN: Okay.

13 DR. NETON: I don't necessarily think  
14 that I agree that it's one slug at a time is the  
15 bound.

16 DR. ANIGSTEIN: Understood and this is  
17 an observation, not an objection. I don't have a  
18 problem with that if that's what you wish to go  
19 with. I know that would make it consistent with  
20 the way you handle uranium in general using  
21 TBD-6000.

22 I just pointed this out. This was the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 result of our calculation. But we're not digging  
2 our heels in on this.

3 CHAIR ROESSLER: So it appears that  
4 NIOSH is proposing is certainly  
5 claimant-favorable. Is that what I'm hearing?

6 DR. NETON: We think so. That's true.  
7 This is Jim.

8 CHAIR ROESSLER: You know we may get  
9 into more of this as we go on in the discussion where  
10 there are some disagreements in what I consider the  
11 details of the dose reconstruction. Maybe we  
12 should do as Ted suggests and continue on and see  
13 if we can come to a resolution on that.

14 And this, Bob, what you just said is  
15 that you agree with this. You would accept this,  
16 Neton's approach.

17 DR. ANIGSTEIN: I'm sorry. I'm not  
18 sure I understood your question.

19 MR. KATZ: Bob, Gen was saying that you  
20 had just said that you agree with Jim Neton's --

21 DR. ANIGSTEIN: Yes, we can accept it.  
22 Yes, we can live with that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ: Right. So this is one I  
2                   guess that we can close.

3                   CHAIR ROESSLER: Okay. Do the other  
4                   Members of the Work Group agree with that?

5                   MEMBER FIELD: This is Bill, I'm in  
6                   agreement.

7                   MEMBER CLAWSON: This is Brad. Sorry.  
8                   It took a little while to get off mute. I agree.

9                   CHAIR ROESSLER: Okay. Then let's go  
10                  on to the second AWE period, Bob.

11                  DR. ANIGSTEIN: Okay. The second AWE  
12                  period goes the other way. NIOSH accepts that the  
13                  source term as being the flat plates, because  
14                  that's again the one that seems to be closest. We  
15                  don't know what their shapes were. We do know what  
16                  the total amount, again the limit was 30 pounds in  
17                  one place would be source documents.

18                  Consequently, they accepted that this  
19                  would be -- again the HP-10 rate was 0.23 millirem  
20                  per hour to an operator which is the dose at one  
21                  foot. We have no problem with that. That's  
22                  straight out of the calculations that are shown in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 TBD-6000.

2 But here the beta dose -- saying that  
3 the beta dose is 10 times the photon dose doesn't  
4 work for a shape like this because it's dependent  
5 -- the beta dose only depends on the surface area.  
6 The beta particles can't penetrate more than about  
7 a millimeter of uranium. So it's the top  
8 millimeter that counts.

9 However, the photons may be attenuated,  
10 but irrevocably they never go to zero. So a  
11 larger, a thicker shape gives you more.  
12 Therefore, the relatively low photon dose that  
13 comes out of this flat bar that's about four  
14 centimeters thick, I think, does not give you an  
15 adequate -- multiplying that by ten does not give  
16 you an adequate beta dose.

17 We got a very good dose by running the  
18 model that's four times as high. It's lower than  
19 the beta dose that NIOSH would have assumed from  
20 this very large ingot which is not representative  
21 of what they had. But it's higher than by simply  
22 taking the tenfold -- simply multiplying by ten.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 That may work for a large shape. It does not work  
2 for a relatively thin flat shape. That we find we  
3 have a problem with that.

4 We would suggest that NIOSH reconsider  
5 that, and our rate instead of 2.31 is 9.5 millirem  
6 per hour. And we'll be happy to share the MCNP ones  
7 so that NIOSH could inspect them and determine  
8 whether they're acceptable or not.

9 CHAIR ROESSLER: Okay. Tom or Jim, do  
10 you have any comments on that?

11 DR. NETON: Yes, this is Jim. I think  
12 first of all I'm not sure what dimensions were used.  
13 Bob mentioned something about four centimeters.  
14 So we really need to see those MCNP runs.

15 DR. ANIGSTEIN: Sure. They were  
16 exactly the dimensions in the Anderson and Hertel  
17 paper in Health Physics.

18 DR. NETON: Right. Okay.

19 (Simultaneously speaking)

20 DR. NETON: The other issue is though we  
21 use exactly what is in TBD-6000 which is based on  
22 -- Bob is correct -- film badge measurements, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 beta-to-gamma ratio as established on film badges  
2 which has been the default for quite some time in  
3 6000. That takes into account, at least in my  
4 opinion, the variability of the exposure geometry,  
5 the worker in relationship to the material itself.

6 Even if Bob's number is right which is  
7 9.5 millirem per hour, that's exactly a person's  
8 skin at exactly one foot for 1,000 hours. I forgot  
9 what we modeled.

10 DR. ANIGSTEIN: Yeah, one foot  
11 exactly. One foot away.

12 DR. NETON: Exactly one foot. And I'm  
13 not sure that's the relevant dose to use. We've  
14 just seen this. We need to think about it. But  
15 I'm not sure that I necessarily agree.

16 DR. ANIGSTEIN: As I just said, this is  
17 still lower than the default dose used in TBD-6000  
18 which is from the large ingot, which has been used  
19 for other things. You would get, I believe it's  
20 2.08 millirem per hour photon.

21 DR. NETON: That's correct.

22 DR. ANIGSTEIN: That would give you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 20.8 beta.

2 DR. NETON: Right.

3 DR. ANIGSTEIN: So this, what we're  
4 suggesting is lower than that which is relevant to  
5 this particular shape. I say that's arbitrary.  
6 We have to adopt it. We really don't know what the  
7 shape of the metal was in doing the second AWE  
8 period.

9 So it was just chosen as a  
10 claimant-favorable because of the shapes that  
11 roughly correspond to the total mass. This has the  
12 highest surface area, which is along a flat bar and  
13 consequently it gives you the highest photon dose.

14 DR. NETON: Yes, I understand and I  
15 think though that it actually comes up as something  
16 like 40.1 beta-to-gamma ratio, which is something  
17 we've never seen on any film badges under any  
18 exposure geometry consideration. Not never, I  
19 guess, but it doesn't comport with what we know to  
20 be what's been measures in a lot of AWE facilities  
21 over many years.

22 We're not trying to model the highest

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dose at one foot. We're trying to model what the  
2 dose to the general skin is here. And I don't know  
3 that this --

4 DR. ANIGSTEIN: One foot is what is  
5 being used. My understanding is that one foot is  
6 being used as a representative, whether it's  
7 realistic or not. But that seems to be the one  
8 that's used.

9 DR. NETON: Well, it's one foot from  
10 the surface. But then the beta-gamma ratio takes  
11 into account varying distances of the worker's  
12 whole body skin, not the hands and forearms, but  
13 the whole body skin dose. I don't see that the  
14 whole body skin is representative of 1,000 hours  
15 at one foot.

16 We need to look at it. I guess I can't  
17 comment any more on that other than we need to look  
18 at it. We need to see the calculation and then.

19 DR. ANIGSTEIN: Sure. I'd be happy.  
20 If I get approval from Ted, I'll be happy to send  
21 them to you later on today.

22 MR. KATZ: Yes, you don't need

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 approval, that's fine to send them.

2 DR. ANIGSTEIN: Very good. Okay, it  
3 will be a little later this afternoon when I come  
4 back from my appointment. Okay. Other than that,  
5 we're okay on the external for the second AWE  
6 period.

7 And the next issue is just the order  
8 that I have in this memo is, we went into  
9 considerable detail in the report that came out  
10 last January of 2016 on the modeling of the glove  
11 box for the plutonium or for the plutonium glove  
12 box --

13 MR. KATZ: Hey, Bob. Before you go  
14 onto that, I think the Work Group wanted to talk  
15 about this issue by issue.

16 DR. ANIGSTEIN: Yes.

17 CHAIR ROESSLER: Yes. Well, I was  
18 going to bring that up. But it seemed to me that  
19 we have to leave that one. And there may be others  
20 that will come up, too, that we can't answer right  
21 now. I don't know. Does the Work Group have any  
22 questions on the second AWE period presentation?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER FIELD: This is Bill. I don't  
2                   have any questions, but I'm just trying to get an  
3                   idea. Do we really need to leave it? It seems  
4                   like it's just a matter of some recalculations.

5                   DR. MAURO: This is John. I'd like to  
6                   just mention that what we're discussing is  
7                   judgments. The issue of can you reconstruct the  
8                   doses is not at issue here as it would be with an  
9                   SEC. What we're really talking about is what is  
10                  the most reasonable, appropriate and  
11                  claimant-favorable assumption to make to calculate  
12                  the dose. And certainly there's a degree of  
13                  discretion that anyone individually making this  
14                  can use.

15                  So the kind of differences we're  
16                  talking about right now as Jim has brought up and  
17                  Bob brought up, I think it's very important to keep  
18                  this in mind.

19                  At least with regard to the analyses  
20                  that we looked at, Bob had mentioned he hadn't  
21                  looked at the internal yet. But as far as the  
22                  issues we're talking about today, you'll notice

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that we're really discussing differences in  
2 judgment on what reasonable people would assume to  
3 come at the problem.

4 I don't want anyone to lose sight of  
5 that. And we're really talking quite frankly in  
6 my mind Site Profile-type discussions on how best  
7 to go about doing the modeling. And I thought it  
8 important just to remind everyone of that.

9 CHAIR ROESSLER: The thing, I think,  
10 though that we have to answer that Dr. Melius  
11 brought up at the Board meeting the main question  
12 which is can the dose reconstruction be done with  
13 sufficient accuracy. If SC&A agrees that it can  
14 be done on this item, then I think you can discuss  
15 the details later.

16 DR. MAURO: I think that's where we are  
17 on this item.

18 CHAIR ROESSLER: So I guess the Work  
19 Group is probably looking to SC&A to answer that  
20 question for us.

21 MR. KATZ: Right. So John said  
22 affirmatively. So it's up to you, Gen and Bill and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Brad, to concur or not concur however you want.

2 MEMBER CLAWSON: This is Brad. I'm  
3 sitting here listening to this and I'm hearing one  
4 side saying, yes, it can be done. But we've just  
5 got a judgment decision. So in my mind, we have  
6 taken care of the issue. It can be done. It's  
7 just we've got to allow these two to be able to work  
8 out what's the best possible organ, what is the best  
9 one.

10 To me, what I'm hearing SC&A telling us  
11 is, yes, it can be done with accuracy.

12 CHAIR ROESSLER: That's what I'm  
13 hearing.

14 MEMBER FIELD: Yes, Bill. I agree.  
15 It's all a question of sufficient accuracy. It  
16 sounds like it has sufficient accuracy. It's just  
17 the method.

18 CHAIR ROESSLER: And I agree with that.  
19 So I think since we're going through this item by  
20 item, I think we can close this one.

21 MEMBER CLAWSON: Yes, I can agree with  
22 you on that, Gen. The only thing that I would like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to see is when NIOSH and SC&A come together on this  
2 and which way they decide. I'd just like to have  
3 a memorandum just letting us know how it went so  
4 I understand.

5 MR. KATZ: Yes, Brad. We'll have a  
6 follow-up Work Group meeting just to close out this  
7 sort of issue where there's a discussion that it  
8 hasn't been completely finished.

9 MEMBER CLAWSON: Okay.

10 MR. KATZ: So we can have another  
11 teleconference and close these matters out for Site  
12 Profile purposes. Of course, it's very helpful to  
13 NIOSH to have this kind of review.

14 MEMBER CLAWSON: Okay. So I have no  
15 problem, Gen, closing it if you'd like to close it.

16 CHAIR ROESSLER: Sure. Okay, and  
17 that's the common procedure to have another Work  
18 Group meeting afterwards to close out some of these  
19 Site Profile issues.

20 MEMBER CLAWSON: Sure.

21 CHAIR ROESSLER: Okay. So hearing  
22 no objections to that, then we'll close this one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and we'll move onto the next one, Bob.

2 DR. ANIGSTEIN: Okay. The next one is  
3 the issue that was raised in our review back in  
4 January of last year about the MCNP analysis that  
5 was done on behalf of, or commissioned by NIOSH,  
6 on the plutonium glove box worker. We wrote it up  
7 and I won't go into every detail because there's  
8 a detailed appendix to the report of last January  
9 27, 2016, report. And they were using apparently  
10 -- the person I happen to know who did this named  
11 from the MCNP files. The analysis itself was done  
12 in a very professional manner.

13 But the assumptions, they were using a  
14 glove box design that had been proposed and then  
15 withdrawn by NIOSH during OTIB or TIB-10, which was  
16 about glove box workers.

17 And there were some objections to that.  
18 SC&A and I reviewed that. We had some concerns  
19 about the design of the glove box and the MCNP  
20 analysis that was done at that time. This goes  
21 back several years. And then NIOSH withdrew that.  
22 That was Rev 3 of TIB-10 and then we went on to Rev

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 4 and did not utilize that.

2 That model had never been accepted.  
3 And there were some problems with it, the main  
4 problems being the distance from the source or the  
5 operator. It was assumed by NIOSH earlier and we  
6 agreed with that that the glove box worker would  
7 typically have the source out one foot from his  
8 body, at 30.5 centimeters. And in this one instead  
9 it was 35 centimeters. And the inverse square law,  
10 that distance significantly changes the dose rate.

11 And it's one foot in a horizontal  
12 direction and then the dose was calculated. Also  
13 five centimeter displacement, that makes it a  
14 little more than 35 centimeters. Sorry. It was  
15 35 centimeters -- I misspoke -- in a horizontal  
16 direction and then another five centimeters into  
17 the vertical. So you take the right triangle and  
18 you come with even more than 35 instead of the 30.5  
19 that was used earlier in the Attila calculation,  
20 which everyone agreed was a reasonable distance for  
21 an average height between average length they would  
22 be working with.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Second of all, the other issue was the  
2           characterization of the fuel. Now there were a  
3           number of different fuel mixtures used. But with  
4           plutonium fuel, the older it is the more time there  
5           is for the ingrowth or the decay of plutonium-241  
6           to americium-241 which is a much stronger gamma  
7           emitter than any of the plutonium isotopes.

8           Consequently, assuming that it's five  
9           years old which is an assumption that it's used by  
10          Hanford dose analyses or the default assumption,  
11          would make this again to increase the source term.  
12          And then also there are different configurations,  
13          different mixtures.

14          And there was -- sorry, I'm looking at  
15          this. Oh yeah. The fuel pellets were not just --  
16          they were mixed up with plutonium and uranium. And  
17          there was a question of the uranium being enriched.  
18          And there was enriched uranium used at Carborundum.  
19          Literature says anything from 10 percent enriched  
20          uranium, 24 percent enriched uranium.

21          So without going into the details of  
22          this, it's all in the report of January last year.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 We got photon dose rates of 50 percent higher. We  
2 believe that that is a more accurate,  
3 claimant-favorable model. We don't know exactly  
4 what the source terms were, but if you use  
5 documented -- we're not making these up. These  
6 were documented in the various reports and  
7 correspondence from Carborundum.

8 And using the most claimant-favorable  
9 assumptions, we get much higher. Fifty percent  
10 higher at the one foot distance that is assumed for  
11 the operator. At one meter for example, the  
12 general laborer, the difference is not as big.

13 And then there is actually the NIOSH  
14 analysis which is slightly more favorable to  
15 neutron dose. But the neutron dose is a very small  
16 constituent of total dose, so it does not offset  
17 it. So that's one.

18 And again, we've done a very  
19 comprehensive MCNP analysis. We can pass that on  
20 to NIOSH to see whether they would want to utilize  
21 that model and cut down on some of the labor costs  
22 of rerunning it. So I'll pause for any discussion

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or questions on that.

2 CHAIR ROESSLER: Do we hear any  
3 response from NIOSH?

4 MR. TOMES: This is Tom. I'd just like  
5 to point out that we have not seen our views of the  
6 comments on plutonium sources in preparation of the  
7 responses that we sent the Work Group, we focused  
8 on the findings. And it wasn't in with the  
9 findings.

10 But we included it along with other  
11 observations for completeness. But the comments  
12 from SC&A are still under review. And we would  
13 like to see the MCNP files from Dr. Anigstein.

14 CHAIR ROESSLER: We have sort of the  
15 same question on this item as the other ones, I  
16 think. Is the concept accepted by SC&A? And it's  
17 a matter of looking at the exact approach. Or is  
18 this something that needs to be looked at before  
19 we can go any further on it?

20 DR. ANIGSTEIN: Yes, I would agree that  
21 we accept the concepts. In other words, we have  
22 a model. NIOSH has a model. NIOSH obviously is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 capable of running these models. So just a change  
2 of the source term and the configuration that we  
3 need to agree on.

4 But in principle, we completely agree  
5 that there is enough information available,  
6 perhaps more than enough which is more than one data  
7 source, that this analysis can be done. We don't  
8 dispute that.

9 CHAIR ROESSLER: So you would agree  
10 that dose reconstruction here could be done with  
11 sufficient accuracy.

12 DR. ANIGSTEIN: That, in principle, it  
13 can be done.

14 CHAIR ROESSLER: It seems it's just in  
15 the same category then. It's an item that the Work  
16 Group -- I'm just throwing this out now -- could  
17 close, but it would come up then at the Work Group  
18 meeting that we would have, the next Work Group  
19 meeting.

20 MEMBER FIELD: This is Bill. I just  
21 have a question here. You said "in principle."  
22 Can you expand on that a little bit?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. ANIGSTEIN: Yes, I say in principle  
2 it is possible to model the dose to the glove box  
3 worker and other workers standing nearby. Using  
4 this general methodology would simply have some  
5 disagreement. We don't completely agree with the  
6 input data, but if you change input data, of course,  
7 you will change results.

8 It's not a question of that nobody knows  
9 how to do this. It's a question of we didn't make  
10 it a finding. Maybe we should have because we  
11 didn't have quite as strong an opinion as to the  
12 acceptability of the assumption that we're  
13 proposing.

14 MEMBER FIELD: I understand. I just  
15 wanted to clarify.

16 CHAIR ROESSLER: Okay. I'm not quite  
17 sure yet where to go on this. The Board depends  
18 very much on SC&A's evaluation. That's why we have  
19 SC&A. So I'm looking for something from SC&A that  
20 can help our Work Group Members come to a conclusion  
21 on this.

22 MEMBER FIELD: From my understanding of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        what was just said -- that's why I wanted to clarify  
2        the in principle -- it sounds to me like what you're  
3        saying is agreement that this can be done with  
4        sufficient accuracy.

5                    DR. ANIGSTEIN:    Yes.

6                    CHAIR ROESSLER:    Well, Bob says yes.  
7        So then I think that this fits in that same  
8        category. We can close this item for the purposes  
9        of this discussion. And we'll follow through on  
10       this later. Am I correct on that?

11                   MR. KATZ:        Yes, yes. We'll follow  
12       through. This will be another Site Profile matter  
13       to button down.

14                   CHAIR ROESSLER:    Okay. But I think we  
15       need to hear from the other Work Group Members on  
16       this.

17                   MEMBER CLAWSON:    Gen, this is Brad.  
18       I'm good with it. I'm like you. I just wanted to  
19       make sure that it could be done with significant  
20       accuracy. Seeing that, I'm good with this.

21                   CHAIR ROESSLER:    Okay. Bill?

22                   MEMBER FIELD:     Yes, I'm good, too.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIR ROESSLER:    Okay.    Then unless  
2                   there's something further from Bob or NIOSH, I  
3                   think we can move onto the next item.

4                   DR. ANIGSTEIN:    Okay.  Now I'm going  
5                   through the seven issues that were raised in the  
6                   original review.  Issue No. 1 is doses to skin.  
7                   But they are actually using it for this.  So maybe  
8                   you should delete the word skin and just say doses  
9                   from the x-ray diffraction apparatus because NIOSH  
10                  is using that for the whole body also.

11                  And I explained here in my memo there  
12                  was a report that came out last June about the x-ray  
13                  diffraction apparatus.  And I did not do a detailed  
14                  review of that because that report was attached to  
15                  a second report by Tom Tomes who said XRD is not  
16                  the limiting pathway.  The uranium metal is.  So  
17                  I figured we don't have to really do a detailed  
18                  examination because they've looked at it and then  
19                  said it doesn't rise to the surface as the bounding  
20                  pathway.

21                  But now that we lowered the suggestion,  
22                  the source term from the uranium, now XRD came up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       again to the fore. Now NIOSH found that the dose  
2       to the operator is limited by exposure to the  
3       uranium because he's up close and personal with it.  
4       But the dose to the other workers who were a little  
5       further away, the XRD becomes dominant.

6               I did a detailed examination because  
7       there was some question about the assumptions about  
8       how this was performed. I took the occasion on  
9       Saturday to telephone the worker who had furnished  
10      the information. This was sort of a chain  
11      referral. One of the claimants that had been  
12      interviewed -- I believe NIOSH interviewed six  
13      former workers and one survivor as part of their  
14      original SEC Evaluation Report -- and one of them  
15      struck my eye as being interesting because he  
16      claims he had worked with thorium which I will get  
17      to in a minute.

18             So I spoke with that gentleman. And I  
19      also asked him if he knew anything about XRD. And  
20      he said, no, he didn't, but he was in touch with  
21      a former colleague, a fellow worker from that era,  
22      who did, who was familiar with XRD.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So I called that gentleman. That was  
2           a year ago, over a year ago. And we spoke and he  
3           had some information. I included a report of that  
4           interview in our review of the ER.

5           And then subsequently, a member of the  
6           ORAU team -- I believe someone is on the phone now  
7           -- spoke with him to confirm the information. And  
8           the one factor that basically was consistent --  
9           there were some differences in some slight details  
10          -- with the interview notes that I had made from  
11          both of the gentlemen.

12          Wrote it up and typed it up and mailed  
13          it to him. He didn't have email. So I mailed it  
14          to him with a stamped self-address return envelope.  
15          He very graciously wrote in comments in ink on this.  
16          So there was what appeared in the final review  
17          included with my initial notes with his comments.

18          Anyway, the issue/question that I had  
19          in my mind was how much time did he spend in the  
20          vicinity of the apparatus. My impression from the  
21          review from the report was that it was an  
22          assumption. He did not answer that question when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I asked that question.

2 He did say that he would set it up.  
3 Then once it was running, he would walk away because  
4 it didn't require his presence and he was aware of  
5 radiation exposure hazards. But as far as I could  
6 tell he didn't get the time. So it was assumed that  
7 it was two minutes which just intuitively sounded  
8 to me like a very short time. But mostly it was  
9 undocumented.

10 When I spoke to him and asked him how  
11 much time did he spend, he said, "Well, two or three  
12 minutes to change the sample." Then in the same  
13 vicinity he said there was a chart recorder that  
14 was his friend that was with the apparatus. So he  
15 would check the chart recorder, make a notation on  
16 it. He couldn't be precise.

17 But basically my takeaway was that he  
18 spent about five minutes, two or three minutes with  
19 the chart recorder, two or three minutes actually  
20 changing the sample. Perhaps somebody would come  
21 by and say something to him and he might linger near  
22 the apparatus while they have a conversation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Five minutes rather than two minutes sounded like  
2 a much more favorable and a more conservative  
3 assumption.

4 And then the other objection that I had  
5 to the analysis done by NIOSH was that we agreed  
6 to use a paper published by Joel Lubenau and his  
7 associates who were working for the State of  
8 Pennsylvania Department -- I'm not sure I'm getting  
9 the exact name right -- of Radiation Control. And  
10 they were concerned.

11 They had done a survey of a number of  
12 such instruments throughout the state. And they  
13 came away -- it was published in Health Physics --  
14 and reported that the highest rate at the edge of  
15 the table, not on the table itself, was 2 mR per  
16 hour.

17 We don't know what the skin dose was to  
18 the hands. However, given the high skin dose rate  
19 -- 5230 millirem per hour of contact with the  
20 uranium metal, that would certainly bound this  
21 exposure. So I would not have a problem with that.

22 However, the 2 mR per hour was measured

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with one of two instruments, either a Victoreen  
2 440RF or Nuclear Chicago 2586. It so happens that  
3 there was this symposium or meeting sponsored by  
4 a predecessor of EPA. It was a government agency  
5 called Bureau of Electronic Products. They  
6 sponsored a meeting in about 1970 in Philadelphia.  
7 And Lubenau was one of the speakers and also a man  
8 by the name of Els, E-L-S. Els said that for the  
9 purposes of making measurements, radiation  
10 protection measurements of the XRD apparatus they  
11 assumed that it was a copper target which is what  
12 this worker at Carborundum confirmed that their  
13 apparatus used a copper target.

14 And therefore the scattered radiation, it's  
15 not the primary. The primary beam is quite well  
16 contained or the beam catcher would stop the  
17 primary beam. The primary beam is a 50 KeV x-ray.

18 But the scatter beam is the selected --  
19 that's why they use a copper target -- copper  
20 characteristic radiation. It's in the range of  
21 8.0 to 8.9 KeV. And Els' paper said that 90 percent  
22 of the photon slug of scattered radiation is in that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 range. And therefore the instrument under reports  
2 if that's calibrated for that low energy.

3 He calculated depending on the dose  
4 rate either 2.42 or 2.48 correction factor. You  
5 multiply that reading by this factor. And I  
6 actually corresponded with Mr. Lubenau by email and  
7 showed him what we're doing and asked him whether  
8 he thought that this Els' correction factor which  
9 he was a participant in the same meeting where this  
10 reported. He said, "Yes, he would agree that this  
11 should be adopted to be conservative."

12 So now we have two factors. We go from  
13 two minutes to five minutes. And we go from 2 mR  
14 per hour to twice, 2.48 or basically 5 mR per hour  
15 at the exposure rate. However, if we grant that  
16 this is around 8 to 9 KeV, then in calculating organ  
17 doses we should use the dose conversion factor MB  
18 OCAS-IG-001 for under 30 KeV rather than the 32 250  
19 KeV. And that brings it down to a factor of ten.  
20 So we're basically back to where we started.  
21 Different methodology, but the organ doses for the  
22 two organs under consideration, the kidney and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 kidney which is represented by the liver and the  
2 lungs actually come out less even with these other  
3 assumptions from this component.

4 So we have a technical quarrel with the  
5 NIOSH's analysis. But in principle, we can  
6 establish limits. So in principle, it can be  
7 calculated with reasonable assumption that can be  
8 calculated. I'll take accuracy even to SC&A.  
9 It's not the one that makes that judgment. The  
10 Board makes that judgment.

11 CHAIR ROESSLER: Okay. The  
12 discussion you just had just came out. I think you  
13 just sent it out last night.

14 DR. ANIGSTEIN: That is correct.

15 CHAIR ROESSLER: I don't know whether  
16 -- it sounds like you did a very thorough job and  
17 looked at everything here. But I'm wondering what  
18 NIOSH's approach is on this is.

19 DR. NETON: This is -- go ahead, Tom.

20 MR. TOMES: Go ahead, Jim. I was just  
21 going to say I hadn't had a chance to review this  
22 very thoroughly.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: I've looked at it and of  
2 course this is based on new information that Bob  
3 obtained by interviewing one of the people involved  
4 here. I have no doubt in what he's saying.

5 I will point out that Bob's correct that  
6 in a security sort of way we end up at the same  
7 point. And I'll point out that both exposures are  
8 in the 100 millirem range to the organs. So even  
9 though we got similar doses at the end of the day,  
10 I will point out that I think this is a Site Profile  
11 type issue and especially in light of the fact that  
12 these are pretty small doses altogether.

13 If you divide the 1.03 R by dose  
14 conversion factor which is about ten or 0.1.

15 DR. ANIGSTEIN: 0.1.

16 DR. NETON: What's that?

17 DR. ANIGSTEIN: The dose conversion  
18 factor that energy range is around 0.1.

19 DR. NETON: Right. So you multiply 1  
20 rem per year times 0.1 you get about 100 millirems  
21 to the organ.

22 DR. ANIGSTEIN: Correct.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: And I checked some of the  
2 other ones outside of the two that Bob looked at  
3 and they're all similar. You can even have smaller  
4 doses because the further the more internal organs  
5 obviously you have less dose.

6 I don't know that we would 100 percent  
7 agree with this. But I think we need to take into  
8 account this new information and we will. But  
9 again I think this is a matter of a problem that  
10 we can do something here. It's just how much we  
11 can tweak it.

12 MR. TOMES: This is Tom again. I think  
13 we'd like to see a copy of the additional  
14 information from the worker that Dr. Anigstein  
15 obtained for a reference for us. Let me look at  
16 this if we could get that.

17 MR. KATZ: Yes. Tom, we'll send you  
18 everything.

19 MR. TOMES: Okay.

20 CHAIR ROESSLER: Board Members. I'd  
21 make a comment on this. I've read through this  
22 quick thoroughly and I have studied this issue

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 before. Certainly by using the references from  
2 Lubenau and others it's very authoritative people  
3 on this issue. And also I think by having the  
4 interview from the worker and using claimant's  
5 information, the times here you've got values for  
6 the exposure. You've got values for time. I'm  
7 convinced that you can do dose reconstruction with  
8 sufficient accuracy. I think it's the Site  
9 Profile issue.

10 But I'd like to hear from the other  
11 Board Members, Work Group Members.

12 MEMBER FIELD: Sure. This is Bill  
13 again. I agree. I think it's a Site Profile  
14 issue.

15 MEMBER CLAWSON: This is Brad. It's  
16 already been said that they can do it. It's just  
17 how it's done where there's a little bit of a  
18 problem there. But it comes down to a Site Profile  
19 issue. So I have no problems closing it.

20 CHAIR ROESSLER: Thank you. And any  
21 other comments?

22 (No verbal response)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Thank you, Bob, for all the research on  
2           this. I think we can close this item. Is that  
3           agreed upon?

4           MEMBER CLAWSON: This is Brad. Yes.

5           MEMBER FIELD: Yes.

6           CHAIR ROESSLER: Okay. All right.  
7           Then let's go to thorium.

8           DR. ANIGSTEIN: Okay. The thorium was  
9           something we raised. Again, what worker for the  
10          same year that we found. But one of the workers  
11          that was initially interviewed as part of the SEC  
12          evaluation by NIOSH I then called and  
13          re-interviewed just to confirm and get more details  
14          reported working with thorium. And based on our  
15          experience with this project, thorium always  
16          raises a red flag because for some reason, I mean  
17          it's a higher dose conversion factor than the  
18          uranium which we typically encounter. And also  
19          there is data on it. So we said this guy worked  
20          with thorium.

21          Also the manager or supervising  
22          engineer -- I won't mention him because he was an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 official. There is no need to enter his name --  
2 in a biographical sketch of his, he reported that  
3 one of his duties or accomplishments at Carborundum  
4 is setting up a facility for handling plutonium and  
5 a second facility for handling uranium and thorium.  
6 So that's clearly indicated. Uranium and thorium  
7 are handled and there was some thorium handled.  
8 And they were handled in the same facility.

9 So the issue came up of this was in  
10 between the AWE periods. So the thorium at the  
11 time was handled would not be a source term that  
12 would have to be considered. But if there was  
13 thorium contamination in that facility and that  
14 workers were later exposed to it. And since we  
15 have data HASL Laboratory of the Atomic Energy  
16 Commission had come in and made measurements.  
17 They simply measured gross alpha. It was assumed  
18 to be uranium because at that time only the uranium  
19 was being handled.

20 We said wait a second. If there was  
21 thorium from past contamination and it was  
22 resuspended. And without going in more detailed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 analysis which they didn't do, some of those gross  
2 alphas could be thorium.

3 Our find was simply that since NIOSH  
4 made no mention of this they should address it.  
5 That was basically our conclusion in the original  
6 review.

7 Now looking at it NIOSH responded that  
8 they looked into it further. They agreed that  
9 thorium was handled in this in-between period. It  
10 was handled, but uranium work was also being done  
11 at this same period. So any residual  
12 contamination would be uranium and thorium.

13 Since uranium was correctly modeled, I  
14 did what I would call a back-of-the-envelope  
15 calculation. My envelope is an Excel spreadsheet,  
16 but anyway it was just using some general  
17 assumptions saying "Let's say that thorium was  
18 deposited in 1955." But that's a period that that  
19 worker mentioned.

20 And let's say that a deposition rate of  
21 -- but granted NIOSH said that also uranium was  
22 being handled. So let's say equal amounts of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thorium and uranium were deposited. And the total  
2 amount of deposition was comparable to what was  
3 deposited later during the AWE period.

4 But also we looked at this to OTIB-70  
5 that has the depletion year by year. But what  
6 happened to the deposits. Well, there's no real  
7 rigorous cleanup. It nevertheless just in  
8 sweeping the floor and just normal attrition it  
9 goes down.

10 So let's say using 1961 which is when  
11 we had the majority of the air samples were taken  
12 by that time any original activity done in '55 would  
13 be defeated to 29 percent of its original value.  
14 So we say there was some deposited then. Half of  
15 it was thorium. It went down to 29 percent.

16 And then by 1961 it's now mixed with the  
17 stuff, with the new material that's not depleted.  
18 Then if you consider the fact that it has an 88  
19 percent higher dose conversion factor for the  
20 lungs, nevertheless with these it would make a  
21 difference of 10 percent. But that's only if you  
22 assume that everything is re-suspended. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 forgetting that some of the activity that was  
2 measured by HASL might have come from ongoing.  
3 That would be operations.

4 The original dust that was being  
5 generated just making these bounding estimates we  
6 said the worst it could be at 10 percent and even  
7 that is not a realistic number. So the chances are  
8 it's going to be smaller.

9 We agree with NIOSH that this source  
10 term can be neglected. So we considered that NIOSH  
11 did in fact address this because they did fail to  
12 address. Now they have remedied that. They did  
13 address it and we consider it to be a satisfactory  
14 matter.

15 CHAIR ROESSLER: Okay. So your  
16 conclusion in your paper then is that this item is  
17 closed.

18 DR. ANIGSTEIN: Yes.

19 CHAIR ROESSLER: Good. Okay. Any  
20 questions by the Work Group or anyone else?

21 MEMBER CLAWSON: This is Brad. I have  
22 no problems with it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER FIELD: This is Bill. I'm fine  
2                   with it.

3                   CHAIR ROESSLER: Okay. Then let's  
4                   move onto the next one.

5                   DR. ANIGSTEIN: Okay. Issue three  
6                   we're skipping because I was told that has been  
7                   closed already. And then Issues four and five  
8                   NIOSH has failed to assign doses from medical  
9                   x-rays. In the original SEC Evaluation Report and  
10                  the example of dose reconstruction that was done  
11                  way back in July 2015, there was inconsistency. In  
12                  one case, they said they would use medical x-rays.  
13                  The dose reconstruction did not assign medical  
14                  x-rays. It was not consistent with general NIOSH  
15                  policy.

16                  NIOSH responded to that. In the latest  
17                  dose reconstruction, they did assign medical  
18                  x-rays in the two cases for every year of employment  
19                  during the AWE period. We confirmed it. In that  
20                  respect, it was done.

21                  However, we did find some discrepancies  
22                  in the actual doses that were assigned. In the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 first case for the lung, they used this OTIB-006  
2 which prescribed the doses for radiographic  
3 examination of the chest. They prescribed the  
4 doses for each organ. And for the lung, they  
5 prescribed a dose of 83.8 millirem. That in fact  
6 is what was entered into the spreadsheet.

7           However, the same document should have  
8 assigned an uncertainty of 30 percent. The  
9 discussion of the uncertainty was the recommended  
10 prescribed uncertainty of 30 percent. And this I  
11 think was probably just a calculational error  
12 because the spreadsheet, the IREP input, does list  
13 an uncertainty of 16.75 millirem which comes out  
14 to be exactly 20 percent.

15           So I would suspect it was a slip in the  
16 calculation. But the fact is that if the  
17 uncertainty is lower given that IREP takes the 99  
18 percentile, it would slightly lower the  
19 contribution to the overall dose. That unless  
20 there's a reason for it needs to be corrected.

21           Then the other organ for the kidney  
22 there is another document of OTIB-5 which gives

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 substitute or the kidney is not one of the target  
2 organs in the ICRP model. So they use the closest  
3 organ for which there is external dose  
4 calculations. They use the closest organ.

5 And the substitute organ that is  
6 prescribed is the liver which is in fact what was  
7 done for all the others, uranium, and all the other  
8 external radiation sources. To calculate the dose  
9 of the kidney, they actually take the dose of the  
10 liver and assign it to the kidney which is  
11 appropriate.

12 However, in this case, it wasn't done.  
13 And the dose to the liver would have been 90.2  
14 millirem. But instead the dose that is entered for  
15 the medical x-rays is 25 millirem. And I'm just  
16 speculating. I just looked on the table in the  
17 OTIB-6 to see what organ could they be using. My  
18 guess was that they were using the urinary bladder  
19 because that is one of the organs that had that  
20 particular dose.

21 We believe -- my background is in  
22 physics even though I have quite a bit of experience

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the medical field. John Mauro has his degree  
2 in biology. So I can consult with him as an expert.  
3 He agreed that the bladder -- the actual anatomical  
4 diagram probably from a textbook presented in  
5 OTIB-006 would show that the bladder is way down  
6 in the body quite far away from the lung on the  
7 radiation field that would be imposed on the lungs  
8 and therefore is not an appropriate substitute for  
9 the kidney lying just under the liver. So the  
10 liver is in fact appropriate, not that it's already  
11 been decided but particularly for this field.

12 So I believe again that we disagree with  
13 the dose. We agree the idea that they did assign  
14 doses. They did assign doses for each year of  
15 employment. And in this case incidentally the  
16 uncertainty based on this 25 millirem was  
17 calculated of 30 percent of the dose which  
18 indicates again that the other one that was 20  
19 percent was probably just a calculational error.

20 In principle, they did respond. They  
21 did assign medical x-ray. But we believe that  
22 there's a discrepancy with the dose that was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 assigned.

2 CHAIR ROESSLER: Okay. Does NIOSH  
3 have any response to that?

4 MR. TOMES: This is Tom Tomes. Yes, I  
5 take Dr. Anigstein's suggestion that I made an  
6 error in using 20 percent uncertainty for the lung  
7 activity and should have used 30 percent  
8 uncertainty. I do want to point out that when I  
9 forwarded those examples I indicated they were  
10 draft and had not been thoroughly reviewed  
11 sufficiently. That error was not caught by me when  
12 I was preparing those.

13 On the other discrepancy on the dose to  
14 the kidneys, I would have to concur that the wrong  
15 category was selected. So I basically agree with  
16 Dr. Anigstein's comments that the x-ray dose would  
17 be as specified by Dr. Anigstein.

18 MEMBER CLAWSON: This is Brad. What  
19 I'm hearing is that they can do it. It's not an  
20 SEC issue. It's a Site Profile issue again.

21 CHAIR ROESSLER: Okay. And that's my  
22 conclusion, too. Bill.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER FIELD: Yes, I agree.

2 CHAIR ROESSLER: Okay. So thank you,  
3 Bob, for catching that and I think we can proceed  
4 on then unless there are other questions to the next  
5 item.

6 DR. ANIGSTEIN: Okay. The next issue  
7 was that in the original calculations back in July  
8 2015 NIOSH had calculated the external dose both  
9 from photons and electrons from submersion in a  
10 cloud of radioactive dust and from exposure to  
11 contaminated surface. They used an old EPA report  
12 called Federal Guidance Report No. 12 -- it came  
13 out I think in 1998 -- which is not consistent with  
14 the way NIOSH does it.

15 TBD-6000 is being used as a source  
16 document. TBD-6000 does in fact give calculated  
17 values of the dose rates per unit from both air  
18 submersion which is always insignificant and from  
19 the contaminated floor. I verified that  
20 in fact in the current calculation they did employ.  
21 They did remove any reference to Federal Guidance  
22 12 and did in fact correctly copy the values from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Tables 3.9 and 3.10 in TBD-6000. Those were  
2 correctly copied into the spreadsheet.

3 I have to add though where I verified  
4 those -- I verified the formulas -- I did not  
5 finish. So I did not do a top to bottom audit to  
6 see whether the dose is calculated in such a manner  
7 were in fact transferred to the IREP input. I just  
8 ran out of time for doing that. I have no reason  
9 to question it one way or the other. I have no  
10 opinion on whether it was utilized. But the  
11 approach -- the intent was correct -- was correct.

12 CHAIR ROESSLER: By saying the  
13 approach was correct, you would believe that NIOSH  
14 can do an accurate dose reconstruction.

15 DR. ANIGSTEIN: Absolutely.

16 CHAIR ROESSLER: Okay. Any questions  
17 or any comments by Work Group Members?

18 MEMBER CLAWSON: Gen, this is Brad.  
19 I'm good with it.

20 MEMBER FIELD: Yes. I am, too. Bill.

21 CHAIR ROESSLER: Okay. Anything else  
22 on this item? We'll follow through on this later

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       then.   Okay.

2                   MR. KATZ:   Well, there's no follow-up  
3       needed, Gen, on this one.

4                   CHAIR ROESSLER:   Pardon?

5                   MR. KATZ:   There's no follow-up really  
6       needed on this one.

7                   CHAIR ROESSLER:   Okay.

8                   MR. KATZ:   He doesn't have to do the  
9       calculations.   No.

10                  CHAIR ROESSLER:   Yes, usually that's  
11       not a requirement to go through an example of dose  
12       reconstruction.

13                  MR. KATZ:   Right.

14                  CHAIR ROESSLER:   Okay.   Issue seven.

15                  DR. ANIGSTEIN:   Okay, Issue seven,  
16       which was simply said we could not match the dose  
17       calculation in the original example DR.  
18       Unfortunately, we can't resolve that because we  
19       have not -- it was just not enough time to do a total  
20       dose -- look at individual components which I just  
21       discussed.   But I could not do a total dose  
22       reconstruction just for lack of time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So that one remains in my opinion in  
2           abeyance. Again, I have no reason to believe that  
3           there will be a problem, but we have not been able  
4           to verify that.

5           MR. KATZ: Bob, it's not necessary for  
6           you to audit it that way for this purpose. This  
7           isn't an individual dose reconstruction case.

8           DR. ANIGSTEIN: That was one of the  
9           things we did before and was not able to match the  
10          number.

11          MR. KATZ: Yes.

12          DR. ANIGSTEIN: So I can't say we've  
13          resolved it until we've resolved it.

14          CHAIR ROESSLER: There's not enough  
15          information here for me to really evaluate this.  
16          But I'm thinking that this is something that we  
17          don't have to answer for our presentation to the  
18          Board. Am I right on that?

19          DR. ANIGSTEIN: What I would propose  
20          doing is I believe that since there's still 10 days  
21          before the Board meeting that now that we're way,  
22          way up the ladder finishing this that we started

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and then sending out a brief memo, maybe not a  
2 formal report but basically an extension of this  
3 memo filling in that seventh item. That's  
4 something that should be done. We could probably  
5 do it in a few days. Hopefully, we don't find any  
6 problems.

7 CHAIR ROESSLER: I think that's up to  
8 SC&A as to whether they feel that it should be done.

9 MR. KATZ: It's actually up to the Work  
10 Group as to whether that's necessary.

11 CHAIR ROESSLER: Well, I don't think  
12 that's necessary for our presentation to the Board.  
13 I guess it would just complete things if there's  
14 time to do it.

15 I guess I'd go ahead with getting our  
16 presentation ready. I guess we're not quite  
17 through with everything here, but if we close all  
18 the other items, I think we'd go back to the Board  
19 and come up with the same conclusion that we did  
20 before that doses can be reconstructed. Then if  
21 we have this confirmation by the time of the Board  
22 meeting, that would just add to it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. ANIGSTEIN: Yes, I think we can  
2 have it.

3 MR. STIVER: Bob, this is John Stiver.  
4 You're pretty confident you can have the results  
5 in time for the meeting.

6 DR. ANIGSTEIN: Yes, I'm reasonably  
7 confident.

8 MR. STIVER: Right. Let's bring this  
9 up because this is one of the issues of Dr. Melius  
10 last August.

11 DR. ANIGSTEIN: Yes, they were  
12 specifically concerned with the fact that it could  
13 not -- that the doses --

14 MR. STIVER: Yes.

15 DR. ANIGSTEIN: I think they will be  
16 happier.

17 MR. STIVER: Yes.

18 MR. KATZ: Let me clarify. There were  
19 issues that we've gone over in detail and the issue  
20 is not being able to then run through. We've  
21 already covered all of the substantive matters.

22 DR. ANIGSTEIN: I believe so, but you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know the expression that I like to use is the devil  
2 is in the details. And we just need to know. I  
3 would feel much more comfortable knowing that if  
4 I do an independent audit of reconstruction to see  
5 if there are any differences. And if there are  
6 differences which by the way does happen, they can  
7 be explained. Here is a little shortcut. Here's  
8 something. They could be explained away.

9 MR. STIVER: But, Bob, we're basically  
10 to the Site Profile space here now. I mean this  
11 is really verifying a sample of reconstruction that  
12 we've already agreed is being done according to  
13 reasonable efforts. So we're not really -- this  
14 is not an SEC issue. Let's make sure that's not  
15 conflated on the part of the other Board Members.  
16 We have to make sure that that's understood.

17 MR. KATZ: Right. Thank you, John.  
18 That's my main point. And it's fine to do that,  
19 Bob, just in case something was missed in your  
20 review.

21 DR. ANIGSTEIN: Exactly.

22 MR. KATZ: But again remember that this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is not an actual dose reconstruction for a  
2 claimant.

3 DR. ANIGSTEIN: Of course.

4 MR. KATZ: This is just a proof of  
5 concept. So it's fine to do that to see if you've  
6 missed anything. But it's not holding the process  
7 up.

8 DR. ANIGSTEIN: Okay. We should be  
9 able to do that.

10 MR. KATZ: What I'm saying in my  
11 opinion it's not even necessary for Gen's purpose  
12 in proving the methods are there and viable and so  
13 on and generally can be done.

14 CHAIR ROESSLER: And I think he agrees  
15 that it can be done.

16 MR. KATZ: Right.

17 DR. ANIGSTEIN: Yes. But if we can go  
18 ahead during this next week and put out a supplement  
19 to this I assume that would add some value.

20 CHAIR ROESSLER: But our criterion is  
21 can dose reconstruction be done. I think that's  
22 what you agreed that it can be.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. ANIGSTEIN: Yes.

2 CHAIR ROESSLER: You just want to check  
3 the details.

4 DR. ANIGSTEIN: Exactly.

5 CHAIR ROESSLER: Yes. So then I think  
6 our purpose for today we have completed that item.  
7 But I think we should get Work Group comments on  
8 it.

9 MEMBER FIELD: This is Bill. It seems  
10 like it can be done with sufficient accuracy. It  
11 sounds like what's being purposed is to check to  
12 see as is the case. But I see no problems with  
13 doing this.

14 DR. ANIGSTEIN: Okay.

15 MEMBER CLAWSON: This is Brad. I have  
16 no problems with it either.

17 CHAIR ROESSLER: All right.

18 DR. ANIGSTEIN: And then this -- Sorry.

19 CHAIR ROESSLER: Go ahead, Bob.

20 DR. ANIGSTEIN: Yes. The final  
21 observation which just happens -- again, I started  
22 on what I'm proposing to do, but didn't get that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 far. Didn't finish. Something that crossed my  
2 eye was simply a discrepancy that on one worksheet  
3 of the same workbook in the files that were  
4 transmitted by NIOSH for 1943 time period for AWE.  
5 The external doses assumed that the work that they  
6 worked 2400 hours per year which is simply a 48 work  
7 week which was common at that time. They worked  
8 six days a week, eight hours a day multiplied by  
9 50 weeks with a couple of weeks off. So that comes  
10 out to 2400 hours per year.

11 On the very next page, it calculates the  
12 intakes of inhaled dust. There they used 2500  
13 hours a year. And it would seem to me that the two  
14 calculations should be consistent.

15 MR. TOMES: This is Tom Tomes. I can  
16 take a look at that. I assume that Dr. Anigstein  
17 is correct in saying that. I haven't had a chance  
18 to verify that. But all these values we have are  
19 considered draft until we've gone through and  
20 discussed them. That change can be made. I agree  
21 with you that it should be 2400.

22 CHAIR ROESSLER: Okay. I think that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 completes your presentation, Bob.

2 DR. ANIGSTEIN: I'm sorry. Say it  
3 again.

4 CHAIR ROESSLER: Does that complete  
5 your presentation?

6 DR. ANIGSTEIN: That completes what  
7 we've gotten as of last night.

8 **PATH FORWARD FOR ISSUE RESOLUTION OR PRESENTATION TO**  
9 **BOARD**

10 CHAIR ROESSLER: At this point, I  
11 think we've crossed off everything on this list.  
12 It appears to me that we have done a thorough  
13 evaluation of this whole site with the Board  
14 comments particularly in mind. It also appears to  
15 me that we still have the same conclusion that we  
16 had in our presentation to Board.

17 I think we have to go to the Board then  
18 next week and make a presentation along these  
19 lines. Do other Work Group Members agree with what  
20 I've just said?

21 MEMBER FIELD: This is Bill. Yes, I  
22 agree, Gen. I think we're unanimous in that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER CLAWSON:    This is Brad.    I  
2                   agree with you, Gen.

3                   CHAIR ROESSLER:    So then I think what  
4                   we have to do in our approach is in order to actually  
5                   have a slide presentation for the Board it has to  
6                   be done, Ted, I think you said by the end of the  
7                   day today.

8                   DR. ANIGSTEIN:    Oh no.

9                   MR. KATZ:    Bob, wait.  I'm not asking  
10                  it for an SC&A presentation at this point.  The  
11                  deadline is today.  I've warned them that today is  
12                  not going to work for this one since we're meeting  
13                  today.

14                  But we are pressed to get it in.  It's  
15                  got to be posted in advance and it doesn't get  
16                  posted in a day or two.  So we need to get it done.  
17                  I would say we probably could get away with this  
18                  until maybe Wednesday at latest like midday  
19                  Wednesday.

20                  DR. ANIGSTEIN:    I can't commit to that.

21                  (Simultaneous speaking)

22                  MR. KATZ:    So let's talk about it then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       who could do a presentation, who could prepare, how  
2       we can do this.

3                   CHAIR ROESSLER:    Fine.

4                   DR. ANIGSTEIN:        The presentation.  
5       I'm sorry.

6                   CHAIR ROESSLER:    I think it's up to the  
7       Work Group to make the presentation.

8                   DR. ANIGSTEIN:    Yes, I'm sorry.

9                   CHAIR ROESSLER:    Unfortunately I'm a  
10       little bit tied up in the next couple days.  But  
11       I think that we need somebody.  I've got notes from  
12       what transpired today.  But I'm wondering if Tom  
13       would be available to put something together and  
14       work with me on this.

15                   MR. TOMES:        Yes, I should be able to do  
16       that.  I just need a little guidance on how much  
17       you want to include.

18                   CHAIR ROESSLER:    I think we have an  
19       hour at the meeting.  If you do a good job on the  
20       slide presentation it probably won't take that  
21       long.  But we want to make sure there's plenty of  
22       time for discussion.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ: Right, and we need to leave  
2 time for the Petitioners if they want to comment  
3 too. So really we're talking about an update here.  
4 And I don't think you need to rehash much. It's  
5 just to remind them where we left things off I  
6 think.

7                   DR. ANIGSTEIN: Excuse me, Bob. I  
8 misunderstood what we are talking about. I'm  
9 certainly available to help with the presentation.  
10 I thought you were talking about doing the dose  
11 reconstruction.

12                  MR. KATZ: No, we weren't talking about  
13 that.

14                  DR. ANIGSTEIN: I'm definitely  
15 available.

16                  CHAIR ROESSLER: Bob, I think what we  
17 could do here is have Tom put together if he's  
18 willing to do this a brief slide presentation.  
19 Then you and I can go over it and make sure that  
20 we are all on the same page on it.

21                  DR. ANIGSTEIN: Sure.

22                  CHAIR ROESSLER: Ted, can Tom and I do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this offline?

2 MR. KATZ: Yes, of course.  
3 Absolutely.

4 CHAIR ROESSLER: Okay.

5 DR. ANIGSTEIN: Or if I may make  
6 another suggestion. Gen, would you want to work  
7 off of the presentation that was prepared for last  
8 November and just update it?

9 MR. KATZ: Yes, I think so.

10 CHAIR ROESSLER: We'll take a look at  
11 that. I can see several points in it of parts that  
12 we could use from the one that was used at the last  
13 Board meeting.

14 DR. ANIGSTEIN: I helped prepare that  
15 one.

16 CHAIR ROESSLER: Yes.

17 MR. KATZ: So Tom has that  
18 presentation.

19 DR. ANIGSTEIN: Sure.

20 MR. KATZ: I think we sent some emails,  
21 Bob, offline about this before this meeting. I  
22 think if Tom just cannibalizes what is useful from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that and then just goes forward to update on all  
2 this checking work that you've done, Bob, and the  
3 Work Groups' conclusions, that will work out.  
4 Then, Bob, Gen and Tom will share that draft with  
5 you.

6 CHAIR ROESSLER: We can get that done  
7 before -- Well, how soon do we have to have an actual  
8 presentation?

9 MR. KATZ: I think Wednesday midday is  
10 probably as far as we can get and get it posted in  
11 time for the meeting.

12 CHAIR ROESSLER: Okay. Tom, I'm  
13 available the rest of the day. I think we can work  
14 this out and then we'll get something to Bob.

15 MR. TOMES: I think I could get a draft  
16 relatively soon if I work off the former  
17 presentation with just editing it and up updating  
18 it.

19 CHAIR ROESSLER: Right. Okay.

20 MR. KATZ: Check me if you want. I've  
21 taken notes during this whole meeting too. If you  
22 guys are short on these items, I think I should have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it.

2 CHAIR ROESSLER: And I think from the  
3 former presentation we don't need those detail  
4 slides in my opinion on each finding. I think we  
5 can flush that out without all that detail.

6 MR. KATZ: I agree.

7 MR. STIVER: Hey Gen. One other  
8 thing. Bob Barton is also taking notes and he  
9 takes really good detailed notes. He could send  
10 you whatever he has, too.

11 MR. KATZ: Yes. So, Bob, go ahead and  
12 email that to Tom and Gen and copy me.

13 MR. BARTON: Will do.

14 CHAIR ROESSLER: Ted, I also don't know  
15 how we can get Poston's comments on this.  
16 Certainly I think we could get them before the Board  
17 meeting.

18 MR. KATZ: Yes. We don't always have  
19 all our Work Group Members present for the last  
20 meeting before a Board meeting. I think that's  
21 okay.

22 CHAIR ROESSLER: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ: I think he's received all of  
2 Bob's reports. Then we can copy him on the  
3 presentation so he's up to date on what the Work  
4 Group did.

5                   CHAIR ROESSLER: Okay. That sounds  
6 good.

7                   MR. KATZ: I think that will work fine.

8                   CHAIR ROESSLER: Okay. So I think we  
9 have completed everything unless someone from the  
10 Work Group or NIOSH or SC&A has any further  
11 comments. Oh, we didn't hear from the  
12 Petitioners.

13                   MR. KATZ: We don't really have to --  
14 I mean the Petitioners, we have some time if the  
15 Petitioners want to talk to us now.  
16 But we didn't have it on the agenda. But that's  
17 fine, Robert or Karen, is it?

18                   MR. KIFER: Jan, did you want to say  
19 anything?

20                   (No verbal response)

21                   MR. KATZ: You're welcome to if you  
22 have something you want to say.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       **PETITIONER COMMENTS**

2                   MR. KIFER: I only had a couple from  
3 when the doctor was talking about cancer of the  
4 liver and the lungs. He didn't mention bone and  
5 that was included.

6                   DR. ANIGSTEIN: This is Bob Anigstein.  
7 The reason we didn't, NIOSH had simply chosen to  
8 use as an example a kidney and lung as the organs.  
9 There are something like 22 organs that are  
10 considered and NIOSH has a methodology for each of  
11 them.

12                  MR. KATZ: Robert, are you  
13 understanding. NIOSH's example didn't involve  
14 bone cancer. But that's not to say that there  
15 isn't a method for bones.

16                  DR. ANIGSTEIN: Exactly.

17                  MR. KIFER: That's what I was  
18 wondering.

19                  MR. KATZ: Yes, so there will be a  
20 method for bones. It just wasn't the example that  
21 they prepared so that we could see that the  
22 methodology is correct.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KIFER:   And could I ask you what  
2                   year the person worked at Carborundum?   What year  
3                   you interviewed him about?   What year was he there?

4                   MR. KATZ:    So, Bob, you can say the date  
5                   range.   But actually, Robert, he can't tell you the  
6                   year.

7                   DR. ANIGSTEIN:   I understand.

8                   MR. KATZ:    That's a privacy issue.

9                   DR. ANIGSTEIN:   He was there I believe  
10                  in -- give me one second.   He was definitely there  
11                  in the 50s and 60s.

12                  MR. KIFER:    Fifties and 60s.

13                  MR. KATZ:    Yes.

14                  MR.   KIFER:       Okay.       I was just  
15                  wondering.   That's it on my side.   I don't know if  
16                  my sister has to say anything.   Jan, are you still  
17                  on?

18                               (No response)

19                  MR. KATZ:    I guess not.   But thank you,  
20                  Robert, for that.

21                  MR. KIFER:    Okay.   Thank you.

22                  MR. KATZ:       Thank you.   So, Gen, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think we can adjourn.

2 CHAIR ROESSLER: I think so.

3 MR. KATZ: And I want to say thank you  
4 very much. I know on both sides --

5 MS. KNAPP: Hello.

6 MR. KATZ: Is that Jan?

7 MS. KNAPP: I'm sorry. I'm still on.

8 MR. KATZ: You're still there. So,  
9 Jan, your brother just asked if you had any comments  
10 you wanted to make or questions at this point.

11 MS. KNAPP: Right. My only question  
12 is are you doing the dose reconstruction based on  
13 these workers that you interviewed or is it just  
14 something that needs to be done?

15 MR. KATZ: Jan, the dose  
16 reconstructions that they were talking about today  
17 are just example dose reconstructions. They're  
18 not an actual claimant in these cases.

19 MS. KNAPP: Okay.

20 MR. KATZ: They're just examples to  
21 show how it would be done as opposed to the real  
22 dose reconstructions that they do when they receive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a claim.

2 MS. KNAPP: Okay. Thank you.

3 MR. KATZ: You're welcome. So I was  
4 just saying a real special thanks on both sides.  
5 I know this is a lot of work to try to cover  
6 everything for NIOSH in this amount of time.

7 And it was especially difficult for  
8 Bob, SC&A. You had a week to grind through all this  
9 new ground. You had an incredible amount of  
10 material in this time and it's much appreciated.  
11 That's it. Thanks everyone for their hard work.

12 **ADJOURN**

13 CHAIR ROESSLER: Then I think we can  
14 close.

15 (Whereupon, at 11:15 a.m., the  
16 above-entitled matter was concluded.)

17

18

19

20

21

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13