

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
 CENTERS FOR DISEASE CONTROL
 NATIONAL INSTITUTE FOR OCCUPATIONAL
 SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
 WORKER HEALTH

+ + + + +

JOINT MEETING OF THE
 SAVANNAH RIVER SITE (SRS) WORK GROUP
 AND THE SPECIAL EXPOSURE COHORT (SEC)
 ISSUES WORK GROUP

+ + + + +

WEDNESDAY
 AUGUST 16, 2017

+ + + + +

The Work Group convened via teleconference at 10:30 a.m. Eastern Time, Bradley Clawson and Jim Melius, Co-Chairs, presiding.

PRESENT:

BRADLEY P. CLAWSON, Co-Chair
 JAMES M. MELIUS, Co-Chair
 JOSIE BEACH, Member
 JAMES E. LOCKEY, Member
 GENEVIEVE S. ROESSLER, Member
 PHILLIP SCHOFIELD, Member
 PAUL L. ZIEMER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
NANCY ADAMS, NIOSH Contractor
MATT ARNO, ORAU Team
BOB BARTON, SC&A
RON BUCHANAN, SC&A
NANCY CHALMERS, ORAU Team
JOSHUA FESTER
JOE FITZGERALD, SC&A
WARREN JOHNSON
TOM LABONE, ORAU Team
MICHAEL MAHATHY, ORAU Team
JIM NETON, DCAS
MICHAEL RAFKY, HHS
JOHN STIVER, SC&A
TIM TAULBEE, ORAU Team
BOB WARREN

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

Contents

Welcome and Roll Call.....	4
SC&A Review of SRS Subcontractor Bioassay.....	7
Data Completeness.....	8
NIOSH Evaluation of Construction Worker.....	54
Monitoring in High Level Cave Job Plans.....	54
Lunch.....	98
NIOSH SRS Internal Coworker Dosimetry Data Report and SC&A Review.....	104
NIOSH Report on Assessing Exposure to Thorium... 232 from 1972-1989.....	126
Petitioner Comments.....	217
WG SEC Recommendations and/or Path Forward on... Discussion Items; Plans for August.....	232
Board Meeting.....	232
Adjourn.....	233

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (10:30 a.m.)

3 **Welcome and Roll Call**

4 MR. KATZ: Welcome, everyone. This is
5 Advisory Board on Radiation and Worker Health.
6 It is an unusual joint meeting at the SEC Issues
7 Work Group and the Savannah River Site Work
8 Group.

9 And just to explain that a little bit,
10 we're having a joint meeting because we're
11 discussing both coworker modeling, and
12 specifically the coworker models that have been
13 developed for SRS and other material for SRS.

14 SRS had sort of been chosen as one of
15 the sites where they'd be sort of a field trial
16 of approach, the methods that the Board and NIOSH
17 agrees upon for using for developing coworker
18 models going forward. So that's why it's a joint
19 meeting.

20 The materials for the Board for this
21 meeting are posted on the NIOSH website under
22 this program, the Board section, scheduled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meetings and today's date. So if you go there
2 you can see all the background reading materials
3 that are going to be discussed.

4 The agenda's there as well, and you'll
5 see it's a long agenda. It seems unlikely to me
6 that we'll get through it all, but it's good to
7 have it anyway to see what's on our plate. And
8 there's even more material than is showed on the
9 agenda on our plate, at least with SRS. So you
10 have that there to follow along with the
11 discussion of the Work Groups.

12 The Work Groups also have access to
13 Skype if people want to show anything to each
14 other or present slides or what have you. That's
15 not available to the public because it has to be
16 that way for Privacy Act matters, but anyway
17 that's there too.

18 And last thing, just to note, for
19 everybody, except when you're speaking to the
20 group, please keep your phones on mute and if you
21 don't have a mute button, press *6. *6 will mute
22 your phone for this conference line and then *6

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would unmute your phone as well. Please don't
2 put the call on hold at any point because that
3 causes problems for everyone.

4 So that takes care of preliminaries.
5 We'll do roll call. Well, first of all, for the
6 Board Members, I know we have both our Chairs,
7 that's Jim Melius, who's also Chair of the full
8 Board. Dr. Melius is Chair of SEC Issues Work
9 group. And for SRS, we have Brad Clawson, who is
10 Chair of SRS.

11 We also have, for SRS, Dr. Lockey, Jim
12 Lockey, who's present already. Josie Beach,
13 present already. And let's see. For SRS, who
14 are we missing? And Dave Richardson, I don't
15 believe is on the line yet. Or Phil Schofield,
16 are you on line?

17 (No response.)

18 MR. KATZ: Okay, we're still awaiting
19 David and Phil from SRS. From the SEC Issues
20 Work Group, we already have, as well as the Chair,
21 Paul Ziemer and Gen Roessler, who are on the line.

22 So that takes care of any -- and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's no conflicts of interest for the Board
2 Members for SRS, with respect to SRS, and it's
3 not an issue to SEC Issues Work Group. But please
4 speak to SRS conflicts, for the rest of the folks,
5 as we go through roll call. And let's start with
6 NIOSH/ORAU Team.

7 (Roll call.)

8 MR. KATZ: Okay. Very good, thanks.
9 Okay, then. Again, reminder to mute your phones,
10 press *6 to mute your phone if you do not have a
11 mute button. And Jim and Brad, it's your meeting.

12

13 MEMBER SCHOFIELD: Hey Ted, this is
14 Phil.

15 MR. KATZ: Alright, Phil.

16 MEMBER SCHOFIELD: No conflicts.

17 MR. KATZ: And then let me just check
18 one last time, David Richardson, you on the line?

19 (No response.)

20 MR. KATZ: Okay, no. Here we go.

21 **SC&A Review of SRS Subcontractor Bioassay**

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 **Data Completeness**

2 CO-CHAIR MELIUS: This is Jim Melius.
3 Brad, why don't you chair the first two items,
4 which are relevant to the SRS and the SEC, or
5 more relevant, maybe more specific for it, and
6 then I take over for the coworker.

7 CO-CHAIR CLAWSON: That sounds good.
8 I appreciate that. Well, I'd like to welcome
9 everybody here today and I appreciate you getting
10 together with us.

11 The first thing that we've got on the
12 agenda today is the review of SRS subcontractor
13 bioassay completeness. It was done by SC&A. So,
14 Joe, I'm going to turn this one to you and let
15 you go from there.

16 MR. FITZGERALD: Okay. Thank you,
17 Brad. Good morning. I think everybody has the
18 report and the details. I'm just going to walk
19 through the highlights.

20 As you know, the Board tasked SC&A
21 back in September 2016, I think it was, to conduct
22 what essentially is a broad-based review of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bioassay data completeness for subcontractor
2 trade workers, the subcontractor CTWs.

3 And essentially the goal was to look
4 at that in terms of completeness given that that
5 database and other databases are the cornerstone
6 of the dose reconstruction when you're talking
7 about coworker models.

8 And, again, that's the context of the
9 discussion today. This is an open question.
10 Subcontractor data completeness has been around
11 for a few years. You know, Tim and I had
12 interviewed a senior HP at Savannah River a few
13 years ago where it became pretty clear that they
14 had maintained subcontractor records in a
15 separate file. They called them
16 company files and they were eventually merged
17 into the overall current electronic database.
18 But, again, the question was, well, how complete
19 were these separate files and were they merged in
20 a complete manner?

21 So, anyway, without going into some of
22 the history, I know certainly Tim and his folks

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have looked at this question and have looked at
2 several possibilities.

3 Our approach, after we were tasked,
4 was to frankly take an approach that was fairly
5 analogous with what Tim and his team were doing
6 with the Building 773 high level caves.

7 Instead of looking at a construction
8 job plans, per se, we made it a broader review of
9 available RWPs. And as we quickly learned, they
10 come in a variety of flavors in this timeframe at
11 Savannah River, so we looked at a number of those
12 different RWPs for individual CTWs, subcontractor
13 CTWs to be specific.

14 And it's basically a means to
15 ascertain whether one could find a corresponding
16 job-specific bioassay result in the SRS internal
17 dosimetry records. So that was the approach.

18 And our objective, I think we briefed
19 the Board and NIOSH on this going back to some
20 earlier Board meetings, was the survey for RWPs
21 across a wide variety of facilities, operations,
22 and timeframes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We wanted to try to expand the scope
2 of this review and we tried to find as many RWPs
3 as we could for the period of '72 through '95.
4 As it turns out, what we did locate were
5 predominantly RWPs for the early '80s through '95
6 with the vast majority of records for '89 through
7 '95, which, you know, happens to correspond to
8 Westinghouse's early tenure at Savannah River.
9 They took over from DuPont in that timeframe of
10 '89.

11 And I guess it's not too surprising
12 that we were looking at more RWPs, more entries,
13 in that timeframe. I think it's pretty clear
14 that Westinghouse expanded or increased the
15 formality of the SRS safety program, including
16 the radiation protection program and the RWPs so
17 that certainly you had more expanded use of RWPs.

18 And at the same time, that coincided
19 with things like K Reactor restart and D&D. And
20 so there was a much greater outsourcing of work,
21 much greater use of subcontractors onsite. So
22 there was a lot of that going on in the early and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mid-'90s.

2 In any case, our review commenced in
3 January of 2017 after, you know, arranging access
4 through Savannah River. We had two onsite data
5 captures in February and the review was
6 essentially completed in the May-June timeframe.
7 So it was a fairly expedited review after SRS
8 cleared the information for use.

9 So the idea was to do a basic sampling
10 but one that was a pretty simplified process, one
11 that would not take -- was not a research
12 exercise, was something that could be done
13 certainly in several months. And that was also
14 because, again, Savannah River really was facing
15 some burdens on their EEOICPA program and we
16 wanted to facilitate the reviews so that burden
17 would be minimized on them. So, essentially, we
18 had two onsite opportunities to look at records
19 and to match some of those records accordingly.

20 And I guess the other thing I want to
21 mention, and I do so in the report, was a
22 particular challenge in conducting the sampling.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This is something we had not foreseen, was the
2 relative scarcity of RWPs and the lack of uniform
3 RWPs.

4 You know, you go in on something like
5 this and you sort of expect to see more or less
6 your traditional RWPs with, you know, timeframes,
7 nuclides, jobs, hazards, and everything pretty
8 well defined. That wasn't the case here.

9 We found a variety of RWPs in
10 different levels of detail, some of which
11 included explicit bioassay -- shift bioassay
12 requirements, some that were silent on that even
13 though it was the same kind of work and involving
14 the same kind of workers.

15 So, in any case, we located for the
16 timeframe of '72 through '95, we located only 13
17 permits. This included some rather extensive
18 check -- I guess they call them sign-up sheets,
19 involving thousands of names, but nonetheless,
20 given the breadth of operations, it was
21 surprising that we could only locate those, and
22 those few numbers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we don't really have an answer for
2 that. I think we discussed this with Savannah
3 River that there's a possibility that a number of
4 the RWPs were discarded. I think we mentioned a
5 report, there were some reports, at least on the
6 subcontractors' side, of records being destroyed
7 after DuPont left. Or, you know, possibly they
8 were filed in locations that we're just not aware
9 of, that they were either at the operations or
10 elsewhere, but certainly were not available to
11 the EDWS searches that we conducted and the
12 discussions we had at the site.

13 So in any case, that was a pretty
14 significant limitation, but one that we worked
15 through. And I'll get into that. In any case,
16 there were a variety of RWP forms and some of
17 these were extensive, some of these were just
18 sign-up sheets.

19 And the sign-up sheets were a
20 challenge because they, I think, came into vogue
21 at a time when you had a large influx of workers
22 and workers were standing by for radiological

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 work. They do not indicate a specific job, a
2 specific date, where that job was done, or a
3 specific hazard. They were just sign-up sheets.

4 We wrestled with that and decided to
5 include them, but to offer results for ones that
6 were only explicitly having -- explicitly
7 reported bioassays as a requirement, just to
8 distinguish that we had a large number of these
9 entries that were not specific but embodied
10 radiological work. And apparently it did entail
11 some degree of follow-up, but there was just not
12 that specificity or clarity on those.

13 So, again, it was a challenge. It
14 turned out there wasn't a one-to-one relation
15 where you could actually do a clear tracking
16 between all the RWPs and job-specific bioassays
17 that would have been conducted. And that
18 certainly hampered some of the review.

19 Once RWPs were identified, the likely
20 subcontractor CTWs on those RWPs were identified
21 and sampled. For the large standing RWPs, we did
22 that in a random way and tried to match them, all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of them onsite with the SRS bioassay records.

2 And these came in either electronic,
3 on fiche, or in physical files. So there was a
4 variety of sources of where this information
5 would be. And we looked at all of them. We had
6 the help of the Savannah River internal dosimetry
7 staff, excellent staff, worked closely with us to
8 make sure that we were able to match what we could
9 match.

10 We started with about 360
11 subcontractors CTWs. And that's a number we
12 successively culled down as duplicates were found
13 or where it was determined that in fact they
14 weren't subcontractors.

15 The coding system that's used at
16 Savannah River, that enabled us to try to
17 distinguish between subcontractors and prime
18 workers or employees. And I think that was the
19 process that we used to do that.

20 And we also culled out any RWPs where
21 the job dates were not clearly recorded, just to
22 make sure that we had some clear matchups. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that got us down to about 300, 306 thereabout,
2 entries.

3 For those that could not be found at
4 all -- and at first that was a relatively high
5 number. I think we reported to the Board last
6 year that, at that point in time, we were looking
7 at 18 to 19 that we could not locate at all in
8 the dosimetry system.

9 We went back to Savannah River, as we
10 said we would, and went back and forth, and they
11 ran various permutations. You know, one of the
12 challenges on these RWPs is these were
13 handwritten and the numbers and the names are
14 often not as legible as you'd like to think. And
15 abbreviations are used, incorrect numbers were
16 actually written down. And with the help of
17 Savannah River and using the various
18 permutations, they were able to identify, I
19 think, 13 or 14 of them. Ultimately, we only had
20 five in the end that we could not find. They
21 were unaccounted for. This was out of the 300-
22 some.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 As far as the bioassay matching
2 process, we chose a simplified process, and I
3 think it essentially reflects the scope of the
4 review that we were taking. And frankly, the
5 disparity of the RWPs themselves, as well as the
6 limited time that we had onsite.

7 And essentially we focused on just the
8 question, is there a job-specific bioassay result
9 on record that corresponds to a RWP for a
10 subcontractor CTW within the 30-day or 90-day
11 grace period following that specific job, that
12 specific RWP?

13 And, again, we simplified this in the
14 sense that we did not consider the specific
15 nuclides involved in trying to marry up the -- if
16 the RWP happened to mention a nuclide, we then
17 tried to marry that up with a corresponding
18 bioassay.

19 I think we had some concerns about the
20 RWPs in terms of their completeness, whether or
21 not they were including all the nuclides in the
22 first place. So, without going through and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trying to delineate that, we just looked for any
2 bioassays and provided, I think, a fair amount of
3 leeway. And we simplified again that, because
4 the RWPs were not uniformly explicit about an
5 end-of-shift or follow-on bioassay, we provided
6 two sets of information.

7 One that reflects or recognized the
8 fact that even if the check-off wasn't there, a
9 bioassay could have been very well expected in
10 the process. And this was borne out, I think, in
11 the body of the Notice of Violation that came
12 later, that a lot of the forms lacked a check-
13 off even though, certainly, for example, in
14 tritium work areas, you would be expected to
15 provide urinalyses and what have you.

16 So, because of the ambiguity, we
17 wanted to provide both sets of data and provide
18 at least a measure of what that would tell us
19 both ways.

20 In terms of the thoughts on matching,
21 as far as numbers, as far as looking at the total
22 RWPs, this is both those that were somewhat more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ambiguous, those that had explicit bioassay
2 follow-ons. At a 30-day point, we found 105 of
3 the 306 total lacked a bioassay result in the
4 records, which would be 66 percent, if you want
5 to call it a success rate or completion rate.

6 At 90 days, that was 62 out of 306,
7 and that would 80 percent complete, if you want
8 to again use that term. Focusing only on those
9 RWPs that were unambiguous, had a clear bioassay
10 requirement upon completion of work, we found,
11 again, the denominator drops down to 197. So
12 it's about 200, or two-thirds of the total, were
13 ones with the more explicit follow on bioassay.
14 We found a 71 percent success rate, 57 out of
15 197. Where at 90 days, 84 percent.

16 At any rate, I mentioned the Notice of
17 Violation only because it was something that --
18 it wasn't something I was aware of, and something
19 that I had been aware of that Board or NIOSH had
20 discussed previously.

21 And in the course of looking at
22 documentation of Savannah River, certainly there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was a fair amount of documentation on that issue.
2 And certainly, from our standpoint, we thought it
3 had some pretty important implications for this
4 discussion.

5 And in some respects, frankly, it
6 overrides them, or possibly even renders moot
7 some of the limited sampling we were able to
8 accomplish. However, you know, again, I won't go
9 into all of the details of the NOV, the violation.

10 I think all that's covered and quoted
11 and cited in the reports on the -- we call it the
12 NTS, Noncompliance Tracking System, that the DOE
13 has, and it has a fair amount of details on the
14 findings as well as the history as well as the
15 corrective actions.

16 But just to summarize, Westinghouse
17 was cited by DOE's Office of Enforcement in 1998,
18 and I'm quoting, for deficient work processes
19 with respect to full worker adherence to
20 established WSRC -- that's Westinghouse Savannah
21 River Company -- bioassay requirements.

22 DOE found that up to 79 percent of all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 -- and this is not a sample -- of all workers
2 with job-specific bioassay requirements did not
3 participate over a quarter, a three-month period
4 in '97.

5 This was on top of an earlier
6 Westinghouse self-assessment in 1995. That was
7 the first assessment they did, that found that 67
8 percent, two-thirds, of a more limited sample
9 lacked participation by workers. And this was
10 something, as I suggested or indicated in a
11 report, this followed a 1990 Tiger Team finding
12 that focused on delinquent bioassay samples
13 looking at the follow-up program for those
14 delinquent bioassay samples as being deficient.

15 So, anyway, the corrective actions
16 that were completed toward the end of 1998, I
17 think it was December of 1998, addressed the
18 various issues or gap or needs in the procedures
19 and the tracking system. The RWP formed manager
20 and worker training programs and a self-
21 assessment program for job-specific bioassay.

22 So it's pretty much soup-to-nuts in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 terms of upgrading the program that was
2 responsible for administering the job-specific
3 bioassays at Savannah River.

4 In any case, we closed in our report
5 with some discussion regarding an appropriate
6 success rate. I'm not sure it's the best word,
7 but maybe completion rate.

8 And this was a key issue that was
9 raised, as I recall, at one of the earlier Work
10 Group meetings on the subject. I think Jim, Jim
11 Neton raised it initially. And certainly the
12 question was, you know, we can do all this work,
13 but in the end, what's the certain acceptance
14 criteria, what's the success rate that would be
15 a determining consideration?

16 And at the last Work Group meeting, we
17 had, I think, it was in September this past year,
18 Tim also broached the subject. And I included
19 the exchange by Tim with Brad on this topic in
20 the report. Because I thought actually it was a
21 pretty reasoned attempt to find a basis for a
22 metric. You know, we haven't had much discussion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on that, but certainly it was one discussion that
2 got into that.

3 And I think that discussion actually
4 illustrated that it's not just the percentage but
5 also the considerations that go into making a
6 judgment. You know, considerations go into
7 making a judgment on how complete it is, sort of
8 like how adequate is adequate in terms of
9 coworker model development.

10 I guess, I've got to say, I'm not
11 comfortable with 25 percent incompleteness in a
12 key database in this way, but in the end I think
13 what we point out in the report, the apparent
14 incompleteness that we found and practically all
15 of these surveys -- and I, in looking at Tim's
16 report, and Tim will speak more specifically
17 about it, the percentages are still pretty, I
18 think, pretty telling that you don't really have
19 a complete database when it comes to job-specific
20 bioassays.

21 And certainly, in the end, I hope we
22 can all agree that, you know, 79 percent of non-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 participation equates to, from our advantage
2 point, in terms of coworker model development,
3 you know, a pretty high level of incompleteness.

4 So if the question that the Work Group
5 had tasked us with was, you know, whether the
6 subcontractor CTW bioassay database was complete
7 or not, I think, if nothing else, this would be
8 a punctuation point on the conclusion that it's
9 not complete.

10 So, in any case, given the simplifying
11 assumptions we had to make and the uncertainties
12 imposed by the disparate and pretty incomplete
13 RWPs, again, I hope we don't spend a lot of time
14 wrestling over the mechanics or the statistics of
15 the sampling exercise.

16 I think we'll be the first to admit
17 that, given the limitations, it was a rough
18 sampling exercise, but I think we can focus on
19 the obvious bottom line result. Certainly,
20 beyond that, I think it's going to be up to NIOSH
21 and the Board to determine how that data gap
22 should be addressed going forward.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Let me just finish by saying that, and
2 we don't do this very often, but I think we got
3 a considerable amount of work appreciation in
4 terms of work that was done by DOE and the
5 Savannah River folks that host us at the site.
6 And I want to make this clear next week, as well,
7 that we had full access to the internal dosimetry
8 staff at Savannah River and I doubt we could have
9 gotten as far as we did without that very close
10 coordination on their part, and that was a big
11 help.

12 And also I think, particularly since
13 we have the NIOSH staff here on this line, I thank
14 Tim and his team because, again, he provided, his
15 team provided the early data capture records that
16 jump-started the review in the first place.

17 They had gotten a leg up on this issue
18 and were able to give us information that was
19 able to facilitate our review as well. He and
20 his team attended both onsite reviews with us,
21 and ORAU basically scanned all the documentation
22 for uploading to SRDB in realtime at the site.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, you know, again, there was a
2 considerable amount of help and collaboration in
3 terms of getting this thing to happen in
4 realtime, and I just want to say I appreciate all
5 that. It was a very open review and I think that
6 helped a great deal. That's it.

7 CO-CHAIR CLAWSON: Good. Sorry, I was
8 talking on mute there for a little while. Are
9 there any questions from the Work Group?

10 MEMBER LOCKEY: Joe, Jim Lockey. In
11 your summary you said at least from '89 forward,
12 what about before '89?

13 MR. FITZGERALD: Well, we definitely
14 find some RWPs with entries before '89, but we
15 were, I think, surprised that there weren't more.
16 We did find some relatively small ones with
17 relatively small numbers of entries, I think in
18 '86 and a few in '82. But in terms of the 300,
19 that's a small minority, you know, of the total.

20 And I don't have a good explanation
21 for that, and neither does Savannah River, why
22 outside of really the construction of the job

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 plans that, I think, Tim and his team found for
2 773, we just didn't really find very many before
3 about '88. We did find some for '88.

4 So, again, there is no clear
5 explanation for that except they're either in a
6 location that nobody knows about, maybe Tom
7 LaBone might know about it, but those records
8 just weren't accessible through the search
9 mechanism that we were using in conjunction with
10 the dosimetry program at SRS.

11 MEMBER LOCKEY: So, just so I
12 understand, so before '89, you had no data, so
13 you had nothing to rely on before --

14 MR. FITZGERALD: We do have data but
15 the vast majority of it is '89 and beyond, almost
16 coinciding with, as I indicated, the Westinghouse
17 Savannah River tenure.

18 We do have some data points, as does
19 obviously NIOSH, for 773-A, but when queried,
20 Savannah River, when queried about that first
21 specific topic, "Where are the 1980s in terms of
22 RWPs?", they could not answer that, and we could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not locate them after a number of searches.

2 We did search physically at the site
3 through the document control facility. That was
4 done in conjunction with NIOSH, and we just
5 weren't successful in finding RWPs for the --
6 more RWPs for the 80s. We do have some limited
7 number.

8 Certainly, there were a larger number
9 of RWPs once Westinghouse came onboard, because
10 I think they instilled a more formal set of
11 procedures, more requirements for RWPs. But I
12 don't think that alone answers the question of
13 why so few RWPs before '88/'89.

14 MEMBER LOCKEY: Thanks.

15 MEMBER ZIEMER: Joe, this is Paul
16 Ziemer. Can I ask a question even though I'm not
17 I'm on the SRS Work Group?

18 CO-CHAIR CLAWSON: Sure, go ahead,
19 Paul. Yes.

20 MEMBER ZIEMER: Joe, do you recall
21 whether or not the Tiger Team review of 1990
22 listed the work permits or not? Did they have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 any statements on that? You listed the Tiger
2 Team reports, right?

3 MR. FITZGERALD: Yes, I did. And
4 actually, their focus was more on following up on
5 delinquent bioassays, whether or not, you know -
6 - I think the report itself cites some -- let's
7 me just go back and take a look real quick.

8 The report cites the Tiger Teams as
9 saying that basically there was a number of
10 delinquent -- let me just see if I can find this
11 exactly here. Yeah, they were cited -- oh, here
12 it is.

13 They were cited for noncompliance with
14 DOE Order 5480.11 because -- and I'm going to
15 quote you this: "the mechanism for follow-up and
16 collection of delinquent bioassay samples is not
17 working," and also that not all positive bioassay
18 results are investigated and many investigations
19 are incomplete because of the problem with
20 delinquent bioassay samples.

21 So they didn't look per se at RWP
22 follow-up. They looked at whether or not the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 program had addressed bioassay samples and making
2 sure that there were no delinquent samples, that
3 wasn't working as far as they were saying.

4 MEMBER ZIEMER: Okay. Thanks. I
5 didn't recall. It's been many years since I saw
6 that report.

7 MEMBER SCHOFIELD: This is Phil, I've
8 got just one question. On a lot of these samples,
9 how many were taken at the completion of job, you
10 know, within a day or two after completion? Or
11 was there a real time-lag of three months, six
12 months before they had them submit samples?

13 MR. FITZGERALD: Actually, in most
14 cases, we found the bioassay the day of or the
15 day after. Obviously, the grace period that we
16 were providing, we were picking up others that
17 came later, but particularly with the tritium
18 bioassays, they were happening in realtime.

19 So, no, we didn't see too much of a
20 lag. Now, I recognize in looking at the RWP
21 breakout. about three-quarters involved tritium,
22 which if you think about the outsourcing at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Savannah River in the early '90s, it makes some
2 sense because you're bringing in workers into
3 places like K Reactor or in the K Area.

4 So, you know, you're talking about
5 potential tritium exposure, and so there
6 certainly would have been a fairly large scope of
7 tritium sampling being done. But by and large,
8 we found that sampling, when it was done, was
9 done pretty promptly.

10 MEMBER SCHOFIELD: Okay, thanks.

11 CO-CHAIR CLAWSON: Hey, Joe, this is
12 Brad. I just wanted to make a clarification here
13 in stuff like this. Now, NIOSH, because I was
14 looking at your data on this, and basically both
15 you and Tim came in pretty close to one another
16 on percentages when you did your investigation.
17 But NIOSH, basically, and Tim you can chime in on
18 this, you mentioned basically looking at 773,
19 correct?

20 DR. TAULBEE: That's correct. We
21 looked at the job --

22 CO-CHAIR CLAWSON: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: -- on 773.

2 CO-CHAIR CLAWSON: Okay. And SC&A's
3 was kind of a more broader spectrum of, you
4 basically took all these RWPs and looked at them
5 from there. You weren't restricting it down to
6 a certain facility or area, were you?

7 MR. FITZGERALD: There was relatively
8 a few RWPs so in a sense, we just took all we
9 could find. I think originally we were thinking
10 about statistical sampling but that became a
11 little bit beside the point once we found out how
12 few we could actually locate.

13 I also might add that, in terms of
14 comparison, keep in mind that we did make that
15 distinction on those that had very explicit
16 bioassay follow-up. So, certainly the second set
17 of percentages, if you want to call it that, would
18 be more appropriate, where we had about 197, I
19 think it was 197 that we had a clear bioassay
20 tag.

21 Again, what made this thing difficult
22 was the -- even though you had radiological work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 by subcontractor CTWs, the actual RWP forms
2 varied in content. And some had very clear
3 bioassay requirements, some had less clear
4 bioassay requirements, some had none. The sign-
5 up sheets had none, even though it was the same
6 kind of work.

7 I think this was -- if you look at the
8 Notice of Violation of '98, that was one of the
9 key findings, was a need to make the RWP system
10 uniform and have a uniform bioassay check-off,
11 and which I suspect the investigators were seeing
12 as contributing to this ambiguity about whether
13 or not bioassays should have been left.

14 In any case, but that's certainly the
15 reason.

16 CO-CHAIR CLAWSON: Well, this is what
17 I found interesting, because 835 had been
18 implemented in '96, and you know, we're getting
19 into this area of '98 and we're still into this
20 situation.

21 But this Notice of Violation, to me,
22 they did a 100 percent check, isn't that correct?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Well, yeah. The
2 history on this is in the NTS, but this is all
3 kind of interesting. I didn't know this history
4 and I was actually at DOE when this was all going
5 on.

6 But there was a fairly significant
7 Notice of Violation, a Level I Notice of
8 Violation at Mound Laboratory in, I think it was
9 '96 or '97. And that's where they found a similar
10 issue where you had RWP required bioassays that
11 were not being done.

12 And once that, again, civil penalty
13 and violation was levied by DOE, apparently
14 Savannah River took notice and began doing their
15 own self-assessments. And Westinghouse did a
16 self-assessment in, I think it was '95, to
17 frankly review its own program and see where
18 things stood.

19 And that's where the result of, I
20 think, essentially two-thirds non-participation
21 by workers in job-specific bioassays was a
22 finding. That, frankly, precipitated a self-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reporting under Price-Anderson, and I think
2 eventually led Westinghouse to go back and
3 actually do what appears to be a 100 percent
4 verification where they actually looked at, for
5 one quarter, all job-specific bioassays in terms
6 of completeness. And that's where they found 79
7 percent, almost 80 percent non-participation.

8 And that was what actually cited in
9 the Notice of Violation when that was levied in
10 '98. So all this was engendered from self-
11 assessments done by Westinghouse but it was sort
12 of on the onus of how Price-Anderson was being
13 implemented, where if one was aware of an issue,
14 one was responsible for, you know, ascertaining
15 the degree of that issue, self-assessing, and
16 self-reporting.

17 And certainly that was what happened
18 by, well, for the mid-'90s. Certainly, the 835
19 was promulgated January 1st. This all took place
20 in '97/'98, so it was on the heels of that.

21 So I guess it's fair to say that even
22 though 835 was implemented in January 1st of '96,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there was a lag to some extent on the actual
2 implementation of that aspect of the program
3 until the corrective actions were taken by the
4 end of '98.

5 DR. TAULBEE: Brad?

6 CO-CHAIR CLAWSON: Okay, go ahead.

7 DR. TAULBEE: Can I make a comment on
8 this?

9 CO-CHAIR CLAWSON: Sure.

10 DR. TAULBEE: Okay. I popped up what
11 I think is on the presenter screen here the actual
12 Notice of Violation. I do want to point out in
13 the report that my DOE 1998a is not the correct
14 link for this particular Notice of Violation.

15 I can send everybody the link for
16 that, for the particular report. The second, the
17 1998b, has a different Notice of Violation, as
18 well, but the correct report would be EA-98-09R1.

19 When we're preparing a response to the
20 SC&As reports here because -- well, before I get
21 into that, I will say that up to section 3.3 in
22 the results of the SC&A, they did what I consider

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a really good job of analysis with the data that
2 they had. And I don't have any concerns with
3 what they really presented, you know, from that
4 initial part.

5 I do have some issues with the sign-
6 in sheets, but that's okay. Where I have my major
7 concern is with section 3.4 of the report on the
8 chronic problems with bioassay. Because I feel
9 there's a couple of big omissions on their report
10 and I'm -- can everybody see the screen that I've
11 got up?

12 CO-CHAIR CLAWSON: I can't, but that's
13 fine.

14 MEMBER BEACH: Yeah, I got it. Tim,
15 can you make the screen bigger?

16 DR. TAULBEE: Make it bigger?

17 MEMBER BEACH: On your end?

18 DR. TAULBEE: Let's see. How about
19 this?

20 MEMBER BEACH: Yeah, that looks good.
21 That helps.

22 DR. TAULBEE: The green parts that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I've highlighted here is what's from SC&A
2 reports. But the first one that I feel is
3 something that requires some follow-up here is
4 that they talk about, you know -- I'm going to
5 start with the last sentence from the first green
6 block.

7 DOE-SR identified bioassay sample
8 submittal deficiencies for the job-specific
9 portion of the bioassay program to Westinghouse
10 Savannah River Company as early as November 1995.
11 So there had to have been some kind of an
12 assessment to have known that.

13 The next part, which in the SC&A
14 report is just dot-dot-dot. It says internal
15 WSRC audits and assessments during '96 and '97
16 confirm that these deficiencies still existed as
17 late as mid-1997 when WSRC conducted the self-
18 assessment that Joe was talking about.

19 So we know there's at least three
20 assessments that were done: '95, '96, and '97.
21 We've requested those assessments from the
22 Savannah River Site. We made that request July

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 27th after we saw SC&A's report.

2 They go on, and I'm strolling down
3 here, because the last sentence is the green
4 portion here, is the part that SC&A emphasized,
5 it says, "as a consequence, the job-specific
6 bioassay non-participation level rose to 79
7 percent in the second quarter of 1997."

8 However, the next sentence states,
9 however, in late 1997 and 1998, WSRC identified
10 that, for 1997 -- I'm assuming this is the whole
11 year but we won't know until we get the assessment
12 -- 256 workers failed to submit job-specific
13 bioassays as required. Westinghouse Savannah
14 River Company undertook corrective action to
15 resample these individuals and the results of
16 which indicated that none of these workers had
17 had an identifiable uptake of radioactive
18 material.

19 So while they had people that were not
20 submitting bioassay at the end of the RWP job-
21 specific bioassay, it appears that they did
22 follow-up on these particular workers and they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 got bioassay results for them.

2 Now, what ends up happening here, and
3 Brad you mentioned 10 CFR 835 violation. This
4 Notice of Violation was not 10 CFR 835. This was
5 10 CFR 830 under quality assurance programs. So
6 that's where the violation was. This is not a
7 violation of 835.

8 I'm going back, it appears, while
9 doing these resampling of these workers, I don't
10 know for sure, but if they got bioassays for 256,
11 then they got bioassay for everybody there at
12 that site.

13 Another point that I want to mention
14 here that is really critical, is if you look at
15 -- let me pull up the report and make it bigger
16 here -- in the report, and I've highlighted here,
17 that the NTS report points out that when they did
18 their assessment in that first part of 1997, they
19 looked at 3200 bioassays that were reviewed.
20 Ninety-five percent of the workers were covered
21 by a routine bioassay program and had submitted
22 bioassay samples as required. Five percent of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the workers were requested to submit job-specific
2 bioassay samples and only 33 percent complied.

3 So they had a 33 percent success rate,
4 and that's what dropped down to 21 percent by
5 that second quarter of 1997. So there's a large
6 number of workers, construction trades workers as
7 well as, obviously, the operations workers, that
8 were on a routine bioassay program.

9 And so I think these are important
10 points to identify here with regards to this
11 Notice of Violation, that, one, it was not an 835
12 compliant issue. It was an 830 of them not
13 following procedures and having to go and get
14 follow-up bioassay because the workers were not
15 leaving the samples as directed by the RWP.

16 MR. FITZGERALD: I'd like to
17 interject, if I could. I think, if you look at
18 the NTS information, though, it was a discretion
19 by the enforcement staff to base this on 830
20 versus 835. I don't think it was any declaration
21 that this had little to do with 835. I think it
22 was, again, when you're talking about a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regulatory or legal process it's certainly at the
2 discretion of the enforcement staff to decide
3 what the basis for the violation would be.

4 So I just wanted to clarify that. On
5 the other point, we do provide information on the
6 3200, and this is a quote from the NTS. The 3200
7 bioassay requirements reviewed, 90 percent of the
8 workers were covered by the routine bioassay
9 program. That's on page 17 of our report.

10 DR. TAULBEE: That's correct. That's
11 what ---

12 MR. FITZGERALD: I'm just -- we were
13 very clear that, you know, there's some
14 qualifying issues. We want to make that clear
15 where it came from. And, again, we're not making
16 a judgment so much as to, you know, the ins and
17 outs of this, and there's more documentation that
18 could be had on this, but just to report that as
19 far as a survey of completeness, this stands as
20 a pretty important one, and one that was
21 contemporary with the 1990s as opposed to sort of
22 limited backward-looking sampling that we were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 forced to do.

2 So that's why this is included,
3 because it is relevant, because it speaks to a
4 question of participation because it was
5 significant enough that it was the basis of an
6 enforcement action.

7 And I think one has to keep in mind,
8 if we're keeping try to, you know, ascertain
9 completeness, this may very well be one of the
10 few Notices of Violations we'll look at that
11 focuses on that subject, the completeness of
12 bioassays being done for what effectively is a
13 CTW class. So this is very relevant.

14 DR. TAULBEE: I don't disagree that
15 this is relevant to look at, Joe. But I believe
16 that the impression that only 21 percent of the
17 people ended up in the database is incorrect.
18 That from the 1997 evaluation with the follow-
19 up, it appears -- and I don't know until I get
20 the report back from Savannah River -- that 100
21 percent of those people who did not submit the
22 job-specific bioassay were followed up, they got

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bioassay.

2 And so from that standpoint, that
3 would be complete. So did they have issues with
4 collecting samples? Clearly they did. They
5 would not have been fined or a Notice of Violation
6 would not have taken place.

7 But, again, from the ORPS report, if
8 you look at the conclusion there, I popped this
9 up on the screen, it says, to date, there is no
10 evidence that workers have received an intake
11 that has previously gone undetected due to the
12 problems identified above. Doses not assigned by
13 job-specific bioassays. Radiological controls at
14 SRS exist to monitor levels of radiation,
15 contamination, and airborne radioactivity. If
16 unanticipated elevated levels are measured, work
17 is stopped until corrective action is taken. Any
18 concern that a worker intake of radioactive
19 material may have occurred is assessed as part of
20 the special bioassay program.

21 Now, I would like some more
22 clarification on this, and I would like to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 propose that as part of our follow-up, when we
2 get these reports back from Savannah River, that
3 we interview some of the folks at the site to get
4 more details about this particular event, and
5 then we got a better understanding of what was
6 going on in '95, '96, and '97.

7 MR. FITZGERALD: Okay. One comment
8 I'd like to make on that. And I certainly don't
9 disagree with that. I think the more information
10 the better on that. But, you know, they did a
11 validation. They went back, and certainly given
12 the implications of these findings under the
13 regulatory body, they had to go back and
14 ascertain whether there was any real impact.

15 But by the same token, one could not
16 speak to the results, the lack of bioassay
17 results, going back in time. I mean, you can't
18 speak to 1993, 1994, you know, whether or not the
19 lack of participation of those bioassay programs
20 resulted in any exposures that were missed. I
21 mean, this certainly validates for the exact
22 current time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I mean for the survey done in quarter
2 of '97 perhaps that, you know, there were no
3 apparent exposures missed, but how does one --
4 when you have a system that is not working, which
5 is what the basis for the NOV is, how does one
6 ascertain whether that's the case going backwards
7 in time?

8 So that's kind of the question that
9 we're sort of raising is, if you don't have a
10 system that's working, how does one have that
11 information going back other than to surmise that
12 we checked it in '97 in this one instance and
13 we're going back-extrapolate that level of
14 assurity.

15 And beyond, you know, this piece of
16 information, I think I want to bring us back a
17 little bit and, you know, certainly our
18 conclusions are based on the extent of the
19 surveys, not just simply one survey.

20 This one was pretty pronounced because
21 it certainly caught us by surprise, certainly,
22 the lack of participation in the program. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 certainly the Westinghouse surveys as well as the
2 limited survey that we were able to conduct, and
3 we're still talking about a fairly high
4 percentage of results not being there, whether
5 they're because of non-participation or for other
6 reasons.

7 I think, and I would say the Work
8 Group would need to grapple with that question,
9 you know, quite apart from the source of the
10 results being lacking, what does one do with a
11 level of incompleteness such as what we're
12 looking at, whether it's 70 percent, 50 percent,
13 60, 40?

14 I mean, I don't believe, in the course
15 of our discussions for other sites, we have ever
16 gotten into percentages like that. I mean, as I
17 recall, we were debating, you know, 5 percent
18 maybe, you know, was that good enough or not.

19 But here we're debating whether 25, 30
20 percent is that adequate, and can we somehow
21 ameliorate a report of 80 percent by looking
22 whether or not they had validated the actual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bioassays as not being positive.

2 So I think that, you know, that
3 perspective, I think keeping one's focus on the
4 bottom line which is the completeness question is
5 also an important imperative as well as getting
6 additional information regarding this particular
7 NOV.

8 I don't want the NOV to distract from
9 the overall question that was tasked by the Work
10 Group, actually to both NIOSH and as well as SC&A.

11 DR. TAULBEE: I would like to, I
12 guess, follow up on that comment. Again, we're
13 talking about the job-specific bioassay and
14 subcontractor bioassay here. And so, you know,
15 I just want to make sure the Work Group is clear
16 from that standpoint. That, you know, even from
17 SC&A's report, I think it's page 17, where they
18 talk about the routine bioassay that was going
19 on, I would like to again point out that a
20 significant number of people, of construction
21 trades workers, follow under that routine
22 methodology, under that routine monitoring.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, in this particular case, you know,
2 we're looking at 5 percent, which would be 160
3 people from that 1997 evaluation. And you know,
4 as I pointed out, of the remainder of that Notice
5 of Violation, they indicated they went back and
6 sampled 236, so I believe they looked at the whole
7 year.

8 But your point is taken there, Joe.
9 You know, we shouldn't be using, really, the
10 Notice of Violation as a distraction here,
11 although I do think we need to get to the bottom
12 of this, because this does have implications for
13 some of the discussion that went on yesterday
14 during the Los Alamos component where you pointed
15 out Savannah River had this serious problem. And
16 so this does play a role, you know, into this
17 latter time period where we are assuming the 100
18 millirem cycle limit.

19 And the data that we've seen so far is
20 consistent with that but we do need to track this
21 down further.

22 MR. FITZGERALD: Right. I agree. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think we're in the same boat with Los Alamos in
2 terms of -- and what's interesting, again, I
3 think I pointed out in the Los Alamos discussion,
4 is that they actually in 1999 brought in Savannah
5 River health physics staff and MJW, which I would
6 assume came from Mound, to frankly do an external
7 review of their bioassay program, I think, for
8 similar issues. And it's certainly a question of
9 self-assessment to assure themselves that the
10 program was adequate.

11 And some of the findings were, I would
12 say, pretty reminiscent of what was found at
13 Mound and Savannah River. So there seems to be
14 a lot of connectedness at this time in terms of
15 trying to grapple with the question of enrollment
16 and participation in bioassay programs. It seems
17 to be a broader issue than just one site.

18 DR. TAULBEE: That is correct. So as
19 I indicated at the beginning of my comments here,
20 we are preparing a response to SC&A's report. I
21 would love to get that out to you next month, but
22 since the site hasn't responded yet, I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 expecting that we would get it to the Work Group
2 sometime in, hopefully, mid-October.

3 But like I said, we do have some
4 concerns with the conclusions from this report.
5 Kind of going back to what Joe was talking about
6 in the results of what they found, you know, from
7 the 90-day standpoint, you're looking at 80 and
8 84 percent success rate. And I find that that
9 falls within the guidance that we started
10 discussing. Because 75 percent is not a hard and
11 fast, by no means. And I'll get to some of that
12 in my talk on the next topic in just a minute.

13 But I think that, from this
14 standpoint, in the use of the coworker model, 80
15 to 84 percent is reasonable and we could apply
16 the 95th percentile dose from that particular
17 coworker model for any unmonitored workers.

18 Back to you, Brad, unless there's more
19 questions for Joe.

20 MR. FITZGERALD: So, frankly, we're
21 expecting more documentation and an expanded
22 review on the Notices of Violation aspect of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these.

2 DR. TAULBEE: That is correct.

3 MR. FITZGERALD: Alright.

4 CO-CHAIR CLAWSON: By what time? You
5 said mid-October?

6 DR. TAULBEE: Well, we made the
7 request to the site on July 27th, is when we sent
8 the request to the site for all of these
9 assessments. We know there is one in '95, '96,
10 and '97. So we requested that particular
11 information and have not received it yet. We do
12 know the site is working on it. We do know it's
13 their number one priority of deliverables back to
14 us. So that is where we're apparently sitting
15 with that.

16 CO-CHAIR CLAWSON: And when you get a
17 copy of those, I'm sure that SC&A is going to be
18 able to get them at the same time, correct?

19 DR. TAULBEE: Oh, absolutely. We'll
20 be posting everything to the SRDB.

21 CO-CHAIR CLAWSON: Okay. Is there any
22 more questions for Joe, Work Group Members?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SCHOFIELD: I don't have any, Brad.

2 CO-CHAIR CLAWSON: Okay. Well, that
3 being said, Tim, the next one is up to you then,
4 evaluation of construction worker monitoring in
5 high level caves jobs. Hello?

6 DR. TAULBEE: Sorry, I was on mute.

7 CO-CHAIR CLAWSON: I understand. I've
8 done that numerous times.

9 **NIOSH Evaluation of Construction Worker**
10 **Monitoring in High Level Cave Job Plans**

11 DR. TAULBEE: Just a second here. I'm
12 pulling up the report. There's a few places that
13 I want to kind of highlight a little bit of what
14 we've done, and what we did. So I'm pulling
15 directly from the report and I popped it up here
16 on the screen.

17 To give an overview, we specifically
18 went to look at subcontractor bioassay -- or
19 subcontractor monitoring, actually, not just
20 bioassay. We looked at the external, too, based
21 upon the job plan.

22 And to give a little bit of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 background, again, to everybody on the call, as
2 Joe pointed out, we'd heard some concerns from a
3 former HP that there were company files versus
4 individual bioassay records, but the individual
5 who we had interviewed indicated that he felt all
6 of those records had been moved into individual
7 files and the databases.

8 So he didn't feel that it was a
9 continued issue, but we decided we needed to
10 verify this. So that was why we were looking for
11 ways to try and do that and tried multiple
12 different assessments looking at some of the
13 records provided by CPWR, the links that they had
14 been using and had been sending to the Department
15 of Labor, and none of that really gave us a
16 population that we can go follow up on.

17 And at the last, I believe, it was
18 June of 2016, it was over a year ago, during the
19 data capture out at the site, we ran across what
20 we believed to be a pretty comprehensive set of
21 job plans for one area, the high level caves on
22 that 773-A, that identified workers and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 identified the work that was going on, and
2 whether respiratory protection was required.

3 And so we took that grouping and
4 decided to try and evaluate it. Okay, how were
5 these workers monitored, both externally and
6 internally?

7 For the external results, as you can
8 see here on the screen that I've popped up on the
9 Skype here, we got really good agreement overall.
10 The total number that were monitored between
11 DuPont construction trades workers and
12 subcontractors, DuPont was 99.5 percent and the
13 subcontractor construction trades 96.8 percent.
14 So those were both very good.

15 So what I really want to focus on here
16 is the internal monitoring. And so a key point
17 here is that DuPont construction trades workers,
18 these would be your electronics and
19 instrumentation technicians, your mechanics, are
20 the two main job categories within that group.
21 They were part of a routine monitoring program in
22 accordance with the bioassay control procedures.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 For other worker that were
2 intermittently present in the controlled area,
3 these would be some subcontractor CTW, bioassay
4 monitoring was based upon the job plan. And so
5 that was how these individuals were monitored.

6 And so what we did was we went through
7 and, let's see, we looked at all of the job
8 pairings that we had within the group. And what
9 we found was there were 550 subcontractor CTW job
10 pairings. This is not all CTWs, this is just the
11 subcontractor CTW job pairing. But we could not
12 find bioassay results in any of the logbooks that
13 we had.

14 It came up to a total of 255 unique
15 subcontractors that we could evaluate and can
16 look at what their bioassay monitoring was. And
17 so we performed an analysis of these job
18 pairings. And we originally selected ten workers
19 from the 255 to try and get a feel for collecting
20 their personal monitoring data during the data
21 capture and what level of effort it was.

22 But in total we selected an additional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 100 workers at random from this particular group.
2 Both of these are random samples, we just did
3 them in two different permutations. The main
4 reason was that the ten workers we were supposed
5 to get the data before we got onsite to do the
6 data capture so we could do some better planning.
7 But in reality, all the results came through at
8 the same time, so we had 110 workers.

9 Some of the workers were paired in
10 jobs with multiple use so it resulted in 133
11 distinct subcontractor CTW job pairings with no
12 bioassay records that we had in-house.

13 So, from this, we looked at the 133
14 job pairings with no bioassay records and then we
15 looked at the ones where respirators were
16 required. And so we had 88 of these job pairings
17 where respirators were required.

18 And so in November of 2016, we went
19 down to Savannah River and we searched the
20 bioassay data for these 110 workers in the given
21 years and collected the records.

22 And of the 110, we found bioassay

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 records on 105 of them. The graph that I've got
2 populated right now is showing the breakdown of
3 the job trades of these workers. And you can see
4 the majority of them were pipefitters,
5 electricians, and carpenters.

6 You do have a pretty good mix. You've
7 got iron workers, painters, boilermakers,
8 laborers, and millwrights, and even some
9 concrete. And as I said, I'm scrolling down here,
10 I'm on page 13 now of Report-83, and the very
11 top.

12 During the data capture, we found
13 bioassay data for 105 of the 110 workers we were
14 looking for.

15 Moving on to our results here on page
16 14, we found bioassay records for some of the
17 workers who were not required by the job plan to
18 use respiratory protection. So we really just
19 focused on, again, these 88 CTW job pairings
20 where workers were required to use respiratory
21 protection.

22 And I'll get to the results here on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Table 4-2, but before I get to that, I really
2 want to show a couple of indications of where
3 somebody might wear, at least one, of where
4 somebody might wear a respirator but not be
5 required to leave a bioassay sample.

6 So our indication of respiratory
7 protection being a requirement for bioassay isn't
8 always a one-to-one type of correlation. And
9 forgive me just a second here to get to that
10 particular graph I want to show. Here we go.

11 And for those who have access, can you
12 see this particular radiation survey log sheet?
13 I'll read it out, but who's on the line can see
14 the presentation, can you --

15 MR. MAHATHY: I can.

16 DR. TAULBEE: Okay. This is a
17 radiation survey log sheet from January of 1986.
18 And it states, surveys for construction
19 pipefitters to complete jobs started yesterday on
20 the off-gas exhaust line was bagged up and cut
21 into -- I believe that's two sections, it might
22 be more than that, but it's hard to read.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 No problems were encountered during
2 the job. Construction and operational health
3 physics wore two pairs of coveralls out of cloth,
4 and plastic shoe covers, cloth hood, rubber
5 gloves, and full face respirator for the job. No
6 transferrable contamination was detected during
7 the job. Impactor air samples taken during the
8 job calculated to less than .2 times ten to the
9 minus 12 microcuries per cc. So that's less than
10 a tenth of the DAC, is what the job stated. And
11 the last line there is, job was completed at this
12 stage.

13 So here's a case where construction
14 pipefitters were wearing respirators, they didn't
15 run into any contamination issues, health physics
16 was there, they took air samples, and there was
17 no indication of an exposure.

18 So, in this particular case, these
19 pipefitters may or may not had been monitored for
20 bioassay. So this is part of that discussion
21 where Joe was talking about, you know, needing a
22 high percentage of follow-up bioassay in order to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have a valid coworker.

2 In this particular case, I don't
3 believe that we would need to have a super high
4 efficiency, something like 70 to 75 percent, or
5 maybe even 60 percent would be reasonable from
6 this standpoint. And that's for the Work Group
7 here to discuss.

8 So I wanted to point this particular
9 issue out to you all. The other thing that I
10 want to point out before we get to our results is
11 you're going to have some instances where
12 construction trades workers won't leave a
13 bioassay sample.

14 And to give an example of that, here
15 we go. Here's another example from the radiation
16 survey log sheets at the Savannah River Site.
17 And I won't read out the name here, but this is
18 from the 321-M Area in April of 1986. And it
19 says per, I believe this would be the supervisor,
20 two employees of a particular company, which is
21 a subcontracting company, of Wilmington,
22 Delaware, refused to leave bioassay samples as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 requested.

2 They identified who these two
3 individuals were and one stated as his reason
4 that he was exercising his rights to not leave a
5 bioassay sample. And the other one stated time
6 is money.

7 So you're never going to get 100
8 percent compliance with these bioassay
9 monitoring, especially amongst subcontractors.
10 So, you know, these are just two quick examples
11 that I wanted to point out to the Work Group when
12 considering these results and the response of how
13 many people we were able to find bioassay.

14 By and large, I would say the two
15 examples that I just gave you -- well, the second
16 example, is probably rare. I will acknowledge
17 that most people probably conformed with -- if
18 requested to leave a bioassay sample, they did
19 so.

20 In the first example that I showed you
21 where there wasn't a need to leave a bioassay
22 sample, that's what I'm probably -- that's what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm believing to be the main reason why we have
2 a lower participation rate based upon our
3 methodology of saying, if you wore a respirator
4 you had to leave a bioassay sample. Because if
5 there wasn't an indication on the job, and there
6 was no contamination, then did they really need
7 to leave a bioassay sample? And so that, again,
8 is for the Work Group to consider.

9 So when we did our evaluation, we did
10 this over the entire time period, there were 88
11 subcontractor CTW job pairings where workers were
12 required to wear a respirator. And we found 59
13 of those, 59 of the 88 subcontractors, did leave
14 a bioassay sample from wearing a respirator.

15 And it's also important to point out
16 that a significant fraction of those people were
17 actually on a routine bioassay. Again,
18 construction trades workers, even if they were
19 subcontractors, some of them were on routine
20 bioassay. They weren't all job-specific. They
21 were a mixture of people who were there kind of,
22 you know, jumping from one job in 773 and going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to K Reactor or going to the canyons and so forth.

2 Some of the people that kind of
3 routinely worked there, even though they are a
4 subcontractor, were on a routine type of
5 bioassay. So the CTWs, the bioassay for
6 subcontractors, is a mixed bag of both job-
7 specific requirements from the job plan, if an
8 event happened where there is an indicator and
9 health physics required it, and routine. You've
10 got all three associated with the subcontractors.

11 And so those are the main things here
12 that I wanted to point out with our report, that
13 we got 67 percent, which this is the '81 to '86
14 time period, based upon our evaluation of one
15 area where we had an comprehensive listing of job
16 plans.

17 So, in our conclusions, 97 percent of
18 the subcontractors evaluated were monitored for
19 external dose. In relation to monitoring for
20 internal radionuclides, bioassay data showed 67
21 percent of the randomly selected CTWs wearing
22 respiratory protection were monitored for intake

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 radionuclides from 1980 to 1986. Almost 38
2 percent of these were on a routine monitoring for
3 one or more radionuclides according to DuPont
4 procedures.

5 So that's kind of a conclusion of our
6 report in that we have a population where we just
7 looked at the subcontractor CTWs. Again, we did
8 not look at the DuPont CTWs which we are combining
9 in the coworker model, because they were
10 monitored from that standpoint. And we'll get
11 into the coworker discussion hopefully later.

12 I've got examples showing the
13 difference between DuPont's construction trades
14 workers and the subcontractor contraction trades
15 worker type of jobs. And you can see that they
16 were doing very similar work. The difference was
17 really scale of work more than anything.

18 And so our conclusion is that when you
19 develop a coworker model and combining the DuPont
20 construction trades with the subcontractor
21 contractor trades, we are seeing 57 percent based
22 upon a random model which appears to coincide and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 agree with what Jim was seeing, as well as what
2 some of these assessments, with the exception of
3 that 1997 Notice of Violation they we're going to
4 go look at.

5 It appears that three-quarters --
6 excuse me, not three-quarters -- two-thirds of
7 the subcontractors CTWs were in fact monitored.
8 So, with that, I'll be happy to answer any
9 questions.

10 CO-CHAIR CLAWSON: Tim, this is Brad.
11 You made a comment back there that you gave your
12 example of where you didn't feel like the
13 construction people were going to have to leave
14 a bioassay. And my question to you, because
15 something you said kind of struck me a little
16 bit, that you believe this is why we see this
17 difference. Do we have anything positively
18 telling us this is what the difference is? Do we
19 have any documentation of it?

20 DR. TAULBEE: No, we don't. We did
21 an evaluation of workers on the job plans and
22 looking at, you know, the same job plan had four

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 workers on there, and so, you know, we looked at
2 each individual person.

3 And we did find, and this is in our
4 report, that -- I'm looking for where that
5 particular -- but we did find that, of the people
6 who did not have bioassay, one of their coworkers
7 did.

8 So, Mike, can you help me out, what
9 page was that on?

10 MR. MAHATHY: I don't have --

11 CO-CHAIR CLAWSON: Because I'm just -
12 --

13 DR. TAULBEE: Yeah, we don't have
14 that, Brad.

15 CO-CHAIR CLAWSON: I'm just, you know,
16 I know that you've made it very clear to me in
17 some points and I am surmising something that,
18 you know, we need to have something to kind of
19 document that, what you're feeling on that
20 somehow.

21 I understand what you're saying, and
22 that's a fine example, but you can also take the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other example where people that weren't wearing
2 respiratory that should have been and so forth
3 like that.

4 I just, if we could come up with
5 something, it would be very good to be able to
6 put that in there, but I appreciate what you've
7 done on that. Is there any questions for Tim
8 from any of the Board Members?

9 DR. TAULBEE: Before we get to
10 questions, Brad, I want to note in Section 6 we
11 speak exactly to what you just talked about,
12 people who were not wearing respiratory
13 protection and should have been. And if you look
14 at Section 6 we've got a whole listing of
15 incidents that we found.

16 CO-CHAIR CLAWSON: Tim, this is Brad.
17 I'm sorry, you were cutting out on that. What
18 section was that?

19 DR. TAULBEE: Section 6 of our report.

20 CO-CHAIR CLAWSON: Okay.

21 DR. TAULBEE: And to give an example
22 that I can read here is that in February of 1980,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a subcontractor CTW working on a multi-week
2 project to dismantle equipment in B-147 was found
3 to be working with high airborne alpha
4 radioactivity without a respirator by health
5 physics, which was monitoring for work
6 intermittently. Results of fecal, urine, and in
7 vivo bioassay indicated the worker received an
8 intake of less than 10 percent of the maximum
9 permissible body burden.

10 So here's an individual that was
11 working in an area, was not supposed to be working
12 in the area without a respirator, and was found
13 and they did follow-up bioassay. His data would
14 be included in the coworker data set and it would
15 not be excluded, and this is what comprises that
16 upper 95th percentile that we would be assigning
17 to all coworker models.

18 And again, we've got one, two, three,
19 four, five, six examples -- I'm sorry, five
20 examples here of construction trades workers that
21 got contaminated and talking about the follow-up
22 of them with regards to bioassay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, clearly, accidents and incidents
2 happened. I'm not saying it's foolproof, by no
3 means, but these results would end up in the
4 coworker model and typically comprised these
5 incidents are the highest results, that's what we
6 would end up assigning into the coworker model
7 for an unmonitored worker. Does that help?

8 CO-CHAIR CLAWSON: I appreciate that.
9 Are you done or are there questions ---

10 CO-CHAIR MELIUS: This is Jim Melius.
11 I have a question for both Tim and Joe. I'm just
12 trying to make sure I understand the two reports.

13 Tim, you covered an earlier time
14 period that has very little overlap with Joe's.
15 I mean, you're up to '86.

16 DR. TAULBEE: That's correct.

17 CO-CHAIR MELIUS: And I think Joe said
18 that most of his data was from '87 and on, from
19 Westinghouse.

20 MR. FITZGERALD: That's pretty much
21 correct. We did get some RWPs that were in the
22 early '80s -- one for '82, for example -- but as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 far as the entries, number of actual
2 subcontractor CTWs, predominantly '88 and beyond.

3 CO-CHAIR MELIUS: Yeah. And just to
4 make sure I understand, I guess this is a question
5 is for Tim, why was your data only up to '86?

6 DR. TAULBEE: That was the set of job
7 plans that we have that we felt were
8 comprehensive for that area such that we can grab
9 a random sample.

10 CO-CHAIR MELIUS: So there weren't job
11 plans after '86 or --

12 DR. TAULBEE: No, there were. Well,
13 in going through what Joe did in trying to find
14 them, they weren't readily available.

15 CO-CHAIR MELIUS: Okay.

16 DR. TAULBEE: These were readily
17 available in November -- of not November, but
18 June of 2016 when we captured them.

19 CO-CHAIR MELIUS: Right. Okay.

20 DR. TAULBEE: So basically we found a
21 whole set of job plans intermixed with radiation
22 survey log sheets, is how these were identified.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, you know, from that standpoint, they weren't
2 really labeled in the EDWS system easily. And
3 that is one of the things, you know, Joe's task
4 was very hard because the job plans, RWPs are
5 filed with radiation survey log sheets, you could
6 be looking through hundreds of boxes for, you
7 know, one folder of RWPs type of scenario.

8 So it's not something that can easily
9 be retrieved based upon the EDWS system. It would
10 take significant effort to go through and pull
11 the RSL box for all of those areas and those time
12 periods and look. And that's very tedious.

13 CO-CHAIR MELIUS: And then again,
14 there are '87, '86/'87 is sort of when
15 Westinghouse came in?

16 DR. TAULBEE: Westinghouse came in in
17 1989.

18 CO-CHAIR MELIUS: '89, okay. And so
19 then -- and I think to understand what Joe said,
20 was there were more use of subcontractors then,
21 mainly due to, you know, differences of
22 procedures as much as difference in the type of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 work that was being done, is that --

2 MR. FITZGERALD: Yeah, I think it's
3 fair to say that the DuPont system versus the
4 Westinghouse system was pretty different. I
5 mean, I think DuPont did make use of in-house
6 contractors, and chosen subcontractors were much
7 more unified and much more controlled from a
8 contractual standpoint, whereas Westinghouse --
9 and this is not just Westinghouse, this is across
10 DOE -- went to a system where more use of outside
11 subs came into being, more outsourcing of work.

12 This also coincided with the K Reactor
13 restart. There was a lot of work being done then
14 on restart, '90, '91, '92, that kind of thing.
15 And so there was a number of things that were
16 happening that led to both the influx of outside
17 contractors, but also because Westinghouse
18 brought in its own approach which was more formal
19 and disciplined in terms of procedures and RWPs.
20 You know, it was an expansion certainly of all
21 that. So there were a couple of things happening
22 at the same time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR MELIUS: Okay.

2 MEMBER BEACH: Brad, this is Josie,
3 can I ask a question of Tim?

4 CO-CHAIR CLAWSON: Yeah. After
5 talking I muted. Brad.

6 MEMBER BEACH: There's been some
7 discussion on the criteria for the sample rates,
8 Tim. Seventy five percent was what I think I've
9 heard in some of your presentation. But in this
10 one you kind of said maybe 60 percent, I think
11 you said 75, 70, 60 would be okay. And I just
12 wanted to know if you could expand on that and
13 what your thought is there.

14 DR. TAULBEE: My thought on it is that
15 we saw 67 percent of the subcontractors, from our
16 analysis. When you combine in all of the, what
17 I would call DuPont construction, those E&I
18 technicians, which are really electricians,
19 mechanics who did a lot of pipefitting work as
20 well as sheet metal work. Then that number is
21 going to increase quite a bit, such that I feel
22 the combination of the subcontractor population

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with what I'm calling DuPont construction trades
2 workers would provide a sufficiently robust
3 worker model such that when we take the 95th
4 percentile of that on a given year, or in
5 combination of a couple of years, that the end
6 result would be bounding for any unmonitored
7 construction trades worker.

8 Keeping in mind that whenever an
9 incident happened, these construction trades
10 workers were included in the coworker model,
11 that's these follow-up bioassays, and some of
12 them were very high.

13 One individual, as we pointed out in
14 our report, ended up in the Transuranic Registry
15 for such a high intake. So these are significant
16 events that were monitored. And the thing that
17 you notice through virtually all of the job plans
18 that I hope I can get to this afternoon -- or
19 shortly, it is afternoon now -- is that health
20 physics had a presence at these job plans, and
21 that there was either intermittent coverage or
22 continuous coverage depending upon the risk of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potential for exposure.

2 And so the workers were checked in the
3 workplace. Subcontractors were checked. And if
4 there was contamination found, then they did
5 follow-ups from that standpoint.

6 MR. FITZGERALD: Tim, this is Joe.
7 Just to follow on Josie's question. Again, the
8 773-A review, this has come up in, I think, four
9 discussions in the past, focuses on the high
10 level caves, a very specific operation I think
11 involving transuranics and what have you. Is
12 that subcontractor milieu transferrable, I mean,
13 in terms of site-wide practice, site-wide
14 experience? I guess I would wonder if one could
15 draw a conclusion just based on that one
16 facility.

17 DR. TAULBEE: I believe it could be
18 but that's just my belief. You know, I don't
19 have data to support that, from that standpoint.
20 I don't have sampling of all of the, you know,
21 job plans in other areas in order to do that.

22 MR. FITZGERALD: Yeah, the reason that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I raise that, because, you know, other sites,
2 you're dealing with vaults, high level caves, the
3 radium cave at Mound comes to mind.

4 I mean, these were fairly high
5 exposure potential facilities and locations, so
6 I was wondering if there might be some kind of a
7 possible bias as far as the monitoring regime or
8 the degree of stringency applied. I don't know.
9 I'm just speculating, you know, whether one could
10 actually extrapolate from that one facility for
11 that one time period.

12 DR. TAULBEE: Well, one other things
13 that you'll see, I think, with the examples that
14 I've got, and in fact I can actually pull some of
15 those up now if you would like, is that it wasn't
16 just inside the caves.

17 And that's an important point here to
18 make, that it wasn't just inside of those high
19 level caves. It was other areas in the general
20 vicinity. And to give the example here, I just
21 pulled it up here on the screen, this is, example
22 one, is the fan motor where it was millwrights

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and electricians, these would be the maintenance
2 mechanics and the electronic instrumentation
3 mechanics. And this is an example of the job
4 plan. And it says installed motor and fan
5 housing on air sampling fan.

6 So this would be -- this is a DuPont
7 construction trades worker job plan, and it talks
8 about coveralls, two pairs, and a respirator for
9 protective clothing. HP monitoring at the start
10 of the job and intermittent monitoring during the
11 job.

12 And then if you look at another job
13 plan, very similar, and this would be the next
14 day where they continued on with this particular
15 job. Let's see. And then we got for construction
16 here that is check out fan motors for motor
17 control station of startup in the basement of the
18 area.

19 So it's not just the high level cave
20 areas. You've got the basement of 773 and some
21 of the labs and corridors. And so, again, this
22 would be a construction trades job. And again,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they're wearing an assault mask and two pairs of
2 coveralls. And these particular individuals that
3 I'm showing up here on the screen, these are all
4 electricians.

5 So it's the exact -- it's very similar
6 work that is going on. One, they worked on one
7 motor and here they're checking out multiple
8 motor control centers, fan motors.

9 So, now, I don't actually know the
10 percentage of work that would have been inside of
11 those high level caves versus the outer
12 surrounding areas. The examples that I've got
13 generally are in the surrounding areas, not
14 inside the actual cave themselves, although there
15 is some penetration work that I talk about in
16 these examples with both.

17 MR. FITZGERALD: Yeah, I guess my
18 question, because of the fact that it's just one
19 facility, even though, as you say, there's some
20 diverse operations at that one facility; if you
21 were to go to the waste management operations,
22 tank farm or whatever, and look at the CTW

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bioassay results there, you know, would one
2 expect to see at least 67 or whatever percent one
3 can come up with there? Or, you know, given the
4 questions that we've raised earlier about
5 participation and some of the issues you've
6 mentioned about maybe reluctance to leave
7 samples, whatever, what's the confidence level
8 that, you know, going someplace, say, not a high
9 level cave operation, but going to the tank farm,
10 you would see maybe only 50 percent or even maybe
11 perhaps less in terms of actual bioassay result?

12 I think that's the only question
13 regarding the scope, the scoping issue, of how
14 could one extrapolate the experience.

15 DR. TAULBEE: I understand, and I
16 don't have the answer to that. But I could also
17 speculate, just like you did, a 50 percent or
18 less of 85 percent or more.

19 MR. FITZGERALD: Yeah, I mean, it's an
20 unknown. I guess that's the question when you
21 don't have a lot of data points, it's sort of an
22 unknown.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And the other thing I'd like to
2 mention is, you know, I think it's clear DuPont
3 had a pretty centralized management system, as
4 you point out. You can, you know, treat the in-
5 house CTWs and add the subcontractor CTWs because
6 the management, DuPont management was pretty well
7 known as a fairly strong centralized management
8 system.

9 That obviously changes in '89. And I
10 was wondering if -- I think it sounds like your
11 approach of conclusions sort of focuses on the
12 DuPont era, given the information you have.
13 Would that be something you would take forward
14 past '89?

15 DR. TAULBEE: No. I guess I really
16 wouldn't, because I really want to see what's
17 coming out of this request that we've got here
18 from the site, you know, from these internal
19 assessments that were done. You know, looking at
20 the 3200 bioassay samples from 1995, did they
21 look at, you know, this larger fraction of the
22 whole site and did they see differences in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 amongst areas, was there one area more compliant
2 than others? I don't know the answers to any of
3 that at this time.

4 MR. FITZGERALD: Yeah. But clearly I
5 think what you're saying is that you can speak to
6 '81 through '86, I guess.

7 DR. TAULBEE: That's correct. That is
8 correct, which was the sampling period that we
9 had readily available that we could assess.
10 Yeah.

11 I will say, from the interviews that
12 we conducted in worker outreach at the beginning
13 of the SEC, one of the clear messages from the
14 construction trades workers that we interviewed
15 at the time indicated that, under DuPont, they
16 said that they actually felt pretty well covered.

17 Their biggest complaint was their
18 monitoring was based upon OJT, and that the
19 problems with it were they weren't really taught
20 and they didn't do the rad training that they had
21 to do under Westinghouse. And so they were more
22 uncomfortable with that particular aspect of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radiological control.

2 But they said that the rad techs at
3 that time who had been around DuPont for years
4 and years, and when they'd go into an area, they
5 would point to this area, stay away from there,
6 don't drill into this wall. You know, as long as
7 you stay over here, you're not going to get
8 contaminated, you know, things aren't going to
9 happen.

10 And then they indicated that when
11 Westinghouse came in, these old DuPont rad techs
12 that were covering them well went away, they got
13 younger folks in that didn't know the areas as
14 well, and they found that while they had more
15 training of activities at the time, that they
16 were potentially getting into areas and problems,
17 more contamination, more than what they should
18 have been due to that change.

19 So that's been documented in our
20 interviews that we conducted with workers.

21 CO-CHAIR MELIUS: This is Jim Melius,
22 I have sort of a follow-up question, trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again to understand some of these time periods
2 involved.

3 But, Tim, for your questions, I guess,
4 about the compliance and evaluations that were
5 done, this sort of covers a time period of '95 to
6 '98, sort of the end of this time period that the
7 SC&A report covered.

8 But my question is, does it say
9 anything about the previous, '89 to '95, or
10 whatever the cut off is for here? Because to me
11 this would be, again, you know, anecdotally, a
12 time when new contractors coming in and new
13 procedures and new implementation and so forth,
14 and to me would be a more critical period in terms
15 of at least potential for problems.

16 Now, a lot of that can also obviously
17 depend on other factors like what kind of work
18 was being done and so forth. But I guess, in
19 terms of your follow-up review of the SC&A
20 report, you appear to be focusing only on the
21 later, at least what you've told us, on the later
22 time period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: That was what we focused
2 on immediately when we read that, but if you
3 desire, after seeing our report up to '86, that
4 we follow up on that other time period, what I
5 suspect is that in the rollout of 5480.11 in '89,
6 and then the Rad Con Manual, is that there was
7 probably some internal assessments that were
8 conducted that we don't know about. But we have
9 not asked that question yet of that site. Maybe
10 Joe has, I haven't.

11 MR. FITZGERALD: I was going to add
12 that, actually, as I said earlier, the timeframe
13 for what RWPs with multiple entries that we could
14 find falls in that time period, '89 to '95. And
15 again, we came up with roughly the same
16 percentage that Tim came up with for -- and this
17 was not preplanned -- about two-thirds. And that
18 can be improved somewhat if one looks at the RWPs
19 per say and tries to clarify the follow-on
20 bioassay.

21 But roughly two-thirds seem to be the
22 completion, completeness rate for that time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 period for the RWPs that we did look at as well.
2 So, 60 percent, 65 percent, you know, 70 percent.
3 Given the error margin, I think that's what we're
4 talking about, 60 to 70, 75, somewhere around
5 there.

6 DR. TAULBEE: Of subcontractors.

7 MR. FITZGERALD: Of subcontractors
8 CTWs, exactly.

9 MR. BARTON: Tim, this is Bob Barton.
10 I have, well, two questions really. The first,
11 you had mentioned that example of the worker who
12 did not wear respiratory protection but was in a
13 high alpha air concentration, and indicated that
14 while, you know, it was discovered, that sort of,
15 I guess, we'll qualify it as an incident and that
16 person was followed up on, and that person's
17 record would likely be on the high end.

18 You mentioned one was even on the
19 Transuranic Registry, and that would be included
20 in the upper tails of the coworker model. But
21 isn't it, you know, common practice or a
22 guideline that when you have those known

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incidents and documented uptakes, those are
2 actually removed from the coworker distribution,
3 isn't that correct?

4 DR. TAULBEE: It depends upon the
5 situation. You know, if there's chelation
6 involved, absolutely, because that really messes
7 up to the bioassay requirements. But in general
8 incidents, no. We don't remove them.

9 Now, we will go through with the time-
10 weighted OPOS that we are doing, where we will
11 kind of back-extrapolate to the date of the
12 incident. But we don't remove them.

13 The chelation ones, absolutely. Those
14 have to be removed because excretion patterns are
15 all different. You know, the chelating agent
16 really messes with the ICRP models, if you will.
17 So those are the only ones that we actually
18 remove, Bob.

19 MR. BARTON: The reason I ask, and I
20 know maybe these individuals were chelated too,
21 but when you look at the transuranics, the
22 americium, californium, curium coworker model, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 talks about three individuals that were removed
2 because their bioassay results were a lot larger
3 than the rest of the coworker model.

4 It doesn't exactly say they were
5 chelated, but I guess we can assume they probably
6 were, that's the reason why ---

7 DR. TAULBEE: It came out of the
8 comments from SC&A in the past where we had
9 included them. And it was discussed and agreed
10 upon that they really shouldn't be in there, so
11 that was why.

12 DR. NETON: Bob, this is Jim. I think
13 a number of those coworker models were developed
14 before the weighted OPOS technique came into
15 play. And that technique kind of obviates the
16 need for really scrutinizing a lot of these
17 incidents, because you do get a time-weighted
18 exposure for a less period of time.

19 And you're absolutely right. The
20 chelating people are taken out and others
21 evaluated on a case by case basis, but the bottom
22 line is we don't know the great lengths to parse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 out incident results. Many times it's not even
2 possible. I mean, you have a whole set of
3 bioassays and you don't know the individual
4 sample.

5 MR. BARTON: Okay, I understand. The
6 other question, I think it's probably in there
7 somewhere, you know, I guess we're talking about
8 completeness, a positive match after the job was
9 completed in some timeframe. I know SC&A did one
10 month and then three months.

11 Did we sort of parse that out by what
12 the actual bioassay was looking for? Because
13 obviously that's important. If you're in an area
14 where plutonium is the hazard, you want to make
15 sure that you have a plutonium bioassay as
16 opposed to, you know, maybe a tritium bioassay
17 from another area somewhere down the line.

18 So I guess that's my second question.
19 When we're matching these up and saying, well,
20 this person was monitored internally, it's not as
21 simple as the external component where they were
22 wearing a badge, so they're going to catch all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the external radiation.

2 I guess when we say we have a positive
3 or a covered match, that it is for the correct
4 contaminant that we should have been looking for
5 based on whatever job they were doing.

6 MR. FITZGERALD: Yeah, Bob, that was
7 our report. And Ron, you can jump in too, but we
8 explained in there that once we found the RWPs
9 were in a variety of forms, let's put it that
10 way, in terms of specificity and whether they
11 listed at all the nuclides -- and sometimes they
12 did list a primary nuclide -- at that point we
13 just decided it just wasn't really feasible to
14 pin that down in the kind of review we were doing.

15 It would have required a lot more
16 research and time onsite which we weren't able to
17 have, frankly, in terms of SRS workload. So, at
18 that point, we decided, yes, there would be some
19 leeway provided clearly by just using the 30 and
20 90 days. But that's an artifact of how we would
21 have to do this review, this sampling review.

22 It was probably more liberal from that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standpoint because there are credits being given
2 where maybe credit wasn't due. But I don't think
3 -- and Ron jump in, you looked at these numbers
4 as well -- I don't the numbers are that great.
5 It wouldn't sway it that much.

6 DR. BUCHANAN: This is Ron Buchanan
7 with SC&A. No, Bob, we started to do that at
8 first and we'd seen that that was going to take
9 up too much time. And I don't know that you could
10 really do it because the RWPs did not specify the
11 radionuclide. And if it had been, you know, in
12 the 95 range, we maybe would have pursued it
13 further, but when we were down in the 60s and 70
14 percent compliance range, whether it was for a
15 particular isotope might have made it change a
16 few percent, but we didn't think it was worth the
17 resources to chase that down.

18 DR. TAULBEE: This is Tim at NIOSH.
19 We did not parse it down at that level either.

20 CO-CHAIR CLAWSON: Bob, do you have
21 any more comments or is that it?

22 MR. BARTON: No, I guess I just wanted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to point that out, that that's one more sort of
2 element of uncertainty when we're talking about
3 numbers or percentages. But I kind of want to
4 make it clear what those percentages really
5 represent and what we can actually infer from
6 them. And that's one complicating factor, again.

7 And I understand absolutely why it
8 didn't make sense to try to match a specific job
9 to, whether it be fission products, you know,
10 your transuranics, or tritium. I understand why
11 we went the path that we did. I just wanted to
12 pointed out that added uncertainties.

13 CO-CHAIR CLAWSON: I understand.

14 DR. TAULBEE: With regards to our
15 analysis, we did not look at tritium. Ours is
16 all the other radionuclides, everything except
17 for tritium.

18 CO-CHAIR CLAWSON: Tim, when you were
19 talking to Josie just a little while ago, you
20 were throwing out the 75th percentile and 65.
21 Now, that is not cut in stone anywhere; these are
22 just your personal feelings on it, is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct?

2 DR. TAULBEE: That's correct. This is
3 from our discussion last September, Brad, of ---

4 CO-CHAIR CLAWSON: Right. Well, and
5 I just want to make that because sometimes it
6 comes up, oh, we've already agreed on that, but
7 that is not the case. I don't want to be put in
8 a situation that this is what we said it was.

9 This is what your feelings are on it,
10 because basically it comes down to the Board to
11 make that determination and also this is why part
12 of the SEC group is here with us too.

13 I do have one question on the
14 bioassay. And this is for Tim or Joe. Because
15 I'm going back to my other knowledge of what we
16 got into with Hanford up there. How were these
17 bioassays, were these bioassays delivered to
18 people that worked at Savannah River or did they
19 have to stop in and pick them up?

20 How were they done? Because I'll tell
21 you the reason why. Because at Hanford, they
22 would deliver the bioassay samples to your home.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And if you were outside of the area, out of the
2 Richland or Pasco area, they would not deliver
3 them to you. So a lot of construction trades
4 people out of Portland or Seattle and stuff like
5 that would not get them delivered to them.

6 So I'm just wondering, because this
7 was kind of an eye-opening thing to me at Hanford
8 on this. So I was just wondering how these were
9 delivered. Does anybody know how this was
10 handled? Did they have to go in and pick them up
11 or any of that?

12 DR. TAULBEE: I don't know. But this
13 is something that we are wanting to try and follow
14 up as well with doing some interviews with people
15 who are involved in this. Because my
16 understanding is that that was one of the
17 contributing issues with regards to the Notice of
18 Violation, in that where people were to leave
19 samples and whether there was a control
20 associated with that. And it was that part is
21 what actually resulted in the Notice of
22 Violation, that was a contributing cause here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So we don't know the answer to that -
2 - at least I don't; maybe Jim does -- yet, but
3 that is something we do want to follow up on.

4 MR. FITZGERALD: Yeah, in my
5 experience, Hanford was, it was a pretty unique
6 situation where they actually brought the, you
7 know, brought the sampling to the workers
8 themselves. That's pretty rare. I don't think
9 I've seen it anywhere else. And I don't think we
10 have the explicit information on this, but I
11 think we can find out through some interviews.

12 CO-CHAIR CLAWSON: Well, I know that
13 we've got a subject matter expert was on this, so
14 if you could look that up, I would appreciate it.

15 DR. TAULBEE: Yes, we will.

16 CO-CHAIR CLAWSON: Okay. That being
17 said, do we want to continue or do we want to
18 break for lunch? I'm good, but I just wanted
19 other people to get a feeling. What's the census
20 of everybody?

21 CO-CHAIR MELIUS: Brad, since we're
22 changing topic, so to speak, a little bit, about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to go into the coworker model issues, it's
2 probably a good time for a break.

3 CO-CHAIR CLAWSON: I could sure use a
4 comfort break right now. So would it be all right
5 then, Ted, if we go for an hour? I'm trying to
6 think what your time would be, it'd be 1:30?

7 MR. KATZ: Yeah. I mean, that's fine
8 here. Let's take a quick survey of our Board
9 Members and see. Does that work for all of you,
10 breaking for an hour? I know we're losing Lockey
11 at 2:00, maybe -- or maybe that was 4 o'clock our
12 time so we're all right.

13 CO-CHAIR MELIUS: How about a half
14 hour? Is that a problem?

15 CO-CHAIR CLAWSON: No, I could do
16 that.

17 DR. NETON: Half hour would be good.

18 MR. KATZ: Okay, so how about we if we
19 reassemble at 1:00 Eastern Time?

20 CO-CHAIR MELIUS: Yeah.

21 CO-CHAIR CLAWSON: That'd be fine.

22 MR. KATZ: Okay, see you all then.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 **Lunch**

2 (Whereupon, the above-entitled matter
3 went off the record at 12:27 p.m. and resumed at
4 1:04 p.m.)

5 MR. KATZ: Okay, well, why don't we go
6 ahead and get started. And we can catch Gen up
7 if we need to when she joins. So I think I'll
8 turn it back to you, Dr. Melius.

9 CO-CHAIR MELIUS: You're all caught up
10 in attendance and everything?

11 MR. KATZ: Yeah. The NIOSH folks and
12 SC&A folks are all online.

13 CO-CHAIR MELIUS: Okay.

14 MR. KATZ: And we have most of the
15 Board Members.

16 CO-CHAIR CLAWSON: Hey, Jim?

17 CO-CHAIR MELIUS: Yes?

18 CO-CHAIR CLAWSON: Jim, this is just
19 Brad. Could I just make one comment before we go
20 on to the next phase? Because, you know, we spent
21 a lot of time this morning on this data
22 completeness and stuff, and I just want to make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sure that everybody understands that we ran into
2 a lot of different things in there.

3 But the bottom question that we have
4 got to come up with is, is this data complete?
5 And that's our main concern, is if we've got
6 enough data to be able to do what we need to be
7 able to do. And, you know, we're checking for
8 completeness, and that's what we're down to the
9 wire on with this.

10 And I just want people to realize
11 that, because these reports come out and stuff
12 like that, we cover a lot of different stuff.
13 But is it complete? Is it enough to be able to
14 do a coworker bioassay program and stuff?

15 I just wanted to say that because I
16 know we've covered a lot of different stuff. So,
17 with that being said, I'll turn it back over to
18 you, Jim.

19 NIOSH SRS Internal Coworker Dosimetry Data
20 Report and SC&A Review

21 CO-CHAIR MELIUS: Okay, thanks, Brad.
22 So we'll start on the coworker model issues. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I just want to say Ted and I have talked, and
2 Brad and I talked about this a little bit, but I
3 guess, given the number of reports here, we're
4 not going to attempt to cover everything during
5 this phone call. I just don't think it would be
6 efficient or wise.

7 So we'll see how far we get for a
8 period of time, and see. And we'll still have to
9 leave time for the petitioner comments and some
10 wrap-up on this. But we are planning on, if the
11 two Work Groups are agreeable, to holding an in-
12 person meeting, at least a full-day meeting to
13 sort of deal with these issues, because I think
14 that's probably a much more efficient way of
15 dealing with these.

16 I think we can make progress today,
17 particularly on what still needs to be done or
18 what's happening in terms of comments and review
19 and so forth. But I think we'll be planning a
20 full-day meeting, in-person meeting to, I don't
21 want to say to finish things up ,but to at least
22 give a more, you know, try to get through all the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reports that need to be addressed, and
2 particularly the coworker issues.

3 So, with that, I don't know who's
4 planning on presenting from NIOSH on Item 3?

5 DR. TAULBEE: I certainly can. This
6 is Tim Taulbee. We have prepared -- SC&A had a
7 number of findings in their report on the
8 coworker models. There was a total of six
9 findings and eight observations.

10 And we have populated the Board Review
11 System with each of the findings and our
12 responses to them. Actually, not all of them.
13 Findings 3 and 5 we are still working on. So we've
14 got 12 into 14 total. We've got responses out
15 there on the Board Review System.

16 And what I was going to proposed that
17 we do is SC&A to kind of go through their review
18 and their findings. And then we could address
19 each of the findings individually and walk
20 through those, and hopefully close some of them
21 out. And some of them I think we'll be able to
22 put into abeyance, and trying to work it through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that way.

2 This is kind of new in using the Board
3 Review System, especially with the SRS Work
4 Group. And I'm not sure how much the SEC Issues
5 Work Group has used it in the past. I know we
6 haven't yet, but with the large number of
7 findings, I think that we really need to have
8 something to track it. And the Board Review
9 System actually works quite well for that.

10 MR. KATZ: Can I just interject here,
11 Tim? It's great to use the BRS system for exactly
12 that reason. So I think that's standard. The one
13 issue which I try to, as each Work Group picks it
14 up, let folks know is that some Board Members
15 have access, some Board Members do not.

16 So whenever we do populate the BRS
17 with responses, for example, we also, at the same
18 time in parallel, you can copy stuff out of the
19 BRS if that's where you're putting it originally.
20 But we need to send those out to the Work Group
21 in an email or what have you so that they get
22 that too.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Okay.

2 MR. BARTON: And this is Bob. As you
3 were kind of saying there, one of the things I
4 did in sort of prepping for this meeting is I put
5 some slides together. They're nothing new,
6 really just stuff pulled out from our report from
7 the OTIB-81, the Implementation Guide, and also
8 the Board Review System responses that you had
9 provided on those Findings 1, 2, and 4.

10 So, if it's amenable to the Work
11 Group, as Tim indicated, I can kind of lead us
12 through that discussion of what our review
13 findings and observations were and we can talk
14 about them as we go.

15 And like I said, I do have some
16 slides. It's not an official presentation, but
17 again I'm just sort of pulling out discussion
18 points from our reports to kind of -- well, to
19 keep me focused anyway, but hopefully it's
20 helpful for everybody else.

21 So, as a suggestion, if that's
22 amenable, I can put those up on Skype and go from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there.

2 CO-CHAIR MELIUS: Okay, let's get
3 going then.

4 MR. BARTON: Alright. Let me just
5 quick put my PowerPoints up here. Okay, can
6 everybody, the people who do have Skype, can they
7 see? This should be the title slide.

8 MR. KATZ: Yeah, it shows.

9 **NIOSH SRS Internal Coworker Dosimetry Data Report**
10 **and SC&A Review**

11 MR. BARTON: Okay, great. Alright, so
12 we're talking about, for those of you who don't
13 have Skype, we were talking about the review of
14 OTIB-81, which is the internal coworker dosimetry
15 data for the Savannah River Site in its Revision
16 3.

17 Both that report and SC&A's review are
18 up on the website. And I'll try to refer directly
19 to page numbers as we go along so that people on
20 the phone can also see what we're looking at.

21 I guess as sort of a preamble, I note
22 that other coworker models have been developed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that use the time-weighted, one person one sample
2 method. But I believe this is the first, I think
3 you could call it a test drive of the draft
4 coworker implementation criteria, which I think
5 the most recent revision is, I believe, Rev 4
6 which is dated in 2015.

7 It was sort of approved on a trial
8 basis to see how that criteria could really be
9 addressed when developing a coworker model. So
10 our review of OTIB-81 really focused on how that
11 document and the discussion contained therein met
12 the criteria as laid out in what we kind of call
13 the Implementation Guide.

14 So that guidance is really split into
15 four main criteria. You have the data adequacy,
16 completeness, you have characterization of the
17 monitoring program, and you have stratification.
18 And that's really how we structured our report,
19 so that's how I'm sort of going to be presenting
20 it here. It'll jump around a little bit, but for
21 the most part it just goes in order of those four
22 main criteria.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 As a quick refresher, when we talk
2 about adequacy we're talking about did the actual
3 measurements we have, the data that forms the
4 basis of the model, whether that be bioassay or
5 in vivo measurements, did it effectively measure
6 the contaminant of interest?

7 And to follow under that is, do any
8 adjustments to the numbers that we have have to
9 be made, for various reasons, which will vary
10 depending on what type of measurement we're
11 talking about and what contaminant, et cetera.

12 Completeness we're really talking
13 about -- usually we're talking about the temporal
14 spread of the data; does that actually represent
15 the operations and exposure potential at a site?

16 For example, if you saw a gap for a
17 number of years, that could be because data is
18 missing or because that particular project was
19 shut down and that is reflected in the exposure
20 records. Besides temporal concerns with
21 completeness, you also have were critical job
22 categories or areas missing from the data we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in hand?

2 As a follow-on to that you have
3 characterization of the monitoring program,
4 whereas when we talk about completeness we're
5 really just talking about what data we have in
6 our hands. The characterization really looks at
7 the monitoring program as a whole to see were
8 they actually monitoring the correct people,
9 locations, was that program effective as planned,
10 et cetera.

11 And then the last criteria is
12 stratification, which is simply is there the need
13 to parse coworker intake analysis by a particular
14 job type or area based on exposure potential?

15 So, our review, we had six findings
16 and eight observations, as Tim pointed out. And
17 the BRS contains responses to Findings 1, 2, and
18 4. At least the last time I checked it yesterday
19 that's what was up there.

20 So we'll move on from there. And,
21 again, I'm going to go in order of these
22 categories of adequacy, completeness, review of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the monitoring program, and then stratification.

2 Okay. Does everybody see a different
3 slide now? It should say "Adequacy: findings and
4 observations."

5 DR. TAULBEE: Yes, we see it.

6 MR. BARTON: Okay, great. Alright, so
7 Observation 1 really related to variation in the
8 sample results that we saw. And this issue goes
9 back, and there were a number of discussions at
10 previous meetings.

11 Essentially, what you have here is the
12 americium, curium, and californium bioassay data.
13 It's urinalysis data. And what they did is they
14 would take a sample of voiding and break it out
15 into several disks or aliquots. And then they
16 would measure each disk and then the site would
17 average the results. And they would either
18 report that result, or if it was less than the
19 reporting level or MDA they would report that.

20 And what we noticed is that there were
21 very large variations in the measurements of the
22 same voiding among different aliquots of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 essentially the same samples. And these were
2 even at levels that were far above the MDA.

3 OTIB-81 had concluded that these
4 observed variations is due to the effective
5 chelation treatment, which causes a heterogeneity
6 among portions of the same voiding. And that was
7 something that I hadn't heard of, but that's
8 nothing new.

9 But that forms the basis of
10 Observation 1. What we're really requesting is
11 clarification or documentation that, you know,
12 when you have that single voiding -- we're not
13 talking about different urine samples, we're
14 talking about different portions of the same
15 urine sample -- you would have a significant
16 variation again among the same voiding.

17 So we're asking for maybe a little bit
18 more discussion or some references to sort of
19 back that up. Because, again, it's something
20 that I certainly was not aware of. But, you know,
21 if that can be backed up, then that certainly
22 would explain some of the variations that we did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 see. And that's for -- again, this is for the
2 trivalents. And also thorium because those
3 bioassay data are used for, at least in part of
4 the current thorium coworker methodology.

5 DR. TAULBEE: Can I interrupt here to
6 ask you how you -- or how the Work Group wants to
7 handle this. Because we have a response for this
8 one and we could discuss that now, or we could
9 move on to the next one. And I guess I'm just
10 asking for what process do you guys want to use?

11 CO-CHAIR MELIUS: If you want to
12 respond, let's do the response now.

13 DR. TAULBEE: Okay.

14 CO-CHAIR MELIUS: I think it would be
15 easier. Good idea.

16 DR. TAULBEE: Okay. Our response is
17 that -- the hypothesis that the heterogeneity
18 occurs as a biochemical process, not analytical
19 chemistry process. And it is just simply a
20 hypothesis.

21 These data are not used because they
22 are not representing the normal worker's exposure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and cannot be used to calculate an intake, the
2 chelation. The heterogeneity observed in some of
3 these samples is, in our opinion, really not
4 relevant.

5 So we would like to propose just
6 closing this. These chelation data are not used
7 in our coworker model.

8 MR. BARTON: Well, I guess my
9 immediate thought on that is the point of the
10 original finding way back in, I believe, 2013 was
11 it called into question if the sampling
12 techniques are really all that accurate for
13 measuring the contaminants that we want.

14 Now, if the variation that we're
15 seeing is solely because of chelation, then I
16 think you're right, those are going to be taken
17 out anyway. But if it's not and it's some other,
18 you know, mechanism at work, then, to my mind,
19 that would still keep the issue open because it
20 does call into question the effectiveness of the
21 measurement technique.

22 DR. TAULBEE: Well, from the 2013

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 finding, you know, that was our big -- in that we
2 removed those chelation data points, and we also
3 switched to a time-weighted OPOS. Both of those
4 took place in this latest model from the initial
5 finding.

6 Now, do you still see the variation in
7 there? I don't believe that we do. But as I've
8 gone through and looked at all of the
9 observations that Joyce had pointed out before,
10 I believe -- Matt Arno, please tell me if I'm
11 wrong here, but it was all dominated by the
12 chelation. Isn't that correct?

13 MR. ARNO: Yes, the vast majority.

14 DR. TAULBEE: Okay.

15 MR. BARTON: Well, I think part of the
16 reason for that is those examples stood out to us
17 just because they were so far above the detection
18 limit. So you wouldn't have a lot of noise in
19 any sort of measurement that might explain such
20 variations.

21 Again, it doesn't sound like all of
22 them were chelated. So, again, I think we need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to have an actual, you know, reference to back up
2 the assertion that that variation we're seeing is
3 simply due to chelation effects.

4 I mean, just thinking about it myself,
5 I mean, you're talking about a single voiding,
6 the act of which I would think would help to
7 homogenize the sample anyway.

8 So I'm not sure this closes the issue
9 simply because of the chelated samples are out of
10 there unless we can firmly establish that that
11 variation that we saw was because of chelation.

12 DR. TAULBEE: Let me put this in. Why
13 don't we go back to the 2013 evaluation and look
14 at which ones are still in the data set, and then
15 look and see whether there is a variation.

16 (Simultaneous speaking.)

17 MR. ARNO: Can you hear me all right?

18 DR. TAULBEE: Yes, we can hear you.

19 MR. ARNO: Oh, good. Okay.

20 DR. TAULBEE: So, you know, whether
21 you want us to go through and figure out which
22 ones we've excluded due to chelation, or if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 want to go through Joyce's initial list and
2 figure out which ones are still in it, I don't
3 care. Whichever way, it doesn't matter.

4 MR. BARTON: Well, again, I'm not sure
5 that the point is whether those samples with the
6 observed variation are removed or not. I think
7 the question is whether the technique is sound.
8 I mean, if we take out the chelation the issue is
9 -- simply removing the sample doesn't really
10 answer the question of what the mechanism is
11 behind the variation that was observed.

12 DR. TAULBEE: Well, okay. If we look
13 at -- if there are any remaining, then what is
14 your basis that there is an issue?

15 MR. BARTON: Well, you're measuring
16 different portions of the same sample and still
17 getting significantly different results that
18 aren't the result of chelation. Or if we can't
19 find any reference to this phenomenon, then I
20 would say there's still questions about how
21 effective the measurement technique is.

22 DR. TAULBEE: Okay. I'm trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 figure out, how do we test it? What would satisfy
2 SC&A here? What do you propose that we do to
3 demonstrate that the analytical chemistry process
4 was reasonable here? The observations that you
5 saw of the large variation, and we are saying
6 that the vast majority of them are a result of
7 chelation.

8 So we take those out. Do you want us
9 to look at the other ones, the ones that remain?
10 Which I think there's just a handful. You're not
11 going to get any major statistical power out of
12 it, but we can do that.

13 MR. BARTON: I think that would
14 certainly be helpful. Really, what we were
15 looking for was any sort of research that was
16 done to support the hypothesis that it is the
17 chelation that's causing that.

18 I mean, yeah, a lot of the samples
19 that we gave for examples were chelated because
20 they were just so high and above the MDA. So
21 that's really why those were the examples we
22 pointed to.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The fact that a lot of them turned out
2 to be chelated samples may explain it or it may
3 not, but I'm not sure that we can simply say,
4 well, it's probably because they were chelation
5 samples and the chelating agent causes
6 heterogeneity -- that's a mouthful -- in the same
7 voiding.

8 We're not talking about one sample
9 that was in the morning and then one sample that
10 was a few hours later, and then one sample that
11 was the next day. This is a single voiding.

12 MEMBER ZIEMER: Do we know if the
13 chelating agent is excreted, I assume that, in
14 those urine samples or would affect the chemistry
15 of how they're prepared?

16 DR. TAULBEE: That I don't know,
17 whether it would or wouldn't.

18 MR. ARNO: This is Matt Arno. I think
19 the point is that there's little value obtained
20 from evaluating what's going on with chelated
21 samples. We don't use them because they're
22 chelated, because you can't, the models aren't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 valid anymore.

2 The only applicable question is, what
3 variation is there in samples that are actually
4 usable? So I would say we would need to exclude
5 chelated samples from any review we do to see if
6 they're still an issue simply on those grounds.
7 Why would we look at a variability in samples in
8 non-usable data?

9 MR. BARTON: I can agree with that.
10 But, again, that's sort of assuming that the
11 effect we're seeing is from chelation.

12 MR. ARNO: Actually it's not making
13 that assumption at all at that point. It's just
14 simply excluding irrelevant data and focusing on
15 what is going on with the actual usable data.
16 Maybe there's an effect, maybe it's not, but why
17 would we look at that data if it's not usable?

18 MR. STIVER: Bob, this is John. I
19 would say that I agree that that's probably a
20 good way to approach this, look at the data that's
21 still being used. If you still see there are a
22 lot of variations on aliquots from the same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 voiding, then the problem still exists. But I
2 kind of agree that you certainly need to look at
3 the data that actually are going to be going into
4 the model.

5 MR. BARTON: That's certainly
6 acceptable to me. Again, I was pointing out that
7 -- Tim kind of threw it out there that the reason
8 is because they were chelated samples. It sounds
9 like that was a hypothesis.

10 (Simultaneous speaking.)

11 MR. STIVER: If you take out the
12 chelated samples and still see the problem, then
13 you can't use the chelation as the explanation.

14 MR. BARTON: Right, right. I agree
15 with that.

16 DR. TAULBEE: Okay, I've taken the
17 action item here that we will remove the
18 chelation samples and evaluate what variation --
19 well, we'll look at the situation where we remove
20 the chelated samples and evaluate if any are
21 remaining, the variation amongst them, and report
22 back. Is that acceptable?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: Certainly is to me.

2 MR. KATZ: That sounds like a go, Tim.

3 DR. TAULBEE: Okay.

4 MR. BARTON: Okay, moving on to
5 observation two, this was strictly for tritium.
6 We noticed that 1958 doses showed a marked
7 increase. And 1958 is significant because that
8 was the year where the site changed from -- or
9 changed to liquid scintillation counting, whereas
10 before they were using ion chambers.

11 So we're really wondering what is the
12 cause of the increase in tritium doses. Is it
13 actually related to site activities, is it
14 related directly to the measurement technique?

15 It seems like something, when you had
16 such a marked change in 1958, you know, what's
17 causing that and what effect might that have on
18 any derived coworker doses?

19 DR. TAULBEE: Okay, this is Tim again.
20 Can I, I guess, interrupt your presentation and
21 put up a graph that we're talking about here,
22 with regards to this one?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: Sure.

2 DR. TAULBEE: Okay. This is the Board
3 Review System. Can people see that?

4 MR. BARTON: Yes, I can see it.

5 DR. TAULBEE: Okay. And so we'll
6 click down here in our response. Basically in
7 our opinion there's no substantial increase in
8 the derived worker doses beginning in 1958.

9 Both non-construction trades and
10 construction trades workers data indicate an
11 annual increase in dose each year from 1954 to
12 1964, followed by a gradual decline from '64 to
13 the early 1980s, with a substantial drop in 1986
14 when the bioassay method changed again.

15 The CTW dose increases more from 1956
16 to '57 than it does from '57 to '58. We don't
17 really see a step change associated with the
18 change in bioassay methods. Therefore there's no
19 reason to think that the method prior to '58 was
20 insufficient. The data appear to be more
21 indicative of a gradual increase in contamination
22 levels and thus uptakes during the period than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anything associated with the bioassay method.

2 And I'm going to click here on the
3 graph, and hopefully you all can see this. But
4 the annual tritium doses. I'm not seeing a big
5 step increase from '57 to '58 there in this
6 particular graph.

7 MR. BARTON: So any increases are
8 essentially -- I mean, it's a site ramp-up in
9 activity or in exposure potential. And so
10 there's really no difference in the MDA between
11 liquid scintillation and ion chamber counting?

12 Again, this is an observation because
13 we thought we had seen a difference, and so we
14 noted it as an observation. And based on that,
15 it sounds like there was maybe a ramp-up in site
16 activity but not, nothing to do with the actual
17 measurement technique.

18 DR. TAULBEE: There was a big ramp-up
19 of activity as they began to really run all the
20 reactors hard. And so you're going to have the
21 heavy water, you're going to have a lot more
22 tritium being produced. And so more, greater

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exposure potential, yeah.

2 MR. BARTON: Okay. Again, it's one of
3 those things that, when you look at it, you know,
4 you want to ask questions about the measurement
5 technique changing. And that seems like a
6 reasonable explanation.

7 So during that period in the late
8 '50s, early '60s it was basically because of the
9 site, as you just said, the reactors were really
10 ramping up and so you're just going to have a lot
11 more of it around. And so your annual doses are
12 going to go up.

13 DR. TAULBEE: That's correct.

14 MR. BARTON: Okay. I don't know if
15 anyone has any --

16 DR. TAULBEE: So is this particular
17 issue or observation closed?

18 MR. BARTON: I'm fine with closing it,
19 yeah.

20 DR. TAULBEE: Dr. Melius? I think
21 you're on mute, Dr. Melius.

22 CO-CHAIR MELIUS: I'm sorry. I'm fine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with it.

2 MR. BARTON: I guess I'd just ask Ron
3 Buchanan, if you're on the line, I know you had
4 looked into some of the tritium stuff. Did you
5 have any other comments on this? Or else we can
6 move on.

7 DR. BUCHANAN: No, I'm fine with that
8 explanation.

9 DR. TAULBEE: Okay, then I will close
10 this item. Alright, I'll stop presenting here
11 and kick it back to you, Bob.

12 MR. BARTON: Okay. I'll just take
13 this over again. Okay, let's see here. Put this
14 in full screen. Okay. I believe we are back.
15 Let me move on to the next slide.

16 Okay, now, these weren't actually any
17 observations or findings, they're just something
18 we discuss in the report. And so what we just
19 note here is that results below the reporting
20 level for tritium were found in the 1980s. And
21 NIOSH had concluded in the report that that's
22 likely indicative of the true MDA and that what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we were seeing before was really the reporting
2 level.

3 And we just point out that, you know,
4 if we could document that this was the site
5 practice at the time, and a discussion of what
6 the true MDAs were for tritium, it would be
7 beneficial to the document. And, again, that's
8 not really a finding or an observation, but we
9 wanted to point it out.

10 And also the TIB references the 1990
11 Technical Basis Document, which describes quality
12 control and assurance activities. But, again,
13 that's in 1999. So it would be, again, beneficial
14 if we could find earlier references which
15 describe that QA/QC procedure, if those are
16 available at the site or if those have been
17 captured or if they're even available to capture.

18 So, again, that's just a note. That's
19 one of the things that the implementation
20 criteria talked about is documenting those QA/QC
21 procedures that were used for the bioassay
22 program.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And there's discussion of it for
2 tritium, but again it references a 1990 Technical
3 Basis Document. So it would be beneficial to
4 find earlier references, if that's at all
5 possible. I don't know if NIOSH wants to comment
6 on that. Again, it's not a finding or an
7 observation. Again, just a suggestion, I guess.

8 DR. TAULBEE: If we find them, we will
9 include them and take your suggestion. But I
10 don't know that we're going to be digging hard to
11 try and find them.

12 MR. BARTON: No, I understand. It's
13 certainly a lower priority. And again, that's
14 not a finding or an observation, but we wanted to
15 note it since we do discuss it in our report.
16 And it is sort of part of the coworker
17 Implementation Guide.

18 So we can move on. That really took
19 care of -- we only had two observations about
20 data adequacy issues. So the next section deals
21 with completeness. And I want to change slides
22 here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 **NIOSH Report on Assessing Exposure to Thorium**
2 **232 from 1972-1989**

3 Okay. Observation 3, and this is
4 related to the trivalents and thorium. We
5 couldn't figure out, based on the report, what
6 was going to happen post-1989 as far as
7 unmonitored intake assignments. At the time I
8 was kind of preparing this, we hadn't had a
9 response. I don't know if NIOSH has a response.

10 I would note that, at least for
11 thorium, their method has changed a little bit.
12 But, again, it's the method described Report-70,
13 which came out fairly recently, where urinalysis
14 data can be used from '73 to '80 and then a
15 fraction of the derived air concentration from
16 '81 to '89. But I don't believe it describes
17 anything after 1989.

18 So that's Observation 3. I don't know
19 if NIOSH has a response on that one.

20 DR. TAULBEE: Yes, we do. And this
21 is basically the coworker intake rates after 1988
22 -- or after 1989, will be evaluated at a later

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 date. I guess that would be Rev 5, if you will.

2 And the reason for this is we're
3 switching kind of databases at this time period,
4 from we'll most likely be using the HPRED data
5 that Joe definitely got to see a part of when he
6 was doing his subcontractor review.

7 And then we have not used that in
8 OTIB-81, even for Rev 4 yet. Rev 2 did use the
9 data from 1991 on forward time period, and it
10 showed a reduction in the calculated intake rates
11 for all radionuclides.

12 Although some of the methodologies
13 used in the coworker study have changed, we're
14 not anticipating that any of these changes will
15 result in a significant change in the relative
16 magnitudes of the intake. This is calculated
17 with HPRED from data sources we're using for 1990
18 and earlier.

19 The issue of why we cut it in 1989 for
20 Rev 4 right now has to do with being able to
21 identify construction trades workers. Under the
22 DuPont era, it's really easy for us to identify

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a construction trades worker. We have complete
2 worker histories, and the external dosimetry
3 delineates subcontractor construction trades
4 from construction -- I'm sorry, from DuPont
5 construction trades workers.

6 What we have to use for this latter
7 time period, which is what's causing the delay,
8 is -- and Joe saw some of this database as well,
9 where, if you recall, we could go and look up
10 some people's name and they could identify who
11 their contractor -- which contract they worked
12 for, whether they worked for Westinghouse or
13 whether they worked for Bechtel, who was the
14 prime construction trades worker contractor at
15 that time period.

16 We haven't done that yet, we're trying
17 to get Rev 4 out the door right now. But that is
18 kind of our next step. So that is why we didn't
19 address post-1989. And so what I would like to
20 recommend to the Work Group is that we put this
21 in abeyance until we get Rev 4 out the door. And
22 then we will be issuing a Rev 5 that has just the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 1990 or '89 -- yeah, it would be the 1990 through
2 1994 time period.

3 So is it okay if we put this one in
4 abeyance for now?

5 MR. KATZ: Just to be technical, Tim,
6 you wouldn't put it in abeyance, because it's not
7 been done yet, but you'd just put it in progress.

8 DR. TAULBEE: Oh, okay. I thought
9 abeyance meant --

10 MR. KATZ: Abeyance means that
11 everybody agrees that everything's good and they
12 just want to see the final paperwork, basically.

13 DR. TAULBEE: Say again, Ted. I'm
14 sorry.

15 MR. KATZ: So when you put them in
16 abeyance it's because the Work Group has decided
17 it's all good, they just want to see it written
18 up.

19 DR. TAULBEE: Oh, okay. Got it.

20 MR. KATZ: That's what abeyance means,
21 the issue's resolved and they just want to see it
22 written up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Okay. I'll put this in
2 progress. And when we get Rev 4, or Rev 5 out,
3 then the Work Group will have the opportunity to
4 review the '90 to '94 time period.

5 MR. BARTON: Okay. Moving on to
6 Finding 1. And this was, when we looked at a
7 comparison of the reported samples, to what,
8 basically what I call it is the samples you have
9 in hand. So, basically we have the data that's
10 going to form the basis of the coworker model.
11 And to see to what extent it's complete it was
12 compared against health physics reports
13 essentially saying how many samples we should
14 have.

15 And we noticed that the analysis in
16 OTIB-81 had ended in 1981 as far as comparing the
17 two. But, obviously, the proposed coworker model
18 extends through 1989. And so we were asking,
19 well, we should probably look at the completeness
20 for those later years, especially because one of
21 the things we have seen is that the number of
22 samples we had in hand was less than what was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 being reported in that latter period.

2 So Finding 1, 2, and Observation 4 are
3 really all sort of interrelated and all sort of
4 relate back to a response in the BRS. So if it's
5 okay, I would like to move on to Finding 2 and
6 Observation 4. And then on to NIOSH's response
7 to those items, if that's okay, since the
8 response really relates to all three of them.

9 DR. TAULBEE: Okay. I'll try and keep
10 my responses tied to each of the findings and
11 observations. Go ahead.

12 MR. BARTON: One of the things, when
13 we noticed those later years that you had less
14 data in hand than what was reported, in OTIB-81
15 it had said that that was likely due to the
16 inclusion of fecal sampling in the Works
17 Technical Report totals.

18 So even though you have -- assuming we
19 have less data, if you added in the fecal samples
20 they should more closely match. We didn't think
21 that was really credible for, you know -- and
22 you'll see why in a moment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then Observation 4, this is just
2 dealing with the earlier period where actually
3 you have a lot more data in hand than what was
4 reported in the Works Technical. And even though
5 that's obviously a lot better, you always want
6 more, more data than what was reported, we just
7 wondered why that was the case. And that's why
8 we made that observation.

9 One possibility that we talk about in
10 our report is that perhaps they weren't putting
11 construction trade workers in those totals. That
12 was based on a single example, we can't say that
13 that's actually the reason.

14 But, again, it's an observation
15 because we actually have more data, just on the
16 basis of the coworker models and what they have
17 in those Works Technical Reports. And so we're
18 going to move to NIOSH response here for Findings
19 1 and 2.

20 Okay, and here's the chart sort of
21 showing the red line is the number of reports
22 that were listed in the Works Technical versus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the amount that we have in hand. And you can see,
2 you know, sort of later years, you've got some
3 years where it's significantly less and then the
4 earlier years you generally have more.

5 And then the next slide, this one is
6 sort of dealing with the notion that the fecal
7 samples were included in the totals, but then
8 here's a table that shows that they were actually
9 broken out separately.

10 So again, that's why -- and it talks
11 about the fecal sampling being the reason why we
12 see a discrepancy where the Works Technical
13 Report has one value that's significantly higher
14 than what we have in hand, but that turns out to
15 not be the case. And Tim will talk about it.

16 And what you have in front of you is
17 the entry, at least as of yesterday, on the
18 response to Findings 1 and 2. So everybody can
19 see that. Tim, I'll let you take it from here.

20 DR. TAULBEE: Okay. Yeah, as you see
21 here on the slide here, we agree it would be
22 beneficial to extend the completeness analysis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Bioassays we've received that cover most of the
2 1980 and provide similar information are
3 available. And we've updated Table 4-1 and we've
4 got it reproduced. Did you provide that table on
5 your next slide?

6 MR. BARTON: Yes, I did.

7 DR. TAULBEE: Okay. You can see, if
8 you go to that particular one, and you can see
9 we've got, you know, typically in the '90s --

10 (Telephonic interference.)

11 DR. TAULBEE: Generally we have more
12 bioassay folks than what the Works Technical
13 reported. So we have extended this through 1987
14 here, as you can see in the revised Table 4-1.

15 MR. BARTON: Right. And everyone,
16 remember, the original concerns was more, A, we
17 didn't see the data past 1981. Now that has been
18 provided. And also those numbers between 1969
19 and 1981 certainly improve when even the unusable
20 samples were included in the totals, which is
21 obviously the more correct comparison.

22 When you say unusable, those unusable,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they would have been included in the Works
2 Technical total. We're not talking about
3 unusable as in, like, a not submitted sample or
4 something like that.

5 DR. TAULBEE: No. In the Works
6 Technical Report they're more reporting what the
7 bioassay lab saw. And so if the sample volume
8 was too low, that would be unusable. And some
9 samples are lost in processing and you do a
10 follow-up. So, you know, that occurs as well.
11 And so you see that within the logbooks. You'll
12 see routine monitoring, special monitoring,
13 follow-ups, that kind of thing as a designation.

14 And, you know, what all went into the
15 actual Works Technical Report value, we're really
16 speculating from that standpoint. The logbook
17 for americium, that was that data that we were
18 using. And those, you can see, it generally over-
19 reports what was found in those summary tables.

20 I would also like to point out that in
21 some cases, the number of samples for americium
22 are really limited to bioassays. They don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correspond well on a month-by-month basis either.
2 It depends upon, I guess, when they considered
3 samples -- or when it was actually analyzed. And
4 so we do see some variation from that standpoint.
5 Sometimes those samples were held for a quarter
6 or so. And so you'll see that in there as well.
7 Definitely month-to-month and year-to-year.

8 But we feel that this matches pretty
9 good, you know, from what we're seeing here in
10 that post-'81 time period. That one year, '82
11 where there's less in the logbooks than what
12 they're sampling.

13 MR. BARTON: Given the history of --
14 (Telephonic interference.)

15 CO-CHAIR CLAWSON: Somebody here needs
16 to put their phone on mute.

17 MR. KATZ: Right, right. Bob, are you
18 still there?

19 MR. BARTON: Yes, I'm here.
20 (Telephonic interference.)

21 MR. BARTON: Is it safe?

22 MR. KATZ: I don't know if it's safe.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking)

2 (Telephonic interference.)

3 MR. KATZ: We'll give it another shot,

4 Bob.

5 MR. BARTON: Okay.

6 (Telephonic interference.)

7 MS. ADAMS: Ted, it's Nancy. I --

8 MR. KATZ: Nancy, I can't call Zaida
9 without getting off this line. Will you just
10 please call her and get her to cut the line?

11 MS. ADAMS: Yeah, I dialed zero but
12 nobody picked up. But I will do that.

13 MR. BARTON: Okay, tentatively, I'll
14 continue the discussion here --

15 (Telephonic interference.)

16 MEMBER ROESSLER: Hi, this is Gen. Is
17 anybody on the line?

18 MR. KATZ: I'm on the line. I sent
19 Zaida an email, too, so one way or another,
20 hopefully she'll -- if she hasn't cut that line
21 she'll be cutting it.

22 MEMBER ROESSLER: Okay. I missed roll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 call earlier, I think.

2 MR. KATZ: No, yeah, glad you could
3 join us.

4 MEMBER ROESSLER: I didn't want to
5 butt in on things before, but now I'm back on.

6 MR. KATZ: Okay, good. Yeah, and we
7 have Paul back on, too. Or we had him on. Okay,
8 Bob, you want to give it another shot?

9 MR. BARTON: Okay. So, again, the
10 concerns were, one, that the comparison and
11 completeness between the Works Technical and
12 totals that we had in hand only went to 1981.
13 That's been expanded to 1987.

14 And also the counting of only -- the
15 inclusion of the samples that wouldn't actually
16 go into the coworker model but would have been
17 included in the Works Technical certainly improve
18 the percentages that we see here in front.

19 I guess the only question and/or
20 comment I would have left is, based on the
21 operational history of the site, do we have any
22 reason to believe, or any reason why in, for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 example, the 1980 and 1969 were down in the 70s
2 where other years were either above 100 or in the
3 90s, or really above 100 or in the 90s it looks
4 like.

5 (Telephonic interference.)

6 MR. KATZ: Okay. I think they cut
7 that line. Bob, are you still there?

8 MR. BARTON: Yes, I'm still here.

9 MR. KATZ: Okay. It sounds like they
10 just cut the line. I got an email from Zaida
11 that they were working on it.

12 MR. BARTON: Alright.

13 CO-CHAIR CLAWSON: Come on, Bob. You
14 can do it, Bob, this time.

15 MR. BARTON: I'll just talk really
16 loudly. My question, remaining question was, for
17 the three years there where the totals are more
18 into the 70s -- 70 percent, not the 1970s -- 1969
19 at 77 percent, '80 is 70 percent, and '82 is 74
20 percent. Do we know anything specific about
21 those years as far as operationally and the
22 exposure potential to the trivalents that would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 give us pause?

2 And/or is that information available
3 that we could say, well, you know, it looks like
4 maybe we don't have as many samples in hand as
5 what's reported, but there's no reason to think
6 that the potentially missing samples would unduly
7 affect any sort of derived coworker values.

8 DR. TAULBEE: This is Tim. The only
9 thing -- and I'm going to kick this to Matt Arno
10 in just a second here for his opinion on it. The
11 only thing that I know of from an analysis
12 standpoint would be the 1969 type of era where
13 they began to change their bioassay technique
14 with regards to how they did some of the
15 separations. But that's the only thing that I
16 know of, and that happened in the '69 to '70 type
17 of timeframe.

18 But the other years, no, I'm not aware
19 of any other operational type of changes that
20 would affect that. Matt, are you aware of
21 anything?

22 MR. ARNO: No, I'm not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Okay.

2 MR. BARTON: I'll take myself off mute
3 there. Obviously the question of what is an
4 adequate percentage to have is sort of a matter
5 of professional judgement.

6 Like I said, most years it looks
7 really good in that we have, for most of the
8 years, we have more samples than what was being
9 reported, and it's just those sort of three
10 outlier years where you're down in the 70
11 percent.

12 You know, there's really no reason to
13 think that those years were any different than
14 the other ones. There's no reason to think that
15 missing data points would change, effectively,
16 what your time-weighted OPOS values end up being
17 for that timeframe.

18 I'm not sure what else there is to do
19 except ask the Work Group what their opinion is
20 as far as the percentages we're seeing right now
21 and whether that's sufficient to close those two
22 findings.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And really Observation 4 is just
2 noting that we saw, like there was a number of
3 years prior to 1969, we have a lot more samples
4 than were being reported. You see in 1963 there's
5 173 percent; 1968, 160 percent.

6 MR. ARNO: There's only 19 samples in
7 1963. I don't think you can say much about a
8 percentage on such a small number of samples.
9 But one thing to keep in mind with these
10 percentages is that, more than a particular
11 percentage, the real key thing to think about is,
12 is there enough data to do a statistical analysis
13 for your cohort or your strata?

14 If you have enough data to do that
15 analysis, even if perhaps the percentage is not
16 as high, you should still consider that you have
17 enough data to do a coworker study.

18 MR. KATZ: Whoever was just speaking,
19 can you just please identify yourself for the
20 court reporter?

21 MR. ARNO: Matt Arno.

22 MR. KATZ: Thanks, Matt. I thought

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so, I just wanted to be sure.

2 MR. BARTON: I understand that point
3 of view. But when we're talking about
4 completeness, we're talking about do we have all
5 the data in hand, or do we have sufficient data
6 in hand? And that's not just so we can meet
7 statistical requirements such as, you know, the
8 recommended 30 OPOS results in a year or what
9 not.

10 I mean, if there's a significant
11 portion missing, you have to ask yourself, what
12 could that be from and how might that effect your
13 end value? So while I agree, you need to be able
14 to have enough data to fit your distributions, I
15 don't think just saying, well, we have enough
16 data to perform a statistical analysis is the
17 same as the data is complete.

18 MR. ARNO: Well, we've never said that
19 the data has to be complete. It has to be
20 representative.

21 (Simultaneous speaking)

22 MR. ARNO: -- would be relevant that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's a bias in why there's data missing.

2 DR. NETON: Right. This is Jim. I
3 think that's a good point. Is there a
4 differential bias in those samples that we don't
5 have? Is there some database of incident reports
6 or something that we're missing? And then if we
7 can't, if that doesn't happen, or that doesn't
8 exist, then I think we're okay. But I'd be
9 interested to hear more discussion on that.

10 MR. BARTON: Well, I think we're sort
11 of at a point -- again, we're only talking about
12 those three years where it sounds like we don't
13 have any information really at all to say what
14 those missing samples might have been.

15 So there's no reason to think they
16 were all the high one, and then there's no reason
17 -- really we just don't know for those years.
18 And I guess in the end game, if the surrounding
19 years are similar in process and there was
20 nothing special going on during those years to
21 make us worry about the missing records, then
22 that's sort of where we're left and it's really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a professional judgement or sort of a policy
2 decision.

3 DR. TAULBEE: This is Tim. If you
4 look at the intake model that Matt has developed
5 for these particular radionuclides, you don't see
6 any big drops or increases in those years.

7 So, you know, from our standpoint, you
8 know, we do the intake modeling, we're combining
9 workflow, we've got individual data points but
10 we're actually doing a modeling of a chronic
11 intake over that time period. So, you know, I
12 don't think that this really has much of an impact
13 on the final coworker model.

14 MR. BARTON: I agree with that. And
15 that's the only reason I brought it up again is
16 to see if there was anything special happening in
17 those years that would make us think that there
18 might be a problem with completeness there.

19 And what I'm hearing is that all the
20 values look pretty similar, and we really don't
21 know why they were a little bit lower in those
22 years. But it doesn't -- we have no reason to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think it will actually impact the resulting
2 coworker model.

3 I mean, I'd like to hear the Work
4 Group's thoughts on that, but I'm not sure what
5 else we can do other than to say we don't have
6 any information to suggest that those years are
7 problematic.

8 PARTICIPANT: Hello?

9 MR. BARTON: Hello. Is everybody
10 still with me?

11 MR. KATZ: Yes.

12 DR. TAULBEE: I guess I would ask the
13 Work Group, what do you feel --

14 CO-CHAIR MELIUS: This is Jim Melius.
15 (Telephonic interference.)

16 CO-CHAIR MELIUS: There's a foghorn on
17 Ted's boat, you can ignore it. The yacht.

18 No, I think the question is did we
19 adequately investigate that, and document it?

20 DR. TAULBEE: Do you think we have
21 here?

22 CO-CHAIR MELIUS: Well, I'm asking. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think that was Bob's question, too. And I sort
2 of hear, you know, speculation around the
3 numbers. But it's also, you know, what was
4 happening at the facility in those areas at that
5 particular point in time, or those three years.

6 Again, it may not be a big deal in
7 terms of the overall, you know, coworker model,
8 but for people working in those years, it makes
9 a difference.

10 DR. TAULBEE: Well, when we modeled
11 the intake, we actually, for those particular
12 years, we're smoothing over the intake model. So
13 for a block of time we assign a particular intake,
14 daily intake, as pointed out in our coworker
15 model report.

16 So, people who worked in those years
17 would get the same as the people in the adjacent
18 years, basically. Whatever that intake model
19 predicted.

20 CO-CHAIR MELIUS: We can come back to
21 that.

22 MR. BARTON: If I might, maybe one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 possible way to sort of put this to bed is to
2 have some sort of an official response about what
3 we see in the data. Where we are right now is
4 we're not seeing a large change in magnitude of
5 bioassay results in those three years.

6 And off the top of our heads, we don't
7 have any reason to believe that anything was
8 different in those three years. Maybe it would
9 be beneficial to sort of officially put this to
10 bed, to have a discussion of what activities were
11 ongoing, and why there's no reason to think that
12 those years would be problematic. No special
13 campaigns or anything like that, is what I mean.

14 DR. TAULBEE: Okay, I guess we could
15 do that. That is certainly something we could
16 do. Alright. I will mark this one then in
17 progress as well.

18 MR. KATZ: Right. Thanks, Tim.

19 DR. TAULBEE: Okay.

20 MR. BARTON: Okay. And then obviously
21 we were saying this trivalent, these data were
22 also used for thorium. At least in 1969 this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data would have been used for thorium.

2 In the 1980s, I just wanted to note
3 that currently NIOSH is not -- I believe a change
4 occurs in 1981, is that correct, Tim, for
5 thorium?

6 DR. TAULBEE: Actually, these would
7 not be used for thorium, because the site is
8 already an SEC due to thorium through October
9 1972. So we are not using those values for
10 thorium. If you look at the thorium coworker
11 model, it starts in '72. So '69 doesn't effect
12 it. We only use the coworker model through May
13 of 1980.

14 MR. BARTON: Okay. I just wanted to
15 note that there was sort of a change in
16 methodology there. Prior to this, intakes were
17 using urinalysis all the way through the '80s.
18 But that method has recently changed. So I just
19 wanted to note that for the Work Group's benefit.

20 But I think we can move on. Let me
21 go to the next slide here. This is Finding 3,
22 and again we're still talking about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trivalents. And this was the combination of
2 multiple years of bioassay data for the purpose
3 of coming up with your OPOS result which then
4 gets modeled to an intake.

5 And I just wanted to read specifically
6 from the Implementation Guide. It says, "if
7 because of data limitations it is necessary to
8 consider time intervals beyond one year in the
9 coworker model, any changes in site practices or
10 operations should be evaluated to ensure that
11 data can be validly combined. In general, group
12 time intervals should not exceed a three-year
13 period unless there is stringent justification to
14 do so."

15 So, during the 1980s there were a
16 couple years that were grouped together, but they
17 weren't really discussed in the context of,
18 again, what operations were going on that would
19 allow for the combination of data? Simply
20 because we don't have enough statistically is
21 only part of the equation. But when you do
22 combined longer periods like that, there should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be some discussion of what the operations were
2 occurring at the site to say that the combination
3 of those years is technically appropriate.

4 DR. TAULBEE: This is Tim. Findings
5 3 and 5 are the two responses that we are
6 currently working on. And we are trying to gather
7 that information that you pointed out there. So
8 we are still working on those two responses for
9 Finding 3 and Finding 5.

10 MR. BARTON: So for those two we would
11 have those be in progress.

12 DR. TAULBEE: Actually, Ted, correct
13 me here. There's an open status, what is that
14 for?

15 MR. KATZ: Well, that's for before
16 it's discussed. So actually it doesn't matter.
17 You can say in progress because you guys are
18 following up on it. It's open generally before
19 the issue's been raised in a Work Groups.

20 DR. TAULBEE: Okay. I was going to
21 leave it open since we hadn't responded yet.

22 MR. KATZ: In progress is fine. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fine, because you're acting on it.

2 DR. TAULBEE: Okay, alright.

3 MR. BARTON: Okay, moving along to the
4 next slide. This is Observation 5. I'll just
5 read it. It is not clear to SC&A why the date of
6 the bioassay sample is not considered a critical
7 field for the purpose of performing QA tests on
8 transcribed datasets for trivalent actinides as
9 well as tritium, because the date of the sample
10 is a crucial component to correctly performing
11 the time-weighted OPOS calculation for the
12 trivalents. And obviously the calculation of the
13 annual tritium dose also depends on what the
14 sample date is.

15 DR. TAULBEE: Okay. And this is Tim.
16 And if I can pull up my desktop I can share our
17 response to this.

18 MR. BARTON: Okay, I'll hand it over
19 to you.

20 DR. TAULBEE: Alright. And basically
21 we want to point out to the Work Group that all
22 fields relevant to calculating the time-weighted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 OPOS result are subject to quality assurance,
2 including the bioassay sample date. A
3 qualitative judgement was made regarding the
4 field as to which to subject the one percent
5 criteria to in which to evaluate to a five percent
6 acceptance criteria. So, all of the data have to
7 meet the five percent error acceptance criteria.
8 I mean they've got to be 95 percent accurate.

9 When evaluating censored data, which
10 is the majority of this particular data, the
11 variability of precise date has less of an impact
12 on the time-weighted OPOS result than the
13 magnitude of the bioassay results.

14 The date is a single value impacting
15 only part of the time weighting determination of
16 the time-weighted OPOS result, because if a
17 person's got four bioassay samples in a
18 particular year, and, you know, one of the dates
19 is off, it really doesn't have a huge impact on
20 that particular time-weighted OPOS result. It
21 just kind of shifts a little bit within that year.

22 So, that result is then only, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know, like I said, only one value in
2 determination of the distribution of results for
3 a given year. That date, then, is just one person
4 within a given year. We have typically 30 or
5 more people within that year that are making up
6 the distribution.

7 The geometric mean and geometric
8 standard deviation of the distribution are in
9 turn one data point that's used in the
10 calculation of the intake rate where look at over
11 a larger interval.

12 So this impact of the maximum five
13 percent error -- and typically, if you look at
14 all of our results, the results or the actual
15 error is less than three percent, even with a
16 five percent criteria because we have a
17 confidence interval about it, we don't think that
18 it has a significant impact on the final
19 calculated intake result, because of all of the
20 averaging that's going on. And the critical
21 fields to us were the bioassay value, the
22 individual magnitude of the result.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: Okay. Obviously, I'm
2 just seeing this today. It's not immediately
3 clear to me that it doesn't have an impact. I
4 mean, if you weight the magnitude of a bioassay
5 sample by one day instead of 60 days, or a week
6 instead of 90 days, I mean, that's going to
7 significantly decrease that value's impact on the
8 time-weighted OPOS.

9 Or if you had a wrong year in the date,
10 they you have a bioassay sample that's not even
11 being applied to the correct year. So I'm not
12 sure --- like you said, it's a qualitative
13 decision, not necessarily a quantitative one.

14 DR. TAULBEE: But also keep in mind
15 that it is subjected to acceptance criteria. The
16 error rate in the sample date has to be less than
17 five percent, otherwise we go back and recode
18 part of the data and fix it. And then subject it
19 to -- you know, find out if it's a systematic
20 error. There's a lot of things that we do. So,
21 95 percent of those dates are absolutely correct.

22 MR. BARTON: Okay, I understand what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you're saying. I guess my feeling is that, based
2 on how the date factors into weighting the
3 bioassay results, it seemed, at least to me, at
4 least as though -- it seems important, just like
5 the actual bioassay results itself, because it's
6 a multiplier to that bioassay result. Again, I
7 guess it's a qualitative judgement, and I would
8 like to hear others' thoughts on that.

9 MR. ARNO: This is Matt Arno. One of
10 the points we're making regarding the censored
11 data is that, for most of these individuals,
12 their bioassay consists of a string of less than
13 MDA, or less than reported level results.

14 And if you have a string of those, and
15 you're off on the date of one of those by a week
16 or three months or however long, it actually has
17 no impact on the time-weighted OPOS calculation
18 being done.

19 So obviously if it's greater than MDA,
20 it does have more impact. But for a string of
21 less than MDA data, the date really being off by
22 weeks or months doesn't really change it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: Now hold on a second
2 because yes, most of the data is below the
3 censoring level, but we're using those data as
4 is. The numerical results that are below the
5 detection limit are being averaged and fed into
6 the coworker model. So it does have an effect.

7 If we were just going to say that it's
8 less than the MDA and everyone's less than three,
9 then I agree with you, there's no effect. But
10 since we are using the numerical results that are
11 less than the MDA ---

12 MR. ARNO: What I'm saying is most of
13 that data is censored, you have a string of
14 censored results.

15 MR. BARTON: And I'm saying that the
16 way, at least I understand the data is being used,
17 you're not actually using the censored result.
18 You're using the actual numerical value reported
19 which is below the censoring level.

20 MR. ARNO: It's available. It's not
21 always available.

22 MR. BARTON: Well, for the trivalent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 database, and correct me if I'm wrong, that
2 information is available.

3 MR. ARNO: It's available for a number
4 of years for that data set. But this same process
5 is being used for all the data sets.

6 MR. BARTON: So, wait. It is relevant
7 for trivalents then, correct?

8 MR. ARNO: You're still dealing with
9 numbers over a small interval of values. It has
10 an impact, it just doesn't have much impact. We
11 were never making an argument that it has no
12 impact, we're just making the argument it has a
13 very small impact, and therefore not worthy of a
14 higher degree of rigor.

15 DR. BUCHANAN: This is Ron Buchanan.
16 I would like to make a clarification here and say
17 that the dates can't be off by more than five
18 percent, well, okay the individual date can be
19 off more than five percent. It's just the overall
20 error, typo error cannot be off by over five
21 percent.

22 But any certain date can be off a year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or ten years. It's not limited to five percent
2 variance in the actual date.

3 DR. TAULBEE: That is correct. But
4 again, 95 percent of that data, the sample dates,
5 are correct.

6 DR. NETON: And we're using the 95th
7 percentile of the distribution.

8 DR. BUCHANAN: This is Ron again.
9 Yes, on some instances the date is very
10 important, some instances it -- so it's hard to
11 make a blanket statement of whether a date is
12 going to impact the results --

13 DR. NETON: What I'm trying to say,
14 Ron, is that if five percent of the data are wrong
15 and they're biased low, the 95th percentile is
16 still okay. Right?

17 DR. BUCHANAN: Yes, okay. What I'm
18 saying just as a general rule is that on an
19 individual dose reconstruction, a date is usually
20 fairly important. When you're doing coworker
21 where you have a lot, or you're mingling a lot of
22 data, then it depends on whether the date is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 important or not.

2 DR. NETON: Well, I think 95 percent
3 rides a pretty good degree of importance to it.
4 You have to make a value judgment, like was said,
5 whether you pick 95, 99. Ninety five percent is
6 a very good acceptance criteria. I mean, it's a
7 very rigorous acceptance criteria. It's not like
8 we're at, say, 50 percent.

9 MR. BARTON: Well, I certainly don't
10 want to beat this to death. I just -- my point
11 was that given the way, due to the OPOS
12 calculation where the date really could be fed in
13 anywhere from, you know, one day to the full year.
14 But it appears to me numerically it would be at
15 least as important as the actual sample result,
16 which is held to the one percent criteria.

17 We can disagree on that point, and I
18 would certainly like to hear the Work Group
19 weighing in on that.

20 CO-CHAIR CLAWSON: To be honest with
21 you -- this is Brad --- I'm totally confused on
22 where we're at --- on everything. So just, maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in laymen's terms, just rough it up for me here
2 because I am under the impression that the dates
3 do matter, myself. But also, too, I'm
4 understanding that if there's a small variance,
5 okay, it's not that critical. But I'm a little
6 bit --- what the issue is here, so.

7 MR. BARTON: Alright, I'll try to take
8 a crack at it. When you do the time-weighted
9 OPOS, let's just say for a certain value, you
10 weight it by the number of days in between
11 samples.

12 So if you think about it, if the date
13 was off by, say the samples are two weeks apart,
14 but the date says --- was input incorrectly and
15 now they're only a week apart. That sample is
16 going to be -- have a weight that's essentially
17 one half what it should be. I guess you're only
18 weighting it over a one week period versus where
19 it should be weighted over a two week period.

20 CO-CHAIR MELIUS: This is Jim Melius.
21 I mean, I think I tend to agree with NIOSH. I
22 think it's yes, from the individual calculation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it may be important. But you know, given the
2 five percent criteria and given the 95 percent
3 utilization of this, you know, 95th percentile.

4 I just can't see where it makes a
5 significant difference unless you have a very,
6 you know, weird set of data. And somehow I think
7 that would be picked up by, you know, other means.

8 DR. TAULBEE: This is Tim. The
9 question I had, were there any Work Group Members
10 that care to share their opinion of it?

11 (Telephonic interference.)

12 MR. KATZ: Jim, I don't know if others
13 can hear you, but, Jim Lockey, but you were very
14 hard to listen to for me. You weren't coming
15 through. Jim Lockey?

16 CO-CHAIR CLAWSON: Okay, way to make
17 him feel bad. Now he's not going to talk.

18 MR. KATZ: Now he doesn't want to play
19 at all.

20 CO-CHAIR CLAWSON: No. Hello.

21 (Simultaneous speaking.)

22 MR. KATZ: Jim Lockey, you want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 repeat what you were trying to say?

2 MEMBER LOCKEY: Can you hear me now?

3 MR. KATZ: Yes.

4 MEMBER LOCKEY: Okay. So I know I
5 haven't done any research that relates just to
6 these types of databases' relationship to
7 radiation exposure. But in relationship to other
8 occupational circumstances. The outline that Jim
9 sort of eluded to, 95 percentile and one week
10 difference or two weeks. It's not going to make
11 a big difference from what I currently
12 understand. So I sort of agree with Jim, in that
13 I don't think it's going to make a --- this type
14 of date is not going to --- fluctuation in date
15 is not going to make a big difference in this
16 particular database.

17 DR. TAULBEE: Okay, can we consider
18 this one closed then?

19 CO-CHAIR MELIUS: Yes, yes you can.

20 DR. TAULBEE: Thank you.

21 MR. BARTON: Okay. Should I take back
22 over here?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking.)

2 MR. BARTON: Yes, Tim, I think you're
3 going to have to either give me control or stop
4 or something.

5 DR. TAULBEE: Sorry about that, I
6 apologize.

7 MR. BARTON: No problem at all. Just
8 get this loaded back up here. So that was again,
9 that was Observation 5. Okay, in a similar vein,
10 Observation 6 we're requesting a little bit of
11 clarification on what aspects of the tritium
12 coworker model were subjected to the QA criteria.

13 When we looked at the appendix where
14 that information is contained, it appeared to us
15 that the only thing that was subject to a QA
16 process was the delineation between construction
17 and non-construction workers.

18 This one is a little bit unique.
19 Again, this is an observation, it's unique
20 because we're basing it on claimant records. But
21 I was wondering things like the transcription of
22 those data from the -- their claimant dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconstructions, you know, how were those
2 transcribed and what QA criteria did the
3 compilation of that data which originally went
4 into a dose reconstruction undergo, and how does
5 that really relate back to the QA criteria we're
6 talking about when we formulate a coworker model.

7 DR. TAULBEE: Okay. This is Tim. And
8 basically the result is checked as a critical
9 field, the construction trades worker
10 designation, the date, and the area were checked
11 as non-critical fields.

12 The result was checked at the one
13 percent criteria. The designation, the date, and
14 the area were checked as non-critical or five
15 percent criteria.

16 The results for QA checks for fields
17 other than the CTW designation were inadvertently
18 admitted from Revision 3 that will be included in
19 Revision 4. And so I guess my question to Ted
20 then, would this one then fall into the in
21 abeyance scenario?

22 MR. KATZ: Yes. It sounds like that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exactly what that would be.

2 DR. TAULBEE: Okay. Does that answer
3 your question, Bob?

4 MR. BARTON: It does. And I guess, a
5 sneak peek, I'm assuming that it passed the QA
6 criteria.

7 DR. TAULBEE: Yes it did.

8 MR. BARTON: Okay. So we can place
9 that one in abeyance. Okay, here again these are
10 not really findings or observations, but just
11 some suggestions or issues that we sort of
12 discuss in the report so I didn't want to omit
13 them here.

14 And we just discussed the tritium
15 coworker dose based on claimant records. So, by
16 definition it's not complete. But how do you get
17 around --- get your head around whether it is
18 truly representative. I mean, one would think
19 that it would be a cross section, but how do you
20 really know.

21 One of the things that was presented
22 was a table, Table 81. And I put this into visual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 form here, this appears in our report on Page 16.
2 And this is one way you can try to get around --
3 like, figure out if what you have when you use a
4 claimant population is truly representative.

5 One thing you want to look for, first
6 off, is how do the -- what is the comparison
7 between construction trade workers and non-
8 construction trade workers. And what you want to
9 really look for is how do those trends on a yearly
10 basis follow.

11 And as you can see in this figure,
12 they actually follow quite well. So it doesn't
13 appear that for certain time periods you don't
14 have a representative sample of construction
15 trade workers and the proportions between who was
16 monitored as a non-construction trade and a
17 construction trade, the relative magnitude of
18 each, and the variation year by year is pretty
19 good. So that's one way to do it.

20 The second figure, we'll go to the
21 next slide. So this is one we put together. And
22 what we did is just to put it visually out there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was to compare the total tritium samples
2 reportedly taken by year versus the total tritium
3 workers we have in the claimant population which
4 we're using as a representative sample.

5 And really what you want to look for
6 here is the trends. So for example if you had a
7 situation where the total site-wide tritium
8 samples was going way up, but our worker
9 population is going way down, that might be
10 problematic.

11 But here, the trend that is sort of
12 the delta between years looks pretty consistent
13 except for when you get into I guess the late
14 '70s here.

15 Another thing that might, you know, be
16 suggested -- again, these are not findings nor
17 observations --- but if you could compare the
18 total site-wide tritium samples to the total
19 claimant tritium samples, that would provide an
20 even more meaningful comparison.

21 Or if it was possible, to compare the
22 number of site-wide monitored tritium workers to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the number of monitored claimants we have, and
2 again compare the temporal trends.

3 I know we, when we talked about this
4 in the report we had found at least one report
5 from 1968 that listed there was approximately
6 1,400 tritium workers at SRS. We have about 250
7 claimants who have tritium monitoring data in
8 that year. So that's eight percent of the total
9 site.

10 So I mean, if it was possible, if we
11 could do that on a year-by-year basis and see how
12 that 18 percent holds up, you know, for example
13 if it stays right around that 18, 20 percent,
14 whatever it is, you could say well, by proportion
15 we have a consistent proportion of claimants
16 relative to the number of workers who were
17 monitored.

18 Again, we only found that one report,
19 so I don't know to what extent that could be done
20 for other years. But since this is a claimant
21 coworker data set and not a site-wide data set,
22 these are just some things that could be done to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 show that the use of the claimant data set is
2 truly representative.

3 And again, these are just suggestions.
4 I don't know if anyone has any comments on that
5 or if NIOSH knows whether those sorts of
6 comparisons are possible, whether -- I would
7 imagine that the total site-wide samples, not
8 workers but samples, could be compared against
9 the claimants, but we didn't have information as
10 to the total number of tritium samples by year
11 that were used.

12 And I assume that's because really we
13 started with annual doses that had already been
14 calculated via the dose reconstruction process.
15 So again, these are some things we discuss in the
16 report about how, when you're trying to establish
17 that the data set you have is representative of
18 the exposure potential to all workers, these are
19 some ways you can go about building a case for
20 that. And so we just wanted to point that out.

21 If there are no comments or questions,
22 I can move on to --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR MELIUS: I just have one
2 comment. Steve Melius. So I would just reiterate
3 that sort of request from Bob. I think that would
4 be helpful in sort of at least make some of us
5 like me, who is uncomfortable with using the
6 claimant's database as being representative, feel
7 better about it.

8 And I think there's enough data at
9 this site that -- enough workers that it could be
10 done. Whether --- how accessible the NOCTS data
11 is for doing this kind of analysis, I don't know.
12 So I'm not sure how feasible it would be.

13 DR. TAULBEE: I guess let me ask you
14 for a clarification. Are you wanting us to
15 compare the number of samples in the NOCTS data
16 set to the total number on site for trends? Is
17 that what you're asking?

18 CO-CHAIR MELIUS: Are they parallel.
19 Do the lines -- they're obviously going to have
20 different numbers, I mean, but if it's
21 representative then it should parallel the
22 overall samples.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Okay, we'll look into
2 this and get back to you as to whether it's
3 something that we can easily do, or if it's going
4 to take a significant effort. Is that okay?

5 CO-CHAIR MELIUS: Yes, that's fine. I
6 don't expect you to be able to answer that. But
7 I think it would be supporting what Bob was
8 suggesting.

9 DR. TAULBEE: Okay.

10 MR. BARTON: Okay, moving on to --
11 that ends the findings and observations about
12 completeness, so we'll be moving on to monitoring
13 practices.

14 And so for monitoring practices we
15 have two findings and an observation. Here we
16 have Finding 4, and I'll just read that, in the
17 SRS bioassay procedures the routinely monitored
18 workers during the early periods -- so that would
19 be 1954 to 1970 for tritium and '64 to '67 for
20 exotic trivalents --- are not addressed, SC&A's
21 review of the bioassay control reports referenced
22 to this period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They didn't provide any sampling
2 schedules or bioassay, I guess protocol is
3 probably a better word than procedures.
4 Therefore, it would be advantageous to have that
5 additional information concerning the bioassay
6 requirements for the earlier period. And we do
7 have a response from NIOSH on that, so I'm going
8 to quick skip ahead to that and let Tim talk about
9 it.

10 DR. TAULBEE: Once I get off of mute
11 and finish making a note from the last finding.
12 Okay, just a second here, I'm sorry. Okay, we're
13 basically, as Bob's pointed out here, we
14 acknowledge it would be advantageous to have more
15 information, as always, I mean that kind of goes
16 without --- however, no additional information
17 has been found.

18 Summary reports in the americium
19 logbooks don't indicate an increase in the number
20 of samples collected in 1969, which is consistent
21 with americium being added to the list of
22 radionuclides addressed in the bioassay control

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 procedures in the time period.

2 And, also, as I pointed out earlier,
3 keep in mind that this is when kind of the
4 methodology that the sequential type of
5 extraction began as well.

6 The fact that the samples were
7 collected, analyzed, and were reported in the
8 summary reports prior to this time period, that
9 indicates the sample was, in fact, occurring and
10 was routine enough to be included in the summary
11 reports.

12 And this will conclude that the
13 monitoring program did exist even if not formally
14 documented in the bioassay control procedures as
15 to, required as to who was sampled and when.

16 I would also indicate that, and, Mike,
17 please speak up here whenever I -- if I am
18 misspeaking here, but I believe that the major
19 campaign with producing americium, curium and
20 californium really began to kick in in the late
21 1960s, which is part of why you see this large
22 increase from that particular time period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Mike, is that correct or not? Oh,
2 wait a minute, I don't see Mike Mahathy's name on
3 the --

4 MR. MAHATHY: Oh, yes, I'm here. I
5 got kicked off the list and I can't get back in,
6 but that is correct.

7 DR. TAULBEE: Okay.

8 MR. MAHATHY: It was the curium 1 and
9 curium 2 programs.

10 DR. TAULBEE: Yes, that's -- I wasn't
11 sure if that was in that exact time period or
12 not. I just wanted to make sure.

13 MR. BARTON: Okay, and I understand,
14 you know, like you said you always want to have
15 that documentation, again, we're talking about
16 the overall monitoring practices of a site and
17 characterizing those to assure that you're
18 looking at the right people.

19 That information doesn't exist, or
20 hasn't been discovered to date so that sort of,
21 it is what it is. I guess I would say one thing
22 that might help us put it to bed is documenting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that response that we just heard that, you know,
2 it wasn't maybe a formal procedure about who was
3 going to get monitored, well that's because the
4 use of the isotopes was maybe, you know, bench
5 scale or something like that and there really
6 wasn't a need for documentation of a formal
7 program.

8 I think that argument could be made
9 and I think it would be helpful to make that
10 argument when we don't have a formal
11 documentation about who was supposed to be
12 monitored and for what reasons.

13 DR. TAULBEE: Okay. So you're
14 suggesting we kind of incorporate this into the,
15 a revision of a worker report, is that what you
16 are proposing?

17 MR. BARTON: I think so. I think when
18 you look at the coworker implementation
19 guidelines, these are sort of the aspects that
20 should be discussed to really round out that this
21 coworker model, you know, we touched on all the
22 issues within, you know, maybe the reference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 documenting who was supposed to be monitored
2 isn't for the entire period we are interested in,
3 but for reasons A, B, and C, you know, it's not
4 really an issue because the program, or the
5 operations at the site really just didn't warrant
6 it and that's why we don't see any discussion of
7 it and that's the reason why we are okay using
8 later procedures which really delineate who is
9 supposed to get bioassayed and when.

10 DR. TAULBEE: Okay. We can certainly
11 do that. So I guess then, sorry to keep bugging
12 you on this particular thing here, Ted, but I'm
13 trying to -- this is the first time I have really
14 used this, so then we would put this one then in
15 abeyance until it's incorporated into REV-4?

16 MR. KATZ: Yes, if that sounds good to
17 the Work Group then that's what you would do.

18 DR. TAULBEE: Yes.

19 MR. BARTON: So I guess the only thing
20 I would add is we really haven't seen the full
21 rationale for it yet, so --

22 DR. TAULBEE: Oh, okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: Yes.

2 DR. TAULBEE: We'll put it as in
3 progress and we will -- well, we'll incorporate
4 it in there and then you guys will be able to see
5 it. Okay.

6 MR. BARTON: Okay, I'm going to jump
7 back a slide because I kind of glossed over
8 Observation 7, and this is really quick. This is
9 about the V&V activities for construction trade
10 workers.

11 Now I think we can just probably wait
12 on that one since, obviously, there was a lot of
13 discussion and some action to move forward on
14 that about to what extent the construction trade
15 workers, especially subcontractors, are
16 adequately represented.

17 So that's definitely an issue. It's
18 an observation here because we know that activity
19 was ongoing when we wrote those reports and it
20 appears that it is still ongoing.

21 So if anyone has any further comments
22 on that we can move ahead.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Give me just a second
2 here to catch up. Just a second, please.

3 MR. BARTON: No problem.

4 DR. TAULBEE: This is Observation 7.

5 MR. KATZ: Yes, so that's in progress.

6 DR. TAULBEE: Yes, okay. Alright.

7 MR. BARTON: Okay. Moving along, we
8 are at Finding 5, which relates only to thorium,
9 and I'll read this in.

10 While evaluating monitoring practices
11 related directly to thorium it is not possible
12 because SRF did not directly monitor for thorium.
13 A discussion of a relationship between trivalent
14 actinide monitoring practices and thorium
15 exposure potential is warranted to establish that
16 the trivalent urinalysis is appropriate for
17 thorium.

18 And this is something that was
19 discussed at a Work Group meeting back in 2014
20 and one thing we had suggested is if we have a
21 known list of people who were really involved
22 with thorium work and then we could take that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 list of people and look to see if they are
2 included in the trivalent coworker database and
3 that would be one, again, piece of evidence that,
4 since we are using these trivalent actinide
5 urinalysis that it is appropriate for those
6 thorium workers.

7 On the other hand, if we have a list
8 of thorium workers and none of them appear to be
9 in this bioassay program, I don't think that's
10 likely, but if that's what we found then
11 obviously that would be problematic.

12 And, Tim, you had indicated that this
13 one you all are still working on formulating a
14 response to.

15 DR. TAULBEE: This is Tim. That is
16 correct. This is one that we are still working
17 on and doing that comparison that you were just
18 now mentioning.

19 The harder part is establishing the
20 people who were working on the thorium projects
21 and then going and jerking them up for the
22 americium curium californium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So we are in the process of working on
2 this particular finding.

3 MR. BARTON: Okay, very good. Unless
4 anyone has any other comments on this one we can
5 keep moving forward.

6 Hearing none, onto the final criteria,
7 which is stratification, and this is Finding 6,
8 and it's derived coworker intakes for stratified
9 into construction and non-construction workers
10 for each of the three revised coworker models.

11 It says three, I separated out thorium
12 from the trivalent but really it's the same data
13 set.

14 However, we did not see the
15 statistical basis in OTIB-81 that stratification
16 was necessary, as is detailed, how you do it in
17 Report 53, and is also talked about in the
18 Implementation Guide, which is Neton 2015.

19 Now there was an analysis that was
20 done, I believe it was in 2012, and that is in
21 Report 55 where a comparison of the data sets
22 were made, but I believe that was before we had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 accepted the time-weighted OPOS methods for
2 analyzing bioassay data, so I'm not sure if that
3 comparison really still has a lot of meaning in
4 the current way we derive coworker models.

5 So, again, the stratification was done
6 and it might be necessary but we didn't see any
7 statistical basis for that, so I open that one up
8 for discussion.

9 DR. TAULBEE: This is Tim. And if I
10 can grab the screen here, because I mean it's --
11 our response is rather lengthy and I want to read
12 it here.

13 MR. BARTON: Okay.

14 DR. TAULBEE: If I can get it here.
15 Okay. For the coworker models for a priori
16 stratification, we base it on either differences
17 and similarities in the radiological work being
18 conducted, exposure potential, if you will, or
19 known differences or similarities in the
20 radiological monitoring methodology.

21 At Savannah River there were three
22 main groups of radiological workers. There was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 operations, which I am going to call production,
2 there was maintenance, which was DuPont
3 construction, and then you had the construction
4 workers.

5 For stratification of the coworker
6 models NIOSH chose to stratify based upon the
7 type of radiological work being conducted as all
8 three groups have a variety, or a hybrid, if you
9 will, of health physics monitoring, as I will
10 discuss here a little bit below.

11 The main difference in exposure for
12 different types of radiological work is based on
13 normal operations versus off-normal operations,
14 if you will.

15 With operations you get people who are
16 routinely processing material inside the glove
17 box or a hood or on a fence top type of scenario
18 are working with the material, but you've got a
19 different exposure potential, as has been pointed
20 out by this Work Group and at other times
21 throughout the past few years.

22 The construction trades workers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exposures are different. That's when they are
2 getting into the non-controlled type of
3 environment.

4 And so that was why we primarily a
5 priori stratified here, and as I said in the case
6 of Savannah River there is significant exposure
7 potential differences between CTWs, maintenance
8 and construction, and the operations.

9 That warranted considering them in two
10 different distinct cohorts or strata regardless
11 -- with regards to coworker models. And so to
12 elaborate a little bit on that, as I said the
13 operations and production workers, chemists,
14 physicists and operators, initially the material
15 handlers, generally work with larger quantities
16 of radioactive materials.

17 And the materials were also well
18 controlled in glove boxes, fume hoods, to prevent
19 or minimize worker exposure. Radiological work
20 conducted by construction trades workers on the
21 other hand typically involved contaminated
22 equipment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So they are not working with raw or,
2 you know, bulk quantities of materials, so they
3 are dealing with smaller quantities, but the
4 engineered controls in the glove boxes, cabinets,
5 fume hoods or duct work that contain the
6 radioactive materials are sometimes
7 intentionally compromised to conduct a renovation
8 or repair. So you've got a tradeoff of two
9 different mechanisms for both groups.

10 As a result the CTW exposure potential
11 could, one, be less than the operations workers,
12 especially dealing with smaller quantities and if
13 they weren't working with much contaminated
14 material.

15 It could be equal to the operations
16 workers. You've got that balance going back and
17 forth, they are more exposed to it, or it could
18 be greater than the operations workers, depending
19 upon the work being conducted.

20 And further complicating the total
21 exposure is the duration of a specific job. In
22 some cases the magnitude of the exposure for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 construction trades workers could be greater due
2 to the duration, that the duration, you know, is
3 -- the magnitude of exposure for CTWs would be
4 greater, but the duration is shorter.

5 This could result in a similar total
6 intake experience by operations, but the delivery
7 is different. In general the exposure potential
8 for CTWs is viewed as being potentially greater
9 but of shorter duration.

10 The difference in exposure potential
11 from the type of work they have conducted is the
12 main justification for the stratification. Based
13 on the past reports comparing operations versus
14 construction, and, again, as Bob pointed out,
15 this was before the time-weighted OPOS
16 methodology, there do not appear to be a
17 significant difference in the total intake
18 between the stratified models, documented in the
19 ORAU Report 39, Report 50, Report 55, Report 56,
20 and Report 58.

21 As Bob pointed out this was all before
22 time-weighted OPOS. However, you know, NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recognizes the limitation with the statistical
2 test conducted, and we discussed that in past
3 Work Group meetings and the Advisory Board SEC
4 issues Work Group also opined that the power was
5 insufficient to observe any differences in the
6 models.

7 So we have kind of, you know, scrapped
8 that particular statistical approach, because
9 when we did it the power was too low. As a result
10 we can tell you the a priori stratify operations
11 from construction trades workers models for the
12 Savannah River Site.

13 The decision was simplified. There is
14 an abundance of data available for both strata
15 for most radionuclides, including in the coworker
16 study.

17 So stratification is also viewed as
18 more timely compared to herding additional data
19 and conducting additional statistical tests, so
20 we didn't conduct additional tests.

21 We a priori stratified the two groups
22 based upon exposure potential. With that I will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 leave it open to discussion.

2 MR. BARTON: This is Bob. I think
3 from my own point of view that sort of discussion
4 and the rationale for why, as you said, a priori,
5 the two groups were stratified. I think that is
6 something that is quite helpful and probably
7 should be included.

8 When we are looking at these coworker
9 models through the view of satisfying the
10 implementation guidelines, I mean it's almost --
11 I almost see it as sort of going through a
12 checklist, you know, okay, we're going to
13 stratify here and these are the reasons why we
14 are stratifying, whether it be statistical or,
15 you know, more judgements based on the different
16 exposure potentials between different groups as
17 you just said and I think that sort of
18 justification is warranted whenever you are
19 developing the coworker model.

20 DR. TAULBEE: But -- so you're
21 suggesting our rationale that I just discussed be
22 incorporated into the coworker model into REV-4,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct?

2 MR. BARTON: Yes, but I'd certainly
3 like to hear the Work Group weigh in a little bit
4 on it and see how they feel about it.

5 I think it's a fairly reasonable
6 approach that, you know, you don't have to always
7 perform the statistical analysis but if you don't
8 and you are still stratifying you should probably
9 explain and document why that's the case. But I
10 would like to hear the Work Group weigh in.

11 CO-CHAIR MELIUS: This is Jim Melius.
12 I mean I think it can, it ought to be referenced.
13 I don't think it needs to be as lengthy as what
14 Tim just read to us for each report.

15 So, I mean, I think you refer back to
16 other reports and so forth, so it doesn't need to
17 be a lengthy discussion item for comment.

18 CO-CHAIR CLAWSON: This is Brad. I
19 agree with Jim on this, you know, in dose
20 reconstruction we are always been wanting to know
21 the terminology as to why this was done, and I
22 agree it doesn't have to be that lengthy, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just so that we could better understand what was
2 done with it.

3 DR. TAULBEE: Okay.

4 MR. BARTON: The only thing I would
5 point out is I believe those reports that Tim
6 talked about during the 50 series reports those
7 were a statistical analysis, correct?

8 DR. TAULBEE: They were, but the SEC
9 issues Work Group had pointed out, and, you know,
10 there is a lot of discussion of power, including
11 observe an actual difference if there was, and
12 so, yes, they were statistical analyses, but
13 they're not being used anymore.

14 MR. BARTON: Alright. So I guess my
15 main point there was that I think currently the
16 rationale for stratification is not necessarily
17 the statistics that went on in those reports but
18 really the more qualitative analysis of the
19 different job types and what those people were
20 out there doing.

21 DR. TAULBEE: That is correct. What
22 we were trying to do with those reports was to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 demonstrate that there really wasn't a major
2 difference so we could combine them, but it just
3 basically didn't have the power, so, therefore,
4 we were just going to keep them separate, that's
5 all. That's fine.

6 So Dr. Melius, if I understand
7 correctly the -- some of the responses here for
8 Finding 6 that's up here on the screen, basically
9 I can take out kind of most of that last
10 paragraph, really shorten this down, and just
11 incorporate that into the REV-4. You okay with
12 that?

13 CO-CHAIR MELIUS: Yes. And if it's
14 easier to just to cut and paste what you have
15 already written that's fine, too.

16 DR. TAULBEE: Okay.

17 CO-CHAIR MELIUS: But for future
18 reports or whatever it doesn't need to be as --

19 DR. TAULBEE: Less detailed, okay.

20 CO-CHAIR MELIUS: Yes.

21 DR. TAULBEE: Alright. So then can we
22 mark this finding in abeyance?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes.

2 DR. TAULBEE: Thank you.

3 MR. BARTON: Alright. If I can steal
4 control from you, Tim, again, and if you need a
5 minute I can hold off.

6 DR. TAULBEE: Thank you.

7 MR. BARTON: Okay, moving along if we
8 are ready to. Okay, this goes to our last
9 observation and basically we felt that there was
10 sort of contradiction in the language and we felt
11 it warranted a little bit of discussion and this
12 goes back to sort of the stratification issue and
13 what we are talking about in sort of different
14 monitoring protocols.

15 So I have two quotes up here that are
16 both from OTIB-81, and I'll read the first one.
17 That SRS construction trade workers were deployed
18 temporarily but frequently for short periods to
19 perform specific tasks usually pertaining to
20 facility construction and modification, system
21 maintenance, and decontamination.

22 These types of jobs were performed by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 workers in both categories, prime construction
2 trade workers and subcontractor construction
3 trade workers.

4 Workers from both categories, worked
5 around the site, while production and operations
6 staff normally worked at six locations. That's
7 the first quote.

8 And then the second quote is both of
9 these types of monitoring programs can be
10 considered to be variations on routine
11 representative sampling.

12 Coworkers normally present in an area,
13 i.e., non-construction trade workers and Roll 2
14 construction trade workers, which are prime
15 workers, the monitoring was specified on an
16 annual basis in bioassay control procedures.

17 For workers intermittently present in
18 an area, i.e. some construction trade workers,
19 the monitoring was based on job plans.

20 And I'm just going to move to the next
21 slide, here is Observation 8. OTIB-81 appears to
22 contradict itself on whether prime construction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trade workers represent a similar monitoring
2 protocol as the subcontracted construction trade
3 workers.

4 Prime construction workers are
5 described as being exposed temporarily but
6 frequently for short periods, but they are also
7 on an annual bioassay schedule that was specified
8 by the control procedures.

9 Meanwhile, the subcontract workers
10 were monitored on a case-by-case basis depending
11 on the local requirement of the job.

12 So I guess this is -- again, this is
13 Observation 8. It's really a question of if a
14 combination of those two groups of workers, if
15 the subcontract workers were really on a, on sort
16 of an intermittent monitoring schedule, or even
17 more extreme, more of an incident-based if
18 something happened during the job then they were
19 going to submit a bioassay sample, is that really
20 comparable to the regular prime construction
21 trade workers which were actually on a routine
22 schedule the entire time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Then again I pointed out those two
2 statements because at least they appear to be a
3 little bit contradictory. But, again, this is a
4 question of the monitoring protocol when you
5 combine groups of workers.

6 In this case we are talking about the
7 prime and the subcontract construction trade
8 workers.

9 Are those prime construction workers,
10 even though they are doing similar tasks, and
11 more frequently and are routinely monitored, are
12 they reflective of the subcontractors which may
13 be monitored on just a case-by-case basis, which
14 is really more analogous to a sort of incident-
15 based monitoring protocol. So that's why we
16 brought this up for discussion.

17 DR. TAULBEE: This is Tim. There is
18 -- You're looking at mixture of the actual
19 monitoring at the Savannah River Site, but really
20 what the bottom line is, the fundamental part is,
21 you know, and we put some of this out this
22 morning, let me back up here a little bit.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 With the subcontractor construction
2 trades worker evaluation we did in the early
3 1980s that we reported on this morning, some of
4 those subcontractor CTWs were on a routine
5 monitoring. Not a huge number of them, but some
6 of them were.

7 So you've got some that are on routine
8 monitoring, you've got some that are on
9 incidents, where radiological conditions changed
10 and the health physics folks required them to
11 leave bioassay samples, and then you've got some
12 that are specified from just the job plan, so
13 it's a mixture.

14 But it's also a mixture for the prime
15 construction trades workers as well if they are
16 all routine bioassay for the most part. However,
17 if an incident happened they were on an incident
18 sampling as well from that standpoint.

19 They have their routine and then an
20 incident happened and they did follow up bioassay
21 to see if they got an intake. So from that, you
22 know, dual monitoring, what we don't see a great

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 deal is of the prime CTWs being on kind of just
2 a job-specific type of monitoring.

3 You do see a little bit of it, but
4 most of, if they were on a routine monitoring
5 then they isn't a job-specific associated with
6 them if the routine would be picking that up.

7 So the workplace monitoring is really
8 a hybrid amongst both groups, you know - or, I'm
9 sorry, with regards to the personal monitoring,
10 not the workplace monitoring.

11 The workplace monitoring for both
12 subcontractors construction trades and the prime
13 construction trades weren't the same, and this
14 was the examples that I alluded to some this
15 morning that we can go through as to how often,
16 how physics was covering, and I'd like to try and
17 walk through some of these examples if that's
18 okay with the Work Group. Is that acceptable?

19 CO-CHAIR CLAWSON: Yes.

20 DR. TAULBEE: Okay. Okay, then I will
21 -- Let me get to where I have, that screen again.
22 And, like I said, I started to go through some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this this morning a little bit, but as you can
2 see the different types of work is being done by
3 the prime construction trades workers and I guess
4 the subcontractor construction trades workers.

5 So we talked a little bit about the
6 fan motors example this morning where they are
7 both wearing two pairs of coveralls and
8 respirators.

9 Let me jump to kind of Example Number
10 2 here, because this one here we hadn't, and this
11 would be work on a high level drain, and this is
12 pipefitters.

13 In this particular example
14 maintenance workers were, or DuPont construction,
15 if you will, were cutting a 4-inch section of the
16 high level drain, and I'm showing this here in
17 Figure 6, the pipe ends were to be plugged and
18 taped and the workers wore two pair of coveralls
19 and a respirator and had continuous coverage from
20 health physics.

21 And you've got here on the screen,
22 those of you who are able to see it, you'll see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the two DuPont construction trades workers here.
2 These were mechanics.

3 In a similar job, subcontractor
4 construction trades pipefitters, B.F. Shaw, were
5 connecting a cell line to the high level drain in
6 laboratory. Like the maintenance workers, the
7 pipefitters were required to wear two pair of
8 coveralls, respirators, when the line is being
9 connected, and health physics also covered this
10 job in a continuous manner. And here you can see
11 that radiation control permit and the
12 prescription here associated with it Continuous
13 monitoring I've highlighted, and the individual
14 subcontractor construction trades workers.

15 The example illustrates that similar
16 work with similar exposure potential is being
17 conducted by both DuPont construction, the
18 maintenance guys, and the subcontractor
19 pipefitters on the highly contaminated drain
20 lines from the cells in radiological areas.

21 The workplace protective clothing
22 requirements and workplace monitoring were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 similar. So we believe these two groups should
2 be in the same coworker model and so they should
3 be combined.

4 Example 3 is ceiling tile work. This
5 would be electricians and these would be the
6 DuPont maintenance workers and they are removing
7 contaminated ceiling tile. They were to wear two
8 pair of coveralls and respirators to clean the
9 overhead area. And there was monitoring at the
10 beginning of the job and intermittent health
11 physics monitoring throughout the job.

12 Now if we look at similar
13 subcontractor construction trades workers, these
14 would be the electricians from Miller-Dunn, also
15 removed ceiling tiles to install electrical
16 conduit. In this example the electricians wear
17 a single pair of coveralls and the respirators
18 when working with ceiling and drilling holes.
19 Health physics coverage was at the start of the
20 job and intermittent except when drilling holes
21 in the cell walls.

22 During the drilling operations health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 physics provided continuous coverage
2 illustrating additional coverage based on the
3 risk of the potential for exposure. And here you
4 can see on the job plan where this is highlighted
5 with an asterisk, the respirator to be used while
6 working in ceiling and drilling holes.

7 So, again, these two examples
8 illustrate that the type of work being conducted
9 was similar, working with contaminated materials.
10 And we feel they should be part of the same
11 coworker model.

12 Example 4 is work with master-slave
13 manipulators. This would be on the hot cells
14 that Joe was talking about earlier. And this is
15 to remove the end and repair the master-slave
16 manipulator. And it indicates from the job plan
17 that radiation control survey required when
18 disturbing any part of the slave end. This would
19 be the part that's connected to the hot cell.
20 And at that time period masks would be required
21 as dictated by the Rad Control survey, which is
22 DuPont maintenance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 When you look at -- actually, in
2 general, I note here that very few construction
3 operations mention the MSM. One job did note the
4 removal of MSM covers, thus exposing the workers
5 to the cell. This would be a similar exposure to
6 maintenance workers that were working on the
7 slave end. In this instance pipefitters, sheet
8 metal workers, and laborers all participated in
9 the same job, they wore two pair of coveralls and
10 respirators. In addition, the health physics
11 provided coverage throughout the job.

12 And this kind of speaks to another
13 issue here of, you know, they all wore two pair
14 of coveralls and respirators, in addition health
15 physics provided monitoring. The continuous
16 coverage was likely due to the cell contaminant
17 being breached. Stratification by craft in this
18 example would not be appropriate as all the
19 workers had the same potential for exposure.

20 They were all exposed to this open
21 cell when they were doing this work. They've got
22 pipe, sheet metal, and laborers. Again, we've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 got the individuals listed there. And so, you
2 know, the multiple crafts involved were monitored
3 similarly and we believe they should all be part
4 of that same coworker model.

5 Example 5 is the low-level drain, very
6 similar to the high level drain. This is all in
7 the Board Review System.

8 CO-CHAIR MELIUS: Tim, can I interrupt
9 you a second, though, because I think the issue
10 wasn't whether they anecdotally did similar work.
11 I think the question is sort of the distribution
12 of work and the distribution of exposures and
13 were those similar, you know, or should the
14 overall model be stratified by two types of
15 construction workers or more.

16 And then how has that changed over
17 time in terms of how subcontractors were used and
18 so forth? I don't think it's a very easy question
19 to answer and it may be that, you know, sort of
20 a construction worker coworker model may, you
21 know, address it fine with the appropriate limits
22 of the 95 percentile or whatever.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I guess I'm a little concerned
2 that we're trying to address it just through sort
3 of anecdotal data of, you know, groups of
4 workers, unless you are taking a sample of all
5 the work that was done over a period of time by
6 the different groups of workers.

7 DR. TAULBEE: What I tried to do here
8 is to look at multiple examples of different
9 types of trades. And, you know, I've got seven
10 examples here of similar work being conducted
11 between the DuPont construction trade and the
12 subcontractor construction trade to try and give
13 a feel, because you are absolutely right, you
14 know, to try and do a robust analysis I don't
15 really view as possible.

16 So it's kind of a weight of evidence.
17 And so when you look at these -- I mean, you can
18 certainly look at others, and I mention that on
19 the Board Review System here, you know, you are
20 welcome to go through all of these job plans and
21 look at them.

22 I was trying to point out where the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 work was similar such that why we believe that we
2 can combine these two particular groups, keeping
3 in mind that they have similar exposure potential
4 as well as similar monitoring.

5 The workplace monitoring was
6 definitely the same. The major difference would
7 be the personnel monitoring of some of the
8 maintenance guys were more on a routine schedule,
9 whereas the subcontractor construction trades who
10 were not there all the time were more on a job-
11 specific monitoring.

12 Both of them were on incident-based
13 monitoring. When an incident happened both were
14 monitored. That's what I wanted to try and relay
15 to the Work Group.

16 CO-CHAIR MELIUS: But I just don't
17 think a sample of seven examples, you know, is
18 going to address that issue in a satisfactory
19 way.

20 DR. TAULBEE: Okay. How many more
21 examples would you like then? I mean --

22 CO-CHAIR CLAWSON: No, no, Tim --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR MELIUS: 7,000 examples, Tim.

2 CO-CHAIR CLAWSON: Part of the thing
3 that I want to make clear, too, is you told us
4 how electricians are going to do electrician's
5 work, pipefitters are going to do pipefitter
6 work, but the difference between the construction
7 trades and the construction trades with Savannah
8 River might see a lot of difference.

9 I mean, if you talk to any of them, a
10 lot of the construction, not the Savannah River
11 construction, but the construction trades, they
12 use them to turn and burn them, too. They'd bring
13 them in to, if you remember right, in some of the
14 interviews and stuff like this as we've been
15 through, that they'd bring them in for the tanks
16 and be able to pull out the pumps and everything
17 else like that, and those guys are burnt up for
18 the year.

19 And so I understand what you're trying
20 to do there, but I don't think that you can really
21 do that, because from what I have seen and in the
22 interviews and everything else, there is quite a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bit of difference. I don't think you can just
2 lump them all into one thing. I really don't.
3 But, you know what, that's just my opinion. This
4 is Brad.

5 DR. TAULBEE: You know, Brad, I do
6 understand, you know, what you are saying. And
7 do we have evidence of some areas where they did
8 bring in construction trades for some of the
9 hotter jobs and do what you just said, burn them
10 out and move them on? Yes.

11 And we also have examples of them
12 using maintenance on high level jobs because of
13 the potential risks. So we've got both
14 counteracting there going on.

15 And I believe that combining the
16 DuPont construction and the subcontractor
17 construction is appropriate. And I'm kind of at
18 a loss as to what it's going to take for me to,
19 I guess, in a sense demonstrate or prove this to
20 you. Do you want to see more examples of --

21 CO-CHAIR CLAWSON: You know what, you
22 could go on like that for hours and stuff and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then we could go on up there and turn around and
2 do the same on the opposite direction.

3 I don't think that we can really put
4 this -- well, I'm at a loss, too. I don't know
5 how to be able to prove to you that we can't, so
6 I guess we just need to keep going.

7 MR. FITZGERALD: This is Joe. Just a
8 comment. You know, we had a similar discussion,
9 if you recall, and this is going back into ancient
10 EEOICPA history, but we were discussing whether
11 or not the D&D workers at Rocky Flats represented
12 a different group, a different cohort base, based
13 on their exposure potential and their operations,
14 versus the line workers.

15 My perspective was, at the time I
16 recall, that, you know, it just appeared D&D
17 workers were doing just radically different work.
18 They were going into hotter spots tearing down
19 buildings, so we were pretty skeptical at the
20 time. And I think the resolution was to look at
21 the dose distribution of both groups. And I think
22 NIOSH at the time demonstrated that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 distributions were very similar, and that's how
2 that issue was resolved, you know, with some
3 finality. And, frankly, it was a tough one up
4 until then.

5 I don't know if that's possible here,
6 but that was the tack that was taken back then.

7 DR. TAULBEE: We can certainly show
8 from an external standpoint that type of
9 comparison, if that would be helpful. I don't
10 know that we can for an internal, as most of the
11 results are zero.

12 I mean, we could break them out and do
13 a comparison of the, you know, 95th percentile,
14 I guess, of the internal, if that would be
15 helpful. But I'm actually not sure that we've
16 got enough positive data in order to do that.
17 But we definitely could compare the external
18 dose, that can be done.

19 MEMBER BEACH: I don't think comparing
20 the external is going to be helpful in this case,
21 though.

22 MR. FITZGERALD: Yeah. But just going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 back to what Jim was saying, to get beyond the
2 subjective anecdotal, really what you have is
3 either I think dose distribution or something a
4 little harder than that, maybe -- I don't know if
5 we actually have interviewed both sets of
6 workers, but, you know, something that would give
7 you some I guess better sense of the operational
8 history than looking at work, you know, job
9 profiles basically.

10 CO-CHAIR MELIUS: Yeah. This is Jim.
11 I think it would be -- problem one is 3the
12 statistical analysis and are the distributions
13 similar and so forth.

14 And I think the other piece of
15 evidence is, you know, to what extent has work
16 changed over time for the two groups of workers?
17 You know, sort of the distribution work. And to
18 what extent that's available I don't know.

19 That may require a lot of digging to
20 the extent it is there, because it's going to
21 differ by type of work and so forth. But I think
22 those are what would be needed to be looked into.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: What about the large
2 data gaps, does that play into this at all?

3 CO-CHAIR MELIUS: Yeah, that's
4 another. It could. And, you know, what changes
5 over time? I mean, there's lots of variables,
6 which makes this a very hard issue to get at.

7 MR. FITZGERALD: Yeah, certainly, in
8 the '89/'90 timeframe I would think the use of
9 the outside contractors, the subcontractors, the
10 outside CTWs, changes radically. And I think
11 DuPont did have a pretty unified system where the
12 CTWs, DuPont CTWs, were doing similar work. I
13 don't think that persists, though, into the '90s.

14 DR. TAULBEE: I would kill to agree
15 with you on that, Joe, but I think the differences
16 I've looked at here from these job plans, there's
17 virtually very little difference I see between
18 DuPont construction and subcontractor
19 construction during the DuPont era.

20 When you get into the Westinghouse
21 era, really, kind of all bets are off. I really
22 don't have a feel for that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CO-CHAIR MELIUS: Well, I mean, the
2 one thing you can do on the earlier time period,
3 the DuPont time period, is see what is possible
4 to do with any of the internal exposure.

5 DR. NETON: This is Jim. I think we've
6 got a couple issues here. One is the one at hand,
7 which is, you know, do we need to stratify or
8 consider stratifying the different, the prime
9 versus the subcontractors? But we talked earlier
10 about were the construction trades adequately
11 monitored to begin with?

12 CO-CHAIR MELIUS: Yeah.

13 DR. NETON: And I'm not sure which one
14 takes precedence. I mean, this whole debate may
15 be moot if the other one determines that they
16 weren't monitored adequately to begin with. We
17 just need to prioritize.

18 CO-CHAIR MELIUS: Right, right. And
19 I think the one is, yeah, what time periods are
20 involved, which overlap with the
21 DuPont/Westinghouse issue.

22 DR. NETON: Right. It almost feels as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we should solve the first issue -- or the issue
2 we talked about earlier today before we invest a
3 lot of statistical analysis time in this second
4 issue, but maybe I'm wrong.

5 CO-CHAIR MELIUS: Yeah, I think that's
6 fair.

7 MR. FITZGERALD: No, that's right.

8 CO-CHAIR MELIUS: I was going to get
9 back to where we go with that issue, because I
10 guess I'm concerned that -- to me, that's the
11 critical issue, in terms of SEC issues, because
12 if they weren't adequately monitored then I'm not
13 sure that -- and we know that, you know, sort of
14 operations changed. I'm not sure that our
15 current -- you know, that a coworker model will
16 address that adequately. At least there would
17 certainly be more concern about that.

18 So we're going on at a little over two
19 hours. I don't know where people stand in terms
20 of fatigue and wanting to go on.

21 I would suggest on these two issues,
22 particularly the one we just talked about.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether there's adequate monitoring, you know,
2 during at least the earlier years or the initial
3 years of the Westinghouse era, you know, that we
4 think about that and maybe just sort of revisit
5 it when we have the presentations at the meeting
6 next week. I assume we're not going to have time
7 to address it between now and then.

8 MR. FITZGERALD: While we're on that
9 subject, I guess we have an hour and a half next
10 week. How would you like to handle this and give
11 yourself enough time, you know, for the Board to
12 discuss it?

13 CO-CHAIR MELIUS: Well, I think we
14 need Tim's presentation of his report -- the two
15 reports, yours and the other, NIOSH and the SC&A
16 report, we need presented.

17 That's going to take some time. And
18 then probably Tim's ought to include an update on
19 the coworkers models and sort of where we stand
20 overall at the site.

21 And I think we have to leave plenty of
22 time for Board discussion of where do we go from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 here? You know, again, it's been over ten years
2 on this SEC request, and I think I would be
3 careful about, hesitant about committing to lots
4 of long term projects or evaluations that may or
5 may not yield data relevant to that SEC decision.

6 And so we'll see what the Board
7 Members think. And as I said, I want to regroup,
8 we probably should anyway, and have a better
9 discussion of the coworker issue and some of
10 these other reports that we probably are not
11 going to get to today. Is that reasonable with
12 the other -- Brad and other --

13 CO-CHAIR CLAWSON: Yes. This is Brad.
14 I agree with you on that.

15 MEMBER BEACH: Yeah, I do, too, Brad
16 -- Jim.

17 CO-CHAIR MELIUS: Yeah. You can agree
18 with Brad, too, that's okay.

19 (Laughter.)

20 MEMBER BEACH: Jim, thanks, and Brad.

21 MR. KATZ: Jim, my suggestion on that
22 is that I'm not sure -- I mean, we should still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 give an opportunity for the petitioner to speak
2 -- I'm not sure you want to go on with the other
3 documents at all today, then, give we have the
4 Board meeting coming up and Tim and Joe have to
5 prepare something.

6 CO-CHAIR MELIUS: Yeah. I was not --
7 I had not forgotten the petitioners.

8 MR. KATZ: No, no, no, I didn't think
9 that.

10 CO-CHAIR MELIUS: But I guess I didn't
11 want to make a unilateral decision on stopping
12 further evaluation. But I think both Tim and Bob
13 are probably talked out.

14 MR. BARTON: So, really, that was the
15 last observation. I had a couple of comments,
16 but they really were related to implementation
17 about, you know, who are we going to assign our
18 monitored doses to and at what level? But that's
19 not really an SEC-related issue.

20 CO-CHAIR MELIUS: Okay. Well, then
21 let me open up for -- are the petitioners still
22 on the line or --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. JOHNSON: Yes, sir, we are.

2 CO-CHAIR MELIUS: Okay, fine. You
3 deserve something for endurance.

4 (Laughter.)

5 CO-CHAIR MELIUS: So, whoever wants to
6 speak first can go ahead.

7 **Petitioner Comments**

8 MR. JOHNSON: This is Warren Johnson.
9 I thank you all for the opportunity to speak. As
10 was noted earlier, we're approaching a decade on
11 this petition and certainly we hope a decision
12 will be reached soon. As I mentioned earlier,
13 I'm quite frankly somewhat concerned at how
14 adversarial NIOSH appears to be relative to the
15 petition.

16 Rather than state the facts and a
17 scientific position, it seems to have morphed
18 into an advocate against the workers. The
19 decision seems to be to ignore the lost and
20 incomplete records, ignore the inaccurate
21 records, ignore the 294 violations and safety
22 concerns noted in the Tiger Team reports, ignore

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the fact that the culture that had developed is
2 what prompted the Tiger Team investigation
3 process, which, obviously, pre-dates 1990, and
4 ignore the fact that records have been destroyed
5 by SRS. And so is assume compliance and starts
6 there, assuming the accuracy of all the records
7 that are present.

8 And I don't think that's appropriate.
9 I think that's exactly why we have the vehicle of
10 the SEC. And I don't think it's what Congress
11 intended. If you look at the history of the
12 Energy Act, Congress recognized that the workers
13 that supported our Cold War effort were put at
14 risk without their knowledge or consent for
15 reasons that, documents reveal, were driven by
16 fears of adverse publicity, liability, and
17 employee demands for hazardous duty pay.

18 It further recognizes that secret
19 records have since shown documented unmonitored
20 exposures. From there, it says they're going to
21 create efficient, uniform, and adequate
22 compensation for these workers. DOE and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contractors broke that basic promise to their
2 workers, which was to provide a safe environment
3 and workplace.

4 Because they broke their promise
5 Congress just wants to essentially step in. And
6 they made a promise to the workers that they're
7 going to provide compensation to at least make
8 the remainder of your life easier, and that
9 included home healthcare, it included the ability
10 to, since they had lost their dignity, not to
11 rely on their children to provide, changing of
12 diapers and so on.

13 That's what these people are going
14 through and they're now 10 years past. You're
15 talking about people who are given success in
16 cancer treatment on a 10-year survival rate.
17 They're past that. We're losing people every day
18 that you don't make this decision. And, quite
19 frankly, as I listened to the discussion today it
20 still needs looking at records that can't be
21 recreated. You can't go back and force people
22 provide bioassay samples.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You can't recreate that, so you're
2 left with assumptions. Well, as I understand it,
3 the assumptions that were made in the proposed
4 models are still going to be assumptions that
5 everything was done correctly, they just didn't
6 document it well enough.

7 And that's just simply not
8 appropriate. It's still a guess, it's
9 speculative, it doesn't get us to sufficient
10 accuracy, and it certainly is not claimant-
11 favorable.

12 In addition, you have to look at
13 feasibility. Feasibility is generally viewed in
14 terms of how long is going to take and how much
15 is going to cost? Now, I don't know what it
16 costs, but I know how long it's taking. It's
17 taken over ten years and we still don't have an
18 end in sight.

19 What I heard was there will be a
20 rebuttal from NIOSH to SC&A's report that we'll
21 get sometime in October, if we get the
22 information from the site. And then from there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we still don't have a direction.

2 And so, quite frankly, I think that
3 it's proving that it's not feasible to bound a
4 dose with sufficient accuracy and give these
5 people the relief that Congress intended.

6 In addition to that, the records and
7 monitoring, it's proven to be unreliable to
8 suggest you can rely on a 1997 Notice of Violation
9 relative to the 79 percent noncompliance because
10 they were monitored later and found to be below
11 the MDA. I makes a number of dangerous
12 assumptions, one, because it assumes that the
13 workers were tested for the appropriate
14 radionuclides.

15 Two, it doesn't tell us when the
16 follow-up tests were even performed. If they
17 were below the MDA on the subsequent test date
18 that doesn't tell us what the exposure was on the
19 date of the uptake.

20 You spent a lot of time discussing why
21 the subcontractors failed to submit the bioassay
22 tests, and that it wasn't SRS's fault, it was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 subcontractors refusing.

2 But, quite frankly, fault's
3 irrelevant. And it has nothing to do with it
4 because there's a large number of workers in a
5 radiation control area with potential exposure,
6 actual exposure, and we have no record of their
7 monitoring. It doesn't matter why, it's just
8 it's missing and that affects your accuracy.

9 The last point I'd like to make is
10 that the contractor is the person or the entity
11 that's responsible to demonstrate compliance with
12 the radiation safety standards. Throughout its
13 history the contractors failed to do that. You
14 can look going back to '52 to as recent as 1990.
15 The Tiger Team points out that this is a
16 widespread problem.

17 You have 294 instances or violations
18 of safety and health procedures. I think that's
19 pretty clear evidence we can't just presume that
20 any other documents that exist are done correctly
21 and all the other monitoring was right.

22 You have seven anecdotal examples to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 support the proposed models. I'd submit to you
2 that I have a number of clients that were involved
3 in incidents that there was no testing reflected
4 in their bioassay history. There was not data
5 kept on it. If I dig deep enough, in some cases,
6 I find the incident report that shows testing,
7 but it's unrecorded.

8 I think that's pretty clear that,
9 given that you know the records are incomplete
10 and you know that they are inaccurate and now we
11 know many of them have just been destroyed, you
12 can't assume the lack of an incident report means
13 a lack of an incident. You can't assume that
14 lack of a test didn't just mean that test got
15 discarded.

16 And so where it leaves us is a lot of
17 guessing, and a lot of guessing seems to be
18 pointing in the direction of lowering the
19 person's exposure. And I think that's a
20 dangerous assumption. It's certainly not an
21 appropriate assumption when it comes to radiation
22 safety.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And going back to the timeframe, if
2 you look at the executive summary from the Tiger
3 Team, it notes that failures to address and
4 implement appropriate nuclear and national
5 standards to assure that operations were
6 conducted in a safe and environmentally
7 acceptable manner. Investigations of several
8 incidents involving the reactor operations
9 highlighted how far the site had fallen below the
10 commercial nuclear industry.

11 I don't know how we can hold them to
12 a lower standard than we do the commercial
13 nuclear industry. The workers are the same, they
14 certainly are just as susceptible to cancer
15 caused by exposure to radiation. In the 1990 the
16 Tiger Team was pointing out the standards at this
17 site had fallen well below the rest of the
18 industry. That doesn't warrant the benefit of
19 the doubt. That doesn't warrant assuming
20 everything in favor of proper procedure and
21 proper monitoring.

22 I think it's clear that there was not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 proper monitoring. It's clear we don't have the
2 appropriate records. And the only way to get us
3 to fulfill the promise that Congress made is to
4 grant the SEC and give them the efficient
5 compensation that they deserve.

6 My co-counsel has a couple of comments
7 to add.

8 CO-CHAIR MELIUS: Okay, go ahead.

9 MR. FESTER: This is Josh Fester, also
10 for the petitioner. I have discussed it in
11 previous Advisory Board meetings, and at the
12 expense of belaboring the point, the main focus
13 or the inquiry of whether to grant the SEC is
14 feasibility. Co-counsel, Mr. Johnson, discussed
15 it.

16 The key word here is feasibility. 42
17 U.S.C. 73.42(b) states that an SEC may be
18 designated if it is not feasible to estimate with
19 sufficient accuracy the radiation dose that the
20 Class received and there's a reasonable
21 likelihood that such radiation dose may have
22 endangered the health of workers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Two issues considered when
2 determining feasibility are time and resources,
3 which, again, I think Mr. Johnson just discussed.
4 Ten years has passed here. The SEC petition to
5 the SRS has been before the Board for going on
6 more than a decade, close to two decades since
7 the EEOICPA was created by Congress.

8 Certainly, from a time standpoint,
9 it's not, and it has not been, feasible to
10 reconstruct a dose for the class of employees
11 named in the petition.

12 I've been patiently and intently
13 listening throughout the course of the day, and
14 among the things I have heard is that NIOSH and
15 its representative is -- from them, is that we
16 think that the records for internal monitoring
17 and monitoring for specific radionuclides is
18 substantially complete but that we need more
19 information or, you know, we need to track these
20 things down.

21 You know, there are a few problems
22 with this. First, while it would be ideal to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have the time and opportunity to continue to
2 delve throughout this information, to do data
3 captures from the SRS, it's not feasible in terms
4 of time consideration.

5 Every day, week, month, year that
6 passes I have clients that are suffering though
7 just horrendous diseases, cancers, and they're
8 denied, you know, the basic rights under the Act,
9 and basic dignity, and they are dying during this
10 process.

11 The longer this goes -- I guess,
12 again, I just want the Board to understand the
13 human element of this. I have, anecdotally, one
14 client that's terminal with cancer out at the
15 site. He's still working, he has a death sentence
16 essentially, being denied the healthcare to, you
17 know, just basic healthcare. He has to keep
18 working to be able to afford the insurance to
19 have a chance of surviving.

20 And I just wanted to say one thing,
21 you know, the class of people, employees out at
22 this particular site, you wouldn't find workers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and people anywhere else in our state even that
2 you have at the SRS.

3 But now you're talking about extending
4 the completeness analysis when we know already
5 that the data is incomplete, not sufficiently
6 complete to accurately perform the dose
7 reconstruction for these individuals.

8 During the discussion of the
9 completeness of internal modeling, a
10 representative from NIOSH stated -- basically
11 there was a lot of reliance upon assumptions that
12 DuPont properly monitored and protected its
13 workers. The analysis was only for a certain set
14 of subcontractors for a few years in, I think,
15 the early to mid-1980s, '81 to '86 I believe it
16 was.

17 Even during the snippet of worker
18 monitoring history, the records considered by
19 NIOSH, the records are not complete. Most of the
20 RWPs are not found for the DuPont years, and I
21 think you'll see that they were either discarded
22 or shredded.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 At one point a representative for
2 NIOSH attempted to rely upon an anecdotal
3 incident where the worker was not monitored
4 because it was assumed that they weren't in areas
5 where they would have needed follow-up
6 monitoring.

7 And using that one anecdotal incident
8 to explain away a large percentage of
9 noncompliance with the monitoring procedures,
10 that's just inappropriate and I think not good
11 science. It certainly wouldn't pass a Daubert
12 standard in any court of law in the United States.

13 It's not adequate, also, to assume
14 based on the track record in monitoring failures
15 at the site and this kind of situation is
16 responsible for noncompliance.

17 Today's completeness or reliability
18 of monitoring, when monitoring is mandatory, on
19 an assumption that DuPont/Westinghouse would have
20 monitored if there was radiation, if they were in
21 radiation areas, improperly gives the contractors
22 and subcontractors the benefit of the doubt, when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 under the Act the claimant is supposed to have
2 the benefit of the doubt. This is supposed to be
3 claimant-favorable.

4 Even if there was always monitoring in
5 all the areas that the subcontractors and
6 contractor workers worked in, there's no
7 indication that they were monitored for the
8 appropriate radionuclides and if the workers were
9 tested for the appropriate radionuclides. I have
10 seen nothing to that effect.

11 What we know is that, for the early
12 Westinghouse years at the very least, there was
13 a very poor compliance with internal monitoring,
14 80 percent noncompliance.

15 Another assumption relied upon is this
16 idea based solely on conversations with former
17 employees who relate that DuPont was somewhat
18 better centralized than Westinghouse and better
19 at keeping monitoring records.

20 However, nothing in the record since
21 the beginning of this SEC petition indicates
22 that. It indicated the contrary. And that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 made evident through the -- the evidence is clear
2 now that that's just not a good assumption,
3 through the Tiger Team report, the findings in
4 2005, and the 2005 SC&A report as well.

5 Another issue that I wanted to bring
6 to the Board's attention is the seemingly
7 arbitrary distinction between construction trade
8 workers and other subcontractor workers, other
9 folks that, you know, weren't necessarily
10 electricians or construction laborers, might have
11 been escorts, janitors, security personnel that
12 would have been in the same areas, worked for the
13 same subcontractors, and for which there's also
14 a dearth of any monitoring records.

15 If you want anecdotal examples of that
16 I could probably give you 20 just out of my
17 office. We've heard, again, a lot of anecdotal
18 evidence in support of -- excuse me.

19 CO-CHAIR MELIUS: Excuse me. Can you
20 please wrap up relatively soon?

21 MR. FESTER: Sure. I think that's all
22 I have for you, unless my co-counsel has anything

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 further to add.

2 CO-CHAIR MELIUS: Thank you.

3 MR. JOHNSON: Again, I guess the last
4 thing I'd say is I believe I understood, in the
5 presentation on the last proposed model, I
6 believe I understood NIOSH to say that robust
7 analysis is not possible, it's sort of a weight
8 of the evidence.

9 Well, we agree, and we would submit
10 that the weight of the evidence is that where we
11 are it's not feasible to bound a dose with
12 sufficient accuracy, that the records don't
13 exist, and for those reasons the SEC should be
14 granted.

15 And, certainly, I thank you for you
16 all's hard work and thank you for your patience
17 today.

18 **WG SEC Recommendations and/or Path Forward on**
19 **Discussion Items; Plans for August**
20 **Board Meeting**

21 CO-CHAIR MELIUS: Thank you both for
22 your comments. And I think as you know the entire

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Board is meeting next week and there's an hour
2 and a half session on the Savannah River Site. I
3 believe on Thursday, correct, Ted?

4 MR. KATZ: Yes.

5 CO-CHAIR MELIUS: Okay. Good, thank
6 you. Ted, anything else we need to do?

7 MR. KATZ: No, I think that takes care
8 of it.

9 CO-CHAIR MELIUS: Okay, good. Thank
10 you everybody for your patience and contributions
11 and we'll see most of you next week in Santa Fe.

12 **Adjourn**

13 CO-CHAIR CLAWSON: Thank you everybody
14 for joining us today.

15 (Whereupon, the above-entitled matter
16 went off the record at 3:37 p.m.)

17

18

19

20

21

22

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10