

U.S. DEPARTMENT OF HEALTH AND HUMAN
SERVICES CENTERS FOR DISEASE CONTROL NATIONAL
INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND WORKER HEALTH

+ + + + +

FERNALD WORK GROUP

+ + + + +

THURSDAY MARCH 15, 2018

+ + + + +

The Work Group convened via
teleconference at 1:00 p.m. Eastern Daylight
Time, Bradley P. Clawson, Chair, presiding.

PRESENT:

- BRADLEY P. CLAWSON, Chair
- PHILLIP SCHOFIELD, Member
- PAUL L. ZIEMER, Ph.D., Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official

NANCY ADAMS, NIOSH Contractor

BOB BARTON, SC&A

MILTON GORDEN, SC&A

STU HINNEFELD, DCAS

KAREN KENT, ORAU Team

JENNY LIN, HHS

MARK ROLFES, ORAU Team

MUTTY SHARFI, ORAU Team

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

Contents

Welcome and Roll Call..... 4
Recycled Uranium Constituents..... 9
Thorium Coworker Model..... 31
Uranium and Radium Poor Raffinate Material..... 55
WG Recommendations and/or Path Forward..... 66
Adjourn..... 72

1 P-R-O-C-E-E-D-I-N-G-S

2 (1:00 p.m.)

3 Welcome and Roll Call

4 MR. KATZ: Why don't we get started
5 with the preliminaries. I'm assuming that we
6 have other folks from NIOSH and SC&A on the line
7 already. This is the Advisory Board on Radiation
8 and Worker Health, the Fernald Work Group. And
9 we're dealing with wrapping up some Site Profile
10 issues.

11 The agenda for today's meeting is
12 posted on the NIOSH website under the DCAS
13 program's web page, under the Board section,
14 schedule of meetings, today's date. And you can
15 go there and pull up the documents that are
16 primarily going to be discussed today if you wish
17 to.

18 I'm going to run through roll call
19 then. Well, I have my Chair, Brad Clawson. And
20 none of my Board Members have conflict with
21 Fernald, so I don't need to, they don't need to
22 address that.

23 But Brad Clawson's my Chair. He's on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the line. And Paul Ziemer, one of the Members,
2 is on the line, Dr. Ziemer. And we should be
3 joined by Phil Schofield soon. Let me --

4 MEMBER SCHOFIELD: I'm on the line.

5 MR. KATZ: Oh, great. Hey, Phil. So,
6 that's our Work Group Members. And let's go on
7 to NIOSH ORAU folks. And please address conflict
8 of interest as well.

9 (Roll call.)

10 MR. KATZ: And before we get actually
11 rolling, Brad, I think Stu has a note to make
12 about the agenda based on what materials NIOSH
13 has ready, more ready.

14 MR. HINNEFELD: Yes. Thanks, Ted. I
15 was able to send to the Work Group Members and
16 SC&A earlier this weeks some responses to SC&A
17 clarifying questions 2, 3, and 4, Topics 2, 3,
18 and 4. But not able to send one on Topic 1 yet.

19 Now I think we're prepared, I'm
20 prepared to talk about Topic #1 for a while. But
21 I think for the purposes of the agenda it might
22 work better if we held #1, Item #1 until the end
23 of the agenda, and start it on Item #2.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Stu, did you send
2 those out just at the CDC addresses?

3 MR. HINNEFELD: Yes, I'm sorry, I only
4 sent it to the CDC addresses.

5 MEMBER ZIEMER: All right. I can't
6 get into my CDC account because my ID card has
7 expired. And I haven't been able to get to
8 Cincinnati to get a new card. So I can't get
9 into my CDC account.

10 MR. HINNEFELD: Okay. Hold on, Paul,
11 and I will -- well, they're at, they're on the
12 website. They're --

13 MEMBER ZIEMER: Oh, they are on the
14 website. Okay. I'll just look there. That's
15 fine. No problem.

16 MR. HINNEFELD: They're on the website
17 for today's meeting.

18 MEMBER ZIEMER: Great. Okay. That's
19 good.

20 MR. KATZ: Okay then, Brad.

21 CHAIR CLAWSON: Okay. I'd like to
22 welcome everybody today to the Fernald Work
23 Group. It's been a while since we've met. So,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from what I took, Bob, it's not going to be with
2 us today. So, do you want to start off with this?
3 Or does NIOSH want to start with their side of
4 it?

5 MR. BARTON: Well, I can certainly
6 start off. And since Stu wanted to indicate that
7 the first item, which was the raffinate material,
8 maybe would be best to leave for the end of the
9 meeting for discussion, we don't have necessarily
10 formal responses on that yet.

11 So that would leave us with -- and by
12 the way, is anyone on Skype that can see the
13 agenda I threw up there as sort of a test? Does
14 anyone have Skype open that can verify that the
15 agenda's up there?

16 MR. KATZ: I have Skype. I have Skype
17 on. And right now I'm just seeing a black screen.

18 MR. HINNEFELD: This is Stu. I have
19 it on as well. And I don't see anything on there.
20 Just a black screen.

21 MR. BARTON: Okay. Let me --

22 MS. ADAMS: It was working earlier.

23 MR. BARTON: Oh, okay. Well anyway,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 let's, what I'm going to do is, I'll throw up the
2 most recent NIOSH responses to, starting with the
3 recycled uranium. And we can start there and
4 move forward.

5 And then we can circle back to the
6 raffinate issue, which is still sort of being
7 worked on. So, let me just see if I can get that
8 up there.

9 MR. KATZ: While you're doing that,
10 Stu, I don't think I was copied on what you sent
11 out to the Work Group. Or if I was it went into
12 some black hole.

13 MR. HINNEFELD: Okay. It's what's on
14 the website is our responses.

15 MR. KATZ: Okay.

16 MR. HINNEFELD: But, I mean, what's on
17 the website is actually, there were a couple of
18 typos that were corrected. So but it's --

19 MR. KATZ: Okay. I just need for my
20 records, at some point, if you would send me the
21 email.

22 MR. HINNEFELD: Okay.

23 MR. KATZ: That would be great.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: It was only a couple
2 of days ago, I know.

3 MR. KATZ: Yes. For some reason it
4 either fell through a hole or -- because it isn't
5 anywhere in my email system. But --

6 MR. BARTON: Okay. Does anybody see
7 anything right now? I actually, I was going off
8 the Word document. I can pull it off the website
9 instead. But, Stu, are there any real, besides
10 a couple of typos, are there --

11 MR. HINNEFELD: There's no difference
12 between what I sent and what's on the website.

13 MR. BARTON: Okay.

14 MR. HINNEFELD: There, and Bob, I can
15 see the --

16 MR. KATZ: Yes.

17 MR. HINNEFELD: -- document now on
18 Skype.

19 MR. KATZ: Yes. It's up on Skype.

20 Recycled Uranium Constituents

21 MR. BARTON: All right. Great. So
22 we're going to start with the recycled uranium
23 issue. And just to give sort of a brief back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 story on this.

2 Essentially we're looking at, or were
3 looking at two periods. There's 1961 to 1972,
4 and then 1973 on. Today what we're talking about
5 is that former period from '61 to '72.

6 This issue, the discussions on this
7 issue obviously go back a long way. I think a
8 lot of it was sort of already wrapped up in 2011
9 when a set of default contaminant concentrations
10 for plutonium, neptunium, and technetium were
11 agreed on.

12 And for that period in the earlier one
13 they were originally 100 parts per billion
14 plutonium, 3,500 parts per billion neptunium, and
15 9,000 parts per billion technetium.

16 Since that time, from way back in
17 2011, the internal TBD for Fernald was revised.
18 And what we noticed in there is that the default
19 levels for that time period had gone down pretty
20 significantly.

21 Plutonium went from 100 parts per
22 billion down to ten. Neptunium dropped by pretty
23 much an order of magnitude. And the technetium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dropped by about one-third.

2 So this, the change was discussed this
3 past July, in 2017. And NIOSH had stated that,
4 you know, originally they kept the original 100
5 parts per billion plutonium as sort of an
6 administrative decision. Because that's how dose
7 reconstructions had been performed to date.

8 However, during that period they took
9 another look at recycled uranium operations, and
10 the available data in that earlier period, and
11 found that the, you know, vast majority of
12 recycled lots that were received prior to 1973
13 were actually much less than ten parts per
14 billion. And so ten parts per billion was
15 considered a bounding value.

16 I'd also note that during those July
17 discussions NIOSH also acknowledged that some of
18 the processes that concentrate the contaminants
19 in RU were still going on in that earlier period,
20 in particular the magnesium fluoride metal
21 reduction process but felt that those operations
22 would be in short duration, you know, not over a
23 full year. So if you applied the default for a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 full year, it would cover any sort of short-term
2 operation that might have potentially higher
3 defaults.

4 And this position was echoed in the
5 matrix update, which was issued in October of
6 2017. And basically that said that, well, you
7 know, we take a look at what RU data we have,
8 which is from a DOE Ohio Field Office report from
9 2000 and that 95 percent appear to be less than
10 one parts per billion. Not even ten, but less
11 than one parts per billion. And the remaining
12 data points were still mostly less than ten parts
13 per billion.

14 So we looked at that response and came
15 up with these clarifying questions, which are
16 posted on the website. And it essentially boiled
17 down to three things.

18 First, we at SC&A, we couldn't figure
19 out how the date of these lot samples, which are
20 in Appendix C of the DOE report I referenced,
21 were determined. There's no obvious date.

22 And so we didn't know if there was any
23 back extrapolation going on. And it really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wasn't obvious to us. So that's why we asked
2 NIOSH to clarify it for us. And they did. And I
3 would like to thank them for that.

4 And essentially they pointed us to how
5 to decode each of these data points based on what
6 is termed a lot number, which are pretty complex.
7 They're essentially a sequence of alphanumeric
8 characters, 15 characters in total, separated by
9 dashes. And it turns out the last three in that
10 lot number represent the date.

11 And even that's a little confusing,
12 because they started the whole process in January
13 of 1962, which they designated as 001,
14 essentially the first month that was considered
15 for the study.

16 So then it follows that February 1962
17 is 002, and so on, up through the period of
18 interest. So December of '72 ends up being around
19 132. So that was the date schematic on how you
20 decode those.

21 So that information was provided in
22 NIOSH's response earlier this week. And so once
23 we got that, now we have the timeframe data. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know it's not being back extrapolated.

2 So the other two questions, and I'll
3 get back to the issue of being able to date these
4 samples in a moment. But the other two questions
5 we had was whether NIOSH was really just looking
6 at a certain subgroup, and specifically Subgroup
7 6A in this pre-1973 period.

8 And the reason we questioned that is
9 that it appeared in a 2011 NIOSH White Paper that
10 they were considering Subgroup 6A to be the most
11 representative group for that prior period.
12 Based on the response I believe we're moving away
13 from that 2011 NIOSH White Paper. So that's kind
14 of been addressed.

15 And we also had questions about how
16 you really come analytically to the conclusion
17 that any sort of concentrating mechanisms, such
18 as the mag fluoride process, how do we really
19 know what duration they were?

20 And how do we sort of put a number on
21 that out of historical information, the
22 analytical basis, to convince ourselves that that
23 sort of concentrating mechanism is not as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 important, just because of the low concentrations
2 we're starting with, and the default of ten parts
3 per billion that was chosen?

4 And the response essentially boils
5 down to the fact that as a, the qualitative look
6 at the data prior to 1973, the numbers were so
7 low, as I stated, 95 percent were essentially
8 less than one part per billion. That all these
9 other issues, such as the concentrated power of,
10 these concentrated values in the duration of
11 those activities is rendered moot.

12 So that's really the crux of this
13 issue at this point, in my mind, is that, you
14 know, maybe if these concentrations are so low
15 prior to 1973, a lot of these other issues related
16 to what individual workers could have been
17 exposed to are covered and if the assigned
18 default value is bounding.

19 So once we understood how to sort of
20 convert the database values of RU constituents,
21 that's, again, plutonium, neptunium, and
22 technetium, we were able to extract the data from
23 the recycled uranium electronic database.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It's an Excel file. And it's titled
2 Fernald Recycled Uranium Raw Data Validated. You
3 can find that in the usual Fernald location in
4 the Advisory Board's document review.

5 So we pulled, based on that
6 information we pulled out the 1961 to '72 data.
7 And actually, the first sample, as I said before,
8 is actually January 1962. The proposed defaults
9 do apply to the earlier year.

10 And so what we did in the past couple
11 of days, since we understood how to decode that
12 data is we did our own scoping analysis to see if
13 we sort of came out in the same place NIOSH did
14 about these values being really low to the point
15 that any concerns over concentrating mechanisms
16 or duration of exposure to maybe a few higher
17 concentration batches is averaged out and/or
18 bounded.

19 And I'd like to throw a caveat. This
20 is a very preliminary look at this data set.
21 Again, this is only after the last few days. But
22 it's to illustrate really where SC&A might still
23 have a few concerns with the new defaults.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I again caution, because of the
2 timeframe, this has not undergone our usual QA,
3 internal review steps, peer review, all of that
4 sort of stuff to, well, to frankly make sure that
5 I didn't make any mistakes.

6 So let me throw up a quick table
7 there. Again, this is not an official document
8 by any means. But it does sort of illustrate the
9 scoping analysis that we did. So give me one
10 moment here.

11 Okay. So does everybody see just a
12 Word file with a table called Table 1 Overview of
13 RU Data?

14 MR. HINNEFELD: Bob, this is Stu. I
15 don't think I see anything.

16 MR. BARTON: Okay.

17 MR. KATZ: Black screen.

18 MR. BARTON: Black screen again.
19 Okay. Let me try this again.

20 CHAIR CLAWSON: Yes. I got a question
21 though. I thought we talked about this. I
22 thought we'd already come to an agreement on this
23 parts per billion. I thought that was taken back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 years ago.

2 MR. HINNEFELD: Well, Brad, we
3 discussed that. I mean, at the time of that
4 discussion the, it was already the guidance to do
5 dose reconstructions at 100 parts per billion
6 throughout the entire timeframe of recycled
7 uranium.

8 And so we were resolving an issue of,
9 well, what about '73 and later or '76 and later,
10 whatever. I think it's '73 and later, where stuff
11 started coming in from Paducah that was higher in
12 transuranics and essentially, in my words,
13 crapped up the whole enriched stream.

14 So we said, well, if we're going to,
15 you know, since we're digging back into this,
16 let's take a more realistic shot at what they
17 were at the various times. So I mean, it's part
18 of the evolution of the discussion as far as I'm
19 concerned.

20 CHAIR CLAWSON: Oh, okay. Well, I
21 thought we'd already come to this, because --

22 MR. HINNEFELD: Well, it's one point
23 we said --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR CLAWSON: I was actually having
2 a hard time with the five parts because I was
3 going more towards ten. So I thought we had come
4 to this agreement. But we'll, you know, this
5 will, we'll take a look at it and see what we've
6 got.

7 Because I was, if I remember right I
8 was seeing a lot higher than that. So I just
9 wondered where we went from on it. So we'll keep
10 going on. I'll get clarified, and we'll go from
11 there.

12 MR. BARTON: This is Bob. Has the
13 table appeared on Skype yet?

14 MR. KATZ: Yes.

15 MR. HINNEFELD: Yes. The table's
16 there, yes.

17 MR. BARTON: Okay. I apologize again.
18 This is only really thrown together in the last
19 couple of days. But essentially what we did is
20 we looked at a few basic metrics. And just for
21 the sake of discussion I'd like to concentrate on
22 plutonium.

23 Because, again, this is, it used to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 100 parts per billion. Now the proposed default
2 is ten parts per billion. So it's kind of easier
3 to keep in mind that way.

4 But as you can see, we looked at a few
5 basic metrics. We fit the data to a log-normal.
6 We looked at some simple things, like the
7 arithmetic averages, median values, rank order,
8 that sort of thing.

9 So if you look, the log-normal fit for
10 plutonium, the GM is pretty low. It's less than
11 one part per billion. But if we look a little
12 lower here, you see how significantly high the
13 geometric standard deviation is when you try to
14 fit it to a log-normal, so high that when you go
15 to calculate the 95th percentile, you're actually
16 up over the 100 parts per billion.

17 And to us this was an indication of
18 just how variable the observed plutonium
19 concentrations were in this earlier period. And
20 they went from lows of about, you know, ten to
21 the minus four parts per billion, or .0004 parts
22 per billion. There was even one negative number
23 in there. All the way up to a maximum of 1,350

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 parts per billion. So there's a whole lot of
2 variation in that.

3 So we looked at some other metrics
4 here. And, I mean, you can see, if it's a simple
5 average of the available data prior to 1973, it
6 comes out to about 15 parts per billion. So,
7 again, that's higher than the recommended
8 default. And that's a simple average.

9 If you rank order all of these
10 plutonium data points, and you look at the 95th
11 percentile, you're up to around four times the
12 proposed default, at 38.7 parts per billion.

13 And then finally, if you look at the
14 last two rows in the table, and this is a simple
15 count of how many are above ten parts per billion
16 and how many are below ten parts per billion.
17 And based on what we're looking at is a little
18 over one-fifth or, you know, 20 percent were
19 above that recommended default of ten parts per
20 billion.

21 And also, what I have here is a simple
22 rank ordering chart, I don't know if you can all
23 see that. And, again, this is just a simple rank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 order. This does not fit to anything. This is
2 just the actual empirical values, rank ordered
3 from zero up to one.

4 And as you can see, that rank ordered
5 95th percentile is up here, somewhere around in
6 here, yes, around 38 parts per billion. And when
7 you get to ten you're right around the 80th
8 percentile, just like I just said.

9 So based on SC&A's preliminary
10 analysis of these data prior to 1973, we're
11 coming out in a very different place from where
12 NIOSH was when they took a look at that data.

13 And, again, this is very preliminary.
14 But once we understood how to translate,
15 essentially, all of these recycled uranium
16 constituent data points into the 1962 to 1972
17 period, and then after 1973, we're just not
18 coming in, coming out at the same place that NIOSH
19 has in their response.

20 MR. HINNEFELD: Okay, Bob, this is
21 Stu. I have, of course, just seen this now. But
22 my initial reaction is that the geometric
23 standard deviation tells us that this isn't a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 single distribution. This is multiple
2 distributions because different kinds of
3 materials are going to have different kinds of
4 transuranic content.

5 We've even talked, you know, it's been
6 part of our discussion is that certain things
7 like mag fluoride, which is very poor in uranium,
8 tends to have a higher TRU to U ratio than the
9 material that went in to make the uranium, than
10 the UF4 that went in to make the uranium.

11 So and associated with that is that I
12 think by and large, certainly in the case of mag
13 fluoride, there is very little uranium there.
14 And so even though the ratio of plutonium to, or
15 transuranic to uranium is high for that material,
16 that's not very much transuranic.

17 And when you add it to the total of
18 the uranium production for a year, or when you
19 consider all uranium production, even all the
20 residues that are processed, many of which were
21 metal chips, what I'm, and it's -- this was the
22 point of our argument, was not that mag fluoride
23 was a short duration operation, but that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 amount of uranium was a very small contributor to
2 the amount of uranium.

3 So even though that ratio is very
4 high, that's not very much transuranic. And in
5 fact, and again, any given person's exposure,
6 they're exposed probably to many sources of
7 uranium during the year.

8 And so the overall transuranic to
9 uranium ratio is what's relevant for dose
10 reconstruction. So that's the nature of what I
11 see here, and not, you know -- I know you've done
12 a lot of work since I sent that response out. I
13 don't want to diminish this at all. It's well
14 done. But I think we, the thing to consider here
15 is what is the uranium content of the materials
16 with the high ratios?

17 Because I suspect they're pretty low.
18 I won't swear that for sure, and certain, maybe
19 not in every case. But I would guess the bulk of
20 them, the uranium content's pretty low.

21 MR. BARTON: And I understand that. I
22 mean, they do, they're all certainly mitigating
23 factors in this. But I guess where we came out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is the justification for ten parts per billion as
2 given was that well, not even withstanding all
3 the points you just made, all of our data, 95
4 percent is less than one part per billion.

5 And even the rest of it that's not,
6 it's still less than ten parts per billion. And
7 what I'm saying is, when we look at it, and we
8 pull out the data from '62 to '72, that's really
9 not what we're seeing at all. And so that's why
10 we're bringing this up. Because if the
11 justification was that, well, 95 percent of your
12 data is below one parts per billion, that's not
13 what we're seeing, again, in this, in our
14 preliminary look at the data, again, prior to
15 1973.

16 MR. HINNEFELD: Okay. Well, I think
17 that maybe some additional, you know, analysis of
18 the information, both on your side and ours. The
19 database you referenced where this data was drawn
20 from is what, or the spreadsheet?

21 MR. BARTON: Yes, Stu, I'm not sure
22 who compiled it. But it is your guys'
23 spreadsheet. And I did check to see that it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comported with the values that, the hard copy
2 values that are in the DOE 2000 report.

3 And you can find that, again, AB
4 document review in the Fernald folder. I can
5 give you the title of that Excel sheet again.
6 Let me see here. I have it written down. I
7 thought I had it written down.

8 Well, I mean, you'll see it. It says
9 recycled uranium raw data validated, essentially.
10 And there's the validated tab in there. And,
11 again, I checked it against the original. So I'm
12 fairly confident that that is the electronic
13 representation. I believe that if you didn't
14 necessarily put it together, maybe you got it
15 from the folks who put that DOE report together.

16 But I agree. I don't think we can go
17 essentially off this, you know, 10,000 foot view
18 of things. But at the same time, the rationale
19 that everything is much less than one parts per
20 billion, you know, we didn't see that.

21 MR. HINNEFELD: Okay.

22 MR. BARTON: So I agree, I think
23 maybe, I mean, certainly from my point of view

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'd want to really clean up what you're seeing
2 here, provide more detail than what I'm looking
3 at, some analytics on it, and obviously
4 discussion of some of the higher observed samples
5 and how they actually fit in to what we're trying
6 to do here would be beneficial to formally
7 document from our side. And I'm sure on your
8 side as well, you'd want to take a look at that
9 same data set.

10 Because I got the impression, just
11 from the use of the words qualitative. I believe
12 qualitative was the term chosen in the most
13 recent response in a quick view of the data in
14 the October 2017 response. I'm sure you'd want
15 to take a little closer look at that. But
16 obviously that, any of that is at the discretion
17 of the Work Group.

18 MR. HINNEFELD: Okay. Hey, Bob, the,
19 you, that database apparently includes enough of
20 the lot code to include the last three digits, so
21 you could date them. Is that correct?

22 MR. BARTON: Yes, that is correct.

23 MR. HINNEFELD: Does it include the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 entire lot code?

2 MR. BARTON: Yes.

3 MR. HINNEFELD: Okay. Well then,
4 there will be a material type code in that lot
5 code. So we'll be able to determine what the
6 materials are for each of these samples.

7 MR. BARTON: Yes, that's my
8 impression. I think you might even be able to
9 put them in a specific facility even. I'm not
10 entirely sure --

11 MR. HINNEFELD: Yes, the facility I
12 believe was where it was generated.

13 MR. BARTON: Oh, okay.

14 MR. HINNEFELD: If there's a facility,
15 well, if it came from off-site there's a
16 designation. And there are a few designations
17 that designate off-site locations. And then
18 there's a -- if it was generated in the plant
19 there's a designation for what was generated in
20 the plant.

21 MR. BARTON: Yes. I mean, the
22 database is very extensive. And there are even
23 a lot of comment fields that I didn't quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understand, which that was what led me to believe
2 that you folks had put it together.

3 So I think there's a lot of
4 information there. What information wasn't there
5 when we first looked at it was a specific date,
6 at least not prior to 1973. But once we knew how
7 to decode that lot number with the final three
8 digits, then we were able to actually pull out
9 that pre-1973 data. And that's what we're
10 looking at here. At least for the scoping
11 calculation.

12 MR. HINNEFELD: Right.

13 CHAIR CLAWSON: Well, it sounds like
14 we've got some work to do then, re-evaluate this,
15 and go from there. So this is going to be a
16 response from SC&A to NIOSH. Is that correct?
17 Or did you need more information, Bob?

18 MR. HINNEFELD: Well, I don't know.
19 Bob, how do you want to do this? Do you want to
20 do, you know, give this, what you've done so far,
21 your normal polish over then, and provide it?
22 And then we'll work up? I mean, we can get that
23 database out now and start looking at it from our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 side. And then have us prepare a response to
2 that? If you want it.

3 MR. BARTON: I think that would be a
4 good way to go, almost to work it. Not recreating
5 work.

6 MR. HINNEFELD: I mean, you can send
7 us what you want. I mean, if you want to send us
8 this, I mean, we can work from that, and respond
9 to that. Or if you want to wait and polish it
10 some and send it over, then we can work from that.

11 CHAIR CLAWSON: I'd rather have it
12 polished and put together and make sure that
13 we're all on the same page.

14 MR. HINNEFELD: Okay.

15 CHAIR CLAWSON: So, that's up, that's
16 in your court then, Bob. So --

17 MEMBER ZIEMER: So you're going to
18 send that out to the Work Group as well?

19 MR. BARTON: Yes, absolutely. This
20 would be a formal memo, or whatnot, from SC&A to
21 the Work Group and NIOSH.

22 MEMBER ZIEMER: And then we'll get
23 Stu's response maybe more formalized than we had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just now?

2 MR. HINNEFELD: Yes, we'll get --

3 MEMBER ZIEMER: All depending on --

4 MR. HINNEFELD: We'll put together
5 what we learned from looking at this.

6 MEMBER ZIEMER: Yes. Because that was
7 just your initial reaction, having not seen any
8 of this before, right?

9 MR. HINNEFELD: Right. Yes. I'm
10 embarrassed to admit I'm not familiar with the
11 database. And so I'm, so I don't know for sure.
12 I was just speculating about whether those were
13 really low uranium materials or not.

14 CHAIR CLAWSON: Well, we need to make
15 sure on this. So we'll leave that up to you,
16 Bob. And put that through there. I thought we
17 had this a little bit more put together. But,
18 okay, let's go on to the next slide. Are you
19 done with this one?

20 Thorium Coworker Model

21 MR. BARTON: Unless anyone has any
22 other questions, we can move on to thorium and
23 the chosen DAC value. All right. Not hearing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 any questions.

2 Now, this one, this is actually, we
3 didn't have any clarifying questions on it. I
4 think you're going to find that this is a pretty
5 easy one, luckily.

6 So really the issue is, we're talking
7 about the period from 1990 to 1994. And this is
8 how you're going to assign thorium doses during
9 that period. And more importantly, thorium doses
10 to workers who do not have in vivo monitoring,
11 which would allow for thorium dose reconstruction
12 in that method.

13 So essentially what we're talking
14 about is occupational unmonitored dose. And the
15 plan is to use ten percent of the derived air
16 concentration and apply that to unmonitored
17 workers.

18 And more specifically, using Class W,
19 which stands for weeks, also known as Solubility
20 Type M, derived air concentration which is five
21 times ten to the minus 13 microcurie per
22 milliliter. So ten percent of that is obviously
23 five times ten to the minus 14.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, again, this goes to workers who
2 were not monitored by the IVEC system, which is
3 the in vivo monitoring system that was in use at
4 the time.

5 And so we were looking at that
6 originally. And we questioned whether the site
7 really always used that Type M or Class W
8 solubility type when they were setting up their
9 air sampling program.

10 It would certainly be more
11 appropriate, and the reason we questioned that is
12 because if you look at a Type S solubility, or
13 Class Y, it's actually a little bit higher value,
14 by a factor of two.

15 So obviously if you have a higher DAC
16 value and you're assigning a percentage of that
17 DAC value to the claimant, if you use the higher
18 DAC value you're going to have a little bit higher
19 dose.

20 So we said, you know, the assumption
21 is Type M. Do we have anything to justify that
22 as being more appropriate than Type S? Because
23 Type S would certainly be more appropriate if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there were certain areas of the site that used
2 that higher DAC to control airborne
3 concentrations.

4 Or alternately, if no information was
5 available as to what DAC was used, then you could
6 say it's favorable to the claimant to use the
7 higher DAC value to assign dose.

8 So we had originally asked if there
9 are any references to sort of back up the
10 assertion that the site strictly used that Type
11 M derived air concentration value and that it's
12 actually more scientifically appropriate to use
13 that value.

14 And so essentially we're asking for a
15 little backup. And that's the common theme
16 during today's meeting. And in the October 2017
17 matrix response NIOSH provided an SRDB reference.
18 That's Reference 4152, titled Radiological Air
19 Sampling Program and Air Sampling Philosophy.

20 This particular document is
21 beneficial because it's dated from early 1989.
22 And that's the period right before the period
23 we're talking about, 1990 to 1994. So it's really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just before the period of interest.

2 So it doesn't have any significant
3 temporal concerns, say if you were trying to
4 extrapolate air sampling policies from the '50s
5 and '60's to the '90s, you know, one could
6 question that certainly. But this document is
7 literally right from before the period of
8 interest that we have.

9 And so Section 5 of the report that
10 NIOSH provided, and, again, it's a reference.
11 This is not something written by NIOSH. Section
12 5 is titled Elemental Isotopic and Chemical Forms
13 of Radionuclides Found in MPC Plants.

14 And basically it goes by site
15 location. And it says what, you know, the
16 chemical forms of any uranium or thorium that's
17 there. And what should be assumed for the air
18 sampling program. I mean, this is exactly what
19 we were looking for.

20 So Section A of that section has the
21 pilot plant. It talks about the residual thorium
22 embedded in the floor and inside old processing
23 tanks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And it states that while the pilot
2 plant's not processing anything, and this was in
3 1989, but any demolition, D&D activities, or just
4 dust-generating activities should be controlled
5 to the Class W, which is the proposed DAC to be
6 used in the NIOSH methodology.

7 Further down in that same Section 5
8 you have Building 64, which was a repackaging
9 location for the Building 65 thorium, which we'll
10 talk about a little bit later when we get into
11 thoron. And, again, it's Class W. It says right
12 in there it should be controlled to Class W.

13 And then Section K talks about
14 Building 65, 67, and 68. And it notes that no
15 thorium is presently handled, but if handling
16 occurs the Class W thorium limit applies.

17 So beyond that reference we didn't
18 find any evidence that the Class Y DAC was being
19 assumed by the site anywhere during the period of
20 interest.

21 And I'd also like to note that it's
22 actually, again, we're talking about the
23 unmonitored portion of the workforce. Back when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we reviewed the original thorium methodology
2 White Paper we did a study of claimants.

3 And we found that, we looked at
4 roughly 250 different claimants and found that
5 almost three-quarters of them were monitored in
6 vivo during that period. So this wouldn't even
7 apply to them. And the remaining claims that
8 were not, didn't have in vivo records we looked
9 at in a little bit more detail.

10 And we had an observation from that
11 review, and it basically said, it is highly
12 unlikely that unmonitored workers would have been
13 continually exposed to airborne thorium levels
14 above ten percent over DAC for the entire
15 duration of their employment and during the
16 period of interest.

17 So given all that, we have site
18 documentation that says Class W was to be used in
19 the air sampling program and the fact that we're
20 talking about sort of a small portion of the
21 potentially exposed workforce, because really
22 thorium doses would be reconstructed using in
23 vivo records if the claimants had them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So for that one-quarter of the
2 population that didn't have in vivo during this
3 period, we feel like the ten percent Class W DAC
4 is appropriate. And, you know, unless the Work
5 Group has any outstanding questions or comments,
6 we simply recommend that this issue be closed.

7 CHAIR CLAWSON: Do any of the Board
8 Members have any questions on this?

9 MEMBER ZIEMER: This is Ziemer. I
10 agree with that recommendation based on what was
11 just covered here.

12 CHAIR CLAWSON: Okay. Then we'll
13 close that issue. Back to you, Bob.

14 MR. BARTON: Okay. I just realized I
15 hadn't put anything up on Skype. So the next
16 issue is going to be the parameter selection for
17 thoron. So let me just throw up the NIOSH
18 response here again. We'll get rolling on that
19 one.

20 Okay. So you should all see, again,
21 it's a Word file with SC&A's clarifying questions
22 under thoron. And then a response that is
23 provided in red. So as soon as that's up I will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 get started.

2 MR. KATZ: It's up.

3 MR. BARTON: All right. Great. Okay.
4 So, again, this is discussion of the parameters
5 for thoron exposure model. And this was part of
6 their thorium dose reconstruction methods. That
7 methodology is now part of the TBD. It's
8 contained in an appendix. It used to be a --

9 (Telephonic interference)

10 MR. BARTON: And this issue stemmed
11 from a finding that we didn't feel there was
12 necessarily a firm technical basis for them to
13 support some of these various parameters chosen
14 to model thoron exposures.

15 Not that we necessarily thought they
16 were wrong parameters. But anytime you are
17 trying to model something without really having
18 direct measurements necessarily that can be used,
19 you know, you try to tailor your parameters to
20 the specifics of the situation. And then, in
21 cases of ambiguity you maybe err on the side of
22 caution.

23 Anyway, this was discussed last during

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the July 2017 meeting. And NIOSH essentially
2 came out of that with three, I guess you call
3 them action items.

4 One is to take a look specifically at
5 Building 65, that is, you know, model, try to
6 model Building 65 specifically, rather than a
7 more site-wide type model. Because there's
8 reason to believe that that specific location was
9 likely the bad actor as far as thorium exposures,
10 and by extension thoron exposures.

11 So that was one thing. The other was
12 to discuss the release fraction, which, or
13 emanation fraction I think it's often referred
14 to, which is basically, you know, you have this
15 mass of thorium in a barrel. How much thoron is
16 actually escaping into the breathable air for
17 exposure?

18 And then the third thing that NIOSH
19 was to look into was to sort of justify the chosen
20 occupancy time. At the time it was generally
21 three months out of the year to be in these
22 buildings, exposed to thoron. Or at that time,
23 I believe it was after 1990, that went from three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 months down to one month.

2 So in the October 2017 matrix update,
3 as a result of those July discussions we gained
4 lots more information, including a model of
5 Building 65, which we just discussed. And also
6 example calculations and a discussion of the
7 various parameters, including the release
8 fraction and the occupancy time.

9 And so based on that response, we
10 really had three, again, three clarifying
11 questions. The first had to do with this release
12 fraction.

13 And now the release fraction generally
14 ranges from ten to the minus three to ten to the
15 minus four. The suggested model took the lower
16 end of that range. And so we originally
17 questioned that because if you have a range of
18 values and you're not quite sure, I mean, should
19 you really be taking the lower end? And I really
20 don't want to belabor this particular assumption
21 because these, again, these selections are
22 reasonable.

23 And in the response NIOSH basically

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pointed out that if you use that lower end, the
2 ten to the minus four release fraction, among the
3 other selected assumptions, such as the amount of
4 thorium that would be present, the available air
5 space for it to emanate into, all those things,
6 you actually end up with an air concentration
7 that is very similar to a measured. So that's an
8 empirical air concentration in Building 65.

9 And I'll kind of scroll down here so
10 you can see where that -- that's this top formula
11 here, as you can see, right here is your release
12 fraction, the one times ten to the minus four.

13 And you follow that through, and you
14 end up with 300 picocurie per liter in one of the
15 higher measurements that was seen in Building 65.
16 And, again, it was limited data. But one of the
17 higher measurements I believe was 267 picocurie
18 per liter.

19 So when you use that chosen release
20 fraction, even if it's sort of on the lower end
21 of the spectrum, you end up right around the range
22 of what we have in some limited empirical
23 measurements.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I'd also point out that if you
2 look at this formula, which I hope all, those of
3 you on Skype can see. If you start to use the
4 high, if you use the higher end release fraction,
5 well, now your estimate of air concentration is
6 3,000 picocurie per liter instead of 300.

7 And, again, that's compared to our
8 empirical measurement, which was 267. So, you
9 know, a factor of ten on top of that. Or even if
10 you sort of split the baby and use the midway
11 point, you'd end up, you know, around 1,500
12 picocurie per liter, which is a pretty high
13 estimate.

14 And I don't think we really have any
15 evidence or empirical measurements among the data
16 we do have to indicate that it ever, ever really
17 got that high. So essentially our issue was that
18 the selection of the release fraction wasn't all
19 that justified.

20 But I think in NIOSH's analysis where
21 they compared an empirical measurement found in
22 Building 65 to a model of what Building 65 could
23 have been, and you end up in the same general

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 area as far as air concentration, to me that's a
2 pretty good piece of evidence.

3 And so we really don't have, SC&A
4 doesn't really have an issue with the chosen
5 release fraction in that it's a reasonable
6 assumption, which we said at the outset. And it
7 does comport with the limited empirical data that
8 we do have.

9 So that was the first parameter that
10 we really wanted to discuss today. I don't know
11 if there are any questions on that particular
12 one. Or we can move on to the occupancy factor,
13 if the Board would like.

14 CHAIR CLAWSON: I didn't have any
15 questions. This is Brad.

16 MEMBER ZIEMER: No. I'm okay with
17 that. Sounds good.

18 MR. BARTON: Okay, great. All right.
19 So --

20 MEMBER SCHOFIELD: I don't have any
21 questions.

22 MR. BARTON: Oh, sorry, Phil. Great.
23 Did I forget anybody? Okay. Okay, great. Well,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 moving on to the occupancy factor. And I'll
2 scroll back up here to where that is sort of dealt
3 with.

4 So this was SC&A Clarifying Question
5 6. Occupancy factor is, again, it's basically a
6 measure of how long would someone have been
7 exposed to these thoron concentrations inside
8 these buildings, which are essentially storage
9 buildings. And NIOSH selected 25 percent of the
10 year, or three months.

11 Also part of our question was, well,
12 you said three months for this time period, and
13 then one month later. So based on NIOSH's
14 response it appears that three months is going to
15 be the default for the entire period.

16 And that the one month which had
17 appeared in an earlier version is no longer on
18 the table. And I think that was from 1990 to
19 2006.

20 So if I'm not misinterpreting that,
21 and, NIOSH, please stop me if I am, that answered
22 part of our question as to whether it was assumed
23 to be three months or one month. It appears to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 us that the assumption is going to be three months
2 across the board. If that is correct, I will
3 keep rambling.

4 MR. KATZ: Keep rambling, Bob.

5 MR. BARTON: All right. I will do.

6 CHAIR CLAWSON: What, is NIOSH in
7 agreement with this? Or are we --

8 MR. HINNEFELD: I'm trying to
9 reconstruct our thought process on this. I don't
10 know if Mutty can help out on this or not.

11 MR. BARTON: Well, if you read from
12 the response it says, at the end of the NIOSH
13 response it says, the one month of exposure per
14 year from 1990 to 2006 assumption does not apply
15 to the three month value in NIOSH 2017B, excuse
16 me, is more conservative.

17 So I read that to say the one month
18 is not on the table anymore and that the three
19 month value that was in the issues matrix from
20 October is what we're talking about.

21 MR. HINNEFELD: I believe that is, I
22 believe that's right.

23 MR. BARTON: Okay. The other part of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the question is about --

2 MEMBER ZIEMER: Well, let me interrupt
3 a minute. Just a clarifying point. This is
4 Ziemer. Is the occupancy factor based on a 40
5 hour work week in this case? Or were we using a
6 different figure for Fernald? I just couldn't
7 remember.

8 MR. HINNEFELD: It's essentially 25
9 percent of the year, or 25 percent of any given
10 week, because these were storage locations.

11 MEMBER ZIEMER: Oh, okay. Yes. Got
12 you. Okay. So that's a continuous then?

13 MR. HINNEFELD: Yes. We felt like
14 that would be a bounding estimate how long --

15 MEMBER ZIEMER: Right.

16 MR. HINNEFELD: -- anyone might be in
17 a storage facility that was used strictly for
18 storage.

19 MEMBER ZIEMER: Got it. Thanks.

20 MR. BARTON: And that was generally
21 the other part of our clarifying question.
22 Essentially, do we have anything to hang our hat
23 on, on that 25 percent, that would indicate it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an accurate estimate.

2 And I agree, we here at SC&A, it
3 certainly seems reasonable for a storage
4 facility. And it's not just my opinion over here.
5 We had Milton Gorden who's on the phone look at
6 this, and also Joyce, who had the original
7 finding about these thoron parameters. And they
8 all pretty much agreed the 25 percent is fine,
9 especially if we're forced to make, you know,
10 sort of an educated guess.

11 So I guess absent other information,
12 like if there's no documentation that we can find
13 that suggested a particular rotation of workers
14 to storage facilities, or any evidence of a
15 permanent storage facility position, or something
16 like a, you know, like a daily weighted exposure,
17 which will often give you information such as,
18 you know, spent three hours a day in the storage
19 facility.

20 Absent any information such as that,
21 and absent any indication that would couldn't
22 maybe fine tune that estimate, then SC&A is fine
23 with going with this three months per year for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the entire period in which we're assigning
2 thoron.

3 MR. HINNEFELD: Yes. Bob, I'm
4 certainly not aware of any document that might
5 exist that would have recorded that. Certainly
6 the daily weighted exposure averages were done,
7 those all stopped about 1970. So for later years
8 they certainly wouldn't be available.

9 And recall, these are not storage
10 locations where people go and get materials from
11 to process periodically and take them to the
12 process area. These, the thorium just sat there,
13 you know, from roughly 1980 through the time they
14 remediated the buildings.

15 There was almost no call for thorium,
16 except once in a while they'd go retrieve some of
17 the better stuff and ship it to, you know, in
18 small quantities to a customer. And then people
19 may go in for inspection of drums and things like
20 that.

21 But it wasn't like this was stored
22 process material that people were going and
23 getting and periodically using. It was just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sitting there. And, you know, dormant. So I
2 thought that, you know, we thought the 25 percent
3 certainly, just intuitively seemed pretty
4 bounding.

5 MR. BARTON: Yes. And over here at
6 SC&A we agree with that. Again, this is sort of
7 a due diligence question to see if maybe there
8 was some sort of documentation that would put a
9 harder number behind it.

10 But absent the existence of that sort
11 of information, then we find the three months out
12 of a year to be perfectly reasonable. Is there
13 any questions on the occupancy factor?

14 CHAIR CLAWSON: No. That sounds good
15 to me.

16 MR. BARTON: All right. Our final
17 question on this thoron issue was, we were a
18 little confused about what the actual intended
19 thoron assignment was going to end up being.
20 Because we saw a couple of different estimates of
21 it.

22 There was the original White Paper,
23 which morphed into an appendix in the TBD. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we have the October estimate, and now we have
2 this most recent one. And it looks like really
3 the methodology put here looks like it's going to
4 be the, sort of the final say.

5 And I'm going to scroll down to this
6 equation. Because the other part of this
7 question was, we thought we had found an error.
8 And we had our suspicions on where the
9 discrepancies were.

10 Because when we were sort of plugging
11 all these parameters in, we were coming out at a
12 different spot than NIOSH was. And once we saw
13 this response on Monday it's, I, we think it's
14 pretty clear where the discrepancy's coming out
15 on.

16 And if you can see, it's the second
17 formula here. But it's this term here, the .25.
18 And that's the occupancy factor, .25. And really
19 what it should be, since you have a given working
20 level, which I believe it's 1.6 working levels.

21 To get from working levels to working
22 level months, you simply apply the working level
23 by the number of months. In this case what it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actually multiplying by is, it's saying instead
2 of three months per year, it's essentially saying
3 the occupancy is a quarter of a month per year.

4 And, again, it's that third term in
5 this equation. So that shouldn't be point --
6 even though it's a quarter of a year, that term
7 there should be .25, or it should be three months
8 out of the year, and not .25.

9 And I think where that confusion
10 stemmed from is that occupancy factor kind of
11 means a little bit different thing when you're
12 talking about radon and thoron working levels,
13 than it does in other sort of health physics
14 problems.

15 For example, if you had an annual dose
16 estimate for someone, say it's 1 rem, and they
17 only were in that area for three months, well
18 then, yes, you would take that annual dose
19 estimate and multiply it by .25 to get what the
20 exposure would have been during that three month
21 period.

22 But here what we have, I think of more
23 akin to being an exposure rate, not as a total

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exposure. So once we have a working level, which
2 is essentially alpha energy in the air, to get
3 from working levels to the final result, which is
4 working level months, you multiply it by the
5 number of months.

6 So, again, it appears that this
7 estimate is off by about a factor of 12. Well,
8 not about a factor of 12, exactly a factor of 12.

9 MR. HINNEFELD: Yes. I agree with
10 Bob's discussion there. So --

11 MR. BARTON: So, I mean, we discussed,
12 you know, the occupancy factor and the emanation
13 fraction. And, really, the other parameters
14 seemed reasonable to us.

15 So if that error, just in converting
16 working levels to working level months gets
17 fixed, SC&A really doesn't have any other issues
18 related to thoron.

19 CHAIR CLAWSON: But I just want to
20 make clear here, we are, we are discussing that
21 we're taking that 2.5 out, and the factor is
22 becoming three months, correct?

23 MR. HINNEFELD: Yes. It's a 0.25.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It's a 0.25. And that becomes a three.

2 MEMBER ZIEMER: Yes. All right.
3 That's pretty straightforward. That correction
4 needs to be made.

5 MR. BARTON: Okay. And that's really
6 all the discussion I had for thorium or thoron on
7 this.

8 MR. KATZ: So the Work Group can close
9 that, right, Brad, and Paul, and Phil?

10 CHAIR CLAWSON: Yes. When that gets
11 changed to three, then --

12 MR. KATZ: You can close it now. You
13 don't need to, that will get changed.

14 CHAIR CLAWSON: Okay.

15 MR. BARTON: So I guess the proper
16 term would be in abeyance until that --

17 MR. HINNEFELD: Yes. Actually I guess
18 we got to write something that actually has the
19 correct number.

20 MR. KATZ: Right. That's all. But,
21 yes.

22 CHAIR CLAWSON: Okay. I have no
23 problems with that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Uranium and Radium Poor Raffinate Material

2 MR. BARTON: All right. Well, that
3 concludes the thorium discussion. So now I guess
4 we would be circling back to uranium and radium
5 poor raffinates. I can give a brief background
6 on it, if it's helpful to the Work Group. Or,
7 Stu, I don't know if you want to give an update.
8 Or both.

9 MR. HINNEFELD: Well, why don't you
10 give your issue in your words, Bob? And then
11 I'll tell you what I've been struggling with all
12 week.

13 MR. BARTON: Okay. I don't have, we
14 don't really have anything to put up on Skype for
15 this particular issue because it was still being
16 worked on. But I guess what we're really talking
17 about here, again, it's raffinate material.

18 And it's specifically raffinate
19 material that is poor in uranium and radium. In
20 other words, those things have been sort of
21 stripped out.

22 Now the TBD currently covers three
23 exposure scenarios for raffinates, such as the K-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 65 drum operations, which run from '52 to '56,
2 processing pitchblende ores, '54 to '58, and
3 handling yellowcake material up until about 1961.

4 So those three scenarios, to
5 reconstruct those doses you've either a radon
6 breath analysis. Essentially we have radon
7 breath measurements, which you can use to
8 calculate essentially a radium intake. And then
9 use that to back calculate to the other
10 constituents in the raffinate. So that's one
11 way. And that is used for one of the scenarios.

12 And then the other is we have lots of
13 uranium urinalysis at Fernald, I mean, over
14 400,000 data points. So what you do is you simply
15 take a uranium urinalysis result, and you use
16 some assumed raffinate contamination ratios. And
17 then you are able to add in those intakes to the
18 contaminants and the raffinate that weren't
19 monitored.

20 The problem is, or potential issue is,
21 at the back end of two, three, you might have
22 raffinate material that had that uranium and
23 radium material stripped out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So now if you use a ratio to uranium,
2 well, the uranium's not there. And you're going
3 to get pretty unrealistically high intakes of the
4 other raffinate constituents. And really, the
5 main concern here is thorium-230.

6 And if there's no radium, or little
7 radium, obviously there's no radon either. So
8 you can't really use any sort of breath
9 measurements.

10 This was really first discussed in
11 December of 2014. And at that meeting one
12 potential avenue for assigning doses to this
13 material was, it was discussed, or I guess a more
14 accurate term would be spitballed.

15 I think it was more in the guise of,
16 well, this could potentially be one possibility
17 to assign dose. But NIOSH wanted to take a closer
18 look at the data they had, et cetera.

19 So during the July meeting this past
20 year, NIOSH stated that they don't believe any
21 exposure potential existed. But essentially,
22 even if it did exist, that those exposures would
23 really not be reconstructable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And since what we're talking about
2 falls into the current SEC period, it could
3 essentially be swept up in the thorium-232 SEC.

4 So at that time SC&A's position was
5 basically, okay, well, we have our answer. Or I
6 guess really two potential answers. But the
7 evidence supporting those answers hadn't been,
8 really been documented.

9 So it's sort of like, you know, back
10 at school. Even if you know the right answer you
11 have to show your work kind of thing, or you get
12 docked.

13 So in the matrix update NIOSH
14 reiterated that position that there was either
15 little to no dose, or if it was, it was not
16 reconstructable. But, again, the case really had
17 not been fleshed out yet. And so that's
18 essentially where we're at currently.

19 MR. HINNEFELD: Yes. Thanks, Bob.
20 And to deal with, to look at this, I tried to
21 look at, well, what do we know about air
22 monitoring that was done? Because as Bob pointed
23 out in his clarifying question about Number 1,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 his Clarifying Question Number 1, he cited some
2 SRDB references. Well, look, you've got air
3 samples corrected at the hot raffinate building
4 and the combined raffinate building and, in some
5 cases, daily weighted exposures calculated for
6 various people who worked there, et cetera.

7 So well what about that? Is that a
8 method that can be used? And so I've spent the
9 week relearning, if I ever knew, some of the
10 history of the refinery and also kind of crudely
11 compiling the air sampling data that is collected
12 from the raffinate locations.

13 There were two areas called raffinate
14 where you can find air sampling from. One was
15 called hot raffinate. And the other was called
16 combined raffinate.

17 Now I've seen several, I've seen
18 references in some of the Fernald documentation
19 about something called a cold raffinate system.
20 But I don't think I've seen any air samples that
21 specifically said cold raffinate. You know, I
22 think, I'm not even sure exactly what would have
23 gone, and what would be considered cold

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 raffinate.

2 But the combined raffinate I believe
3 would be where the output of the hot raffinate
4 system, meaning the material where the radium has
5 been filtered out, whatever liquid is left, where
6 that was sent to combined raffinate. And so
7 probably what you would have in combined
8 raffinate would be material without radium, and
9 probably without uranium as well.

10 So I think, as I looked through this,
11 it seemed to me like the hot raffinate air samples
12 probably aren't particularly relevant because, at
13 least during ore processing, which would be from
14 1954 through 1958, there would be radium in the
15 hot raffinate.

16 And so you really couldn't draw a
17 conclusion that those air samples are going to be
18 informative of what the thorium-232 is, which is
19 what we're interested in here. We have another
20 method for doing radium.

21 And so looking at the combined
22 raffinate air samples during the ore period, '54
23 through '58, you do in fact see concentrations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that are typically around, oh, somewhere around
2 0.2 times what was called the maximum allowable
3 concentration, which was 70 dpm per cubic meter.

4 So you're talking about airborne
5 concentrations on the order of 15, you know, ten
6 to 20 or ten to 30, for the most part, dpm per
7 cubic meter, kind of centering around 15, just
8 looking at it, eyeballing it.

9 But once the uranium, once the ores
10 stopped running in 1958, and in '59 as I
11 understand it they switched to ore concentrates,
12 which would have been pre-processed at the mill,
13 then they are in the cold raffinate or the
14 combined raffinate area. The air sampling
15 results are all uniformly 0.1 times the maximum
16 acceptable, times the max, maximum allowable
17 concentration.

18 And which is, by the way, there, I
19 never saw a number reported as zero. Zero point
20 one was the lowest number I saw reported.

21 Now interesting and related to that,
22 you know, so you've got this 0.1 MAC result from
23 combined raffinate when they were running ore

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concentrate. Also, there are some similar air
2 studies done from '65 through '67 in a combined
3 raffinate area. Excuse me.

4 And those are, during that period
5 according to some documents that we have about
6 the history of Fernald operations, and I think a
7 site expert interview, one of those two documents
8 says that when the refinery restarted in 1966,
9 and that would be fiscal 1966, it ran only
10 residues, which means materials that are
11 reclaimed from elsewhere in the process.

12 So the refinery started in '54. It
13 ran ore for '54 through '58. Apparently from '59
14 to '62 it ran ore concentrates. And then it shut
15 down in fiscal, at the end of fiscal 1962. So,
16 July 1st, 1962 the refinery shut down. And all
17 the refining work was moved to Weldon Spring.

18 Well, Weldon Spring closed in, roughly
19 1966. So they reopened the refinery at Fernald
20 in 1966. And there is in fact a break in the air
21 monitoring data, at least that we have. We don't
22 have -- we have data for '62. We don't have any
23 for '63 or '64.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We have data for '65. And these data
2 are reported on a calendar year basis. So that's
3 probably the last half of '65, which would be
4 fiscal '66 and then data in '67 and '68. And in
5 the combined raffinate those samples are also
6 0.1, the same as they were when the plant was
7 running for concentrates.

8 Now for the, when the refinery is
9 running residues, this is, you know, reclaiming
10 uranium from products within the plant, there
11 should not be any thorium-230 there. You know,
12 that uranium was purified in order to get to the
13 rest of the plant.

14 There's not enough time for any
15 thorium-230 to grow in. So there wouldn't have
16 been any thorium-230 in the material going
17 through combined raffinate in the '60s, and '66
18 and '67, et cetera. But still, you get the same
19 airborne sample result that you get when you're
20 running ore concentrates.

21 So that makes me wonder whether in
22 fact we even know what we're seeing on those
23 filters and can we really draw the conclusion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's thorium-230 for the years 1959 through 1962.

2 Then we go back to the four years
3 where theoretically there might be some thorium-
4 230 there. And it occurs to me that I think we
5 want to do a comparison to what intake would we
6 develop from this air data we have from '54
7 through '57 for combined raffinate and how would
8 that be compared to the addition that we're going
9 to have to the uranium doses because we're
10 considering uranium results to be related to
11 feeding pitchblende ores.

12 So, in other words, it could very well
13 be that a dose reconstruction using the
14 assumption that the person was feeding
15 pitchblende ores would give them a larger intake
16 than the thorium-230 airborne samples. See what
17 I'm saying? We still have to work that out.

18 So we're going to have to meet, we
19 need to provide some more information about this,
20 and do our actual thought process, and build this
21 out. But that's kind of where I'm starting from.
22 So does anybody, can you kind of follow along the
23 logic there?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: This is Bob. Absolutely.
2 I think this is exactly the type of looking deeper
3 into it. And I would really, really feel
4 comfortable if the argument was, well, listen,
5 even if we apply a methodology to these low, low
6 0.1 MAC samples it would never, essentially never
7 be used because it's always going to be bounded
8 by another method that's in place.

9 So I think that is worth pursuing and,
10 you know, fully getting your head around it, and
11 formally writing it up. And then we'll have
12 something concrete to either move forward or
13 close it out. That's at least my thoughts on it.

14 MEMBER ZIEMER: Well, it makes sense
15 to clarify that issue. That's a very interesting
16 information, I think needs, take a look at that.

17 MR. HINNEFELD: I really enjoyed the
18 --

19 CHAIR CLAWSON: That's fine with me.

20 MR. HINNEFELD: Yes. I really enjoyed
21 the walk down, it's not really memory lane. I
22 don't remember 1962, at least not, I don't
23 remember Fernald in 1962. But it was kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interesting to read about it, for sure.

2 CHAIR CLAWSON: Well --

3 MR. BARTON: So I guess the path
4 forward would be, that would be in NIOSH's court,
5 with you and your team, Stu. And --

6 MR. HINNEFELD: Right.

7 MR. KATZ: Right.

8 MR. BARTON: Okay. So I guess to sum
9 up, for uranium radium poor raffinates we'll be
10 seeing something formally written up from NIOSH.
11 For recycled uranium both NIOSH and SC&A have
12 action items to pursue on that issue.

13 And with regard to thorium the two
14 issues were the chosen DAC solubility and thoron
15 parameter selection, which I believe we closed
16 both of those out.

17 CHAIR CLAWSON: Okay. Is there
18 anything else we need to discuss?

19 WG Recommendations and/or Path Forward

20 MR. KATZ: Sure. Yes. Thanks, Brad.
21 So there's very little left.

22 MEMBER ZIEMER: Yes.

23 MR. KATZ: Oh, go ahead. Paul first.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Oh. I was trying to
2 remember for, do we have Fernald on the agenda
3 for April? Or are we going to get a status
4 report?

5 MR. KATZ: That's what I was about to
6 address. So we do have Fernald on the agenda,
7 but it doesn't need to stay there. And although
8 there's very little left to wrap up the Site
9 Profile review, it seems like we might as well
10 button it up first, right. And there's no, it
11 doesn't make much sense to give an update when
12 there's so little left to finish, right.

13 CHAIR CLAWSON: That's correct.

14 MR. KATZ: So I would suggest, Brad,
15 and Paul, and Phil, that we just take that off
16 the agenda for the April Board Meeting. We can
17 have some, we can report out from site pro --
18 some procedure reviews, instead of Fernald. And
19 then expect to have Fernald on the agenda for the
20 August meeting, if that makes sense to all of
21 you.

22 CHAIR CLAWSON: Well, that's fine with
23 me.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Yes. That makes sense
2 to me, yes.

3 MR. HINNEFELD: So, Ted, this is --

4 MEMBER SCHOFIELD: It does to me.

5 MR. HINNEFELD: What you're saying
6 then, Ted, is there won't be a presentation with
7 PowerPoints and stuff like that. But when we go
8 around the list of activities by the various Work
9 Groups, Brad could say, well, we met --

10 MR. KATZ: Oh, yes. Of course.

11 MR. HINNEFELD: Yes, okay.

12 MR. KATZ: Absolutely. I mean, Brad
13 can report out just in the very summary way that
14 he does about where the Work Group is and that
15 this will be coming up for everybody in August.

16 And then once we do wrap up these last
17 couple items, we can produce, or SC&A can produce
18 a cleaned-up final matrix for the Site Profile
19 review that covers it comprehensively. And that
20 could be presented, and that would be, then we'll
21 be done with Fernald, for the Site Profile
22 review.

23 MR. BARTON: This is Bob. One

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question I had was, we've been kind of operating
2 off sort of the old method of these paper
3 matrices. And I know we really want to be
4 migrating all these things to the BRS.

5 Is that something that should be
6 SC&A's purview? Is that in NIOSH's court? Or do
7 we want to hold off until everything's done and
8 then we can --

9 MR. KATZ: Well, I think so, I think,
10 Brad, I mean, given how far down the road we are
11 now, I think what makes sense is just when you
12 produce your final matrix that will be dropped
13 into the BRS without -- there's no point at this
14 point having back and forth, and not filling out
15 the BRS really. But that can be dropped in the
16 BRS just so that the BRS is complete. But I don't
17 think there's anything to do with the BRS until
18 we have that final matrix with everything
19 complete. Does that make sense?

20 MEMBER ZIEMER: Yes.

21 MR. BARTON: Oh, it certainly makes
22 sense to me.

23 MR. KATZ: Yes. Okay, good. All right

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then. Brad, anything else for the good of the
2 order?

3 CHAIR CLAWSON: No. I don't see
4 anything at this time. So what, are we kind of
5 looking at a timeframe for that?

6 (Simultaneous speaking.)

7 MR. KATZ: Go ahead, Stu.

8 MR. HINNEFELD: I was going to hem and
9 haw. I guess I'll hem and haw first. But I think
10 I'll say the same thing I always say on those
11 questions, Brad, is that we have to fit it in to
12 everything else the project is doing as well.
13 And we'll have to consult with, the contractor
14 will have to evaluate its resources and how
15 they're being utilized. And so --

16 CHAIR CLAWSON: Well, I guess --

17 MR. HINNEFELD: It looks like
18 something could easily resolve before August.
19 But in terms of picking a date, I think I'm a
20 little hard-pressed to pick a date.

21 CHAIR CLAWSON: Well, I just want to
22 make sure that we have time to be able to review
23 this and get to it. I understand the resources

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are there. But it sure would be nice to be able
2 to bring this one to closure.

3 So whatever we can do I would
4 appreciate it. But I'd also like some time to be
5 able to have SC&A review everything that comes
6 in. Maybe it can even, if we need a technical
7 call, or whatever like that. But I would like to
8 wrap it up when we can.

9 MR. BARTON: Yes.

10 MR. HINNEFELD: Yes, absolutely.
11 Absolutely.

12 MR. BARTON: Yes.

13 MR. KATZ: Well, when, Stu, when you
14 have an estimate if you could just pop an email
15 over. And --

16 MR. HINNEFELD: Yes, I will.

17 MR. KATZ: I'm assuming, Bob, your
18 follow-up from this is not, won't take that long
19 because you've done most of the work that you
20 really need to do.

21 MR. BARTON: Yes, I would imagine it
22 can be wrapped up fairly quickly. I don't think
23 we'll looking as in depth at it as Stu's team

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will be with the materials and whatnot. But,
2 yes, I think --

3 MR. KATZ: Right.

4 MR. BARTON: -- be a little bit
5 quicker over on our side.

6 MR. KATZ: Okay.

7 MR. HINNEFELD: Yes, Brad, I think we
8 both recognize that we want to get our, we each
9 have a product to develop. We want to get it out
10 to the Work Group and to, we want to get it to
11 SC&A.

12 They want to get theirs to us well in
13 advance so that we can digest what each other is
14 saying and come knowledgeably to a Board Meeting.
15 Well, you know, and get that out of the way well
16 before the, or to a Work Group Meeting, get that
17 out of the way before the August Board Meeting.
18 We recognize all that.

19 Adjourn

20 CHAIR CLAWSON: Okay. And I
21 appreciate that. So with that being said, I think
22 that unless there's anything else pressing that
23 needs to come before the Board, I think we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 adjourned.

2 MR. KATZ: Thanks, all of you.

3 (Whereupon, the above-entitled matter
4 went off the record at 2:13 p.m.)

5

6

7

8

9

10

11

12

13

14

15

16

17