

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

THIRTY-THIRD MEETING

ADVISORY BOARD ON  
RADIATION AND WORKER HEALTH

VOL. I

DAY ONE

SUBCOMMITTEE MEETING

The verbatim transcript of the Subcommittee Meeting of the Advisory Board on Radiation and Worker Health held at the Knoxville Marriott, Knoxville, Tennessee, on October 17, 2005.

C O N T E N T S

October 17, 2005

WELCOME AND OPENING COMMENTS	8
DR. PAUL ZIEMER, CHAIR	
DR. LEW WADE, EXECUTIVE SECRETARY	
CONFLICT OF INTEREST	12
MS. LIZ HOMOKI-TITUS	
BETHLEHEM SITE PROFILE REVIEW:	15
SC&A PRESENTATION, DR. JOHN MAURO	15
NIOSH PRESENTATION, DR. JIM NETON	53
CONGRESSIONAL ADDRESS	88
MR. JASON BROEHM	
SRS SITE PROFILE REVIEW	94
SC&A PRESENTATION, MS. KATHY ROBERTSON-DEMERS	94
NIOSH PRESENTATION, DR. JIM NETON	110
Y-12 SITE PROFILE REVIEW	126
SC&A PRESENTATION, MR. JOE FITZGERALD	126
NIOSH PRESENTATION, DR. JIM NETON	142
ROCKY FLATS REVIEW STATUS REPORT	156
DR. JOHN MAURO, SC&A	
SC&A CONTRACT TASK III REVIEW, NIOSH PRESENTATION	163
MR. STUART HINNEFELD, NIOSH	
COURT REPORTER'S CERTIFICATE	178

### TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

**P A R T I C I P A N T S**

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.  
Professor Emeritus  
School of Health Sciences  
Purdue University  
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.  
Senior Science Advisor  
National Institute for Occupational Safety and Health  
Centers for Disease Control and Prevention  
Washington, DC

MEMBERSHIP

ANDERSON, Henry A., M.D.  
Chief Medical Officer  
Occupational and Environmental Health  
Wisconsin Division of Public Health  
Madison, Wisconsin

DeHART, Roy Lynch, M.D., M.P.H.  
Director  
The Vanderbilt Center for Occupational and Environmental  
Medicine  
Professor of Medicine  
Nashville, Tennessee

GIBSON, Michael H.  
President  
Paper, Allied-Industrial, Chemical, and Energy Union  
Local 5-4200  
Miamisburg, Ohio

GRIFFON, Mark A.  
President  
Creative Pollution Solutions, Inc.  
Salem, New Hampshire

MUNN, Wanda I.  
Senior Nuclear Engineer (Retired)  
Richland, Washington

PRESLEY, Robert W.  
Special Projects Engineer  
BWXT Y12 National Security Complex  
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.  
Professor Emeritus  
University of Florida  
Elysian, Minnesota

STAFF/VENDORS

LASHAWN SHIELDS, Committee Management Specialist, NIOSH  
STEVEN RAY GREEN, Certified Merit Court Reporter

AUDIENCE PARTICIPANTS

ADCOCK, DAVID, Y-12  
ANDERSON, LYNN, SRS  
BEATTY, EVERETT RAY, SR., FERNALD ATOMIC COUNCIL  
BEHLING, HANS, SC&A  
BEHLING, KATHY, SC&A  
BOGARD, RHONDA, Y-12  
BROEHM, JASON, CDC WASHINGTON OFFICE  
BRYNESTAD, ASTRIS, Y-12  
CLELAND, REINA  
CROWE, BILL, X-10  
CROWE, ED, X-10  
DEHART, JULIA  
DUNCAN, ASPASCIA, CLAIMANT SPOUSE  
DUNCAN, THOMAS N., BWXT Y12  
ELLIOTT, CHARLES C.  
ELLIOTT, CONNIE, ENERGY RESERVE CENTER  
ELLIOTT, LARRY, NIOSH/OCAS  
FITZGERALD, JOSEPH, SC&A  
HALL, HUBERT T.  
HALL, LAWRENCE R., X-10  
HENSHAW, RUSS, NIOSH/OCAS  
HINNEFELD, STUART, NIOSH  
HOMOKI-TITUS, LIZ, HHS  
HOWELL, EMILY, HHS  
HUMPHREYS, IDA, NIOSH  
ISHAK, LAURIE, OCAS  
KATZ, TED, NIOSH  
KERR, ,GEORGE, KERR CONSULTING  
KOTSCH, JEFF, DOL  
LAWSON, J.H., BWXT-Y12/ATLC  
LAYMAN, RANDY D., CLAIMANT  
LIPSZTEIN, JOYCE, SC&A  
MAKHIJANI, ARJUN, SC&A  
MAURO, JOHN, SC&A  
PIERCE, WILLIAM, X10-Y12-K25 K33  
PRESLEY, LOUISE S., WIFE OF ROBERT PRESLEY  
ROBERTSON-DEMERS, KATHY, SC&A  
RYAN, MAXINE, Y-12  
SAMPSON, BOB, GAO  
SCHAUER, DAVID, NCRP

SIMS, JOHN, IGUA  
SMITH, KAREN D., Y-12  
SNELLINGS, HOWARD  
TOOHEY, RICHARD, ORAU  
WALKER, ED & JOYCE, BSAG  
WOODY, RUTH, CLAIMANT  
WORMSBY, PATSY E.  
WRIGHT, BETTY R., Y-12  
ZIEMER, MARILYN

## P R O C E E D I N G S

(10:00 a.m.)

WELCOME AND OPENING COMMENTSDR. PAUL ZIEMER, CHAIRDR. LEW WADE, EXECUTIVE SECRETARY

1           DR. ZIEMER: Good morning everyone. I'm going to  
2 call the meeting to order. This is the subcommittee  
3 for dose reconstruction and site profile reviews.  
4 This is not the full Advisory Board committee  
5 meeting. I hope everyone's aware of that. The  
6 subcommittee, the way this board is structured all  
7 members of the board are permitted to participate in  
8 the subcommittee meetings. So you have a good number  
9 of board members are actually here this morning  
10 although it is actually a subcommittee meeting.

11           Anyway, we welcome you to Oak Ridge. I feel like  
12 I can actually welcome people to Oak Ridge since this  
13 is kind of a homecoming place for me. Marilyn and I  
14 spent the first year of our marriage -- I say Oak  
15 Ridge, welcome to Knoxville which is a suburb of Oak  
16 Ridge. But Marilyn and I spent the first year of our  
17 marriage in Oak Ridge, so it's kind of homecoming,  
18 old stomping grounds. We've always loved this area.  
19 We love coming back here to the general area and  
20 Smokey Mountains and surrounding lakes and so on.

21           We have a rather diverse agenda for the  
22 subcommittee. It includes things ranging from  
23 Bethlehem Steel in New York all the way to Rocky

1 Flats, Colorado, and things in between. So a number  
2 of items that we will be discussing some of which  
3 will be updates, some of which will help us define  
4 some of the issues relative to NIOSH's reports and  
5 the reviews by our contractor.

6 Before we get into the main agenda, we do have a  
7 few business items and things to take care of. Let  
8 me begin with the usual things, and that is to remind  
9 everyone to register your attendance with us.

10 There's a registration book out in the corridor. If  
11 you've not already done so, please do that at the  
12 break. This is everybody, board members, staff  
13 people, members of the public.

14 Also, if you are a member of the public and wish  
15 to make public comment during the public comment  
16 session which will be a full board session late this  
17 afternoon, please register for that as well in the  
18 appropriate spot there in the corridor.

19 Our designated federal official is Dr. Lewis  
20 Wade, and he's going to make a few remarks at this  
21 time, Dr. Wade.

22 **DR. WADE**: Thank you, Paul.

23 Well first, let me welcome you on behalf of the  
24 Secretary and the Director of CDC and John Howard,  
25 the NIOSH Director. We appreciate your service.

1 It's a wonderful time to be alive. It's a wonderful  
2 time to be here where we are enjoying this beautiful  
3 weather and doing this most important business. I  
4 think this board has shown its ability to take on  
5 unbelievably difficult issues and work them through  
6 with quality and dignity. And I thank you very much  
7 for that. It's been a pleasure to be associated with  
8 the board.

9 I will report to you that Secretary Leavitt  
10 signed the designation of the later years of  
11 Mallinckrodt on Friday and has sent it on to  
12 Congress. So a long ordeal I know for you and for  
13 many, but it's been brought to fruition with the  
14 Secretary following your recommendation and sending  
15 that recommendation on to the Congress.

16 A couple of things I'd like to talk about, and  
17 I'll talk about them again tomorrow as we sort of sit  
18 here now and look forward. We have a board meeting  
19 scheduled for the end of January. At that board  
20 meeting we very likely will be looking at SEC  
21 petitions for Rocky Flats and also for the later  
22 years of Y-12.

23 As I said, we look forward to January. On that  
24 agenda we're very likely to have SEC petitions in  
25 front of the board for action for Y-12 and for Rocky

1 Flats. I don't have to remind any of you of the pain  
2 we went through in terms of Mallinckrodt when we were  
3 trying to do a site profile review at the same time  
4 we were trying to do an SEC petition review.

5 I think it's critical, therefore, that as the  
6 subcommittee and then the board looks forward towards  
7 the end of January that we put in motion everything  
8 we need to have done to bring us to a position where  
9 you can make a decision on the SEC petitions for Y-12  
10 and Rocky Flats at the end of January. We've got  
11 time between now and then. We have good people doing  
12 work for us, and I think it's terribly important that  
13 we imagine a strategy that will bring you to late  
14 January and position the information you need to make  
15 those most important votes.

16 We also have in front of us a longstanding issue  
17 relative to the Bethlehem site profile or Technical  
18 Basis Document. Again, it's been a long and arduous  
19 journey that's taken us to this point. We need to  
20 think hard about those actions both today and  
21 tomorrow.

22 And then we can't forget there are many other  
23 things that are sort of sitting without direct  
24 attention, and we need to put our minds to those.  
25 There are petition, there are reviews of site

1 profiles for Hanford and the Nevada Test site, and  
2 we'll talk about those through this week. But  
3 there's much work for the board to be done.

4 So I congratulate you on the work you've done to  
5 this point. We've come through some very difficult  
6 issues with regard to SEC petitions for Iowa and  
7 Mallinckrodt, but there's still a great deal to do.  
8 And I think we need to take the lessons learned,  
9 particularly from Mallinckrodt, and apply them to the  
10 things that are in front of us. Thank you.

11 **DR. ZIEMER:** Thank you.

12 On the agenda you have an item called approval of  
13 minutes, and we're going to defer action on those  
14 since the copies are not to you at this time. We  
15 will pick that up later in the meeting.

**CONFLICT OF INTEREST**  
**MS. LIZ HOMOKI-TITUS**

16 There are a couple of other sort of housekeeping  
17 items, however. The first has to do with conflict of  
18 interest, and we're going to call on counsel to give  
19 us the latest views on that. So Liz is approaching  
20 the mike now, and Liz, please define what the issue  
21 is for the group, and what the Department's position  
22 is.

23 **MS. HOMOKI-TITUS:** Thank you, Dr. Ziemer.

24 Good morning. I just wanted to let you know as

1 far as the site profile reviews and recommendations  
2 that you all will be making to the Secretary, the  
3 Department has determined that it is very important  
4 that we have the comments of board members who may  
5 have expertise at those sites during the discussions.  
6 But we ask that each board member who has a issue  
7 with a site or has worked at a site as it's listed in  
8 your waiver letters, recuse yourselves from approving  
9 and voting on recommendations that are going to the  
10 Secretary. So again, we ask that you remain in the  
11 discussions and provide your expertise, but since  
12 those site profiles will affect dose reconstructions  
13 and SEC reviews that could affect you from those  
14 sites, we ask that you recuse yourselves from voting  
15 on those issues.

16 **DR. ZIEMER**: Thank you.

17 Board members, are there any questions on that?  
18 And just let me ask one, Liz, for clarification. For  
19 example, Y-12 as a site, this means that Mr. Presley  
20 could be involved in all of the discussions on that,  
21 but if we had a specific action, either on the  
22 recommendation on the profile itself or on an SEC  
23 petition dealing with that site, then he would  
24 recluse himself from a vote. Is that correct?

25 **MS. HOMOKI-TITUS**: That's partially correct. For

1 the site profiles he can participate in the  
2 discussion but not vote. For an SEC petition, he  
3 would have to recuse himself from the whole thing.

4 DR. ZIEMER: From the whole discussion.

5 MS. HOMOKI-TITUS: Right.

6 DR. ZIEMER: So site profiles the discussion is a  
7 different level of concern than --

8 MS. HOMOKI-TITUS: Right.

9 DR. ZIEMER: And then we will also at some point  
10 need to determine what it means, for example, for the  
11 Chair who officially worked for Union Carbide at X-10  
12 but spent a week at Y-12, what that means also. And  
13 you don't have to answer that now, but I will need to  
14 determine that.

15 MS. HOMOKI-TITUS: Right, well, we can discuss  
16 that.

17 Yes, ma'am.

18 MS. MUNN: I noticed in observing my own  
19 documentation that my only listing did not include  
20 this full site. It only included the portion of the  
21 site where I had been employed. So rather than the  
22 Hanford site, my documentation indicates Westinghouse  
23 Hanford Company which did not come to the site until  
24 1970 and did not, and I did not come to the site  
25 until 1977. So...

1           **DR. ZIEMER**: Perhaps what we need to do as  
2 individuals, if you have questions as I did and Wanda  
3 does and maybe others do, we can work these out with  
4 counsel individually.

5           Go ahead if you have an answer.

6           **MS. HOMOKI-TITUS**: No, I don't have an answer.  
7 We'll have to look at your specific waiver. Again,  
8 it may be best that you participate in the discussion  
9 since you have expertise but recuse yourself from  
10 voting just so there's no kind of outside looking in  
11 saying she has a conflict there. Why is she voting.

12          **DR. ZIEMER**: Thank you.

13          Then we also have another item that we wish to  
14 bring before the group. It's from the Congressional  
15 liaison person for NIOSH, but he'll be back a little  
16 later, and we'll pick that up at that time.

17          **BETHLEHEM SITE PROFILE REVIEW**

18          Then we're ready to begin our deliberations on  
19 Bethlehem Steel, the Bethlehem site profile review.  
20 And to get us up to date we have two presentations.  
21 We have the presentation from the board's contractor,  
22 SC&A, and then we will have a presentation and  
23 response by NIOSH and Dr. Neton. And so we'll begin  
24 with John Mauro for SC&A.

25          **SC&A PRESENTATION, DR. JOHN MAURO**

1           Welcome, John. And I think we have, yes, John's  
2 presentation, board members, is in your booklet, and  
3 I believe there's copies for the public on the table  
4 if you need to get a copy for yourself.

5           DR. MAURO: Good morning, everyone. I've very  
6 happy to be here in the sunshine. Those of you who  
7 live in the northeast, I would say for at least two  
8 weeks, maybe three weeks, we had six inches of rain  
9 that didn't stop. It stopped yesterday but flying in  
10 here today, I looked out at all the green and lush.  
11 Feels good today. I feel like I came back to life.

12           Okay, Bethlehem Steel. Let's see if we can get  
13 the next slide going. There's some history here on  
14 Bethlehem Steel, and I thought it might be a good  
15 idea to sort of go back very quickly and come up to  
16 date. As you recall, there was an original NIOSH  
17 site profile for Bethlehem Steel that goes back to  
18 about 2003. I'm not sure of the exact date, but it  
19 was the first one, Rev. zero.

20           When that report came out, we performed the  
21 review, the limited review about a year ago, about  
22 October 2004. And there are many issues we raised,  
23 but when all is said and done, our main concern as  
24 you recall is NIOSH adopted an approach where they  
25 used what was called a triangular distribution, data

1 that they acquired from Simonds Saw. And it  
2 basically said well, we're going to use one size fits  
3 all, so we're going to say that it's a triangular  
4 distribution, starts at 0 MAC, Maximum Allowable  
5 Concentration, which is 70 DPM per cubic meter, goes  
6 to a peak at 2 MAC and then goes all the way out to  
7 1000 MAC, big triangle.

8 We reviewed that, and I guess we walked away with  
9 the feeling that, you know, we have a problem with  
10 that approach on several levels. And this came out  
11 in our critique of the site profile. We felt that  
12 when you look at the data, the Simonds Saw data, it  
13 sure looked like, pretty close to a lognormal  
14 distribution. And when you take the approach that is  
15 used at triangular distribution, and you sample from  
16 it, the way we looked at it is by sampling from the  
17 entire distribution, one could argue well, that's  
18 really not necessarily claimant favorable. It's sort  
19 of claimant neutral.

20 Claimant favorable would be, okay, you took the  
21 distribution maybe, and then you pick off the high  
22 end. So okay, if you're going to have one size fits  
23 all, you really want to pick the concentrations that  
24 are more toward the high end, especially if you don't  
25 know really where a worker worked. So you say, well,

1 we had the full distribution of, going from zero to  
2 let's say 1000 MAC, but the problem is if you don't  
3 really know where the worker worked, it's possible  
4 that he could have worked in a high end location.

5 And what we did find out since that is that  
6 workers did, in fact, work at particular locations  
7 the whole time. In other words there were workers  
8 that worked at the rollers, and that's where they  
9 stayed, and they worked there those ten hours. By  
10 the way if you recall, they worked on weekends. The  
11 approach is every one weekend a month for two years  
12 or perhaps four years.

13 So the concern we had was, in essence, with the  
14 original report. The triangle distribution really  
15 wasn't the correct distribution to represent the  
16 data. And second, given that you could construct a  
17 more realistic distribution, perhaps lognormal, if  
18 you're going to go with one size fits all, you've  
19 really got to go with some high end number, maybe a  
20 95<sup>th</sup> percentile value as a one size fits all rather  
21 than sampling from the whole triangle which is really  
22 claimant neutral.

23 Now appropriately NIOSH pointed out, but wait a  
24 minute, now the Simonds data is very, very  
25 conservative as applied to Bethlehem Steel because

1       there's lots of reasons, and they're listed in  
2       detail, of why Simonds was a very, very, I guess,  
3       conservative representation. And it mainly had to do  
4       with the salt bath versus the lead bath versus no  
5       bath. When you have a salt bath which is what  
6       Bethlehem Steel used at least in the later years or  
7       later year, you really reduce the potential.

8               So the original approach -- now, you've got to  
9       keep in mind the original approach was use Simonds'  
10      data, construct triangle distribution and sample from  
11      it one size fits all. And we gave our criticism on  
12      that basis. Let's move on now. In fact, let's keep  
13      going.

14             Then Rev. two came out from NIOSH basically  
15      reacting to some of these comments. And Rev. two did  
16      something which basically got rid of the triangular  
17      distribution and went toward the lognormal. It took  
18      the Simonds' data, took all the data, fit it to a  
19      lognormal distribution, and plucked off the upper  
20      end, 95<sup>th</sup> percentile, which was 550 MAC, okay? That  
21      was a big change, a very claimant favorable change.  
22      And they said we're going to use that number. We  
23      accept SC&A's criticism about the triangle. We  
24      accept the idea they really should pick a high end.  
25      So they went ahead and did that.

1           But then they did something else, and this was a  
2 little bit, this is where things start to get  
3 interesting. They say well, we're going to use  
4 Simonds Saw data for 1949-1950 and pluck off the 95<sup>th</sup>  
5 percentile, 550 MAC, one size fits all for those two  
6 years. But for 1951 and 1952 we're going to use  
7 Bethlehem Steel data. We're not going to use Simonds  
8 Saw data; we'll use Bethlehem Steel data, and we're  
9 going to do the same thing.

10           We're going to take that data from '51 and '52,  
11 throw it in the pot, fit it to a lognormal  
12 distribution, pluck off the upper 95<sup>th</sup> percentile from  
13 that, and use that as a one size fits all for 1951  
14 and 1952. That concentration turned out to be 20  
15 MAC. So what we have now is as of the Rev. two to  
16 the TBD was really a two-step process now. We use  
17 550 MAC for 1951, 1949 and 1950 and 20 MAC for '51  
18 and '52.

19           Now there was a lot about that that troubled us.  
20 In fact, we submitted a report on September 27<sup>th</sup>,  
21 relatively recently, which was our critique of that  
22 work. It took some time for us to get back and to  
23 look at that issue. And our concern with that --  
24 well, let me go through a process that took place.

25           We issued a report on the 27<sup>th</sup>. Then there was a

1 series of interactions whereby once we issued that  
2 report, and in effect, the report said the following:  
3 Our report said when we look at the Bethlehem Steel  
4 data that's available to us, we've got some problems  
5 with it. In other words, we're a little concerned  
6 that you went from using solely the Simonds' data,  
7 now we're using Simonds' data for two years and  
8 Bethlehem Steel data. So we took a really close look  
9 at the Bethlehem Steel data, and we had some problems  
10 with the data.

11 The problems with the data really stemmed from  
12 the fact that the data for 1951 was illegible. So  
13 there was a lot of numbers for '51 that we couldn't,  
14 we didn't know what they were. We also looked at the  
15 data from the point of view of it looks like the data  
16 that you do have is heavily general air samples and  
17 light on breathing zone samples. And we also noticed  
18 that when you do make, we look at the breathing zone  
19 samples versus the general air samples, it looks like  
20 there really isn't much difference between the two.

21 Now if you look at the Simonds' data, and you  
22 look at the breathing zone samples, and you look at  
23 the general air samples, you consistently see a large  
24 difference whether you look at the highest values;  
25 you look at the average values, look at the 50

1 percentile, median values. Whatever values you look  
2 at the breathing zone samples collected from Simonds  
3 are always higher than the general air samples at  
4 Simonds. But that's not what we saw in looking at  
5 the Bethlehem Steel data.

6 So we had two problems with the Bethlehem Steel  
7 data. The problems being we really couldn't read the  
8 data for 1951, which was an important year because  
9 that was the transition year where they were  
10 installing the new technologies to try to lower the  
11 dust loading. And so we're missing some data, and  
12 this ratio just didn't make sense to us. That is,  
13 why is it that the breathing zone samples are not  
14 substantially higher than the general air samples?

15 Well, so that was our reaction. Well, what  
16 happened was -- and that was our report on September  
17 27<sup>th</sup>. The next thing that happens is October 4<sup>th</sup>,  
18 NIOSH was able to track down what they called the  
19 onion-skin original sheets that really were prepared  
20 back in 1951 where you could read the numbers. So we  
21 got a hold of the numbers; NIOSH got the numbers; we  
22 got the numbers, and you could read the numbers.  
23 Aha! A big problem with the Bethlehem Steel data  
24 that we had where we were missing all these 1951  
25 data, we have them now. That's important.

1           So now we've got to regroup and say, well, wait a  
2 minute. We've got a lot of data now. Maybe we could  
3 use it to see what we can do with it. Let's work  
4 with it. We had a meeting on October 6<sup>th</sup>. We had a  
5 working group meeting. Mark was there and others  
6 were there. I didn't make it. I was out. I had the  
7 flu.

8           But then, and now remember now, we had these data  
9 as of October 4<sup>th</sup>. And if you look at one of these  
10 data sheets, you'll see there's a whole list of  
11 numbers and right next to each number, the air  
12 concentration, it gives you the location that it was  
13 taken from. Our crew sat together and said well,  
14 what do these locations mean? I mean, let's let the  
15 data speak to us. What do they tell us? We couldn't  
16 figure it out, so we don't really know what each of  
17 these little identifiers mean.

18           So Arjun, on Sunday on the -- what date was that,  
19 the Sunday? The ninth? The ninth -- flew up to  
20 Buffalo, met with Ed Walker and two of his coworkers  
21 who worked at the facility for many, many years. And  
22 they sat down and they talked through each label.  
23 What do these labels mean, the shearer, a whole bunch  
24 of different names of, that are given to where the  
25 sample was taken. So we're starting to get an

1 appreciation what the data say and where they were  
2 taken. Let's keep going.

3 Now so we got to the point now I think we  
4 understand what the data are. And given that we have  
5 these data now, and we consider now a complete set of  
6 data. We understand them, in fact, we actually made  
7 a drawing. We sent out our report on Friday  
8 afternoon electronically.

9 I realize it was like the eleventh hour, but our  
10 report, a letter report would go out electronically.  
11 And we actually had the drawing back there. What was  
12 done. This is the first time I think, anyone made an  
13 attempt at trying to sketch out what Bethlehem Steel  
14 looked like. And that was done, we really have to  
15 thank the folks that Ed Walker, his buddies, worked  
16 with us to lay out what does this place look like.

17 **DR. ZIEMER**: Could we identify, is the drawing  
18 called Bethlehem Steel Ten Inch Bar Mill line  
19 diagram?

20 **DR. MAURO**: Yes, that's correct. And that's  
21 Figure 3-1 in the letter report dated October 14<sup>th</sup>,  
22 from SC&A to Dr. Ziemer.

23 **DR. ZIEMER**: Right, thank you.

24 **DR. MAURO**: Okay, now where we are with this  
25 report now. Now it's caught up. There were

1 originally six issues, if you recall, that were on  
2 the table. One of them has gone away. The one that  
3 went away is the oronasal breathing issue. And the  
4 reason that went away is NIOSH made a very compelling  
5 case that the uncertainty introduced associated with  
6 having to model mouth breathers as opposed to nasal  
7 breathers, or oronasal breathing, the uncertainty  
8 introduced by that and what it means is very, very  
9 small compared to the uncertainty in the distribution  
10 of the concentrations in the dose.

11 So it's almost like it's not important. It might  
12 be important at other sites so, in effect, that at  
13 this site we could sort of put that one on the shelf.  
14 It's not important. That doesn't mean we don't have  
15 to revisit it again, but because it becomes a, I  
16 refer to it as a policy decision.

17 There's no doubt -- just as a quick aside,  
18 there's no doubt if you assume a person's a mouth  
19 breather and there's a large fraction of the U.S.  
20 population that are mouth breathers, that you're  
21 going to get a different dose than if you breathe  
22 through your nose or breathe through you nose,  
23 oronasal breathing, when you start to work hard.

24 So whether or not mouth breathing is part of a  
25 model that should be factored in, that's really a

1 policy call. And but there's no doubt, everyone  
2 would agree, we agree that there's a large segment of  
3 the U.S. population that are mouth breathers. And we  
4 also agree if you're a mouth breather, your dose to  
5 your lungs are going to be somewhat higher, maybe a  
6 factor of two or so -- we did the numbers -- than if  
7 you were just a regular breather like everybody else.  
8 So it becomes a policy decision whether or not that  
9 should be explicitly included in doing dose  
10 calculations or not.

11 But for this particular issue it becomes a non-  
12 issue because it really is not an important  
13 contributor to the uncertainty in the dose  
14 calculation. There are other issues that are by far  
15 dominate or contributes to the uncertainty.

16 So we're left with these five issues. I'm going  
17 to spend most of my time talking about the first  
18 issue. We'll quickly go into the other four and  
19 that'll take five minutes. We're not very far apart.  
20 In fact, we're not very far apart at all on the  
21 second to the last four bullets there where we have  
22 come at it a little bit different. We think our  
23 approach is a little bit more scientifically robust  
24 and a little bit more claimant favorable. But  
25 certainly it is not very far away from the position

1       that NIOSH has taken.

2               The place that we are still very far away on is  
3 the first bullet, and that has to do with the  
4 following:

5               As I understand it -- well, let's start where we  
6 agree. The data that came in where we were able to  
7 see legible data for 1951, it's clear now that  
8 there's a very significant difference, perhaps a  
9 tenfold difference in the concentration distributions  
10 between the 1951 Bethlehem Steel data and the 1952  
11 Bethlehem Steel data. I think we have agreement on  
12 that. I think both NIOSH and SC&A agree, yes, there  
13 is a difference there.

14              So the idea that we have to parse it one more  
15 time, say, in other words, we started off there was a  
16 one size fits all. Took the Simonds Saw and at the  
17 very beginning and applied it across all four years.  
18 Now we moved into a mode where we say, well, we've  
19 got the first two years, '49-'50, which is Simonds  
20 Saw and then in one of the reports then there was a  
21 second set of data which was the 20 MAC data which is  
22 '51-'52.

23              Well, we've moved away from that I believe. Now  
24 we're at the point where we're saying, well, we need  
25 something for '49, '50 Simonds Saw. We need

1 something for 1951, and we need a separate one for  
2 '52 because there's a big difference in the Bethlehem  
3 Steel data between 1951 and 1952. So we've got  
4 agreement there.

5 Now here's where we've got -- item number two.  
6 Here's where the difference of opinion exists. We  
7 believe that you can't take the 1951-1952 Bethlehem  
8 Steel data on face value, and we're prepared to  
9 discuss this with the working board today.

10 I'm looking forward to Jim and his position  
11 because I realize Jim doesn't agree with this. See,  
12 we say look at the 1951-1952 Bethlehem Steel data,  
13 and we say there's a paucity of breathing zone  
14 samples. Unlike the Simonds Saw data where that  
15 wasn't the case. NIOSH would like to take all of the  
16 '51-'52 data, put it in a pot, plot it out, semi-log,  
17 plot it on a lognormal distribution and pick off the  
18 upper 90 percentile. Or alternatively, take the 1951  
19 data and take, separately from the 1952 data, and  
20 plot each on log paper and pluck off the 95  
21 percentile from 1951 and a separate log for '52.

22 That would be an approach that's very compatible  
23 and consistent with what was done with the Simonds'  
24 data. The only problem we have is when you look at  
25 the Bethlehem Steel data, you see a paucity of

1 breathing zone samples which tells us that they  
2 didn't catch the full range of exposures.

3 So we believe that where the action is in terms  
4 of protecting the workers, where the workers got the  
5 most exposures, were at the roller locations. This  
6 is where the dust was generated, okay? So we're  
7 saying that we need breathing zone samples from the  
8 roller locations for '51-'52 for us to start to get  
9 a, to feel confident that we can bound it.

10 In an ideal situation if we had lots of breathing  
11 zone samples from roller locations in '51-'52 from  
12 Bethlehem Steel, plucked off the 95<sup>th</sup> percentile for  
13 those, I think we've got it knocked. But we don't  
14 have that. We have general air samples for those  
15 locations. So we think that, yeah, you've got really  
16 good data for '51-'52, but you don't have enough  
17 breathing zone samples from the roller locations  
18 because that's where I think the action is.

19 So we came up with the idea that perhaps you can  
20 take the data, general air sample data from the  
21 roller location for Bethlehem Steel, '51-'52, and  
22 apply an adjustment factor. To say, okay, if we were  
23 to take, if they did, in fact, take breathing zone  
24 samples when they took the general area samples they  
25 also took breathing zone samples in '51-'52 from the

1 roller locations, we'd probably see numbers that are  
2 a little bit higher.

3 And we believe that the numbers would be higher  
4 by about the same factor of the ratio that we saw at  
5 Simonds Saw because they did have breathing zone  
6 versus general air. And there was a several-fold  
7 difference. It turns out the numbers ran from, I  
8 think, a low of five to a high of twelve-fold  
9 difference but consistently different. So we're  
10 saying, okay, let's use the Bethlehem Steel data, but  
11 I think we need to tweak it a little bit. We've got  
12 to make an adjustment for the paucity of breathing  
13 zone samples.

14 Finally, this slide, I really told my whole story  
15 by looking at -- we're going to back up a little to  
16 reinforce some of the points I've made. This plot  
17 gives you -- we call it the period one, period two.  
18 I refer to it as 1951, 1952 data plotted for the air  
19 sample. You could see that the red line which  
20 represents period one, '51, is substantially higher,  
21 especially when you go out toward the higher end of  
22 the distribution to the right-hand side. So there's  
23 really two different populations of numbers here.  
24 These don't come from the same populations. So this  
25 is the rationale which I believe, NIOSH agrees, we

1           need to make a distinction between those two years.

2           This is, again, points out that, the important  
3 point is we're looking at the breathing zone samples  
4 from Bethlehem Steel. You'll notice as we go down on  
5 the right-hand side, it gives you a number of  
6 samples. Then you look at the right-hand side,  
7 you'll see no breathing zone samples, no breathing  
8 zone samples. It's a recurring theme, and that  
9 troubled us.

10           This is another breakout of the data, but in this  
11 case we show different percentiles. That is, how the  
12 plots, it's the same way to show there was a  
13 difference by period between '51 and '52. It gives a  
14 little bit more richer breakdown of the data, but it  
15 makes the same point. These two years are different  
16 years.

17           We also know from reading ICRP 75 that we would  
18 expect to see a substantial difference between  
19 breathing zone and general air samples. So between  
20 the Simonds' data where we really see it  
21 consistently, a difference, and then, of course,  
22 there's the generic literature ICRP 75 that says  
23 you're going to see, you should expect to see  
24 differences, on that order by the way. You know,  
25 five to ten or perhaps higher differences, not as the

1 literature says.

2 So we walk away with the sense that if you're  
3 going to use the Bethlehem Steel data, you've got to  
4 do some adjustments in order to correct for what we  
5 consider to be a paucity of breathing zone samples  
6 especially in the vicinity of roller stands. But I  
7 think an important point is that we're zeroing in on  
8 that population group, the folks who worked at the  
9 rollers. Those are the people you want to make sure  
10 you understood and what kind of exposures they  
11 received.

12 The second period, the, it turns out when we --  
13 just as an example, if we take the '51 Bethlehem  
14 Steel data, and we take the general air samples in  
15 the vicinity of the rollers, and we multiply the  
16 general air samples by eight which is the ratio that  
17 we saw at Bethlehem Steel and which is a ratio that's  
18 not inconsistent with ICRP 75, lo and behold, the  
19 upper 95<sup>th</sup> percentile concentration is 540 MAC,  
20 basically the same 95 percentile concentration that  
21 we saw as the upper bound at Simonds Saw.

22 So in effect what we're seeing here is it looks  
23 like the dust loadings in '51 in the Bethlehem Steel  
24 data are really, at the upper end concentrations, are  
25 really not that different than the concentrations

1 that were seen in Simonds Saw. But then when we do  
2 the same thing for 1952, we take the same batch of  
3 data, Bethlehem Steel, take the general air samples,  
4 multiply by eight, get them, get them up. So now you  
5 say now we've got something representing free  
6 breathing zone samples. And we take the upper 95<sup>th</sup>  
7 percentile, we come up with 22 MAC.

8 So in other words, there is, so where we're  
9 coming out is -- and we're not saying those are the  
10 right ratios, but we did that to explore, see what  
11 would happen if we just went with that. But we do  
12 think that something has to be done with the data.  
13 So we come out that we have three different time  
14 periods; we use Simonds Saw 540 MAC or 550 MAC, 1951  
15 Bethlehem Steel data where you have a number which is  
16 probably going to be on the order of hundreds of MAC.  
17 Maybe not 540 but certainly not 20.

18 Then we move to '52 where we're saying sounds  
19 like about 20 might work which is the number  
20 originally they wanted to use for '51 and '52. So  
21 the real difference is I think we're coming down in a  
22 different place for 1951 Bethlehem Steel data.  
23 What's the right dust loading to use for that year?

24 And I think that we're coming in, if you adjust  
25 the breathing, the general air samples of '51 by some

1 appropriate factor, you're going to come in with a  
2 number that might be somewhere in the vicinity of 500  
3 or 400 or 300, you know, depending on what you  
4 justify. And we didn't take the analysis that far,  
5 recognizing we didn't run these numbers until we got  
6 the data back on October 4<sup>th</sup>.

7 So but I think we have a theme that says I think  
8 we've got a handle on this problem. We've got a  
9 tractable problem. And the difference between NIOSH  
10 and SC&A is that we think some adjustment is needed  
11 on those data, and we'll hear from Jim about why,  
12 perhaps, we don't. But right now I haven't heard the  
13 rationale, the why we don't have to make an  
14 adjustment factor. The data telling me, yeah, we  
15 need an adjustment factor, and I haven't heard that  
16 rationale, but perhaps we'll hear some more about  
17 that.

18 Another point that's important is there is  
19 actually a generic TIB for AWE facilities. And  
20 interestingly enough it recommends 100 MAC as your  
21 default value. In other words, if you're going to do  
22 an AWE facility, and you don't have any data, go with  
23 100 MAC. So that's part of the soup, so to speak.

24 So we've got all these numbers floating around.  
25 We're troubled by the 20 MAC that originally, that's

1 proposed in Rev. two of NIOSH's report for the  
2 reasons we gave. One of the reasons also is that the  
3 generic TIB itself argues for 100 MAC as being the  
4 default value when you don't have data. So that's  
5 sort of part of the play.

6 So now -- I'm just about done. Thank you for the  
7 amount of time you're giving me here. I want to move  
8 on to the other, a couple of other issues. If you  
9 remember we made a big fuss over cobbles, you know,  
10 cutting up cobbles, lots of airborne dust. And we  
11 learned something. We learned something on our visit  
12 on the ninth with Ed Walker and his friends. We  
13 found out that when the rods were going through the  
14 rollers, and they cobble up into spaghetti, the guys  
15 working the rollers, they don't get involved in that.

16 See, we had this thing in our heads, you make  
17 these models in your head that the guy is working the  
18 roller and this dust is coming up. Cobble hits, he  
19 has to go in there now and cut the cobble making all  
20 sorts of fumes which the concentration's going to be  
21 real high, so he's getting whacked by the dust  
22 loading from the rollers when things are going well,  
23 and then when he has to go in and cut the cobbles.  
24 Well, it turns out it doesn't work that way.

25 The way it works is there's a crane that comes,

1       whisks the cobble out, puts it over far away from  
2       where the roller operation is, puts it on a lay down  
3       area, and someone else takes care of the cobbles. So  
4       it's not an additive thing. It's almost like a  
5       different crowd that's going, he'll get exposed. Now  
6       we don't know how they cut those cobbles. We still  
7       don't know. We don't know whether they used some  
8       kind of shear or snipper, or whether they did cut it  
9       with an acetylene torch.

10       Jim made a great point at our working group  
11       meeting, they can't cut that stuff with a torch.  
12       It's, you know, it's pyrophoric. I don't know. We  
13       made some inquiries. We spoke to Ed Walker and his  
14       friends if they had any experience, personal  
15       experience and seen how it was done? The answer is  
16       no information.

17       But you know what? We've sort of put that on the  
18       margin right now to a certain degree because it's a  
19       separate crowd of people, and yeah, they'll get their  
20       exposure from cutting up cobbles however they did it,  
21       but it's not the same people that are at the rollers.  
22       And right now it looks like the rollers are the guys  
23       that we're worrying about. So if you're going to  
24       have one size fits all, it's the roller guys.

25       I need to keep going. I'm almost done. We had a

1 couple of other issues that had to do with ingestion  
2 scenario, the dust ingestion, and also resuspension  
3 that goes on all the time. Both those, these are  
4 different than the air inhalation scenarios where  
5 that inhalation from direct puff coming up while  
6 you're rolling or cutting is happening only on the  
7 days when you're rolling.

8 But the ingestion scenario and the resuspension  
9 scenario in theory could be going on all the time.  
10 During the week while they're working with steel, you  
11 still have some uranium dust co-mingled in the steel  
12 filing dust. So there theoretically was something  
13 going on that we just can't ignore. Well, we came up  
14 with, NIOSH came up with their approach, and we came  
15 up with our approach.

16 Ingestion, our approach basically starts with the  
17 premise that the data compiled by EPA and others is  
18 sort of accepted 100 milligrams per day as being, in  
19 a dusty environment one would expect inadvertent  
20 ingestion of soot on the order of that. Now, the  
21 numbers could be higher or they could be lower, but  
22 100 milligrams per day looks like a fairly well  
23 documented, as good as you can document these things.

24 Now our position is okay, so on the day of the  
25 rolling the guy is working. He eats 100 milligrams

1 of uranium dust inadvertently because that's when the  
2 rolling is occurring, that's when he's covered. And  
3 if he's going to ingest 100 milligrams that day  
4 there's probably going to be predominantly uranium  
5 dust or filings or oxides, flakes.

6 However, as each -- now that's on, let's say  
7 that's a Sunday. When Monday comes along they're not  
8 rolling uranium any more, they're rolling steel, and  
9 Tuesday they're rolling steel and Wednesday. So what  
10 happens is the approach that NIOSH took was okay, but  
11 one day out of 30 is uranium and the other 29 days is  
12 steel. So if you got 100 milligrams a day, we'll  
13 take 100 milligrams, divide by 30, you come up with  
14 3.3 milligrams per day as your average ingestion  
15 rate.

16 We took a little bit different tack. We said,  
17 you know, it doesn't really work that way. On day  
18 one, it's 100 milligrams of uranium. On day two,  
19 it's 50-50, 50 milligrams of uranium, the second day  
20 50 milligrams. On day three it's one to three, on  
21 day four -- so it's sort of like a summation.

22 If you do it that way, I think we come in with a  
23 number a little bit higher, maybe a factor of two  
24 higher, maybe, I'm not sure of the exact number. But  
25 the differences are small. But we felt that approach

1 was more mechanistically true to the process that was  
2 taking place and it ended up being a little bit more  
3 claimant favorable.

4 Finally -- no, the next item is resuspension.  
5 The approach that NIOSH adopted regarding  
6 resuspension, again, now we've got all this dust all  
7 over the place, iron and uranium co-mingled together  
8 throughout this facility being resuspended. Now what  
9 NIOSH did is say okay, let's go figure out how much  
10 dust might be in the air from resuspension.

11 So they went to data from Simonds Saw which is a  
12 couple of days after they stopped operation at  
13 Simonds Saw. They stopped operation. Nothing going  
14 on. They go in two days later. They take out an air  
15 sample, the position being any air dust loading we  
16 see must be from resuspension because they weren't  
17 rolling, and they came up with .5 MAC.

18 We've got a problem with that because there was  
19 nothing going on. No one's kicking around working,  
20 stirring up dust, so we're not really comfortable  
21 with that. They also validated that .5 MAC by taking  
22 what they knew to be measured activity on the  
23 surfaces, on the floor and the tables and applied a  
24 resuspension factor approach of ten to the minus six  
25 per meter, and they came up with a number that wasn't

1 all that far removed from the .5 MAC.

2 I've got a problem with ten to the minus six per  
3 meter as a resuspension factor. You look into the  
4 literature, that's on the low end. In a dusty  
5 environment, a place where there's soot, people are  
6 working, they're kicking up dust, it's a lot higher  
7 than that, at least a factor of ten, maybe a factor  
8 of 100 or higher. So right off the bat we're a  
9 little bit uncomfortable with the strategy taken for  
10 resuspension.

11 So we came up with a different approach which may  
12 be erring on the other side of conservatism now.  
13 I'll be the first to admit that. What we did is we  
14 said let's go into Simonds Saw, find out what the air  
15 sample level is in the general air, not in the  
16 breathing zone now, in the general air, and we're  
17 going to make a very conservative assumption.

18 We're going to assume that the levels you  
19 generally receive in the general air samples are from  
20 resuspension. Now we know that it's really a mixture  
21 of resuspension and direct puff, but let's just say  
22 it's resuspension. And we're saying, okay, so that's  
23 the amount of dust, milligrams per cubic meter, from  
24 resuspension. We're going assume that based on  
25 general air samples from Simonds. Then we're going

1 to say that let's assume that's the amount we're  
2 going to get from resuspension at Bethlehem Steel.  
3 That is from resuspension.

4 And now we're going to dilute that, that's on the  
5 day of the rolling, in other words, if you have your  
6 day, rolling is stopped. There's no rolling. Let's  
7 assume that at that day the activity that's airborne  
8 that we measure at Simonds Saw is, in fact, the dust  
9 loading from resuspension from Simonds, okay? Then  
10 we're going to say on day two, the next day, you cut  
11 that in half because now you're doing iron, and you  
12 cut that in half, and you cut that in half, and you  
13 keep doing that just like we did with the ingestion.

14 And what happens is you come up with a different  
15 approach. Turns out when you do it that way, that  
16 approach -- by the way, in our original report we  
17 used the 95<sup>th</sup> percentile dust loading from general  
18 air. That was wrong. We made a mistake. The right  
19 answer, not the right answer, a better answer would  
20 have been to go with the average or the median which  
21 we did. We fixed that.

22 When you do it that way, and do our approach that  
23 way, you come up with a dose from inhalation from  
24 resuspension which is about four times higher than  
25 the .5 MAC approach that NIOSH came up with. So

1 we're not that far away.

2 Now finally, and I'm done, we have some concerns  
3 with external exposure contact handling. Jim gave us  
4 some very compelling arguments why that's not an  
5 issue, and we agree.

6 The only place that's a little residual is that  
7 we're a little concerned that more explicit  
8 consideration, not so much for contact where workers  
9 actually handled the rods, you know, touched them,  
10 picked them up. And the only other thing that sort  
11 of stays residual on that last issue is clothing  
12 contamination. That is, if there's dust embedded in  
13 the person's clothing and sort of stuck on the  
14 clothing on non-rolling days, whether or not that's  
15 an issue or not. But again, that's not an issue  
16 that's going to be important in terms of  
17 reconstructing doses, but it's the last place where  
18 we have some concerns. That wasn't really addressed.

19 And I think that's it. And I'd like to thank  
20 Arjun, Bob Anigstein and Harry Chmelynski. I mean,  
21 they're the ones who crunched the numbers, who worked  
22 real hard. I basically sat there listening to the  
23 story to make it all make sense to me so I could try  
24 to communicate it to you. So I'd like to thank Arjun  
25 and the crew for that.

1           **DR. ZIEMER**: Thank you very much, John. Let me  
2 start a question here, and you can answer or maybe  
3 Arjun or maybe Mr. Walker. Do we know where the  
4 general air samples were collected relative to the  
5 rolling operations? I can imagine cases where  
6 general air samples and breathing zone samples might,  
7 in fact, be quite similar depending on where the  
8 workers were relative to the mills and where the  
9 general area samples were collected. Do we know  
10 where they were there or at Simonds relative to the  
11 workers?

12           **DR. MAKHIJANI**: At Simonds though all of the data  
13 points are either labeled on the data sheets and  
14 where their data points are not labeled either  
15 general air or breathing zone, we have the AEC  
16 documentation that indicates that the samples that  
17 were taken at the rollers that are not labeled either  
18 general air or breathing zone were considered by HASL  
19 to be breathing zone samples in their calculations of  
20 time-averaged data.

21           **DR. ZIEMER**: If they were close to the roller.

22           **DR. MAKHIJANI**: Yeah, and since they were using  
23 them as breathing zone samples and you had NIOSH  
24 present some testimony from HASL about that, about  
25 the Simonds sampling, in this analysis we simply

1           accepted that although with some uncertainty with a  
2           question mark around it. We accepted that those were  
3           breathing zone samples.

4           For Bethlehem Steel we have four different types  
5           of samples that were taken. There were breathing  
6           zone samples that are labeled as such and the table  
7           of that is in your, in the report. There were  
8           general air samples that were labeled as such.

9           Of the labeled samples, there were only three  
10          breathing zone samples that appeared to be at the  
11          rollers. They're all associated with the low dust  
12          salt bath process it seems to us from September '51.  
13          There are no breathing zone samples associated with  
14          the lead baths at the rollers which were clearly the  
15          dustiest location in the early period.

16          The unlabeled samples, there are a number of  
17          unlabeled samples that are set, taken near the  
18          rollers. A lot of them are in the second period, but  
19          because we don't have any descriptive documentation  
20          or time-averaged calculation, it doesn't appear to be  
21          claimant favorable to assume that breathing zone.

22          This set of samples seems to me, I've poured over  
23          the sample descriptions quite a bit, to have been  
24          taken in the AEC description itself. At least  
25          indicates that these samples were taken with the idea

1 of understanding the continuous rolling process at  
2 Bethlehem Steel which was, you know, experimental.  
3 It was new so it wasn't taken, so we have the  
4 difficulties arise in interpreting the data partly  
5 because they're unlabeled and partly because there's  
6 very little associated documentation in terms of  
7 calculations.

8 DR. ZIEMER: So the assumption is that if the  
9 sample was taken close to the operation, it probably  
10 got labeled as a breathing zone sample. By  
11 implication the general air samples were away from  
12 the source of generation of the aerosol. Is that --

13 DR. MAKHIJANI: Well, we don't know how much  
14 away. I mean, the general air sample on April 26,  
15 27, 1951, must have been taken fairly close to the  
16 rollers because there are quite high dust  
17 concentrations. But when you look at ICRP 75, there  
18 was quite explicit disc -- on earlier ICRP documents  
19 and other documentation. There's quite explicit  
20 discussion that samples that are fixed samples, taken  
21 in the general air near the working location, can be  
22 an order of magnitude or two or there's a magnitude  
23 less than a lapel breathing zone sample.

24 So that's where, it's not that they were taken  
25 very far away. We don't think that they were. But

1 the problem comes in is what's, is there an order of  
2 magnitude or more difference or could there have  
3 been. And since we don't know, this is a kind of...

4 DR. ZIEMER: And the ones that were labeled  
5 breathing zone samples are not likely to be breathing  
6 zone samples in the way we would do it today as far  
7 as lapel samplers and so on.

8 DR. MAKHIJANI: Well, Jim may address this to  
9 some extent and we also looked at it. For the  
10 Simonds' data I think there seems to be no  
11 controversy or difference of opinion between us and  
12 NIOSH. In this case we accept the breathing zone  
13 samples that are labeled as such as breathing zone  
14 samples. However, when I interviewed this one worker  
15 who wanted not to be named for privacy reasons, but  
16 as I said on a conference call of October 11<sup>th</sup> with  
17 NIOSH -- I just interviewed him on October 9<sup>th</sup>.

18 He's very clear. He has excellent recall as you  
19 can see from that drawing that he made. He recalls  
20 every detail of what went on. I mean, it's really  
21 quite extraordinary. He worked in that area for a  
22 very long time which is part of the reason. Part of  
23 the reason is very clear. He worked at the shears  
24 during the period of uranium rolling, and he  
25 remembered, he knew at the time that it was uranium.

1           And he does not remember, he might not have been  
2           there on the day the nine shear samples were taken in  
3           the breathing zone. So that's one possibility. But  
4           he does not remember anybody coming very close to him  
5           to take what he would consider that he was breathing  
6           although he remembers people sampling in the area.  
7           So we have much more uncertainty about Bethlehem  
8           Steel data. That's the short of it.

9           DR. ZIEMER: Wanda Munn.

10          MS. MUNN: I think I heard in that but just want  
11          to be sure that we actually do not know where the  
12          general air samples were taken.

13          DR. MAKHIJANI: Ms. Munn, the samples usually  
14          have a description of the general area where they  
15          were taken so it will say roller number three or  
16          roller number one and so on. Now some of those  
17          samples are, or shearer, and some of those samples  
18          will be labeled breathing zone, and we assume that  
19          they're equivalent to a lapel sampler. The ones that  
20          are not labeled we assume they are near, but because  
21          there is a big difference between near and a lapel,  
22          there's a question of how do you interpret those for  
23          calculating intake.

24          MS. MUNN: In my mind the answer is no, we're not  
25          sure just exactly where the general air samples were

1 taken.

2 DR. MAKHIJANI: Yeah, we don't know how far they  
3 were taken although we know the vicinity in which  
4 they were taken.

5 MS. MUNN: That's what I thought.

6 DR. ZIEMER: Mr. Walker, can you add anything to  
7 that for us?

8 MR. WALKER: Thank you. You had some, in the  
9 interview and you asked the question, I didn't, I  
10 know Arjun is very familiar with what we done on that  
11 conference call, but you asked where the breathing  
12 zone samples were taken in relation to the rollers.  
13 I got a set when I asked from Oak Ridge, a breathing  
14 zone sample. Well, I got samples, air samples, and  
15 the breathing zone samples are something like nine  
16 out of ten were taken at the shear.

17 And that shear actually from the rollers is  
18 approximately four to five hundred feet away from the  
19 rollers. And the rollers are considered the most  
20 contaminated and dusty area. I got a nice set of  
21 samples, I believe NIOSH had sent it to me, and I  
22 looked at those, and most of them were taken at the  
23 run-off. And I can't remember exactly, but like  
24 maybe four or five were taken at what they call the  
25 run-off which was another hundred feet, or

1 approximately a hundred feet, beyond the shears. And  
2 those were breathing zone samples.

3 And it's my understanding that there was only two  
4 breathing zone samples taken at Simonds Saw. These  
5 are the issues that trouble me. I hope it clears it  
6 up on just how far away from the basic rollers and  
7 the dusted area. I hope that cleared it up a little.

8 DR. ZIEMER: Thank you.

9 (Whereupon, Mr. Griffon  
10 joined the meeting after  
11 which the following  
12 transpired:)

13 DR. ZIEMER: Additional questions or comments?  
14 Yes, Mr. Presley.

15 MR. PRESLEY: Bob Presley. Do we have any data  
16 that shows that the areas were cleaned up prior to  
17 the rolling? Knowing how valuable this material was  
18 in '49, '51, '50, do we have any data at all that  
19 shows that the areas were cleaned up prior to the  
20 rolling of the uranium on the weekends and then  
21 cleaned up again after the rolling was done?

22 DR. MAKHIJANI: There are two kinds of residual  
23 uranium about which there's some pretty clear  
24 evidence. One is the crop ends that were not useful  
25 were all packaged and taken away in 55-gallon drums.

1           And then there's the question of scale that fell to  
2           the floor and so on. The scale from the scale pit  
3           appears so the steel and uranium scale would have  
4           been mixed up together, would have been loaded off  
5           onto flatcars and taken off. The areas do appear to  
6           have been cleaned from time to time in terms of  
7           hosing down and so on, the best as we can tell.

8           DR. ZIEMER: Yes, Roy -- oh, Ed. Ed's got a  
9           comment on the --

10          MR. WALKER: Again, with the information and what  
11          happened to the scaling, I have witnesses that I  
12          talked to that worked at the plant. And the scaling  
13          as it rolled, you can see in the picture from the  
14          plant, the dust from the floor, three, four inches  
15          thick. That went down and mixed with the steel dust.  
16          It was run into a big pit outside and the scale was  
17          loaded onto a railroad car.

18                 And one of the claimants, not knowing what I was  
19          talking about uranium, he was describing what  
20          happened during that time. He worked there at the  
21          time. The railroad car took it and dumped it on the  
22          site. And the reason that we know it was left on the  
23          site is, that we had good reason to believe, because  
24          the gondolas that they dumped the scale in, the  
25          railroad cars had no air lines on them. Without air

1 lines on these vehicles, you could not take them onto  
2 the main track and out of the plant. So we're sure  
3 they were dumped on the site, and I forgot the other  
4 question, Bob.

5 MR. PRESLEY: That's fine.

6 DR. ZIEMER: Roy DeHart.

7 DR. DeHART: In mention of digestive  
8 calculations, you used a progressive dilution. On  
9 Sunday, we had 100 milligrams of uranium and then  
10 progressively did over time. However, you didn't use  
11 a progressive dilution of the steel that had been  
12 processed. You're assuming on Sunday that it's full.  
13 That whole 100 milligrams is uranium. Well, in  
14 fairness, shouldn't you consider the fact that there  
15 would have been steel contamination in dilution as  
16 well?

17 DR. MAURO: That's correct. Ideally, we had to  
18 start at a point. We said, listen, how do we start  
19 this. The day of the rolling we could say that you  
20 already have in place some inventory of iron oxide  
21 scale that you're going to add uranium to. We  
22 decided that since the uranium during the rolling is  
23 going to be falling and accumulating on top of that  
24 while you're working, yes, there would probably be  
25 some co-mingling and using the 100 milligrams per day

1 on that day all uranium is conservative.

2 There's no doubt that there's going to be some  
3 co-mingling with pre-existing, but for two reasons,  
4 one, we were not quite sure how best to deal with the  
5 fact that the stuff is falling on top of it, fresh.  
6 So we said, listen, let's err on the side of the  
7 claimant and assume that the, of the 100 milligrams,  
8 given the 100 milligrams, at least on the day of the  
9 rolling, the majority of what they inadvertently  
10 ingest is going to be uranium.

11 Granted it's going to be something less than 100,  
12 but we started at that point not knowing how far down  
13 to go. What kind of co-mingle you would have. Then  
14 from then on, then we did a sequential dilution. So  
15 you're absolutely correct. There's a conservatism  
16 built in starting at that point that there was 100  
17 percent -- milligrams of uranium on the day of  
18 rolling.

19 **DR. ZIEMER**: Because the counter argument, of  
20 course, is if uranium is 50-50 on the day following,  
21 then the day following steel, steel should be 50-50.  
22 So you have a factor of two right at the front end, I  
23 think, is your argument.

24 Henry Anderson.

25 **DR. ANDERSON**: Yeah, the 100 is basically taken

1 from the exposure handbook?

2 DR. MAURO: Yeah, two places, Exposure Factors  
3 Handbook and NCRP both recommend that as being a good  
4 value, two different places.

5 DR. ANDERSON: There isn't any general dust  
6 measurements made in the facility?

7 DR. MAURO: No, the ingestion? The amount  
8 ingested?

9 DR. ANDERSON: Well, I mean a hundred --

10 DR. MAURO: A hundred milligrams a day is a  
11 generic, an inadvertent dust soot ingestion, soil  
12 ingestion, used widely.

13 DR. ANDERSON: Yeah, no, I understand that. I  
14 just wanted to know. I mean, that's a starting  
15 point, and it's as good a guess as any, I guess.

16 DR. MAURO: Yeah, there are others. For example,  
17 in the same report, gardeners, people who, 480  
18 milligrams a day or so. And other places you hear  
19 people use 50. So yeah, there's uncertainty there.

20 NIOSH PRESENTATION, DR. JIM NETON

21 DR. ZIEMER: We do need to move along here. We  
22 need to hear from Jim Neton from NIOSH.

23 Jim, let's go with your presentation.

24 DR. NETON: Thank you, I assume I have at least  
25 15 minutes here.

1           DR. ZIEMER: Oh, yeah.

2           DR. NETON: I'd like to commend SC&A for their  
3 tremendous vigor at which they pursued these  
4 investigations. I mean, they're paid to turn over a  
5 lot of issues and discover things, and in fact, they  
6 do a commendable job at trying to poke holes in some  
7 of the stuff that we've done. I think though in fact  
8 in some cases they've gone a little bit too extreme,  
9 and I'm here to discuss that.

10           I'm glad to see that we're coming closer,  
11 although every time we come closer it seems that a  
12 couple more issues pop up. But if you recall -- I'll  
13 go back and do a little historical review of my own.  
14 If you recall the first review of SC&A, they  
15 speculated that there may have been as high as 4000  
16 MAC exposures out there in the plant and people were  
17 breathing submicron ten nanometer particles possibly.  
18 People were cutting these cobbles out with a torch in  
19 the middle of the process. Oronasal breathing could  
20 have a significant impact on the dose.

21           All these issues have sort of gone away at this  
22 point. So their original estimates, I believe, were  
23 high-sided. I think we agree that our original  
24 estimates may potentially be slightly low-sided.  
25 However, I'd like to point out that if we ended up

1 with even SC&A's assertions as they stand now, this  
2 500 MAC per year, an allowance for like 20 MAC in the  
3 fourth year, it is not substantially different than  
4 the triangular model that we proposed a year ago.

5 That model ended up giving a worker about 330 MAC  
6 effective per year, so 1300 MAC hours, if you will.  
7 SC&A's model now would say 1500. We're less than 20  
8 percent apart, I think, on these issues. So the  
9 differences are not as great as they sound although  
10 conceptually we still have some issues with what  
11 they're talking about.

12 I'd like to address this TIB-0004 issue a little  
13 bit as well. TIB-0004 is a profile that is used not  
14 to make compensation decisions in both directions,  
15 but it's a profile that is used to, if one can make a  
16 determination that a hundred MAC is truly a bounding  
17 estimate at that facility. And which Bethlehem Steel  
18 is not considered one of the facilities it's  
19 applicable to, then one can make a determination that  
20 that probability of causation is less than 50 percent  
21 for that claim. It's a very different issue, and I'd  
22 like to separate those two if I could.

23 If I can go to the first slide, these are the  
24 issues that John went over in some detail so I won't  
25 go through all of them again. But I will point out

1 that this first issue here, of course, is the one  
2 where we have the most difficulty accepting some of  
3 their assertions, and I'll get into that in a little  
4 bit.

5 And actually the first two sort of get in there.  
6 I was encouraged to hear that John is now willing to  
7 accept the fact that these cobbles are truly, if they  
8 did happen and were cut in a different location, and  
9 in fact, the concentrations at the rollers may indeed  
10 be bounding for the highest exposure or the 95<sup>th</sup>  
11 percentile workers. And that's a major step forward  
12 I believe.

13 Oronasal breathing, I think we've agreed, at  
14 least in this case as John indicated, is not an issue  
15 for Bethlehem Steel. It's a small contributor,  
16 albeit not negligible, but a small possible  
17 contributor, and it is something that we need to take  
18 up as a policy issue.

19 I'll just move on, and I'll go over these as I  
20 go. The rollings in 1951 and 1952 are indeed  
21 different. The original review that SC&A did  
22 asserted that we should use, I think it was 500 MAC  
23 air at Bethlehem Steel for all four years even though  
24 it was pretty clear from the data samples that  
25 Bethlehem Steel was, in '51 and '52 at least, in the

1 business of reviewing or looking at process  
2 improvements related to salt baths and lead baths to  
3 reduce worker exposures.

4 And in fact, I think I'd like to clarify a little  
5 misconception. John spoke of, Dr. Mauro spoke of  
6 1950, two time periods, those early rollings and late  
7 rollings. In fact, the Simonds Saw and Steel  
8 rollings that were used from October 27<sup>th</sup>, 1948, were  
9 neither salt bath nor lead bath rollings. They were  
10 furnace-heated uranium.

11 That was the only one that we could find had been  
12 done that way. They realized early on that that was  
13 an extremely messy process. And in fact, that's  
14 where the highest air concentration we've seen  
15 between Simonds and Bethlehem occurred. And that was  
16 1000 MAC air at roller stand. Well, the first  
17 roller, there really only was one roller where they  
18 kind of repetitively moved it through.

19 But the first pass through of that furnace-heated  
20 uranium that had a lot of oxide scale on the outside  
21 is where we observed these processes, at least this  
22 high sample. Because of that we think that Simonds  
23 is certainly a very large bounding estimate or a  
24 bounding estimate for '51 when lead bath was used at  
25 Simonds, or at Bethlehem Steel.

1           I would also point out that all the rollings at  
2 Bethlehem were lead and salt baths, and I'd like to  
3 address a little bit of this issue of BZ versus GA  
4 samples, breathing zone versus general area samples.  
5 I've had very little time to review their report.  
6 Their report came out Friday at close of business,  
7 and I've looked at it, but we've not had time to  
8 review any of the statistical analyses that were done  
9 in any detail, but I do have a few comments.

10           The first issue that's apparent to me is that  
11 there were really only two samples at Simonds Saw and  
12 Steel out of the 41 that were taken that were listed  
13 as general area samples. The other samples were  
14 listed as roller stand number one, roller stand this,  
15 you know, so they were not explicitly called out as  
16 breathing zone samples.

17           SC&A rightfully points out that in their  
18 analysis, the AEC or HASL went and used these in  
19 their calculation of total exposures, but they were  
20 not the traditional sample where it was taken over  
21 the shoulder. These are very similar in the  
22 descriptions of the samples that were taken at  
23 Bethlehem Steel.

24           In fact, the ones that are not breathing zone  
25 samples at Bethlehem Steel are the GA samples that

1 are listed as over roller stand number one, over  
2 roller stand number three. They were very close to  
3 the roller stands. At least, they seem in our mind  
4 very conceptually similar to the process that was  
5 taken at Simonds Saw and Steel. It would have to be  
6 a leap of faith to assume that from 1949 to '51, the  
7 HASL program became more lax and just started taking  
8 general area samples at the stands that were not as  
9 representative of the breathing zone that could be  
10 used for calculations in '51 as they were in '48.

11 We have data over seven rollings. There are over  
12 200 air samples that were taken at Bethlehem Steel.  
13 We only have 40 samples, 41 taken at Simonds. We  
14 believe that the data are informative of the bounding  
15 estimates of exposures at Bethlehem Steel.

16 One thing that -- we do agree that we need to  
17 clarify this issue, the difference between BZ and  
18 general area samples, and we've gone to some lengths  
19 to do that. As of last Thursday we've been trying to  
20 make contact with Al Breslin who was the architect of  
21 the HASL breathing or the HASL air sampling program.  
22 Through a series of cold calls around the state of  
23 New Jersey, we have finally located Mr. Breslin. He  
24 is alive and well and living in New Jersey, and he  
25 has agreed to discuss with us exactly where these

1 samples were taken, and what they were intended to  
2 represent.

3 So until we make that contact, we have Federal  
4 Expressed to Mr. Breslin the air samples that he  
5 assigned. We've sent him a copy of the revised site  
6 profile, and we look forward to discussing with him  
7 in the very near future exactly what HASL's intent  
8 was for these samples. This is assuming that he can  
9 remember.

10 We've talked to him briefly. We've not had any  
11 substantive conversations with him, but he does  
12 remember Bethlehem Steel. He remembers the process,  
13 so we're encouraged that we may be able to shed some  
14 light on this issue and make a determination as to  
15 how representative or how useful these samples are.

16 In fact, it's interesting that the samples that  
17 Al Breslin took over the salt bath had the same exact  
18 description as the samples that were taken over the  
19 lead bath by Mr. Miller. And Breslin labeled the  
20 sample a process sample. Miller labeled the process  
21 a GA sample. I believe what we have here is sort of  
22 a difference in nomenclature for these samples.

23 But the fact that at Simonds they took the same  
24 sample near the roller and used it as representative  
25 of the breathing zone I think also speaks to the fact

1 that these were either breathing zones that could be  
2 used, represented the breathing zone, or in fact, I  
3 suspect that they may have actually been process  
4 samples.

5 And the other issue that we had with SC&A's  
6 review was it's difficult to speculate or postulate a  
7 mechanism that could sustain continuous air  
8 concentrations at greater than 500 MAC. This equates  
9 to about 30 milligrams per cubic meter. SC&A by  
10 their own calculation in their first review has  
11 determined that around 500 MAC is an area where  
12 continuous exposure to that environment would result  
13 in some adverse health effects to the workers as far  
14 as respiratory distress and that sort of thing. And  
15 we buy that. We believe that's probably true.

16 So the rolling of these samples were somewhat  
17 episodic. It took two to five minutes per roll to  
18 run through. This was a continuous mill, and that  
19 was the whole point of this was to rapidly process a  
20 rod through without having to manually feed it.

21 So for instance, on the rollings of April 27<sup>th</sup>  
22 and 28<sup>th</sup>, I believe 72 billets were processed. If you  
23 took about three minutes a billet, that's 210 minutes  
24 worth of rolling. Possibly one could get this high.  
25 We have no air samples. The highest sample we have

1 during that period was around 400, I think, of all  
2 the 200 and something samples that were taken. So to  
3 postulate that 500 MAC air existed for a duration of  
4 20 hours when only 72 billets were rolled, this does  
5 not seem credible to us.

6 The SC&A analysis of the BZ and GA samples is  
7 sort of interesting. They kind of had a double  
8 approach here. Up till now we've been fitting  
9 statistical distributions to the data set and picking  
10 off the 95<sup>th</sup> percentile. When SC&A chose to analyze  
11 the ratio of BZ to GA, they did a rank order test,  
12 found as close as they could to the 95<sup>th</sup> percentile,  
13 and then linearly interpolated between two points  
14 linearly which, and in the data are lognormally  
15 distributed which ended up a much higher value than  
16 if one fits a statistical distribution to -- in fact,  
17 their most recent report demonstrates that.

18 You'll see the ratios are much lower if you fit a  
19 statistical distribution, in fact, closer to one to  
20 one than if you do this rank order test, so one can  
21 do with statistics what one wishes, but it certainly  
22 alternate interpretations are there for making a case  
23 that a GA sample at Simonds is very different than a  
24 GA sample at Bethlehem.

25 And in fact, if one, the highest rollings at

1 Simonds of 70,000 DPM, the 1000 MAC, was listed as  
2 roller stand number one, it was not listed as BZ  
3 roller stand number one. SC&A has interpreted that  
4 as a BZ sample. In fact, that has seriously jacked  
5 up the ratios to make their case. Well, I think  
6 there are alternative statistical analyses that could  
7 probably prove almost exactly the opposite of what  
8 they've come up with. We've not had time to look at  
9 them.

10 This is similar to what Dr. Mauro presented.  
11 This is the difference in the lognormal distribution  
12 of the lead/salt bath or the lead bath versus the  
13 salt bath. And one can clearly see that there are  
14 very large differences in the airborne concentrations  
15 in general.

16 I don't have it on here, but if one plotted the  
17 Simonds Saw data, it would be closer to the lead  
18 bath, but the upper value would be, well, it was 1000  
19 MAC. The upper value, 95<sup>th</sup> percentile for the  
20 Simonds' data would be 550-something MAC. The 95<sup>th</sup>  
21 percentile of the lead bath data at Bethlehem Steel  
22 approaches around 200 MAC, I think. So there is a  
23 substantial difference in those two operations.

24 This is just a depiction of the various locations  
25 where samples were taken in a box and whisker plot of

1 the distribution of those samples. And you can see  
2 that there was some very serious interest in the  
3 shears. These breathing zone samples were taken  
4 typically when a worker was doing something that  
5 required some movement, and he was in close proximity  
6 to the source. So you'll see a lot of breathing zone  
7 samples when you're taking it out of the salt bath  
8 and loading it into the first stand or one is  
9 physically cutting a rod of uranium with a shear  
10 generating large airborne.

11 So there was a lot of interest in BZs where  
12 workers were in very close proximity with potential  
13 to generate airborne. The stands which are located  
14 here, again, they weren't labeled as such as BZ  
15 samples, but in the early days we know for a fact  
16 that there were no workers positioned at the stands.  
17 It wasn't until the cobbles started to become a  
18 problem, and we've got some documentation on it, that  
19 they positioned some workers at the different stands.  
20 And in fact, we don't believe that their face was  
21 right in over the stand. They were with crowbars  
22 trying to make sure that these things went into the  
23 next location.

24 Just a little more to finish up here, episodic  
25 events. Rolling, you know, SC&A has made the issue

1 of these episodic events, and it sounds like that the  
2 cutting of uranium and the cobbles might not be an  
3 issue at this point. But we were of the opinion that  
4 rollings are episodic events in themselves. So to  
5 take the highest concentration, 95<sup>th</sup> percentile, at a  
6 rolling stand and assume a worker breathed that for  
7 ten hours a day, we believe in our mind, is a fairly,  
8 is a very claimant favorable assumption.

9 This torch cutting of uranium we still believe is  
10 not, it's a bad idea to cut uranium with a torch. We  
11 were interested to talk to Mr. Breslin who was  
12 present during all of these rollings when cobbles  
13 were taken out to ask his, find out what his opinion  
14 is of what happened with these cobbles.

15 I'd like to talk a little bit about the ingestion  
16 model. SC&A has recommended, as John talked, this  
17 100 milligrams ingestion as a starting point. And,  
18 you know, I spent some time reading literature on  
19 this. Steve Simon has put out a very large paper on  
20 this to evaluate ingestion. It is an ingestion  
21 recommendation for people exposed to soil. It's an  
22 EPA-type document, and gardeners and such, they can  
23 ingest soil, you know, a total mass of soil. One  
24 does need though to make, take account of the  
25 percentage of the material ingested is the relevant

1           contaminant.

2           And SC&A has taken the position that the uranium  
3           that comes off the process makes this veneer  
4           contamination of uranium on the ground that is  
5           available instantaneously for uptake. Our problem  
6           with this model is that it does not have, take into  
7           account at all the source term. In other words, if I  
8           were theoretically rolling five pounds of uranium or  
9           five tons of uranium, I have the same potential for  
10          ingestion regardless of how much airborne there was.  
11          SC&A has made the opinion that these are large  
12          particles that kind of get deposited locally and that  
13          doesn't kind of make a case then because these things  
14          do not distribute and become widely available for  
15          ingestion.

16          We have proposed a model that is based on the  
17          amount of uranium that is put into the air, and we've  
18          taken the air concentrations right at the stands so  
19          that's the material that can drop down to the ground  
20          in the near location where the workers are. And we  
21          believe it to be more credible. It's a consistency  
22          point of view that we're trying to do this.

23          I mean, if we have another location, can we  
24          assume 100 milligrams? For instance, in this model a  
25          worker would receive 100 milligrams ingestion in 1952

1           when if, as SC&A contends, 20 MAC was the highest air  
2           concentration than if you were working in 1949 when  
3           500 MAC was the air concentration. That just doesn't  
4           make intuitive sense to us. It just does not seem  
5           proper.

6           John talked about this. The SC&A suspension  
7           model relies on 95<sup>th</sup> percentile. They backed off on  
8           that a bit and now have said, well, no, maybe the  
9           median value of the general area samples are  
10          relevant. We still have a problem with this since  
11          these are the samples that were taken during  
12          rollings. This is going to be, by their own  
13          admission, an overestimate of the ingestion. And in  
14          fact, their first model came up, I think it was 13 or  
15          14 MAC constant air for four years was higher than  
16          the air samples that were measured in some of the  
17          rollings, have higher than the median rollings at  
18          Bethlehem Steel.

19          We need to look at this a little closer. This is  
20          not some place where we're really far apart, but  
21          we're not convinced that using the median value of  
22          the general area samples is representative of the  
23          resuspension. In fact, if one looks at some of the  
24          samples at Bethlehem Steel where they've taken a  
25          sample before they're, you know, as the rolls go

1 through, the air samples drop down pretty  
2 precipitously in between rollings, and they'll take  
3 another sample, and it'll be very low. That drop in  
4 itself indicates that something like this is probably  
5 a very large overestimate. And you just can't  
6 sustain uranium in the air at the levels that this  
7 model is predicting.

8 Finally, I'll finish up, the external model, we  
9 see some criticism on our external model. It wasn't  
10 high enough. We estimated that if a worker were  
11 continually exposed for a 2000-hour year, it would  
12 equate to a dose of 133 rem, a fairly large,  
13 substantial dose is not seen in most DOE facilities.  
14 I think SC&A has looked at that and agreed that  
15 that's a very claimant favorable assumption.

16 They still maintain that we need to address  
17 residual contamination on clothing. I think we've  
18 done that. I would ask them to go back and look at  
19 our revised site profile, and in fact, we looked at  
20 some dose rates coming off of contaminated clothing  
21 at Simonds Saw and Steel and adopted that for our  
22 use. And I think we ended up assigning about 150  
23 millirem a year of residual contamination on  
24 clothing.

25 So we need to get together and talk about that,

1 but I think we've addressed that issue. Whether they  
2 agree with it or not remains to be seen, but we're  
3 interested in talking with them about it.

4 I think that's all I have, open to questions.

5 DR. ZIEMER: Thank you very much, Jim. Let's  
6 open the floor now for questions on Jim's material.

7 Henry.

8 DR. ANDERSON: I mean, it seems all of this is  
9 working off, you know, the both groups are using the  
10 same data, and I guess it was unclear to me and in  
11 the earlier presentation it seemed there were smaller  
12 numbers. Is there agreement on what samples  
13 represent what between the two of you? Are you  
14 assuming, you know, breathing zone when they're not,  
15 and --

16 DR. NETON: Right, I think that's the crux of the  
17 issue is, you know, we have GA samples at Simonds Saw  
18 and Steel taken at the rollers that we believe are  
19 similar, if not the same, as the type of samples that  
20 were taken at Simonds Saw and Steel. SC&A has made  
21 the determination that for some reason the Bethlehem  
22 Steel samples were taken either further away or not  
23 as representative as the samples taken at the rollers  
24 at Simonds Saw and Steel. And we have no indication  
25 they aren't. We believe that the program took the

1 samples in a similar manner between the two  
2 facilities.

3 DR. WADE: Jim, could you restate that? I think  
4 maybe you were using different Simonds Saw and Steel  
5 a couple of times.

6 DR. NETON: We believe that the GA samples taken  
7 at Bethlehem Steel can be used to indicate the  
8 representative exposures, if not bounding exposures,  
9 for workers at Bethlehem Steel. SC&A's position is  
10 that those GA samples are not representative or  
11 cannot be shown to be representative.

12 DR. ANDERSON: Because it all seems to stem from  
13 that and then it's a matter of which model you use.  
14 And it's all, you know, beyond that how you treat  
15 that data, it's sort of how reasonable is your  
16 speculation, and then how do you bound that, and then  
17 are they, how confident are we that the ultimate  
18 exposure --

19 DR. NETON: I think the issue really is pretty  
20 simple. I mean, if we pick the 95<sup>th</sup> percentile and  
21 assume the GA samples are representative, not  
22 bounding of the exposures at Bethlehem Steel, we  
23 would suggest then that somewhere in the vicinity of  
24 200 MAC air was possible at Bethlehem Steel at least  
25 up through, I think it's October 1<sup>st</sup> because they only

1 rolled lead bath uranium up to October. After  
2 October every indication is that it was all salt bath  
3 rollings.

4 SC&A, at least I don't want to speak for them,  
5 but at least in their original models they suggested  
6 500 as an upper bound for all four years. Now I  
7 don't know. I'm not sure where they're going to fall  
8 now because now they're saying the air samples could  
9 be eight times higher so that would put them at 1600  
10 MAC. And then I'm not sure what factor they would  
11 accept as reducing these by, you know, they come with  
12 this magic factor of three that all of a sudden makes  
13 a four, five hundred MAC. I'm not sure. I don't  
14 want to speak for them, but --

15 DR. ZIEMER: Jim, could you clarify your  
16 understanding of the differences in the ratios of the  
17 breathing zone to general at Simonds and breathing  
18 zone to general at Bethlehem Steel? Do you interpret  
19 that differently than what we heard from SC&A?

20 DR. NETON: One certainly could come to a  
21 different statistical interpretation depending on  
22 whether you use a linear fit, a linear fit to the log  
23 model or use this rank order analysis and interpolate  
24 between the 90<sup>th</sup> and 95<sup>th</sup> percentile.

25 DR. ZIEMER: Was that in your mind the source of

1 the difference in the ratios?

2 DR. NETON: Yes.

3 DR. ZIEMER: The selection of that.

4 DR. NETON: The selection of that particular way  
5 to do the test is what drives that ratio high. Even  
6 in their last report which I've not had a lot of time  
7 to look at, I think the ratio's about one to one if  
8 you do a linear --

9 DR. ZIEMER: Has anyone looked at the ratios, for  
10 example, based on the mean values of those  
11 distributions or anything like that?

12 DR. NETON: SC&A looked at all the parameters,  
13 the mean and 95<sup>th</sup> percentile.

14 DR. ZIEMER: John, did you want to --

15 DR. MAURO: If I may.

16 By way of clarification, in our report we're not  
17 recommending one method of interpolation or the  
18 other. In other words we're saying we have a  
19 collection of data.

20 DR. ZIEMER: Yeah, and you've raised a question  
21 on it.

22 DR. MAURO: Right, and now the question becomes  
23 okay, if you want to pick off the 95 percentile of a  
24 data set, that's what we're talking about. Okay, now  
25 let's say we have a data set. Now we basically say

1       in our report we like the idea of picking off what I  
2       call an upper end. And for want of a better term it  
3       looks like 95<sup>th</sup> percentile's the kind of numbers that  
4       we all agreed represents an upper end.

5               Now the question is how do you get there? We  
6       present both and say listen, you can get there by a  
7       rank -- if you don't think it's a lognormal  
8       distribution, and there's some question whether we  
9       have a lognormal distribution because it does deviate  
10      quite a bit from lognormal. So one could argue well,  
11      listen, one statistician would say listen, I don't  
12      think you really have a lognormal distribution. And  
13      if you don't have that, what do you do? Well, what  
14      you do is you do a rank order approach.

15             In other words that's non-parametric. You don't  
16      make any assumption regarding what type of  
17      distribution it is, and you pick off, and there's an  
18      interpolation technique. You can get to a 95<sup>th</sup>  
19      percentile that way. Or you can get to the 95<sup>th</sup>  
20      percentile another way. You can take your data, fit  
21      it to a lognormal, get your best fit, and pluck off  
22      parametrically at the 95<sup>th</sup> percentile. We present  
23      both. So we do not recommend or adopt, although we  
24      do make a point though.

25             It does look like the fit breaks down on a high

1 end as a pro lognormal. In addition, the ratio of  
2 this eight to one. We're not recommending that. We  
3 used that in our conference call mainly as a way to  
4 discuss the issue. We believe that we have some  
5 questions related to the definition of what's a  
6 breathing zone; what's a general air. There's  
7 ambiguity. If you go with, well, a more conservative  
8 interpretation, listen, we're going to do the best we  
9 can to parse it, which one is basically a breathing  
10 zone, and which one's a general air, which is what we  
11 did. Then we do see this difference, a robust  
12 difference, for Simonds Saw.

13 And it turns out to be eight-fold at Simonds Saw.  
14 We're not saying that's the right number. We're  
15 saying there is a difference. Now and all we're  
16 really saying is when we move over to Bethlehem  
17 Steel, it looks like there's a paucity of breathing  
18 zone, but there are some arguments that perhaps those  
19 general air are really breathing zone, but we didn't  
20 see it. But we think there is.

21 There's no doubt we're erring more on the side of  
22 the claimant, and then that means that we do need an  
23 adjustment factor. What that adjustment factor is,  
24 you know, we're not in a position to nail that number  
25 down. All we did is get, for example, the difference

1 is a factor of eight at Simonds, and we sort of  
2 stopped there.

3 DR. ZIEMER: Jim, do you know if Mr. Breslin had  
4 experience at Simonds as well as Bethlehem Steel?

5 DR. NETON: Yes.

6 DR. ZIEMER: So he would be in a position to  
7 address the breathing zone and general air sample  
8 issues for both sites perhaps?

9 DR. NETON: We believe so if his memories can --

10 DR. ZIEMER: But at least he --

11 DR. NETON: -- over 50 years. He would certainly  
12 be the one in the best position to represent what  
13 they did.

14 DR. ZIEMER: And Mark, you had a --

15 MR. GRIFFON: That was my discussion was the  
16 ratios.

17 DR. NETON: I'd like to point, I mean, John keeps  
18 saying well, it's not eight to one, and then he says  
19 it is eight to one, and that's their argument. One  
20 has to buy into this linear interpolation of these  
21 admitted outliers to begin with. And the other issue  
22 is that one has to believe that the air samples taken  
23 at the rollers at Simonds, which were listed as not  
24 BZ samples, are materially different samples than the  
25 samples taken at the rollers at Bethlehem Steel.

1           **DR. ZIEMER**: Yes, Arjun and then Jim.

2           **DR. MAKHIJANI**: Dr. Ziemer, there are a lot of  
3 issue about these samples. I'd just thought to make  
4 a few factual clarifications about what's in the data  
5 sheets, and what SC&A did.

6           First of all, the report that you have before  
7 you, both the rank order and lognormal analyses are  
8 done on all the data. And if you look, there's one,  
9 there's only one data set. If you look at Figure 2-  
10 6, you'll see there's really --

11           **DR. ZIEMER**: This is 2-6 in the letter report?

12           **DR. MAKHIJANI**: In the letter report.

13           Where all the lognormal fits in various ways are  
14 shown in the figures in Attachment Two. If you look  
15 at those fits, you'll see there's really one data set  
16 which sticks out which is the general air in period  
17 two with, where the upper percentiles don't at all  
18 fit a lognormal, and where a rank order approach is  
19 more appropriate.

20           In the other cases you can go either way, and  
21 there is a very consistent pattern in the 95  
22 percentile ratios at Bethlehem Steel, but apart from  
23 that one that does not have a fit with lognormal.  
24 That if you take the ratios of breathing zone to  
25 general air by either method, you do have, the

1        general air is tending to be higher than the  
2        breathing zones which is not what you expect.

3            There's one very important fact in looking at the  
4        data sheets at Simonds and Bethlehem Steel that it's  
5        important to note. The highest sample value at  
6        Simonds Steel, that famous 1000 times MAC, is an  
7        unlabeled sample as to breathing zone or general air  
8        which the text of the AC discussion interprets as  
9        breathing zone. And so it's not entirely claimant  
10       favorable maybe, but it's reasonable to accept it.

11           And the reason we felt comfortable with that is  
12        that the result is also compatible with this 30  
13        milligrams. So there's an independent kind of check  
14        to that. The highest Bethlehem Steel sample of 400  
15        and odd MAC is labeled as a general air sample so  
16        that there we do have to, we felt, you have to pay  
17        some attention to how you interpret that specially  
18        the general airs are showing higher than breathing  
19        zone.

20           And the last point I'd like to make, Dr. Ziemer,  
21        is that you have to look at whether the sampling was  
22        done to be representative of worker intake or whether  
23        it was done for something else. And Merrill Eisenbud  
24        himself said in 1951, and this is quoted in a TBD,  
25        quote, dust samples were taken at Bethlehem Steel

1 plant to evaluate continuous rolling of uranium.

2 And so from a worker intake point of view, the  
3 samples are biased. From the point of view of  
4 evaluating experimental processes, they would be  
5 appropriate. So now you're faced with the problem of  
6 how you take this data set that was done to evaluate  
7 processes and then back fit it into something to a  
8 different use, to put it to use to evaluate intakes.  
9 This is a big part of the problem.

10 DR. NETON: I would remind Dr. Makhijani that the  
11 intent of the Bethlehem Steel rollings, one of the  
12 principal intents, was to develop a more worker-  
13 protective process. I mean, so this was not, there  
14 was some production value to be gained here, but one  
15 of the major intents was to make the process more  
16 worker protective.

17 DR. ZIEMER: Yes, Dr. Roessler.

18 DR. ROESSLER: I have two questions, Jim. You  
19 mentioned meeting with Al Breslin. I'm wondering  
20 what the procedure is then. After you meet with him,  
21 and whether he can or cannot shed some light on the  
22 samples and what they represented, then do you get  
23 back with SC&A, and then how does the board or the  
24 working group get involved? It seems like that could  
25 be a very important meeting.

1           **DR. NETON**: Yes, we haven't worked out the  
2 mechanics of that, and I'm not sure whether we should  
3 go along; we should go with SC&A and a member of the  
4 board. I mean, that process needs to be worked out.  
5 But certainly, we would need, we don't want to  
6 overwhelm Mr. Breslin either with a large cadre of  
7 folks. I mean, he is in his eighties, I believe.  
8 But after that meeting, we let the chips fall where  
9 they may. If it looks like we can't come to any  
10 conclusive evidence, that these are as we believe  
11 they are, or he confirms what SC&A's opinion is,  
12 we're willing to modify our profile as appropriate.

13           **DR. ZIEMER**: Do you anticipate a number of NIOSH  
14 people or is it possible that --

15           **DR. NETON**: No, I think a small --

16           **DR. ZIEMER**: --someone could represent SC&A at  
17 that meeting so that it's more efficient and both  
18 hear the same thing?

19           **DR. NETON**: I don't anticipate a large number of  
20 NIOSH people, one, maybe two tops.

21           **DR. MAURO**: If it's going to be in New Jersey,  
22 it's very convenient for me. So I know John is --

23           **DR. ZIEMER**: Right, we'll work that out.

24           John, an additional comment?

25           **DR. MAURO**: I do want to -- we've been talking

1 about our differences here. I think it's very  
2 important to say let's look at where we're not  
3 different. We're very close. I think the only thing  
4 we're saying is this is 1949, 1950, 550 MAC,  
5 surrogate for 1949, 1950, no problem. Nineteen  
6 fifty-two, they come up with 20 MAC as being a  
7 number. I'm sure that's pretty close to where you're  
8 going to be. So where's the problem, 1951.

9 And what I just heard is that if you take all  
10 your numbers and you come up with, without any  
11 adjustment factor. See, we're saying we need an  
12 adjustment factor. You're saying we don't need an  
13 adjustment factor. And in the end Breslin may be  
14 able to give us information that says, yes, you need  
15 an adjustment factor or no, you don't to create, to  
16 keep parity.

17 But either way we're close. I think I heard you  
18 say for 1951, your 95<sup>th</sup> percentile value is 200 MAC?

19 **DR. NETON**: I'd guess at that, but it's pretty  
20 close to that, I mean, in the upper one hundreds.

21 **DR. MAURO**: Now if you go with my, our example  
22 that we only use for the sake of our conversation  
23 during our conference call was to take the roller  
24 breathing zone samples. I'm sorry, the roller  
25 general air samples. Get the 95<sup>th</sup> percentile to that.

1 Multiply by eight. So that represents the high-end  
2 exposure that might be at the breathing zone when  
3 rolling is going on, and that's going on about 30  
4 percent of the time. The other 70 percent of the  
5 time it's general air.

6 So what I'm getting at is I don't think we're  
7 more than a factor of two apart. Even if we go with  
8 that approach on that order, a factor of two apart on  
9 1952. That's it. That's our difference. Now if we  
10 can get some clarification, I think that gap closes.

11 DR. ZIEMER: I think Roy gave you the factor of  
12 two already in his revamping the model, but let me  
13 get Gen's other question here.

14 DR. ROESSLER: I think that might have answered  
15 my second question, but I'll make it very specific.  
16 There's so many numbers that have been going around  
17 and so many multiples and so on, is there any case  
18 where it's going to be greater than 500 MAC? I mean,  
19 is that any --

20 DR. NETON: I really can't speak for -- in our  
21 opinion, no, but I can't speak for SC&A.

22 DR. ROESSLER: -- because it seems like you  
23 mentioned health effects that would occur at that  
24 level or higher, and so I'm wondering about if that's  
25 a possibility, medical records or anything that Mr.

1 Walker could remember about the workers and whether  
2 they --

3 DR. ZIEMER: You're talking about general health  
4 effects from breathing stuff?

5 DR. ROESSLER: Something in that, this breathing  
6 stuff. I think you said that if it were greater than  
7 500 MAC that the workers would have shown some  
8 difficulty breathing or some --

9 DR. NETON: This was SC&A's analysis by Dr. Van  
10 Pelt, but --

11 DR. MAURO: Yes, one of the things we did look  
12 into just how many milligrams per cubic meter can a  
13 person work eight hours, ten hours a day and not  
14 experience respiratory distress. And based on our  
15 work, two separate people looking at this question,  
16 we came in at around 30 milligrams per cubic meter as  
17 being you're putting this person in -- never mind the  
18 radioactivity, just the dust loading --

19 DR. ZIEMER: Just the dust loading...

20 DR. MAURO: -- as being in a stressful situation.  
21 So, and that turns out to be around 600 MAC. I mean,  
22 so that was one of the reasons why we felt that we  
23 could cap this problem. In other words, given all  
24 these uncertainties from the radiological  
25 measurements point of view alone, because we realize

1           that the sampling, how representative it is, these  
2           are all questions. But one thing where we've come to  
3           a degree of comfort is based just on the respiratory  
4           distress aspect, we probably could put a cap on the  
5           problem, and I think that's very important.

6           DR. NETON: But one thing I, a question is one  
7           would have to get the 1600 MAC if you used this  
8           adjustment factor of eight for three hours a day or  
9           for seven hours a day or something like that.

10          DR. MAURO: Well, as I said when we worked the  
11          factor of eight as our example, we took the 95<sup>th</sup>  
12          percentile for the general air roller, '52, '51,  
13          multiplied by eight and said you're going to get that  
14          concentration for 30 percent of the time.

15          DR. NETON: Which was 1600 MAC.

16          DR. MAURO: Right. And then the other, right --

17          DR. NETON: But that's higher than any sample  
18          that has ever been measured in --

19          DR. MAURO: So you're making a counter argument.  
20          Well, that example isn't too robust because eight may  
21          get you to a place that doesn't make sense.

22          DR. NETON: Right.

23          DR. MAURO: And I'm not going to disagree with  
24          you here. If eight gets you to a place that doesn't  
25          make sense, you have to acknowledge that. So that's

1           why I keep saying, we used eight only because that's  
2           the number that we walked away with. So you're  
3           making a counter argument of well, I hear what you're  
4           saying, some adjustment factor. Well, I guess I  
5           haven't heard you say do you think an adjustment  
6           factor is needed here. You're saying until we talk  
7           to Dr. Breslin, we really are not sure.

8           DR. NETON: Right.

9           DR. MAURO: And I think we all agree with that.

10          DR. ZIEMER: That sounds like the next step.

11                 I want to ask, we had a working group that met  
12                 last week -- or last week -- earlier this month and  
13                 also discussed some Bethlehem Steel things.

14                 And Mark do you have any comments?

15                 Mark chaired that working group.

16                 Are there any other issues on Bethlehem Steel  
17                 that you want to bring to this subcommittee that came  
18                 out of the working group at this point? Because this  
19                 will be back on our agenda for the full board  
20                 tomorrow to discuss.

21          MR. GRIFFON: I think one thing that struck me  
22                 was, which is where we've had most of this  
23                 discussion, was that was the main item where we had  
24                 some disagreements and questions. And if we can  
25                 figure out what these samples actually represent, I

1 think all of that can go away.

2 What I thought we sort of concluded in the work  
3 group was on a number of the other findings, such as  
4 oronasal breathing, and I missed the earlier  
5 discussion. I apologize. But it seems to me that we  
6 came to a pretty good conclusion that for that it was  
7 okay for the Bethlehem site profile; however, there's  
8 still some outstanding stuff that from a programmatic  
9 side we might want to have NIOSH address the policy  
10 because it's going to come up again.

11 And I think that's true also as I look on the  
12 ingestion and resuspension, you know, in fact, and  
13 I'm not sure how far apart these are, but in fact, I  
14 felt like these numbers were getting pretty close in  
15 either SC&A's approach or NIOSH's approach. The  
16 question was also then, well, what's the best method  
17 to use and maybe for consistency going forward that  
18 still needs to be worked out. But as far as the  
19 overall impact on the Bethlehem site profile and the  
20 doses assigned I don't think it would have major  
21 impact so.

22 But I think where we ended up was that -- and  
23 Wanda was there as well and Bob. And I think where  
24 we ended up was that that first issue really was the  
25 hardest one with the BZs versus the general area and

1           how that was going to be worked out. And that had a  
2           larger impact on the doses so that was the most  
3           important one to nail down.

4           DR. ZIEMER: Okay, thank you.

5           Yes, Ed, did you have an additional comment for  
6           us, Mr. Walker?

7           MR. WALKER: Thank you, Doctor.

8           I just wanted to mention to Jim that you  
9           mentioned that the cobbles weren't cut. That's still  
10          in question because when those cobbles got into the  
11          rolling stand, and there were six stands at Bethlehem  
12          compared to two at Simonds Saw, and they run much  
13          faster. These cobbles got tangled. Now they were,  
14          they could not just hook a crane on them from up  
15          above and pull them out. Somehow, they had to be  
16          cut, some of them. Maybe some of them they could  
17          pull out with a crane.

18          But somehow when they get tangled around, those  
19          rods go through that rolling system at that speed,  
20          and Arjun can testify to this. The crane operator  
21          that was working 50 feet in the air above a cobble  
22          was hit, maybe not with uranium. That I didn't  
23          clarify, but he was hit in the crane when the cobble  
24          shot 50 feet in the air, red-hot rod an inch and a  
25          half. So some of those cobbles had to be taken out,

1 in the roll stands, parts of them, maybe not all.  
2 Some of those cobbles went down into the basement  
3 area, went around. It was just like a snake when  
4 they cobble. So I learned that --

5 DR. NETON: I am concerned about the cobbles. We  
6 have some documentation from HASL AEC talking about  
7 the cobbles. And the curvature of those cobbles  
8 doesn't seem to be as great as maybe happened with  
9 steel. I don't know. They talk about some radiuses  
10 (sic) of curvature five inches, bends that are not  
11 that great. But they've taken them back and put them  
12 in the salt bath and re-ran them through the process.  
13 I don't know whether --

14 MR. WALKER: Some of them probably --

15 DR. NETON: -- uranium would be a much more dense  
16 metal, doesn't cobble as much, you know, as steel  
17 might --

18 MR. WALKER: I don't know. There was many  
19 cobbles, and I can testify to that. Some of could  
20 have been, I think we had talked about that.

21 The other one was the men stationed between the  
22 stands. There is documentation that many times, and  
23 the fella's name was mentioned, Mr. Harper, had to  
24 get, he wasn't outside the stands with a long bar,  
25 had to get between each rolling stand, and again,

1           there were six of them, to open up the jaws to  
2           receive the rod because they wouldn't hit and go in.  
3           They had to open up, it was a pressure spring that  
4           closed down on the rod, and many stands also where  
5           they had to feed them in with a sledge hammer at  
6           number one stand. I just wanted to clear that up.

7           DR. NETON: But the fact is did he stand at that  
8           jaw the entire ten hours or was he moved around? The  
9           air sample standing right here on top of the rolling  
10          station.

11          MR. WALKER: Yeah, that's right. I can't say  
12          that he was there every minute of the day, right, but  
13          there had to be people placed there. I wouldn't want  
14          to be there, and I don't think --

15          DR. NETON: Nor would I.

16          MR. WALKER: Thank you.

17          DR. ZIEMER: Thank you very much. This will  
18          conclude our discussion of Bethlehem Steel for this  
19          morning. We are actually a little behind schedule,  
20          and we do have one item, well, this kind of relates.

CONGRESSIONAL ADDRESS

MR. JASON BROEHM

21                 We're going to hear from our congressional  
22                 liaison.

23                 And Lew, why don't you introduce Jason to the  
24                 group and explain what Jason does.

1           And then, Jason, we'll have you read those  
2 statements.

3           DR. WADE: Well, Jason works for CDC, and he  
4 works in the area of Congressional Relations; and  
5 therefore, interacts with many of our friends on the  
6 Hill related to this activity and others. And Jason  
7 has been in touch with some of the people of the New  
8 York delegation who have some things they would like  
9 to be on the record for this meeting.

10           Jason, introduce yourself and then go ahead.

11           MR. BROEHM: I'm Jason Broehm, and I work in the  
12 Centers for Disease Control and Preventions,  
13 Washington office. As Lew said we deal with  
14 congressional offices on a number of issues, and I  
15 represent NIOSH in that office.

16           I have this morning a statement from Senator  
17 Charles Schumer from New York that he would like read  
18 before the board, the subcommittee of the board. And  
19 I also am expecting a letter that I have not yet  
20 received from representative Louise Slaughter which I  
21 anticipate reading tomorrow before the full board as  
22 well as this letter again. So this is the statement  
23 of Senator Schumer.

24           Mr. Chairman, thank you for allowing me to submit  
25 testimony to the board regarding Bethlehem Steel.

1 Thousands of New Yorkers labored during the late  
2 1940s and early 1950s in ultra-hazardous conditions  
3 at Department of Energy and contractor facilities  
4 while being unaware of the health risks. Workers at  
5 these facilities handled high levels of radioactive  
6 materials and were responsible for helping to create  
7 the huge nuclear arsenal that served as a deterrent  
8 to the Soviet Union during the Cold War.

9 Although government scientists knew of the  
10 dangers posed by the radiation, workers were given  
11 little or no protection, and many have been diagnosed  
12 with cancer. Despite having one of the greatest  
13 concentrations of facilities involved in nuclear  
14 weapons production-related activities in the nation,  
15 western New York continues to be severely under  
16 served by the Energy Employees Occupational Illness  
17 Compensation Program.

18 I'm aware that many positive steps have been  
19 taken in the past few months regarding the Bethlehem  
20 Steel site profile, but I do not feel that worker  
21 concerns are being adequately addressed or that  
22 workers are going to be adequately compensated.  
23 Eddie Walker has been a tireless advocate for former  
24 Bethlehem Steel workers, and I share many of his  
25 concerns.

1           For example, has residual radiation between  
2 rollings and after rollings been evaluated to the  
3 fullest? I have a hard time believing that such a  
4 large steel mill could be completely cleaned of  
5 uranium dust simply by using a vacuum. Without  
6 proper decontamination after a rolling, it is likely  
7 that uranium dust would still be present throughout a  
8 plant of this size, therefore, making residual  
9 radiation a hazard for all workers.

10           In the latest S. Cohen & Associates report on  
11 Bethlehem air data released on October 14<sup>th</sup>, 2005, an  
12 interview with a former worker states just this.  
13 Quote, the repair and machine of the rollers which  
14 would carry residual dust from the rolling area was  
15 done in the machine shop according to the schedule of  
16 the shop, which means that it was likely that it was  
17 done on days which uranium was not being rolled.  
18 Unquote. In meetings I have had with former workers,  
19 they tell me that they were surrounded by uranium  
20 billets and/or dust all day long. Some even told me  
21 that they had to remove uranium flakes from inside  
22 their coffee mugs.

23           Have site expert information and worker  
24 interviews truly been taken into account? S. Cohen &  
25 Associates has repeatedly stated that airborne dust

1 was unlikely to be the main contributor to ingestion  
2 dose, both in the first interview in October 2004,  
3 and in the latest document on October 14, 2005.

4 Worker interviews done by S. Cohen & Associates also  
5 state that workers were required to be at the rolling  
6 stand all day even during lunch. Many workers ate  
7 their lunch in the rolling area because adjustments  
8 to rollers were constantly necessary.

9 I cannot stress how important it is to speak with  
10 former workers and site experts to come up with a  
11 proper ingestion model. The bottom line is this  
12 latest document from S. Cohen & Associates clearly  
13 supports what Mr. Walker and other former workers  
14 have been saying from day one. If an accurate dose  
15 reconstruction model cannot be formulated from  
16 Bethlehem Steel information, then these workers  
17 should be awarded a special exposure cohort plain and  
18 simple. Using air sample data from Simonds Saw and  
19 Steel in place of Bethlehem Steel data is based on  
20 assumptions rather than on sound science.

21 On July 27<sup>th</sup>, 2005, Senator Clinton and I along  
22 with our colleagues in the House of Representatives  
23 introduced S-1506 which would amend the Energy  
24 Employees Occupational Illness Compensation Program  
25 Act of 2000 to include certain former nuclear weapons

1 program workers in a special exposure cohort under  
2 the Energy Employees Occupational Illness  
3 Compensation Program. Our bill would correct years  
4 of injustice for western New York's nuclear workers  
5 after the sacrifice these Cold War heroes made for  
6 our country and have waited far too long.

7 Being added to a cohort means that these former  
8 employees do not have to go through a dose  
9 reconstruction process. Instead, if a person had an  
10 eligible cancer and worked at a facility when weapons  
11 work was performed, their cancer is presumed to have  
12 been caused by workplace exposure, and the person's  
13 claim is paid. This bill would finally put the  
14 former workers on the path to getting the recognition  
15 and compensation they deserve, and this is how we  
16 should correct this wrongdoing, not by endless  
17 bureaucratic red tape.

18 Again, I thank the Chairman and the board members  
19 for allowing me to submit testimony on behalf of the  
20 former nuclear workers in New York.

21 **DR. ZIEMER**: Thank you, Jason, for bringing that  
22 to the board this morning.

23 We have reached the magic hour of noon, and we  
24 are going to proceed with our lunch break. What I  
25 would like to ask is if you're able to try to get

1 back by 1:15 instead of 1:30 so that we can move into  
2 our afternoon session in a timely way and catch up a  
3 little bit as it were. So we will recess now then  
4 till 1:15.

5 (Thereupon, a luncheon  
6 recess was taken and the  
7 meeting resumed at 1:30  
8 p.m.)

9 **DR. ZIEMER**: We're ready to proceed with the  
10 afternoon session. We actually have to carry over  
11 one item from this morning before we get to the Y-12  
12 information, and that is the Savannah River site  
13 material. So we're going to proceed.

14 **SRS SITE PROFILE REVIEW**

15 We have two brief presentations on Savannah site  
16 profile review, and basically this will constitute a  
17 sort of status report. We'll hear first from SC&A,  
18 and I guess Kathy DeMers is going to make the  
19 presentation, and then we'll hear from Jim Neton for  
20 NIOSH.

21 So Kathy, if you want to begin.

22 **SC&A PRESENTATION, MS. KATHY ROBERTSON-DEMERS**

23 **MS. DeMERS**: Good afternoon. As Dr. Ziemer said,  
24 my name is Kathy Robertson-DeMers, and I work with  
25 the Task 1 team for Sandy Cohen & Associates. And

1 really this Savannah River review was a team effort,  
2 so I may call upon some of the team to answer some of  
3 the questions. It's been awhile since we've  
4 submitted this report, and we submitted it back in  
5 March of this year. So we're just going to go over  
6 some of the basics.

7 The Savannah River Site is located on 300 square  
8 miles of land in the middle of a forest actually  
9 between Aiken, South Carolina and Augusta, Georgia.  
10 DuPont originally constructed the plant in 1951.  
11 They then took over operations of the plant and  
12 remained the prime contractor until March 31<sup>st</sup>, 1989,  
13 at which point Westinghouse Savannah River Company  
14 took over.

15 It had multiple missions. The site had a heavy-  
16 water plant to produce the heavy water for the five  
17 production reactors. It had a nuclear fuel and  
18 target facility. It had two chemical separations  
19 facilities, tritium processing facilities, test  
20 reactors, and then, of course, the Savannah River  
21 Laboratory where a lot of research and development  
22 occurred. Their primary mission was to produce  
23 plutonium 239 and tritium. Their current mission is  
24 remedial action, D&D and storage of fissile material.

25 This is kind of an overview of the Savannah River

1 process. Basically, they fabricate the fuel, put it  
2 into a reactor. Depending upon what the target  
3 material is, depends upon where it goes at the  
4 facility. They have F-Canyon which processes the  
5 plutonium 239 as did H-Canyon through 1960 at which  
6 point they started to process plutonium 238 for the  
7 heat source program.

8 As you can see, if we have a pointer here, the  
9 uranium retrieved from the separations facility ended  
10 up in Oak Ridge, and the plutonium buttons went to  
11 the Rocky Flats facility. Then the remainder of the  
12 material went to the tank farms.

13 There were several documents that we reviewed in  
14 the course of this analysis. One of the unique  
15 things about the Savannah River Site profile was that  
16 it was a single document rather than six separate  
17 TBDs, but it included the information that you see up  
18 on the slide.

19 In addition to this there were some supporting  
20 documents, some technical information bulletins that  
21 dealt with maximizing of the internal dose for non-  
22 compensable cases, assignment of tritium dose,  
23 interpretation of the external dosimetry records and  
24 room to assign neutron exposure at the Savannah River  
25 Site. In addition since we've put out this report,

1 NIOSH has released one additional technical  
2 information bulletin on the assessment of external  
3 dose via the coworker method.

4 This was one of the first site profiles that  
5 NIOSH put together and the first site profile that  
6 SC&A reviewed for a DOE site. The document that was  
7 reviewed was revision number two. NIOSH is now on  
8 revision number three which was released in April of  
9 2005 shortly after we issued our report, and with the  
10 differences being that they incorporated a model for  
11 consumption of contaminated food. With that  
12 completeness and adequacy of data, technical  
13 accuracy, and the assumptions and methods,  
14 consistency with other site profiles. Although that  
15 was fairly limited since we hadn't, at that point,  
16 done a lot of site profile reviews, and compliance  
17 with the dose reconstruction requirements.

18 The report was divided into strengths, things  
19 that we thought were good about the document;  
20 findings, things that represented deficiencies in the  
21 site profile and that we believe should be corrected;  
22 and then there were observations, which simply raised  
23 some questions for which NIOSH should consider it  
24 would clarify certain things in the TBD and may  
25 improve it.

1           And prior to me getting into the findings and  
2 observations, I think it's important that I tell you  
3 some of the strengths about the Savannah River Site  
4 profile. As I previously mentioned, they  
5 supplemented the site profile with technical  
6 information bulletins which gave additional  
7 information to the dose reconstructors. The site  
8 profile was divided into 30 areas, and they made a  
9 concerted effort to characterize each of those 30  
10 areas with respect to internal and external dose.

11           They did have meetings with trades workers, and  
12 it appears as though they have incorporated some of  
13 the suggestions into at least revision three for the  
14 site profile. Their method for assigning missed dose  
15 for those with zero dose we concur with, and in the  
16 case of assigning the dose for internal exposure,  
17 they used the most conservative solubility class.  
18 And we'll get into this in a little more detail here.

19           We had seven findings, the first of which is  
20 related to what's called the high five approach, and  
21 I'm going to kind of explain that to you. Savannah  
22 River Site had an internal dosimetry registry which  
23 was put together by Roscoe Hall. In the '90s, Tom  
24 LaBone of Savannah River went back and calculated the  
25 dose based upon ICRP 30 methodology which is the

1 requirement that he has to follow.

2 It was a best fit analysis. It contained acute  
3 doses primarily, some chronic doses, and a variety of  
4 radionuclides, primarily plutoniums and uraniums  
5 although some fission products. And I need to  
6 clarify that this methodology would primarily be used  
7 for someone who would not be compensated. So that's  
8 kind of a little clarification, but there are some  
9 issues, technical issues with this method.

10 For example, many of the radionuclides, if you  
11 started with the bioassay data and used the ICRP-60  
12 methodology versus starting with an intake which was  
13 calculated by Savannah River, they used the top five  
14 intakes by radionuclide, averaged them, and then put  
15 that value into the IMBA code. So if you start with  
16 the bioassay versus starting with the intake data,  
17 there are some situations where the methodology  
18 underestimates the dose.

19 Another concern we had was that not all of the  
20 positive bioassay samples, people with bioassay  
21 samples, are included in the IDR, or the internal  
22 dosimetry registry. And in some cases we found  
23 through data banks and incident files that even the  
24 highest recorded intakes for a particular  
25 radionuclide were not included.

1           NIOSH did a comparison of the intake retention  
2 fractions, and when the intakes are used to calculate  
3 the organ dose, in general, the solubility type, the  
4 soluble type is most claimant favorable for a  
5 systemic organ. When you use bioassay results, many  
6 times the soluble material represents the higher  
7 dose.

8           So there's kind of a mixing and matching of the  
9 two methodologies. The most claimant favorable  
10 approach would be to start out with the bioassay  
11 samples then apply the ICRP-60 methodology. And  
12 finally on this issue, we were concerned that the  
13 intake values were calculated with ICRP 30 rather  
14 than the most current models, and this seemed  
15 inconsistent with the requirements for dose  
16 reconstruction.

17           With respect to environmental dose there was  
18 really no explanation of how they determined the  
19 significant radionuclides. The analysis for  
20 environmental dose was limited and did not include  
21 many of the radionuclides that were also documented  
22 by the Savannah River Site in public reports as being  
23 released from the site.

24           They applied a resuspension factor for  
25 calculation of dose of ten to the negative nine per

1 meter. And based upon the studies that we looked at,  
2 a more appropriate value would have been ten to the  
3 negative five to ten to the negative six. And those  
4 values are highly dependent upon the level of  
5 activity that somebody participates in.

6 In this particular TBD they did not address  
7 recycled uranium which, of course, has impurities in  
8 it such as fission products and transuranics which  
9 can add to the dose. And it may not seem that these  
10 are pertinent in such small concentrations, but they  
11 do have the potential to concentrate in different  
12 organs than say the uranium. And in addition to  
13 this, the impurity concentrations in the recycled  
14 uranium were somewhat variable because the sites did  
15 not want to commit to a particular level of  
16 impurities in their recycled uranium until much later  
17 in the process.

18 With respect to the beta gamma dosimetry, a  
19 dosimeter calibration was based upon an incident  
20 angle of zero. This is typically not representative  
21 of what goes on in the field. The uncertainty value  
22 that they assigned of 30 percent didn't appear to  
23 include field radiological conditions and  
24 environmental uncertainties. And we felt that this  
25 was too low as a result.

1           The on-phantom correction factor was also too low  
2 at the lower energies of 30 to 250 keV, and those  
3 values were taken from calculated values by the  
4 Savannah River Site shortly after they got their  
5 DOE LAP accreditation and they're primarily laboratory  
6 errors.

7           There was no guidance for how to assign shallow  
8 dose, and they also did not refer to the TIB which  
9 tells the dose reconstructor how to assign shallow  
10 dose.

11           With respect to the neutron dosimetry, the  
12 geometric mean and standard deviation for the post-  
13 1971 neutron-to-photon ratio are not technically  
14 defensible or claimant friendly. The TLD recorded  
15 neutron dose for 1971 to 1995 and pre-1971 neutron  
16 doses derived from a neutron-to-photon ratio have a  
17 high level of uncertainty which needs to be taken  
18 into account. One way to do this would be to use the  
19 95<sup>th</sup> percentile value for the thermo luminescent  
20 neutron dosimeters.

21           And as I mentioned to you previously, all the bad  
22 stuff that they didn't re-collect went to the tank  
23 farms which had a large number of tanks. With  
24 respect to the tank farms, the radionuclide lists  
25 were incomplete for both internal and external

1 radiation. And it was kind of odd because there were  
2 inconsistencies between the Attachment A, where it  
3 described the radionuclides in the tank farms, and  
4 the main text of the document.

5 Early workers' incident and contamination  
6 records, we believe, may be seriously incomplete.  
7 For example, when you look at the tank farms data  
8 bank which lists spills, incidents, high radiation  
9 incidents, and you look at the numbers for the 1950s  
10 and 1960s, they're very small. And this can be seen  
11 in our table in the report. And then all of a sudden  
12 you have a great increase in incidents where they  
13 really started to document things better.

14 Another problem with the tank farms is the  
15 exposure geometry. In this particular case you may  
16 have somebody standing on top of a source term rather  
17 than in front of a source term where their badge  
18 would more effectively measure their whole body dose.  
19 There was a potential for internal and external  
20 exposure to unmonitored workers in unposted areas.  
21 If they did not go into a radiation zone, they  
22 weren't badged. However, there was a lot of  
23 contamination around the tank farms, and they didn't  
24 always get it posted appropriately.

25 The completeness and adequacy of the tank farm

1 data bank we talked about a little bit. What NIOSH  
2 needs to do is put together a master incident  
3 database. There are several sources of information  
4 that can feed into this database. There is, of  
5 course as I mentioned, the tank farms data bank.  
6 There is something called a special hazards  
7 investigation. This is like a field report,  
8 radiation report, prepared on an incident. And these  
9 are items that are not being sent to NIOSH by  
10 Savannah River Site.

11 There is also a very complete incident database  
12 which I'm sure NIOSH knows about which is not  
13 directly owned by Westinghouse but is owned by one of  
14 their subcontractors who is responsible for their  
15 safety analysis program. And site experts indicated  
16 to me that this is where all the detail is down to  
17 the minor incidents.

18 And that brings us to the last finding. There  
19 needs to be further investigation on the solubility  
20 assumptions used to estimate organ dose from urine.  
21 The most claimant solubility for the radionuclide and  
22 organ of interest should be considered in the context  
23 of calculating the dose from this urine.

24 With respect to ingestion, I mentioned earlier  
25 that they had incorporated foodstuff intakes into

1        their latest revision. I really believe that there  
2        may be some other modes of ingestion, for example,  
3        during solvent burning, plutonium solvent burning in  
4        the tank farms area. And then we briefly talked  
5        about oronasal breathing this morning, so I won't  
6        bring that up.

7                NIOSH along with Savannah River really has  
8        excused the dose from special tritium compounds.  
9        These are organically bound compounds or special  
10       metal tritides which can give a higher dose than the  
11       standard tritiated water. This is particularly  
12       pertinent to the tritium production facilities and to  
13       the D&D workers. This is a subject that is not  
14       specific to Savannah River and should be looked into.

15               Savannah River participated in a number of  
16       special campaigns. I may have mentioned earlier that  
17       the reactors produced 14 radionuclides. These  
18       special campaigns involved the reactors, the  
19       separations facilities, and of course, the  
20       laboratories. Some of these radionuclides were  
21       Californian 252, thorium 244, plutonium 242, and  
22       americium 243, and then also cobalt.

23               What we would like to see, and what we have seen  
24       in later onsite profiles, there's a list of  
25       incidents, and then added to that a list of high risk

1 jobs to alert the dose reconstructor to this type of  
2 situation. For example, with respect to the high  
3 risk jobs, you have the construction workers, the  
4 subcontractors, and the D&D workers. And this is  
5 also mentioned down below in observation seven, and  
6 really they are, NIOSH is working on this through the  
7 Center to Protect Worker Rights. The doses to the  
8 trades workers were not discussed in revision two or  
9 revision three. And Jim can speak to this better,  
10 but it is being processed, the investigation.

11 There was involvement with uranium 233, with  
12 beryllium recovery processing and recovery. There  
13 were also off normal or unauthorized practices. For  
14 example, there's a great pond on the Savannah River  
15 Site, there's lots of tritium and some cesium, but it  
16 also has great fish. So the workers like to fish in  
17 this pond. That would be an example of one of the  
18 unauthorized practices. And then there's significant  
19 unrecognized or unreported exposures such as opening  
20 of the tank risers for visual checks.

21 The dose to early worker seems to be a recurrent  
22 problem in these site profiles primarily due to the  
23 early dosimetry in the early monitoring practices.  
24 For example, at Savannah River in the early years,  
25 although they did use neutron monitoring, it was not

1 a routine program as was the beta-gamma program.  
2 Also, until very recently as a result of an audit,  
3 the field was responsible for determining who got  
4 what bioassay including who got follow-up bioassay.

5 The, each area on the Savannah River Site was  
6 like an entity in itself so the rules followed by the  
7 100 Area or the reactors may be different than the  
8 rules followed by the 200 Area, or the separations  
9 facilities may be different from those followed by  
10 the 300 Area. And that is why it's important to know  
11 that your field is determining what bioassay you're  
12 getting.

13 There needs to be a review of how comprehensive  
14 this early data is. For example, if they didn't use  
15 --

16 DR. LIPSZTEIN (telephonically): Hello? Hello?

17 DR. ZIEMER: Is this Joyce? Joyce, are you on  
18 the line?

19 DR. LIPSZTEIN This is Joyce Lipsztein. I'm on  
20 the line.

21 DR. ZIEMER: Okay, you're on the speaker phone  
22 here. Kathy DeMers is still presenting.

23 Kathy, go ahead.

24 MS. DeMERS: Okay, for example, if you have  
25 someone who was not routinely monitored for neutron

1 working in a reactor area, you need to ask yourself,  
2 why and when they could have potentially been exposed  
3 to neutrons while they were not monitored.

4 And finally, I would encourage NIOSH to go back  
5 to Savannah River and make sure that they're getting  
6 all the data that they need. For example, there are  
7 neutron logbooks out there which Savannah River was  
8 not providing the quarterly data for that you ought  
9 to be getting your hands on. And the reason that  
10 they're probably not giving you this data is because  
11 it's stashed away in the records repository and needs  
12 to be pulled back.

13 Another area that we would like to see NIOSH  
14 consider that doesn't come in the standard radiation  
15 personnel file is the multiple dosimetry results.  
16 They did use multiple dosimetry for especially high  
17 risk jobs. The dose recorded in the dose record is  
18 dependent upon the time period. Sometimes they used  
19 the highest of the multiple dosimeters; sometimes  
20 they applied correction factors. But you can see if  
21 they wore a dosimeter on their head, for example,  
22 when they were in the PIN room, and they have cancer  
23 on their head, how that might be relevant.

24 And that's basically all I have to say.

25 **DR. ZIEMER**: Thank you very much. We have time

1 for a couple of questions. Kathy, on the neutron  
2 logs that you mentioned, are those individual  
3 records, are they area surveys or what, both?

4 MS. DeMERS: They're listings of neutron dose by  
5 badge number and --

6 DR. ZIEMER: But are these logs that don't appear  
7 in the individual's personal record?

8 MS. DeMERS: No, and I don't believe they're  
9 being provided to NIOSH because they weren't readily  
10 available to the Savannah River Site records  
11 organization.

12 DR. ZIEMER: Do we know why those were kept  
13 separate from individual dosimetry records or is that  
14 not something that --

15 MS. DeMERS: Well, Savannah River does provide  
16 information, cycle information to NIOSH out of the  
17 logbooks.

18 DR. ZIEMER: Well, I meant why does Savannah  
19 River not include those in their own personnel, for  
20 example, if Dr. Anderson worked at Savannah River,  
21 would his personnel record not include that or would  
22 it have been kept --

23 MS. DeMERS: It would have been like each of you  
24 have a badge number, and your dose of record would be  
25 on one page. So they didn't segregate that page into

1 several different people.

2 DR. ZIEMER: I see, thank you.

3 Other questions right now?

4 NIOSH PRESENTATION, DR. JIM NETON

5 Okay, if not, let's go to NIOSH and Jim Neton has  
6 some comments. Jim has told me that their comments  
7 at this point are still rather brief, so, but we'll  
8 hear what they have.

9 DR. NETON: Thank you, Dr. Ziemer.

10 Dr. Ziemer's right. We have not evaluated in  
11 extreme detail the Savannah River Site profile. We  
12 acknowledge that we've had this profile since the  
13 March time frame, but other competing activities such  
14 SEC petitions and other items have prevented us from  
15 going into the depth that we really need to at this  
16 point. I'd like to acknowledge that I think Kathy's  
17 done an excellent job summarizing the issues that  
18 were discovered by SC&A in their review, and they, as  
19 usual, have done an extremely thorough job.

20 It does bring one point to mind though, and we've  
21 been discussing this all along as we go with each of  
22 these site profiles. They're not intended to be a  
23 complete compendium of all facts ever known about the  
24 site. These are not the definitive treatise on  
25 activities at Savannah River. They are guidelines

1 used by the dose reconstructors to take an  
2 appropriate path forward, and where there are data  
3 gaps, to fill those in using claimant favorable  
4 assumptions. It's been our experience, in fact, that  
5 in doing so it ends up that we overestimate doses  
6 more often than not when we start pulling the thread  
7 and finding out what the real facts are because we  
8 tend to be conservative in those assumptions.

9 The high five approach is a good example of that.  
10 I think I'll start with the first slide. I'll just  
11 summarize the seven findings I think that Kathy has  
12 gone over so I won't bother to discuss those. What I  
13 will go over is where we're prepared to make some  
14 general comments about some of the findings that were  
15 made by SC&A in their review.

16 I think a fairly important one is this issue of  
17 the high five approach. We've used this to a  
18 considerable extent in doing dose reconstructions,  
19 and in particular for workers who, from our  
20 estimation, appear to have had jobs with low  
21 potentials for exposures. A good example of that are  
22 administrative workers, workers who infrequently  
23 visited the process areas, those types of workers.  
24 And in fact, it's only used to make a determination  
25 that even under the, what we consider bounding

1           circumstances, the dose is less, or the probability  
2           of causation is less than 50 percent.

3           The intakes, as I mentioned, are assigned to  
4           unmonitored workers with low potential for exposure  
5           or for workers who have bioassay data, and it can be  
6           demonstrated definitively that the bioassay data  
7           points themselves are below the values that would be  
8           predicted based on this large intake. So what we've  
9           done -- and Kathy's again done a good job summarizing  
10          this -- is looked at the historical intakes that Tom  
11          LaBone put together.

12          It wasn't our intent that these be exact intakes.  
13          All we were suggesting, and I think the SC&A review  
14          itself acknowledges, that for workers for low  
15          potential for exposure, the intakes that we're  
16          assigning are bounding values. For example, one  
17          would assume for an administrative worker or a person  
18          who infrequently visited a process area, an intake on  
19          day one, the first day they were at the site, an  
20          intake of a couple hundred nanocuries of plutonium --  
21          I'm not sure if it's a couple hundred nanocuries, but  
22          it's in the hundred nanocurie range, a very large  
23          intake that would be very unlikely to be received by  
24          a person who had a low potential.

25          We've done that time and time again in calculated

1 doses for these what we call non-metabolic organs,  
2 and it's worked to our advantage. So whether or not  
3 ICRP 30 was involved, we didn't use ICRP 30 to do  
4 dose reconstructions. All we're portraying here is  
5 that these intakes are large. They're implausibly,  
6 not implausibly large, but very large, not likely to  
7 have been received by these folks. And under those  
8 conditions we can make a very definitive  
9 determination of the probability of causation falling  
10 on which side of the bar.

11 I think more has been made of this than needed to  
12 be, and we need to engage in some conversations with  
13 SC&A. We have not yet gone to the table and engaged  
14 in the so-called six step process that needs to be  
15 undertaken to address these issues.

16 The oronasal breathing issue, the more we hear  
17 about this the more I'm convinced with John Mauro  
18 that this really is a policy issue that we need to  
19 come to grips with. It falls in a line of what is  
20 used. ICRP models, for example, are reference man  
21 models. They assume a standard adult weighs 70  
22 kilograms. He has a certain lung size, a certain  
23 ventilation rate. All those parameters that one  
24 needs to use to do a calculation.

25 Oronasal breathing sort of falls in that

1 category. Is it appropriate that we assume, a  
2 priori, that all claimants breathe a hundred percent  
3 of their time through their mouth when, in fact, a  
4 fraction of the workforce does. We've looked at this  
5 is some detail. I've commented on this at the St.  
6 Louis meeting, been to St. Louis so many times. I'm  
7 sure it was one of the St. Louis meetings -- that  
8 ICRP has evaluated this.

9 And in fact, what their conclusion was that the  
10 variability among individuals just from normal  
11 breathing is greater than the variability within an  
12 individual whether he breathes through his mouth or  
13 his nose, and it wasn't worth considering in the big  
14 picture. So again, I'm not going to purport to solve  
15 this issue here, but these are some discussions that  
16 I think, us, the board, SC&A, others need to  
17 undertake to put this issue to bed.

18 Another recurring theme in the SC&A analyses of  
19 profiles, incidents and ingestion is not covered.  
20 Now we have adopted a policy of primarily, almost  
21 exclusively, we'll use some type of bioassay data to  
22 conduct a dose reconstruction for monitored workers.  
23 In that scenario incidents and ingestion are covered.  
24 We've gone through this with Mallinckrodt. I thought  
25 we had demonstrated to SC&A that when one assumed the

1 chronic inhalation model, you cover a large magnitude  
2 of issues that could have occurred as far as these  
3 incidents. We're not saying it covers a hundred  
4 percent, but by and large it is claimant favorable,  
5 and it addresses incidents.

6 Ingestion is also included in there if one is  
7 ingesting uranium that would be coming out in the  
8 urine. For the most part we believe for chronic  
9 exposure models, the inhalation pathway provides the  
10 highest dose per unit intake. We can discuss a  
11 little bit about the solubility type F that SC&A has  
12 raised in the Savannah River profile. That's a  
13 special circumstance, and in fact, when one evaluates  
14 that completely, the issue kind of goes away. I mean  
15 they've kind of focused on one narrow issue.

16 So again, you know, the profile gives the dose  
17 reconstructor an approach to look at detection  
18 limits, bioassay samples, all that sort of material.  
19 It doesn't do it in a vacuum. We don't, I think, nor  
20 could we have every possible incident and addressed  
21 in these documents. It's just not possible.

22 Much was made in the Savannah River as the first  
23 site profile review done by SC&A, the first DOE  
24 complex site profile of the uncertainties in external  
25 dosimetry. I believe that we use the standard

1       uncertainty of plus or minus 30 percent that's  
2       included in the National Resource Council review, the  
3       DTRA Program. It's pretty consistent of laboratory  
4       practice analyses. What can one measure? What type  
5       of radiation can one measure within a certain  
6       uncertainty under laboratory conditions?

7             And SC&A has made some comments that that  
8       certainly doesn't include angle of incidents. It  
9       doesn't include environmental factors that may affect  
10      these TLDs and film badges. And we agree that we  
11      need to address that. I mean, there is an analysis  
12      ongoing as we speak to address another issue related  
13      to exposure geometries, the locational geometry that  
14      was brought up in another review. And we're going to  
15      fold that analysis into one big technical information  
16      bulletin, and we'll get back to the board with  
17      further information when that's complete.

18            DR. LIPSZTEIN: (Inaudible).

19            DR. NETON: Hey Joyce, this is Jim Neton. Did  
20      you have something to say?

21            DR. ZIEMER: Joyce, are you still on the line?

22            DR. LIPSZTEIN: Hello?

23            DR. ZIEMER: Yes, Joyce, are you still there?

24            DR. LIPSZTEIN: Hello?

25            DR. ZIEMER: Can you hear us, Joyce? It doesn't

1 sound like she's hearing us.

2 **DR. LIPSZTEIN**: (Inaudible) I can hear, but I  
3 couldn't hear anything after (inaudible).

4 **DR. NETON**: Sorry you can't hear, Joyce. I'm not  
5 sure what we can do to rectify that. I can try  
6 speaking more directly into the microphone. Does  
7 that help?

8 **DR. LIPSZTEIN**: (no audible response)

9 **DR. NETON**: Apparently not.

10 Well, I only have one more bullet here so I'll be  
11 quick.

12 The final issue here is the use of the 95<sup>th</sup>  
13 percentile for neutron-photon ratios. Kathy, you  
14 brought this up, and there's a recurring theme here  
15 where SC&A consistently recommends the 95<sup>th</sup>  
16 percentile. We're a little bit concerned that if one  
17 keeps compounding 95<sup>th</sup> percentile, 95<sup>th</sup> percentile,  
18 95<sup>th</sup> percentile, one's add up to at a fairly  
19 implausible exposure situation which I'm afraid we  
20 may be reaching.

21 In this particular case we constructed the ratio  
22 of neutrons to photons based on some monitoring data  
23 that we had and assigned an uncertainty distribution  
24 about that. So our best estimate was the median  
25 value and put uncertainties about it. And we

1 proposed and have used that value in reconstructing  
2 not really missed neutron doses, but what we believe  
3 to be unmonitored neutron doses.

4 SC&A's opinion is that one needs to go out to the  
5 upper tail, the 95<sup>th</sup> percentile, multiply all those  
6 doses by a factor of four, and then one will be  
7 sufficiently claimant favorable. We're not convinced  
8 that that's the best approach to take. We certainly,  
9 again, have not discussed this with SC&A. We need to  
10 get to the table and work these issues out. But I  
11 wouldn't say we strongly oppose that, but we'd  
12 certainly like to have a more detailed discussion of  
13 where this 95<sup>th</sup> percentile really needs to be used.

14 And I think there's a couple areas that should be  
15 quick, areas I've called in agreement. We don't  
16 always disagree, so I thought I'd bring those up. We  
17 do agree that for consistency in dose reconstructions  
18 even though the dose reconstructors might include  
19 recycled uranium in the calculations, we need to have  
20 additional development in that area so that, again,  
21 consistency could be reached.

22 And we also agree that the exposure conditions at  
23 the tank farm definitely needs to be fleshed out in  
24 more detail and portrayed in a better light or better  
25 detail.

1           And that concludes my remarks.

2           DR. ZIEMER: Thank you very much, Jim.

3           And Joyce, if you can hear us...

4           On the line, for the benefit of those who are in  
5 the audience, let me tell you Joyce Lipsztein is a  
6 member of the SC&A team, our contractor. Joyce is on  
7 the line with us today from Brazil. So I'm not sure  
8 Joyce if you are hearing this or not at this point,  
9 but --

10          DR. LIPSZTEIN: I could hear you, but I couldn't  
11 hear Jim.

12          DR. ZIEMER: Couldn't hear Jim. If you have any  
13 comments on, I don't know if you heard your  
14 colleague's presentation, but at least you have a  
15 copy of it, I guess, and know what she said. Do you  
16 have anything to add on the Savannah River  
17 presentation at this point, Joyce?

18          DR. LIPSZTEIN: I couldn't hear anything  
19 (inaudible).

20          DR. ZIEMER: Okay, thank you. Hopefully, you'll  
21 be able to hear the subsequent presentations a little  
22 better.

23                 Board members, do you have any comments or  
24 questions for Jim?

25                 Yes, Mark. And Mark also while you have the mike

1 if you can report anything from the working group.  
2 Did the working group --

3 MR. GRIFFON: We really didn't discuss it, so  
4 that's an easy one.

5 DR. ZIEMER: That's easy, okay, thank you.  
6 Mark Griffon.

7 MR. GRIFFON: Yeah, I just have a question as  
8 we're thinking toward the six step process, Jim,  
9 that, I mean, I'm wondering if it would be more  
10 useful to have sort of this how a dose reconstruction  
11 is going to be done because I don't think that's, you  
12 know, you said the site profile document is not  
13 intended to be prescriptive necessarily.

14 So I think that's where we've had trouble in the  
15 past, I think, is that we're pulling some of these  
16 things apart in the site profile and then we come to  
17 hear from NIOSH that, well, we're not really using  
18 that aspect of the site profile to reconstruct doses.  
19 Well, then why am I wasting my time? You know. I  
20 think that might be useful for us as we go forward in  
21 the six step process to --

22 DR. NETON: You raise a very good point, Mark,  
23 and I think SC&A recognizes in the last meeting where  
24 we talked about when they do the site profile review,  
25 they've been doing it without the benefit of having

1       these workbooks available to them and what really  
2       goes into a dose reconstruction. And I think, I've  
3       said all along, and I believe more strongly than  
4       ever, that one can't review a site profile in a  
5       vacuum without looking how it's applied in a dose  
6       reconstruction.

7               We certainly have many, many Savannah River dose  
8       reconstructions have been completed. I think it's in  
9       the hundreds if not a thousand. And so it would  
10      certainly be good at this point for SC&A and NIOSH to  
11      jointly look at those and see are we missing major  
12      issues, we always hear about these incidents, minor  
13      incidents. We take coworker data. We take bioassay  
14      data, and we try to give the benefit of the doubt  
15      where we can and I think to a large degree we address  
16      these issues by using these coworker databases that  
17      tend to overestimate on doses.

18              MR. GRIFFON: That's what I, I mean, I'm trying  
19      to understand. I think it would be useful early on  
20      in the six step process to have that instead of going  
21      through a lot of this and then at the end of the day,  
22      the board saying, Jim, can you provide us with a few  
23      sample cases, right after we've been through four  
24      meetings of this, you know what I mean?

25              DR. NETON: I agree.

1           **MR. GRIFFON**: I think, and especially maybe not  
2 only the worst case high five approaches but also  
3 some best estimate cases.

4           **DR. NETON**: Exactly.

5           **DR. ZIEMER**: John Mauro.

6           **DR. MAURO**: Thank you.

7           Yes, we're about to move into what I consider to  
8 be an extremely important phase of the work we've  
9 been doing for the board and that is the workbooks.  
10 It's, in effect, we're about right now, we've just  
11 begun the Rocky Flats workbook is on the web on the O  
12 drive, downloading it, we're starting to work on it.

13           Now we're engaging in this, some of these are  
14 extremely sophisticated, complex tools. I think we,  
15 what I'm going to offer is that the workbook review  
16 process somehow become part of the working group  
17 operation. That is, in effect, if I understand the  
18 workbooks correctly, all of these questions are  
19 solved. That is, it is a mechanical process where  
20 all of the lower limits of detection, all of the  
21 decision points, everything, or as much as humanly  
22 possible, is built into some of these extremely  
23 complex workbooks, maybe all of the, many of these  
24 questions on Rocky Flats which is right before us  
25 right now.

1           We're concerned that once we dive into these  
2 workbooks, we're going to find it's going to be an  
3 enigma. And that it might be something where we're  
4 going to have to work very closely with your  
5 spreadsheet workbook people. The degree to which,  
6 because we've built workbooks that are enormous, and  
7 the documentation that goes with a workbook to  
8 understand not only how to use it correctly, but the  
9 rationale behind every layer and every sheet and  
10 every link is not always apparent unless it's very  
11 carefully laid out.

12           Now my sense is that a lot of these workbooks  
13 were put together to help the dose reconstructor get  
14 through a complex process quickly and efficiently and  
15 consistently. But perhaps, you could tell me perhaps  
16 the level of documentation and guidance and training  
17 is very advanced that you have put in place to make  
18 sure that these workbooks are being used correctly.  
19 I'm concerned that we have not been part of that so  
20 that here we are. We're going to download the Rocky  
21 Flats workbook and try to dive into it, and we might  
22 be diving into an ocean that we're going to try to  
23 swim.

24           I think that we need to work more closely with  
25 the working group and with you. And you're in a

1 position to judge. Some of the workbooks may be very  
2 straightforward. For example, the 28 radionuclide  
3 workbook is straightforward, but I'm not sure, for  
4 example, Rocky Flats is going to be very important.  
5 And I'm not sure how advanced and how complex that  
6 workbook is. But if it is like the Savannah River  
7 one, for example, which is a set of three I believe,  
8 that are incredibly complex, I think we have to start  
9 thinking in a different paradigm to use an overused  
10 word on how we're going to engage. And so I'd like  
11 to put that before the board as to how we're going to  
12 proceed with the workbooks.

13 **DR. ZIEMER**: And that's not a discussion we'll  
14 have right now. I want to return to Savannah River  
15 and see if there are further questions specifically  
16 on this presentation. And Savannah River will be  
17 back on our agenda tomorrow as well so we'll have a  
18 chance to talk about next steps.

19 Yeah, Mark.

20 **MR. GRIFFON**: Just one more thing along the same  
21 lines that I just mentioned. There's, in the  
22 procedures review that's coming up later in the  
23 agenda, there was some -- this is to exemplify some  
24 of my confusion on this -- some of the NIOSH  
25 responses, and I think I may have these procedures,

1 technical information bulletin. I think it's TIB  
2 0007.

3 One NIOSH response indicated possibly canceling  
4 that TIB and including the detailed information in  
5 the site profile. So I'm wondering if, you know, I  
6 thought the procedures were going to be more  
7 prescriptive of what a dose reconstructor would do.  
8 And now some of that's being put back into a site  
9 TBD. So I guess, you know, I guess it all does come  
10 down to these workbooks. But is there any written  
11 guidelines?

12 **DR. NETON**: I think it's always been our intent  
13 that as we develop these technical information  
14 bulletins they get rolled up at some point into the  
15 site profile because they would tend to be more  
16 generic. I mean these are generic approaches to  
17 addressing a varied series of complex details that  
18 occur. And a lot of thought goes into these TIBs to  
19 say, well, yes, we acknowledge that SC&A thinks that  
20 there's all these incidents around these areas and  
21 solubility's an issue. These TIBs tend to be  
22 bounding-type calculations that do allow for economy  
23 of a person's time to do these things. And so --

24 **MR. GRIFFON**: So then I guess the notion would be  
25 that if you had site-specific guidance related to a

1 certain TIB, it would go in the site profile. But  
2 also if it had certain parameters such as John was  
3 discussing, it would be built into the workbook --

4 DR. NETON: Right.

5 MR. GRIFFON: -- and carried through the...

6 DR. NETON: I guess enough said on that.

7 DR. ZIEMER: Thank you very much.

8 Y-12 SITE PROFILE REVIEW

9 We go on now to our next item on the agenda which  
10 is the Y-12 site profile review. Again, we have two  
11 presentations. This says John Mauro, but I think  
12 it's going to be Joe Fitzgerald it appears. So Joe  
13 Fitzgerald who's here on behalf of SC&A will present  
14 their review and Jim Neton will have an opportunity  
15 to respond.

16 DR. ZIEMER: Joyce, can you hear now?

17 DR. LIPSZTEIN: Yes, I can hear Joe now.

18 SC&A PRESENTATION, MR. JOE FITZGERALD

19 MR. FITZGERALD: Thank you for the opportunity.

20 We discussed this back in July, so I'm going to  
21 really kind of hone this down to some of the issues I  
22 think are going to be important over the next couple  
23 of months that we've already started a dialogue with  
24 NIOSH a couple of weeks ago.

25 I'm going to go over five of the eight or nine

1 findings that we have, and of course, you have the  
2 handouts. First of all, this is one of the earliest  
3 site profiles, and as with Savannah River, we  
4 understand we're looking at a snapshot that's almost  
5 two years old and there's been a lot of work since  
6 then in terms of TIBs and workbooks, and you name it.  
7 So to some extent we're acknowledging the fact that  
8 there may be some developments that weren't captured  
9 by looking at the TBD itself. And we're certainly  
10 open to clarifying that.

11 Just off the top, one issue that has continued to  
12 be of concern is this notion, this worker population  
13 of maintenance support workers, service workers. A  
14 plant like Y-12 historically had a large group of  
15 workers that weren't on the line or assigned to a  
16 facility per se, but really were available for the  
17 entire site. And Y-12 being a kind of a self-  
18 contained close-in site, you could, you know, give  
19 people assignments and move them around the site  
20 during the work week where they were needed.

21 So you had a group that, for want of a better  
22 word, in fact, they called themselves the outside,  
23 you know, support staff as opposed to the inside  
24 support staff. The inside support staff were  
25 maintenance workers and people like that that were

1 actually assigned to a facility, to an operation, say  
2 a 92-12 maintenance person would have been certainly  
3 associated with that particular operation. And in  
4 those cases, those workers, were effectively badged  
5 and monitored as were the process workers.

6 This group of workers though, these so-called  
7 outside workers weren't monitored. And again, let me  
8 tell you, we interviewed something like 40 to 50  
9 workers split fairly evenly between the maintenance  
10 and support types and the production folks and with  
11 vintage -- I guess that's not the right word -- with  
12 tenure going back into the late '60s and early '70s.  
13 So I was actually pretty surprised we were able to  
14 find people that went back that far.

15 We really didn't have a whole lot of luck, and  
16 this was an expedited review. As you recall, the  
17 board wanted to have this review done relatively  
18 quick so we started in July and finished probably  
19 mid-August. But we interviewed these workers, and  
20 thankfully they certainly corroborated that they  
21 weren't routinely bioassayed before the mid-'90s, and  
22 of course, weren't externally monitored before '61.

23 And the concern that we have effectively is that  
24 these workers were given assignments throughout the  
25 different operations over the different time frames.

1 They supported not only the Y-12 operations but also  
2 the Oak Ridge National Lab tenant operations that  
3 were onsite as well. Well effectively, they were  
4 exposed to just about everything that was going on in  
5 the plant at some given time. And were, in fact,  
6 likely exposed.

7 There's no way to really know since they weren't  
8 monitored very effectively and exposed to the  
9 recycled material. They were exposed to certainly  
10 the limited PU that was handled at the site and  
11 certainly thorium operations. They effectively did  
12 all the cleaning, all the mopping up, and effectively  
13 some of the dirtier operations because again, they  
14 were in the maintenance class, and they tended to  
15 have to do those kind of operations.

16 And we were able to get, I think, a number of  
17 recollections of the types of activities that were  
18 underway. But they tended to be what I would  
19 characterize as more dirtier activities from the  
20 radiological standpoint.

21 And the concern certainly is that we couldn't  
22 find any specific records and the activities these  
23 workers were involved with, in my view certainly, and  
24 certainly from their standpoint, were unlike those in  
25 the production operations. If they came closest to

1 any type of worker, it was probably the maintenance  
2 workers that were supporting specific line operations  
3 but only to the extent that they certainly were  
4 exposed to some of those source terms.

5 But these workers, which makes them rather  
6 unique, were also exposed to source terms elsewhere  
7 in the plant which the specific inside maintenance  
8 workers would not be nor would the process operations  
9 people that were working specific facilities like 92-  
10 12 and 92-06. So we did spend the time we had trying  
11 to nail down whatever, you know, records would kind  
12 of stipulate where these people were and where they  
13 worked and what time frames.

14 But as they would attest, those kind of records  
15 were not kept in any systematic way. I mean, they  
16 truly were an available resource that was used almost  
17 on a daily basis for whatever support activities  
18 would be needed at that time. I make the notation  
19 that, you know, this is analogous to, I think, your  
20 transient worker, in a sense outside contractors that  
21 would have come down to --

22 **DR. ZIEMER**: Your slide, Joe. I think you're a  
23 slide behind.

24 **MR. FITZGERALD**: Sorry about that.

25 The last bullet sort of acknowledges that this is

1 similar but not identical to the situation you'd have  
2 with a transient worker. But the difference is, of  
3 course, the transient and the construction workers  
4 were outside contractors that would be coming in as  
5 subcontractors. These were Y-12 employees. And I  
6 think the notion here is that in addition to being a  
7 coworker challenge, this is a worker population that  
8 is kind of a unique group that beyond not being  
9 monitored, were doing operations that were fairly  
10 specific and different than the production employees.

11 Now finding two is something we did have a chance  
12 to talk about two weeks ago. And I think Jim was  
13 beginning to provide some feedback on this. So the  
14 process of beginning to understand the issues it has  
15 started. But I would also add to what Mark Griffon  
16 was saying earlier. We do need to move right into a  
17 six step process to begin to converge on this.

18 But in this case once you get beyond the question  
19 of the worker category and to what extent you can pin  
20 down that category and understand what may be a  
21 potential pathway as far as a coworker assignment,  
22 you get into this question of whether on the external  
23 side you can actually assume that the individuals  
24 that were badged pre-'61 -- and Y-12 is a situation  
25 where '61 was truly a threshold on the heels of the

1           criticality accident that happened in the late '50s.  
2           They went from virtually having only a quarter or  
3           less of the worker group monitored. I think it was  
4           two to 25 percent to almost everybody being monitored  
5           after '61.

6           And so the question is can you frankly as the TBD  
7           suggests, use the badged personnel and assume that  
8           the badged personnel before '61 represented the  
9           maximally exposed individual, this so-called ten  
10          percent. And we had a number of questions on that,  
11          really clarification questions. We didn't see a high  
12          degree of corroboration in the TBD, couldn't see the  
13          basis for making that assumption, and this is  
14          something that we're looking to NIOSH to do.

15          And I think Jim has certainly some information on  
16          that. But that's certainly a concern because on the  
17          external side for both worker population in general  
18          and the support workers in specific, that's going to  
19          be a crucial issue on the external side. On the  
20          bioassay side, clearly, that's going to be a problem  
21          in terms of workers that were not bioassayed, and  
22          there were certainly a large segment that were not.

23          Now I might turn to Joyce and as an experiment  
24          see if we can do this from Brazil.

25          Joyce, do you have our slides in front of you?

1           **DR. LIPSZTEIN**: I couldn't hear you, but I have  
2 your slides.

3           **MR. FITZGERALD**: Okay, we're going to attempt  
4 this, and if it doesn't work out, I'll just take  
5 over. But Joyce was a key author, in fact, the key  
6 author in this section on internal and certainly had  
7 a number of concerns that, again, we wanted to  
8 clarify how these were addressed, what assumptions  
9 were driving the internal dose TBD. We did have some  
10 exchanges with ORAU, but I think we still have some  
11 concerns that have not been resolved.

12           And Joyce, I'm going to try this. Can you walk  
13 down the five or six points beginning with the fact  
14 that we don't believe Type F as a solubility class  
15 was addressed adequately.

16           **DR. ZIEMER**: So basically, you want Joyce to go  
17 finding --

18           **MR. FITZGERALD**: Can you go down finding three?

19           **DR. LIPSZTEIN**: I'm sorry, can you repeat again?

20           **DR. ZIEMER**: Finding three, Joyce, can you lead  
21 us through that page?

22           **DR. LIPSZTEIN**: Oh, okay.

23           First of all on the intake model that was used  
24 for the coworkers, the Type F uranium compounds were  
25 not considered, and that's, but there's no

1 information why Type F uranium compounds were not  
2 considered since it is clearly stated as the internal  
3 dosimetry. (Inaudible), but many workers (inaudible)  
4 Type F compounds. And when you go (inaudible) the  
5 dose in the body, in the different organs of the  
6 body, systemic organs, then Type F many times it's  
7 more conservative than the other types of compounds  
8 from Type M and Type S. So there was no explanation  
9 why Type F uranium compounds were not considered.

10 One has to remember that when you go back from  
11 bioassay results, it's different than analyzing some  
12 intake. So when you analyze the data from the  
13 intake, then Type F is less conservative than Type M  
14 and S. But when you go back from (inaudible) that  
15 was used in the (inaudible) workers, then type F  
16 certainly should have been considered.

17 The second thing that was a problem is that all  
18 particle sizes were considered equal to five microns.  
19 And then again, the TKBS says that there were many  
20 particle sizes ranging actually (inaudible)  
21 considered eight microns instead of five. And then  
22 again, when you go back from bioassay results to  
23 doses, then the larger the particle size, then the  
24 doses are higher.

25 The third thing that was not considered was the

1 ingestion pathway. Ingestion pathway should be  
2 considered especially for people that have cancer  
3 found in their GI tract organs. This should have  
4 been done at least for the people that have cancer  
5 from the GI tract.

6 Then there's more good analysis on the  
7 uncertainty in bioassay measurements and the capacity  
8 to detect intakes from urine samples after exposure  
9 to Type S uranium compounds. There are some samples  
10 are saying that we don't know how the bioassay  
11 measurements were drawn. We don't know how the  
12 change from microrems to 24-hour intakes. We don't  
13 know this and don't know that, but then it's not  
14 addressed in the TBD.

15 And then this fourth thing, the fifth thing is  
16 the 48 hour delay in the routine urine sample. This  
17 was not addressed, and it says clearly that there was  
18 -- in two pages at least in the document 005, that  
19 the samples were taken after 48 hours, and this makes  
20 a big difference. It could be type F, it can be up  
21 to four times more, the dose can be four times higher  
22 if you consider that samples were taken after 48  
23 hours.

24 And then the thing that I consider one of the  
25 most problematic things is the use of the 50<sup>th</sup>

1 percentile intake rate for coworker. I think, I  
2 strongly think that this is not a claimant favorable  
3 approach. It says clearly that there was a lognormal  
4 distribution. We didn't analyze the data from where  
5 it came because we didn't have access to it from the  
6 (inaudible) database. So we assume that NIOSH was  
7 right in saying that there was a lognormal, a  
8 (inaudible) lognormal distribution.

9 (Inaudible) lognormal distribution is  
10 characterized for urine activity results being  
11 positively skewed each month. So each month  
12 (inaudible) high urine activities for the workers.  
13 So there is a group of workers, I don't know if it is  
14 the same group or not, but there is a group of  
15 workers that clearly had a high positive urine  
16 results every month. Which means that there was a  
17 contamination every month. Then what NIOSH does is  
18 to use 50th percentile. When it uses the 50<sup>th</sup>  
19 percentile, you get tremendous, you assign to all  
20 workers that were not monitored values that even are  
21 below the detection limits for natural uranium  
22 (inaudible). So this is clearly not a claimant-  
23 favorable approach.

24 **DR. ZIEMER**: Thank you, Joyce.

25 Do you want her to continue on?

1           **MR. FITZGERALD**: No, no, I can continue.

2           **DR. ZIEMER**: Thank you, Joyce.

3           **MR. FITZGERALD**: The only two things I would add  
4 is on the ingestion pathway, particularly for a  
5 uranium plant like Y-12, but for other uranium plants,  
6 clearly ingestion is, I understand what Jim was  
7 saying earlier, but in this particular case it's a  
8 key pathway that we believe needs to be included. It  
9 won't rival inhalation perhaps, but certainly for  
10 certain cancers it would be a major contributor.

11           The second thing is on the 48 hour delay, clearly  
12 this was a practice that was changed in the '80s,  
13 mid-'80s or so according to the interviews with  
14 workers. I mean, the explanation was there was a  
15 desire to wash out the soluble uranium before taking  
16 the measurements, and that practice was reversed  
17 sometime in the mid-'80s. It wasn't an exact date,  
18 but so this wouldn't be going into the '90s and  
19 present but certainly back in that era.

20           The fourth finding is again something that I  
21 don't think is really in contention, frankly. We've  
22 had this discussion with the ORAU team and also with  
23 NIOSH. And we acknowledge and recognize that the TBD  
24 did not originally address nuclides beyond uranium,  
25 but there's an active effort underway to include

1 thorium, we understand, uranium 233 and recycled as  
2 well. We would maybe add a couple more to that list,  
3 but again, we're trying to provide a complete  
4 assessment of the TBD which is now over two years old  
5 and at that time certainly it was limited.

6 And that has implications again because certainly  
7 workers were exposed to elements more than uranium.  
8 And of also concern was, there was a great deal of  
9 effort by the facility, to its credit, to segregate  
10 operations like thorium from uranium operations  
11 because of the higher activities involved with  
12 thorium. But there were excursions on occasions, and  
13 they did have track-outs. So again, it would  
14 certainly be helpful to have that additional  
15 information in it.

16 The next finding, this is kind of an interesting  
17 issue. I guess we keep coming back to this question  
18 almost at each site, and it seems like we also have a  
19 slightly different bent to the NTA film issue. In  
20 this case the notion is that the NTA film can be  
21 relied on because the energies involved with the  
22 neutrons of question were relatively high; and  
23 therefore, it was felt NTA film would pick up those  
24 neutrons.

25 However, we're concerned that there isn't in our

1 view enough spectral measurements to firm that  
2 conclusion up enough, but we feel that there's some  
3 evidence that we do have more some -- I don't want to  
4 call them soft -- more moderated neutrons, neutrons  
5 of lower energies that would not be seen by the NTA  
6 film. And also, again, trying to pin down the  
7 reliability of NTA film.

8 Those of us who were looking at the Iowa site  
9 profile sort of remember this debate and there's sort  
10 of the 700 to 1 MeV range that was the area of  
11 acknowledged value of the NTA film. Even there I  
12 think there was some desire to not use the NTA film  
13 and let's go for the neutron-photon as a better way  
14 to go. Here it's just the reverse that the NTA film  
15 seems to be the valid way to go. And by the way, we  
16 can actually see the neutrons down to 500 keV. So  
17 certainly a question of consistency.

18 We're concerned about, you know, exactly where  
19 does that fall? Are we that confident that the  
20 energy spectra at Y-12 historically, in fact, would  
21 have been picked up by the NTA. We have some doubts,  
22 but certainly if one came up with more energy spectra  
23 measurements, I suppose you could bracket this and be  
24 firmer about it. In any case...

25 Since we did cover this in July and have had a

1 chance to chew on this a bit, I'm not going to spend  
2 a lot of time on this. I think the focal point that  
3 likely the board and we will be faced with were  
4 amongst those four or five issues. These issues we  
5 certainly feel are legitimate, but maybe not as  
6 important to resolving questions before we get to  
7 January, and in Lew Wade's words, trying to converge  
8 on some of the key pathways. But certainly there are  
9 elements of scope that could be addressed and should  
10 be addressed but ones that we think are incremental  
11 and ones that have been raised before.

12 With that certainly Joyce and I will take  
13 questions from the group and certainly welcome any  
14 questions.

15 **DR. ZIEMER**: Any questions for either Joe or for  
16 Joyce?

17 Joe, do you know if there was any effort on the  
18 part of the Y-12 health-physics group to take the NTA  
19 film readings and adjust them according to the  
20 spectrum in the location of interest? Because  
21 typically, even in certainly in the late '50s and  
22 early '60s, facilities did that. I don't know what  
23 was done there, but...

24 **MR. FITZGERALD**: We certainly didn't see that.  
25 Again, this was covering a lot of ground in a short

1 time, but no, we didn't see any evidence of that.

2 **DR. ZIEMER**: Other questions or comments?

3 Wanda Munn.

4 **MS. MUNN**: Just wondering about the time element  
5 that we're looking at now, Joe. Have we started our  
6 six step process? Are we underway yet or just...

7 **MR. FITZGERALD**: You can consider today the  
8 launching of the process in a sense because we  
9 certainly have had a couple of very brief verbal  
10 exchanges of which this is going to be one today.  
11 But I think what's going to help this is to get into  
12 a more formal, documented process over the next month  
13 or so. And I would also add we haven't plowed into,  
14 as John was saying, the workbooks.

15 So to some extent maybe some of these questions  
16 will be answered by looking through some of the  
17 guides and workbooks that we have not seen because a  
18 lot of our questions are ones where the TBD seemed to  
19 be silent, wasn't clear. And we don't want to jump  
20 to the conclusion that there isn't a basis, but we  
21 can't see it in the TBD at this point.

22 **MS. MUNN**: I was heartened by the comments in the  
23 monthly report and the comments that John just made  
24 with respect to perhaps having a little experience  
25 under our belts now and being able to resolve some of

1           these issues which, to the outside observer, would  
2           appear to be major stumbling blocks but which really  
3           and truly aren't if we just have the right  
4           communication.

5           MR. FITZGERALD: Right, we have a full  
6           expectation to converge on a lot of these issues over  
7           the next month or so and we certainly take that to  
8           heart.

9           MS. MUNN: Thank you.

10          NIOSH PRESENTATION, DR. JIM NETON

11          DR. ZIEMER: And the SC&A review was dated  
12          September 19<sup>th</sup>, and so Jim's folks have had just only  
13          a few weeks to look at that, and they do have some  
14          initial responses which we're going to hear next so  
15          Jim, if you want to take the floor and have at least  
16          the first round of responses.

17          DR. NETON: I knew I should have gotten some  
18          throat lozenges for this board meeting. I'm going to  
19          be doing a lot of talking and hopefully you won't get  
20          tired of hearing me.

21                 Again, I'd like to commend SC&A for doing a very  
22          fine job of turning over all the rocks and finding  
23          the warts that they might perceive in our profile.  
24          They do a tremendously thorough job.

25                 As Dr. Ziemer just mentioned though, we only

1 received this profile on September 19<sup>th</sup>, and typically  
2 if any of you haven't looked at these in any detail,  
3 they're generally around 200 pages. That seems to be  
4 the target number SC&A shoots for and buried within  
5 them are a lot of individual observations.

6 And I'm encouraged from this conversation that  
7 for NIOSH to go and address literally the tens of  
8 observations that go into rolling up these findings,  
9 I'm not convinced is the best use and the way to  
10 proceed. I was very heartened to hear John Mauro  
11 suggest that this workbook issue may help us get to  
12 some closure on these issues because the fact is SC&A  
13 is eight site profile reviews into this that if  
14 history holds will be about 1600 total pages of  
15 material to address and many probably dozens, if not  
16 hundreds, of points.

17 So I will go through and briefly summarize what  
18 our take and observations have been on the findings  
19 to date. I've reproduced these here again, I guess  
20 more for my own edification, and I'll get right into  
21 our comments.

22 This monitored workers prior to '61, SC&A has  
23 raised some questions, and they were, we haven't  
24 really corroborated our contention over, the  
25 profile's contention that these workers were the

1 maximally exposed workers. This is somewhat  
2 critical. To me it's probably the most critical  
3 observation made because it speaks to our ability to  
4 do dose reconstructions.

5 And as one knows, we're in the throes right now  
6 of doing an SEC petition evaluation for workers in  
7 this time period. So if we look at this, SOL who put  
8 the profile together, took a sort of a three-part  
9 tack here and first did interviews with Y-12 staff  
10 which were primarily the health-physics staff, asking  
11 them what did you do? Did you really contend the  
12 monitored workers who were the most significantly  
13 exposed?

14 And the answer was a very resounding yes. They  
15 felt that yes, we did. We tried to monitor all  
16 workers with the potential to exceed ten percent of  
17 radiation protection guidelines. Of course, that in  
18 and of itself is not proof because as we know,  
19 health-physicists may be biased trying to cover up  
20 past sins or practices. But we went in and pulled  
21 out a few reports. ORAU has -- SC&A has not had an  
22 opportunity to see these reports yet.

23 These are mentioned in the historical dosimetry  
24 practices document that covers the beta exposures,  
25 skin doses. And there's a couple documents in there

1 that speak very nicely to this issue. That is, in  
2 1958, around the same time period ORAU -- SC&A has  
3 found a Patterson document 57.

4 In that same time frame we see a couple reports  
5 by McLendon and one by Reeves that talk about let's  
6 get together with management and see does this make  
7 sense? Among the issues that they discussed with  
8 line management were what types of exposures were  
9 expected in these workers, what was the exposure  
10 potential, what were the typical exposures in the  
11 last year, the bracketing exposures low and high,  
12 what were the expected changes in the exposures in  
13 the near term, and what were the limits of  
14 detectability could we really see what we were trying  
15 to measure? And this was all in the context of  
16 trying to go from a weekly badge exchange to a  
17 monthly. So they really did try to consider the  
18 issues relevant with the line management to who  
19 should be on these monitoring programs.

20 The third leg of this analysis was the trend  
21 analysis of the monitoring data, and I think it  
22 speaks nicely and completes the little analysis, and  
23 if I can get the slide. It's very confusing. Don't  
24 glaze over on me here, but the blue line's what you  
25 have, the red dots on the very top are the maximally

1 exposed workers per quarters starting in 19, say, '48  
2 or '50, I guess is where we had data here, going  
3 through 1980.

4 Now in 1960, as Joe Fitzgerald accurately pointed  
5 out, that they went to virtually a hundred percent  
6 monitoring of workers. What you see here is when  
7 they went to a hundred percent monitored workers, you  
8 see no change in the maximally exposed workers. One  
9 would assume that if the monitoring practices had  
10 changed, then in this time period the maximally  
11 exposed workers were not monitored, you'd see them  
12 starting to, you'd see the trend analysis over here.  
13 You don't. In fact, what you see is a downward trend  
14 in the analysis which is this is represented by the  
15 red line going out past 1960. So that tends to  
16 corroborate the analysis.

17 With a much better analysis of this ongoing, it's  
18 more straightforward and a little simpler to make the  
19 point. But I just put this up here as supportive  
20 evidence of the other two issues, you know, the other  
21 two areas that were investigated, that is, the  
22 health-physicists said they were monitored, and  
23 there's documentation that said they were monitored  
24 the highest, and here in fact, the data tend to  
25 support that issue. Again, I can't overemphasize the

1 importance of this. I think we need to be able to  
2 come to grips with this, and hopefully SC&A and NIOSH  
3 can discuss this and come to some mutual  
4 understanding here.

5 The other issue is this 50<sup>th</sup> percentile for  
6 bioassay data. I'm not sure where Joyce was coming  
7 from. I don't know whether she maybe misunderstood  
8 what our approach was, but what we're suggesting here  
9 is that we would apply the 50<sup>th</sup> percentile bioassay  
10 data to workers who were not monitored that in our  
11 opinion did not need to be monitored.

12 Again, these were the administrative-type  
13 workers, those who infrequently visited the process  
14 areas. These were not the people, the chemical  
15 operators with their nose in the operations,  
16 grinding, cutting, you know, purifying, doing things  
17 that would have a tendency to generate large airborne  
18 concentrations.

19 We believe that this value is very claimant  
20 favorable for those who were not monitored. They  
21 weren't on the program. We know that the program,  
22 given that they took almost a million air samples  
23 during this time frame, were very acutely aware of  
24 what the exposures, potential exposures, were in the  
25 workplace. We agree that certain maintenance

1 workers, staff, those types of folks, could have had  
2 exposures, but we don't believe that it's appropriate  
3 to assign them at the 95<sup>th</sup> percentile of the monitored  
4 workforce.

5 What you have in effect then, is a situation  
6 where you're assigning larger doses to 95 percent of  
7 the unmonitored workers than what the monitored  
8 workers ever received. So if you have a person with  
9 a complete bioassay record, 95 times out of a  
10 hundred, you would assign a larger dose to someone  
11 who had no monitoring. It just does not make sense  
12 to us. I went over this we gave the workers with the  
13 highest potential for exposure were monitored, and we  
14 need to discuss this with SC&A.

15 The other issue is a chronic exposure model was  
16 assumed here. We've talked about that. How we  
17 believe that this tends to cover incident-type  
18 exposures. Many results even among the monitored  
19 workforce were less than the detection limit. And  
20 again, I suggest that incident data is included in  
21 the analysis.

22 DR. LIPSZTEIN: Hello?

23 DR. NETON: Yes.

24 DR. ZIEMER: Joyce, you have a question?

25 DR. LIPSZTEIN: No, I couldn't hear.

1           **DR. NETON**: It must be my voice. I don't think  
2 I'm talking any more softly than others, but maybe  
3 Joyce is just being kind.

4           I'm getting into some issues I think that Joyce  
5 might be interested in hearing. We believe that the  
6 internal dose model adequately addresses chronic  
7 exposures. One thing that we need to look at, and  
8 we've taken some look at this. We have roughly,  
9 after 1952 or '3, I believe, about 30,000 urine  
10 samples per year. I mean, this was a fairly well  
11 monitored workforce. Well, the workforce that a lot  
12 of samples were taken on. I don't want to make any  
13 characterization judgment here.

14           But SC&A assumed, or they didn't assume, I think  
15 they inferred from our analysis that all samples were  
16 taken on Monday morning, and there is something to  
17 that effect in the profile. We've gone back and  
18 looked at the data, and in fact, probably it looks --  
19 I can't remember the exact figures, but somewhere in  
20 the 20 to 30 percent of the samples were not taken on  
21 Monday morning. This makes a huge difference in the  
22 chronic exposure model.

23           I think that the SC&A review indicated there's a  
24 factor of three to four difference if you took it on  
25 Monday. It's sort of a, there's usually sort of a

1       worst case bracketing analysis. You're exposed all  
2       year to a chronic exposure. You take Saturday and  
3       Sunday off and you take one sample. That's a pretty  
4       rare occurrence in this program where most workers  
5       were monitored at least quarterly, more likely if  
6       they worked with uranium, weekly.

7               But again, the samples, about 30 percent of the,  
8       20 to 30 percent were taken during the workweek which  
9       tends to minimize or mitigate that issue with this  
10      two day layoff. And in fact, a lot of that Type F  
11      stuff kind of gets smeared into the background when  
12      one does the analysis. We're still working this.  
13      It's not nearly as straightforward as the SC&A  
14      analysis implies. These workers were not exposed  
15      seven days a week, primarily there were five maybe  
16      six with a day or two off, sometimes overtime. So  
17      we're looking at this closely, but we don't believe  
18      it to be as big an issue as the review seems to  
19      indicate.

20              One issue here is the variability of the bioassay  
21      techniques. Joyce alluded to the variability of the  
22      sampling techniques and maybe the urine volumes and  
23      all that sort of thing. When you have 20,000 or  
24      30,000 samples, and you generate a coworker  
25      distribution, it is our opinion that the inherent

1       variability in that distribution encompasses all  
2       those other sources of variability.  In other words,  
3       you have the same people who maybe worked side by  
4       side and inhaled the same amount of material, so  
5       you've got a hundred people, variability is going to  
6       be inherently included in that analysis of the  
7       distribution.

8               So we need to talk about that with SC&A.  We  
9       believe that this is a very appropriate technique to  
10      account for uncertainty.  It's really the strength of  
11      large numbers.  When you have 20, 30,000 or  
12      something, you have a pretty good feel for the  
13      variability of the process.

14             The solubility of material, there was some issue  
15      made about Type F versus Type S.  The profile has  
16      adopted this Type M material.  It's based on some  
17      pretty solid investigations by the Y-12 staff.  We  
18      believe Type M to the greatest extent is  
19      representative of the typical exposure of the  
20      workers.  We're not suggesting that we would ignore a  
21      special situation where we knew a person was working  
22      with uranyl nitrate solution or something like that  
23      and account for it.

24             But by and large the analyses that we've looked  
25      at -- and we'd be certainly happy to sit down with

1 SC&A and discuss them -- indicate that the Type M at  
2 Y-12 is a very reasonable approximation of the  
3 exposures. And again, this chronic exposure model we  
4 believe tends to bound incidental exposures.

5 We get into the issue of neutrons, I think Joe  
6 had a pretty fair depiction there. I think the  
7 profile review questioned whether we could see below  
8 an MeV, an MeV energy. There is a new report out  
9 ORAU Report 33, which I believe was available at the  
10 time this profile was evaluated, that addresses these  
11 issues. I think that we make a strong case that the  
12 nuclear track emulsion-type A film, that's what NTA  
13 stands for, is sensitive down to about 500 keV  
14 although we acknowledge that there are suppression  
15 factors that need to be applied.

16 As you go below one MeV, the film is less  
17 sensitive and the Report 33, I believe, includes some  
18 tables that indicate the extent that that correction  
19 factor needs to be made. And in fact, there are some  
20 recommendations from ORAU, and I'm sure whether it's  
21 in this report or not, that actually speak to the  
22 correction factors that should be applied on a  
23 exposure-dependent basis, whether it was a cyclotron  
24 or a californium source or something of that nature.  
25 So we think we've got a better handle on this. I

1 think ORAU has done a good job with this Report 33.

2 I might add SC&A, Y-12 has the most information  
3 written on it of any of the sites so far. If you add  
4 up all the pages that NIOSH has written with the site  
5 profile and all the technical information bulletins  
6 and the reports, we have amassed about 580 pages of  
7 written material on this site. If you add the couple  
8 hundred pages that SC&A has done, you're approaching  
9 800 pages of written documentation on the Y-12 site.  
10 So I think it's some site we know quite a bit about  
11 although sometimes the more you know, the more it  
12 encourages debate and discussion which is good.

13 I think that covered, I know I have a couple  
14 areas of agreement that Joe talked about. We do  
15 agree from time to time. We do believe that the  
16 profile lacked significant discussion of thorium  
17 activities. There was a, this is what surprised me,  
18 actually, how much thorium activities there were at  
19 Y-12 at one point. In fact, I was surprised when we  
20 found the database that had 90,000 air samples for  
21 thorium exposure and 10,000 individual lung counts.  
22 So clearly, it was an operation that they knew had a  
23 hazard associated with the thorium as a pretty large  
24 dose per unit intake-type nuclide. And so there is a  
25 technical bulletin being written right now that

1           fleshes out this issue into much more detail.

2           We also agree that additional discussion is  
3 required for these radiation-generating devices.  
4 It's sort of a unique situation at Y-12. I mean,  
5 it's, there's three sites very closely involved, X-  
6 10, Y-12 and K-25. The resources were shared. It  
7 appears to us that a lot of the exposures from these  
8 radiation-generating devices were really under the  
9 purview of the National Laboratory. They happened to  
10 be located at Y-12, but it was National Laboratory  
11 personnel.

12           We're rethinking where the exposures need to be  
13 addressed. Is this really an X-10 issue or is this a  
14 Y-12 issue? And we agree that that needs to be  
15 discussed in some better detail. And wherever this  
16 characterization ends up, we recognize that the  
17 energy spectra are somewhat different than your  
18 normal, garden-variety Y-12 spectra with cyclotrons  
19 and x-ray machines and that sort of thing, and we  
20 agree that that needs to be fleshed out.

21           Now, I think that finishes my formal comments.

22           DR. ZIEMER: Thank you, Jim.

23           Now again questions from board members.

24           MR. GRIFFON: Jim, just one quick one. On the  
25 50<sup>th</sup> percentile bioassay distribution. Does that, I

1 mean, is that, I think that's the data I just  
2 received.

3 DR. NETON: Yes, right.

4 MR. GRIFFON: Is that done on an annual basis or  
5 is it all the data put together to get a 50<sup>th</sup> --

6 DR. NETON: It's a monthly basis.

7 MR. GRIFFON: Monthly basis.

8 DR. NETON: We took the distribution of bioassay  
9 samples every month.

10 MR. GRIFFON: And does it include zero values or  
11 below detectible?

12 DR. NETON: Yes.

13 MR. GRIFFON: They're included in the  
14 distribution?

15 DR. NETON: Correct. Yeah, that's the only way  
16 one can do it. I mean, if you fit these lognormal  
17 distribution curves, you allow, you could take  
18 advantage of the fact that the first frequency point,  
19 it may be, and it may actually be in certain cases  
20 that the distribution, the 50<sup>th</sup> percentile, is  
21 actually below the MDA or the (unintelligible), the  
22 critical level of the analysis. I think that's  
23 pretty instructive though. I mean it shows that you  
24 can almost infer what the people are being exposed to  
25 even though it was below the detection limit because

1           it's a power of numbers.

2           If you have 30,000 samples, and your detection  
3           limit is say one DPM, and you have 80 percent of your  
4           samples less than one DPM, I think you have a pretty  
5           good feel of people being exposed to less than one  
6           DPM. And we can discuss that in some detail. I'd  
7           like to go over this with SC&A. This is not  
8           something NIOSH made up. I mean, this is a  
9           literature-type review stuff that is not commonly  
10          encountered, but it's a valid technique we believe.

11          DR. ZIEMER: Any other comments?

12          Okay, thank you very much.

13          So we're off to a good start on the Y-12 process  
14          of getting issues out there and resolving them.  
15          Hopefully, we'll be able to move along on this at a  
16          good pace and bring it to closure in a timely  
17          fashion.

18          ROCKY FLATS REVIEW STATUS REPORT

19          DR. WADE: I listed Rocky Flats on the agenda for  
20          this. John Mauro had sent out a note raising a Rocky  
21          Flats issue that he thought was particularly  
22          important to get before the subcommittee and the  
23          board, and that was the high five plutonium issue.  
24          And John had asked that he be given an opportunity to  
25          raise that.

1 I think there's been some subsequent  
2 developments, John, that maybe have tempered that,  
3 but it is on the agenda.

4 DR. ZIEMER: Yeah, and Rocky Flats is simply  
5 going to be a status report, and I think we have that  
6 issue from SC&A and probably don't have anything  
7 specific from NIOSH on Rocky at the moment.

8 But, John, you did raise that issue. I think the  
9 board was e-mailed on that at my request. You  
10 notified the board members of that issue at the time  
11 although it's involved a bit, but remind everyone  
12 what it is, and...

13 DR. MAURO: I just wanted to point out as we go  
14 through this process, and as we identify areas that  
15 we think are especially important to bring to the  
16 attention of the working group especially, and of  
17 course, NIOSH, what I did at that time, I think at  
18 that time I sent out an e-mail to, as sort of a heads  
19 up regarding two matters.

20 One was Bethlehem Steel and the fact that we had  
21 this concern about the need for an adjustment factor.  
22 And the other was to give an update of where we are  
23 related to some of the things we're seeing regarding  
24 Rocky Flats. In fact, the person who's actually  
25 alerted me to it has to do, the issues have to do

1 with lower limits of detection, urinalysis, three  
2 important points that I think we're going to be  
3 moving through. As we move through, you'll be  
4 receiving our Rocky Flats report. Actually, I have  
5 the full document in my briefcase, and I'm doing a  
6 read on it right now. And so it's moving through the  
7 pipeline.

8 But there are three areas that I think by way of  
9 a heads up that are important. And to the extent you  
10 want to get into at least some introductory aspects  
11 of it, three areas. One is urinalysis. Namely, in  
12 the early years of Rocky the main one which you were  
13 able to evaluate plutonium exposures, which were  
14 urinalysis. And we're finding that the lower ends of  
15 detection that you can see using urinalysis is  
16 associated with fairly high doses, very high doses to  
17 the lung. And that's going to be an important point.

18 The second point has to do with high-fired  
19 plutonium and its relation to urinalysis. You could  
20 almost envision if you inhale plutonium, and let's  
21 say it's a Type S. It has a certain kinetics, a  
22 certain amount will go to the urine. Now we raise  
23 the question of well, there are forms plutonium could  
24 take, and without getting into details where the  
25 clearance rate might be a lot slower and if it's of a

1 high-fired nature.

2           What are the implications of that? Because if  
3 you take a urine sample, and you see something, or  
4 you don't see anything, or you see something just  
5 above the lower limits of detection, how do you  
6 interpret that in terms of the dose to the lung or  
7 any other organ? If you're not sure whether it's  
8 Type S or it's Super S, and there are implications  
9 there. So that was the second area of inquiry that  
10 we're right in the middle of working very closely on.

11           And the third area has to do with when they, as  
12 the program matured, they took chest counts whereby  
13 in addition to urinalysis, which as I mentioned, you  
14 really can't see very, you have to have fairly high  
15 exposures, you get very high doses to start to see  
16 anything in the urine. But with chest counts, you  
17 see the americium 241 that's coming off.

18           And the issue has to do, and that really improves  
19 your ability to see a body burden, a lung burden.  
20 But one of the areas we're exploring right now, in  
21 fact, we discussed in one of our conference calls,  
22 working group conference calls, is that it's possible  
23 that you don't always have americium 241, and there  
24 was (unintelligible) of plutonium depending on the  
25 campaign. The amount of americium 241 that might be

1 associated with the plutonium 239, I'm sorry,  
2 associated, the amount of americium 241 that might be  
3 associated with the plutonium 239 could vary quite a  
4 bit.

5 So how you interpret the lung count where you're  
6 looking at the americium 241 because you're blind to  
7 the plutonium 239, so then you have to infer what the  
8 body burden or chest burden is of the plutonium 239  
9 from the reading you're getting from americium 241.  
10 But if there's uncertainty regarding the relative  
11 amounts of plutonium 239 versus americium 241, then  
12 there's a lot of uncertainty regarding what the chest  
13 burden is.

14 So these are the three areas that we're looking  
15 very closely at and I think that at some point a  
16 working group meeting along these lines is probably  
17 going to be in order to pursue them a little further.  
18 And I just gave you a real snapshot of it. The  
19 people that have been looking extremely closely at  
20 this issue are Hans Behling and Joyce Lipsztein. So  
21 I mean, if you want to hear a little bit more about  
22 that certainly we can call Hans to the microphone and  
23 tell you a little bit more about where we are on  
24 making those investigations.

25 **DR. ZIEMER**: Thank you very much.

1           And on the high-fired plutonium issue,  
2           conceptually, I believe, the assertion is that the  
3           turnover rate would be so low you may not see it in  
4           the urine and still have a fairly hefty lung burden.  
5           In fact, the turnover may be so slow that if somebody  
6           asserts that, in fact, they, if a claimant says this  
7           is my issue, a claimant might not come up with it  
8           that way, but if we said a claimant may be in that  
9           category, it seemed to me that we ought to ask the  
10          question whether or not that claimant could not be  
11          subject to a lung scan and determine, now again,  
12          depending on the americium issue it may not be even  
13          determinable in that case.

14          But there also is a legal issue, I guess, as to  
15          whether or not you could require such a scan of a  
16          claimant. And I asked this question and wondered if  
17          somebody could at least look into that issue. This  
18          would be a case where you'd say if such were the case  
19          the high-fired plutonium should still be there, and  
20          therefore, be detectible possibly.

21                DR. NETON: I'm not sure that NIOSH would go to  
22          that extreme --

23                DR. ZIEMER: Well, that's what I was really  
24          asking.

25                DR. NETON: -- exposure. I think, you know, we

1       have taken a very serious look at this high-fired,  
2       super insoluble plutonium issue, and we need --  
3       there's merit to it. I mean, the Rocky Flats  
4       certainly has situations where the plutonium is very  
5       insoluble. Our contention is though that if the  
6       material is so insoluble that it never leaves the  
7       lung, then the dose to systemic organs would be very  
8       small.

9               And if we assume that it's Type M material using  
10       a detection of limit for the bioassay meaning it  
11       leaves more rapidly, we'll be overestimating the  
12       doses to the systemic organs. If it -- we use Type  
13       S, right now to this day, I cannot think of any case  
14       that has not been compensated even just using  
15       moderately insoluble plutonium. So --

16               DR. ZIEMER: Well, let me ask, and I don't know  
17       the biology of this well enough, but could you have  
18       clearance to the lymph glands through macrophages?

19               DR. NETON: Yeah, that's an area we're looking  
20       into, whether the super insoluble material ends up  
21       being sequestered in the lymph nodes or remains  
22       sequestered in the pulmonary compartment. It turns  
23       out, this is a very, very valid, interesting  
24       scientific issues. It turns out then for instance in  
25       the (unintelligible) workers in Russia who were

1 exposed to very large amounts of plutonium, the  
2 plutonium does not appear to leave the pulmonary  
3 compartment. Now one does not know whether that's an  
4 inherent property of the insolubility of the  
5 plutonium or it may have more to do with the lung  
6 damage sustained either through the alpha activity in  
7 the lung itself or the concomitant chemical exposures  
8 that occurred along with these materials. So the  
9 short answer is we don't know.

10 **DR. ZIEMER**: Thank you.

11 Are there any other questions pertaining to this  
12 particular issue?

13 Okay, thank you. I'm going to ask the board  
14 members if, do you need a break before we -- I see  
15 levels of discomfort around the table. Let's take a  
16 ten minute break and then we'll reconvene.

17 (Whereupon, a break was  
18 taken after which the  
19 following transpired:)

20 **SC&A CONTRACT TASK III REVIEW, NIOSH PRESENTATION**

21 **DR. ZIEMER**: Our next topic is an item which is  
22 carried forward for awhile. It's the procedures  
23 review. One of our early tasks was to ask SC&A to  
24 review the procedures that NIOSH and ORAU use in dose  
25 reconstruction. And so we have the, and that was

1 Task 3 of the procedures review. That review has  
2 been completed for quite some time, but in a sense  
3 got back-burnered because of more pressing issues,  
4 dose reconstruction and SEC issues.

5 But now in the meantime, NIOSH has had a chance  
6 to review the findings of SC&A, and they in turn have  
7 provided responses. And our work group has gone over  
8 the NIOSH responses and is going to be recommending  
9 to us, and I think we'll actually do that tomorrow,  
10 what action the board should take on each of the  
11 items.

12 And board members, although we're not going to  
13 cover it in detail right now, you should have, there  
14 are three versions of the so-called findings matrix  
15 that's called Summary of Task 3 Procedure Findings  
16 Matrix. The first version of that, I think, was  
17 distributed, I don't know, a few weeks ago, and it  
18 had the findings of SC&A, and it cross-referenced the  
19 finding number and where it was in the report and so  
20 on.

21 Then NIOSH recently generated their response and  
22 so the matrix has the NIOSH responses included, and  
23 then Mark Griffon has generated a recommended action  
24 one. So the final version which, was that  
25 distributed today?

1           **MR. GRIFFON**: Yes.

2           **DR. ZIEMER**: Hot off the press, has a column even  
3 entitled Board Action which is a recommendation which  
4 comes from our, out of our work group, and we'll have  
5 a chance to act on that tomorrow. But we'll start  
6 out with the NIOSH response, as it were, and Stu  
7 Hinnefeld is going to bring us the presentation for  
8 NIOSH. Stu, I don't believe, is going to go through  
9 each individual item, but will --

10          **MR. HINNEFELD**: I'm not.

11          **DR. ZIEMER**: -- give us an overview of their  
12 response to the findings.

13                 So Stu.

14          **DR. WADE**: Just a clarification. We have the  
15 complete work on the external dosimetry.

16          **DR. ZIEMER**: Oh, yes, right. The portion of the  
17 matrix that's been completed, by completed I mean  
18 includes NIOSH response and board action  
19 recommendation, is only roughly the first half of the  
20 matrix dealing with external dose reconstructions.

21          **MR. HINNEFELD**: Thank you.

22                 I started out with just a little history of the  
23 project that was performed by SC&A. The final draft  
24 report initially was delivered back in January, and  
25 this was prior to any of the dose reconstruction

1 review reports, the dose reconstruction review  
2 reports that SC&A subsequently embarked upon, so they  
3 got the procedures and read the procedures.

4 And I think that they would also tell you, the  
5 reviewers would also tell you that after having  
6 reviewed some dose reconstructions, they now have  
7 probably a better understanding of the structure of  
8 the procedures and may have written things a little  
9 differently, which is not to say that necessarily  
10 anything they wrote is particularly wrong, but they  
11 may have focused on different things had they done  
12 the dose reconstruction reviews first then gone on to  
13 the procedures.

14 I've read some of the timeline up here. The  
15 first compilation or matrix of the findings was  
16 prepared, I think it was August 22<sup>nd</sup>. That was the  
17 date I found in my notes. It was just prior to the  
18 previous board meeting. And that activity or that  
19 process was extraordinarily helpful to us to take the  
20 report and to condense it on to a series of sort of  
21 cogent statements of the finding. It certainly made  
22 it much easier for us to provide some evaluation  
23 response to the finding in a more structured format.

24 Now on October 4<sup>th</sup>, the entire completed matrix  
25 was distributed and that was just prior, yeah, that

1 was just prior to an October 6<sup>th</sup> public meeting in  
2 Cincinnati of the working group where we went through  
3 the findings on the matrix from where we had our  
4 initial responses back from the, on the external  
5 dosimetry findings and discussed potential  
6 resolutions. And now I've not actually seen the  
7 recommended board action column, but that was  
8 generated at that meeting.

9 And then there were a few minor edits from the  
10 matrix that were provided a little bit later, but  
11 those were just strictly, they were mainly typo kinds  
12 of things or clarifications. I don't think there's  
13 any particular substantive change that came out after  
14 the October 6<sup>th</sup> meeting. But the version that was  
15 distributed has those included.

16 Our view of this is it was really thorough. It's  
17 big, and it was a very thorough and thoughtful review  
18 of procedures. I think it provides valuable feedback  
19 throughout. There's very little in the report that  
20 we take issue with, maybe a couple things that we  
21 think aren't particularly supported well. I think  
22 there might be some comments that expect more detail  
23 in a particular document than we had expected to put  
24 there. So there will be some things like, that might  
25 fit into that category, but generally, we were, we

1 thought it was a nicely done and well explained piece  
2 of work.

3 We have a number of corrections and  
4 clarifications and evaluations that we're doing as a  
5 response to that as well as probably canceling a  
6 couple documents that have probably been overcome by  
7 events. And these documents are maybe three years  
8 old and in the interim, you know, better procedural  
9 documentation is put out and better organizational  
10 assignment of responsibilities is identified. And so  
11 there are probably some things that were obsolete  
12 that we'll probably just do away with.

13 I've gotten on at the end of the distribution or  
14 in here the list of the procedures that were reviewed  
15 from the external dosimetry standpoint. I guess from  
16 our standpoint of, I don't know, there are about  
17 three pages of these and they are in the handouts,  
18 but these were the external dosimetry procedures that  
19 were reviewed as part of the process.

20 I guess I would categorize our initial response  
21 as, of course, we're pretty wordy too, so our initial  
22 responses are fairly long. But I kind of put them in  
23 maybe about five categories. There are a few  
24 comments that we would think are not well enough  
25 supported that we just don't think that they warrant

1 a revision. We think that we don't necessarily agree  
2 with the comments, but there are not very many like  
3 that.

4 There's some comments that we believe call for  
5 more detail in a particular procedure than what we  
6 intended that document to include. So we also would  
7 feel like there will be some procedures in that  
8 category, or comments in that category. Well, we  
9 wouldn't necessarily take issue with the comment. We  
10 understand why they would make the comment, but they  
11 may call for more detail at a specific point in our  
12 documents. And we would expect our document to have,  
13 so we would perhaps not propose to do that.

14 Plus, there are some findings that we feel point  
15 out a, they raise a serious technical issue that  
16 needs at least careful evaluation and quite likely  
17 modification to the documents we've published and  
18 then probably a retrospective look at what might be  
19 affected by this technical change in approach.

20 There's a category of comments that we don't  
21 think requires a technical change to the work  
22 completed. It doesn't cause us to change our  
23 technical approach to the work that we're going to do  
24 in the future. But it does require a much clearer  
25 description of the technical work we're doing because

1 the document doesn't provide that as it's written  
2 today.

3 And then we have a category of findings that we  
4 would consider valid findings. You're right; I'm not  
5 going to dispute the comment you made. But we don't  
6 know that there's a particularly high priority to go  
7 change this. If we can make a revision easily that  
8 corrects this, what gave rise to this finding, we'll  
9 go ahead and make that correction. Some of the  
10 revisions may not be that easy, and so we may not  
11 pursue those corrections, at least not any time in  
12 the near future.

13 Now let me explain a little bit what I meant.  
14 This would be a finding that the procedure is poorly  
15 organized, has extraneous information, has a lot of  
16 fluff in the middle and the important information is  
17 in the back, and you really ought to reorganize that.  
18 And reading it and looking at the procedure I'd say,  
19 yeah, that's probably right. That procedure should  
20 be, could be, better organized. But that's a fairly  
21 significant thing to do. That's a fairly difficult  
22 thing to do is to reorganize a procedure and put it  
23 in a system, you know, put it back in a format that  
24 you like better and rewrite the whole thing.

25 That's fairly significant and the people who are

1 working on the program, we don't have a lot of  
2 turnover of people working on the program either on  
3 our side or the contractor's side. They're familiar  
4 with how they're structured now. They know how to  
5 use and the way they're structured now, and so we  
6 don't know that we gain a lot by making those kinds  
7 of revisions.

8 And then, but there are some procedures that, or  
9 some comments that would say things like, well, you  
10 say that this document is giving an illustrative  
11 example, but it doesn't really seem to say that. It  
12 seems to be giving definitive guidance and the  
13 numbers that are there are wrong. And we would be,  
14 well, we should probably emphasize the illustrative  
15 example. We've not translated those numbers, and  
16 it's usually in the implementation guide, the high  
17 level document. We've not translated those into  
18 actual practice.

19 We're not actually using them anywhere so it's  
20 not like we've made a technical mistake or a  
21 technical error from having that document written  
22 that way. But that's a fairly easy amendment to  
23 make, edit to make. You insert a little language  
24 that says, this is included for illustrative purposes  
25 only and these numbers are not to be utilized as

1 guidance for this site. So that's a fairly easy edit  
2 to make while it's still in the relatively low  
3 priority case we think because we've not made  
4 technical mistakes, and we're not proceeding  
5 technically in accordance with those numbers.

6 So that's sort of a characterization of the types  
7 of our responses, and unless, I don't think you  
8 probably want me to read the titles of the  
9 procedures, and the projector clearly doesn't want me  
10 to either, so...

11 **DR. ZIEMER**: Thank you very much, Stu.

12 Let me ask Mark, do you want to add any comments  
13 now or just some general things out of the work group  
14 in preparation for tomorrow's actions on this  
15 document?

16 **MR. GRIFFON**: Yeah, I think the board actions go  
17 along those lines that Stu just mentioned with low  
18 priorities, medium priorities, high priorities. I'm  
19 not sure in some cases that I distinguished what he  
20 just said there in the last two examples where you  
21 might have a low priority but it might require a  
22 great deal of work as opposed to a low priority,  
23 fairly easy change. But I think that is reflected in  
24 the board action.

25 I guess the other thing that struck me, and when

1       you review these tonight I think it might be useful  
2       for the board members to look at this. I think in  
3       many cases we've sort of pushed the ball down the  
4       road on a lot of these, and that concerns me a little  
5       bit that we recommend a certain action which is to  
6       clarify a document, for instance, but it doesn't say  
7       exactly how it's going to be clarified. So we don't  
8       really have an answer to some of the technical  
9       issues. For example DCF, I think everyone agreed  
10      that they want to look into it further, but it's not  
11      clear that we have an answer there yet. So again,  
12      we've got this issue of tracking these findings over  
13      time and not losing track of them.

14            DR. ZIEMER: Let me make sure I understand. Your  
15      point is that although NIOSH may have agreed to  
16      modify or change something, we don't actually know  
17      what the modification or change would be other than  
18      saying, yes, we agree that this is an issue and we  
19      will change our document.

20            MR. GRIFFON: Right.

21            DR. ZIEMER: Nonetheless that, for the first  
22      round of doing this, that may be suitable. It may be  
23      that we will want to have a follow-up with you at  
24      some point and say, okay, what was actually done? I  
25      think that's what you're saying.

1           **MR. GRIFFON**: Yeah, yeah.

2           **DR. ZIEMER**: You don't really know what the final  
3 outcome would be. It could be modified in a way  
4 which really is unacceptable also is what you're  
5 suggesting.

6           **MR. GRIFFON**: Right.

7           **DR. ZIEMER**: It seems to me that that probably is  
8 some kind of a follow-up action on our part otherwise  
9 this goes on indefinitely. I think if NIOSH agrees  
10 that there's an issue, the issue's been identified,  
11 the implication is they will make the modification or  
12 change to correct or address that issue  
13 appropriately. Now there's still a possibility that  
14 you'd say, yeah, but you really missed the mark.

15           **MR. GRIFFON**: Right.

16           **MR. HINNEFELD**: Well, we expect to have an action  
17 list after this, once we have a board recommendation  
18 or a board action on these, we expect an action list  
19 for us.

20           **DR. ZIEMER**: Which would delineate actually what  
21 you did, and that would be kind of a final step --

22           **DR. ANDERSON**: Report back to them.

23           **DR. ZIEMER**: Report back with what actually was  
24 done. And that would be a good closure point, yeah.

25           Any other comments, Mark?

1           **MR. GRIFFON**: Yeah, the only other thing I was  
2 going to say is just when I put these board actions  
3 together, and it wasn't really obvious to me in the  
4 work group meeting, but on page four we break off  
5 from OCAS-IG-001 to ORAUT-PROC-0006. And basically,  
6 there's this default line that says that all the  
7 findings before that sort of apply to PROC-0006 also.

8           And I just wanted a clarification in my mind  
9 because it seems to me that the implementation guide  
10 is this high level document, but procedure six seems  
11 to be, at least in my way of thinking, it would be  
12 more prescriptive, maybe not prescriptive, but more  
13 prescriptive than the implementation guide. So I'm  
14 not sure that all the board actions are the same on  
15 six as they would be on those, on the IG items. And  
16 maybe Stu can help.

17           **MR. HINNEFELD**: Well, if I may just a little bit,  
18 procedure six actually repeats a lot of the  
19 information verbatim out of IG-001. And so to the  
20 extent that any of those comments in IG-001 are  
21 reproduced verbatim in procedure six, those would, it  
22 would be that kind, it would be relevant to procedure  
23 six as well. Procedure six has additional  
24 information. Typically, it's oftentimes included on  
25 an attachment. It gives some specific instruction on

1       how to proceed so, and since barring anything  
2       specifically on those, then we wouldn't consider  
3       findings to have been, you know, written against  
4       those.

5               But I believe this was captured this way, and  
6       perhaps I'm not the person to speak to it, but I  
7       believe it was captured this way to reflect that a  
8       great deal of the information in IG-0001 was captured  
9       verbatim in the procedure six which was copied over.  
10      And so if you got one, you got a finding in that and  
11      it's reproduced somewhere else, you got a comment  
12      that it's a finding on that procedure as well. I  
13      think that's what happened.

14              **MR. GRIFFON**: Any maybe we need to ask SC&A on  
15      this one, but I just wasn't sure if we inadvertently  
16      glossed over something that needed a more -- needed a  
17      fix in PROC-0006.

18              **DR. ZIEMER**: Hans, do you to --

19              **DR. BEHLING**: I will concur with what Stu has  
20      just said. That procedure six is really an abridged  
21      version of the implementation guide with the  
22      exception that there are a series of very, very  
23      specific attachments which then guide the dose  
24      reconstructor in terms of what he should do. But for  
25      the most part the central core of procedure six is a

1 facsimile of the implementation guide, and as Stu has  
2 already mentioned, what applies there in terms of  
3 criticism, would also apply to procedure six.

4 MR. GRIFFON: So none of the findings were for  
5 the specific parts that you talked about.

6 DR. BEHLING: No, no.

7 MR. GRIFFON: Okay, that was my question.

8 DR. ZIEMER: Thank you very much.

9 Any further comments or questions on this?

10 Thank you, Stu.

11 We will again return to the detailed comments  
12 when we deliberate at the, later in the meeting this  
13 week.

14 We're going to move almost immediately to the  
15 public comment period. In order to do that, I'm  
16 going to adjourn the subcommittee, and I declare the  
17 subcommittee adjourned; however, you may not leave.  
18 (Thereupon, the subcommittee meeting was adjourned at  
19 3:50 p.m.)  
20  
21

1

**C E R T I F I C A T E   O F   C O U R T   R E P O R T E R****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of October 17, 2005; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 4th day of December, 2005.

---

**STEVEN RAY GREEN, CCR**  
**CERTIFIED MERIT COURT REPORTER**  
**CERTIFICATE NUMBER:   A-2102**