

The following pages have had redactions made to them and are identified with **yellow highlights** and the abbreviation 'piid\*' which stands for '*personally identifying information deleted*'.

Page 84-85, Page 89, Page 91, Page 108, Pages 115-116,  
Pages 122-123

The following pages have had redactions made to them and are identified with **yellow highlights** and the abbreviation 'cfid\*' which stands for '*commercial/financial information deleted*'.

Pages 146-147

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

TWENTY-SEVENTH MEETING

ADVISORY BOARD ON  
RADIATION AND WORKER HEALTH

EXECUTIVE SESSION

The verbatim transcript of the Meeting of the Advisory Board on Radiation and Worker Health held at the DoubleTree Club Hotel, 720 Las Flores Road, Livermore, California, on December 13, 2004.

C O N T E N T S

December 13, 2004

CLOSED SESSION -- DR. PAUL ZIEMER, CHAIR  
INDIVIDUAL CASE DOSE RECONSTRUCTION REVIEWS  
DR. LEW WADE, NIOSH  
-- CASE REVIEW PRESENTATION DR. JOHN MAURO, SC&A  
--INDIVIDUAL CASE REVIEW DISCUSSION  
FULL BOARD, SC&A, NIOSH 8

ADJOURN. . . . . 177

COURT REPORTER'S CERTIFICATE. . . . .178

### TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

In the following transcript (off microphone) refers to microphone malfunction or speaker's neglect to depress "on" button.

**P A R T I C I P A N T S**

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.  
Professor Emeritus  
School of Health Sciences  
Purdue University  
Lafayette, Indiana

EXECUTIVE SECRETARY (PRO-TEM)

WADE, Lewis, Ph.D.  
Senior Science Advisor  
National Institute for Occupational Safety and Health  
Centers for Disease Control and Prevention  
Washington, DC

MEMBERSHIP

ANDERSON, Henry A., M.D.  
Chief Medical Officer  
Occupational and Environmental Health  
Wisconsin Division of Public Health  
Madison, Wisconsin

ANDRADE, Antonio, Ph.D.  
Group Leader  
Radiation Protection Services Group  
Los Alamos National Laboratory  
Los Alamos, New Mexico

DeHART, Roy Lynch, M.D., M.P.H.  
Director  
The Vanderbilt Center for Occupational and Environmental  
Medicine  
Professor of Medicine  
Nashville, Tennessee

ESPINOSA, Richard Lee  
Sheet Metal Workers Union Local #49  
Johnson Controls  
Los Alamos National Laboratory  
Española, New Mexico

GIBSON, Michael H.  
President  
Paper, Allied-Industrial, Chemical, and Energy Union  
Local 5-4200  
Miamisburg, Ohio

GRIFFON, Mark A.  
President  
Creative Pollution Solutions, Inc.  
Salem, New Hampshire

MELIUS, James Malcom, M.D., Ph.D.  
Director  
New York State Laborers' Health and Safety Trust Fund  
Albany, New York

MUNN, Wanda I.  
Senior Nuclear Engineer (Retired)  
Richland, Washington

OWENS, Charles Leon  
President  
Paper, Allied-Industrial, Chemical, and Energy Union  
Local 5-550  
Paducah, Kentucky

PRESLEY, Robert W.  
Special Projects Engineer  
BWXT Y12 National Security Complex  
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.  
Professor Emeritus  
University of Florida  
Elysian, Minnesota

AGENDA SPEAKERS

(in order of appearance)

Dr. John Mauro, SC&A

STAFF/VENDORS

CORI HOMER, Committee Management Specialist, NIOSH  
STEVEN RAY GREEN, Certified Merit Court Reporter

AUDIENCE PARTICIPANTS

BEHLING, HANS, SC&A  
FITZGERALD, JOE, SC&A  
HALLMARK, SHELBY, LABOR  
HINNEFELD, STEVE, NIOSH  
HOMOKI-TITUS, LIZ, HHS  
KATZ, TED, NIOSH  
KOTSCH, JEFF, LABOR  
MCGOLERICK, ROB, HHS  
NESVET, JIM, LABOR  
NETON, JIM, NIOSH  
NUGENT, MARIAN, U.S. GOV'T ACCOUNTABILITY OFFICE  
PORTER, DIANE, NIOSH

## P R O C E E D I N G S

(1:00 p.m.)

1  
2  
3 **DR. ZIEMER:** We're on the record for the closed  
4 session. For the court reporter, if you would,  
5 state your name and your affiliation and we'll  
6 just send the mike on around.

7 **MS. HOMOKI-TITUS:** Okay. Liz Homoki-Titus with  
8 Health and Human Services.

9 **MR. MCGOLERICK:** Robert McGolerick with Health and  
10 Human Services.

11 **MR. NESVET:** Jim Nesvet, Office of the Solicitor,  
12 Department of Labor.

13 **MR. HALLMARK:** Shelby Hallmark, Labor.

14 **MR. KATZ:** Ted Katz, NIOSH.

15 **MR. KOTSCH:** Jeff Kotsch, Labor.

16 **UNIDENTIFIED:** Rob (unintelligible), NIOSH.

17 **MS. NUGENT:** Marian Nugent with the U.S. Government  
18 Accountability Office.

19 **MR. HINNEFELD:** Steve Hinnefeld with NIOSH.

20 **MS. PORTER:** I'm Diane Porter with NIOSH.

21 **MR. FITZGERALD:** I'm Joe Fitzgerald with the SC&A  
22 team.

23 **DR. MAURO:** John Mauro, SC&A.

24 **DR. BEHLING:** Hans Behling, SC&A.

25 **DR. NETON:** Jim Neton with NIOSH.

1       **DR. ZIEMER:** Thank you very much. Now I want to make  
2                   sure that everybody at the table has the  
3                   various materials that we need. First of all,  
4                   you should have a booklet from SC&A which is  
5                   the compilation of their findings. It's a  
6                   plain-covered booklet. Inside it says audit  
7                   findings, task four, first 20 review cases.  
8                   That material that's in the binder should be  
9                   replaced, I understand, by something that looks  
10                  the same but it's simply stapled together. So  
11                  --

12       **MS. MUNN:** Everything that's in the binder?

13       **DR. ZIEMER:** No, the first packet in the binder that  
14                  --

15       **MS. MUNN:** Thank you.

16       **DR. ZIEMER:** -- is kind of the summary. What do we  
17                  want to do with those, pull the old ones out --  
18                  is that correct, John or Cori?

19       **MS. HOMER:** Pull them out.

20       **DR. ZIEMER:** And are we giving these old ones to  
21                  somebody?

22       **MS. HOMER:** You can give them to me.

23       **DR. ZIEMER:** Okay. Just pull out the old one and  
24                  Cori will collect those so that we have  
25                  accounted for them. And that should be

1 replaced with this updated material that looks  
2 the same. Now does everybody have -- or anyone  
3 that didn't seem to get the new insert? This  
4 is a separate, plain-covered folder. Pull out  
5 the first section, replace it -- everybody okay  
6 on that?

7 Then in addition there's a packet called NIOSH  
8 preliminary comments on SCA review of dose  
9 reconstructions, so you should have that. And  
10 then in connection with that, you have the  
11 secret decoding sheet, which is the number --  
12 the case number, one through 20, and a cross-  
13 referenced NIOSH ID so you can cross-reference  
14 that with the cases that you actually reviewed.  
15 That sheet with those two sets of numbers needs  
16 to be turned in at the end of the session today  
17 because this is -- this is the secret code,  
18 relates these numbers to the real case numbers.  
19 Okay? Anyone who lacks that or the NIOSH  
20 document?

21 Now one of the questions that has arisen is the  
22 extent to which the Board wishes to review each  
23 case individually versus having an overview and  
24 kind of a summary report at the front end. I  
25 believe that SC&A felt that it might be helpful

1           just to do an overview summary. Is that  
2           correct, John or Hans? But -- but they're  
3           willing to do either. One of the concerns was  
4           if we go through each case, case by case, that  
5           we may run out of time. But I leave it to the  
6           Board. Do you wish to step through the cases  
7           individually at the front end, or would you  
8           rather hear the overview first?

9           Okay -- comment, comment, comment. Okay, Jim?

10       **DR. MELIUS:** I would like to hear the overview first.  
11           I guess to say this -- I mean it would be  
12           helpful in future meetings, if we're going to  
13           be doing this, is to have both this summary and  
14           the NIOSH report or response, whatever you want  
15           to call it, ahead of time 'cause --

16       **DR. ZIEMER:** Right.

17       **DR. MELIUS:** So I'm a little bit at a loss as to how  
18           we proceed here 'cause we may have to go into  
19           some individual case findings. But I think it  
20           would be helpful to hear an overview first and  
21           then move on from there.

22       **DR. ZIEMER:** Let's get feedback from others on that,  
23           too. Wanda, are you addressing that issue, as  
24           well?

25       **MS. MUNN:** Yeah. It would be preferable from my

1 point of view to have the overview. As a  
2 matter of fact, there is some question in my  
3 mind whether an individual case report is in  
4 fact what we wanted to do. It had been my  
5 understanding that that's why we broke the case  
6 load up into smaller bits, so that each one of  
7 us could be familiar with what had transpired  
8 with a given number of cases, rather than  
9 having to devote our energies to the entire  
10 group. I'd prefer the overview.

11 **DR. ZIEMER:** Tony?

12 **DR. ANDRADE:** I also would prefer the overview. And  
13 during the overview, if whoever's prepared to  
14 give that could tell us if any of the  
15 discrepancies they've found were such that any  
16 of the POC's were pushed close to .5.

17 **DR. ZIEMER:** Let -- let me see if -- we've heard from  
18 three people that they'd like an overview  
19 approach to start with, and we can always go  
20 back and look at individual cases. What about  
21 the rest of you?

22 (Pause)

23 **DR. ZIEMER:** There seems to be a consensus that we  
24 proceed with the overview.

25 **MS. HOMOKI-TITUS:** I just have a question for the

1 Board. Are you all going to be interested in  
2 providing this document publicly when you have  
3 this discussion publicly? 'Cause I'll be more  
4 than happy to start redacting it so that we can  
5 get copies for the public discussion. Yes? Is  
6 that all right?

7 **DR. ZIEMER:** I'm not sure we even know. I've not  
8 even seen what's in this document, so --

9 **DR. MELIUS:** Can you ask that again in about an hour?

10 **MS. HOMOKI-TITUS:** Sure.

11 **DR. MELIUS:** Yeah, I think that'd be -- 'cause I'd  
12 hate to have you do all that work and -- you  
13 know, it may...

14 **DR. ZIEMER:** Then John, if you would kick it off, or  
15 however you want to proceed.

16 (Pause)

17 **DR. MAURO:** (Off microphone) I'd like to start off  
18 by sort of setting -- setting the table, so to  
19 speak, which I think would be helpful. Are we  
20 live here?

21 (Whereupon, difficulties with microphones were  
22 addressed.)

23 **DR. MAURO:** Thank you. I'm going to take my time a  
24 little bit up front to set the table. I think  
25 it's important to set context.

1 We received our set of cases, and -- and then  
2 we put together a process that we discussed I  
3 believe at our last meeting whereby the process  
4 we had elected to do was to -- after a small  
5 core group of SC&A elite people reviewed the 20  
6 cases, we distributed the cases amongst our  
7 what I call case managers. We had about seven  
8 case managers.

9 Each case manager was asked to review each case  
10 -- and I'm trying to get to the next slide, but  
11 that doesn't seem to be working for me.

12 **DR. NETON:** Push the red button on top.

13 **DR. MAURO:** The red button?

14 **DR. NETON:** Make sure that's off. Make sure the red  
15 button's off, then pull the trigger.

16 **DR. MAURO:** Oh, I've got it. Okay. There we go.

17 In effect, this is our contract regarding task  
18 four. We are to -- and it's specifically for  
19 basic review, so we were asked to perform a  
20 basic review of 20 cases, and this is our  
21 checklist of criteria. It's -- I'm not going  
22 to go through it in detail, but one of the --  
23 from this morning's discussion when we  
24 considered matters of scope, how much are we  
25 doing, did we do too much, did we do too

1           little, our marching orders were in effect  
2           delineated based on this statement of work.  
3           And then of course the judgment becomes, within  
4           the context of those marching orders, do you  
5           folks feel that we in fact did accomplish for  
6           each case these line items that we were asked  
7           to examine, and of course did we go into enough  
8           depth. So -- but these were the marching  
9           orders given to the seven case managers.  
10          We went through the review cycle. Each of us,  
11          quite frankly, had to come up to speed.  
12          Namely, we had to review not only the -- the  
13          file that was provided to us, the disk, the CD  
14          with -- with the -- with all of the supporting  
15          material, but of course in -- in just about all  
16          cases we also had to review the site profile  
17          that stood behind it. Now on some cases the  
18          site profile review was well under way, if not  
19          completed, when we began our work. In other  
20          cases, it was not -- it had not begun. So in  
21          effect, to a certain degree, a mini site  
22          profile review was performed for each case, to  
23          the extent that we could.  
24          Quite frankly, I felt that except for a couple  
25          of instances, we were able to perform what I

1           considered to be an effective review in spite  
2           of the fact that the site profile review had  
3           not been completed. I'm going to point out a  
4           couple of exceptions, and one of them is  
5           Savannah River, when we get to that. We'll  
6           move on.

7           **MR. GRIFFON:** John, going back to that last slide,  
8           any reason for the highlighted ones? Were they  
9           (unintelligible) --

10          **DR. MAURO:** Good question. I did not highlight  
11          those. The -- the edi-- the -- I -- no.  
12          Okay. Given that mandate that was previously  
13          shown, we in effect had three fundamental  
14          objectives when we got into this, is that --  
15          you know, there's all the DOE data that's out  
16          there that was provided as part of the record.  
17          And of course there is the CATI interview that  
18          we had. And so our starting point was okay,  
19          let's take a look at the dose reconstruction  
20          report. And in effect what we really asked  
21          ourselves was the input file that's used as  
22          IREP that's in the back of every -- I don't  
23          know if you folks have all had a chance to look  
24          at some of these dose reconstruction reports.  
25          The very back of every one of them has the

1           input file that is used for the dose recon--  
2           for the IREP line. So the way we visualized  
3           our mission was to determine whether or not the  
4           numbers that were in the table that was used as  
5           input were in fact valid scien-- in fact, the  
6           two big issues are scientifically  
7           valid/claimant favorable, and compatible and  
8           consistent with the -- the records that were  
9           sup-- that are behind them, namely the DOE  
10          records, the CATI interview.  
11          We also asked ourselves did they follow their  
12          own procedures. By the way, an interesting  
13          side of this is that while this work was going  
14          on, simultaneously we were reviewing the  
15          procedures. So in a funny way the -- though  
16          we've broken up our program into effectively  
17          three task areas, task one being the site  
18          profile review, task three -- we're going to  
19          jump over two; two is -- really is a record-  
20          keeping so that's really not something we need  
21          to talk about right now. Task three is the  
22          procedures. There's a stack of about 30  
23          procedures that are what I call generic  
24          procedures that have universal applicability to  
25          all dose reconstructions. And then of course

1 task four, which is the actual review of the  
2 dose reconstructions.

3 Well, they really all come together when you're  
4 performing a dose reconstruction review, and  
5 you need to be familiar with all parts of the  
6 process. Namely, you need to be familiar with  
7 the procedures. You need to -- that -- that  
8 were used. You need to be familiar with the  
9 site profile in order for you to perform an  
10 effective review of the actual individual  
11 cases.

12 Now what we tried to do is convince ourselves  
13 that we understood each line item input that  
14 was -- the input to IREP, each dose calc-- each  
15 line item dose and its uncertainty, and the --  
16 and the scientif-- scientific validity of the  
17 approach used to come to that number, and we  
18 try to duplicate that number ourselves -- or as  
19 many of them as we felt we needed to duplicate  
20 to convince ourselves that we understood what  
21 was done by -- by NIOSH and its contractors,  
22 and that was essential and within the context  
23 of the records, the DOE records, the CATI, the  
24 procedures. And also places where there was --  
25 certain technical judgments had to be made



1           affect the POC. You know, I just want a  
2           clarification on just how does the POC come  
3           into play as you're doing this.

4       **DR. MAURO:** It should not have. Our mandate is not  
5           to make a statement regarding the POC. Our  
6           statement is simply was a good job done in  
7           doing the dose reconstruction, scientifically  
8           robust and claimant-favorable when necessary,  
9           when appropriate. We did not and we should not  
10          have -- there should not be any words in our  
11          report anywhere where we make a statement  
12          regarding the significance of our dose findings  
13          with respect to Probability of Causation. If -  
14          -

15       **MR. GRIFFON:** John --

16       **DR. MAURO:** -- there's words in there to that effect,  
17           they really should not be there.

18       **MR. GRIFFON:** Well, I just want to point back to our  
19           scope here, part C, number two, the basic  
20           review. (Reading) Verify dose calculations are  
21           appropriate for purposes of determination of  
22           POC.

23       **DR. MAURO:** Right.

24       **MR. GRIFFON:** So you -- it's a -- I mean it's a -- it  
25           has to come up in some way, I believe.

1       **DR. MAURO:** Yes. Within the conte-- within the  
2                   context of what we're trying to accomplish and  
3                   the -- the --

4       **MR. GRIFFON:** Right.

5       **DR. MAURO:** -- reconstruct doses for the purpose of  
6                   using as input into the POC calculation, yes,  
7                   that's within our mandate. So that, for  
8                   example, if -- if some simplifying assumption,  
9                   efficiency assumption is made -- okay? -- we  
10                  did look at that efficiency assumption as being  
11                  reasonable, given -- give the fact that the --  
12                  our -- the intent is eventually to run a POC  
13                  calculation, but we did not make a judgment  
14                  whether or not the -- we -- we would -- we  
15                  would make an assumption whether or not we felt  
16                  the -- that number was reasonable,  
17                  scientifically defensible, claimant-favorable,  
18                  consistent with the CATI, consistent with the  
19                  records, consistent with the procedures that  
20                  were -- but we di-- as they're designed to be  
21                  used to -- to con-- to reconstruct doses that  
22                  eventually will be used to run a POC. But we  
23                  never evaluated whether or not -- if we found a  
24                  problem with a number, we did not take a  
25                  position on whether or not that would have a

1           significant effect or not on the POC. That was  
2           outside of our mandate, cle-- at least within  
3           our understanding of our mandate as delineated  
4           in the previous slide. So we -- we should not  
5           have gone anywhere near any statement saying  
6           the degree to which it might affect the POC.

7           **DR. ROESSLER:** Well, you brought up another word, and  
8           that's "efficiency". And this is kind of a  
9           general question and I don't know the answer to  
10          it. When NIOSH employed the efficiency process  
11          to a case, and then you knew that and you knew  
12          based on information that you had, did you also  
13          then apply the efficiency process or take that  
14          into consideration and let's say be less  
15          critical of detail on that particular case  
16          because they did employ the efficiency process?

17          **DR. MAURO:** Absolutely. Perfect example is there are  
18          two Bethlehem Steel cases which were granted --  
19          the claim was granted, and the calculation of  
20          dose was limited to a very limited number of  
21          pathways. In other words, they would look  
22          simply at the inhalation dose to the lung and  
23          stop at that point and not consider the  
24          external exposure from -- from -- from the  
25          source that the -- the -- so when we -- when we

1           were reviewing -- and you'll see a slide here,  
2           in fact I think it's the fifth -- the fifth  
3           case we reviewed -- when it was self-evident  
4           that there really was no need to go any further  
5           in terms of the rigor and level of analysis, we  
6           -- we would re-- review the position taken by  
7           NIOSH: Hey, listen, we stopped at this point  
8           because there really was no need. The only --  
9           the only degree that we -- we -- we reviewed  
10          said do we agree with the inhalation dose, are  
11          there any problems with the inhalation dose.  
12          We agree that, given this inhalation dose, you  
13          know -- you know, the -- and the fact that they  
14          stopped the -- and the -- the dose at the point  
15          that they did.

16          Let's -- for example, let's say they -- they ran  
17          their calculations, limited it to the  
18          inhalation dose from -- of uranium and -- and  
19          came up with a PC of greater than .5 and  
20          stopped at that point, we did not question  
21          that. We just convinced ourselves that yes,  
22          they -- they evalua-- and -- and they evaluated  
23          the airborne dust loading correctly using the  
24          data -- or incorrectly, if we were critical.  
25          They evaluated the inhalation dose correctly,

1           and we reproduced the dose to the organ of  
2           concern by running IMBA. So in effect, what we  
3           did is if it turns out that the -- the -- the  
4           analysis was NIOSH needed to first try to  
5           reconstruct what the airborne dust loading was  
6           for the worker, what the exposure  
7           duration/inhalation rate was for the worker,  
8           what the organ -- the dose to the organ was to  
9           the worker, and we would check each one of  
10          those steps and the back-up documentation for  
11          it that would be contained in all of the  
12          references that were cited in the dose  
13          reconstruction report, and also in the site  
14          profiles. To the extent that we could come to  
15          the point where we felt that they reconstructed  
16          the dose to the org-- to the lung correctly,  
17          and on that basis we agreed with their dose, we  
18          did not -- and then we -- we stopped at that  
19          point.

20          We did not ask whether or not they should have looked  
21          at some external dose. We took it on faith, on  
22          face value, that they got a PC of greater than  
23          .5 'cause we never ran the PC calculation to  
24          see if in fact that's true. Okay? I think  
25          that's important. So -- so we don't make any

1 statements regarding whether or not they  
2 converted their dose to PC correctly or not.  
3 Okay?

4 **DR. ZIEMER:** Jim?

5 **DR. MELIUS:** Yeah, I have a related question. That's  
6 how do you know from looking at the file that  
7 that's what they did? Or -- and there may be  
8 other instances at the other end of the  
9 spectrum where there's very low exposures and  
10 maybe they don't do as precise a job or -- I'm  
11 not sure -- is there some notation in the file  
12 or some no-- note or...

13 **DR. MAURO:** Yeah, the dose reconstruction report  
14 makes it very clear: We stopped at this point  
15 because there was no need to go on.

16 **DR. MELIUS:** Okay.

17 **DR. MAURO:** The words -- every one where they  
18 stopped, they say that. In fact -- yes.

19 **DR. MELIUS:** Okay.

20 **DR. ANDRADE:** John, just one more quick question,  
21 same slide -- but you don't have to go back to  
22 it, at the very bottom of your note -- and by  
23 the way, I appreciate the clarification about  
24 the fact that you all are not commenting on  
25 POC. But you did say that for select

1           discrepancies SC&A did quantify the resultant  
2           impact on the assigned radiation organ dose.

3   **DR. MAURO:**   Yes.

4   **DR. ANDRADE:**   Okay.  I guess throughout your  
5           presentation if you could give an example of  
6           that --

7   **DR. MAURO:**   Oh, yeah.

8   **DR. ANDRADE:**   -- I'd -- I'd appreciate that.

9   **DR. MAURO:**   Well, I'll give you one -- right -- well,  
10           you'll see -- we broke the work up -- I took on  
11           all the AWEs, there were five of them, and the  
12           other -- the other 15 were distributed amongst  
13           the other six members of the team.  But the  
14           person that was responsible for overseeing the  
15           QA of everything is sitting at the back of the  
16           room is Hans Behling, so he's intimately  
17           familiar with everything 'cause we did go  
18           through a QC process.

19           Now I'll give you an example of one case where -- for  
20           example, Blockson Chemical Company -- in fact,  
21           it's the first one -- where a person had a  
22           prostate cancer, and we reproduced the doses  
23           that were -- that are in the table in the back  
24           of the dose reconstruction report and -- for  
25           every pathway, from inhalation, external

1 exposure, resuspen-- what-- resuspension,  
2 residual radioactivity, all of the pathway --  
3 X-ray, we reproduced every number, or we tried  
4 to reproduce every number and get to the point  
5 where we say we agree that that -- that -- that  
6 dose is correct, or we believe there's an error  
7 in that dose. Turns out in Blockson you'll see  
8 major errors. We believe there -- for example,  
9 we -- we -- one of the pathways is that the  
10 worker is standing next to a drum filled with  
11 natural uranium -- yellowcake -- and there's a  
12 dose calculation that's -- an estimate is made  
13 of the dose to the organ of concern from a  
14 worker who'd be standing next to that, and  
15 there's a dose presented. We reran -- we ran  
16 MicroShield and MCNP to see if we could  
17 duplicate their doses and convince ourselves  
18 that -- the numbers that were correct, so -- so  
19 yes, so we -- we ran the calculation, and it  
20 turns out that we came up with a dose that's  
21 five times higher than the dose that was  
22 reported in the report.

23 We did that for everything. That is every dose  
24 that's reported, we attempted to duplicate it.  
25 And when we could not duplicate it, we try to

1 find out what's wrong. In many cases we -- and  
2 on more than one occasion I would actually call  
3 up some of the folks at NIOSH, say listen, I'm  
4 having a real hard time matching an inhalation  
5 dose using IMBA. And to be honest, when we  
6 first started, I'd never used IMBA before, so  
7 it -- I was concerned. I -- listen, I thought  
8 I understood what I was doing here. I ran IMBA  
9 and I'm missing your number by a very large  
10 amount and maybe I'm doing something stupid;  
11 help me out. And they did, they helped me out.  
12 We walked through the case. In some cases, I  
13 was doing it wrong. In other case I uncovered  
14 some errors. So we're at the point now where  
15 our team is comfortable with running IMBA. Our  
16 team is very comfortable running any of the  
17 external dosimetry codes because we've been  
18 doing that for a long time. But IMBA is the  
19 new player on the block for many of us --  
20 except for Joyce Lipstein\*.

21 Joyce -- Joyce is our internal dosimetrist and she --  
22 in fact, she runs a different code than IMBA.  
23 So what we would do is I would run IMBA, I'd  
24 get a number, and then I'd call Joy-- Joyce,  
25 run this scenario for me -- or I'd e-mail her -

1           - and then she'd run it and -- and see if I got  
2           the same result. So we got to the point where  
3           we had lots of ways of cross-checking. But we  
4           did try to match every number in the -- in  
5           these tables at the back. And -- and when we  
6           couldn't match them, we tried to figure out why  
7           we can't match them. Is it something that we  
8           don't understand, or is there possibly an  
9           error.

10          If you -- in attempting to capture on one slide --  
11           well, really two slides -- this is the overview  
12           slide that is -- it -- it covers two pages.  
13           You'll see that -- and we'll go back again.  
14           It's a two-page slide that is the overview  
15           slide.

16          Okay. What we found is that for the 20 cases, almost  
17           all of them had some significant problems,  
18           except for perhaps five. You'll see that the  
19           ones that were -- where we basically said look,  
20           no problem, say no concern. Let's see, on this  
21           page there were some significant problems, in  
22           our opinion from our review, on all -- the  
23           first 12 and the -- on this page, so we only  
24           found one, two, three, four that we say we --  
25           we agree with, for all intents and purposes,

1           entirely. The other 16 we have varying degrees  
2           of criticism or concern -- maybe that's a  
3           better word.

4           And in some cases we consider -- for example, the  
5           first two, Blockson and Huntington, I did those  
6           mys-- I did -- in fact, I did the first five,  
7           found some what I considered to be major  
8           errors, and what I believe to be major  
9           breakdown in quality. Okay? In those -- in  
10          those cases, something was wrong. I think it's  
11          an important finding.

12          Other cases we found that -- and Hans'll talk a lot  
13          more about this. The problem was more that the  
14          author of the dose reconstruction got confused  
15          in following the procedures. As I mentioned,  
16          one of the things we were doing, while we were  
17          doing this, is reviewing the procedures. Now  
18          it turns out -- and Hans'll speak to this --  
19          the procedures are very, very complex and it's  
20          no easy task to figure out what procedure  
21          applies under what circumstance. You have to  
22          go through -- oh, perhaps a foot of material to  
23          start to put the puzzle together of oh, okay,  
24          this is how we're supposed to reconstruct the  
25          doses associated with external exposures when

1           you're below -- below the limits of detection  
2           at Savannah River in this time period. It's --  
3           and it's -- so it's very di-- it was very di--  
4           in my opinion, it was very difficult for the  
5           dose reconstructor to fully understand the  
6           procedures and then follow them. So we found a  
7           lot of what I would say errors where there --  
8           they did not follow their own procedures. And  
9           I think the reason for that is there are some  
10          problems with the procedures, and Hans will  
11          speak to -- speak to that.

12         I'm trying to -- I'm trying to capture -- 'cause  
13           there's so much detail when -- we could -- we  
14           could spend an hour on each case. We -- we --  
15           for -- a good way to group it is for the AWEs,  
16           there -- a generic protocol was set forward.  
17           Blockson -- Blockson -- in Blockson and  
18           Huntington, for example, the whole thing is  
19           based on the site profile, so we went in and  
20           looked at the site profile. And I have to say  
21           that I found major errors that went both ways.  
22           Some of them resulted in an over-estimate of  
23           the dose by 4,000 -- from an internal dose.  
24           Other errors underestimated -- other pathways  
25           underestimated the dose by perhaps a factor of

1           five or a factor of ten. I believe that -- and  
2           that would be for those first two.

3       Then -- of course the Bethlehem Steel, we could  
4           probably put that off -- the next three are  
5           Bethlehem Steel. Well, you've seen the  
6           Bethlehem Steel critique. We've got -- we've  
7           got a concern with the -- the fundamental  
8           approach 'cause all of the doses for Bethlehem  
9           Steel come right out of the -- the site  
10          profile. So there is -- there is no data. I  
11         mean for -- for the first five, there are no  
12         data on those workers. Everything comes out of  
13         the site profile, so the site profile's the  
14         whole ball game. And so we review those site -  
15         - so I -- I did a review of Blockson and  
16         Huntington. Of course we know that Joe and his  
17         crew did a review of -- of Bethlehem Steel.  
18         And basically the criticisms that -- that we  
19         have of Bethlehem Steel are virtually identical  
20         to the criticisms that we put in our report on  
21         Bethlehem Steel, and perhaps we'd be better off  
22         holding that off until tomorrow when we discuss  
23         Bethlehem Steel.

24         So then -- then when we move on and we move into the  
25         -- the actual cases where the majority of the

1           ex-- of the exposures were not -- were not  
2           based on the site profile but were based  
3           partially on the site profile and based on  
4           actual DOE data. And in those cases, to try to  
5           give you a big picture on it, if you break it  
6           out between the kinds of problems we  
7           encountered with external dosimetry and the  
8           kinds of problems we -- we observed regarding  
9           internal dosimetry, they came to -- external  
10          dosimetry, it was clear that the authors, in  
11          many cases, were confused; that -- that weren't  
12          quite sure how to reconstruct the external  
13          doses based on the procedures that were laid  
14          out before them and I'd like Hans to speak to  
15          the -- some of the conc-- some of the problems  
16          we encountered in -- in the fact that it did  
17          not do a -- use a consistent approach or the  
18          correct approach.

19          There was -- another category has to do with the X-  
20          ray exposures. We found that though there was  
21          a very nice procedure written by Ron Katherine\*  
22          to reconstruct the doses from X-rays, we found  
23          that it was not used consistently. An example  
24          would be the -- the way in which it's supposed  
25          to work is if -- if -- let's say the organ of

1 concern is the bladder. Okay? Now there's a  
2 very nice procedure that allows you to  
3 determine what the dose is to the bladder from  
4 a chest X-ray, and it's usually about one one -  
5 - let -- about 1/100th to 1/500th of the dose  
6 to the chest. But we found that in some cases  
7 they simply used the dose to the chest as if it  
8 was the dose to the bladder because it was  
9 claimant-favorable.

10 Now in my opinion, I don't think that should have  
11 been done. In other words, someone could say  
12 well, that's claimant-favorable. But it seems  
13 if you have a procedure -- if you have a  
14 procedure that says this is how you calculate  
15 your dose to the bladder, you follow that  
16 procedure. And another problem we ran into  
17 with regard to the X-ray was that if you go  
18 before 1960, the procedure says -- prior to  
19 1960 photofluoroscopy was commonly used as  
20 opposed to just traditional chest X-rays. And  
21 in many cases -- not all cases, but in many  
22 cases the -- the reconstructed dose ignored  
23 that and never gave -- and that's important  
24 because I think the doses from the  
25 photofluoroscopy are at least ten times greater

1 per exposure, if not more, than the X-ray. So  
2 what happens is there -- we -- we found lots of  
3 inconsistency. We found errors, calculational  
4 errors, sometimes major errors. We found  
5 inconsistencies in the way in which the  
6 external doses were reconstructed from either  
7 employing the efficiency procedures that were  
8 laid out -- and there's a big pile of  
9 procedures that -- that have been published.  
10 Or we found errors in going from the records  
11 that were provided by DOE and translating those  
12 records into the input parameters into IREP.

13 I'm trying to think of other broad categories of  
14 error -- in fact, I'd like to ask Hans to come  
15 up and help me out. He -- quite frankly, he's  
16 a lot more familiar, since he checked  
17 everything. And I'm trying to capture a sense  
18 of where the problems are, but I -- we do feel  
19 strongly that there are some quality problems  
20 in -- across the board. We only found four or  
21 five that I would say were -- had no problems.  
22 The rest had problems that in some cases were -  
23 - showed a very -- a complete breakdown in  
24 quality.

25 I'm not going to say, though, that there will be one

1 reversal. I cannot say, standing here before  
2 you, that any of the -- if we were to redo any  
3 of these doses of -- and -- from scratch,  
4 replace all the input parameters for -- input  
5 to IREP, then run IREP, whether we would go  
6 from a non-compensable to a compensable. We're  
7 not in a position to say that. All we're going  
8 -- all we did in our report was point out  
9 places where there were some minor problems and  
10 some major problems in the way in which the  
11 dose reconstructions were performed.

12 Hans, I -- I know you -- you may want to add  
13 something. I tried to do something in a -- in  
14 an overview.

15 **DR. BEHLING:** If I may, I guess I wasn't really  
16 prepared to do a -- an abridged version. I was  
17 fully prepared to do all 15 of the non-AWE  
18 cases, and I also was in a position to perhaps  
19 take select number of the 15 and then go  
20 through each of those with some level of  
21 detail. But at the pleasure of the Advisory  
22 Board, we're going to try to obviously avoid  
23 even talking about a single individual case and  
24 just summarily talk about some of our findings.  
25 But I just want to go over a couple of things that

1           were just brought out a few minutes ago by  
2           different members of the advisory committee as  
3           to what it is that we did. In fact, one of the  
4           things that we did do was to not necessarily  
5           address the magnitude of an error. If there  
6           was an error which we felt was either a failure  
7           to adhere to a procedure or protocol, or if it  
8           was a nominal arithmetic error, I didn't really  
9           care too much if it was a millirem that slipped  
10          a decimal point or rem, but the fact that the  
11          error existed was the key issue. And in some  
12          instances, while we weren't concerned about the  
13          POC, we wanted to at least identify the  
14          magnitude of the potential error in some cases  
15          where the error could have translated into  
16          something as much as ten, even 15 rem into an  
17          organ dose. So as on a footnote stated in one  
18          of the slides that John previously reported,  
19          SC&A did quantify the resultant impact of the  
20          assigned radiation organ doses in select cases,  
21          and that was strictly to give you some  
22          understanding as to what potential impact such  
23          an error might have made on the POC. And  
24          without necessary -- going through any  
25          speculation, I believe that there are at least

1 a couple of instances where the POC as  
2 calculated by NIOSH was sufficiently high, in  
3 the 40's, where perhaps a dose of ten rem could  
4 very easily translate into a compensable case.  
5 The other issue that I wanted to briefly address that  
6 was more or -- more or less generic are a  
7 couple of the others -- one of the things that  
8 I'm not sure I knew what the answer was in  
9 response to a question raised by -- are the  
10 members really familiar with the dose  
11 reconstruction report as we received it in  
12 behalf of the 20 claims. Now I do have one  
13 claim that I selected which is very typical of  
14 the other 15 that I looked at that I have for  
15 distribution with the Privacy Act issues  
16 stricken, and I was hoping to be able to  
17 actually distribute that dose reconstruction  
18 report to the Board here so that you can sort  
19 of get an understanding of what it is that we  
20 started out with, what is the information that  
21 we had when we started our dose reconstruction  
22 report. And quite honestly, in one of the  
23 slides maybe I'll have a chance to show it, I  
24 do have some concerns about the report itself  
25 in terms of the brevity and -- and the limited

1 information that's available. And for us to do  
2 a dose reconstruction -- and as stated in one  
3 of the footnote, we did a 100 percent  
4 verification of each and every entry, so that  
5 when you look at a dose reconstruction report  
6 -- and the one that I have with me here as  
7 about 300 dose entries, so that means verifying  
8 300 entries, and they're not easy to verify  
9 because what you get in the dose reconstruction  
10 report as Attachment One is nothing more than a  
11 citation of numbers. You have no idea whether  
12 entry one through 15 was a dose that was --  
13 that reflects an actual empirical dosimeter  
14 dose, whether it's a missing dose, whether it's  
15 a internal dose, you have no idea. And so our  
16 starting point when we looked at these dose  
17 reconstruction was to first identify which each  
18 -- what each of those entries represented in  
19 terms of the typical categories that one looked  
20 for. And if it's -- if it's the Board's  
21 approval, I would like to distribute one of  
22 those claims and the dose reconstruction report  
23 associated with that claims (sic) to the --  
24 each of the members so you can have an  
25 understanding of how difficult it is and how

1           time-consuming it is to -- to duplicate and  
2           verify each and every single number, because  
3           the -- the report itself, in many instances,  
4           confines itself to a one or two-sentence  
5           statement about how these numbers were derived,  
6           without specifying the -- necessarily the  
7           procedure that was used or the parameters that  
8           modified the particular dose reconstruction.  
9           And so you essentially go through a blind  
10          process that starts out with numbers that you  
11          don't really fully understand, and you have to  
12          now identify the procedures that was used, the  
13          parameters that was used. You then check the  
14          numbers and you determine whether or not there  
15          is a consensus among the people in our group  
16          whether that was a correct number to use.

17          While Dr. Ziemer was out I'd mentioned to the Board  
18          that I have a particular dose reconstruction  
19          report that I had sanitized with regard to the  
20          Privacy Act that I would -- with your  
21          permission, of course -- distribute among the  
22          members so that the members have an  
23          understanding of what it is that we start out  
24          with, because that would answer an awful lot of  
25          questions about the complexity and the time

1           that is required for us to duplicate that dose  
2           reconstruction and essentially define whether  
3           or not we agree with the findings.  And if it's  
4           -- if it's with your approval, I would like to  
5           pass out this report.

6   **DR. ZIEMER:**  Well, I've not -- I have no objection.  
7           Does the Board wish to see that?

8                               (Affirmative responses)

9   **DR. ZIEMER:**  While that's being passed out, could I  
10          ask a general question?  There's a number of  
11          cases where you have identified actual  
12          apparently calculational errors?

13   **DR. BEHLING:**  Yes.  Yes, sir.

14   **DR. ZIEMER:**  What I'd like to ask, and I haven't had  
15          a chance to read all of Jim Neton's stuff, are  
16          there some errors that have been identified  
17          that NIOSH agrees were calculational errors?  I  
18          mean if it's simply an error --

19   **DR. NETON:**  Yeah --

20   **DR. ZIEMER:**  -- that somebody made, I assume you  
21          would look at that and say oh, yeah, we made an  
22          error and you would...

23   **DR. NETON:**  Sure.  There were several cases -- a  
24          number of cases -- I can't quantify exactly  
25          right now off the top of my head -- where

1 missed dose may have been inappropriately  
2 calculated. But you'll see in our comments  
3 that SC&A also made calculational errors, as  
4 well. And also there was a -- a reasonable  
5 misunderstanding of our procedures. I'll admit  
6 that they're complicated and complex, but they  
7 misunderstood to the point where they were  
8 stating that we were off by a factor of two in  
9 dose. If you looked on the IREP input sheet,  
10 it would appear under two different radiation  
11 categories as two separate doses. Those are  
12 listed as errors of factors of two.

13 You'll see those kind of issues throughout the review  
14 process.

15 **DR. BEHLING:** Yeah, I need to make also a comment  
16 here with respond-- in response to what Dr.  
17 Neton just mentioned. The original report that  
18 you have with the 20 cases was in fact a draft  
19 report. And in fact, the slides that I would  
20 have shown you that correspond to this have  
21 been amended to some extent. So in agreement  
22 with what Dr. Neton just said, there were a  
23 couple of errors. We were in a very, very real  
24 rush to get that to you, and it was only when I  
25 actually summarized those particular cases that

1 I realized that those -- there were several  
2 cases that I personally did not necessarily  
3 have a -- an oversight role in it. And when I  
4 collated the data in each of those reports into  
5 a single page for the purpose of this  
6 presentation, I recognized there were a couple  
7 of errors and -- and it is in fact just a draft  
8 report. We knew it was a draft report. We  
9 also solicited comments from the members of the  
10 Board here, with the expectation that a final  
11 report will correct those errors. So yes, in  
12 fact if you compare the slides that you were  
13 given here, the abridged version, with the ones  
14 that are in our three-ring binder, you will see  
15 a few differences where in a couple of  
16 instances the numbers have changed, the  
17 explanations have changed, and in some cases  
18 even the yes or no -- is it claimant-favorable,  
19 is it scientifically valid, have gone from yes  
20 to no and no to yes in a couple of instances.

21 And I also want to mention in context with the types  
22 of errors, we were not partial in terms of what  
23 we considered an error. There were many  
24 instances where we found a -- in a dose  
25 assignment that we didn't agree with, even

1           though it was highly claimant-favorable, most  
2           notably among the occupational medical  
3           exposures where again -- as John already  
4           pointed out -- was a convention approach of  
5           saying oh, let's go with the highest organ dose  
6           and -- and call it claimant-favorable. Well, I  
7           don't really believe that should be done  
8           because claimant-favorability is really based  
9           on instances, or it should be used in instances  
10          where you don't have the data, when in doubt,  
11          when there is an absence of data, lean towards  
12          the claimants as -- as a gesture of -- of  
13          favorability. But when you, for instance, have  
14          an occupational medical dose and, as John  
15          mentioned, the target organ is the bladder or  
16          the testes or the rectum or the colon, why  
17          would you use another number that's -- doesn't  
18          reflect that -- that dose. And this was a  
19          consistent finding we have and in many  
20          instances this would say well, you're not  
21          claimant-favorable. No, I think we're  
22          interpreting the procedures as they should be,  
23          and that is when you have the information, use  
24          it. And claimant favorability is not designed  
25          to -- to misuse it or just to pretend you're

1 claimant-favorable when in fact, you know, the  
2 POC's never going to even approach 50 percent,  
3 use the real number. In fact, in some  
4 instances we believe that claimant favorability  
5 as it was being done may actually come to haunt  
6 you because in the event that a person -- let's  
7 say has a POC of 40 percent, and an error was  
8 done, and then among the 40 percent that was  
9 derived by NIOSH you were extremely generous or  
10 NIOSH was extremely generous, excessively  
11 generous with the dose, but then only to find  
12 out that a serious error was made that in --  
13 when you compensate now for that error, puts  
14 you over 50 -- the percent level, would be  
15 likely that NIOSH would say well, wait a  
16 minute, we're not going to be as generous as we  
17 started out to, so let's have the original  
18 report back and we're going to have to withdraw  
19 that -- that claimant-favorable assumption  
20 about occupational radiation exposure or  
21 something else, and we're now going to have to  
22 accept the notion that we were more generous  
23 than we should have been. And I think -- those  
24 are the two --

25 **DR. ZIEMER:** Obviously we have already had cases --



1 millirem versus ten millirem, we're not giving  
2 them 15 rem, I believe it's part and parcel to  
3 the efficiency process in getting claimants a  
4 timely answer to their dose reconstructions.

5 **DR. BEHLING:** I would like to make a comment to that  
6 effect, however. If you have a table and the  
7 table is the ultimate source for your  
8 information and the table says 83 millirem to  
9 the lungs for a chest X-ray for a certain time  
10 period, then on that same table two slots down  
11 you have the dose to the bladder, I don't  
12 perceive that as a efficiency process. You're  
13 going through the same motion. You're looking  
14 at the same table, but electing to use an 83  
15 millirem dose to the lung when in fact the  
16 person in question has a bladder cancer. And  
17 you can't say oh, well, that saved us a step  
18 for -- for a few millirem which wouldn't make  
19 any difference. The truth is there on that  
20 same table is the exact dose you should use for  
21 the -- for the cancer in question.

22 And it's not a process of efficiency in this case. I  
23 certainly understand efficiency. If -- if it's  
24 likely that you're going to save a few hours of  
25 time to do, for instance, an internal dose

1           assessment based on urine data or -- and you  
2           realize it's not likely going to make much  
3           difference, you can default to a -- a high five  
4           for -- for Hanford or for -- for Savannah River  
5           or -- or the standards of reactor/non-reactor  
6           radionuclide inventory, I understand that. And  
7           that certainly will save you tremendous amounts  
8           of time. But when you have a table that gives  
9           you specific organ doses, and the organ in  
10          question is the bladder, why would you choose  
11          something other than the bladder? It makes no  
12          sense. It certainly isn't time-efficient.

13        **MR. HINNEFELD:** Just as a matter of explanation,  
14          whether something is efficient or not depends  
15          upon the process you're using to develop the  
16          dose reconstruction. So it's not a fact that a  
17          dose reconstructor will necessarily manually  
18          look at that table, pick the number off the  
19          table and write it on the IREP input sheet, but  
20          rather chooses a selection button and -- on a  
21          worksheet or a tool in a worksheet will then  
22          pull up a string of doses -- you know, he'll  
23          say from this year to this year, medical X-ray  
24          on a maximizing approach, and it will pull up a  
25          number and put it in the spreadsheet. So I

1           understand what you're saying. But in order to  
2           know whether the work process is efficient or  
3           not, or whether the decision was an efficiency  
4           process, you need to understand the work  
5           process that the dose reconstructor followed.  
6           And in fact, it was efficient. And at various  
7           times it's become -- it's -- the tools have  
8           been more refined so that it's a less grossly  
9           over-estimating efficiency, but the actual  
10          process was efficient to choose that, even  
11          though it doesn't seem like it by looking at  
12          the table. When the dose reconstruction was  
13          done, it was efficient to choose that number.

14       **DR. ZIEMER:** It's okay -- we're -- we're --

15       **MR. HINNEFELD:** Now I think we're probably qualified  
16                   (unintelligible) --

17       **DR. ZIEMER:** -- (unintelligible) process where the  
18          end result is not going to change. I know that  
19          scientists get more bothered by this sort of  
20          thing, and this occurs -- I've read through all  
21          of the -- all of the dose reconstructions, and  
22          that occurs in a number of cases where a  
23          scientist will say that doesn't make sense when  
24          you -- you could have done it this way. But  
25          again, it doesn't affect the result.

1       **DR. BEHLING:** And chances are many of them don't.

2               But I took a very different viewpoint --

3       **DR. ZIEMER:** No, I under-- I understand where you're  
4               coming from on it, and they've explained where  
5               they're coming from in terms of the approach to  
6               achieve the correct answer from a claim-- from  
7               a compensa-- compensation point of view as  
8               opposed to the sheer science of it.

9       **DR. MELIUS:** Could I --

10       **DR. ZIEMER:** Jim has a comment.

11       **DR. MELIUS:** Could I ask a question first? It's  
12               nothing to do with the point/counterpoint. I'm  
13               trying to understand the written reports,  
14               though. And -- and if somebody can clarify for  
15               me, I think I understand this. SCA developed  
16               these individual dose reconstruction reviews.  
17               There was conference calls that the individ--  
18               the Board members, as assigned, participated  
19               in. You know, I did for -- for my four cases  
20               and so forth. Believe NIOSH staff also  
21               participated in those -- those conference  
22               calls.

23       **DR. MAURO:** They were physically at the meeting.

24       **DR. MELIUS:** Physically -- okay. So -- so they were  
25               at the meeting. Then the reports -- draft

1 reports, individual dose reconstruction review  
2 reports were written up and submitted to -- to  
3 the Board. I believe NIOSH received them at  
4 the same time. Okay. So the first opportunity  
5 for NIOSH to review these written reports as  
6 contained in this report that was handed to us  
7 today -- correct, Jim? Is that...

8 And if I'm looking at this report -- and I'm just  
9 going to pick one as an example here, case  
10 number two. I have the summary from SC&A and  
11 it looks like there were seven issues that --  
12 that they -- they raised in their review.  
13 Okay? You -- NIOSH responded to two of those  
14 seven issues, I think -- if I understand this  
15 right. So is that -- I just -- sort of  
16 procedural process so what I want to know is is  
17 that saying yes, these other issues are -- not  
18 are they important, but are they legitimate, or  
19 did you have time to respond to everythi-- I'm  
20 just trying to understand what's --

21 **DR. NETON:** It's the latter, Dr. Melius. We -- we  
22 just didn't have time to digest 300 pages of  
23 information in the several weeks that we were  
24 allotted, and I think we tried to capture that  
25 in our last sentence here that these should not

1           be considered complete review but rather early  
2           comments on some issues that could be readily  
3           addressed. In some cases we recognized very  
4           quickly that there was a misunderstanding by  
5           SC&A of our approach. They made calculational  
6           errors. There's a difference of opinion on the  
7           use of ICRP versus ICRP-60 things --

8           **DR. MELIUS:** Uh-huh.

9           **DR. NETON:** -- so we commented on those as  
10           appropriate. But we're not willing to say that  
11           silence on those remaining issues implies that  
12           we agree with them at this point.

13           **DR. MAURO:** Could I -- by way of --

14           **DR. NETON:** It might. There are some issues that we  
15           -- we do agree with, but at this point we're  
16           not there yet.

17           **DR. MELIUS:** Okay.

18           **DR. MAURO:** There's a process issue I think that we  
19           really have not talked about. What you have  
20           before you -- and some of you have the full  
21           set. Paul, I think you have the full set.  
22           Jim, you have the full set you asked for.  
23           Right? So there -- other -- other folks have  
24           the full set.

25           All right. At the time we delivered that full set,

1           then we went forward and started to prepare our  
2           presentation. Now it's a very long  
3           presentation. We haven't really started yet,  
4           but we're trying to not do that 'cause it's  
5           going to be painful. I mean it's a long -- and  
6           now -- but what is useful, and I'm going to  
7           suggest this as part of -- as the process, is  
8           when you get to each case -- for example,  
9           here's Blockson. Okay? And everyone has the  
10          same format. This might be a -- a useful tool  
11          -- okay? -- to get through the process. When  
12          all is said and done, what -- what I -- for  
13          example, I did Blockson -- here -- here are the  
14          -- if I was to say on one table here's what I  
15          found out, I'm not going to go into the details  
16          now, and the next page goes on -- here are my  
17          concerns -- okay? -- and I list them, the  
18          concerns I have. Now if you want to know more  
19          about any of these concerns, you can certainly  
20          go into the report. But what would be very  
21          useful as -- by way of processes, is whether or  
22          not -- and I think -- I'm trying to think in  
23          terms of -- the -- what's the end of the  
24          process? I think the next step in the process  
25          -- and this could be a -- time-consuming is, as

1 Jim pointed out, we may have misunderstood. We  
2 may have made an error. Or you may agree, and  
3 we have already found numerous places where we  
4 feel we need to make some corrections that --  
5 that need to be made. So we can issue -- for  
6 example, right now we could issue an errata  
7 sheet -- we'd say replace this page with this,  
8 this page with that -- where we found problems.  
9 We're ready to do that.

10 But then Jim correctly may take a position regarding  
11 one or more of these criticisms, and we're very  
12 anxious to hear what they are. And let's say  
13 -- let's say -- and I realize that won't be a  
14 small job. But I think in the end -- will the  
15 next step in the process be reissuing our big  
16 report to the Board and to NIOSH, making the  
17 changes that we want to make based on the  
18 errata sheets that we've already prepared and -  
19 - and reviewing the commentaries and -- that  
20 Jim would provide and then we would put out a  
21 final report? Or do we stop at this point?  
22 I'm not quite sure, you know, the process you'd  
23 like to proceed. We'd be the first to admit  
24 that we may have taken a position -- like for  
25 example, I'm very familiar with the Blockson

1 case. Quite frankly, I believe that is one of  
2 the places where I found -- I believe I found  
3 some major errors. But I also made some  
4 judgment calls.

5 Let me give you a good example of a judgment call  
6 that I think is worthy of a debate amongst the  
7 Board and to discuss. In the end, the way in  
8 which the inhalation dose was calculated for  
9 this particular claimant was there was some  
10 data re-- on bi-- urinalysis data, which -- and  
11 the -- the information said that we have some--  
12 we have something like ten or 20 urinalysis  
13 samples that sort of capture the range of  
14 concentrations of radionuclides of uranium in  
15 urine, and it ranged -- I'm going to point to  
16 this bullet in particular -- it ranged from  
17 zero to .017 milligrams per liter.

18 Now that range, based on my calculations -- which I  
19 believe are correct, and they were checked --  
20 corresponds to an intake of anywhere from zero  
21 to 240 picocuries per day. So what are we  
22 saying? We're saying well, we have a claimant.  
23 We don't know what his intake was, his chronic  
24 intake was while he worked at the Blockson  
25 facility. But we do have some generic data on

1           urinalysis that says some people had zero  
2           picocuries per day and others may have had as  
3           high as 240 picocuries per day.

4           Now the way in which NIOSH elected to reconstruct the  
5           internal dose to this worker was to use a  
6           geometric mean of 24 picocuries per day, which  
7           -- which is not the highest value. It's  
8           someplace in between the two. Now I believe it  
9           turns out to be the geometric mean, I'm not  
10          quite sure, but my reaction to this was, you  
11          know, I'm a little bit concerned. We have a  
12          limited amount of measurements that go from  
13          zero to twenty-- zer-- basically zero to 240  
14          picocuries per day as chronic intake that this  
15          population of workers experienced, some close  
16          to zero, some may be as high as 240, some may  
17          have been higher than 240 because there's only  
18          a limited population of numbers.

19          Now to pick 24 as the geometric -- as the -- as the  
20          value, at least the geometric mean of the value  
21          for this particular worker disturbed me. And  
22          in my mind, I would have said -- in fact, I  
23          wrote this up in the report -- I probably --  
24          you know, given the mandate, I probably would  
25          have done something along the lines of saying

1           let's take the upper 90 percentile of that  
2           distribution because that would error (sic) on  
3           the side of the claimant. I would be -- rather  
4           than use 24 picocuries per day, with -- with an  
5           appropriate one sigma, which is sort of like  
6           the -- the median of this distribution, which -  
7           - you're really not giving the benefit of the  
8           doubt to the claimant now. You're basically --  
9           that's claimant-neutral.

10          In other words, I would argue that taking that tact  
11           (sic) -- and by the way, this is a recurring  
12           theme that we see throughout all of the cases.  
13           Whenever the dose is reconstructed, they work  
14           with the geometric mean that applies to the  
15           whole work population, and then they say that  
16           applies to my claimant. Now I see that as  
17           claimant-neutral, and we may have a difference  
18           of opinion here, Jim. I'm almost done. I  
19           would say there's got to be another way of  
20           picking your distribution that would be more  
21           claimant-favorable and keeping with the theme  
22           as laid out in the procedures, that perhaps you  
23           wouldn't go with the geometric mean. Maybe  
24           you'd pick a fixed value at the 90 percent  
25           level as opposed to going with this -- 'cause

1           it seems to me that this approach is -- I call  
2           it claimant-neutral.

3       **DR. ANDRADE:** John, before you go on, and before I  
4           even make my own comments, I know Mike has been  
5           wanting to make -- why don't you go first,  
6           Mike?

7       **MR. GIBSON:** Well, it's -- it's going back to a  
8           different issue. Hans talked about on this  
9           case here that he handed out that he had to go  
10          back and personally look up 300 and some  
11          datapoints to verify that this stuff was  
12          correct. That gives me great concern about  
13          quality assurance of NIOSH and ORAU having a --  
14          an auditable trail for this data. And if they  
15          did, how much time would that save on these  
16          dose reconstruction audits and how much money  
17          would that save that we've been talking about  
18          all morning?

19       **DR. BEHLING:** In fact, that's one of my statements at  
20          -- at the end of the Hanford claims where I  
21          summarized a couple of comments that reflect  
22          this very issue. And a few minutes ago we  
23          heard from Dr. Neton that in some instances we  
24          may have spent more time verifying the numbers  
25          than the original dose reconstruction, and I'll

1 explain you why. I mean when we do this, I  
2 have to first decipher what was done, and it's  
3 almost like a crime scene situation where you  
4 have to figure out what goes where and what is  
5 meaningful, what's not meaningful. Certainly  
6 as -- and this is the very reason I handed out  
7 this particular dose reconstruction report for  
8 you to look at. In the back you will see the  
9 Attachment One, which has I believe 300 and  
10 some-odd entries, and you have no idea what any  
11 of those entries represent. And you have to go  
12 in there and say let me see now, what do --  
13 what does the first series of entries  
14 represent? Is it the real TLD dose, the film  
15 dose, is it the missed dose, the neutron dose  
16 or -- which process did they use in terms of  
17 the neutron dose, is it the neutron/photon ray  
18 shield? All these things, all these parameters  
19 -- the original dose reconstructor, he knows  
20 what he wants to do, but I can't read his mind,  
21 and so I have to now, in verifying each and  
22 every number, go back -- in many instances the  
23 reference given for doing something is we used  
24 Technical Basis Document such-and-such, with no  
25 page number, no table number, no number for

1 defining what the parameters. I have to now go  
2 back and say did he use a -- a neutron  
3 correction factor -- the ICRP neutron  
4 correction factor that has this value? What  
5 was the -- the neutron/photon ratio at this  
6 location? That takes more time than the person  
7 who did it. And then I have to go back and say  
8 do I agree with the number, and then write my  
9 comments. To answer the question did we use  
10 more time, yes, I'm sure we did, and there's a  
11 justification for that.

12 **DR. NETON:** Well, let me just say a couple of things  
13 before we go too far away from John's issue  
14 with the urine sample -- could I, please? If I  
15 go ahead?

16 First I'd just like to address Hans's issue that I  
17 think SC&A themselves admit that there was a  
18 learning curve involved. Occupational  
19 radiation dose reconstruction is an arcane  
20 science, understood not by very many. And I  
21 think they would agree that many people on  
22 their team had a steep learning curve to  
23 understand that. But yet they're there, and I  
24 suggest it's a strength of the program that the  
25 sufficient document was there for them to

1 reconstruct every single line of every code.  
2 Not once have I heard them come back and say we  
3 cannot figure out what you did here based on  
4 your documentation, so --

5 **DR. MAURO:** Could I -- could I just --

6 **DR. NETON:** Yeah.

7 **DR. MAURO:** -- (unintelligible) on this point? You  
8 could have made it a little easier on us.

9 **DR. NETON:** Absolutely. But again, we're striking a  
10 balance between processing 17,000 cases, giving  
11 people a timely answer. When it goes to final  
12 adjudication and the claimant has an issue, we  
13 can sit down and leisurely reconstruct it at  
14 that time. But the audit trail is there, I'll  
15 submit that.

16 Number two, John's issue with the urine samples.

17 This is a case where SC&A again has failed to  
18 pull the thread on the available data. We did  
19 not base those intakes on that population on  
20 individual bioassay samples, but rather on the  
21 multiple bioassay samples that were taken on  
22 those people. They are intakes based on  
23 samples over a period of time. In fact, 21 out  
24 of the 25 people -- and this, again, is  
25 addressed in our write-up -- 21 out of the 25

1 people had multiple samples, indicating that  
2 these were in a higher-exposed population. We  
3 believe that this is representative of the most  
4 likely exposed group at Blockson Chemical, and  
5 there indeed are not hundreds of other people  
6 that this is representing. These are the  
7 workers. So I think it's -- it's inappropriate  
8 to say that this does not represent the actual  
9 worker exposures.

10 **DR. MAURO:** I understand what you're saying. In  
11 fact, I spoke to David Allen about this, but  
12 from reading the report -- see, to me, I -- I  
13 look at the report, I look at the data. We did  
14 not have actual access to individual  
15 measurements -- almost done -- so given --  
16 given that the information we have is that some  
17 25 samples were taken from ten individuals --

18 **DR. NETON:** Multi-- 21 people.

19 **DR. MAURO:** I forgot the exact number, you have it  
20 there, good.

21 **DR. NETON:** Twenty-five people, 21 appear on more  
22 than one urinalysis report.

23 **DR. MAURO:** Okay. Now, what I do is now -- now here  
24 I am trying to stay -- get the job done. I say  
25 let me see if I can reconstruct the 24

1           picocuries, and I -- and I said -- and I was  
2           able to reconstruct -- I was able to get to  
3           240.

4       **DR. NETON:** Right.

5       **DR. MAURO:** So I said gee, I'm getting to 240, but I  
6           can't get to 24, so I called David Allen and we  
7           had this conversation, and David said well, we  
8           believe -- the reason we didn't go with the 240  
9           is we believe the zero to 240 was already your  
10          critical group. And see, I'm used to the world  
11          where when you're do your risk assessment, dose  
12          assessment, you work from the point of view of  
13          the critical population group. That is, you  
14          say -- if you don't know -- if you have a  
15          population of people and you want to  
16          reconstruct their dose or risk to an individual  
17          and you don't have any information, you -- you  
18          -- the way I look at it is you err on the side  
19          of the claimant or you try to say well, what  
20          would be a reasonable upper end reconstructed  
21          dose. And in my mind, from looking at the  
22          data, 24 was not the right number.

23          But now you're taking the position -- and this is a  
24          good -- and this is worthy of mention --

25       **DR. NETON:** Right.

1       **DR. MAURO:** -- that is, if it turns out that that  
2                   population that was sampled was already a  
3                   subset of the total population, which was the  
4                   high end group --

5       **DR. NETON:** That's exactly right.

6       **DR. MAURO:** -- I'd be surprised that you'd get zero  
7                   for some of them.

8       **DR. NETON:** Right. But that's exactly right, John.  
9                   And I guess I take exception to the fact that,  
10                  based on that observation where you couldn't  
11                  pull the thread far enough, conclusions were  
12                  drawn -- such as a total breakdown in quality I  
13                  think is an inappropriate conclusion.

14       **DR. MAURO:** Well, not on this one. I didn't say that  
15                  on this one.

16       **DR. NETON:** Well, but you point it out as a poster  
17                  child for an issue and I raise that objection.

18       **DR. MAURO:** No, I -- I -- there are other places  
19                  where there was -- I made it very clear when I  
20                  -- when I started, this was an issue that I  
21                  felt was worthy of debate, but it's a judgment  
22                  call. I made a judgment call. I felt as if  
23                  taking the geometric mean of the zero to 240,  
24                  without any other information, is not -- is  
25                  claimant-neutral. I did not say that this was

1 a breakdown in quality.

2 But there are other places -- for example, the  
3 external dose calculations -- that I believe --

4 **DR. NETON:** Let's discuss that, the drum.

5 **DR. MAURO:** The drum, yeah.

6 **DR. NETON:** SC&A modeled it using MCNP. We also did  
7 that. We did not have a lot of confidence in  
8 the MCNP calculations so we went and actually  
9 used a drum that was surveyed at a site and  
10 used that value. I'll admit that that value's  
11 lower than the MCNP value, but I think the jury  
12 is still out, and it's not definitively proven  
13 by SC&A that their value was correct and ours  
14 is wrong.

15 **DR. MAURO:** I'd like to comment on that, and I think  
16 this is productive. I'm not -- this is not a -  
17 - you know, a -- what we did is when we could  
18 not match the external dose from the drum from  
19 Blockson, we said what's wrong here? Maybe we  
20 don't understand the geometry, the densities,  
21 the material that the container is in. So we  
22 called Jim and said Jim, could we talk to the  
23 author of the work -- the MCNP calculations.  
24 And we found out from our conversations with  
25 Dr. Hertell\* that his instructions were when

1           you model the external dose from the uranium in  
2           the drum, only model bremsstrahlung, don't  
3           model the other photons coming off the uranium  
4           series radionuclides because they're not going  
5           to penetrate the drum barrier.

6           Now that was the instructions that -- that's what we  
7           were told.

8           **DR. NETON:** Okay. But John, you're ignoring the fact  
9           that we didn't use the MCNP calculation.

10          **DR. MAURO:** Yeah, but that was a factor of two.

11          **DR. NETON:** Right, but listen. MCNP calculations are  
12          notoriously poor for modeling bremsstrahlung.  
13          Bremsstrahlung is a very difficult radiation  
14          type to model.

15          **DR. MAURO:** No, brem-- disagree. I respectfully  
16          disagree.

17          **DR. ZIEMER:** Now look, I'm going to interrupt here at  
18          this point 'cause we could have these debates  
19          on hundreds of points here.

20          **DR. MAURO:** Absolutely.

21          **DR. ZIEMER:** One of the -- one of the things that we  
22          have to come to grips with is that there are a  
23          number of observations, and I think you need to  
24          be careful as to which are observations versus  
25          -- you know, if something's a calculational

1 error, that's straightforward and people can  
2 handle that. You have a certain view on that,  
3 and -- and it's fine to point that out and then  
4 NIOSH can say well, this is important or it  
5 isn't and here's how we deal with it, and there  
6 may be a number of those kind of issues. And  
7 there's nothing wrong with the contractor, even  
8 though you may -- and you may point out, we  
9 didn't have all the information. This is what  
10 it looks like from what we gathered. That's --  
11 that's part of an audit --

12 **DR. MAURO:** Yeah, I guess that's how --

13 **DR. ZIEMER:** -- you know, and we can go back and  
14 forth and you could go through all kinds of  
15 iterations on this till everybody agreed on  
16 every point, but that's not the point of the  
17 audit.

18 **DR. MAURO:** Yeah, I guess that's what -- I'm looking  
19 for some guidance.

20 **DR. ZIEMER:** Yeah.

21 **DR. MAURO:** We deliver -- in other words, you have --

22 **DR. ZIEMER:** I think if we get the factual things  
23 out, if there's other things like this that  
24 arise that maybe -- if NIOSH comes back and  
25 says well, they didn't have all the

1 information, fine. You point it out based on  
2 what you have, what it appears.

3 Let me get back to Mike.

4 **MR. GIBSON:** Thank you, Paul. I'm beginning to feel  
5 more like a juror than having a presentation  
6 (unintelligible). I was just reiterating what  
7 Hans had mentioned to us. My question, and I  
8 want it on the record, and I would like an  
9 answer from NIOSH or ORAU (unintelligible), is  
10 there an auditable trail so that the -- our  
11 contractor does not have to waste time  
12 verifying every piece of information and they  
13 can indeed do an audit rather than a complete  
14 dose reconstruction?

15 **DR. ZIEMER:** And I think Jim was saying there is a  
16 trail, but it's not necessarily --

17 **DR. NETON:** Yeah, all I can mention to you is that we  
18 have documented procedures that can be used by  
19 auditors to reconstruct our doses. Now if we  
20 were to have increased the size of our dose  
21 reconstruction, say to 100 pages instead of an  
22 average of seven to ten, that would slow down  
23 the processing cases and delay timely decisions  
24 to claimants, but it is -- there is an audit  
25 trail. There are procedures, there are

1 guidelines -- I think Hans mentioned 30. So  
2 we've done a great deal of documentation in  
3 this program. They are issued as rev numbers.  
4 When a new rev comes out, there is --

5 **DR. ZIEMER:** Audits may, as audits often do, take  
6 long to --

7 **MR. GIBSON:** Is it a -- is it a transparent audit  
8 trail?

9 **DR. BEHLING:** May I make a comment on that? As Dr.  
10 Neton as said, it can be audited because, after  
11 all, that's what I did. But it wasn't easy.  
12 Now the first thing that I would like to  
13 recommend, which would be a very minimal  
14 effort, is to take the Attachment One and for  
15 each dose entry define what that represents.  
16 Entry number one through 25 is truly the dose  
17 that was determined from actual records, DOE  
18 records, film badge data, let's -- let's have  
19 that. This way I don't have to question  
20 whether or not that number represents something  
21 from medical or something else. That would be  
22 very, very easy thing to do.

23 But the thing that does concern me to some extent is  
24 the fact that the difficulty in auditing this  
25 dose reconstruction report, from my point of

1 view as a health physicist, and hopefully a  
2 qualified health physicist, how -- how is this  
3 viewed, for instance, when a claimant gets it  
4 and says this is your closing interview with  
5 you; you've received your dose reconstruction  
6 report, what do you think? I mean I can't  
7 imagine what they will think in looking at this  
8 and saying I don't have a clue what it says.  
9 And then also the issue of internal QA.

10 **DR. WADE:** Let's just summarize where we are. I  
11 think we've established that -- that even in  
12 your opinion, there is an auditable trail. The  
13 question is -- the trade-off is how much effort  
14 is spent by the people preparing the original  
15 estimate to allow for that audit to -- to  
16 happen, or for the -- the dose reconstruction  
17 to be understood by others.

18 Now we would very much like to hear from the Board on  
19 that, if there are opinions you would like to  
20 give us. Then I think we need to move on.

21 **DR. ZIEMER:** Tony?

22 **DR. ANDRADE:** Absolutely, I agree with Mike. I know  
23 it doesn't sound good to you, Jim, but whenever  
24 a number is put down, there should be a minimal  
25 reference rather than just, you know, noting

1           that there are procedures that have been used.

2   **DR. NETON:**   It's tied to a specific procedure.

3   **DR. ANDRADE:**  I know that a specific procedure may be  
4           cited, but I'm saying if -- for example,  
5           there's a number and it corresponds to low  
6           energy photons or X-rays, that should be  
7           stated.  Or if you used MCNP or if you used --  
8           or somebody used MicroShield or somebody -- if  
9           you used an actual measurement, whatever.  
10          That, I think, could be -- that would be very  
11          useful.

12         Second is that in the quality assessment business  
13         things are usually put into three categories.  
14         Okay?  And those categories are results -- I'm  
15         sorry, findings, results and observations.  And  
16         they all have a very specific meaning.  And  
17         John, you know, I take your -- your example  
18         down there on -- on the -- on the urine data,  
19         and you have -- you have a valid concern --  
20         okay? -- that can be addressed by Jim and  
21         company.

22         However, your very first one up there, that's a  
23         philosophical disagreement.  I mean that goes  
24         down way at the bottom.  That's an observation,  
25         to me.  I mean when they're using an S type

1 release, that to me is very claimant-favorable.  
2 And if you don't -- I mean not you personally,  
3 but your -- your agency personally doesn't  
4 agree with maybe a prospective look in which a  
5 claimant may come back and say well, there's  
6 another error and that might lead to  
7 complications, well, that's their problem.  
8 That's not your problem. That should be an  
9 observation. To me, that is very claimant-  
10 favorable. So it's the way you want -- it's  
11 the way one looks at it.

12 **DR. MAURO:** (Off microphone) Can I (unintelligible)?

13 **DR. ANDRADE:** Sure.

14 **DR. MAURO:** There's just one little -- one -- one  
15 brief paragraph. You see, if you're doing a --  
16 the dose calculation to an organ and you assume  
17 it's class M, you're being claimant-favorable  
18 other than -- if you're doing a dose  
19 reconstruction from inhalation from -- and you  
20 assume it's S, you're being claimant-favorable.

21 **DR. ANDRADE:** Yes.

22 **DR. MAURO:** If you assume it's class -- and -- but  
23 you're doing a dose calculation to the bladder,  
24 you assume class M, that's claimant-favorable.  
25 But something interesting is happening here --

1 bear with me. What we -- what was done is they  
2 collected data from urinalysis and -- to -- to  
3 de-- and it's the urinalysis data that they're  
4 looking at now. Now when you're -- when you're  
5 looking at data that was a urinalysis data,  
6 what do you assume is the condition or the type  
7 of material -- in other words, are you being  
8 claimant-favorable -- here's my question. Are  
9 -- are you being claimant-favorable if you say  
10 I have a certain number of picocuries per liter  
11 in the urine, and I want to predict what was  
12 inhaled -- okay? -- am I being claimant-  
13 favorable by assuming S or by assuming M?  
14 Because, remember, it's in the urine because  
15 it's -- because of its (unintelligible) --

16 **DR. ANDRADE:** Its ability to get in --

17 **DR. MAURO:** -- so it's not -- it's not -- and this is  
18 -- so I agree with you on the simple problem  
19 where you have airborne levels, you're going to  
20 model internal dose, you pick your M or your S  
21 based on the organ. However, when you have  
22 urine data and you're trying to predict what  
23 was inhaled and what assumptions regarding the  
24 chemical form or transportability, it's not  
25 self-- it's not immediately apparent to me

1           whether or not -- now I think that that's a --  
2           and it might be -- and now it might be an  
3           important issue, and I'm not quite sure -- we  
4           stopped at that point. See, one of -- one of  
5           our frustrations is --

6       **DR. ZIEMER:** Oh, S is soluble.

7       **DR. MAURO:** Regarding solu-- or -- no, slow versus --  
8           slow versus -- right.

9       **DR. ANDRADE:** John raises -- John raises a very good  
10           point; you know, how does it get into the  
11           urine? And that means that it would be F.  
12           Okay? But the thing is, you know, Jim and crew  
13           probably were thinking, you know, the best  
14           thing we can do is just assume that these  
15           people swallowed the damned stuff -- okay? --  
16           and that -- again, like I said, you know, you  
17           can't read his mind, but it is very claimant-  
18           favorable.

19       **DR. NETON:** We have a direct reference for  
20           yellowcake, which is what was produced at  
21           Blockson, that indicates a half life of about  
22           140 days in the lung, which is very close to  
23           type M --

24       **DR. ANDRADE:** Oh, okay.

25       **DR. NETON:** -- and that's what we used.

1       **DR. ANDRADE:**   Okay.

2       **DR. NETON:**    Thank you.

3       **DR. ZIEMER:**   Mark?  Jim?

4       **MR. GRIFFON:**   So that could have saved a lot of  
5                    heartache if that was known up front -- a  
6                    reference, maybe.

7       Anyway, I agree with Tony's notion on the finding,  
8                    observation -- finding, observations -- and I'm  
9                    missing the last one, but it might have helped  
10                   in all of these 'cause I think in the dose  
11                   reconstruction report each author had a little  
12                   different style of --

13       **DR. MAURO:**    I agree --

14       **MR. GRIFFON:**   -- presentation.

15       **DR. MAURO:**    -- right.

16       **MR. GRIFFON:**   It might have helped us digest some of  
17                    these -- some of these -- some of the lower-  
18                    level ones maybe we wouldn't have such  
19                    heartache over and -- and this ongoing debates  
20                    and findings -- you know, maybe we could --  
21                    could have paid more serious attention to some  
22                    of those.  So that, in -- in going forward, I  
23                    think that would be a reasonable way to present  
24                    things.

25       I also think the -- getting to the auditable trail, I

1           too had that same problem, and I think -- I  
2           think we need to try to strike a balance, and  
3           SCA probably has some recommendations for that,  
4           as to how best NIOSH can -- maybe with a  
5           limited effort -- make it more auditable.  
6           We're not trying to, you know, make this  
7           impossible. But when I went through those  
8           external doses, too, I had the same problem. I  
9           found myself X-ing things and trying to match  
10          them with the text, and a simple extra column  
11          saying that these were calculated based on  
12          missed dose, these were calculated based on TLD  
13          badge -- you know, this section was from the  
14          ambient dose, yeah, that would have saved a lot  
15          of, you know, unnecessary effort, and it's a  
16          pretty easy fix on their part. So I think if  
17          you have a series of recommendations like that  
18          --

19       **DR. MAURO:** That's one -- that's one of --

20       **MR. GRIFFON:** Right, right.

21       **DR. MAURO:** You see how -- we have a last slide that

22          --

23       **DR. ZIEMER:** Jim? Jim?

24       **DR. MELIUS:** Again, going back to our process for  
25          digesting all of this information, and would it

1           be helpful if -- as -- when SCA presents this  
2           to us that we have these issues divided into --  
3           there'd be technical issues, maybe significant,  
4           less significant ones. There are going to be  
5           miscalculation errors that were found, be  
6           second. And there'd be sort of procedural  
7           issues that would have come -- some of which  
8           may be due to confusion over the procedure,  
9           some may be people not following the procedure,  
10          and us getting an overview of what's going on  
11          in 20, you know, dose reconstructions. That  
12          may be sort of what we're more interested in.  
13          Some of the technical issues we're going to say  
14          yeah, we need to go back and talk about that,  
15          and we probably ought to schedule some time at  
16          a meeting to do that. Others saying look, you  
17          know, okay, it's reasonable -- it just isn't  
18          worth the effort, you know. NIOSH made some  
19          sort of judgment and that's fine for -- for  
20          going forward. But -- and I think some of the  
21          -- the procedural sort of stuff and stuff, I  
22          think we have some back and forth between NIOSH  
23          and SCA, hopefully without a, you know, a  
24          mediator or a -- someone to break up the fight  
25          that we could -- could sort of try to get some

1           stuff resolved so by time it gets to us to talk  
2           about it at a meeting, we have some way of sort  
3           of summarizing it, getting into these  
4           categories, and then deciding how to -- how to  
5           proceed and so forth -- as well as sort of  
6           being able to follow things as -- as they go  
7           through time. And -- I mean some of these  
8           issues I think will get clarified as the  
9           procedures get improved by NIOSH or at least  
10          get the writing for the procedures to -- or  
11          they develop new procedures that SCA, you know,  
12          maybe understands some of them better and so  
13          forth, then I think it'll be a much more  
14          efficient process and really get at what we're  
15          trying to get at, which is the -- you know, the  
16          accuracy of these dose reconstructions.

17         **DR. ZIEMER:** That's a good point, and let me add  
18           something to that, John. If you look at the  
19           reports we got and put it against the criteria  
20           as you've summarized, I've noticed that there  
21           was a lot of inconsistencies amongst the  
22           various reviewers on these items. Some of them  
23           addressed some of those items. Some of them  
24           addressed only the dose.

25         **DR. MAURO:** Right.

1       **DR. ZIEMER:** And it seems to me it would be helpful  
2           if -- if, for example, we were able to say out  
3           of the 20 cases reviewed -- I mean if you had  
4           this information on all of them -- we found  
5           that in 19 cases NIOSH received and requested  
6           all the needed data, or we found that NIOSH  
7           appropriately addressed their work history and  
8           events reported by the claimants. Some of the  
9           re-- some of the reviewers addressed that, some  
10          did not. That would help us see if -- it's the  
11          quality of everything, not just these -- the  
12          focus here has been very much on technical  
13          issues, some of which are sort of scientific  
14          debates. But we have a whole list of quality  
15          things, which may have been looked at but have  
16          not always been reported on. So I'm wondering  
17          if we can think about that kind of an overlay,  
18          and also the categorization of the findings.

19       **DR. MAURO:** This business of the data has been  
20           frustrating because we just crossed the line  
21           into the site profile reviews. In other words,  
22           we're not performing a site profile review, and  
23           very often the site profile review is the place  
24           where --

25       **DR. ZIEMER:** Yeah, on some of these that's the case

1           and I understand, and some of these wouldn't  
2           apply then and you could simply state that.  
3           Yeah, okay.

4   **DR. MAURO:** Right.

5   **DR. ZIEMER:** Okay. Roy?

6   **DR. DEHART:** As I read through a number of the  
7           reconstructions and the audit that was done on  
8           those, it appeared that -- although one could  
9           classify it as technology, it often seemed to  
10          be more philosophical and opinion than really  
11          an error in the performance of the original  
12          document and could --

13   **DR. MAURO:** Yeah, I --

14   **DR. DEHART:** -- can we --

15   **DR. MAURO:** I would agree with you.

16   **DR. DEHART:** -- address that?

17   **DR. MAURO:** I would agree with that. I believe the  
18          most important -- most -- I would say 80  
19          percent of our comments were based on what we  
20          believe that an error was made. Okay? We  
21          believe that the wrong procedure was followed  
22          or an arithmetic error was made. But there is  
23          a sub-- a smaller part, and thi-- and I pointed  
24          this out because that is a philosophical one,  
25          and as -- it goes a little more than

1 philosophical, is what is the intent of the --  
2 when you read the words in 42 CFR 82 and then  
3 you read the words in the procedures, OCAS-1  
4 and 2, it begs the question whether or not when  
5 you are doing your dose reconstruction do you -  
6 - do you try to come up with the best estimate,  
7 with uncertainty, on the dose that the person  
8 got, or do you come up with a reconstructed  
9 dose for a person which is claimant-favorable,  
10 it errors (sic) on his behalf.

11 In general what I've found in the cases that I've  
12 reviewed when -- when -- you know, when there  
13 was no data -- and this usually happened on the  
14 AWEs, and we're going to hear a lot about that  
15 tomorrow when we talk about Bethlehem Steel --  
16 a distribution is created that represents the  
17 facility. In this case here, it was a  
18 distribution on urinalysis. Here's the  
19 measurements we saw, and it's in -- it's in the  
20 technical background document. In the case of  
21 Bethlehem Steel some distribution is  
22 constructed of the airborne concentrations of  
23 radionuclides throughout the facility. Okay?  
24 So -- and this tries to characterize the  
25 radiological environment that -- that -- for

1           the entire facility, goes from here to there,  
2           with some geometric mean. Then the question --  
3           here's the philosophical question. Given that  
4           setting and given that you have no data on the  
5           individual, and you have no information on  
6           where he worked, what do you? Do you assume  
7           that that person -- every person that you're  
8           going to reconstruct a dose for is the average  
9           person that experienced a dose, exposure  
10          situation, that represents the full range from  
11          zero to 240, so therefore you go with the  
12          geometric mean and an appropriate standard  
13          deviation, which would be claimant-neutral?  
14          That's what was done, by the way, in our  
15          opinion, in constructing -- Jim is not -- this  
16          is -- this is good -- this is good. We're  
17          doing what we're -- we're supposed to be doing  
18          here.

19          I feel that if you -- if you don't have any  
20          information regarding the worker and where he  
21          worked, and -- but you do have information on  
22          the distribution of the airborne concentration  
23          that might have existed throughout this entire  
24          facility, I would argue -- and this is a  
25          philosophical argument and one that has to be

1 an interpretation of the statute and then the  
2 regulations that implement it -- do you assume  
3 claimant neutrality, assign the geometric mean  
4 and geometric standard deviation that was  
5 observed for the facility, or do you assume  
6 that no, we're going to assume that this worker  
7 that we have no information on happens to be  
8 working at a station in the facility where we  
9 know was a high end. We're going to see this  
10 tomorrow. We're going to be talking about  
11 Bethlehem Steel. We're going to be talking  
12 about roller location number one. If it turns  
13 out that the person happened -- this person  
14 that -- this claimant happened to work there,  
15 his distribution of -- his exposure is going to  
16 be a lot different than let's say the foreman,  
17 who may have worked the whole place and his job  
18 was to walk around the whole facility 'cause  
19 then he would have experienced a distribution  
20 that was representative of the full  
21 distribution. But if he happened to be a  
22 worker that worked at roller location number  
23 one, or in this case -- see, this person you  
24 have a real problem with because he -- when we  
25 looked at his CATI and it turns out he was a --

1 he was a piid\*, I believe it was called -- a  
2 piid\*, which means, we believe -- but I could  
3 be wrong -- it means he was the guy piid\*.  
4 Okay? That puts him up close and personal  
5 piid\*. Right?

6 Now -- all right, that means that, you know, this is  
7 not your average guy. This guy is someone who  
8 happens to have a job where he's going to  
9 experience the high end tail end of the  
10 distribution. Now -- so that's why I had a  
11 problem with the 24. I would still have a  
12 problem with the 24 if we had no information on  
13 what his job was because what you're doing is  
14 you're assuming he's claimant-neutral, but in  
15 this case I think it's a problem because we've  
16 found out he's a piid\* and now unless -- and  
17 now I believe -- like I said, the piid\*. Now  
18 that puts him up here. That puts him closer to  
19 the 240, if that was his job. So here's  
20 something that I think is important for all of  
21 us to come to grips with. When we have  
22 information regarding the worker, or should we  
23 try to get information by talking to coworkers,  
24 here's where -- here's where the rubber meets  
25 the road. How far do we go to get a better

1 handle on the claimant's actual working  
2 environment when we don't have any bioassay  
3 data or external dosimetry data, such as the  
4 case with AWEs? How far do we go to find out a  
5 little bit more about this guy's job? Because  
6 if it turns out at Bethlehem Steel he was the  
7 **piid\*** -- by the way, that's the case for the  
8 Huntington -- the next one after this is the  
9 Huntington plant; it turns out that guy was a  
10 **piid\***. And using the full distribution made  
11 sense for him because it's -- 'cause based on  
12 the write-up, **piid\***. He was sort of - **piid\***.  
13 But this guy, he was a **piid\***, and that placed  
14 him in a location where he was probably toward  
15 the high end of the distribution. I think that  
16 this is an important issue that's cross-cutting  
17 how you approach the problem when you don't  
18 have the bioassay data. Okay? And this really  
19 is an AWE issue.

20 **DR. ZIEMER:** Now John, let me in a sense answer your  
21 question. You don't pursue it. That's not the  
22 auditor's job to pursue the --

23 **DR. MAURO:** Just raise the issue.

24 **DR. ZIEMER:** Right. Your job is to raise the  
25 question. It may or may not be a valid

1           question. In your mind, it is. And if it is,  
2           you can raise it.

3       **DR. MAURO:** That's all I did here.

4       **DR. ZIEMER:** You can attempt to categorize it. It's  
5           -- it's not a cut and dried error.

6       **DR. MAURO:** Nope.

7       **DR. ZIEMER:** I'm not sure if it's a concern, but  
8           that's why categorizing these things would  
9           help. If it's a concern or an observation,  
10          then it goes back to NIOSH and they can deal  
11          with it. Ultimately, you don't have to solve  
12          the problem.

13       **DR. MAURO:** I didn't try. That's why I call it  
14          concerns.

15       **DR. ZIEMER:** No, no, I'm -- and maybe that was  
16          rhetorical, do you follow up. And I think on  
17          many of these kinds of questions where it's not  
18          clear-cut, there's differences in opinion on  
19          what assumptions one should make, you -- you  
20          can -- you've looked at it in a somewhat  
21          different way, and that's helpful. And NIOSH  
22          can evaluate that and say what should we do  
23          with it.

24       **DR. MAURO:** Right.

25       **DR. ZIEMER:** Maybe nothing, maybe something. I think

1 Mike's next, and --

2 **MR. GIBSON:** But these -- this, to me, it doesn't  
3 seem like it's a matter of opinion. I mean if  
4 NIOSH was going to do an adequate dose  
5 reconstruction on the individual to see if they  
6 were indeed compensable, then that should not  
7 have been left blank for -- as a blank question  
8 for them to bring out. It should have been  
9 looked at before a dose reconstruction was  
10 done. I mean it's their job to --

11 **DR. ZIEMER:** Well, in any event, I'm saying it's not  
12 their job to -- to search out that information.  
13 They raise the question.

14 **MR. GIBSON:** I understand, but it should have been  
15 looked up before a dose was rendered for this  
16 person by NIOSH or ORAU.

17 **MR. GRIFFON:** Yeah, the only thing I would add on,  
18 Paul, to -- to your -- and I agree with the  
19 categorization would really help. The one  
20 thing I notice in our -- in our debating back  
21 and forth, you know, sometimes there -- there  
22 have been some things which might even be  
23 considered opinions, and I've heard NIOSH reply  
24 that -- well, you didn't pull the string  
25 enough. So I think the ground rules have to be

1 a little clearer, you know. Sometimes the  
2 auditor has to pull the string in order to make  
3 the case -- that it's a finding, for instance.  
4 And I would say -- you know, in this case what  
5 comes to mind with me -- and the same goes for  
6 Bethlehem Steel tomorrow, what comes to mind  
7 for me at first glance is -- I don't know if  
8 this was a triangular distribution or a  
9 lognormal, whatever it was, if you use your  
10 upper distribution for this worker because you  
11 felt he was in a more highly-exposed area, did  
12 it make a difference from the organ dose  
13 standpoint --

14 **DR. MAURO:** Well, it would here. I mean sure.

15 **MR. GRIFFON:** -- and would it make -- do you think it  
16 was -- so then, if I found that out, that's --  
17 that's a minimal level of effort further down,  
18 I think, for the auditor to do. And if it  
19 would make a significant difference in the  
20 organ dose, then I'd say that that might be  
21 bumped up in terms of your degree of importance  
22 in your finding versus observation versus --  
23 you know.

24 **DR. NETON:** But we've got to keep in mind that these  
25 are not individual samples. John keeps

1 pointing out that it was 250 picocuries per  
2 day. That was one sample of a series of  
3 samples that was used to calculate an intake on  
4 an exposed worker. So what his intake was was  
5 not based, more than likely, on that one value.  
6 It's a dose reconstruction, so you can't say  
7 that the -- they range from this to this and so  
8 that guy had 240 -- I mean he may have had a  
9 lower exposure than the guy who had multiple  
10 samples that were over a longer period of time.  
11 You cannot make that leap of judgment there.  
12 It's not possible.

13 **DR. MAURO:** Well, I -- in defense of my position, my  
14 position is -- is simple. He was a piid\*,  
15 which puts him in a more exposed situation.  
16 And that being the case, given this range -- as  
17 best I could judge, it seemed to be 24 --  
18 should have been closer to the 240, or some  
19 effort made to put this person in the setting  
20 that he was at where his potential for exposure  
21 could have been several-fold higher. I'm not  
22 saying it's going to change -- it'll change a  
23 dose -- it would meet the geometric mean of a  
24 dose direct-- directly proportional. What it  
25 will do to your probability of causation, I

1           have no idea.

2       **MR. HINNEFELD:** I'd like to try just one more comment  
3           on this issue. Okay. The largest urine sample  
4           -- highest urine sample number was collected  
5           from a person who also had other urine sample  
6           data. Okay? His intake was calculated using  
7           the entirety of the urine data. So his intake  
8           would not correspond to 240 picocuries per day,  
9           which would be what you would assume if the --  
10          you only had the one data block.

11       **DR. MAURO:** Okay.

12       **MR. HINNEFELD:** So the intake for that person is not  
13           240 picocuries --

14       **DR. MAURO:** Oh, okay.

15       **MR. HINNEFELD:** -- per day. It is some other number.

16       **DR. MAURO:** Okay. Okay.

17       **MR. HINNEFELD:** And the dos-- and the intakes and the  
18           distribution of intakes that are in the site  
19           Technical Basis Document are based on -- okay,  
20           employee number A, let's do the best fit of his  
21           intake; employee B, let's do the best fit of  
22           his intake -- those daily intakes, chronic  
23           exposure assumption -- and say given that  
24           distribution of intakes, what is the mean and  
25           what's the standard deviation? And it was

1 lognormal and it was -- it was not -- it does  
2 not go up to 240. So that highest urine sample  
3 by itself is not relevant.

4 **DR. MAURO:** Okay, so --

5 **MR. HINNEFELD:** It's the intake of the highest  
6 exposed person.

7 **DR. MAURO:** Oh, so you're say-- okay -- no, I hear  
8 what you're saying -- this is good. So you're  
9 saying that if we -- you're trying to come up  
10 with a high end estimate of what the chronic  
11 intake would be for someone who worked there  
12 for ten years, and you're going to -- you know,  
13 for a long period of time. Assuming 24  
14 picocuries per day is certainly an upper end  
15 estimate of what a person might have  
16 experienced, **piid\***, because -- I mean in effect  
17 -- that's what I'm looking for. I'm looking  
18 for --

19 **MR. HINNEFELD:** Okay, I departed from your --

20 **MR. GRIFFON:** I think he's saying they did an  
21 individual estimate for that individual.  
22 Right?

23 **MR. HINNEFELD:** Right, that -- I kind of departed  
24 from your point of should this person be -- the  
25 distribution or higher in the distribution,

1           that's not what I'm trying to address. What  
2           I'm trying to address is the distribution  
3           doesn't go up to 240 per day. The distribution  
4           is based upon the fitted urine samples from --  
5           from that person, and he had more than that one  
6           urine sample. So when you fit an intake that  
7           best fits all of his excretion data, it's not  
8           240 pic-- it's not 240 per day, it's something  
9           smaller than that.

10       **MR. GRIFFON:** So could -- this is -- I'm just using  
11           this as an example, understand. I didn't even  
12           review this case. But it seems to me is this  
13           an opportunity where in the rep-- NIOSH's  
14           report it could have stated individually  
15           calculated intake.

16       **MR. HINNEFELD:** I think it does.

17       **MR. GRIFFON:** It does. Okay.

18       **MR. HINNEFELD:** I think it does. I think it says --

19       **MR. GRIFFON:** In other cases (unintelligible) --

20       **MR. HINNEFELD:** -- intakes were --

21       **MR. GRIFFON:** -- (unintelligible) you would say --

22       **MR. HINNEFELD:** The distribution of the intakes was  
23           generated from this dataset of 21 people -- or  
24           25 people, 21 of whom had more than one sample  
25           -- something like that, so distribution of

1           intakes was generated from that data.

2   **DR. MAURO:**   Okay.

3   **DR. ZIEMER:**   Let's -- we've been going for two hours  
4           here.  Let's take a comfort break and we'll  
5           return.

6   (Whereupon, a recess was taken.)

7   **DR. ZIEMER:**   We'll come to order.  John, where are  
8           you in your presentation?

9   **DR. MAURO:**   (Off microphone) (Unintelligible)

10   **DR. ZIEMER:**   Use the mike, use the mike.

11   **DR. MAURO:**   What we basically have here is we took  
12           the report -- which I don't know how many pages  
13           it is -- tried to boil it down to each case,  
14           two slides.  In other words, this first -- for  
15           example, we're looking at the second case right  
16           now, Huntington Pilot Plant, and tried to boil  
17           it down to the -- whatever the 20 or 30-page  
18           report is -- to two pages.  And I don't think  
19           it's -- we're not going to go through each one.  
20           I think that we'll be here a long time.

21   But what might be worthwhile is maybe we could do the  
22           following:  Hans and I may want to pick a  
23           couple that we think capture some of the places  
24           that we're especially concerned about, some  
25           issues.  In other words, this particular case

1           reveals an issue that we think might be  
2           important. And I know I have a couple that I'd  
3           like to air.

4           I did mention before the problem that I had with this  
5           distribution which has applicability to just  
6           about all the AWEs.

7           **DR. ZIEMER:** I might suggest as we go through these,  
8           there are some issues that really are sort of  
9           generic because of -- they are related to site  
10          profiles, and we can't discuss site profiles as  
11          a topic right now. We're restricting ourselves  
12          to dose reconstruction.

13          **DR. MAURO:** Okay.

14          **DR. ZIEMER:** But a number of those, such as Bethlehem  
15          Steel -- maybe Huntington is in that category -  
16          - where I think the issue that is being raised  
17          by SCA is perhaps with the basis -- or the  
18          basic issues of the site profile --

19          **DR. MAURO:** Also --

20          **DR. ZIEMER:** -- for example, aside from the site  
21          profile issues, maybe the doc-- maybe the dose  
22          reconstruction itself is okay -- or not, but --

23          **DR. MAURO:** In --

24          **DR. ZIEMER:** -- you know, if it wasn't for those  
25          underlying assumptions, then the profile in

1 other respects may be fine -- or not, but --

2 **DR. MAURO:** Yeah, well --

3 **DR. ZIEMER:** -- what I'm -- what I'm thinking here is  
4 if there are basic issues that you can identify  
5 as being really site profile issues, so that  
6 they're not discussed with each case -- in  
7 fact, they could be identified even in a roll-  
8 up. For example, on the Bethlehem Steel case,  
9 I assume you'll have the same issue --

10 **DR. MAURO:** Yes.

11 **DR. ZIEMER:** -- on all of them.

12 **DR. MAURO:** Absolutely.

13 **DR. ZIEMER:** And it could be cited in whatever the  
14 roll-up form is that -- that this is -- the  
15 concern here has to do with the assumptions or  
16 (unintelligible).

17 **DR. MAURO:** You'd rather not do that now, you're  
18 saying?

19 **DR. ZIEMER:** I'd rather not debate the site profiles  
20 here. We're -- per se, because that's not our  
21 -- (unintelligible). Now obviously -- and if  
22 Huntington is the same way and you don't have  
23 the -- you don't have a document that's the  
24 site profile review, but if the -- if the issue  
25 being raised is really one that applies to all

1           of those, it seems to me that maybe -- that we  
2           can just identify that's what it is. We're not  
3           going to solve it right here.

4       **MS. MUNN:** You've lost your mike.

5       **MR. GRIFFON:** Maybe put it closer --

6       **MR. PRESLEY:** Paul, pull your mike up closer to your  
7           mouth.

8       **DR. ZIEMER:** Oh, no, it's (unintelligible), although  
9           the green light's not showing. Is that -- it's  
10          a red light.

11       (Whereupon, difficulties with microphones were  
12          addressed.)

13       **DR. ZIEMER:** Well, my suggestion was that we not  
14          spend a lot of time on issues which are the  
15          site profile issues more than a particular  
16          case. Do you understand what I'm saying?

17       **DR. MAURO:** Okay, that --

18       **DR. ZIEMER:** And --

19       **DR. MAURO:** -- that being the case --

20       **DR. ZIEMER:** -- I mean you can still identify it, but  
21          --

22       **DR. MAURO:** Yeah, the first five --

23       **DR. ZIEMER:** Is that -- does that make sense to the  
24          rest of the group? Because otherwise, we can -  
25          - we can have this long debate about something

1           which is really -- for example, what are the  
2           Bethlehem Steel assumptions? And I'm not  
3           saying you shouldn't identify that as the issue  
4           for a particular case, but the resolution of  
5           that may have to do with the review of that  
6           particular site profile.

7       **DR. MAURO:** Okay. Well, then --

8       **DR. ZIEMER:** On the other hand, if it's a site  
9           profile you're not reviewing anyway --

10      **DR. MAURO:** Right.

11      **DR. ZIEMER:** -- you can still raise it, but it's  
12           generic -- it's going to occur, for example, to  
13           every one that comes up from that particular  
14           site, if that's the case.

15      **DR. MAURO:** Huntington is an example of an AWE where  
16           the dose reconstruction is entirely based on  
17           the site profile. It is a site profile that we  
18           have not yet been authorized to review.  
19           Whether or not you want to go through the quick  
20           findings or move on, this is basically the  
21           bottom line of the findings for Huntington, but  
22           they're all related to the site profile as  
23           applied to this claimant -- so it's always as  
24           applied to the claimant because it's the organ  
25           of -- if you'd like to go through this quickly,

1           then we can ski-- then after this comes three  
2           Bethlehem Steel. All of the Bethlehem Steel  
3           are very similar. It's a critique of the  
4           Bethlehem Steel site profile, which we did  
5           review. We probably would want to jump over  
6           those. There really is no need to go -- but I  
7           do --

8           **DR. ZIEMER:** We're going to do that tomorrow --

9           **DR. MAURO:** We're doing that tomorrow.

10          **DR. ZIEMER:** And on Huntington you may or may not end  
11           up -- it's certainly not on our list now, I  
12           don't believe, and it may be that you wouldn't  
13           do the Huntington as part of your process.

14          **DR. MAURO:** Right.

15          **DR. ZIEMER:** But your -- your reviewers do review it  
16           as part of the dose reconstruction. And  
17           insofar as you identify something which you  
18           think is related to the site profile, I would --  
19           - I see no reason why it shouldn't be  
20           identified as such. But it seems to me -- and  
21           again, let's get feedback from the group. It  
22           seems to me that that's a kind of category that  
23           you identify -- it's not necessarily -- it's  
24           not a calculational error. It's not a --

25          **DR. MAURO:** Well, it is in the site profile. You'll

1           see that calculational error in the site  
2           profile.

3       **DR. ZIEMER:** In --

4       **DR. MAURO:** But not -- but not --

5       **DR. ZIEMER:** In the dose reconstruction, per se.

6       **MR. GRIFFON:** I know what you're saying, Paul, but I  
7           think we may run into quite a few of these  
8           since they're -- you know, the efficiency  
9           method was applied. So like Savannah Rivers,  
10          they're applying the high five, and that's the  
11          site profile really where it gets into the  
12          details of how they --

13       **DR. ZIEMER:** Right, and I think -- it's my  
14           understanding that right now on those like from  
15           Savannah River, they have reviewed them with  
16           the assumption right now that that is -- 'cause  
17           that site profile's not complete, so they're  
18           saying that given that site profile, this dose  
19           reconstruction was done -- or wasn't done, but  
20           -- they're not debating the site profile in the  
21           dose reconstruction review. That's all I'm  
22           saying. It can be identified as a potential  
23           issue, but it seems to me that the debate on  
24           the individual case shouldn't focus on that,  
25           but simply point out that that's the issue

1           that's being --

2   **DR. MAURO:** I understand now.

3   **DR. ZIEMER:** That's my personal opinion. I certainly  
4           can be overruled by this august group.

5   **DR. MAURO:** I guess I'm still not quite sure -- would  
6           you like to go through these elements of the  
7           dose reconstruction for this claimant that we  
8           feel was in error or not? It's --

9   **DR. ZIEMER:** Does the group want to hear this? Yes?

10 **UNIDENTIFIED:** It's up there, let's go.

11 **DR. MAURO:** Okay. It'll be quick. The Huntington  
12           Pilot Plant processed nickel that contained  
13           enriched uranium. When the doses were  
14           calculated to the person who was working  
15           processing the nickel, one of our finding is  
16           that well, the uranium -- the enriched uranium  
17           that came along with the nickel that was being  
18           processed at Huntington, we believe there was a  
19           possibility -- very real possibility, based on  
20           some work we have -- some research we did --  
21           that there could have been some other  
22           radionuclides present beside uranium --  
23           enriched uranium. They could have -- it could  
24           have been recycled uranium and it could have  
25           been some technetium, neptunium, plutonium --

1 and plutonium in the nickel which was not  
2 explicitly addressed. The report -- the dose  
3 reconstruction for this person is silent on  
4 that, does not factor in this particul-- any  
5 possible exposures from those radionuclides.

6 We did find an error when IMBA was run. It's simply  
7 an input error. That is, we try to re-- we --  
8 we took a look at the -- the exposure scenario  
9 and we reconstructed the inhalation exposures,  
10 and we found that there was an error made in  
11 the input for the IMBA run that had over--  
12 overestimated the dose by a factor of about  
13 3,000.

14 We -- we also found that there's some question --  
15 don't have an answer for this -- that we  
16 believe it's possible this particular worker,  
17 the period in which he -- over which he was  
18 exposed, this ten-year period, may have really  
19 extended longer than that. It's the -- the  
20 supporting literature for his work history was  
21 ambiguous, so it might be possible that in  
22 addition to the exposures this worker  
23 experienced while working with this  
24 contaminated metal, the nickel -- processing  
25 this nickel, did not necessarily end when they

1           stopped processing nickel because he continued  
2           to work at that facility after the processing  
3           of nickel ended, but there may have been some  
4           residual radioactivity in the facility that he  
5           was exposed to for many more years afterward,  
6           but it's not apparent from -- from reading it  
7           that that's -- there's contradictory  
8           information in the literature, so there --  
9           that's -- that's another question.

10       **DR. ZIEMER:** Could I ask on those cases, isn't this a  
11           Department of Labor determination, Jim? Or  
12           what did we do on that?

13       **DR. NETON:** That's correct. This is a Department of  
14           Labor issue, but I would point out that a  
15           review of the -- sorry -- a review of the -- of  
16           the file, the analysis record, indicated that  
17           the Department of Labor attempted to verify the  
18           additional employment and was unsuccessful. So  
19           the Department of Labor made that determination  
20           a priori that that employment was not  
21           considered covered under the Act. It's a non-  
22           issue.

23       **DR. MAURO:** So it -- so --

24       **DR. NETON:** The Department of Labor evaluated that  
25           additional employment and determined it was not

1 covered.

2 **DR. MAURO:** Okay. Even though he might have been  
3 exposed to residual radioactivity from that  
4 operation.

5 **DR. NETON:** Yes, 'cause they determined that he  
6 wasn't there.

7 **DR. MAURO:** Oh, I --

8 **DR. NETON:** He's not covered.

9 **DR. MAURO:** 'Cause I could show you a place where he  
10 said he was there.

11 **DR. NETON:** Just because he said he was there, the  
12 Department of Labor tried to validate it or  
13 verify it and could not, and so he couldn't...

14 **DR. ZIEMER:** Shelby?

15 **MR. HALLMARK:** Shelby Hallmark, Department of Labor.  
16 Just briefly, if I could say -- the discussion  
17 today has indicated to me that there are 40,000  
18 interlocking variables here and 5 million  
19 pieces of discussion about each one of them.  
20 We would like to see the Board and its contract  
21 focus on what it can work on and be productive  
22 about. Decisions made by the Department of  
23 Labor are the Department of Labor's legal  
24 decision. And I would say that the Board and  
25 its contractor should simply walk away and roll

1           off those issues. You have enough of your own.

2   **DR. MAURO:** I'll move quickly through --

3   **DR. ZIEMER:** Yeah, thank you.

4   **DR. MAURO:** We believe there was a five-fold  
5           underestimate on the external exposure to the  
6           enriched uranium contained in these bird cages  
7           where they store the processed uranium, for the  
8           same reason that I mentioned earlier regarding  
9           the bremsstrahlung issue that we -- where we  
10          believe that the -- there -- the exposure from  
11          the uranium -- the decay series radionuclides,  
12          the short-lived progeny of uranium series was  
13          not taken to consideration, just  
14          bremsstrahlung. As -- as a result, we came up  
15          with a dose from external exposure which was  
16          five times higher.

17   **MR. GRIFFON:** John --

18   **DR. MAURO:** One of the recurring -- yes?

19   **MR. GRIFFON:** I'm sorry, I just -- just a general  
20          comment in a lot of the reports I've seen of  
21          yours which I was thinking about on the break,  
22          and it appears twice in your slide here -- an  
23          overestimate by a factor of over 3,000.

24   **DR. MAURO:** Yeah.

25   **MR. GRIFFON:** You know, it would be helpful to me if

1           -- if that was three picocuries instead of  
2           .001, that's different than three -- you know.

3 **DR. MAURO:** What they -- it was supposed to be 5.7  
4           picocuries per day --

5 **MR. GRIFFON:** But if you could just state, you know,  
6           what are the --

7 **DR. MAURO:** I'll tell you, 'cause I --

8 **MR. GRIFFON:** -- what are the hard numbers.

9 **DR. MAURO:** I'll tell you the hard number.

10 **MR. GRIFFON:** Right.

11 **DR. MAURO:** The input into IMBA for inhalation should  
12           have been I believe 5.7 picocuries per day over  
13           a ten-year period. Now that would have been  
14           the correct input. Instead, what was put in  
15           was 14,000 picocuries, which is the total  
16           number of picocuries the person inhaled over  
17           ten years, but it was put into the box in terms  
18           of picocuries per day.

19 **MR. GRIFFON:** Right, right, right.

20 **DR. MAURO:** So as a result --

21 **MR. GRIFFON:** Which happens, having run IMBA.

22 **DR. MAURO:** Yeah, it just -- yeah, it was a mistake.

23           In fact, I -- and this was at a time when I  
24           wasn't quite sure whether I was running IMBA  
25           correctly, so I called David Allen up and he

1           said yeah, you're right, you caught one. So --

2   **MR. GRIFFON:** My point more was, in going forward,  
3           any time you're going to do something like that  
4           it'd be helpful to say --

5   **DR. MAURO:** It's in the report. Oh, yeah -- the --  
6           the -- I -- we try to reduce the report down to  
7           just one -- the best we could.

8   Let's see, one of the recurring problems -- and this  
9           goes to the reconstruction of doses from  
10          residual activity on the ground. Very of--  
11          very often at these AWE facilities we found  
12          that at least -- that there was no radiation  
13          surveys taken until many years later, well  
14          after the operation ceased, and when they were  
15          about to either decommission the facility and  
16          decontaminate it. For example, not until 19--  
17          here's a person exposed in the 1960s, and then  
18          -- and they were trying to reconstruct what the  
19          possible exposure was to the individual from  
20          residual radioactivity that was on the ground.  
21          And data was gathered from surveys taken in  
22          1978, and then they would assume that that  
23          external exposure that they measured in 1978  
24          applies to the -- 1960 when the person was  
25          working there. I have a problem with that.

1           That is -- because what we have is this long  
2           period of time when natural attenuation would  
3           have reduced the contamination level. So to  
4           assume that the level of residual contamination  
5           in 1960 is the same that it was in 1978 when  
6           the measurements were made -- I believe I've  
7           run across that on a couple of occasions -- is  
8           a problem. Some effort needs to be made to say  
9           well, if we're measuring this in 1978, what  
10          might it have been in 1960 when the person was  
11          working there. So that's a problem that I run  
12          across.

13         And I think that sort of summarizes the -- some of  
14                 the problems I ran across on -- on Huntington.  
15         Bethlehem Steel, the list of issues are exactly the  
16                 same issues that are -- that we're going to be  
17                 talking about tomorrow, so there's no need to  
18                 talk about that, so I'm going to skip over and  
19                 go to Hanford.

20         In fact, what I'd like to do at this point is turn it  
21                 over to Hans and -- to pick -- pick, though --  
22                 if anyone has a particular case you want to go  
23                 into, we'll go into it, but we have one sort of  
24                 our favorite in terms of showing insight into  
25                 categories of problems that we -- that we --

1           are recurring, you see. And -- and if -- Hans,  
2           if you want -- if you have a few in mind --

3       **DR. BEHLING:** Yeah, I'd love to actually start with  
4           the first Hanford --

5       **DR. MAURO:** You want me to back up?

6       **DR. BEHLING:** -- 'cause I think that's much more  
7           informative -- backwards.

8       **DR. MAURO:** Am I going the right way? No, one more.  
9           That's it right there, right? Okay.

10       **DR. BEHLING:** Yeah, in fact this was the first claim  
11           that I personally went through totally on my  
12           own, and it was a difficult one because this  
13           was a person who obviously spent a total of  
14           piid\* years at the Hanford site. He was in the  
15           piid\*. He was monitored both for  
16           external/internal exposure, and was diagnosed  
17           with colon, POC of 40.45, so he's fairly well  
18           up there. And the question is, how well is  
19           that number representative of the true organ  
20           dose.

21       And as you see in the second column on that table,  
22           these are the actual doses that were in fact  
23           assigned by NIOSH. These are not my numbers,  
24           these are NIOSH numbers. The first entry is  
25           6.811 rem for a photon dose. The next one is

1 neutron dose, and so forth and so forth. And  
2 the only number that really stands out very  
3 high is the internal dose at 16.986. And  
4 again, as Dr. Ziemer had mentioned, there are  
5 some instances where we are defaulting to a  
6 methodology that does not involve empirical  
7 dose measurements or bioassay measurements.  
8 And in this case, this guy was in an area that  
9 is considered a reactor area. And based on the  
10 Hanford site profile, he was given the benefit  
11 of doubt by being assigned 28 radionuclides  
12 intakes, an acute intake on the first day of  
13 employment and dose calculation was made using  
14 a protocol that was designed by NIOSH, and that  
15 number is -- therefore is a hypothetical  
16 internal exposure number as opposed to an  
17 empirically-derived internal.

18 But let's go through some of the issues. As you see  
19 in the column up top, we have scientifically  
20 valid, claimant friendly -- no, claimant  
21 favorable, and procedurally compliant. And you  
22 see a few no's already in the photon column.  
23 And the principal reason for that is defined  
24 here under column of photon dosimeter dose,  
25 failure to include uncertainty. And for those

1 of you who have the table in front of you, you  
2 can actually go to the claim itself and look in  
3 the back and see that for the entries that  
4 define photon -- empirical photon doses that  
5 were done in his behalf, the doses are entered  
6 as a single determinate value, as opposed to  
7 having a second parameter defined as an  
8 uncertainty.

9 And I want to just briefly mention that this  
10 deficiency was something that was consistently  
11 found in other claims. And it's not so much  
12 any oversight on the part of dose  
13 reconstructor, if I can at least make some  
14 speculative assumption as to why. If you look  
15 at the implementation guide, as it stands now,  
16 there is a very, very lengthy, detailed  
17 procedure that is defined -- that defines  
18 uncertainty and how to do this. And in looking  
19 at the cases that I had, this -- for this one,  
20 number six through 20, and I (unintelligible)  
21 all of them, even though there were other  
22 people who -- who were party to this process --  
23 I realized that nobody ever does an uncertainty  
24 on empirical dosimeters, and the reason being  
25 is it's next to impossible. It's very

1           difficult to do. And let me just give you an  
2           overview as to what the difficulty is.

3           In the early days, as in this case, this person may  
4           have been monitored by film dosimeters. And  
5           the procedure in implementation guide one says  
6           once you determine the sigma value for each and  
7           every single dosimeter reading -- meaning that  
8           for any one given year there may be as many as  
9           52 film dosimeter readings for which he has to  
10          determine what the sigma value is, and then  
11          collate that through error propagation and come  
12          up with a value for that year that says --  
13          let's assume it was 1,200 millirem plus some  
14          sigma value. That is a very, very difficult  
15          thing to do, especially when you're dealing  
16          with film dosimetry data that go back in the  
17          '40's, '50's and '60's. It's virtually  
18          impossible. This person elected not to include  
19          uncertainty.

20          Of course that's claimant unfavorable, because now  
21          you're basically saying this is a fixed value,  
22          which is a dosimeter value, but it has no  
23          uncertainty associated with it. And as I said  
24          before, this is a problem that occurs routinely  
25          among the other claims.

1 Other people who have elected to look at this and say  
2 that's next to impossible for me to do, I'm  
3 going to simply multiply the actual dosimeter  
4 dose by a factor of two, knowing that that's  
5 likely to represent a 95th percentile value,  
6 which frees me or prevents me -- excludes me  
7 from having to define the uncertainty.

8 So those were the two options that some people either  
9 failed to include uncertainty, which is  
10 certainly claimant unfriendly, or simply  
11 multiplied all dosimeter readings by a factor  
12 of two, assuming that represents an upper bound  
13 95th value which precludes the need for  
14 uncertainty.

15 **DR. NETON:** I just have one -- one brief comment  
16 there. Oftentimes in these dose  
17 reconstructions we allow for a dose conversion  
18 factor that will reduce the measured film badge  
19 dose to the actual organ. For instance, the  
20 colon would not receive the same dose as the  
21 badge measured on the chest. And so we, in  
22 that case, ignore that dose conversion factor  
23 and assume that that difference overestimated  
24 the dose and over-assigned the dose that would  
25 be included in the uncertainty distribution.

1       **DR. BEHLING:** Well, I -- I admit that will certainly  
2                   offset -- in many instances the simplification  
3                   process almost takes away the complexity that's  
4                   built into the system, such as the need to  
5                   convert an R dose or a HP10 dose into an organ  
6                   dose by simply assuming that that value  
7                   applies.

8       **DR. NETON:** Well, that's correct, and these are  
9                   efficiency measures that we take where we just  
10                  -- rather than propagate that uncertainty 52  
11                  times, we put a higher dose in ignoring the  
12                  dose conversion factor and --

13       **DR. BEHLING:** Except that it's never identified in  
14                  the protocol --

15       **DR. NETON:** Well, that's an issue that is raised that  
16                  we hear. We hear that very loudly.

17       **DR. BEHLING:** Further down you see missed dose. And  
18                  again I want to clarify, missed dose does not  
19                  mean we don't have the records. Missed dose,  
20                  by definition, according to the implementation  
21                  guide, is nothing more than a person who was  
22                  monitored but whose TLD or film badge comes  
23                  back as a zero read. In other words, he was  
24                  below the lower limit of detection, and the  
25                  assumption therefore is, generally speaking,

1           that we define his missed dose by taking the  
2           low limit of detection -- which is a floating  
3           value. In the early days the low limit of  
4           detection for film badges may have been as high  
5           as 40 millirem for a given cycle. In later  
6           years it was reduced to ten and even lower. So  
7           the protocol, generally speaking, for missed  
8           dose is to look at the person's individual DOE  
9           records. And for this guy, the number of pages  
10          that I had to go through were about 200 and  
11          some-odd pages, and you look at each individual  
12          dose entry for every cycle. Most -- hopefully,  
13          in many instance, they went from weekly to  
14          monthly, so for every year you have at least 12  
15          values to look at in saying how many zeroes did  
16          he get and how many times do we have to now  
17          account for that zero dose as a missed dose.

18         And in this case there were -- I believe this person  
19         only looked at the summary DOE sheet, which  
20         gives you, for the 200 and some-odd pages, a  
21         simple summary up front that says between -- or  
22         let's say this guy -- well, I don't want to --  
23         I do have the dates up there, which is all  
24         right, I guess, in a closed session here. But  
25         he started in **piid\***, and you will see the entry

1 for piid\* as the -- as the external whole body  
2 deep dose for that year, but you don't really  
3 know if that was in a single month or spread  
4 over a full 12 months. So in order for you to  
5 really do a missed dose, you have to really go  
6 to the individual dosimetry data that defines  
7 each month or each cycle as a measurement. And  
8 as it turns out, as you can read under missed  
9 dose, there were problems with '92, '93, '94,  
10 and there was a failure to include missed dose  
11 for a period of over piid\* years in one  
12 instance, the stretch from piid\* through piid\*.  
13 And I counted the number of zero dose that he  
14 should have used in converting to a missed  
15 dose. There were approximately 100 zero reads  
16 which were missed.

17 Also there's a issue of how do you define the dose  
18 that is classified as a missed dose. Right now  
19 we have only protocol or guidance that says if  
20 the dose comes back as zero, you apply the  
21 missed dose calculation. Well, that creates in  
22 itself a problem because in some instances,  
23 even though we have come to the conclusion that  
24 the LOD for some of the early film dosimeters  
25 may have been as high as 40 millirem, they

1 reported down to one or two millirem. Which  
2 means that if the person did his homework,  
3 under current guidance he would say well, one  
4 millirem is greater than zero; I don't have to  
5 apply it. But guess what? If he was given the  
6 LOD over two, he would get 20, he would get 40  
7 divide by two for that period. He would get --  
8 if he had zero dose he would get 20, but if you  
9 actually look at the dosimetry record and you  
10 see an entry of one or two -- and I provide  
11 some information to some of these -- he will  
12 actually be cheated -- he'll get less for a  
13 real dose than a person with a zero dose. And  
14 so there's another procedural problem that  
15 doesn't define the need to account for missed  
16 dose under conditions when the -- the actual  
17 dosimetry record identifies a value that's less  
18 than LOD divided by two. Is that understood?

19 Same thing -- as I said, with neutron doses we have a  
20 whole (unintelligible) -- as I said, I went  
21 very, very systematically through all the DOE  
22 records and identified neutron doses, and  
23 again, he missed **piid\*** years of missed neutron  
24 dose.

25 Lastly, occupational dose, and we've touched on that

1           briefly already. My estimate for -- for his  
2           occupational medical exposure is only 17  
3           millirem, so I'm not always consistently just  
4           looking to see how I can increase it, but I'm  
5           trying to comply with procedures. When you  
6           have the data, use it. And if you want to  
7           default to some higher value, at least make  
8           some explanation, which I didn't see here.

9           But in this case, as I said, I was somewhat concerned  
10          by the simple fact that the POC for this  
11          individual, based on the current dose  
12          estimates, was as high as 40, and I see an  
13          awful lot of missed doses here that will  
14          certainly add -- now I didn't run the POC  
15          calculation, which was not part of our charter,  
16          but it's possible -- quite possible, that he  
17          may approach or even exceed 50 percent.

18         **DR. ZIEMER:** Hans, on your chart where you have the  
19          column called procedurally compliant --

20         **DR. BEHLING:** Yes.

21         **DR. ZIEMER:** -- for example, on missed dose, when you  
22          say "no", are you indicating that NIOSH did not  
23          comply with their own procedures, or you think  
24          the procedure itself is faulty? What do --

25         **DR. BEHLING:** Well, it's probably a combination of

1 things that involve a complexity of procedures,  
2 which makes this kind of error almost a -- a  
3 high probability. But in this case --

4 **DR. ZIEMER:** Well, let me ask it a different way. On  
5 the first one, photon dose, I think Jim said  
6 that you're using the whole body value as a  
7 surrogate for the organ, since it  
8 overestimates. Is that contrary to NIOSH's  
9 procedure or are you saying that you believe  
10 the proce-- this column says it's not compliant  
11 with the procedure.

12 **DR. BEHLING:** Yes.

13 **DR. ZIEMER:** And I'm interpreting from what Jim said  
14 that that is the procedure.

15 **DR. NETON:** No, I don't think that's specifically  
16 called out in the procedure, but that is an  
17 approach that is used fairly commonly to  
18 circumvent the elaborate uncertainty  
19 propagation that we use.

20 **DR. ZIEMER:** I'm just trying to get a handle on --

21 **DR. BEHLING:** (Unintelligible) it's procedure, not  
22 compliant. The answer is, you're trying to  
23 offset one efficiency by overestimating  
24 another. In other words, the failure to  
25 incorporate into the IREP code an uncertainty

1           measure for each of those (unintelligible) --

2 **DR. ZIEMER:** Oh, okay, I see what you're --

3 **DR. BEHLING:** -- (off microphone) doses, partially  
4           offset by a DCF that has been arbitrarily  
5           assigned one. And clearly when you talk about  
6           30 to 250 keV, the dose conversion value for an  
7           AP (unintelligible) to the colon is  
8           considerably less than one.

9 **DR. NETON:** That's correct.

10 **DR. BEHLING:** (Off microphone) So therefore you're  
11           trying to compensate one against the other, but  
12           the procedures don't say that that --

13 **DR. NETON:** The procedures don't say that, but we do  
14           have latitude with the individual do-- it's a  
15           guidance document. It's not a procedure. The  
16           implementation guide is not a procedure, let's  
17           -- let me state that. So a dose reconstructor  
18           does have some latitude to use his judgment to  
19           efficiently process the case. But I hear you.  
20           It's a very valid --

21 **DR. BEHLING:** If it were stated, I would accept that.

22 **DR. NETON:** No, I agree.

23 **DR. BEHLING:** I'm not a nit-picker. I'm just looking  
24           to state whether or not a procedure was  
25           followed, and --

1       **DR. NETON:** I hear you, and we totally agree that we  
2                    need to do a better job with that.

3       **DR. ZIEMER:** Thank you.

4       **DR. BEHLING:** I have several others, but you know, as  
5                    I said, they all follow things that involve  
6                    errors that are arithmetic, the -- the freedom  
7                    and maybe subjective nature of individual dose  
8                    reconstructors to --

9       **DR. ZIEMER:** I did want to also ask, and maybe Jim  
10                    can answer, the one that he pointed out where  
11                    if the doses are below half of the minimum  
12                    detectible but are still recorded --

13       **DR. NETON:** Right.

14       **DR. ZIEMER:** -- is there -- in fact, does the  
15                    procedure --

16       **DR. NETON:** I think we do --

17       **DR. ZIEMER:** -- call for us to use the -- it seems  
18                    like it's --

19       **DR. NETON:** The procedure's silent on that, and it's  
20                    a valid point, that we do need --

21       **DR. ZIEMER:** It probably doesn't change things very  
22                    much --

23       **DR. NETON:** It makes a minimal impact on the dose  
24                    reconstruction.

25       **DR. ZIEMER:** -- but it could.

1       **DR. NETON:** But it does need to be more specific and  
2               spell out that it is our opinion that if it is  
3               below the limit of detection that we should --

4       **DR. ZIEMER:** You would go ahead and assign --

5       **DR. NETON:** Absolutely.

6       **DR. ZIEMER:** -- the value rather than using --

7       **DR. NETON:** Correct.

8       **DR. ZIEMER:** It seemed to me it was a valid point.

9       **DR. NETON:** Yeah, and I think that was a valid point  
10               that -- where there were just -- you know, we  
11               were silent in our documentation.

12       **DR. ZIEMER:** Yeah, thanks.

13       **DR. BEHLING:** I have several more, but it's up to the  
14               Board to decide whether or not you want to hear  
15               any more or -- I do have one  
16               (unintelligible) --

17       **DR. ZIEMER:** Are you talking about the other Hanford  
18               ones, or just some other --

19       **DR. BEHLING:** Well, I have -- I selected five, with  
20               the assumption that John might have two or  
21               three and I might have five instead of the 15.  
22               But again, this is a decision that you will  
23               have to make. As I said, I'm prepared to do  
24               more if you would choose to go through several  
25               other claims.

1       **DR. MELIUS:** Can I make one comment? Just that we  
2                   need to leave enough time that we -- I think we  
3                   need to resolve two issues. One is how are we  
4                   going to -- how is the Board going to report on  
5                   this at our public meeting tomorrow; what are  
6                   we going to say? And number two, how do -- how  
7                   -- we go forward from here with all this  
8                   paperwork that then comes with what changes  
9                   procedurally needs to get done?

10       **DR. ZIEMER:** Let's allow about 15 more minutes for  
11                   specific things, and then at 4:00 we'll start  
12                   to address that, if that's agreeable. Mike has  
13                   a comment here.

14       **MR. GIBSON:** I think we also need to spend a little  
15                   bit of time trying to determine how that our  
16                   contractor and NIOSH is going to carry on  
17                   dialogue so that when we get to these meetings  
18                   we can have constructive meetings rather than  
19                   what seems to be more like arguments.

20       **DR. MELIUS:** That's what I mean with what do we do  
21                   with it.

22       **DR. BEHLING:** Let me talk about the claim involving  
23                   **piid\***, Rocky Flats. The person was employed  
24                   for about **piid\*** years, various locations. His  
25                   job description is defined as **piid\***. He was in

1 fact monitored externally/internally and his  
2 cancer was rectal cancer with a very low POC of  
3 less than one percent.

4 This one is a case where I believe we have a problem  
5 with the interpretation. I chose this one  
6 because it depicts some of the problems with  
7 too many procedures that are sometimes very  
8 difficult to -- to identify. And let me go to  
9 the next slide, because I think we can  
10 summarize what those problems might be.

11 Yeah, in this case -- this person has a missed  
12 external photon dose that was defined in a  
13 very, very convoluted way. He went through a  
14 procedure, and I think it's -- I don't have it  
15 in front of me. It's the procedure entitled  
16 "Maximizing External Dose". In other words,  
17 it's intended to give the dose reconstructor a  
18 handle to say let's skip the trivia and let's  
19 go -- and to maximize the dose in order to  
20 avoid certain things, such as the issue of  
21 uncertainty. And what that procedure calls for  
22 is -- and I think it's right here, I defined  
23 the procedure, the -- ORAU-OTIB-0008. What  
24 that procedure tells you is that for -- for  
25 missed dose, you can use LOD instead of the LOD

1           over two. In other words, if for that  
2           dosimeter period involving let's say film, the  
3           LOD was 40 millirem, the conventional approach  
4           using the implementation guide one would say  
5           take the 40 millirem for each zero dose divided  
6           by two and assign 20 millirem as the external  
7           whole body dose for that individual.

8           To avoid the issue again, I'm sure, of uncertainty --  
9           because when you use that approach you then  
10          have to also use uncertainty of 1.52, even for  
11          -- for a missed dose, just let's go and give  
12          him a slightly higher one by simply using the  
13          LOD. Give him the full 40 millirem if that was  
14          the LOD for that time period.

15          In that same procedure there's also an issue of  
16          simplifying dosimeter dose, real dose, that  
17          says if you have -- let's say in -- in the  
18          first cycle you have zero dose, you would say  
19          what is the LOD; and if it's 40, that's what  
20          you'd give him for that cycle -- let's say  
21          January 1 of that year. The next month let's  
22          say it's February and the guy has 100 millirem  
23          of real dose, that's measured, it's recorded.  
24          The procedure there also says instead of  
25          worrying about the uncertainty, which is quite

1           complex, let's just double the dose and be 95th  
2           percentile sure that that dose will cover the  
3           uncertainty associated with that 100 millirem,  
4           so he would be given 200. But that multiplier  
5           of two, or dose correction factor, is not to be  
6           used in combination with the LOD. So what this  
7           person did, he took not only the LOD of 40  
8           millirem -- let's say, for an example -- he  
9           multiplied times two and said I'll go with the  
10          80.

11          And then he said -- in error two, he integrated that  
12          procedure with implementation guide one that  
13          says but in accordance with implementation  
14          guide one, I'm going to divide it by two.  
15          First he multiplies it by two, then he divides  
16          it by two. And so you have a situation here,  
17          and it's strictly a -- I don't want to be  
18          cynical or laugh, but you have a situation here  
19          where it's clear the dose reconstructor was not  
20          fully aware of how to implement one procedure  
21          at the expense of something else. There was  
22          some maximizing procedure that says let's put  
23          this in fast-forward and be done with it by  
24          taking LOD instead of LOD over two. Well, this  
25          guy used LOD and then multiplied times two, and

1           then he divided by two. In the end he got the  
2           right number, but only by accident. Only by  
3           accident.

4           Let me see, other issues are onsite ambient dose --  
5           one of the things that I did want to mention is  
6           that onsite ambient dose, when it's used, is  
7           usually through a default mechanism. But I --  
8           and I'm going to have to ask Dr. Neton for  
9           clarification here. I don't know how ambient  
10          onsite dose was calculated at the various  
11          sites. I can only imagine that those were  
12          environmental onsite film or TLDs that were  
13          hung up or at various buildings or -- and so  
14          forth, but it's likely that they represent the  
15          deep dose. Is that correct?

16         **DR. NETON:** That's correct.

17         **DR. BEHLING:** Okay. And that protocol would be very,  
18          very adequate if in fact the tissue in question  
19          or organ in question were in fact one that was  
20          a deep organ. When -- when that num-- when  
21          that protocol falls apart is if the cancer in  
22          question is a skin dose, and I have -- and one  
23          of the cases here, in fact, I provide a ratio  
24          value of empirical data where the shallow dose  
25          -- that is, the 7 milligram per centimeter

1 square skin dose -- and when you look at that  
2 and compare it to the HP10 deep dose, they're a  
3 factor of almost ten apart, which means that in  
4 certain circumstances the use of onsite ambient  
5 dose, if in fact the cancer in question turns  
6 out to be a skin cancer, it's going to be  
7 considerably off lim-- off the mark.

8 **DR. NETON:** Excuse me, Hans, I do just need to say it  
9 depends on the site. I mean if they're -- for  
10 instance, like an accelerator facility where  
11 there's -- there are plumes of beta-emitting  
12 radionuclides circulating about, it would not  
13 just be the deep dose, but I don't have the  
14 data at the tip of my fingers. But we'd have  
15 to look at that individually, but we would not  
16 just ignore the deep dose if there were indeed  
17 circulating beta emitters in the air.

18 **DR. BEHLING:** Yeah. I'm only basing it on my own  
19 experience since I used to be affiliated with a  
20 nuclear power plant operation and I was in  
21 charge of the health physics program at Three  
22 Mile Island, and of course environmental doses  
23 were usually measured by hanging TLDs onsite,  
24 off-site, and it was the deep dose that was  
25 recorded, not the shallow dose. And it's

1 strictly a minor issue that I just wanted to  
2 bring up that may have selective application in  
3 -- in cases of skin cancer.

4 **DR. ZIEMER:** But not for this particular claim.

5 **DR. BEHLING:** Not this one, but it just happened to -  
6 - to strike my -- my fancy here when I looked  
7 at -- I have something circled about onsite  
8 ambient.

9 Again, in this case the occupational medical dose was  
10 the lung and -- was the rectum, but for  
11 occupational medicine -- occupational medical  
12 dose they were to calculate for the rectum and  
13 again they used the lung, but I guess we heard  
14 from Dr. Neton, apparently there is some  
15 guidance that I haven't seen that says that  
16 it's perfectly okay to assign 80-some millirem  
17 for a dose that in reality should have been  
18 less than one millirem. But you know, if this  
19 is something that NIOSH has -- has deemed  
20 acceptable as part of the efficiency process,  
21 I'm certainly not going to argue with it,  
22 except I didn't see it as a procedurally  
23 compliant approach.

24 Is there any -- anything else I can -- I did want to  
25 just briefly come to maybe a final slide which

1 summarizes my concerns, and if the Chairman  
2 agrees, I can go to the slide.

3 **DR. ZIEMER:** Sure.

4 **DR. BEHLING:** Okay, summary conclusions. And again,  
5 these are my opinions. I'm not going to say  
6 that I may not be in error, but let me say  
7 this. I have had now the privilege of being  
8 very much involved under task three, which has  
9 yet to be discussed, which is a review of all  
10 the procedures that are applied to the dose  
11 reconstruction process. And I've also -- under  
12 task four, did seven of the dose reconstruction  
13 and very, very carefully QA'd some of the  
14 others, so that among the 15 that you see in  
15 front of you I have a fairly intimate knowledge  
16 of all those 15.

17 And what I've drawn to as a conclusion is that you  
18 can categorize some of these errors as simple  
19 arithmetic errors, and we've seen sample of  
20 that.

21 There are errors resulting from use or misuse of  
22 procedures -- and again, I think Dr. Neton has  
23 pointed out maybe it's not as much misuse,  
24 except that there's this guidance that we  
25 haven't seen and were not aware of, and I will

1           certainly strike those -- those statements if  
2           it turns out that there's guidance that says go  
3           ahead and use the lung dose when in fact the  
4           organ in question turns out to be testicle  
5           cancers -- testicular cancer or prostate cancer  
6           or something else.

7           Failure to follow procedural guidance, as I said,  
8           there are certain guidance, and I believe they  
9           were written for a purpose and the purpose is  
10          to apply them. And part of the concern that we  
11          always have is consistency. And I've always  
12          wanted to be able to do one thing, and that is  
13          take one particular claim and then hand it at  
14          randomly to 20 different dose reconstructors  
15          who are currently out there, without them  
16          knowing that there's 19 other ones doing the  
17          same thing, and so to see how consistently are  
18          they going to process the same individual  
19          claim. And as a QA measure, so to look at it,  
20          say we -- how -- we have 20 independent people  
21          concurrently doing the same thing using the  
22          identical procedure, how consistent are their -  
23          - and I think it's important that these dose  
24          reconstructions do follow some pattern that  
25          ensures consistency so that there's reasonable

1 numbers that you can expect when you hand  
2 somebody a -- the raw data, the DOE documents,  
3 et cetera, and assume that well, maybe not down  
4 to the millirem, but maybe plus or minus 15, 20  
5 percent would be in reasonable approach to  
6 assuming that that is the level of consistency.

7 And there lastly, four, there are some inconsistency  
8 with which procedural guidance is applied among  
9 the individual claims, although that's just  
10 what I just talked about or finished up.

11 So my gut feeling at this point is how do you account  
12 for these errors that we've observed in these  
13 first 20 cases, and it's reasonable to assume  
14 that for some complex dose reconstruction you  
15 have to be willing to put an awful lot of time  
16 into 300 pages worth of DOE documents, to go  
17 through all of the -- in fact, some of the  
18 earlier documents -- I've looked at whole body  
19 count data. They don't give it to you in  
20 nanocuries, body burden, as you would today's  
21 world if you have a sophisticated system. You  
22 put the guy in front of a Canberra, whole body  
23 counter, and it spits out to you how many  
24 nanocuries of cesium, cobalt, iodine, et  
25 cetera, et cetera.

1           In the old days I looked at data that gives it to you  
2           in counts for each radionuclide, and I assume  
3           it's full with half max-counts under the peak  
4           of a sodium iodide crystal. But without a  
5           calibration factor, you have no clue what that  
6           means. While you can standardize it by looking  
7           at the K-40 and say if the guy weighs 200  
8           pounds he should have maybe 120 nanocuries of  
9           K-40 and scale in accordance, but that's a  
10          protocol that would require an awful lot of  
11          effort -- an awful lot of effort. And so my  
12          gut feeling is that many of these errors were  
13          done as a result of being in the position where  
14          they have to finish so many per unit time, and  
15          the people simply said I'm going to take a  
16          shortcut here and not necessarily go into  
17          individual cycle dosimeter readings, but I'll  
18          just look at the summary sheet for the -- from  
19          the DOE and say this is the year's total  
20          without knowing whether that year's total  
21          represents a single cycle for one month or  
22          evenly spread over 12 months. So time is  
23          obviously an issue. Familiarity with the proc-  
24          - the procedures is another issue.

25          There are some procedures that I have to tell you

1 I've looked over and I keep asking other people  
2 who are in our group, whether it's John or  
3 others, and I say tell me what you make of it;  
4 I'm not going to tell you what I think, but I'm  
5 at this point very much perplexed as to whether  
6 or not I'm -- I'm properly interpreting the  
7 procedure. And I don't consider myself a  
8 novice at this. I've been around and so many  
9 of the other people at SC&A, and we're not in  
10 consensus about how to interpret some of these  
11 procedures.

12 For instance, I'll give you an example so that Dr.  
13 Neton will know. When you talk about -- for  
14 instance, the site profile for the Savannah  
15 River Site, you will see -- under the neutron  
16 columns you will see specific statements about  
17 maximum missed neutron for a given year, which  
18 represents the LOD and the number of cycles  
19 that usually represents that time period. And  
20 they may say 300 millirem neutron dose, but  
21 it's uncertain to me whether or not you now  
22 have to multiply that neutron dose with a  
23 neutron dose correction factor or the ICRP  
24 correction factor. These are things that I'm  
25 not sure. And I'm also convinced that the

1 other people who have been tasked to do this  
2 are not convinced that that number is not the  
3 final number, that you have to multiply this in  
4 some cases -- like 1.91, which is the neutron  
5 dose correction factor that represents the  
6 ICRP-60 versus the earlier version, et cetera.  
7 So there are ambiguities in the procedures  
8 that, no matter how many times I read, I'm not  
9 sure I personally would not make a mistake that  
10 wouldn't be caught by somebody else and says  
11 you misinterpreted the procedure. So that's a  
12 key issue.

13 And -- and lastly, and this is my own personal  
14 complaint a little bit, is the format and  
15 brevity of the dose reconstruction report.  
16 We've already touched on that. As I said, it  
17 would be very helpful for SC&A to at least take  
18 the Attachment One data and all the dose  
19 entries and at least identify what they  
20 represent. That would be a tremendous help,  
21 because part of the major up-front work, and  
22 especially when you have as many as 300 or 400  
23 dose entries, is to figure out what is the  
24 first few entries represent, which category --  
25 missed dose, dosimeter dose, you know, whatever

1           it is -- and that would be very helpful. So --  
2           and alongside with that is that it would also  
3           be not something that would cost NIOSH an awful  
4           lot of additional help -- hours, but it would  
5           also cut back on NIOSH's internal QA because  
6           now you also have a paper trail. So when I  
7           look at a dose reconstruction report that's  
8           been signed off and I find these errors, my  
9           first question is how did this pass internal  
10          QA? And I cannot imagine an internal QA that  
11          can look at the current format and be convinced  
12          that all these numbers are truly what they  
13          should be because they would, in essence, have  
14          to go through the same exercise that I have,  
15          which is a very time-consuming exercise, to  
16          convince themselves that in fact these numbers  
17          represent real numbers that we're willing to  
18          stand behind, if challenged later on. So I  
19          think there's a need to maybe modify the  
20          current dose reconstruction report to include a  
21          little more -- as I started to say out, it's a  
22          cold trail, but a good bloodhound will still  
23          ultimately find the victim. What I'd like to  
24          see is a fresher trail.

25       **DR. ZIEMER:** Thank you, John and Hans, for your

1 reports today.

2 You have -- in a sense, you're blazing a trail, as  
3 well. NIOSH has had to develop procedures and  
4 you've found that you've had to develop some  
5 procedures on auditing as you went, too, and  
6 that's not always easy to do. And we also are  
7 developing procedures, one of which is figuring  
8 out what to do with this report.

9 Now let me -- let me start out by saying that it's  
10 clear, based on some comments that we've heard  
11 this morning in the open session, that there  
12 are folks that want this report -- redacted,  
13 but this report -- which I must say it seems to  
14 me, even if the case numbers are taken out, by  
15 giving all the demographic information, the job  
16 description, work locations, the employment  
17 dates, the type and diagnosis date of cancer,  
18 won't people be able to figure out who many of  
19 those folks are? Have the attorneys really  
20 figured out that this is okay with the case  
21 number off of it?

22 **DR. MELIUS:** I think the -- well, go ahead.

23 **DR. NETON:** I think what we've done is we provided  
24 the individual reports and they'll be available  
25 tomorrow morning to the general public --

1       **DR. ZIEMER:** The individual reports being what?

2       **DR. NETON:** Provided by SC&A, the dose reconstruction  
3                   review reports -- the individual cases -- case  
4                   reviews. Those are going to be available to  
5                   the general public tomorrow morning.

6       **DR. ZIEMER:** Which ones?

7       **DR. NETON:** The 300-page binder full of --

8       **DR. ZIEMER:** Oh, the whole volume?

9       **DR. NETON:** Yes.

10      **DR. ZIEMER:** Well, that may be even worse.

11      **DR. NETON:** Well, that's -- it's been redacted. It's  
12                   been through our FOIA office and completely  
13                   redacted and --

14      **MR. GRIFFON:** So if they could redact that, they can  
15                   redact this.

16      **DR. NETON:** I suspect, yeah; I don't know. I'm not  
17                   familiar with the status of that report that  
18                   you have in your hands as far as redaction.  
19                   Maybe Liz can --

20      **DR. ZIEMER:** Well, this is not redacted at present.  
21                   This is one Liz was offering to redact.

22      **DR. NETON:** Liz -- there's a question about the SC&A  
23                   rollup report that the Board has in their  
24                   possession. Is it our intent to redact that  
25                   and have that available to the public?

1       **MS. HOMOKI-TITUS:** This document?

2       **DR. NETON:** Uh-huh.

3       **MS. HOMOKI-TITUS:** I've done the redactions on it. I  
4            have one --

5       **DR. ZIEMER:** What -- what's the nature of a  
6            redaction, other than removing the claim  
7            number? What else goes out?

8       **MS. HOMOKI-TITUS:** The cancer diagnosis date goes  
9            out, employment periods goes out. There's  
10          employment periods within the actual statements  
11          that goes out. And (unintelligible) --

12       **DR. ZIEMER:** Oh, okay, does the job description stay  
13            in?

14       **MS. HOMOKI-TITUS:** I believe the job description will  
15            stay in. I contacted our FOIA office to get  
16            this cleared --

17       **DR. ZIEMER:** Oh, okay. I was concerned that the --  
18            what's here --

19       **MS. HOMOKI-TITUS:** (Off microphone) (Unintelligible)  
20            --

21       **DR. ZIEMER:** Yeah, I -- okay.

22       **MS. HOMOKI-TITUS:** -- I'm not a FOIA officer. That's  
23            why our FOIA office is looking at this. We can  
24            have it ready, if you all want to be able to  
25            discuss it in the public meeting, to have

1 redacted versions available for the public  
2 tomorrow.

3 **DR. ZIEMER:** Okay. That's very helpful. Now another  
4 thing that I heard sometime along during the  
5 discussion, I think John said that SC&A was or  
6 is preparing some errata sheets, which tells me  
7 that you think there are some additional  
8 changes yet so that this might not be the  
9 document that you would want out on the street,  
10 either. Is that --

11 **DR. MAURO:** That's correct. In fact, the slide  
12 presentation, the tables that you're looking  
13 at, there are differences between the summary  
14 tables that you have here and some of the  
15 tables that are in the 300-page report, because  
16 in the process of preparing this we caught --  
17 so we -- we're in a position now where --

18 **DR. ZIEMER:** So the big report --

19 **DR. MAURO:** Is -- is --

20 **DR. ZIEMER:** -- may have some errors that --

21 **DR. MAURO:** Yeah, we -- yeah, we -- we would -- we  
22 would like to submit an errata sheet or some  
23 replacement pages to correct errors that we  
24 know. But now there's another layer here. Jim  
25 has made -- has responded to many of our

1 observations and findings or areas of concern.  
2 Now the question becomes would you like us to  
3 put a report out that reflects that feedback  
4 from NIOSH regarding our findings, or would you  
5 prefer -- we would of course like to have an  
6 opportunity to at least submit a revi-- the  
7 errata sheets or replacement pages, and then of  
8 course independent of that, Jim may have his  
9 commentary, which would also be put public. Or  
10 we could wait until we get Jim's material and  
11 consider that -- you know, how -- 'cause --  
12 'cause -- you know, so we'll -- we'll do any of  
13 the -- an -- any one or combination of these,  
14 whichever you feel is best suited for the  
15 process.

16 **MS. HOMOKI-TITUS:** Dr. Ziemer --

17 **DR. ZIEMER:** Well -- yes?

18 **MS. HOMOKI-TITUS:** -- let me just add something to  
19 that, because we have prepared the 300-page  
20 document. We've redacted it. It's ready for  
21 public distribution. But if they're going to  
22 make changes, then that needs to go through our  
23 FOIA office to be redacted before it can be  
24 provided publicly tomorrow. So if you have  
25 sheets that are going to go in the discussion

1           for tomorrow, we need them as soon as possible  
2           'cause we're talking about a three-hour time  
3           difference, you know. Our FOIA office is gone  
4           at this point.

5       **DR. ZIEMER:** Yeah. Shelby, you want to add to that?

6       **MR. HALLMARK:** I would strongly urge that, given that  
7           there are changes that -- that are already on  
8           the table here, and presumably some more that  
9           may come out of the discu-- you know, the  
10          digestion of the discussion that's happened  
11          today, that the Board not issue these documents  
12          at this point. These are documents that are --  
13          that are potentially going to be in the claim  
14          adjudication process, and I think we would be  
15          misleading individuals who may look at these  
16          and say well, my case is like that and there's  
17          -- they made these kinds of comments. I think  
18          the Board has a responsibility in an  
19          adjudicatory structure to be careful about  
20          those kinds of issues. And this clearly, to  
21          me, is premature.

22       **DR. ZIEMER:** Thank you. Jim has a comment.

23       **DR. MELIUS:** Well, not -- not to -- Shelby's -- I was  
24           trying to get more to procedurally where we're  
25           going from here, 'cause I think that's what we

1           also need to be able to say tomorrow. And I  
2           think we need -- do need to have a process for  
3           NIOSH to complete its review of this document  
4           'cause I know -- if I understood Jim Neton  
5           correctly, they have not reviewed the -- the  
6           document, all the individual -- nor the summary  
7           of that, and then get together with SC&A and  
8           try to resolve issue, to the extent they --  
9           they can be, 'cause I think they can be -- some  
10          of them can be. And I also think we need a  
11          report back, the Board does, that -- from SCA  
12          that reflects what they've heard from NIOSH,  
13          what errors they found from their internal  
14          review or based on what they hear from --  
15          errors in this -- in their report. And also we  
16          had talked about earlier, which was this  
17          classification issue, put these errors in some  
18          context so we know what they are. Are they  
19          technical issues -- I mean I think Hans in his  
20          last summary conclusions have the categories  
21          except I think there's a fifth category which  
22          is technical issues.

23         **DR. ZIEMER:** Uh-huh.

24         **DR. MELIUS:** Some of which are site profile, some of  
25          which are others --

1       **DR. ZIEMER:** Right.

2       **DR. MELIUS:** -- and so that we can understand them  
3               better, we can understand what to prioritize  
4               and how to make recommendations to NIOSH on --  
5               on what to do with that. And so I would see us  
6               getting back a -- this big volume corrected,  
7               whatever errata sheets that come up based on  
8               what they found so far, what they get from  
9               their dialogue with NIOSH; a new summary report  
10              that reflects those changes, also, along with a  
11              way of classifying the findings in a way that  
12              puts it in a more useful form for us. They  
13              would then present that to us at the next  
14              meeting. We would take action on that in terms  
15              of a set of recommendations to NIOSH in terms  
16              of what may or may not need to -- need to be  
17              done.

18       **DR. ZIEMER:** Let me hear from others of the Board.  
19              There's a possible approach that Jim has  
20              suggested. Let's hear from others. Do you  
21              think that's the way to go or do you have an  
22              alternative and -- Robert, you can start. We'd  
23              like to try to get a consensus here, so we need  
24              to hear from more than one or two.

25       **MR. PRESLEY:** I agree with Jim, except I would like

1           to see this before we go to the next meeting so  
2           we've got a chance to study it.

3   **DR. MELIUS:** Oh, I -- yeah.

4   **MR. PRESLEY:** This bringing stuff in at the last  
5           minute and us having to sit here and look over  
6           it, not knowing what it is, I'd like to have it  
7           at least more than a couple of days prior to  
8           the meeting.

9   **DR. ZIEMER:** Who else? Roy, then Leon.

10   **DR. DEHART:** This is a question. Having announced  
11           publicly that there will be a report, can we  
12           back out from that and -- with some excuse for  
13           -- that's acceptable?

14   **DR. ZIEMER:** The report that's been announced I think  
15           is the release of the site profile report.

16   **DR. DEHART:** Site profile.

17   **DR. ZIEMER:** I'm not sure -- did we publicly announce  
18           something on this?

19   **DR. NETON:** It was my understanding this morning, and  
20           I did mention that we were prepared to release  
21           the individual dose reconstruction reviews in  
22           their redacted form.

23   **DR. ZIEMER:** But again, subject to the Board's --

24   **DR. NETON:** That's correct, yes. Yeah, that's the  
25           Board's decision.

1       **DR. MELIUS:** I just think we should decide where  
2                   we're going to go procedurally, then decide how  
3                   we report and what we release or recommend  
4                   being released again.

5       **DR. ZIEMER:** Roy -- oh, I'm sorry.

6       **MR. OWENS:** I agree with Dr. Melius's approach. The  
7                   only thing I might add is, and I believe I  
8                   heard Dr. Neton say that there were some areas  
9                   that NIOSH concurred with the findings by SC&A,  
10                  and I'd like to see those areas at least  
11                  identified in this overall strategy.

12       **DR. ZIEMER:** Thank you. And --

13       **DR. MAURO:** May I make --

14       **DR. ZIEMER:** John?

15       **DR. MAURO:** -- one comment, please? Thank you. We  
16                  -- we feel that the nature of the errata sheets  
17                  that we would like to incorporate are not  
18                  critical. What I mean by that is, we don't  
19                  feel that the -- the extent, the nature of the  
20                  changes, are so substantial that it is  
21                  critical, you know. In other words, so if you  
22                  -- if you folks feel that you would like to put  
23                  out this redacted version, perhaps with some  
24                  qualifier that it's still -- this is a step in  
25                  the process -- that is, here is a product, a

1 work product that was put out, it's been  
2 redacted; it is undergoing this review cycle  
3 with NIOSH. We -- I'm speaking for SC&A now --  
4 we have no problem if you decide to go that  
5 route. That's perfectly fine with us.

6 I would also like to point out that when we costed  
7 out our work hours per case -- in other words,  
8 the budget that we submitted -- we basically  
9 came up with an estimate, when all's said and  
10 done, that's going to average out to about  
11 **cfid\*** work hours per case, and that includes  
12 basically **cfid\*** hours for basic review, **cfid\***  
13 hours per advanced review, and they sort of --  
14 'cause we have -- what's left -- you know, we  
15 have 40 more cases. We basically estimated for  
16 those 40 cases we're going to come in at an  
17 average of **cfid\*** hours per case.

18 Now we are building a process now that's out of  
19 scope, you have to realize. We're building a  
20 process of iterative review between NIOSH and  
21 SC&A, working together to work out our  
22 findings. This is not within the scope of work  
23 in terms of -- and I'm afraid that's it's going  
24 to -- it's going to -- we're going to find  
25 ourselves in a situation where it's going to

1 cost more than an average of cfid\* hours per  
2 case if we go into this kind of cycle, which  
3 could be a protracted cycle. In other words,  
4 we're opening up an open-ended dialogue that is  
5 very hard to predict how long that's going to  
6 take.

7 **DR. ZIEMER:** Lew just reminded me that if the Board  
8 wishes to have this kind of iterative process,  
9 we have to, in a sense, approve that.

10 Okay, Robert and then Tony. Oh, okay, Tony.

11 **DR. ANDRADE:** Okay. Well, I'm sorry to have to be  
12 the one to have to break it to you, but indeed  
13 I believe that the iterative process has to  
14 occur. I just don't know of any organizations  
15 anywhere that do not submit documents to one  
16 another for factual accuracy checks. And stuff  
17 like this comment here that consideration for -  
18 - in the Huntington Pilot Plant case,  
19 consideration should have been given to the  
20 possible presence of isotopes of technetium,  
21 neptunium and plutonium in the scrap nickel.  
22 Even though that, in and of itself, has no  
23 proprietary, personal information or et cetera,  
24 et cetera, it is basically misleading because  
25 NIOSH did up the enrichment of the uranium that

1           was being handled to take into account those  
2           isotopes that were in the scrap nickel. So all  
3           of those things have to be taken into account  
4           when a report is issued to the public. That is  
5           indeed what is the product of factual accuracy  
6           checks. So we have to go that way. And  
7           issuing this kind of product at this point in  
8           time I think would do a disservice to the  
9           Board, to SC&A and especially to NIOSH.

10       **DR. ZIEMER:** Thank you. Roy, then Jim and Wanda.

11       **DR. DEHART:** I think the answer to my question was  
12           that we are not obligated to release, so I  
13           would join Tony and others in saying this  
14           should be cleaned up before we turn it over to  
15           the public.

16       **DR. ZIEMER:** Jim?

17       **DR. MELIUS:** Yeah, and I would just concur in the  
18           sense -- I think for this first dose  
19           reconstruction review we need to complete out  
20           this part of the process. There wasn't time  
21           and I think there are enough problems just with  
22           the formatting of what we have received that I  
23           think it's worth the extra investment to get it  
24           in better shape.

25       **DR. ZIEMER:** Thanks. Wanda?

1       **MS. MUNN:** There's a litany of issues that one could  
2           either call micro-managing or could call  
3           legitimate oversight that this Board probably  
4           should agree that they will or will not  
5           undertake to look at. Anything we put on the  
6           street is going to be widely publicized and  
7           brought to our attention again and again in  
8           future months and years. This first decision  
9           about what is going to be issued with respect  
10          to actual claimant files needs to be as precise  
11          and as thorough as we can get it. To issue  
12          anything prematurely would be probably a  
13          serious mistake on the part of the Board, and  
14          potentially damaging to some of the claimants,  
15          regardless of how well-redacted the file might  
16          be.

17        I would urge us to resolve some of the issues we have  
18        before us and identify what we feel the process  
19        should be between the auditors and NIOSH;  
20        identify whether some of these issues that we  
21        have laid out, whether these assumptions that  
22        are being made by both the auditors and NIOSH  
23        are accurate assumptions that we feel or  
24        correct, or at least make the decision whether  
25        that constitutes micro-management on our part.

1       **DR. ZIEMER:** Thank you. And then Henry, and then  
2                   Mark.

3       **DR. ANDERSON:** Yeah, I think we need to delay. And I  
4                   think -- on the other hand, I also think  
5                   tomorrow we need to say that we've had a very  
6                   productive session. It's the first go-round  
7                   and -- and it's not as far advanced as we had  
8                   hoped, and that we don't have final documents  
9                   to release. And I do think between now and the  
10                  next meeting I would certainly like to see more  
11                  of the responses and have that -- you know,  
12                  either the document contain what the report is,  
13                  the NIOSH response to it, and then I think we  
14                  need to come up with a summary as to where we  
15                  want to go forward -- or the final document --  
16                  I think there's probably changes on both sides,  
17                  once they get together and talk. And if it  
18                  costs a little more money, I think that -- I  
19                  would rather have the process identified now  
20                  with the first set rather than wait later. So  
21                  I think we've got ample explanation for why  
22                  this isn't ready to go out because it is not  
23                  completely accurate at this point, so we don't  
24                  want to get back into arguing about that. So  
25                  I'd agree, I think we -- we delay; we just have

1           to have -- what are we going to do between now  
2           and then.

3       **DR. ZIEMER:** And incidentally, this comes at a cost  
4           not only to our contractors, but to NIOSH in  
5           terms of time and effort, and we should  
6           recognize that, as well.

7       Lewis -- yeah.

8       **DR. WADE:** Let me -- to the issue of cost, I think  
9           it's terribly important the Board decides what  
10          it wants to see as its process, and then inform  
11          us and us sit with the contracting officer and  
12          we can then approach the contractor, and we can  
13          determine whether or not it represents a change  
14          or an expansion in scope. But I think it's  
15          terribly important that the Board tells us what  
16          it wants.

17       **DR. ZIEMER:** Okay. And then Mark and then Tony.

18       **MR. GRIFFON:** Yeah, I think -- I agree with this  
19          iterative approach that Jim was -- was  
20          discussing. I think at the end he -- the one  
21          thing he said also that I want to emphasize is  
22          that that final summary report is -- to the  
23          public is a Board report, it's our product. So  
24          even if we go through this iterative process,  
25          SCA submits a final report to the Board, we

1           have to make recommendations from that final  
2           report in a public session, so I think we want  
3           to keep that in mind, that we have to have time  
4           to do that.

5           As far as process, this iterative approach, I think  
6           we might want to -- also the Board members, to  
7           the extent possible, might want to be included.  
8           And -- and I'm thinking about the process we  
9           had before where each work group was involved  
10          with three or four cases up front, but then we  
11          really didn't have much contact with SCA or  
12          NIOSH after that. And I think that it might  
13          have been good to have that work group again  
14          look at SCA's final report before it came here,  
15          and maybe NIOSH's critique of that final, and  
16          come together and have some agreement on those  
17          before they -- they reach this -- you know,  
18          this point, and then a lot of those could have  
19          probably been resolved at the work group level  
20          rather than at the full Board level, so it's a  
21          possibility for iterative approach.

22          **DR. ZIEMER:** Okay. We'll get a comment from Tony,  
23          and Jim, did you have another comment? And  
24          then -- we're getting close to a point where  
25          I'm going to ask for a formal motion to

1 (unintelligible) -- and then Henry, okay.

2 Tony?

3 **DR. ANDRADE:** I had -- I was going to provide a very  
4 -- some very specific suggestions for -- for  
5 process, but perhaps --

6 **DR. ZIEMER:** Well, if you want to formulate that in  
7 the form of a motion, that might help us here  
8 in a second. Let's see if --

9 **DR. ANDRADE:** Somebody else can go first.

10 **DR. ZIEMER:** -- I can get some general comments on --  
11 and maybe you can -- yeah, Jim, you were first  
12 and then Henry.

13 **DR. MELIUS:** Yeah, I would just comment that I think  
14 we also have to spend a brief amount of time  
15 talking about what are the steps for the second  
16 -- the next 20 which -- and how do we modify  
17 that approach, and I think some of the  
18 modification may have to do with the -- NIOSH's  
19 participation in that conference call, which  
20 was really their only chance to sort of  
21 interact. And I'm not sure if there's a better  
22 way of doing that or if there needs to be  
23 another step in there, but it was -- I think we  
24 need to look about that, but I think we need to  
25 deal with this issue first.

1       **DR. ZIEMER:** Thank you. Henry?

2       **DR. ANDERSON:** Yeah, I -- I don't -- haven't made up  
3                   my mind on this, but it seems that, you know,  
4                   our review has steadfastly not wanted to  
5                   attempt to say does this make a difference in  
6                   the POC, and I do think, though, that probably  
7                   as part of any formal release, NIOSH or  
8                   somebody needs to say these were interesting  
9                   discussions; would it have made -- you know,  
10                  would the -- either proposed changes that we  
11                  may be doing or recommendations, would it have  
12                  made any difference in any of the cases. I  
13                  think the public is going to know were the  
14                  decisions good decision, regardless of how, you  
15                  know, they were derived. And what we're  
16                  looking for is consistency over time, so  
17                  somehow -- and I think some of these -- it was  
18                  interesting discussion, but the one where the  
19                  POC was .45, I mean that is important for  
20                  future where it may become important, but I  
21                  don't know -- I'm just raising that as an  
22                  issue. I'm sure someone's going to ask well,  
23                  would it have made a difference? And we either  
24                  need to, as a Board, say that isn't our job,  
25                  but somebody -- are going to ask that so I

1           that's -- to me, that's a stumbling block  
2           that's to the fore as we go forward. I'm not  
3           sure the Board wants to make that comment, but  
4           I know we're going to get asked that question.

5       **DR. ZIEMER:** At the same time, it may be that it  
6           would make no difference in any of these 20.  
7           But if there are -- but it could have -- it  
8           could have some impact on future cases, yes,  
9           that's the point. And again, our charge is to  
10          look at the quality of the process, and if --  
11          and actually, this Board and NIOSH and our  
12          contractor ultimately have the same goal, and  
13          that's that we have good, dependable dose  
14          reconstructions. And whatever we can do to  
15          make sure that that process -- and therefore  
16          good decisions on the claim-- for the  
17          claimants.

18       I think if we could have a motion that sort of  
19          codifies what we've talked about here in terms  
20          of the process, what is -- what is it we would  
21          like to see our contractor do, NIOSH do and  
22          what -- what is -- what do we do? It may be a  
23          multi-pronged approach. You have a comment  
24          first, or a motion?

25       **MR. PRESLEY:** Comment.

1       **DR. ZIEMER:** Comment.

2       **MR. PRESLEY:** What Henry was talking about where we  
3           had to put that in there, I think that needs to  
4           be in one of the things that we tell SC&A and  
5           HHS, that will this finding make a difference.  
6           That needs to be part of it.

7       **DR. ZIEMER:** Thank you. Jim?

8       **DR. MELIUS:** I'm going to make -- I'm trying to get a  
9           motion ready so --

10      **DR. ZIEMER:** Tony, were you getting one together,  
11           also? See if they match up? Go ahead.

12      **MR. GRIFFON:** While they're drafting motions --

13      **DR. ZIEMER:** Well, I think they both have some things  
14           written down we can --

15      **DR. MELIUS:** I'll do step one, you do step two.

16      **DR. ZIEMER:** Go ahead, comment first?

17      **MR. GRIFFON:** Oh, no, I was just a little off-topic.  
18           While they're drafting motions I was going to  
19           say it strikes me that we, as a Board, didn't  
20           have a lot of time to discuss the 20 cases  
21           today at all. We heard a lot, but you know, I  
22           noted seven large items that I felt out of  
23           these 20 cases that were at least significant  
24           issues for discussion amongst us, and at least  
25           four of them got hit, but -- but a couple of

1 the bigger ones that I thought should have been  
2 addressed, which we might just want to think  
3 about or -- you know. One was missed dose  
4 versus unmonitored dose and how that was  
5 handled in some of these cases. I think there  
6 were some questions. Two was validation and  
7 verification of some of the data that was used  
8 for intakes, and also for -- for dosime-- or  
9 for external doses. Specifically that one can  
10 -- that goes back to some of the site profile  
11 stuff that was used, so it might tie into site  
12 profile review. That's why I didn't bring it  
13 up. And three, and a big one, I think, which  
14 really I was surprised it didn't come up in  
15 discussions today at all, was lack of attention  
16 to interview comments. I felt that in -- and I  
17 know that these were often efficiency cases, so  
18 maybe they -- they argue -- they could argue  
19 that, you know, we didn't -- we didn't pull  
20 that thread, so to speak, because the POC was  
21 (unintelligible) --

22 **DR. ZIEMER:** Are you --

23 **MR. GRIFFON:** -- low --

24 **DR. ZIEMER:** -- talking about SC&A's report itself?

25 **MR. GRIFFON:** No, I'm talking -- both. I'm talking

1           about the original dose reconstruction, as well  
2           as the audit really didn't say much about --

3       **DR. ZIEMER:** Right, well --

4       **MR. GRIFFON:** -- some things.

5       **DR. ZIEMER:** -- (unintelligible) one of the issues  
6           that I raised, John. It seemed to me it would  
7           make sense if we --

8       **MR. GRIFFON:** Had a checklist.

9       **DR. ZIEMER:** -- had -- even if it's a checklist, that  
10           assured us that you have looked at those  
11           issues.

12       **DR. MAURO:** One of -- in the cover letter to our  
13           large report, you may have noticed that I point  
14           out that the format that -- that's used  
15           differs. We feel that the format that was used  
16           in the Savannah River cases is the one that,  
17           after going through the process, is the most  
18           responsive.

19       **DR. ZIEMER:** Be more standardized in the future.

20       **DR. MAURO:** Standardized in the future, and our plan,  
21           given no other -- I mean certainly any guidance  
22           you folks provide on how you would like us to  
23           format it, we will follow that guidance. Right  
24           now we internally have discussed the matter.  
25           We felt that the format used for the Savannah

1 River cases seem to have a structure that  
2 addresses the issues that are listed in our  
3 scope of work --

4 **DR. ZIEMER:** It's more encompassing, yes.

5 **DR. MAURO:** -- in a much more systematic way, so  
6 we're very much receptive to any guidance --  
7 and that may be very helpful to us on the next  
8 20.

9 **DR. ZIEMER:** Yeah. Yeah, and you heard the comments  
10 earlier today in terms of categorizing the  
11 findings in certain ways.

12 Okay, Jim, you want to start us off?

13 **DR. MELIUS:** Yeah, let me make this as a motion and -  
14 - can friendly amend or hopefully we're talking  
15 -- one, I would propose that we recommend that  
16 -- first of all, that NIOSH complete its  
17 technical and factual review of the SCA report;  
18 that the SCA and NIOSH then have a meeting or  
19 conference call to try to resolve -- clarify  
20 issues, to the extent they can -- can be; that  
21 SCA then prepare their -- a report -- a new  
22 report to the Board that would address any of  
23 the issues raised by NIOSH and any of the other  
24 technical errors they found. That would  
25 encompass both errata sheets or changes to the

1 individual dose reconstruction reports, as well  
2 as to a -- a sum-- a new summary report; that  
3 both of those include a better chara--  
4 categorization of the findings into the  
5 categories that we -- we've talked about; that  
6 NIOSH would then -- would also have an  
7 opportunity to comment or, you know, somehow  
8 communicate to the Board any outstanding issues  
9 that were still left that could not be  
10 resolved. I don't think we can expect --

11 **DR. ZIEMER:** Who would communicate?

12 **DR. MELIUS:** NIOSH.

13 **DR. ZIEMER:** NIOSH?

14 **DR. MELIUS:** Yeah.

15 **MR. GRIFFON:** Unresolved issues.

16 **DR. MELIUS:** Unresolved issues. And that both of  
17 those reports would get to the Board at least  
18 one week before our next meeting, which is  
19 early in February, so it's a tight timetable.

20 **MR. GRIFFON:** I would just -- just one -- what I  
21 believe is a friendly amendment.

22 **DR. ZIEMER:** Hang on.

23 **MR. GRIFFON:** In step two --

24 **DR. ZIEMER:** Hang on. I want a second first.

25 **MR. GRIFFON:** Oh.

1       **DR. DEHART:** Second.

2       **DR. ZIEMER:** Who's on first. Okay, second is -- I  
3            have a second, first. Okay, now.

4       **MR. GRIFFON:** Now a friendly amendment. In step two,  
5            NIOSH/SCA conference call. I would just add on  
6            that we might have those same work group  
7            members that worked on the cases integrated  
8            into that conference process.

9       **UNIDENTIFIED:** That's everybody.

10       **UNIDENTIFIED:** That's everybody, I --

11       **DR. ZIEMER:** That's everybody.

12       **MR. GRIFFON:** Well, we'd just do it like we did  
13            before, is my point.

14       **DR. ZIEMER:** Oh, okay. We need to discuss that  
15            because logistically that may be an issue.

16       **MR. GRIFFON:** Yeah. Well --

17       **DR. ZIEMER:** 'Cause they may not be -- this doesn't  
18            sound to me like it's going to be structured  
19            case-by-case, or is it -- or do we even know?

20       **DR. MELIUS:** We don't know. I -- let them do --

21       **DR. ZIEMER:** Perhaps -- and we can't have all of us  
22            on the phone at the same time.

23       **MR. GRIFFON:** No, I know that. I know that.

24       **DR. ZIEMER:** Perhaps John and Jim, if this motion  
25            passes and we -- we get to that point where

1           there's some kind of a conference call or a  
2           face-to-face, you can let the Board know --  
3           particularly what the agenda is -- and if in  
4           fact you end up discussing certain cases at  
5           certain set times -- although it seems to me  
6           that this is going to be very difficult in the  
7           framework. I --

8           **MR. GRIFFON:** Well, okay, this is -- the final  
9           product is the Board's, so -- I mean I just  
10          think there needs to be a step, even if it's a  
11          newly-formed work group to work with this  
12          process.

13          **DR. ZIEMER:** Well, either -- either that, a work  
14          group to take an early look at it, or the  
15          product comes back to the Board for review,  
16          we'll have it a week ahead of time under this  
17          motion -- or a week or more ahead of time.  
18          Okay, let that ride for the moment then.

19          **MR. GRIFFON:** So it wasn't so friendly.

20          **DR. ZIEMER:** Less friendly than you thought. Okay,  
21          we have a motion that's seconded. Comments?  
22          Tony -- Mike, Mike's first.

23          **MR. GIBSON:** Another part, hopefully as a friendly  
24          amendment, as part of their resolving the  
25          issues that they have between NIOSH and the

1 contractor, could we somehow have them make  
2 reference to the data they use so that there'll  
3 be a more clear auditable track for the  
4 contractor to use?

5 **DR. ZIEMER:** Okay. Jim or -- or John, we need to --  
6 I'm going to ask Mike to repeat that comment,  
7 and then you can tell us if that's feasible.

8 **MR. GIBSON:** Just as part of your talks back and  
9 forth to resolve how you're going to deal with  
10 these issues, could part of the process be that  
11 NIOSH puts references to the data they use and  
12 where they got it from so that it'll be easier  
13 for the contractor to pull the string on the  
14 data, rather than go back to ground zero and  
15 look it up?

16 **DR. ZIEMER:** Well, you would tell us the basis for  
17 each issue.

18 **DR. NETON:** Right, I think that would be part of the  
19 review -- the review cycle. I mean just like  
20 we've done today, we've un-- you know, unveiled  
21 some issues that, you know, were sort of hidden  
22 in our process, and we would do the same thing,  
23 so I think that would be part -- part of the  
24 process.

25 **DR. ZIEMER:** That would be built-in then. Thank you.

1       **MR. GIBSON:** But as -- but as far as going forward  
2                   and the future cases, if that was always part  
3                   of the process, it would be there rather  
4                   than...

5       **DR. ZIEMER:** Yeah.

6       **DR. NETON:** Yeah, I think that that sounds to me like  
7                   it's ultimately going to be one of the  
8                   recommendations of this report, and we will  
9                   certainly embrace any recommendations the Board  
10                  would make to that effect.

11       **DR. ZIEMER:** And let's -- I don't want to put that in  
12                  this particular motion, but it would ultimately  
13                  become part of a final report, probably, as  
14                  opposed to what we do right here with this --  
15                  developing this.

16       Okay, Tony.

17       **DR. ANDRADE:** I wanted to comment that what has been  
18                  proposed by Jim is fine, I think, for this time  
19                  around. It's a bit -- it's a bit complicated,  
20                  and I -- I would like to --

21       **DR. ZIEMER:** Well, it does, however, spell out the  
22                  specific roles, so that's --

23       **DR. ANDRADE:** Yeah, it -- it does.

24       **DR. ZIEMER:** -- it has a fair amount of specificity  
25                  to it, so I think it's helpful in that regard.

1       **DR. ANDRADE:** It -- it is. It is in that regard.

2                   But --

3       **DR. ZIEMER:** Did you have some other points, though,  
4                   that you think should be included?

5       **DR. ANDRADE:** No, I --

6       **DR. ZIEMER:** Did it cover what you were thinking  
7                   about?

8       **DR. ANDRADE:** Pretty much, except I had a couple of  
9                   things that I -- I would like to see as we move  
10                  beyond this first case.

11       **DR. ZIEMER:** Oh, okay. Jim?

12       **DR. NETON:** I just have one question -- one question  
13                  of clarification. It's not clear to me whether  
14                  the Board is recommending that SC&A --  
15       (Whereupon, Dr. Neton's microphone failed, and his  
16                  subsequent comments were lost behind the  
17                  comments of Board members whose microphones  
18                  were still open.)

19       **DR. ZIEMER:** I don't think we've asked that this be  
20                  done. I think that -- that sort of question  
21                  arose as a general matter, but I think in --  
22                  for example, in -- in Henry's comments, he --

23       **UNIDENTIFIED:** (Off microphone) (Inaudible) anybody's  
24                  going to do it, I think that's a --

25       **DR. ZIEMER:** -- he's sort of saying after we -- after

1           all is said and done, does any of this matter.

2       **DR. NETON:** (Off microphone) We can certainly do

3           that.

4       **DR. ZIEMER:** Other comments or additions? Friendly

5           amendments? Nasty amendments?

6       I'll try to summarize the motion. I think our

7           reporter has the exact words, or do you -- you

8           want to read them back to us? Okay, he's going

9           to read them back to us.

10       (Whereupon, the court reporter repeated the motion

11           previously made by Dr. Melius.)

12       **DR. ZIEMER:** Okay. Rich?

13       **MR. ESPINOSA:** I just have a little bit of a concern

14           with -- since this is going to reflect on the

15           Board, that I still kind of see a need for a

16           working group in there, maybe during the

17           meeting that SC&A is going to have with NIOSH

18           or the conference call. I just think that

19           there needs to be Board representation during

20           the communications on that.

21       **MR. GRIFFON:** Well, I would second that.

22       **DR. ZIEMER:** Thank you, that -- okay, let -- let me

23           suggest that -- that we act on this motion, and

24           then we can do that as a separate action. Is

25           that agreeable? This will be a motion that

1 deals specifically with the report, and then we  
2 can -- is that -- if that's agreeable.

3 Are you ready to vote on this particular motion?

4 **DR. WADE:** Could I make a comment before --

5 **DR. ZIEMER:** Yes, Lew.

6 **DR. WADE:** And once you make a motion and then pass  
7 it on to us, what I would do is to sit down  
8 with the contracting officer, discuss what  
9 you've asked of us, and then sit down with the  
10 contractor and determine whether or not  
11 there'll be any increase in cost associated  
12 with what we're asking. You might want to  
13 provide us thoughts on that now as to what you  
14 had originally assumed such a review would  
15 encompass in terms of scope, but we would take  
16 your recommendations and sit down with the  
17 contractor and discuss it. What we do when we  
18 have that information again goes to the issue  
19 of whether you would want us to move forward  
20 with additional cost to get this done, or  
21 whether you would want us to bring that  
22 information back to the Chair or to the Board.  
23 You don't have to tell us now, but I think you  
24 need to consider that.

25 **DR. ZIEMER:** Right. I think the -- I think the --



1 (No responses)

2 **DR. ZIEMER:** Any abstentions?

3 (No responses)

4 **DR. ZIEMER:** Thank you. The motion carries. Richard  
5 -- no, who made the -- who -- yeah, Richard,  
6 you have a motion, which Tony's going to  
7 discuss.

8 **MR. ESPINOSA:** I'd like to make a motion to propose  
9 that a working group be set involved with NIOSH  
10 and SC&A during the conference calls and  
11 meetings.

12 **DR. ANDRADE:** I'd like to second that motion.

13 **DR. ZIEMER:** Okay. Any discussion?

14 **DR. MELIUS:** I would just -- I'm sorry, Tony, you  
15 were -- were you going to --

16 **DR. ZIEMER:** Yeah, incidentally, this could be simply  
17 a subset of the -- no, if it's a -- if it's the  
18 working -- if it's the subcommittee, we have to  
19 announce it as a meeting, so you're asking for  
20 a work group.

21 **MR. ESPINOSA:** I'm asking for a work group.

22 **DR. ZIEMER:** An ad hoc work group.

23 **DR. MELIUS:** I would just request that whatever gets  
24 done in terms of a work group not hold up the  
25 process, that we not get into a large

1 scheduling issue 'cause it's really asking a  
2 lot to be done in a few weeks, given the  
3 holidays, and I just don't --

4 **MR. ESPINOSA:** The only -- the only reason why I'm  
5 suggesting this is because it is the Board's --  
6 you know, this is going to reflect on the  
7 Board. And because it's reflected on the  
8 Board, the Board should have representation at  
9 it.

10 **MR. GRIFFON:** And if it's a group as opposed to two  
11 people for each case, I think the scheduling  
12 would be a lot -- a lot --

13 **DR. ZIEMER:** Yeah, we're talking about a work group,  
14 which means --

15 **MR. GRIFFON:** Right.

16 **DR. ZIEMER:** -- it can't be more than five people,  
17 and maybe three -- would -- would be three, and  
18 probably what we want is -- if this passes,  
19 just several people to volunteer. We may not  
20 use them all, depending on when the meeting is  
21 scheduled. We don't want to have the meeting  
22 dependent on five individuals from this Board  
23 if -- if we can get by with say three.

24 All in favor, aye?

25 (Affirmative responses)



1           process that we're -- that we're engaging here.  
2       First of all, I would say that, number one, SC&A  
3           should be prepared to categorize its findings  
4           first, before they -- and perhaps reword these  
5           -- before the discussions take place with --  
6           with NIOSH and/or ORAU.

7       I would just like to suggest, you know, having been  
8           in the weapons quality arena for quite a while,  
9           there's -- there's many ways you can categorize  
10          things, but one way that we've found to be  
11          convenient is issuing CARS, FARS and RARS,  
12          which are -- that's a -- that's just a  
13          convenient way to say where corrections are  
14          needed, findings have been noted, or there are  
15          remarks or observations that have been found.  
16          And the first one really refers to significant  
17          findings of -- that are -- that are -- or  
18          corrections that need to be made because --  
19          because the issues are -- are really adversely  
20          -- adversely affect quality. A finding is one  
21          that affects quality, to a certain degree. And  
22          a remark or an observation is something that  
23          could be just a philosophical difference  
24          between two organizations.

25       When a correction is needed, it could be -- it could

1           be either technical -- it could involve a  
2           technical issue or it can involve a procedural  
3           issue. In other words, a procedure has to be  
4           changed. Both are just -- both are very -- are  
5           very serious, so that's just a comment here.

6           But anyway, the categorization should take place  
7           first by SC&A. Those should be accepted -- the  
8           categorizations should be accepted by NIOSH,  
9           and then the give-and-take take place during  
10          meetings and/or exchanges of information for  
11          factual accuracy. That's step two, and that  
12          can be an iterative process.

13          Then this working group that we have just talked  
14          about can be involved during that iterative  
15          process to review and participate in  
16          discussions, and perhaps to serve to facilitate  
17          those discussions, such a final product can  
18          come forth for the full Board to consider in a  
19          later meeting, and I'd say those are the four  
20          major steps that I would put down that capture  
21          what Dr. Melius said, perhaps with a little bit  
22          more brevity.

23          But that's the way it should go, and we really should  
24          think about that categorization. Like I said,  
25          there should be at least three. I've given an

1 example of three that I've worked with. I'm  
2 sure that other people have ideas and Mark, I  
3 know you --

4 **DR. ZIEMER:** And I'm not sure that it's going to be  
5 productive for us to sit here and define those  
6 categories now. Contractor can do that. I  
7 think they have the idea. I do want to point  
8 out to you that on a closed session we are  
9 pretty much bound by the stated time. We're  
10 past it, but Mark, you have something quickly?  
11 We need to come to closure. We're past the  
12 stated time of a closed session.

13 **MR. GRIFFON:** Yeah, I just think -- one thing I was  
14 wrestling with was -- in the ongoing -- for the  
15 ongoing purpose, if we have a working group we  
16 can't, as a working group, participate in an  
17 ongoing fashion in the same task. It's by  
18 definition a subcommittee, I think, and this is  
19 what we wrestled with before. So you know,  
20 unless we rotate members or something like that  
21 to do -- for this first set, I think it's fine  
22 'cause it's one set of work, we can have a work  
23 group. But in an ongoing capacity, I've been  
24 wrestling with well, how do we -- I think the  
25 Board needs to stay involved. If we have an

1           ongoing function, by definition it has to be a  
2           subcommittee. Then you're in open meetings and  
3           it just makes the whole thing blow up, so we  
4           might want to -- I would -- that's why I was  
5           talking about the -- in the ongoing capacity,  
6           having that -- those two people assigned to  
7           cases being involved in two steps in the  
8           process. One, preliminary discussions with  
9           SC&A; two, discussions after they had a final  
10          report, so that everybody sort of has a little  
11          more consensus coming into this final meeting  
12          with the -- with the work product.

13       **DR. ZIEMER:** Yeah. When you get into the rollup  
14          here, it's a little bit more --

15       **DR. WADE:** Let us -- let us consider that. We can  
16          move forward with this recommendation --

17       **UNIDENTIFIED:** Yes, yes.

18       **DR. WADE:** -- and we can think about the  
19          (unintelligible) you're proposing and suggest  
20          ways of (unintelligible).

21       **DR. ZIEMER:** Okay, let's move quickly. Roy?

22       **DR. DEHART:** This is a housekeeping issue. We have  
23          documents that we may not want to retain. What  
24          -- what should we do so that they can be  
25          properly destroyed?

1       **MS. HOMER:** Give them to me; I'll take care of it.

2       **DR. ZIEMER:** Cori will collect those. Okay, Henry,  
3               you have another item?

4       **DR. ANDERSON:** Yeah, I just wanted to say I think we  
5               initially thought about this process at one  
6               meeting we'd identify cases, the next meeting  
7               we'd review and have a report. And I think  
8               reality is it's probably going to take two  
9               meetings so that we can have the original --  
10              the cases would go and we'd have the  
11              discussions, then we'd have a discussion of  
12              those cases here, and then final adoption and  
13              move forward at the next. I mean we'll still  
14              end up ultimately with one at -- one batch at  
15              each, but it'll be -- run over three or --  
16              three period -- or three meetings rather than  
17              two meetings. I think --

18       **DR. ZIEMER:** Unless we gain efficiency along the way  
19              and the format becomes more clear and the  
20              review process is --

21       **DR. ANDERSON:** It seems to me at this point we need  
22              to have some Board discussion, and so the  
23              public -- we don't want to give them the  
24              expectation that --

25       **DR. ZIEMER:** Oh --

1       **DR. ANDERSON:** -- the selection tomorrow of cases  
2                   isn't going to be -- final reports of those at  
3                   the next meeting.

4       **DR. ZIEMER:** Right.

5       **DR. ANDERSON:** So it's just public expectation as to  
6                   when will things come out.

7       **DR. ZIEMER:** Tony, can you serve as the Chair of the  
8                   ad hoc committee, please? Thank you. Jim?

9       **DR. MELIUS:** I have one last housekeeping issue. It  
10                   is okay if we keep some of these reports,  
11                   'cause we'd like to review that --

12       **DR. ZIEMER:** They just need to be confidential. I  
13                   think the sheet that has the code on it  
14                   probably goes back. Right?

15       **DR. MELIUS:** Yeah.

16       **DR. ZIEMER:** Okay, anything else -- oh, Lewis, yes?

17       **DR. WADE:** I'd like to thank the Board.

18       **DR. ZIEMER:** And thanks to Dr. Wade for assisting in  
19                   the process, as well.

20       We're recessing till tomorrow morning. (5:00 p.m.)

21       (Whereupon, an adjournment was taken to Tuesday,

22                   December 14, 2004 at 8:30 a.m.)

C E R T I F I C A T E

STATE OF GEORGIA :

COUNTY OF FULTON :

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the 13<sup>th</sup> day of December, 2004; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 23<sup>rd</sup> day of December, 2004.

-----  
**STEVEN RAY GREEN, CCR**  
**CERTIFIED MERIT COURT REPORTER**  
**CERTIFICATE NUMBER: A-2102**