

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

THIRTY-FIFTH MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

VOL. I

DAY ONE

ABRWH BOARD MEETING

The verbatim transcript of the
Meeting of the Advisory Board on Radiation and
Worker Health held at the Doubletree Oak Ridge,
Oak Ridge, Tennessee, on January 24, 2006.

C O N T E N T S

January 24, 2006

WELCOME AND OPENING COMMENTS DR. PAUL ZIEMER, CHAIR	8
Y-12 SITE PROFILE - DISCUSSION/PLAN OF ACTION DR. PAUL ZIEMER, CHAIR	13
PROCEDURES FOR BOARD EVALUATION OF SEC PETITIONS DISCUSSION - PART I DR. JAMES MELIUS, ABRWH	51
INDIVIDUAL DOSE RECONSTRUCTION REVIEWS (SETS 1,2 AND 3) DISCUSSION/CLOSURE MR. MARK GRIFFON, ABRWH DR. HANS BEHLING AND MS. KATHY BEHLING, SC&A MR. STUART HINNEFELD, NIOSH	73
PUBLIC COMMENT	96
COURT REPORTER'S CERTIFICATE	185

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

ANDERSON, Henry A., M.D.
Chief Medical Officer
Occupational and Environmental Health
Wisconsin Division of Public Health
Madison, Wisconsin

DeHART, Roy Lynch, M.D., M.P.H.
Director
The Vanderbilt Center for Occupational and Environmental
Medicine
Professor of Medicine
Nashville, Tennessee

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

MELIUS, James Malcom, M.D., Ph.D.
Director
New York State Laborers' Health and Safety Trust Fund
Albany, New York

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

STAFF/VENDORS

LASHAWN SHIELDS, Committee Management Specialist, NIOSH
STEVEN RAY GREEN, Certified Merit Court Reporter

OTHER PARTICIPANTS
(in order of appearance)

MR. JOE FITZGERALD, SC&A

DR. JIM NETON, NIOSH

MR. LARRY ELLIOTT, NIOSH

MS. KATHY BEHLING, SC&A

DR. HANS BEHLING, SC&A

PUBLIC COMMENT
(in order of appearance)

MR. LARRY JONES
MS. BARBARA WALTON
MS. KATHY BATES
MS. JANET MICHELE
MS. JONELL BARTON
MS. DORIS HENLINE
MS. R.L. AYERS
MR. GEORGE ELDRIDGE
MR. GARY FOSTER
MR. FORREST JOHNSON
MR. KENNY COOK
MS. ELIZA ROBINSON
MR. BOB WARREN
MS. BEULAH LINDSEY
MR. ALVIN LINDSEY
MR. GLENN BELL
MR. RICHARD MILLER

P R O C E E D I N G S

(2:15 p.m.)

WELCOME AND OPENING COMMENTSDR. PAUL ZIEMER, CHAIR

1 DR. ZIEMER: Good afternoon, everyone. This is the
2 official opening of the 35th meeting of the
3 Advisory Board on Radiation and Worker Health.
4 We're pleased to be back here in Oak Ridge,
5 Tennessee for this meeting.
6 It's perhaps of note that this Board began its
7 series of meetings in January of '02, so we
8 have just completed four years of meetings and
9 are starting year five. And another
10 significant thing about that is that most of
11 the members of this Board have been members of
12 the group since the beginning. I think most of
13 those appointments were actually made late in
14 the fall of 2001, with the Board convening its
15 first meeting in January '02.
16 Actually a couple of members of this Board will
17 be rotating off -- I don't know if rotating is
18 the right word; I guess retiring. Rotating
19 implies they might be coming back. But -- and
20 we -- we will recognize them later in the

1 meeting, but we do have with us today three
2 individuals who have been recently named by the
3 White House as new appointees to this Board,
4 and they are -- they will be seated at the
5 table at our next meeting in April. Today they
6 are still in the capacity of observers and
7 learners and I guess members of the public, as
8 well. Those individuals are Brad Clawson from
9 Idaho National Laboratory -- here's Brad; Jim
10 Lockey, Dr. Lockey's at the University of
11 Cincinnati Medical Center; and John Poston,
12 Professor Poston from Texas A&M University. So
13 we're pleased to welcome those three
14 individuals to the Board as they will be
15 beginning their terms and -- well, one is never
16 sure how long a term is. As I've indicated,
17 it's been at least four years for those already
18 here, but we welcome their participation.
19 There also is another individual who has a
20 significant position in the overall program,
21 and that is a replacement for Dick Toohey. I'm
22 going to ask Lew -- Lew, if you would introduce
23 the new Project Director for ORAU.

24 **DR. WADE:** Yes. We do have a new Project
25 Director for ORAU -- the principal ORAU

1 contract to NIOSH, and that's Kate Kimpan --
2 Kate is standing in the back of the room. We
3 welcome Kate to our activities. We thank Dr.
4 Toohy for his contribution, so Kate, we'll be
5 calling upon you from time to time.

6 **DR. ZIEMER:** Now a few housekeeping items.
7 Many of you were here at the earlier session of
8 the subcommittee this morning, but in case you
9 didn't get this announcement there is a
10 registration book or a -- an attendance book
11 out in the corridor. And if you haven't
12 already done so, we'd like you to register your
13 attendance with us here for this meeting.
14 Also, individuals who would like to address the
15 assembly as part of the public comment period,
16 there is a book out there, as well, for you to
17 sign up. That public comment period will be
18 late this afternoon after we finish the regular
19 scheduled business of the Board. So if -- if
20 you're in that category and have not already
21 done so, please sign that book, as well.
22 Also I believe we have some NIOSH staff people
23 in the hall to assist people who have claim
24 issues -- is that correct? We do have some
25 folks there. And if you have some specific

1 questions about an individual claim, the Board
2 in the public meetings does not deal with the
3 claim -- individual claims, but there are NIOSH
4 staff people here that can assist you with
5 questions that you may have.

6 Also on the table near the rear here you'll see
7 our many documents and copies of today's
8 agenda, so please avail yourselves of those as
9 you see fit.

10 We have -- on our agenda, Board members, you'll
11 notice the approval of the minutes. Without
12 objection the Chair is going to defer the
13 approval of the minutes until later in the
14 meeting, primarily since you do not yet have
15 the minutes. But they will be distributed to
16 you I guess later this afternoon so that you
17 have some -- some bedtime reading for the next
18 couple of days, and we will take action on
19 those minutes then probably on Thursday
20 afternoon.

21 Now the important topic for us to deal with
22 here at the beginning of our session today is
23 the Y-12 site profile, particularly as it
24 pertains to the SEC petition for the Y-12
25 petitioners. And we had a discussion this

1 morning from the working group on the Y-12 site
2 profile matrix. This afternoon we're going to
3 hear in a more formal way from a representative
4 of the Board's contractor, from SC&A, and that
5 is Joe Fitzgerald. And Joe is going to give us
6 an overview of the issue resolutions for the Y-
7 12 site profile.

8 So Joe, we welcome you back to the mike.

9 **DR. WADE:** I might make some --

10 **DR. ZIEMER:** Oh, yes --

11 **DR. WADE:** -- opening comments on the --

12 **DR. ZIEMER:** -- and before Joe presents, we do
13 need to again clarify conflict of interest
14 issues --

15 **DR. WADE:** I'd like to --

16 **DR. ZIEMER:** -- on Y-12.

17 **DR. WADE:** Thank you, Paul. I'd like to speak
18 to two things, conflict of interest issues, and
19 then I'd like to put sort of the Y-12
20 discussions in sort of a context for you, as
21 well, so that if you'd bear with me...

22 First of all, on the conflict of interest --
23 you know, if Paul and I remember between the
24 two of, at the start of each discussion that
25 relates to a particular site, I think we should

1 remind everyone of conflicts that might exist
2 on the Board. It's not surprising that a Board
3 that is put together to deal with these diverse
4 issues would come with some conflicts. As
5 people have experience that makes them valuable
6 members of the Board, it also presents
7 potential conflicts.

Y-12 SITE PROFILE - DISCUSSION/PLAN OF ACTION
DR. PAUL ZIEMER, CHAIR

8 We're now going to talk about the Y-12 site
9 profile. We have conflicted Board members with
10 regard to that topic. They are Roy DeHart,
11 Robert Presley, Paul Ziemer and Mark Griffon --
12 Mark only when there are issues pertaining
13 directly to the Atomic Trades and Labor
14 Council.

15 I'd remind you that our policy with regard to
16 conflict of interest on site profiles is that
17 when discussing a site profile Board members
18 who have a conflict may participate in the
19 discussion at the table, but cannot make
20 motions or vote on motions. So again, everyone
21 can remain at the table as we discuss the site
22 profile. Should there be -- should we approach
23 a motion, a Board member who's conflicted
24 cannot make such a motion and a Board member

1 cannot vote -- those conflicted. So hopefully
2 that's clear, and we'll -- you'll hear this
3 little discourse from me fairly often.
4 Let me try and clarify the Y-12 issue for you.
5 We're going to talk about the review of the Y-
6 12 site profile. That's been an activity
7 that's been underway for some time. The Board
8 selected Y-12 as one of the first profiles to
9 be reviewed by its contractor, SC&A, and we're
10 aggressively involved in that review, the
11 review of the site profile.
12 I probably don't have to remind anyone in this
13 room that at an early July meeting this Board
14 approved an SEC petition for Y-12. That
15 particular petition related to all employees
16 that worked in uranium enrichment or other
17 radiological activities from 3/43 to 12/47.
18 The Secretary of HHS has subsequently acted
19 positively on the Board's recommendation.
20 There is still an SEC petition pending. That
21 is the petition that deals with all
22 steamfitters, pipe-fitters, plumbers that
23 worked from 10/44 to 12/57. Obviously a
24 subclass of that has been dealt with by the
25 approved petition, but there is still a class

1 of workers that have not been dealt with in
2 terms of the SEC process. It is our intention
3 to try and resolve the outstanding technical
4 issues regarding the site profile and to bring
5 that portion of the SEC that has not been acted
6 upon to a vote at the Board meeting scheduled
7 for the end of April.

8 So again, we're here now to talk about the site
9 profile issues related to Y-12. It has a
10 certain urgency because we have a pending SEC
11 petition -- at least a portion of one -- that
12 we would like to bring to a timely resolution.
13 So I think that brings you up to speed on where
14 we are, and Joe, sorry to take some of your
15 time.

16 **MR. FITZGERALD:** No -- thank you, Lew. I think
17 that gives a good background. Again, we're
18 starting a process at the issue resolution
19 stage. I think those who were at the Knoxville
20 meeting may recall we did brief out on the site
21 profile itself, but now we're trying to resolve
22 the remaining issues that we need to provide
23 guidance and technical support to the Board in
24 terms of making a decision on SECs.

25 I'm getting a flag here to raise this higher.

1 Is that better? Okay.

2 Ask the Board's indulgence, I'm going to go

3 through those sections we've already covered

4 before lunch relatively quickly, not to dwell

5 on the status of the matrix again. I'm sure

6 you've gotten that. Right?

7 Certainly for the benefit of the audience, this

8 is a chronology of the interactions that have -

9 - has taken place between the Board, SC&A and

10 NIOSH over the past three or four months --

11 frankly since the report was submitted -- and

12 again trying to narrow issues, differences, and

13 trying to come up with resolutions. We've been

14 meeting or conferencing almost on a monthly

15 basis, with quite a bit of analysis and data-

16 gathering in between. So it's been a -- I

17 think a pretty robust process and I think the

18 workgroup has been certainly highly responsible

19 for keeping that thing moving on schedule.

20 I'm not going to dwell on this. This is what

21 we covered in the working group (sic) before

22 lunch. I kind of framed them up a little

23 differently, but essentially we've gone through

24 the status on the matrix. I'll just skip

25 through that, if you don't mind -- unless

1 there's some questions or issues on what I had
2 put on those two pages.
3 This is another -- what I did was highlighted
4 the -- the key issues I thought were of
5 importance, either from the SEC standpoint or
6 from the site profile standpoint. I think
7 clearly the first one is the one we talked
8 about quite at length this morning, which is
9 the data validity or, as we're characterizing,
10 reliability. And again, the importance of this
11 is to assure that in fact the information, the
12 data that's being used for dose reconstruction,
13 can be in fact validated as representative of
14 the original data. In this case we spent I
15 think some time talking about the various
16 sources -- I call them compensatory, because
17 essentially if -- I'm not sure -- and Mark,
18 correct me -- I'm not sure we've given up the
19 ghost, nor has NIOSH, on perhaps some data
20 being available in the files, whether in
21 Atlanta or someplace else, that might give us a
22 better handle on this data. But assuming -- I
23 think the guidance was that was proven to be
24 not particularly fruitful or practical.
25 Assuming that does not work, then certainly

1 from a compensatory standpoint these other
2 sources of documentation can serve to provide
3 some measure of consistency, some test of
4 consistency. And I think that's what we were
5 certainly covering this morning.

6 And the importance from the SEC standpoint is
7 pretty much what we're stating there, which is
8 the reliability's essential -- a cornerstone in
9 terms of dose reconstruction.

10 A second issue, which again we touched on -- I
11 kind of phrased it a little differently -- is --
12 -- certainly in our review of the site profile
13 we were concerned that the -- the scope of
14 review for the site didn't narrowly or perhaps
15 inappropriately consider Y-12 to be a uranium
16 plant. Certainly a lot of DOE sites have a
17 pretty varied history -- diverse history, and
18 in this particular case certainly we were
19 cognizant of -- and those who have worked at Y-
20 12 certainly are aware of -- the fact that
21 there's other activities going on at the plant
22 during the history. And certainly on the Oak
23 Ridge National Lab side, the X-10 side, there
24 were a number of things going on with the
25 Cyclotron and Calutron in terms of generating

1 different radioisotopes, handling plutonium.
2 So in other words, a number of different
3 sources of radiation other than uranium.
4 And what we are trying to do there is to focus
5 attention on how that may have contributed to
6 the overall source term for the plant. And
7 certainly the implications are pretty important
8 because the -- in this case, since we're
9 talking the SEC context, the class of workers
10 we're talking about were workers that in fact
11 may have traveled throughout the plant, had
12 access to a number of different areas. And
13 we've interviewed a number of workers and a lot
14 of these plant-wide workers, monitored or
15 unmonitored, pretty much had free access to
16 different areas of the plant. So clearly these
17 other sources, these non-uranium sources,
18 certainly would play into this.

19 I think we talked about the fact that -- you
20 know, we were certainly concerned that there
21 wasn't any real data -- a database that would
22 help us in that regard, and I think NIOSH
23 certainly has identified and is making
24 available this week a number of pages -- 6,000
25 pages, I understand -- of bioassay data coming

1 from this side of -- the X-10 side of the
2 plant, which certainly can inform this
3 analysis, provides an avenue hopefully where
4 one can do some consideration of what coworker
5 model might be appropriate. It -- I don't
6 think it's a panacea, I guess that's the first
7 thing I was going to say. It's not a panacea.
8 I think I made the -- make it clear in the
9 statement that I think it gets you a lot
10 further than where we were before, assuming
11 that the data itself is reliable -- that old
12 word; you know, it's valid, it's a robust
13 database and one that could be applied.
14 As I was clarifying earlier, however, there's
15 other bins of exposure to these other nuclides,
16 and certainly we're concerned about uranium 233
17 that might have been handled -- or produced
18 elsewhere in the plant. We're concerned about
19 recycled uranium that certainly we have
20 evidence was handled at the plant. And
21 certainly there's other sources of these so-
22 called other radioactive sources that we want
23 to make sure are addressed. And again, the
24 significance for a SEC consideration is that
25 would enable us to be sure that all the sources

1 were accounted for for this class of worker
2 that had free rein in the plant, which includes
3 the pipe-fitters and the -- you know, the
4 plumbers and what-have-you that we've been
5 looking at.

6 We covered this. I'm not going to spend too
7 much time on this, but again, I think the job
8 functions information that -- that NIOSH has
9 been providing has been immensely helpful to
10 begin to get a handle on how the distri-- the
11 dose distribution is by virtue of different job
12 titles and categories. We're finding it
13 difficult to accept that the population that's
14 being considered is a homogenous population,
15 that everybody's in this administrative area,
16 that they're all sort of doing the same work
17 and the exposure potential's roughly the same.
18 Our concern is that certain job categories --
19 we think the exposure potential's actually
20 higher than the average. It's sort of a broad
21 mean and whether it's a 50th percentile or a
22 95th percentile, we think that needs to be
23 examined or continue to be examined. We have
24 had I think pretty good discussions in the
25 working group on this notion of where -- where

1 the data -- where the data itself is taking us.
2 And I think that's been probably the most
3 useful thing is to actually look at the data
4 and determine whether or not the confidence
5 level is such that the 50th percentile is
6 appropriate or not, or would you want to be
7 more conservative -- would you want to perhaps
8 carve out certain job categories and handle
9 them separate from the body of the workforce.
10 That's certainly another possibility, if that's
11 possible.
12 And I think -- we're moving forward. I think
13 it was mentioned before lunch if we can get
14 information on departments and other means of
15 sorting this out, I think we're going to be in
16 better shape.
17 You notice I don't talk about as much a SEC
18 significance. I think this is a case of just
19 trying to come up with the level of
20 conservatism appropriate to dose
21 reconstruction. So this may not be something
22 that will be mainstream for the deliberations
23 coming up, but certainly it's very important
24 from the dose reconstruction standpoint.
25 I want to move to something we didn't -- we

1 touched on but didn't spend very much time on,
2 which are some of the actions that certainly
3 SC&A came out of the workgroup meeting in the -
4 - on the 5th of January on, and essentially
5 three items.

6 The first item was to go back and again review
7 some of the factors that were used in the Table
8 5-2 -- and also I guess 5-2, 3, 4, 5, 6, 7 and
9 8 -- that deal with the parameters on recycled
10 uranium. I'm talking about that in a minute.
11 The second item was the 147 dose records which
12 were used in the regressive analysis. It was a
13 analysis done -- it was a statistical analysis.
14 And I was just talking to this gentleman before
15 -- before we started again, and the issue was,
16 you know, if you don't have the records, how do
17 you in fact fill in the gaps; how do you
18 actually determine, you know, what -- what
19 somebody might have gotten. And the process is
20 you use a model where you look at coworker
21 doses. You look at what -- if you didn't have
22 a dose record, were there people that were
23 doing the same kind of work in the same time
24 period that in fact did have a record and could
25 that apply to you as a worker. And so this

1 process of looking at the 147 monitored workers
2 gives us a better handle on that particular
3 model, whether in fact that model was
4 representative. And it allows us to decide is
5 that the right approach, the looking backward
6 into the '50s where the data's pretty sparse
7 using data and information from the '60s. Can
8 you do that, is it sound, does the distribution
9 work, and does it really apply to the whole
10 worker population or not. Can you be that
11 general.

12 So we're looking at it from the standpoint does
13 it -- does it work. Can you in fact use it,
14 can you apply it, does the data in the early
15 '60s -- is that representative enough of the
16 kind of work that was done in the '50s so you
17 could take that data and apply it to the '50s.

18 **DR. ZIEMER:** Joe, let me --

19 **MR. FITZGERALD:** Yeah.

20 **DR. ZIEMER:** -- let me interrupt you a moment
21 here while you're on that point. I want to
22 make sure that the Board members are aware that
23 the comments on January 19th were distributed
24 by e-mail to the Board members, so you should
25 have those.

1 **MR. FITZGERALD:** Right.

2 **DR. ZIEMER:** And I'm -- I'm pausing to
3 determine whether or not those are available to
4 the public, those comments. I think they can
5 be made public if they haven't already been.
6 Do you know if they have been?

7 **MR. FITZGERALD:** I certainly have copies and
8 would be pleased to put them out. I --

9 **DR. ZIEMER:** We can make them available --

10 **MR. FITZGERALD:** Okay.

11 **DR. ZIEMER:** -- I just wanted to make sure that
12 we do, so --

13 **DR. WADE:** I don't think so.

14 **DR. ZIEMER:** Okay.

15 **DR. WADE:** We'll put them on the table.

16 **MR. FITZGERALD:** And again I would point out
17 that we were -- we finished our analysis and
18 provided these to NIOSH on Thursday, so clearly
19 there hasn't been enough time to really react
20 to them. Again, we wanted to get them to NIOSH
21 as soon as possible, but not in enough time for
22 this meeting.

23 The third item was the OTIB-51, mentioned
24 before lunch again, which was dealing with how
25 the NTA film in the early years -- how it

1 responded to neutrons and how one comes up with
2 correction factors so you in fact can come up
3 with the dose estimates based on that and
4 angular dependence as well. Those comments
5 were provided on the 19th, and you have a copy
6 of that set of comments.

7 I'm not going to -- you -- you have the
8 comments, so this is just a summary. Again,
9 this is a recommendation -- actually I think
10 was made as early as some of the past three
11 reviews that Hans and Kathy worked on, so this
12 is not a new issue but certainly an issue that
13 has come up in this site profile. And again,
14 having raised this issue on other site
15 profiles, I think this is a very fundamental
16 step forward to actually have this OTIB contain
17 this information and provide these factors.

18 The issues that we have, though, get down to --
19 the approach is sound, but we'd like to see
20 this analysis run for energies beyond 700 keV.
21 I think 700 keV certainly is one benchmark, but
22 we think 800 and 900 offer other benchmarks,
23 might be appropriate to have additional curves
24 provided on that.

25 Again, a sort of a -- I guess I would consider

1 this sort of a loose-end issue. We noticed the
2 chem operators were very appropriately
3 considered in the analysis but not cited in
4 method two, which was another part of the
5 analysis. I'm not sure this was an oversight
6 or something that was intentional, but
7 certainly would like to find out about that.
8 And again, the OTIB did not necessarily address
9 some of the issues that we had relative to
10 neutron flux below the NTA threshold, that in
11 fact in some parts of the plant we're concerned
12 about whether those thermal neutrons --
13 energies that certainly ought to be addressed
14 and considered and that was not necessarily
15 addressed in the scope. Again, the site-wide
16 worker who might have been exposed to the
17 (unintelligible) neutron sources is another
18 consideration that's specific to Y-12,
19 actually.
20 Again, I think these were more or less very
21 specific technical comments. I think
22 generally, though, we're supportive of the
23 approach that was provided in the OTIB. Again,
24 you have those specific comments that were
25 transmitted last week.

1 The second item was to revisit the tables in
2 the Technical Basis Document for Y-12 that
3 dealt with the recycled uranium. Our concern
4 was really one of could you in fact come up
5 with the facility-specific, time-specific,
6 campaign-specific ratios that might provide a
7 very representative way of calculating some of
8 these ratios and conversion factors. And most
9 of our comments really revolve around whether
10 one can be very generic or is it possible,
11 given the data you have, that you could
12 actually be a little bit more specific. And --
13 and if somebody were involved in a particular
14 operation at Y-12, whether the ratio for
15 recycled uranium might be different for that
16 individual versus the general population.
17 So again, we're not sure about whether the data
18 would support that, but we're just saying that
19 certainly this question of, you know, can you
20 be that generic over that length of time over
21 this big a plant, or is there any way you can
22 narrow that down to be a bit more tailored
23 through operations and particular processes.
24 It's an open question but we thought, again, it
25 would be important to raise that.

1 And again, it's -- those are the two action
2 items.

3 This is a wrap-up that we've already covered so
4 I certainly don't want to put you through that.
5 The only thing I would say, and I would --
6 again, it's this last item -- whether badging
7 was based on maximum exposed workers. I
8 mentioned earlier that we're beginning to go
9 through the expanded CER database through '65.
10 And again, we haven't seen the 147 dose
11 records, but we -- we still think that the
12 numbers -- not necessarily the very high
13 numbers, above 1,000 millirem a year -- I mean,
14 I'm -- 1,000 millirem per quarter, but some of
15 the lower numbers just below that seem to
16 suggest that, you know, we're dealing with some
17 group of -- group of workers that could
18 arguably not necessarily be maximally exposed
19 in the early period. And the juncture point
20 that we particularly focused on was the pre-
21 criticality/post-criticality, trying to see
22 what the difference in the numbers would
23 suggest, and we see some appreciable
24 differences in those numbers.
25 We don't think we settled on any conclusions.

1 We just are continually looking at this data
2 and seeing what the data tells us. But
3 certainly it raises some questions as to
4 whether there might be some -- some worker
5 group, sub-group, that might not be in fact the
6 maximally exposed that were not badged, or in
7 fact there was some cohort badging in certain
8 activities.

9 And this is not -- this is sort of a similar
10 issue we went through and was mentioned by Mark
11 this morning relative to this notion of
12 bioassay -- I guess that's (unintelligible)
13 interviewed Mr. Preston -- Presley, and the
14 issue was, you know, can you in fact assure
15 yourself that this is not a department-wide
16 sampling, random sampling on a department-wide
17 basis. Again, I think we're not convinced in
18 some of these -- these areas.

19 And we covered this and this is sort of a
20 vantage -- from our vantage point, this is very
21 consistent with I think what was mentioned
22 today in terms of where we go from here.

23 Again, I think we're -- our focus is pretty
24 much going to be on the reliability, robustness
25 and applicability of the 6,000 pages, the X-10

1 data. We're certainly going to be planning on
2 plowing into that along with NIOSH and see what
3 that data would tell us on this question of
4 other nuclides; to look at the issue of in fact
5 whether U-233, radon, some of these other
6 nuclides elsewhere in the plant would be in
7 fact significant to be able to answer that
8 question; to in fact corroborate the
9 reliability of the electronic database which
10 was mentioned I think in detail a little
11 earlier. And this issue of coworker models I
12 think, again, we need to be very clear whether
13 in fact the basis for those coworker models and
14 the maximally exposed individuals can be
15 established.

16 Now everything else in our view I think is very
17 clearly appropriate for a site profile. I
18 think Dr. Ziemer mentioned that we had over 100
19 findings in this review of the site profile,
20 very detailed review. But a lot of these were
21 technical issues, factual accuracy issues, ones
22 that probably and most likely will not bear on
23 the SEC determination, but nonetheless we're
24 not going to lose them. We're going to be
25 working on those, as well. But those will

1 certainly be a second priority compared with
2 the others.

3 I think that's it. Is there any further
4 questions? That's probably fairly redundant,
5 but nonetheless that's what we're looking at.

6 **DR. ZIEMER:** Thank you, Joe, for that overview.
7 Let me open the floor now, Board members. Any
8 questions for Joe Fitzgerald or -- or for NIOSH
9 in that regard? And Jim, I know that you
10 probably covered a lot of -- most of your
11 points this morning. Do you have any
12 additional comments -- Jim Neton from NIOSH --
13 in response to what Joe has presented?

14 **DR. NETON:** No, I think the status update that
15 we did prior to lunch covers the waterfront of
16 activities that we're engaging at this time. I
17 have nothing additional to add.

18 **MR. GRIFFON:** Can I -- Jim --

19 **DR. ZIEMER:** Mark?

20 **MR. GRIFFON:** I just wanted to ask -- I meant
21 to ask during the subcommittee meeting, but you
22 mentioned that that disk was available and you
23 mentioned --

24 **DR. NETON:** Yes.

25 **MR. GRIFFON:** -- it possibly being available to

1 SC&A and the Board.

2 **DR. NETON:** Right.

3 **MR. GRIFFON:** Have you...

4 **DR. NETON:** I need to get with --

5 **MR. GRIFFON:** -- come up with the answer to
6 that before the end of the week or...

7 **DR. NETON:** Yeah, I'll -- I'll have an answer
8 maybe by the end of today if I can get together
9 with the appropriate folks at a break and see
10 what the issues may be about releasing that
11 disk.

12 **DR. ZIEMER:** Other comments or questions?

13 (No responses)

14 Okay. And we will --

15 **UNIDENTIFIED:** Paul --

16 **DR. ZIEMER:** -- make sure that the document is
17 available to the public here before long.

18 Dr. Anderson.

19 **DR. ANDERSON:** Yeah, I -- I guess my question
20 is just exactly what are you intending to do
21 with the 6,000 records? I mean are some of
22 them going to be abstracted? You know, what is
23 going to happen and in a -- in a timely
24 fashion?

25 **DR. NETON:** Well, not having seen the 6,000

1 records, I don't know.

2 **DR. ANDERSON:** Well, I mean they may not be
3 even legible, you know. I --

4 **DR. NETON:** But -- but the concept was that
5 these records include data for radionuclides
6 other than uranium. I think more specifically
7 plutonium and maybe some polonium. And so if
8 we can identify who -- who was monitored, you
9 know, for those nuclides and -- and have enough
10 data to make a robust coworker matrix that
11 could be used to apply to these workers at
12 processes such as the Calutron and the
13 Cyclotron and other operations that involve
14 non-uranium activities, that would be our
15 intent.

16 There's another piece of data in addition to
17 these 6,000 records that are the Department
18 4000 records at the -- from the X-10 facility.
19 Those are people who worked on operations at Y-
20 12. They are also available and being looked
21 at. So between those two datasets we hope to
22 be able to put together some quality analyses
23 that would allow us to do dose reconstructions
24 for non-uranium radionuclides at Y-12.

25 **DR. ANDERSON:** And is the plan to have that by

1 the March teleconference or --

2 **DR. NETON:** The plan is to determine --

3 **DR. ANDERSON:** -- are we talking April or --
4 you know.

5 **DR. NETON:** Well, the plan is to determine --

6 **DR. ANDERSON:** I won't be here, but I just --
7 I'm querying on behalf of those that...

8 **DR. NETON:** Well, right now ORAU is reviewing
9 the data and we need to make a determination
10 how long it's going to take to get that put in
11 place, and we'll have to make a decision at
12 that time if -- if the data can or cannot be
13 used in a timely manner and move from there.

14 **DR. ZIEMER:** Thank you. Other comments,
15 questions?

16 (No responses)

17 Board members, I want to remind you that we
18 have on our schedule for March 14 -- and this
19 may be a good time to double-check this and ask
20 Lew to confirm -- we had scheduled a Board
21 conference call for March 14th. And one of the
22 items of course for that conference call then
23 would be to get an update on the status of
24 these issues for the Y-12 SEC and these issues
25 that come out of the site profile, and

1 determine whether we are on track because we
2 have schedule also for April 25th through 27th
3 a full Board meeting at which, as Dr. Wade
4 indicated this morning, it would be our intent
5 to be ready to have a vote on the SEC petition
6 from Y-12, if these issues are indeed resolved
7 by that time. And we perhaps will have a
8 better feel for that at the time of the March
9 conference call, but we need to make sure that
10 folks have that on their calendars and that we
11 can try to hold to that schedule. Lew?

12 **DR. WADE:** And I would also like us to spend
13 some time just looking at -- for some detailed
14 planning in terms of interactions between NIOSH
15 and SC&A with the Board present, working group
16 meetings. We still have work left to do,
17 obviously, on these open issues. And I don't
18 think it would be appropriate for us to end
19 this session without having a detailed plan of
20 action in place. So while all the principals
21 are here and these issues are fresh in our
22 mind, I think we should lay out a strategy that
23 will take us to the point that I assume the
24 Board wants to be, and that is when you vote an
25 SEC petition at the end of April that you have

1 the issues resolved in your mind that you need
2 to have resolved. So I think we need to spend
3 some time, Mr. Chairman, doing that.

4 **DR. ZIEMER:** Mr. Presley.

5 **MR. PRESLEY:** One of the issues I have is the
6 SEC petition is only for pipe-fitters. Is that
7 correct?

8 **DR. WADE:** The language is "steamfitters, pipe-
9 fitters, plumbers that worked..." -- is that
10 correct? That's the language I have in front
11 of me.

12 **MR. PRESLEY:** Okay. That probably --

13 **DR. ZIEMER:** Is that exclus-- does that exclude
14 some categories? Let me -- go ahead and ask
15 your question, I'm...

16 **MR. PRESLEY:** Well, that probably only
17 encompasses somewhere in the neighborhood of
18 about five percent of the people that worked at
19 Y-12 at that time. I have a real problem with
20 this.

21 **DR. ZIEMER:** Mr. Elliott from NIOSH perhaps can
22 address that.

23 **MR. ELLIOTT:** The petition definition that you
24 have before you is the one that the petitioner
25 put forward to us. And as you've seen in our

1 evaluations of these petitions, we tend to
2 expand -- in our understanding of what we can
3 do or what we can't do -- and we include in our
4 definition that's provided in our evaluation
5 report to you a recommended definition that
6 would cover additional employees or workers at
7 the site who should be considered in that
8 class. So I wouldn't use this definition as
9 couched in this current petition as the
10 limiting definition. It's the starting point.

11 **MR. PRESLEY:** Okay. Because, you know, we've
12 got the -- about that time was when Y-12 ramped
13 up from a -- let's say a chemical operation
14 into a production operation, and there are a
15 tremendous amount of people that worked in
16 other jobs that worked with uranium issues.
17 You left out your machinists --

18 **MR. ELLIOTT:** Sure, yeah.

19 **MR. PRESLEY:** -- you know, you left out all of
20 your chemical workers, so there's a tremendous
21 amount of people that, if we're going to
22 discuss another SEC petition, I think ought to
23 be included in this thing one way or the other.

24 **MR. ELLIOTT:** Well, that's why we think it's so
25 important to resolve these questions that have

1 been raised about the site profile, because it
2 goes directly to the first prong of the two-
3 pronged test for considering to add a class:
4 Can we reconstruct dose sufficiently for all
5 those in that class. And so that's why we feel
6 very strongly that we need to have these
7 questions that have been raised about the site
8 profile resolved to satisfaction.

9 **DR. ZIEMER:** While -- while we're on that
10 topic, I would like to ask Mr. Presley or
11 others who might know, how well do we know the
12 job categories of individuals working at Y-12
13 during that time period? Are these in -- are
14 these job categories well established in the
15 work records? I don't if any of the ORAU
16 people who have examined those records, or Mr.
17 Presley, can answer that for us.

18 **DR. WADE:** Just for the record, this is in the
19 context of the site profile evaluation that
20 we're having this discussion.

21 **MR. PRESLEY:** I know, as a person that's going
22 back through these records, there's a
23 tremendous amount of records that I've come
24 across in the last four or five years that,
25 yes, you know, does describe that. You know,

1 Jim's got some problems with this list that
2 he's got. It's what, 30 -- 30 or 60 pages long
3 where we need to sit down and probably do some
4 work on the -- on categorizing the different
5 job categories. But I think it's very much
6 possible to go back through and say, you know,
7 what these people did and where they worked and
8 put them in a category of jobs. I really do.
9 I think the information's there.

10 **DR. ZIEMER:** Okay. Mr. (sic) Neton?

11 **DR. NETON:** Yeah, I think we do have a lot of
12 job title type information on these exposure
13 records. The working group is aware that we
14 have a title for almost every exposure
15 measurement that's out there. The problem, as
16 Bob alluded to, is that there wasn't a
17 standardized title so you -- you have to make
18 some judicious choices in collapsing these data
19 down to meaningful categories. And ORAU has
20 done that, the working group has that
21 information. I think they collapsed hundreds
22 of titles down to maybe 40 relevant job types,
23 and they seem to make sense to us -- you know,
24 these categories. And it also seems to make
25 sense to us that the type of workers that were

1 monitored were the more highly exposed, but
2 we're getting into more technical details here
3 than we probably need to.

4 **DR. ZIEMER:** Thank you. Mark?

5 **MR. GRIFFON:** My sense of some of the -- you
6 know, what -- what did people do in different
7 jobs is that -- we have job title information.
8 I think what we haven't done -- you know, Bob
9 said -- and we have job titles and where they
10 worked. I don't know that we have that cross-
11 link of where they worked. A machinist could
12 have worked in several different areas in the
13 plant with different potential exposures, so I
14 don't know that we have that. We also have
15 machinists that worked in different
16 departments, which could, in my -- that's why I
17 was asking about the department listing. It
18 could denote different sorts of exposures,
19 maybe that could still be covered in a blanket
20 distribution type model. I'm not saying that
21 they --

22 **DR. NETON:** Right.

23 **MR. GRIFFON:** -- they couldn't, but we haven't
24 really got that level of detail, I don't think.

25 **DR. NETON:** That's correct. I think we need to

1 -- we're getting a little bit near the proof of
2 principle that we can collapse these job
3 categories and we know that there were some
4 non-uranium operations that were there, and
5 making of the decision as to who were
6 potentially exposed to these non-uranium
7 operations, such as Cyclotron and Calutron, is
8 -- is going to be difficult. Of course if we
9 can't, you know, bin these people into the
10 right categories -- for instance, possibly
11 machinists or maintenance folks -- then we
12 would, as usual, make assumptions that, you
13 know, they could have been exposed if we can't
14 nail down, you know, their potential exposure.
15 So I think -- I think we can do this, it's just
16 now a matter of demonstrating how we're going
17 to go about it. And you've seen a lot of that
18 in the matrix -- comment resolution; how is
19 NIOSH going to use the 95th percentile; which
20 categories of workers are going to go in there,
21 those sort of issues. So we're really getting
22 down to some more of the nuts and bolts issues
23 of how we're going to do this, I think.

24 **DR. WADE:** I do think it's important, though,
25 that the Board sort of begin to frame those

1 nuts and bolts issues, as you categorize them,
2 now because this will be the Board's last
3 chance really to instruct the principles in
4 terms of the degree of specificity you're
5 looking for on these issues, so let's take the
6 time and do that now if Board members have
7 questions in their minds.

8 **DR. ZIEMER:** Mark.

9 **MR. GRIFFON:** I think one thing that -- that
10 we've been discussing on the sidelines or in
11 the workgroup is last -- and I forgot to
12 mention this in the earlier presentation, but
13 last workgroup we had we asked NIOSH to present
14 some example cases of some dose
15 reconstructions. And that sort of gets down to
16 okay, you've got these models; now how are they
17 applied to different situations. They did
18 provide some example cases. I think that now
19 we're learning -- what -- what we didn't have
20 was a lot of the pieces yet, so we were -- it
21 was a little ahead of ourselves. And now we've
22 got more data about these other radionuclide
23 issues and other things, and I think the next
24 step is after we get these pieces together then
25 we can say okay, you know, for these types of

1 situations how are you going to do a dose
2 reconstruction. We'll have a better frame on a
3 -- a better picture of the potential exposures
4 that we need to address. So we do -- you know,
5 I think we still want that that piece -- or at
6 least a few more of those examples, how many we
7 -- we yet to define, but we can't really do it
8 today, I don't think, because we haven't seen
9 these 6,000 pages and some other critical
10 pieces, obviously.

11 **DR. WADE:** I think we --

12 **DR. ZIEMER:** Jim --

13 **DR. WADE:** -- can lay out the time line,
14 though, and --

15 **DR. ZIEMER:** Right.

16 **MR. GRIFFON:** Right, right.

17 **DR. WADE:** -- the steps that need to happen for
18 this to be fulfilled.

19 **DR. ZIEMER:** Jim Neton.

20 **DR. NETON:** I totally agree with Mark. I would
21 like to point out a couple of things as -- you
22 know, I think the Board and the working group
23 in particular are well aware we have literally
24 hundreds of thousands-plus records for uranium
25 urinalyses at this facility, and tens and tens

1 of thousands of film badge results and TLDs.
2 So you know, we still have to establish the
3 credibility or what we're calling now the
4 reliability of those datasets. That's key.
5 But then the remaining issues of these what I
6 call ancillary nuclide exposures is not a
7 tremendously large percentage of the workforce,
8 in my mind. I mean most in fact of the workers
9 at Y-12 were working with uranium. There are
10 these sort of -- other nuclides that are out
11 there. We do need to nail those. But I don't
12 want there to be -- you know, people to be
13 misled thinking this is a huge issue with, you
14 know, a major percentage of the workforce.
15 These are somewhat, you know, miscellaneous
16 exposures. I don't want to diminish the type
17 of exposures, but they were not normal, routine
18 operations, for the most part.
19 Oh, one other things is I was reminded just a
20 few minutes ago to point out not to focus the -
21 - on what this 6,000-page set of information
22 is. It's really 6,000 pages of bioassay data,
23 some with a lot of data, some with maybe one or
24 two. So it's not 6,000 full pages of records.
25 I mean -- you know, for every person who was

1 exposed, there is a page possibly. But there
2 may be redundant pages and such, so I don't
3 want people's expectations to be too high. I
4 mean they're certainly worthwhile to look at
5 and very good new additional pieces of
6 information, but this is not 6,000 times the
7 number of lines on a page of bioassay data.
8 That's not what we have here.

9 **MR. GRIFFON:** Right. Jim, I -- I did want to
10 point -- you mentioned thousands of -- of
11 urinalysis and other records. I think -- but
12 you also -- we -- we had asked this question
13 earlier, and just for the sake of the folks
14 here, I mean -- you did do at least a cursory
15 analysis of the claimants and determined that
16 about ten to 12 percent have urinalysis recor--
17 they would rely on the records to do the dose
18 reconstruction, as opposed to the other 88
19 percent would end up relying, to some extent,
20 at least, on the coworker models. Right? So
21 that's why we're --

22 **DR. NETON:** That's correct. Yeah, prior to
23 1961, and the further back you go, the more
24 sparse the data are, but you're right, there
25 are fewer and fewer samples and we would have

1 to use coworker data, which is why the issue of
2 the -- what percentile we're using for the
3 coworker data is important. You know, whether
4 we use the 95th percentile or the 50th. I
5 would suggest, though, that that is a matter of
6 detail to be worked out for a dose
7 reconstruction process, as opposed to something
8 that would prevent us from doing a dose
9 reconstruction at all. But that's something we
10 can take up during the working group.

11 **DR. ZIEMER:** Okay. Other comments?

12 (No responses)

13 Now the working group that had been involved
14 with Y-12 was the one we identified earlier.
15 We have to be a little careful on working
16 groups, that their tasks do not stretch out for
17 years and years and they no longer become ad
18 hoc groups but become institutionalized as
19 subcommittees. But is there any reason why we
20 shouldn't ask the current working group to
21 continue on this particular site profile and
22 SEC? That is Mark, Mike, Wanda and Robert.
23 Are the four of you willing to continue on this
24 task?

25 **MS. MUNN:** Yes.

1 **DR. WADE:** And again, the task only relates to
2 the site profile.

3 **DR. ZIEMER:** That's correct.

4 **MS. MUNN:** Yes.

5 **DR. ZIEMER:** And working with our contractor
6 and with NIOSH in the resolution of the
7 identified issues.

8 Then we will ask the working group to do that
9 in preparation for both our conference call and
10 the next meeting.

11 Now I'm not sure it would be useful for us to
12 use our time here to work out a schedule with
13 the working group, NIOSH and SC&A, but perhaps
14 Mark, you can get together with the principals
15 and find suitable times for those meetings to
16 occur. Lew, do you need that information yet
17 today or --

18 **DR. WADE:** No, I don't.

19 **DR. ZIEMER:** Okay.

20 (Whereupon, Dr. James Melius joins the members
21 at the table.)

22 **DR. WADE:** I think, again, it's been sort of
23 our method of operation that when the working
24 group meets we would -- we could either have
25 the meetings as public meetings or not, as is

1 the wish of the working group. Regardless of
2 that, we would want to make them available to
3 representatives of the petitioner and those
4 people who have a particular interest in what's
5 going on here. So I think the Board needs to
6 decide whether it wants this particular suite
7 of working group meetings to be open to the
8 public or not.

9 **DR. ZIEMER:** Robert?

10 **MR. PRESLEY:** Question. Do we want to look at
11 this site profile from 1944 to 1957, or do we
12 want to look at the site profile from 1943
13 until 19-- or 2006? Or some time up to that?
14 1957, to me, there are years that should be
15 looked at beyond that.

16 **DR. ZIEMER:** The petition as it currently
17 stands is from the '44 to '57 period.

18 **MR. PRESLEY:** Right.

19 **DR. ZIEMER:** But --

20 **DR. WADE:** The site profile.

21 **DR. ZIEMER:** Well, the petition itself is from
22 that period. Yes, Wanda.

23 **MS. MUNN:** The site profile most certainly
24 should, in my view, run from initial operations
25 to the current date. With respect to the

1 The plan is for him to present to the Board
2 and to the public, really, the current draft on
3 -- of the petition review process that the
4 Board is looking at, and then to take action on
5 that later in the meeting -- namely tomorrow.
6 That will allow the opportunity for public
7 comment on that document, if anyone so desires.
8 So Dr. Melius, if you'll proceed and give us an
9 overview of the contents of the proposed
10 procedure.

11 **DR. MELIUS:** Okay. The -- I've listed the
12 members of the workgroup up there. They share
13 the credit and the blame, I guess, for what
14 we've produced. It's myself, Roy DeHart, Mark
15 and Paul. We're all members of that.
16 The main work of the workgroup was a meeting in
17 Cincinnati, I believe in November. We had
18 about a half-day meeting involving the
19 workgroup. Several members of NIOSH staff
20 attended that meeting also. There were some
21 other observers there, I think -- I believe
22 Brad, you were there as an observer, getting
23 your orientation, so he also sat in on that
24 meeting. And based on the meeting and
25 discussion we had, then actually Lew produced

1 an outline and then I basically turned that
2 outline into a report of the working group.
3 We talked about it in our last phone call Board
4 meeting, as Paul has mentioned. And then I
5 have received some comments before and after
6 that meeting which have been incorporated into
7 the draft report. But I think we -- it's fair
8 to say we still see it as a sort of a working
9 draft report and are -- obviously welcome
10 comments of the other Board members, as well as
11 members of the public that would have some, you
12 know, views to share with us on -- on this
13 approach.

14 (Pause)

15 I think it's important in looking at this that
16 -- this is sort -- sort of a -- I will say a
17 simplified schematic of the steps involved in
18 the petition process. You know, the SEC
19 petition gets sent to NIOSH. The petition --
20 there's a review process for the petition
21 itself, basically does it qualify and so forth.
22 And after that then, once that step is -- the
23 petition has been accepted, viewed as
24 appropriate for follow-up, then NIOSH does an
25 evaluation of that petition -- does it meet the

1 requirements for an SEC -- you know, to be a
2 member of the cohort for that. And that NIOSH
3 evaluation report is then transmitted to the
4 Board and the Board reviews that NIOSH
5 evaluation. And then -- and there's some
6 permutations of this, so this is, again,
7 simplifying it. But then the Board -- based on
8 the Board's review of the NIOSH evaluation
9 report, then the Board makes a recommendation
10 to the Secretary on, you know, accepting or not
11 accepting the -- that group as a class into the
12 Special Exposure -- Exposure Cohort.

13 What we were focusing on in our workgroup was
14 really the sort of steps number three and four
15 there, the evaluation of the petition -- once
16 it's been accepted by NIOSH, the evaluation,
17 how NIOSH goes through the evaluation; and
18 secondly, how does the Board review that
19 evaluation. And our idea was to -- one, is to
20 clarify some of those steps, try to be -- make
21 it more efficient because in the past it's
22 taken us several meetings's worth of
23 deliberations and a lot of work in between
24 meetings in order to come to some sort of
25 conclusion on some of these petitions and our

1 review of the evaluations. And we needed to
2 try to make that process more efficient and --
3 which was really the -- I think the main goal
4 of our workgroup.

5 So what we did was, again, as I said, focus on
6 the steps from evaluation to recommendation.
7 We made the assumption that for the purposes of
8 this draft of our workgroup report that we'd
9 assume that the current regulations in
10 relationship to the SEC qualifications were --
11 stayed in -- were in place. I think, you know,
12 some of us may question those criteria and so
13 forth, but we made a decision that at least for
14 the purposes of this report that we'd assume
15 and work within the current regulations rather
16 than trying to look at alternative to those or
17 whatever.

18 And that we really -- the main task we thought
19 that we needed to do was to clarify the
20 criteria that the Board would be using in
21 reviewing the NIOSH SEC evaluation report. So
22 NIOSH would be presenting it -- the evaluation
23 report -- to us and how would the Board review
24 that, what criteria would the Board be using in
25 making that review. And again, the idea, if we

1 could clarify those criteria both in terms of -
2 - and to some extent the procedures involved,
3 then we thought that NIOSH would be able to
4 improve the evaluation reports and at the same
5 time we'd have a more efficient process that
6 would be more efficient for the Board to review
7 those, we could get it done quicker, and at the
8 same time, you know, give them their just due
9 in terms of being -- of a scientific review and
10 so forth.

11 Let me just go through these. We can skip
12 these 'cause they're -- the -- I think the key
13 considerations we had in sort of an overview
14 perspective of these was -- were four. One, we
15 needed to have, as I said, timeliness. We need
16 to be able to get this process done in a timely
17 fashion so that the people petitioning wouldn't
18 be waiting for too long to get a review of
19 their petition. It would also, in terms of the
20 amount of time and effort on the part of the
21 Board and time of NIOSH in addressing these
22 (sic).

23 Obviously we also need to be, you know, fair in
24 terms of how much attention we pay to each
25 petition, how much effort was put into the

1 evaluation and review for each petition.

2 **DR. ZIEMER:** Jim, is there a different slide?

3 **DR. MELIUS:** There's a different slide, yeah.

4 I skipped over it and -- unless -- put -- give
5 Jim some exercise.

6 **DR. ZIEMER:** There it is.

7 **DR. MELIUS:** There we go. Okay. Thanks, Jim.
8 Third, it needed to be understandable or
9 comprehensible. We needed to be able to have
10 whatever criteria we developed, whatever steps
11 involved to be so that the public, everyone
12 involved, understood those -- those criteria.
13 And finally, we also needed to have some
14 consistency in this, that we needed to be
15 treating everyone the same. We needed to be
16 making -- applying the same criteria, as
17 appropriate, to each petition that we received
18 so that, you know, a petition from Oak Ridge
19 would be treated the same -- by the same
20 criteria as a petition from Savannah River or
21 whatever other site may be involved. And
22 actually in the workgroup we spent some time
23 sort of fleshing those out a little bit in
24 terms of making sure that whatever criteria we
25 developed and so forth would address those

1 points and keep those points in mind.
2 One of the key points is that these evaluations
3 focus on datasets, sets of exposure data that
4 we're reviewing. They may be various kinds of
5 biological testing, may be external radiation
6 measurements, lots of different sources. But
7 usually we're viewing some collection of this
8 exposure data over some period of time. And so
9 a lot of the time -- and those of you that have
10 -- us, I guess I should say, those of us who
11 have worked through these process (sic) with
12 some of these evaluations, we really -- it's
13 really delving into these -- very specifically
14 into these datasets trying to understand them
15 and so forth and do that. So the main criteria
16 we're try to -- what we're -- how do we
17 evaluate the credibility and the validity of
18 each dataset that we're looking at.
19 So we're interested in questions like the
20 pedigree of the data, how good is it, where
21 does it come from, how is it generated -- that.
22 The methodology, is that methodology up-to-
23 date, how does it compare to maybe -- what --
24 we may have better methods now. You know, very
25 often we're going back 40, 50 years. How does

1 the methods used then compared to how we use
2 now. How well -- good was the quality control
3 and so forth on it at that point in time. In
4 many cases we're dealing with datasets -- the
5 monitoring methods are really under development
6 and the -- so we need to pay a lot of attention
7 to sort of the methods used and in making sure
8 that those are consistent with what is done
9 now, at least to the extent that -- in terms of
10 how we intend to use the data.

11 Also very important that we look at the
12 relationship of the data that we're looking at
13 to other sources of information, other sources
14 of exposure data about the facility or about
15 the people working in the facility. So to some
16 extent we want -- now some of those other data
17 sources may not be as comprehensive as the set
18 we intend to use for individual dose
19 reconstruction, but it's very important that
20 they sort of tell us the same thing, even
21 though they aren't as comprehensive. So again,
22 a lot of effort into sort of evaluating -- you
23 know, if one looks at two different sources of
24 information or exposure, do they tell you the
25 same thing about that, are the people that are

1 high in one dataset, would they -- do they show
2 high exposures in the other dataset -- that.
3 And we're also interested in internal
4 consistency there. Are we seeing the same
5 internal patterns within the dataset. You
6 know, does the basic dataset make sense based
7 on what we know about what was happening in
8 that facility as well as the methods that were
9 used there for the monitoring.

10 A key concept in looking at any dataset is the
11 representativeness of the data. And by
12 representativeness we're looking at lots of
13 different aspects of that data. We want to
14 know does the -- this set of exposure data,
15 these monitoring data, do they cover all areas
16 of the facility that we're interested in. You
17 know, if the monitoring's only done in one
18 building or one part of the facility and we're
19 trying to evaluate exposures in, you know, six
20 other parts, then that may not be a very --
21 very helpful to us.

22 We're also interested in the temporal area,
23 what time periods are covered. Does it
24 adequately cover all the time periods that
25 we're interested in. We may have a petition

1 that covers from, you know, say '54 to '60.
2 Well, do -- does this dataset we're looking at
3 -- can we utilize that in a way that provides
4 us a good -- that we can, you know, calculate
5 the individual doses for that period of time --
6 that entire period of time or are there, you
7 know, gaps in data. And oftentimes we found in
8 the past that we're spending a lot of time
9 trying to figure out well, for how many years
10 can we really trust this data or be able to
11 fairly utilize a particular dataset.
12 We're also interested in the types of workers
13 that are covered and the processes within the
14 facility. Again, this relates back both to the
15 areas covered and the time periods. But also
16 we know that there are certain groups of
17 workers that move around the facility, may have
18 different tasks. And it's important that we --
19 when looking at a dataset, we look at how well
20 all the different groups of workers are
21 addressed. Are there exposures addressed by
22 that particular dataset so that if we're making
23 some, you know, general -- trying to reach a
24 general conclusion about that yes, you know,
25 this dataset would provide exposure data on a

1 group of -- this group of workers, everybody
2 that worked in plant one or two or whatever,
3 that it really does cover everybody, all
4 different types of work there.

5 And then obviously if it doesn't, then we have
6 options in terms of how we split up the Special
7 Exposure Cohort or reach -- can reach different
8 conclusions. Or there may be other datasets
9 that more adequately cover those -- those types
10 of workers.

11 And then finally we also get into issues of
12 different, you know, subsets of the data, how
13 well can we use those. And if -- as we're
14 starting to break down the data into sort of
15 smaller and smaller subsets, using Wanda's
16 favorite word now, we have to look at how
17 robust the data is in terms of providing
18 information -- adequate information to be able
19 to evaluate the exposures of that and agree --
20 it's an abused word, but it does capture some
21 of what we're trying to get at.

22 Now in talking about data, we may be talking
23 about several different sets of data. It's
24 usually not simple. What we want to be able to
25 do are focus on what are the key sets of data

1 that are going to be critical for assessing
2 whether or not individual dose reconstruction
3 can be conducted. And we also want to be able
4 to evaluate whether it's really, you know,
5 feasible and possible to do the individual dose
6 reconstructions in some way that would be, you
7 know -- again, those issues of timeliness,
8 fairness and so forth for the people involved
9 who were exposed at that facility.

10 So in addition to evaluating the datasets and
11 looking at the criteria we talked about before,
12 we also want to actually try to look at is it
13 going to be feasible to apply that and can it
14 meet the criteria necessary for sufficient
15 accuracy under this program. So feasibility
16 takes into account, you know, how available --
17 is the data readily available. The next
18 criteria there, timeliness; can the individual
19 dose reconstructions -- can -- can access to
20 the data and whatever manipulations or
21 calculations are necessary to be able to
22 utilize the dataset, can that be done in a
23 timely fashion. If it's something that's, you
24 know, going to take years or whatever, that may
25 not make much sense in terms of actually being

1 able to do individual dose reconstructions.
2 We also want to try to avoid -- this word --
3 treatment -- this is unfair or uneven treatment
4 of different groups of workers there, so it --
5 what we're asking NIOSH to do is to, you know,
6 demonstrate to us that everybody -- that all
7 the different groups that are covered under the
8 Special Exposure Cohort petition or the
9 evaluation that's being done will be able to be
10 -- you know, have their doses reconstructed in
11 appropriately -- an appropriate manner, meeting
12 the criteria necessary for that dose
13 reconstruction. So again, it -- we're not
14 asking not only just to be able to, you know,
15 do and show that they can do dose
16 reconstructions, but that it can be done over a
17 -- different groups of workers within the
18 facility so that we have some assurances that
19 everyone will be treated appropriately.
20 And finally, something we started to do with
21 some of our more recent evaluations of Special
22 Exposure Cohort reports from NIOSH is asking
23 NIOSH to do some sample dose reconstructions.
24 Now these aren't taking actual individuals from
25 within the group that's being evaluated, but

1 taking, you know, some representative data --
2 actual data from the data-- the exposure
3 datasets that are going to be used and actually
4 demonstrating, going through the actual dose
5 reconstruction steps to show that it will be
6 possible to do that for the various -- to
7 actually do dose reconstructions for the
8 various groups involved -- do that. And so in
9 -- in our report we have some further
10 discussion of this to try to sort of set out
11 the criteria. So again, if the petition covers
12 several thousand people, we're not saying you
13 have to -- that NIOSH should be expected to do
14 sample dose reconstructions on every person
15 there, but some representative types of workers
16 or -- and so forth. Again, not doing full --
17 not necessarily individuals per se, but things
18 that would represent the types of calculations
19 and so forth that would -- needed to be done --
20 done for those individ-- similar individuals or
21 individuals with those say sort of general
22 characteristics.

23 Finally, the report recommends a couple of
24 procedural changes in the process so far. One
25 is the area of evaluating the petition. And

1 again, this is the evaluation that's done after
2 the petition has been certified. And currently
3 NIOSH prepares for us a report that, in very
4 general terms -- as soon as they've qualified,
5 a petition's qualified, then they prepare
6 what's been a very general report on what their
7 plans are for doing the evaluation. And
8 because this is done so early, this is a very
9 general report. It doesn't -- the NIOSH staff
10 hasn't really often had time to, you know,
11 evaluate what they're going to do, look at the
12 different datasets and so forth there, the --
13 so they tell very much in general what they're
14 going to be doing, but it's not very specific
15 to that -- nor can it be expected to be, I
16 think, at the point in time that they're
17 writing this evaluation plan.

18 But we think it may be helpful, both for NIOSH
19 but also for the Board in reviewing the
20 evaluation, that it -- at some, you know,
21 midpoint when -- after NIOSH has had -- staff
22 has had or their contractor staff has had
23 opportunity to think about and evaluate some of
24 the datasets and recognize what are going to be
25 the most critical parts of that evaluation, to

1 either inform the Board in some way or produce
2 maybe another-- another, more detailed evaluation
3 plan that would at least be a little bit more
4 specific about what would -- what would be
5 involved, what datasets, what types of
6 information were going to be critical to
7 assessing whether or not individual dose
8 reconstruction would be possible or whether the
9 group would qualify for -- to be part of the
10 Special Exposure Cohort.

11 So that's one recommendation, and again, this
12 is sort of a general recommendation. We really
13 haven't sat down -- figured out exactly what --
14 made a recommendation in terms of what
15 specifically would be involved here.

16 The second point is I think we've discovered in
17 doing some of these site profile reviews -- or
18 excuse me, these SEC reviews, that we often --
19 because of timing issues, because of where we
20 are or our contractor is in terms of -- the
21 Board's contractor in terms of reviewing the
22 site profiles -- that a review of the site
23 profile is very, very helpful in terms of
24 resolving a lot of the issues about the SEC
25 evaluation; that if we've at least started or

1 hopefully completed a site profile review -- a
2 review of, you know, the NIOSH site profile on
3 a particular site, it's really very helpful in
4 terms of addressing a lot of the same issues
5 that will come up with -- with an SEC
6 evaluation. So to the extent possible, we need
7 to work out a process where either that site
8 profile review gets done entirely before the
9 SEC evaluation or, where that may not be
10 possible -- and there's lots of reasons where
11 it may not be possible, both in -- again, we
12 don't want to delay the review of the petition,
13 you know, for a number of years simply to do a
14 site profile review. But where that's not
15 possible, then we need to think about maybe
16 parts of the site profile need to be reviewed,
17 the parts that are going to be particularly
18 relevant. You know, for example, if the SEC
19 petition covers -- again, I'll use the example
20 1954 to '60, well, then -- you know, that part
21 of the site profile or that particular part of
22 the facility, that site profile review needs to
23 be done at least for those parts that are
24 relevant to that petition. And again, that's a
25 sort of a general recommendation we've talked

1 about. We still need to I think make that more
2 specific and I think we all recognize that it's
3 going to be done on a case-by-case basis as we
4 go through these 'cause we can't predict every
5 SEC petition that's going to come in, nor can
6 we always predict what parts of the site
7 profile may turn out to be more or less
8 important for the -- or the site profile review
9 will be more or less important for an SEC
10 evaluation. Do that.

11 I should also add on the procedural issues that
12 we're also -- I think we're taking into account
13 recognizing that the Board's contractor would
14 also be involved in -- or could be involved in
15 the SEC evaluation that we had -- you know,
16 already really started the -- our con-- SC&A
17 working on that and that we would hopefully
18 sort of meld these two processes, that what we
19 ask the -- our contractor to do should follow
20 what we believe now to be the -- be an approach
21 that's appropriate for the Board's review of
22 the SEC petitions. And again, that should
23 hopefully make all this a much more efficient
24 and fair process.

25 So let me stop there to answer any general

1 questions. Again, the idea is, you know, to
2 present this in a very general fashion and then
3 we can talk at a later point in time about the
4 -- some specifics related to this.

5 **DR. ZIEMER:** Thank you very much, Jim, for that
6 excellent review of the document.

7 Board members, do you have any specific
8 questions for Jim at this time, or any comments
9 or major concerns about the document that you
10 want to raise at this point?

11 Roy DeHart.

12 **DR. DEHART:** I just want to have an expanding
13 comment, Jim. I think it's important -- the
14 Board understands but I'm not sure that the
15 public understands that the SEC petition really
16 could be divided and sub-grouped so that one
17 group would be certified and another group it
18 would be determined that one could do a
19 calculation of dose.

20 **DR. MELIUS:** Yeah.

21 **DR. ZIEMER:** Thank you. Other comments?

22 **DR. MELIUS:** I would just add that it's also
23 possible that even within the SEC they could --
24 the groups could be sub-grouped. There may be
25 one group for which certain types of exposure

1 data apply 'cause it's in one part of the
2 facility and we'd approach that in one way, and
3 there'd be another group that would be treated
4 differently. And we've already in some sense
5 done that in terms of the years of exposure
6 within a facility and so forth. NIOSH does
7 that to some extent themselves in terms of
8 their -- their thinking about this, so...

9 **DR. ZIEMER:** Okay. Thank you very much. We're
10 going to return to this topic tomorrow. And
11 also in that connection we also have some SC&A
12 documents that pertain to this that we'll be
13 looking at.

14 **DR. WADE:** Right. If I could make a -- maybe
15 three or four comments. The Chair is correct.
16 I mean SC&A will be presenting tomorrow on
17 performance on their contract two things.
18 They'll talk about recommended procedures they
19 have for the Board to follow. This will mesh
20 very well with what you're discussing here, and
21 I think it's important to hear that as you have
22 a full discussion. SC&A will also be reporting
23 on its initial review of NIOSH's procedures in
24 terms of reviewing SEC petitions, so I think
25 that will inform the discussion.

1 You know, you're an advisory committee to the
2 Secretary of HHS. I took the opportunity, as I
3 often do, to brief the Secretary's advisors on
4 the agenda for this meeting, and the
5 Secretary's people were very interested in this
6 item in particular and they shared some
7 thoughts with me that I'll share with you.
8 They do want to see you give this -- this issue
9 a full vetting. I think it's important that
10 we'll hear public comment here. I think
11 they're -- they're very interested in seeing
12 that this most important issue be given a full
13 vetting. They're particularly interested in
14 being sure that the incoming Board members had
15 an opportunity to participate in this process,
16 as they'll be governed by the process. So they
17 thought that was an important issue.
18 I also, as I listened to Dr. Melius' comments,
19 think it might be appropriate if tomorrow when
20 we have the discussion I could ask counsel to
21 give us just some discussion of what timeliness
22 means in the context of the Rule and the
23 context of the law. I think it would be good
24 to have that as background for the discussion.
25 I think this is a very important issue. I

1 applaud the subcommittee's -- the working
2 group's willingness to work on it, and I think
3 it devotes our time and attention.

4 **DR. ZIEMER:** Thank you. Now the Chair is aware
5 that this morning, due to the press of issues
6 in the subcommittee session, we did have to
7 omit the discussion of the individual dose
8 reconstruction reviews. And since we now are a
9 little bit ahead of schedule, I think we can do
10 that. We will take a brief break, a ten-minute
11 break, and then resume and maybe have about 45
12 minutes to work on that -- and we have some
13 time tomorrow, too, so we don't have to
14 necessarily get through it, but that would give
15 us a head start on reviewing the first three
16 sets of dose reconstruction reviews and perhaps
17 coming to closure on some of those, as well.
18 So let's take a ten-minute break and then we'll
19 work on through to maybe just a few minutes
20 past 4:30, so come back in ten minutes if you
21 could and we'll resume from there.

22 (Whereupon, a recess was taken from 3:40 p.m.
23 to 3:52 p.m.)

INDIVIDUAL DOSE RECONSTRUCTION REVIEWS

(SETS 1,2 AND 3) DISCUSSION/CLOSURE

MR. MARK GRIFFON, ABRWH

DR. HANS BEHLING AND MS. KATHY BEHLING, SC&A

MR. STUART HINNEFELD, NIOSH

1 **DR. ZIEMER:** Okay, let us proceed. Board
2 members, in your -- in your agenda book you'll
3 find a tab called individual DR. There is a
4 document in your notebook with the matrices.
5 There's a matrix for cases one through 20.
6 There's a matrix for cases 21 through 38 and
7 then a matrix for cases 39 through 60. What
8 I'm going to do is ask Kathy and Hans from our
9 contractors to give us a review, status report
10 and update on where we are on all of these.
11 I can tell you that the matrix that you have
12 for cases 21 to 30 (sic) at the present time
13 does not have too much information.

14 **DR. WADE:** It has NIOSH's comments.

15 **DR. ZIEMER:** It has NIOSH's comments. It does
16 not have anything on resolution or that kind of
17 thing, but Kathy can give us an update on where
18 we are on these various things.

19 I also know that, Board members, you just
20 received -- I think maybe in the past week --
21 the matrix for cases 39 through 60, and I
22 believe within the last couple of days there
23 were some additional modifications of that
24 report, so we'll get updated on that.

25 So I think today will pretty much just be

1 status report on these, and then we'll come
2 back to these tomorrow, see what particular
3 actions may be needed.

4 So Kathy, if you're ready to go or -- not quite
5 ready? Okay.

6 **MS. BEHLING:** (Off microphone) (Unintelligible)

7 **DR. ZIEMER:** Hang on. Stand by.

8 (Pause)

9 **MS. BEHLING:** Is it on? Okay.

10 **DR. ZIEMER:** Lower it a little bit. It's a
11 boom type.

12 **MS. BEHLING:** Okay, that's good. Okay.

13 All right. I'm Kathy Behling. I wasn't sure I
14 was going to give this presentation so I hope
15 you'll bear with me.

16 First of all I'll just give you a status as to
17 where we are with regard to the dose
18 reconstruction reviews that we've reviewed so
19 far. We've had three sets of cases over the
20 past year. The first set of 20 cases that have
21 been reviewed and have been through the full
22 resolution process, and I believe the Board has
23 discussed all those issues and I don't know if
24 there's any final issues that you need. I
25 believe there was a discussion point on

1 possibly a letter that needs to go to HHS, and
2 that may be the final resolution item for our
3 first set of 20 cases.

4 The second set of 18 cases we have also
5 submitted our report. We've held Board
6 meetings on those reports. We've submitted the
7 matrix to NIOSH and to the Board, and we've
8 also had a working group meeting with NIOSH and
9 -- to discuss the findings associated with the
10 second set of cases. We were awaiting
11 hopefully -- hoping that we would get the
12 responses -- the written responses from NIOSH
13 prior to this meeting, and I think Stu has put
14 on the table those responses, but we really
15 haven't had an opportunity to review those at
16 this point in time.

17 The third set of cases we have submitted a
18 draft -- the third set was a set of 22 cases,
19 and that's what I'll give you a brief overview
20 today on. Those cases, we've submitted a draft
21 report, then we held our conference call
22 meetings with the assigned Board members.
23 We've made changes to that report based on
24 those comments, and just as of the end of last
25 week we submitted our matrix to the Board and

1 to NIOSH regarding the findings associated with
2 those 22 cases. I'm sure that NIOSH has not
3 had an opportunity to spend any time looking at
4 those findings at this point.

5 And finally, we're currently working on the
6 fourth set of 20 cases and we are nearing
7 completion on that. In fact, one of the items
8 that we're hoping that we will accomplish
9 during this meeting is -- prior to us sending
10 out the draft report on the fourth set, we
11 thought it would be a more efficient process to
12 send out individual reports to the assigned
13 Board members, have our discussion with those
14 Board members, and then publish our report.
15 We're going to need to know at some point in
16 time because we would like -- I think we'll
17 possibly be in a position to attempt to set up
18 those meetings maybe the fir-- the second week
19 in February, so we'll need to know, with the
20 new Board members, whose position they will be
21 filling so that we know what cases they should
22 be assigned.

23 Let's see here -- okay. With regard to -- I'm
24 going to give you a little bit of an overview
25 on the third set. I'm going to repeat some

1 things that you've heard before. This is for
2 the benefit of the new Board members and also
3 it's just a good reminder to let everyone know
4 what we do when we go through this dose
5 reconstruction process.
6 First of all, we look at all the data that
7 NIOSH looks at, and the ORAU people look at.
8 We get all the files. We look at all the same
9 data. We take the IREP input sheets and up to
10 this point in time, and you'll hear a little
11 bit more about this later, we attempt to
12 reproduce most of the doses or all the doses.
13 If we can't reproduce all the doses, we do a
14 spot check or a selection and we're -- like I
15 say, we'll get into that a little bit furth--
16 more detail later on. When we look at did the
17 dose reconstructor -- not only do we reproduce
18 the dose, but we look at did the dose
19 reconstructor use the appropriate procedure and
20 did he understand and apply that procedure
21 correctly. And then finally we obviously try
22 to ensure that the regulations -- that they
23 accomplish the regulations and that the
24 assumptions used in the dose reconstructions
25 are fair, consistent and well-grounded and best

1 available science. And the lastly we look at
2 the CATI report and we look at all the data
3 that was received by NIOSH and we try to assure
4 that everything that was identified on that
5 CATI was also looked at by NIOSH, that NIOSH
6 received DOE records associated with everything
7 that the -- the claimant states they were
8 involved in at that facility or while they were
9 working there -- if they were monitored, if
10 they had bioass-- gave bioassay samples, all
11 those types of things. We try to verify that
12 that was looked into.

13 And in fact, for this particular set of cases,
14 20 of the 22 cases were considered advanced
15 reviews. And in these cases -- this is where
16 that last issue of the CATI report -- we were
17 able to at least suggest in these, this third
18 set of cases, that there may be some areas
19 where NIOSH or SC&A can expand on the
20 information that was available to us and by
21 looking at these CATI reports we often see --
22 or we have seen in some of these cases where
23 some of the data is not always available, and
24 this has been the one area that we were able to
25 expand upon in the advanced reviews.

1 Okay, here's something that we've talked a lot
2 about, but it warrants repeating because it's
3 so important to this process. And listening
4 today I still hear that there's confusion over
5 this issue. There's confusion over which
6 procedures are being used and how the whole
7 dose reconstruction process is going forward.
8 The first thing that happens with a dose
9 reconstruction is it is looked at by a group at
10 ORAU that makes a determination based on the
11 cancer and based on the preliminary look at the
12 dose as to does it appear that this case is
13 going to fall into one of these three
14 categories, and the first category being a
15 minimized dose reconstruction where dose
16 reconstruction, for the sake of efficiency,
17 does not have to calculate all the dose because
18 it becomes apparent that there is sufficient
19 dose to put that individual over the 50 percent
20 POC. So for efficiency purposes, they do not
21 need to calculate all the dose, even though
22 they realize that there's more dose there that
23 could have been added to that case.
24 The second category is the one we see the most
25 of or that we've seen the most of in these 60

1 cases, and that's the maximizing approach. In
2 this case the dose reconstructor, when he's
3 given a dose reconstruction that he knows is
4 going to be a maximizing dose, or he assumes
5 that it's going to be a maximizing dose, he's
6 using a set of procedures -- he can use, he
7 should use a set of procedures that are
8 specific to that case and to that situation --
9 there are procedures such as the maximum
10 internal dose for complex-wide cases, TIB 2;
11 TIB 8 and 10 that look at the external
12 dosimetry records -- that he will use will use
13 specifically and only when he is -- when he
14 knows he's dealing with a maximizing dose
15 reconstruction because in that case the dose
16 reconstructor is attempting to show that even
17 if we give all the benefit of the doubt to this
18 claimant, he still does not go over that 50
19 percent.

20 And then the last case, which Hans will discuss
21 a little bit at the end -- as our final slide.
22 On our fourth set of cases now we are seeing
23 some true best-estimate cases where both the
24 internal and external have been -- were
25 assessed in a very detailed approach. Here's

1 in the advanced cases, and cases 41 through 60
2 were advanced. But as you can see in my DR
3 type column, all of these cases were, again,
4 either maximized or in the one case of tab 47
5 was minimized. And so when you have these
6 maximizing cases, as I said, there is a lot of
7 conservatism built in, a lot of fat built in.
8 So even when we have a finding where we might
9 say that they didn't consider all the dose,
10 because of the excess that's built into these
11 cases it doesn't always have the impact that
12 you might imagine it to have.

13 Okay. In this -- in this slide I just wanted
14 to break down for you -- this is the breakdown
15 that we use in our checklist, and these are the
16 categories of types of information that we look
17 at. First of all, the data collection issues,
18 did NIOSH get all the data that they requested
19 from DOE and did they get enough data to
20 actually complete this dose reconstruction
21 adequately. External dose issues, internal
22 dose we look at, and also again the CATI
23 information. And I've identified here each of
24 our cases and where our findings fall under
25 those various categories. And as you can see,

1 the majority of findings do fall under the
2 external dose -- external dose issues.
3 Okay. Here -- this gives you a basic breakdown
4 of root cause of a lot of the findings that
5 we've had up to this point in time. And as you
6 can see, the misinterpretation of procedures is
7 a 30 percent -- it's 30 percent of the
8 findings. As we've alluded to, at this point
9 in time the -- ORAU is using these dose
10 reconstruction tools, or what we refer to as
11 workbooks. They've had these workbooks for a
12 long time. They haven't always been used. I
13 sat through a portion of the training portion--
14 of a program, the dose -- that the dose
15 reconstructors sit through to train them on how
16 to be -- to do dose reconstructions and the use
17 of the workbooks. And it was very clear that
18 they are definitely pushing the dose
19 reconstructor into using the workbooks much --
20 you know, it's a much more efficient process.
21 It makes things more consistent, and it's a
22 much easier approach for the dose
23 reconstructor. So the findings -- a lot of the
24 types of findings that you see on this pie
25 chart will possibly go away, or at least be

1 reduced, when the dose reconstructors are using
2 these workbooks almost exclusively. And as I
3 mentioned, under Task III we have started to
4 look at -- I think it's going to be very
5 critical in the future for us to evaluate the
6 workbooks and to ensure that the workbooks are
7 using -- are appropriately using the
8 information in the procedures and are
9 appropriately using the information in the
10 Technical Basis Documents.
11 I'm not going to go through all of the
12 different findings. If anyone has any
13 questions I can point to you which tabs -- give
14 you examples for each of those different groups
15 of findings as to which tab you can see an
16 example of that particular finding.
17 And then -- at least lastly for me -- I've
18 collated all 60 cases. And this time -- as
19 opposed to last time -- I got the color coding
20 correct on my pie chart. And you can see
21 again, the statistics haven't changed much.
22 When we add in the 60, there's a few additional
23 pieces of the pie, such as the calcu-- early on
24 there were some minor calculational errors,
25 procedures that were not referenced and that

1 type of thing that you'll see on this pie chart
2 that were not on the third set of 20 cases --
3 22 cases. But by and large the statistics here
4 stay the same.

5 The only thing that you may notice that's
6 changed quite a bit is where we could not, as a
7 reviewer, reproduce the dose. I believe in our
8 22 findings that number was two percent, and
9 here you'll see 14. The reason for that
10 difference in -- between two and 14 percent is
11 during that second set of cases was the first
12 time that we encountered where the dose
13 reconstructor had done a best-estimate for the
14 external dose and we were not aware that this
15 workbook was being used and it raised a lot of
16 questions. We could not reproduce a lot of
17 information there, and so that's what increased
18 that particular statistic, but we did not see
19 quite as much of that.

20 And I believe that summarizes the third set,
21 and I'll just let Hans give you the final
22 overview and a little bit of a discussion as to
23 what we're finding on this fourth set of 20
24 cases.

25 **DR. ZIEMER:** Yes. Before you cover the fourth

1 set, I want to ask a question that probably the
2 Board members know the answer to, but it's
3 important to get it on the public record. Of
4 all of these findings, how many of them, if
5 any, would have resulted in a change in the
6 compensation to a worker?

7 **DR. BEHLING:** This is exactly --

8 **MS. BEHLING:** That's what Hans is going to
9 cover at this point and I will tell you that
10 the answer -- I'll give you the last slide
11 here. At this point in time, as Hans will
12 discuss with you, we've been dealing -- and
13 this was the point -- strong point I was trying
14 to make is that we've been dealing with minimum
15 and maximum dose reconstructions, and there was
16 a lot of fat built into these maximum dose
17 reconstructions. And even in cases where we
18 can determine that they may not have included
19 what we -- that -- all of the neutron doses,
20 which you'll see in some of these cases, if
21 they go back and reassess those cases they're
22 going to reassess that based on best-estimate
23 procedures now, and they will no longer use
24 ORAU TIB 2 and 8 and 10. I'm probably giving
25 half of your --

1 **DR. BEHLING:** Stepping on my toes.

2 **MS. BEHLING:** Okay, but I do want to make one
3 point, that the benefit of these 60 cases has
4 been that the dose reconstructor in some cases
5 does have the option, if they want to, of going
6 to those procedures and manually going through
7 this dose reconstruction. It's not going to be
8 something that they're going to necessarily
9 want to do because the workbooks is going to
10 make it so convenient for them not to do that.
11 But one thing that we have accomplished in
12 these 60 cases, and it's also part of our Task
13 III, is I think we've pointed out to NIOSH that
14 there is -- these procedures are complex and
15 they're not clear. And as you heard earlier,
16 NIOSH has conceded that they are going to try
17 to introduce more clarity into the procedures,
18 and so that has been a benefit. As you see,
19 our primary finding -- findings for 30 percent
20 was misinterpretation of procedures, and those
21 procedures still do need to be clarified and to
22 make it easier for the dose reconstructor.

23 **DR. ZIEMER:** Okay, Hans.

24 **DR. BEHLING:** Yeah, she took most of my points
25 away from me. I was just going to summarize

1 it, and I think the question really is what
2 have we learned to date. And as you've just
3 heard, we've had the opportunity now to review
4 a total of three sets and we're well on our way
5 to completing our fourth set, and at this point
6 in time we can draw certain conclusions. Most
7 of the audits that we've performed were
8 essentially a few minimized dose
9 reconstructions where a partial dose
10 reconstruction was only necessary to get you
11 over the 50 percent mark. But the most of --
12 the bulk of the audits were in fact maximized.
13 And as Kathy clearly pointed out, these dose
14 reconstructions involve an awful lot of gifts,
15 that I -- you could call, gifts in the sense
16 where the assigned doses far exceed what is
17 reasonably, logically the dose that the person
18 really received.

19 And when you find faults with -- or when you
20 have findings with those, the impact, as you
21 alluded to, is questionable because, as Kathy
22 had pointed out, too, when you have a TIB 2
23 dose reconstruction that involves a
24 hypothetical either high five or the 12/28
25 radionuclides, frequently the person who was

1 never even monitored for internal exposure was
2 given a give of 16, 18 rem to an organ, which
3 of course is probably a vast dose that didn't -
4 - never existed. And so when you find a fault,
5 as she pointed out, where there was a
6 deficiency where perhaps a few missed doses
7 were not properly calculated is -- is
8 overshadowed by this huge dose that has been
9 given. And of course if it comes down to the
10 point where, in context with those errors, you
11 are now actually approaching or exceeding 50
12 percent, the recourse for NIOSH is to say well,
13 that gift is coming back. And so the answer to
14 your question, Dr. Ziemer, is that to date, of
15 all the 60 cases we have had, I would venture
16 to say, and with a high degree of certainty,
17 not one of them would be changed in context
18 with the findings that we have identified.
19 Nevertheless, these findings do point to
20 certain things that I think for the sake of
21 process credibility needs to be looked at. I
22 don't think you can afford to continue to
23 ignore even marginal errors that have no
24 impact. It just doesn't look right when you
25 commit certain errors, even if they have no

1 impact.

2 And having said that, our checklist has
3 obviously made that very clear. If you look at
4 each of the sets of audits you will see in each
5 one in the executive summary there's a
6 checklist, and you will always see that the
7 impact has very -- it is a very low impact. In
8 other words, we don't anticipate anything that
9 would potentially have converted a non-
10 compensable to a compensable case.

11 And at this point we are now in a fourth set,
12 and for the first time we have encountered the
13 best-estimate methodology, and I have to say
14 I'm impressed. It's detailed, it's very
15 complex, and it is extremely tedious. It's,
16 I'm sure, very tedious for the dose
17 reconstructors who've had to go through that
18 exercise when you talk about somebody who has
19 served 30 years at a facility, who has hundreds
20 of urinalysis, dozens and dozens of chest
21 counts, whole body counts, neutron exposures,
22 photons exposures, and their records are just
23 unbelievable in terms of the volume of records
24 that now have to be assessed -- not in some
25 blanket fashion, but actually modeled. And so

1 when I realize we're now at a new era, we're
2 talking about a dose reconstruction that has
3 probably cost an awful lot of time for the
4 people who are doing it, and it's going to cost
5 us a lot of time to review it.
6 And of course here now we're dealing with a
7 situation where best estimates are usually
8 invoked in situations where the potential
9 exposures will lead to a POC somewhere between
10 45 and 50 percent. Now here's where you have
11 to be very careful in looking at every aspect
12 of the dose reconstruction process because at
13 that point there is no buffer to work with. In
14 other words, they're not going -- if I find a
15 serious error here, there is no compensation
16 that says well, then I'll take away my
17 hypothetical internal and that amounts to 16
18 rem and you're only talking about one or two
19 rem, and so of course we're back to square one.
20 In the best estimate that will not be the case,
21 and we will probably have situations where we
22 will be looking at these findings. We will not
23 do the POC calculation. That will go back to
24 NIOSH. But I can assure you that there will be
25 some instances where you are at 48 percent POC

1 and the findings that I've identified will
2 certainly bring you dangerously close, if not
3 over that limit. We will not proceed beyond
4 the point of identifying these findings for
5 you.

6 So all in all, we are now at a new position in
7 our dose audits because we are now going to
8 probably looking at more and more best
9 estimates, and of course they will require a
10 lot more detailed scrutiny on our part to
11 assess.

12 As Kathy also mentioned, the use of workbooks
13 have all but eliminated many of the errors we
14 found under the min/max approach. We find them
15 to be extremely useful. They're relatively
16 easily audited. They make use of computer
17 codes, Crystal Ball, where certain things, for
18 instance, in the past where uncertainty was a
19 key issue. We had identified early on many of
20 the dose reconstructions when there was a dose
21 of record; that is, we had deep dose recorded.
22 But few people knew or could understand the
23 procedures for determining what is the
24 uncertainty in behalf. They either defaulted
25 to a maximized approach by multiplying all

1 recorded dose and assuming that's the 95th
2 percentile, which exempted them from the use of
3 a parameter two introduction, or they simply
4 ignored it. Now I look at Crystal Ball and
5 there are methodologies that are built into the
6 system that make use of Crystal Ball that
7 calculate all those things for them. So the
8 use of workbooks have certainly eliminated many
9 of the concerns we found in the first 60. And
10 unless we get additional audits that go back or
11 were performed prior to the introduction of
12 workbooks, I don't expect to see too many of
13 these problems arise in the future.

14 **DR. WADE:** Thank you. Thank you, Hans, very
15 much -- and Kathy very much.

16 **DR. ZIEMER:** Okay, Board members, do you have
17 any questions for Hans or Kathy today?
18 I'd like to ask if -- will we have, by the end
19 of this meeting this week, any updates on cases
20 21 through 38? Do we have --

21 **DR. WADE:** I think we --

22 **DR. ZIEMER:** -- we have the NIOSH responses --

23 **DR. WADE:** We have the NIOSH response. I think
24 now the workgroup needs to get together and
25 begin to work to closure --

1 chance to -- to have a comfort break. But
2 we'll then begin, if that's agreeable, at 4:30
3 and proceed with the public comment period
4 right away so people don't have to just wait
5 around for an hour.

6 **DR. WADE:** For the record, we'll still be in
7 public comment period come 5:30 so if there's
8 someone who's coming back at 5:30, we would
9 accommodate them, as well.

10 **DR. ZIEMER:** Right.

11 **DR. WADE:** This way everyone can be
12 accommodated.

13 **DR. ZIEMER:** Okay, let's recess briefly and
14 then we'll begin -- in about five minutes begin
15 the public comment period.

16 (Whereupon, a recess was taken from 4:25 p.m.
17 to 4:35 p.m.)

18 **PUBLIC COMMENT**

19 **DR. ZIEMER:** Okay, as we begin our public
20 comment session, let me make just a few remarks
21 and maybe talk about some ground rules here for
22 us. We do have around 20 people that have
23 asked to speak. You can do the math and you
24 see that if we have just an hour, that doesn't
25 give anybody very much time. We can -- we can

1 go over a little bit. We also have some
2 members of the public who wish to comment from
3 afar and will be calling in at 5:30, and we
4 need to accommodate them, as well.
5 The public comment period is an opportunity for
6 you to share your views and concerns with the
7 Board. Let me tell you that -- or remind you
8 that this Board is not the group that does the
9 dose reconstructions, or makes the
10 determinations of who is eligible for
11 compensation, nor does this Board review --
12 we're not a review board for cases which are
13 turned down. That is, we are not an appeals
14 board. We are a Board that has very specific
15 and somewhat -- and well-defined
16 responsibilities in terms of overseeing,
17 reviewing what the federal agencies are doing -
18 - more specifically, NIOSH -- and you've seen -
19 - those of you who've been here today recognize
20 some of that. We do review a certain number of
21 dose reconstructions from what you might call
22 an audit point of view to determine if NIOSH is
23 following the proper procedures -- its own
24 procedures -- appropriately. And from that we
25 make determinations as to whether there are

1 changes needed in the program and that sort of
2 thing. But we do not handle the individual
3 cases.

4 We are pleased to have you share concerns about
5 your own case, but keep in mind as we hear that
6 information we look at it from the point of
7 view of what in the system isn't working well.
8 We're -- if you have concerns about whether
9 your information is being properly handled or
10 listened to or whatever, that helps us to
11 determine whether the system is working well
12 and where fixes need to be made.

13 If you have detailed concerns about your
14 individual case, you need to work directly with
15 the NIOSH people, and there are some of those
16 here today. If they don't have the information
17 you need or the answers, they will get them for
18 you. So keep that in mind as we proceed.

19 And again, be cognizant of the fact that there
20 are other folks who also wish to be heard, so
21 you need to be as concise as you can. We would
22 ask you just to come -- you can use the mike in
23 the aisle there or you're welcome to come to
24 the mike in the front, whatever you're most
25 comfortable with, and proceed from there.

1 And I'll just take these in the order that
2 people signed up. And if some are not here
3 because they thought that they would be
4 starting at 5:30, we'll come back and catch
5 them toward the end. First, Kenny Cook. Is
6 Kenny here?

7 **UNIDENTIFIED:** No. He -- he's expecting to be
8 here by 5:30.

9 **DR. ZIEMER:** Okay, we'll catch Kenny then
10 later.
11 Larry Jones?

12 **MR. JONES:** Yes, I'm Larry Jones. I'm the ATLC
13 health and safety rep at Y-12. I'm also a
14 painter by trade. I'd like to read a little
15 thing here.

16 (Reading) The workers and former workers at the
17 Y-12 site need to be treated as an equal to the
18 former workers and present workers of the K-25
19 site. The K-25 site and others have a special
20 cohort for a number of cancer, that number at
21 this time is 22. If you worked at one of these
22 sites for over 250 days and become sick with
23 one of these approved cancers, you are put in
24 the special cohort, which makes the cancers an
25 occupational illness, which makes it possible

1 to receive a compensation. All the ATLC is
2 asking is to be treated as equal to the past
3 and present workers of the K-25 site.
4 Remember, we, the past and present workers at
5 the Y-12, helped to win the cold war.
6 Okay, on another note, I filed under my father
7 that passed away in '79, and I'd like to give
8 just a little information -- a brief. The
9 phone interviews that are -- you know, that
10 they call and ask the wife, my mother -- I
11 looked at what she give to the answers to the
12 questions, and they was eight questions. I
13 don't know, I don't know, he did not tell me,
14 he was an engineer, he worked at X-10. Any
15 other -- further comments, I have none. And
16 that -- that was the extent of the phone
17 conversation.
18 Well, then the other thing, I was looking at
19 the dose reconstruction. My father worked at
20 X-10 -- I mean worked for X-10 at the Y-12
21 plant. Well, the dose reconstruction was done
22 for an employee that works at X-10. Well, you
23 know, that's one valley over. There's no way
24 that you could have a possible -- I mean high
25 or low, it couldn't be right. I mean it just

1 couldn't be right. So I mean -- and this isn't
2 something they did in the last -- three or four
3 years ago. This has happened this past year.
4 Something on this special cohorts that were
5 working and -- and I see pipe-fitters only and
6 stuff, I'd like to tell that every -- all the
7 crafts out there worked in the machine shops,
8 the chemical areas. It wasn't just a spot over
9 here and just a few people worked. You know,
10 you had a flow of all maintenance. You know,
11 because you say maintenance, you're thinking
12 maintenance on a machine. Well, that's not
13 true. You've got the whole building that you
14 do maintenance on. So you have groups of guys
15 that come from east end to the west end, you
16 know, that might have spent a year in a
17 particular building. So that's -- that's the
18 things that I don't think are really thought
19 about. You know, you have people that traveled
20 the whole plant. And then you have employees
21 that's been out there 30 years, well, there's
22 no doubt that in the maintenance departments
23 they've worked in every building for a
24 continued time. So that's something I'd like
25 to -- to consider. That's my comment.

1 **DR. ZIEMER:** Thank you very much. And with
2 regard to your one comment on the working at Y-
3 12 even though assigned to X-10, and I know
4 there are a number in that category, if in fact
5 you believe there are factual errors in the
6 record, please work with one of the NIOSH --

7 **MR. JONES:** Sure.

8 **DR. ZIEMER:** -- people to --

9 **MR. JONES:** Sure.

10 **DR. ZIEMER:** -- to make sure that that
11 correction is addressed.

12 **MR. JONES:** Sure.

13 **DR. ZIEMER:** That will apply to anyone here if
14 those kind of things arise. Thank you, Larry.

15 **MR. JONES:** Thank you.

16 **DR. ZIEMER:** Barbara Walton.

17 **MS. WALTON:** I want to start by thanking you
18 for meeting here and for all the good work
19 you're doing, and for helping me to understand
20 the process better.

21 I was born in Bethlehem and my -- I have three
22 generations of family members who worked for
23 Bethlehem Steel. However, I didn't find out
24 till I got here today that -- is that you're
25 only considering the Lackawanna and that wasn't

1 on your agenda because the *Federal Register*
2 notices leave something to be desired, which is
3 not your fault. But if -- if you can get NIOSH
4 to get you to review them, it might help.
5 But I do want to point out that Bethlehem Steel
6 went bankrupt and was later sold, and so there
7 may be some critical -- criticality to
8 timeliness of that because people lost their
9 health benefits. At that time that they were
10 sold, my father was deceased and he did have
11 cancer, and my mother did, too, and there were
12 exposures at Bethlehem plant, also, and I'll
13 say a few words about that. I don't want to
14 take up the time 'cause most -- you know, the
15 Y-12 is the main thing here.
16 But anyway, my mom lost her health insurance
17 because of the sale. Now the pension money was
18 put separately so -- you know. So anyway,
19 timeliness may be a consideration when you get
20 to the letters from the Congresspeople and all.
21 Now with regard-- I'm -- I think it's great that
22 you have data for the people who worked here,
23 because there are other occupations who also
24 were involved in defense during World War II
25 and my -- you know, I was born before -- before

1 the war started and my dad worked on defense in
2 the steel industry at the Bethlehem plant. He
3 worked in the coke division where he -- the
4 high -- I think the exposures in -- at the
5 Bethlehem plant were probably higher there
6 because of coal with all the carcinogens and
7 radiation, and the proximity that they would
8 get to the -- you know, and this is over a long
9 period of time. But not only was he exposed,
10 my mother was also exposed because his dirty
11 clothes came home.

12 Now I think that probably that did not happen
13 here -- I hope it didn't happen, I mean, 'cause
14 I think they had safety clothes for people they
15 thought would be exposed. But people didn't
16 recognize how close coal, you know, was. And -
17 - and it was closer proximity in a coke oven
18 than it would be in a steam plant that
19 generates electricity because there you have an
20 automated process where people are further
21 away. So I just wanted to bring that to your
22 attention and I would hope that someday NIOSH
23 might consider other types of -- other groups
24 other than working with the actual nuclear
25 materials. Thank you.

1 **DR. ZIEMER:** Thank you very much. Next we have
2 Kathy Bates.

3 **MS. BATES:** Do you mind if I stand at the
4 lectern?

5 **DR. ZIEMER:** That's fine.

6 **MS. BATES:** Well, my name is Kathy Bates, and
7 thank you for this opportunity to speak to you
8 this evening. I'm reading a statement that I
9 have prepared for the session, and I'm speaking
10 on behalf of my mother, Mildred Gore.

11 (Reading) My understanding is that the Advisory
12 Board is charged with overseeing and giving
13 advice on the dose reconstruction process,
14 specifically advice to the program in terms of
15 whether dose reconstructions are being done
16 properly. You've talked a lot today about the
17 procedural aspect of dose reconstruction. I'd
18 like to make some comments on the actual
19 execution of the process.

20 My father, James Gore, worked at the Y-12
21 facility from August 1968 to October 1994. He
22 was diagnosed with ocular melanoma in July 1977
23 and died in April 2001 from the melanoma that
24 had metastasized to the liver. My mother filed
25 a claim under EEOICPA for my father in January

1 2003. We received the recommended decision
2 dated November 17th, 2005 denying the claim.
3 We filed an appeal on January 10th, 2006 and
4 requested an oral hearing on our objections.
5 To date we have not received a response from
6 NIOSH regarding the appeal, but I recognize
7 that this may take some time.
8 My comments address the dose reconstruction
9 process defined in 42 CFR 82, using our case to
10 illustrate some issues. Specifically we stated
11 three objections to the recommended decision.
12 The objection letter to NIOSH totals 15 pages.
13 If appropriate, I would be happy to provide you
14 with copies of that. If I went through all of
15 the issues in detail in our objection, I would
16 consume this entire public comment session so I
17 will try to briefly summarize key points.
18 The first objection is with respect to 42 CFR
19 Section 82.10 which outlines the requirements
20 for a closing interview. My mother was not
21 afforded the opportunity to review the draft
22 dose reconstruction report for my father prior
23 to the closing interview, and did not have the
24 opportunity to provide additional relevant
25 information that may affect the dose

1 reconstruction during the closing interview
2 process. She was initially sent the wrong
3 report. It was for a security guard in
4 Paducah, Kentucky. Her closing interview was
5 conducted even though she did not have the
6 report for my father.

7 The second objection is with respect to the
8 dose reconstruction estimate for my father.
9 The draft dose reconstruction report provided
10 to my mother is very disconcerting. It is
11 stated in the draft dose reconstruction report,
12 quote, since no monitoring records were
13 available, the maximum 50th percentile dose for
14 each given year of employment from Oak Ridge
15 National Laboratory, Oak Ridge Gaseous
16 Diffusion Plant, Hanford Site, Paducah Gaseous
17 Diffusion Plant, Savannah River Site, and
18 Portsmouth Gaseous Diffusion Plant was assigned
19 as an unmonitored dose for Mr. Gore.

20 We object to NIOSH's assertion that my father
21 was an unmonitored employee. Again, 42 CFR
22 Section 82.10 provides an excellent high level
23 of review of the steps NIOSH is supposed to
24 take in developing a dose reconstruction
25 estimate. It is not apparent in the dose

1 reconstruction report for my father that NIOSH
2 obtained any information relating to my
3 father's employment history at Y-12 for his 26-
4 year career, which according to 42 CFR Section
5 82.14 should include, quote, job title held by
6 year and work locations, including site names,
7 building numbers, technical areas and duration
8 of relevant employment or tasks, end quote.
9 The section also includes numerous approaches
10 to developing a dose reconstruction when
11 information is missing or incomplete. If there
12 was an attempt to create a reasonable estimate
13 for radiation dose reconstruction following the
14 procedures outlined in 42 CFR 82, it is not
15 apparent from the information NIOSH provided in
16 the report.

17 NIOSH asserts that no external or internal
18 monitoring records were available from DOE for
19 my father. My mother did provide information
20 in the initial telephone interview that my
21 father routinely wore a dosimetry badge, but
22 apparently her information was not considered,
23 quote, reasonable, supported by substantial
24 evidence and is not refuted by other evidence,
25 end quote, as required in 42 CFR 82.10(e).

1 However, NIOSH apparently felt that the
2 information provided by my mother with respect
3 to my father's job title was reasonable. In
4 the initial telephone interview she stated he
5 was a, quote, weapons production supervisor,
6 end quote, and this is the job description
7 NIOSH references throughout the report. They
8 even acknowledge that this information came
9 from her.

10 Based upon this job description NIOSH makes
11 numerous assumptions to support the dose
12 reconstruction for my father as an unmonitored
13 employee. The report states, quote, As a
14 weapons production supervisor -- engineer, in
15 parentheses -- Mr. Gore's work location is not
16 known, end quote. And later in the same
17 paragraph, quote, External electron radiation
18 was not considered in this dose reconstruction
19 because Mr. Gore did not work directly with
20 radioactive materials, end quote.

21 NIOSH apparently had no records indicating
22 where my father worked. But going on my
23 mother's statement that he was a, quote,
24 weapons production supervisor, end quote, they
25 made the assumption that he did not work

1 directly with radioactive materials. In the
2 initial telephone interview my mother provided
3 the name of at least one of my father's
4 supervisors that she was aware of. It is not
5 apparent in the report that NIOSH made any
6 attempt to contact this individual to
7 substantiate or refute information provided by
8 my mother, as should have occurred per 42 CFR
9 Section 82.10(e)(4).

10 There are several other issues that are
11 outlined in our appeal with respect to the
12 specific objection. In the interest of time I
13 will not go through them here.

14 The third objection is to NIOSH's statement in
15 the notice of recommended decision that the
16 probability of causation for the primary colon
17 cancer was determined to be 25.40 percent. My
18 father did not have colon cancer, nor was he
19 ever diagnosed with colon cancer. Obviously
20 this is a form letter where Mr. Gore's
21 information was input into the letter. This is
22 an unacceptable error. This type of error
23 causes grave concern regarding the quality
24 control and quality assurance associated with
25 this process.

1 Lastly on the recommended decision, it looks
2 like the file number is supposed to be my
3 father's Social Security number. However, if
4 this is what it is, it is incorrect. His
5 Social Security number is recorded properly in
6 the transcript of the initial telephone
7 interview and on the draft dose reconstruction
8 report. Is this error of any significance?
9 In my father's case it appears that there was a
10 significant failure of the process. My
11 mother's information that my father routinely
12 wore a dosimetry badge was not apparently
13 considered reasonable, and was therefore not
14 considered by NIOSH. How is this favorable to
15 the claimant? Does the burden of proof lie
16 with the claimant, who in this case is my
17 mother? My father did not discuss his job with
18 my mother, or with me, or with my brothers.
19 What little information we know about his
20 employment history my mother has provided to
21 NIOSH. Unfortunately, my father is deceased
22 and cannot recreate his job history for NIOSH.
23 I do not believe that NIOSH had any employment
24 records for my father based upon statements
25 made in the draft dose reconstruction report.

1 Exactly what information did NIOSH base my
2 father's dose reconstruction on, other than my
3 mother's statement that he was a weapons
4 production supervisor? If, as NIOSH asserts,
5 there were no DOE records of monitoring, and
6 apparently there was no information relating to
7 his job history or where he worked, how could
8 any type of assumptions be made that he was an
9 unmonitored employee? It is conceivable that
10 all of my father's records may be missing or
11 lost. If this is in fact the case, how could
12 NIOSH develop a reasonable dose reconstruction?
13 The probability of causation for the primary
14 colon cancer statement in the recommended
15 decision is the proverbial icing on the cake.
16 I'm absolutely appalled that this type of error
17 occurred. What are the procedures for quality
18 control and quality assurance that govern this
19 process? Is what we have experienced in our
20 claim process an isolated event, or a systemic
21 problem? Who is responsible for ensuring that,
22 within reason, 42 CFR 82 is followed to the
23 extent possible?

24 As I stated earlier, we have filed an appeal to
25 the denial of the claim and are presently

1 awaiting a response from NIOSH. In the
2 meantime I have filed a request under the
3 Freedom of Information Act to DOE, U.S.
4 Department of Energy, Oak Ridge Operations, for
5 all of my father's records, including medical,
6 personnel, radiation exposure, chest X-rays,
7 industrial hygiene, personal security file and
8 OPM background investigation. I've also filed
9 a FOIA request to NIOSH for all administrative
10 records pertaining to this claim.
11 Since filing the appeal I have also obtained
12 more information, such as what building my
13 father worked in for at least some part of his
14 career, and the names of two more coworkers who
15 are not deceased, to the best of my knowledge.
16 I understand the Advisory Board does not advise
17 on individual dose reconstructions. I am not
18 asking the Advisory Board to intervene in the
19 appeal process for this particular claim. What
20 I am asking the Advisory Board is -- for is an
21 accounting of how the execution of the process
22 could so -- could have so apparently failed
23 with respect to my father's claim. Thank you
24 for your time.

25 **DR. ZIEMER:** Thank you very much, and certainly

1 we'll be interested in learning how this works
2 out with -- with the appeal process. We
3 appreciate your pointing out those issues.
4 Janet Michael. Janet Michael?

5 **MS. MICHELE*:** Good afternoon. It's Janet
6 Michele, but that's okay.

7 **DR. ZIEMER:** Michele, uh-huh.

8 **MS. MICHELE:** Everybody gets it wrong. Good
9 evening, Dr. Ziemer and members of the Board
10 and everyone in the audience. Thank you for
11 allowing this time for public comments.
12 I'm here on behalf of the Coalition for a
13 Healthy Environment, and also the Alliance for
14 Nuclear Worker Advocacy Groups. CHE is an 11-
15 year Oak Ridge group -- 11-year-old Oak Ridge
16 group, and we're probably the ones responsible
17 for all of you all holding your positions and
18 jobs here today, so...
19 Anyway, we're -- and we're also one of the
20 founding members of the Alliance for Nuclear
21 Worker Advocacy Groups. Before I was a -- in
22 my -- well, actually in my advocacy role and as
23 my health has allowed, just to tell you a
24 little bit about who I am, I've worked for many
25 years on this -- on these issues. Recently

1 I've attended and participated, when my health
2 has allowed and where appropriate, in many
3 meetings and hearings in D.C., including
4 meetings at the White House, the Voinovich-
5 Thompson hearing, the judiciary hearing, all in
6 2000, and the EEOICPA reform hearings in '03
7 and '04.

8 But before my advocacy role I was a pollution
9 prevention project manager, and I worked for
10 two years at DOE headquarters helping to
11 implement a Department-wide program and a
12 strategic plan. I worked on audits and
13 training programs and DOE-wide conferences, and
14 I managed subcontractors, so I actually
15 understand a lot of what you all are going
16 through. I've worked on this side of the
17 table, so I understand these -- a lot of the
18 issues that you're going through.

19 My comments have got rearranged a little bit.
20 It's been -- it's been painful to see what I
21 feel has been a somewhat wasteful spending of
22 federal funds, and I'm referring in particular
23 to the subject of the site profiles. It just
24 seems like five years is an awfully long time
25 to wait for these crucial -- these documents to

1 happen that help provide the foundation for
2 doing these dose reconstructions. And in the
3 short time that SCA has had to do the audits on
4 these documents, there've been quite a few
5 deficiencies found, as you well know, and these
6 have been -- include but not -- are not limited
7 to accounting for ingestion as a pathway for
8 internal dose, questionable air sampling data,
9 the presence of high fired oxides and the lack
10 of worker input.

11 And of course we've talked also about these
12 6,000 pages of recently-classified dose records
13 that have been released on the Calutrons and
14 the Cyclotron. And you know, some people may
15 be wondering, you know, why are these surfacing
16 now? Well, because we've had an audit and this
17 has forced a critical assessment, and this
18 review process is very healthy. And it's
19 really important because claimants lack the
20 resources to bring this type of thing to light,
21 and so this is really important and I applaud
22 this audit and I'm glad it's happening.

23 One of the things I think that's been important
24 is that NIOSH has failed to validate and credit
25 the workers' and survivors' assertions of the

1 working conditions at the site, and we've heard
2 this already. It appears that it has relied
3 solely on the ORAU personnel when developing
4 the site profiles. May not be the case, but
5 that's what it appears to be. And we've always
6 thought there's been a possible conflict of
7 interest with ORAU developing the site
8 profiles. It seems that there have been five
9 sites where the major contributor to the site
10 profile was employed at the facility and
11 responsible for the monitoring program.
12 Instead of banning that person from
13 participating for that site, it appears that
14 NIOSH has simply changed the rules.
15 Another area of major concern of course is the
16 special cohort petitions, particularly the
17 revised rules for evaluation. The EEOICPA
18 reform bill of 2004 states that NIOSH has 180
19 days to make a recommendation to the Advisory
20 Board. Well, during the January 9th, 2006
21 teleconference Mr. Larry Elliott was asked by a
22 member of the Board about this time line and
23 what constitutes a recommendation. And he
24 responded by saying it all depends on who is
25 interpreting it.

1 This appears to us that NIOSH is looking for
2 ways to circumvent this time line. So we felt
3 like this reform that was passed in 2004 was
4 supposed to expedite the Special Exposure
5 Cohort petition process. But it appears that a
6 recommendation can also be a request for more
7 time. This delaying tactic appears to be
8 contrary to the law.

9 We've also learned that DOE and the contractors
10 have been withholding records, specifically
11 pathology reports and autopsies of the
12 claimants or their deceased family members, and
13 this does not allow for a complete evaluation.
14 And I'm going to go into this in a little bit
15 more detail. Claimant work histories have not
16 been thoroughly investigated -- investigated.
17 Many workers have spent time at multiple
18 facilities and not just in multiple places
19 within a site, but at multiple facilities. As
20 an example, one worker was assigned to Oak
21 Ridge, Los Alamos, Hanford and Lawrence
22 Berkeley. And one worker worked at seven
23 different reactors, but his dose reconstruction
24 only covered one reactor. It only covered the
25 graphite reactor. And another worker was in

1 Oak Ridge at all three sites and at the Nevada
2 Test Site and at the test sites in the Pacific,
3 but his dose reconstruction didn't cover all
4 those sites. Why is this happening? This is
5 something that really needs to be looked at.
6 So we would also like to know what NIOSH's
7 plans are -- this is not the Board, this is
8 NIOSH -- what their plans are for complying
9 with the six-months requirement for adding
10 cancers, and this comes from the Labor/HHS
11 appropriations bill.

12 Now I was told by the ladies out front that I
13 would be given the opportunity to sort of
14 switch gears here. There's a woman that I went
15 to high school with who lives in California now
16 and she is working on her father's claim. Her
17 mother lives here in Oak Ridge, but she's very
18 ill and is not able to be here today to speak
19 for herself. And if you'll allow me, I'll just
20 read a little bit of what she would say if she
21 were here today. She's -- and this is a little
22 bit of what I referred to.

23 (Reading) My dad worked on seven reactors at
24 ORNL and other sites, and the dose
25 reconstruction only used the graphite reactor.

1 None of his colleagues whose names were given
2 were interviewed, and two have since died. And
3 while the judges were asked for development of
4 all the other sites where Dad did experiments -
5 - Los Alamos, Lawrence Berkeley and Hanford --
6 for the purpose of possible new dose
7 reconstruction, the claims examiner says she
8 has nothing to do with that -- separate
9 department, not even a discussion. But here's
10 what the judge agreed with me on.
11 This was during their appeal, at a hearing.
12 (Reading) While we cannot discuss or challenge
13 NIOSH methodology or calculation for risk, et
14 cetera, we can absolutely question its
15 information-gathering.
16 One other thing that that's pretty creepy --
17 these are her words -- in our claim is that
18 this examiner revealed there is medical
19 evidence in the file that we have never seen, a
20 pathology report and an autopsy.
21 So apparently when her father died, his body
22 was taken from the morgue and an autopsy was
23 done and pathology, labs were done, without the
24 family's knowledge or consent. This is major.
25 Her dad worked at ORNL from 1954 to 1976. Dr.

1 Eugene Eichler was a pioneer in the field of
2 nuclear chemistry. He did experiments on every
3 reactor at Oak Ridge, often sleeping at the
4 test site.

5 One of the fundamental and flagrant problems is
6 that while this very statute was enacted
7 because employees were denied access to
8 information about the potential for exposure
9 and their actual exposure and, as the statute
10 admits, because no oversight existed
11 whatsoever, claimants cannot challenge,
12 question or even learn what the methodology and
13 basis for calculation has been.

14 I have since sent her some information on this.
15 No outside agency can check, test, critique or
16 evaluate fairness and/or corruption of the
17 process. This boggles the mind and is an
18 affront to justice. Rather than providing a
19 remedy in the law, a claimant-friendly process
20 has become a punitive one.

21 As you can see, she's quite upset. I'm sorry.
22 Let's see.

23 Given the sketchy nature of ORNL records and
24 that only minimal interviewing was conducted --
25 this is when her mother gave the names of other

1 of her father's colleagues to contact -- while
2 contact information was provided for three of
3 my father's closest colleagues, they were never
4 interviewed. The interview was only of my
5 mother, who knew very little about my father's
6 circumst-- sometimes secret work.

7 Understandably the process of revisiting the
8 circumstances of my father's illnesses and
9 premature death have been traumatic for her,
10 and possibly limiting her recollections even
11 more.

12 Her father died at age 46 and he had two
13 different cancers. Let's see.

14 My father's dosimetry records are a textbook in
15 what shouldn't happen -- lost, not kept, badge
16 left somewhere, et cetera -- and once he
17 started work at the Cyclotron, he wasn't
18 monitored, which didn't make sense for someone
19 who had a prior cancer.

20 He'd already had a cancer before that happened.
21 I'm really skipping around here and I'll go
22 ahead and stop because she has loads and loads
23 of notes, so I hope I've left you with a couple
24 of questions and comments here, and I really
25 appreciate the time. Thank you.

1 this building between '45 and '57 years is
2 unknown to us, we do know that the use of
3 chemicals in the printing plant, microfiche
4 production area, and the photo lab were
5 prevalent in the building from 1957 until the
6 mid-'90s. The technical documents which were
7 processed through TIC came from all over the
8 country, from testing sites, labs and so forth,
9 and possibly carried hazardous contaminants
10 from these areas. Although the 1961 (sic) T-1
11 building was supposedly built as a warehouse,
12 it contains three vault areas with one-foot-
13 thick concrete walls. We do not know what
14 these vaults were used for prior to TIC's
15 occupancy. We have heard that this building
16 was a staging area for receiving and
17 distribution of railroad shipments sent to and
18 from Y-12, X-10 and K-25, but we have not yet
19 been able to prove it.

20 When we learned of the EEOICPA program we began
21 to investigate the illnesses of DOE and
22 contractor employees who worked in 1916 (sic)
23 T-1, both living and deceased. We have
24 identified 75 out of approximately 150 federal
25 workers who have had catastrophic illnesses.

1 Fifty-six have had cancer and 14 have had
2 neurological illnesses, eight of whom worked in
3 the same area of the building. Thirty of these
4 75 workers are now deceased. We know there are
5 a number of other sick workers who have not yet
6 been identified. This is an extraordinarily
7 high incidence of illnesses for this small
8 number of workers. We do not believe this is a
9 coincidence, but was caused by working in the
10 1961 T (sic) building.

11 We are two of the surviving employees who had
12 cancer. I am JoNell Barton and was employed by
13 the Atomic Energy Commission at the Technical
14 Information Center from January of '57 until
15 July of '59. In September 1960 at the age of
16 22 I was diagnosed with thyroid cancer and had
17 a total thyroidectomy. My son was nine months
18 old at the time of my surgery, and I was never
19 able to conceive again. This surgery was less
20 than a year and a half after leaving TIC.

21 I was again employed by TIC from February '63
22 to December, 1965. In November 1985 I was
23 diagnosed with breast cancer and had a total
24 mastectomy.

25 **MS. HENLINE:** I am Doris Henline and worked in

1 1916 T-1 from February '61 to December 1995. I
2 was diagnosed with breast cancer in May of 1991
3 and had a total mastectomy of my left breast.
4 The cancer had metastasized to my lymph glands
5 and I underwent chemotherapy and took
6 tamoxifen for five years.

7 **MS. BARTON:** We met with Congressman John
8 Duncan in September 2005, asking his support in
9 getting 1916 (sic) T-1, OSTI, added to the
10 EEOICPA list of covered facilities. He
11 contacted DOE and DOL October the 13 of 2005 on
12 our behalf, and he received a reply from DOL on
13 December the 14th and another reply from DOL on
14 January the 3rd, 2006, which he provided to us.
15 Both letters were signed by Mr. Peter M.
16 Turcic, Director, Division of Energy Employees
17 Occupational Illness Compensation. Mr. Turcic
18 indicated that the Department of Labor was
19 aware of the issue of whether or not 1916 T-1
20 OSTI could be considered a covered facility,
21 and that DOL would investigate this matter. Is
22 an investigation of this facility currently
23 being conducted? If not, we are petitioning
24 you to begin an investigation as soon as
25 possible.

1 Thank you for your time and the opportunity to
2 present our case.

3 **DR. ZIEMER:** Thank you very much, ladies, and
4 the Board doesn't know the answer to your
5 question. But I should point out to you, Mr.
6 Turcic is somewhere in the audience today and
7 you can corner him and maybe follow up.

8 **UNIDENTIFIED:** (Off microphone)
9 (Unintelligible)

10 **DR. ZIEMER:** Put Mr. Turcic on the spot. Pete,
11 maybe you can make yourself known to the ladies
12 here.

13 R. L. Ayers, Mr. Ayers?

14 (No responses)

15 Let me skip ahead then. Would it be Alissa
16 Robinson? Yes. Do I pronounce that correctly?
17 Is it Alissa, Aliza?

18 **MS. AYERS:** My name is R.L., initials
19 (unintelligible) --

20 **DR. ZIEMER:** Oh, we've got R.L. Ayers, I'm -- I
21 got too fast here for you. Go ahead.

22 **MS. AYERS:** Okay then.

23 **DR. ZIEMER:** It's Ms. Ayers.

24 **MS. AYERS:** My name is R.L. Ayers. My husband
25 was Leroy Ayers. I came here in 1943. My

1 husband came in '42. And I don't know whether
2 I have a comment or a complaint -- all of mine
3 is complaints. I have a question that I want
4 NIOSH or somebody to answer for me. And it's
5 why that I have not -- my husband died in 2002.
6 Why that I have not been able to draw his
7 money. Each time that I contact Jacksonville,
8 Florida, the headquarters, they ask me for this
9 and ask me for that. I have sent them
10 everything that they asked me for, and I have
11 had three different case workers -- three of
12 them, 'cause when I call down there and talk to
13 one, when I call back again I have another one,
14 I don't have that same one anymore. And why do
15 they keep switching me from here, there and
16 yonder? I don't understand that.
17 And my husband died of silicosis. They told me
18 -- Ms. Yvette Waters told me that they didn't
19 pay for silicosis in the state of Tennessee,
20 said it was Nevada or Alaska. So -- but they
21 are paying for silicosis in Tennessee because I
22 have a friend who lives up at -- up here in
23 Tennessee that received this money, and he had
24 silicosis. Now what is wrong? I would like to
25 know.

1 When -- each time I call down to Jacksonville,
2 they will refer me to Paducah, Kentucky. My
3 husband has never even been in Paducah,
4 Kentucky. Why do they tell me about Paducah,
5 Kentucky? They gave me the address and all of
6 that stuff to contact them in Paducah,
7 Kentucky. I don't understand any of this,
8 because I have given them everything that they
9 asked me for.

10 I wrote to Mr. George Bush. I think that's one
11 reason they keep on changing me from this one
12 to that one. But -- and here's the letter.
13 Here's the answer that I got back from him. I
14 got an answer from him. And he told me that
15 somebody was going to contact me, and they
16 would contact me within the next -- he said
17 three or four weeks. But somebody contacted me
18 within the next three or four days. They did.
19 And they blessed me out that since I went to
20 the trouble to write to the President. I
21 couldn't get any answers from anybody else.
22 And I still don't understand. I still want an
23 answer.

24 My husband had silicosis, so -- and on his
25 death certificate they put pneumonia on there.

1 Of course that is a lung disease. Silicosis is
2 a lung disease. Tuberculosis is a lung
3 disease, but he didn't have any of those. He
4 had silicosis. Once those lungs fill up, no
5 air go through, then you are gone. And that's
6 what happened to him. But still they're saying
7 that they're not paying for silicosis in the
8 state of Tennessee. Isn't that discrimination,
9 or what is that?

10 **DR. ZIEMER:** We need to find out. This Board
11 is not going to be able to answer your
12 questions since we're dealing with cancers.
13 But I'm wondering if some of the NIOSH staff
14 can help Ms. Ayers get in contact with the
15 right person. It may be Department of Labor.
16 I'm not really certain. But we do have some
17 folks here and -- can some of the NIOSH staff
18 help direct her? Just go back there to Mr.
19 Hinnefeld in the back and he's going to try to
20 get you with --

21 **MS. AYERS:** All right. I have a stack of
22 letters from the Department of Labor this
23 thick, out of Washington. I still --

24 **DR. ZIEMER:** Well, Stu's going to try to help
25 you here, so --

1 Institute of Nuclear Studies here at Oak Ridge.
2 This was during the time period of 1950 to
3 1956. In 1956 he moved subsequently to Oak
4 Ridge National Laboratory to the analytical
5 chemistry division where he stayed until his
6 passing in 1990.

7 Mr. Eldridge died of complications arising from
8 a diagnosed metastatic esophageal cancer, which
9 of course was one of the qualifying cancers
10 under this program. The -- we filed a claim
11 for Mr. Eldridge in December of 2001, and in
12 the fall of 2005 we received our first OCAS
13 recommendation letter, which stated basically
14 that the total estimated rem to the esophagus
15 was beneath the causation level to recommend
16 payment under this claim.

17 Subsequently it talked about signing a letter
18 just testifying to the fact that we had
19 received the recommendation letter -- this is
20 not a denial, just a recommendation. And we
21 were also set up with a telephone interview.
22 So the first incident was during the telephone
23 interview. We had a series of questions, and
24 the letter that had come referred to my
25 father's first employment at Oak Ridge

1 Hospital. So my first question to the health
2 physicist who performed the telephone interview
3 was why are you stating that he worked for Oak
4 Ridge Hospital? And the conversation was well,
5 that's what our records indicate. And we
6 talked about the Oak Ridge Institute for
7 Nuclear Studies whom this employee had never
8 heard of, and I had to go through and describe
9 the history of ORAU and ORISE and ORINS. And
10 during the course of this conversation he
11 explained to me -- he talked about claimant-
12 favorable procedures, how they used an X-10
13 site profile for workers at Oak Ridge Hospital.
14 And the conversation he and I had turned to why
15 would you use an X-10 site profile for Oak
16 Ridge Institute for Nuclear Studies? They're
17 separate physical locations, total separate
18 functions. What's the technical basis for
19 that?

20 Again the response at this time was that X-10
21 was the dirtiest place to work in Oak Ridge,
22 and any time there was not a site profile to
23 work from that the policy of NIOSH was to apply
24 the X-10 site profile.

25 I began to question, if that were true, why did

1 we have the SEC petitions, special exemption
2 (sic) cohort classes. Why didn't we just apply
3 the X-10 site profile to anyone who was
4 unmonitored or if it worked at a facility that
5 went unmonitored and so forth.

6 At this time the person I was talking to said I
7 tell you what, we probably need to investigate
8 this further. Don't sign the letter and send
9 it back in. We'll -- we're going to redo the
10 dose reconstruction and we'll get back with you.
11 Keep in mind this was in the spring of 2005.
12 Now it had taken from December of 2001 to get
13 us to the dose reconstruction and the
14 notification in early 2005.

15 In the late summer of 2005 we were -- received
16 our second OCAS recommendation report. And
17 oddly, the total rem calculation to the
18 esophagus had gone down, was even lower, so we
19 were once again scheduled for a conference call
20 with the HP involved. And during the course of
21 my conversation with this gentleman -- his name
22 was Chris and when I asked for his last name I
23 was informed that they weren't allowed to give
24 out last names, so all I know this person as is
25 Chris.

1 Once again the letter stated the employment at
2 Oak Ridge Hospital, so that was our first
3 conversation point. So I asked Chris what it
4 was going to take to get the record changed to
5 indicate this was the Oak Ridge Institute of
6 Nuclear Studies. And the reply that I got was
7 that "I researched, and your father worked at
8 Oak Ridge Hospital and all he did was take X-
9 rays."

10 Now that -- that's not something to tell
11 someone. My father was a Ph.D. He worked
12 under Dr. Marshall Brucer. I have many
13 documented examples of his research papers
14 where he worked with gallium and other medical
15 radioisotopes. He did not take X-rays and was
16 not an X-ray technician at Oak Ridge Hospital.
17 We went further into the conversation, again
18 talking about in all cases where we do not have
19 a site profile to use in this process, we apply
20 the X-10 site profile because it's the most
21 claimant-favorable. Once again, I asked Chris,
22 if that were the case, why aren't you doing
23 this for Y-12 workers, K-25 workers? Well, the
24 K-25 workers fall into the gaseous diffusion
25 plants, they're automatically exempt. He could

1 not answer a why for certain other classes --
2 Bethlehem Steel, why certain groups at Y-12.
3 At this point Chris and I mutually agreed that
4 there's nothing more I could do. This OCAS
5 recommendation was going to move forward to the
6 Department of Labor for their final
7 recommendation.

8 Immediately following that, my sister, who's
9 also part of the claimant package, had her
10 telephone interview. And during her course of
11 the conversation with the HP they had stopped
12 the process a second time. The reason being
13 this time during their conversations, while my
14 father was employed at Oak Ridge National
15 Laboratory, he went to many different sites
16 nationally and internationally. He was in
17 Yugoslavia for symposiums. He visited some
18 sites over there that dealt with uranium
19 processing. He was at Three Mile Island,
20 called there when that incident occurred. He
21 was two weeks at the Nevada Test Site for a
22 major exercise in 1983. He's been at Lawrence
23 Livermore, many other national laboratories.
24 So during the course of her conversation, Chris
25 -- again -- wanted to know why these weren't

1 documented, why we had not researched and
2 provided the, you know, exits and entries to
3 all these different facilities that he's been
4 to.

5 Well, no one in this process ever told us that
6 it's up to us to provide this data during the
7 claimant process, so we're on hold for that
8 now.

9 The second part of this process involved the
10 application for a facility or an area where
11 someone worked to become part of the SEC class.
12 We've filed a petition to have anyone who
13 worked at ORINS from the period of 1950 to 1956
14 to become part of an SEC class. And the reason
15 for that is because we have affidavits that no
16 internal monitoring was performed at these
17 facilities, as well as no air monitoring.
18 During the course of conversations in this
19 arena, we were informed -- once again the
20 comparison that even though there was no
21 monitoring done at the Oak Ridge Institute for
22 Nuclear Studies, that nothing dangerous
23 occurred there. We have many documents,
24 printed right off the Department of Energy's --
25 what used to be known as the H-rex or Human

1 I question the validity of that statement,
2 given the half-lives of -- you know, in hours
3 in some of these isotopes.

4 (Reading) The experiments used gallium 72
5 because of its short half-life, 14.3 hours, and
6 because an earlier animal study indicated it
7 concentrated in new bone, making it useful as a
8 tumor marker and possibly for therapy.

9 It goes on and describes the experiments, and
10 then I've highlighted another paragraph.

11 (Reading) A major difficulty was lack of
12 knowledge about both the chemical toxicity of
13 stable -- that is non-radioactive gallium --
14 and radiation toxicity of gallium 72.

15 Calculations in small animal studies indicated
16 that dosimetry techniques used for other
17 radioisotopes would be of little value.

18 I find that an important statement regarding
19 conditions at the time. This was a research
20 facility. It implies what it implies. They
21 were doing things at Oak Ridge Institute for
22 Nuclear Studies to find out the effects of some
23 of these radionuclides. Therefore I question
24 whether proper safety methods were in place,
25 were they known to be in place.

1 And with that I reference a picture that's also
2 posted on the ACHRE web site, managed by ORISE.
3 And this is of an ORINS syringe shield, early
4 1950s. And I'd like to quote from this
5 document. The problem -- and this is Dr.
6 Marshall Brucer speaking. (Reading) The
7 problem with this device is that its large size
8 and weight led to poor, and occasionally
9 dangerous, injections. Dr. Marshall Brucer,
10 the acerbic head of the ORINS medical division,
11 described this particular instrument as a
12 three-bladed advertisement for health physics
13 wrapped around a piece of junk.
14 Again, I'm not criticizing. I'm bringing up
15 these facts to document something that was
16 real, that occurred here. There was no
17 monitoring records. I don't find it very
18 reassuring when an HP tells me your father did
19 nothing but take X-rays. It's a person who's
20 younger than I am, has no idea what took place
21 here. That's not the way to communicate this
22 process. Let's do the research. If we need
23 scientific justification for why an X-10 site
24 profile is sufficient to perform a proper dose
25 reconstruction when no monitoring records were

1 available for this time period, then let's
2 document that. Let's get something scientific
3 into the hands of people like me and my sister,
4 who don't understand this process.

5 My father dedicated his life, his studies at
6 the University of Tennessee, his work at Oak
7 Ridge Institute for Nuclear Studies, and his
8 subsequent 25 some-odd years at Oak Ridge
9 National Laboratory. We at least owe him a
10 proper dose reconstruction.

11 **DR. ZIEMER:** Thank you very much. Gary Foster
12 -- Gary?

13 **MR. FOSTER:** That's Gary Foster.

14 **DR. ZIEMER:** Foster, okay.

15 **MR. FOSTER:** It's all right. I've been called
16 a lot worse.

17 **DR. ZIEMER:** Well, I -- it's just my -- my
18 reading isn't so good. I was just reading too
19 fast.

20 **MR. FOSTER:** I'm speaking in behalf of my
21 father, who worked at Y-12 for 35 years, from
22 1954 to 1989. My dad is currently in dose
23 reconstruction for both lymphoma and bladder
24 cancer, and he's not in the appeal process yet
25 because NIOSH can't seem to get the facts

1 correct on his dose reconstruction. Each time
2 it comes back for him to sign this OCAS-1 form,
3 we can't sign it because -- or he can't sign it
4 because it's -- the building numbers are not
5 correct, the dates are not correct, and I think
6 we're finding that out here from -- from
7 several of the other people. They're having
8 difficulty getting the NIOSH dose
9 reconstruction to accept further information as
10 fact and things like that.

11 And basically we are the people who actually
12 know what we worked with at Y-12. We know what
13 buildings we worked in, we know what areas we
14 worked in. And I am a current employee at Y-
15 12, I'm a stationary engineer. I worked all
16 over the plant there in many of the areas in
17 question. You know, I -- I can picture them in
18 my mind. You walk across the -- walk across
19 the hall in some of these buildings and you've
20 got a different process -- or at least you did
21 when we were in full production. And it's
22 really difficult, especially in this day and
23 time, because of the secrecy issues and things
24 like that, the classification issues, for my
25 dad to actually present really good information

1 to these dose reconstructionists because he --
2 he doesn't know what he can say to these
3 people, and he knows that they don't know, you
4 know, what -- what we were exposed to at Y-12.
5 We have a really good -- you know, a pretty
6 good idea of what we were exposed to, and the
7 Y-12 site profile is not -- not accurate, by
8 any means, as to buildings and -- and what the
9 -- what the radiological hazards are in those
10 buildings. It's -- in fact, it doesn't look
11 familiar to me at all. I mean I can see one
12 little process in each one of the buildings,
13 and that's -- that's all I recognize. And my
14 dad was a lab technician in the metallurgical
15 lab for most of his career there, and he didn't
16 do anything like what they did in the buildings
17 that -- that they have him down as working in.
18 He worked with all the radionuclides and did
19 destructive and non-destructive testing on
20 them, out in the wide open in his street
21 clothes. And it's -- it's just evident to me
22 that -- that -- I -- I just don't see how you
23 can do an accurate dose reconstruction process.
24 Like I said, NIOSH did not cap-- the dose
25 reconstruction process did not capture nearly

1 all the buildings at Y-12 where my dad worked.
2 And when my dad hired into Y-12 in 1954, he
3 worked in a very contaminated -- in the very
4 contaminated foundries at Y-12 -- and I'm not
5 going to say where they were. He then moved to
6 the rolling mill, another highly contaminated
7 area, and then he moved to the salvage yard
8 where he handled all the salvage equipment that
9 was highly contaminated. And none of that
10 appears to be captured in the dose
11 reconstruction. We keep trying to get it
12 across to them, but we can't seem to get across
13 to them.
14 He then moved to the plant lab where he handled
15 all the samples of many of the radionuclides
16 at, you know, Y-12. He also handled samples
17 from X-10 and K-25, so handles samples from all
18 the plants.
19 And then he went the met labs, as I mentioned a
20 minute ago, where destructive and non-
21 destructive testing was performed, and was
22 exposed to everything Y-12 produced, nearly.
23 And yet his dose reconstruction keeps coming
24 back that -- that he's not -- doesn't meet the
25 50 percent or whatev-- you know, whatever it

1 is.

2 He had a -- with his lymphoma, he was diagnosed

3 first in 2002, I believe. And when the dose

4 reconstruction was completed on it, it took it

5 about two years to be completed, and they came

6 back that his whole body dose was 36.something

7 rems. Well, about the same week he was

8 diagnosed with bladder cancer and so we called

9 NIOSH up and said hey, wait a minute, he's got

10 another cancer here. They said okay, we got to

11 go back and redo this. They went back and

12 redid it and gave him another -- a second dose

13 reconstruction, and it only took three months

14 to do it. And what they did, they took 36 and

15 divided it in half, 18 to the lymphatic system

16 and 18 to the bladder. It doesn't sound too

17 scientific to me. I mean I -- I have no idea

18 what the scientific principles are here in dose

19 reconstruction. I've learned a lot today, I

20 believe, but that just doesn't sound too

21 scientific to me, that you just divide it in

22 half and assign half of it to -- to the

23 lymphatic system and half of it to the bladder.

24 One other thing is that I don't see how -- why

25 they can do an accurate dose reconstruction is

1 that at Y-12, during his last year there before
2 he retired in 1989, he had a body count, a full
3 body count, and they found -- it came back as
4 105 micrograms body burden in that body count.
5 Now I may -- I may be mis-stating that, but
6 it's 105 micrograms of U-235. When it got to
7 his supervision, health physics determined that
8 that couldn't be U-235, even though he was
9 working with enriched uranium. It had to be
10 cesium 137 because he ate garden vegetables and
11 deer meat. And that is -- that is what is on
12 his body count report, and they closed it out.
13 They said no problem because it's cesium 137
14 because he ate garden vegetables and deer meat.
15 And that's the type of stuff -- that's the type
16 of data that's being entered into some of these
17 dose reconstructions, and that's why people are
18 upset is because they know what they did out
19 there. They know what they worked with. But
20 NIOSH cannot seem to capture that in their dose
21 reconstructions, so -- and I appreciate you
22 letting me get up here and spout off, so...

23 **DR. ZIEMER:** Thank you.

24 **MR. FOSTER:** Thank you.

25 **DR. ZIEMER:** All right. Next we have Forrest

1 Johnson. Forrest?

2 **MR. JOHNSON:** I'm not a public speaker, but
3 there's two or three things I want to bring to
4 the attention of the Board.

5 First, I went to work at Y-12 in June of '50.
6 On my rejection where I filed, they said that I
7 had a film badge. Well, the film badges didn't
8 come out till '57, the way I remember it.

9 'Course I'm probably the oldest man in this
10 room. In fact, I couldn't buy it when I got
11 that statement. Then I got -- tried to get my
12 records from Amy (unintelligible) or whatever
13 her name is. Couldn't get no records.

14 Last year I decided I'd find out what Jimmy
15 Duncan could do, so I went to Jimmy Duncan's
16 office and his office manager, Jennifer -- was
17 here a while, I don't know if she's still here
18 or not -- so she -- they couldn't get them to
19 start with. Finally I got a record from the
20 health department where I had -- I think it was
21 seven or eight splinters in my finger. Well, I
22 never -- I never thought of a uranium chip of
23 being -- or a piece of being a splinter. Only
24 splinter I remember having while I was out
25 there was when I was building my house, and I

1 did get a oak splinter in my finger when I was
2 laying my hardwood floors.

3 So another thing they said in there, everything
4 was hypothetical. Now I don't buy hypothetical
5 for nothing. Just like the first atomic bomb.
6 They said it killed -- hypothetically, it
7 killed 70,000. Then they said hypothetically,
8 it killed another 70,000 within five years.
9 Well, if they -- one flash will kill 70,000
10 people within five years, you can't tell me
11 working uranium 235, 238 and all that doesn't
12 add up to about the same thing. That's the way
13 I think. I'll make it short and sweet and
14 thank you so much for your time. And I know
15 you fellers are getting tired of sitting.

16 **DR. ZIEMER:** Thank you. With that I'm going to
17 introduce Kenny Cook, who was first on the
18 list, and I'm getting tired of sitting, Kenny,
19 but I'll be back before you're finished.
20 You can just go ahead.

21 **MR. COOK:** All right. Good afternoon. Thank
22 you for allowing me to speak here today. I
23 want to welcome our visitors to Oak Ridge on
24 behalf of the Atomic Trade and Labor Council.
25 The Atomic Trade and Labor Council represents

1 approximately 2,100 (unintelligible) workers at
2 both the Y-12 national security complex and Oak
3 Ridge National Laboratory. The ATLC has been
4 the negotiating body at the two sites since
5 September of 1946. That's significant, in that
6 the special cohort status we are talking about
7 today is from 1947 to 1957. That allows us to
8 give a somewhat historical view of the working
9 conditions at Y-12 and also at ORNL, or X-10 as
10 it was known then.

11 First we must understand that Oak Ridge was
12 known as the secret city. The work done here
13 was not -- never to be discussed with
14 outsiders, or even coworkers, under penalty of
15 prosecution. It's important to understand that
16 outsiders included spouses and family members.
17 Workers were told to talk to no one about what
18 they did on the job. The spouses we have
19 talked to have told us time after time, they
20 never told us what they did at the plants.
21 That in itself is very important when NIOSH
22 uses the testimony of spouses to determine
23 incidents these former workers were involved in
24 or their work history.

25 The city of Oak Ridge opened its doors to the

1 public in 1949. The city of Oak Ridge was
2 opened, but Y-12 and X-10 remained a mystery,
3 even to those working there. The type of work
4 being done, the materials being used --
5 including radioactive materials -- we new and
6 unheard of to most people back then. It was
7 not a question of how to protect these workers,
8 but one of do they need even protection -- do
9 they even need protecting.

10 I can -- I can't tell you how many times in my
11 career I've heard the words "Don't worry, this
12 stuff won't hurt you." Remember, it wasn't
13 until 1970 that OSHA came here to -- came to
14 be, so there was nothing driving the protection
15 of workers at that time. And even then it did
16 not apply to the federal sites here in Oak
17 Ridge where dosimetry's required, where
18 radiation work permit's required. Dosimeters
19 were crude and unreliable, if they were used at
20 all, and RWPs were unheard of. Exposures that
21 were accepted as everyday business would be
22 occurrences today.

23 In today's DOE world we all have stop-work
24 authority. If we don't believe a job is safe,
25 we are told to speak up and stop the job.

1 Imagine for yourself what the working
2 conditions were back then, or for that matter,
3 the recent past. The war had just ended and
4 jobs were scarce. If you were fortunate to
5 have a good job in Oak Ridge, you sure wouldn't
6 do anything to jeopardize it. There was an
7 attitude of do your job and don't ask
8 questions. If you don't want to do the work,
9 we'll get someone else to do it.

10 As a representative of workers at both Y-12 and
11 ORNL, I can't tell you that attitude is not
12 comple-- that attitude is not completely gone
13 even today. Health and safety took a back seat
14 to getting the job done. Those workers had
15 exposures that were never documented. We know
16 this, and it can't be ignored.

17 So where does this leave us today? I know that
18 NIOSH is trying to do dose reconstruction. I
19 don't envy you on that task. But let me ask
20 you, how do you reconstruct doses that were
21 never recorded? How do you assign doses for a
22 career when the work history is missing or
23 incomplete? The answer is, you can't. That is
24 why we need a special cohort status. I
25 understand that NIOSH is reconstructing doses

1 and erring on the employee's side, but it is
2 this really -- but is this really enough? Can
3 we honestly say these assignment dose -- these
4 assigned doses are fair when we suspect how
5 much missing information is not being taken
6 into consideration?

7 These sites were secret then, more so than
8 today. More importantly, secret with no
9 meaningful oversight. If something happened
10 that wasn't meant to be known, it simply wasn't
11 documented. As I'm sure you heard before, if
12 it's not documented, it never happened. And
13 remember, we're not just talking about
14 incidents. We're talking about everyday
15 working conditions.

16 Think about that, everyday working conditions.
17 These working conditions made people sick, made
18 them sick when they didn't know why. What a
19 terrible thing for them and their families to
20 go through. These men and women gave their
21 lives, and some are still giving their life to
22 this country by the work they did here in Oak
23 Ridge.

24 Is a special cohort status needed for Y-12 from
25 1947 to 1957? Absolutely. But ladies and

1 gentlemen, another question needs to be asked
2 here today. Should the broader special cohort
3 status be expanded to cover both Y-12 and X-10,
4 as it does for K-25? Without question. The
5 hazards in the workplace at Y-12 and X-10 were
6 just as dangerous, and in some instances more
7 so.

8 We talk to the workers, and the documentation
9 is missing on exposure at Y-12 and ORNL. When
10 we did the risk assessment for our medical
11 screening program, we talked to these past
12 workers and heard the stories of their working
13 conditions, they exposures with no dosimetry,
14 nothing to document the job ever took place. I
15 urge you to look at that document, if you
16 haven't already.

17 Speaking for the workers at Y-12 and ORNL, the
18 pain and suffering of these people was and is
19 just as severe, and the lives of these brave
20 workers just as important as any other in Oak
21 Ridge. Thank you.

22 **DR. ZIEMER:** Thank you very much, Kenny. I'm
23 going to call now on Elisa Robinson. I think
24 she's out in the hall waiting -- oh, here she
25 is, she came on in. Okay.

1 **MS. ROBINSON:** Good afternoon, and my name is
2 Eliza Robinson, and I worked at K-25 for 18
3 years. I worked in the mailroom and drove the
4 taxi. And when I went in one of the buildings,
5 which was 1420, I almost passed out. I got
6 where I couldn't breathe. Dr. Fortney* was the
7 head doctor out at K-25. They carried me to
8 medical in there to Oak Ridge Hospital and I
9 stayed there almost two weeks. So when I came
10 out, they sent me home for a week. I couldn't
11 breathe and my throat was sore, and I thought I
12 just had a sore throat. So they told me that
13 was thyroid, the doctor that I went to here in
14 Oak Ridge. So then they kept saying well, you
15 know, you can't go to another doctor. And I
16 said yes, I can, because I'm free. So I went
17 to another doctor and this is my problem now.
18 So finally they took my thyroids out, and I'm
19 still having problems. I get where I can't
20 breathe and I can't talk. It was me sitting
21 here coughing and going on when I get to
22 talking. And this is my problem what I'm
23 having now. And you see, I can't walk without
24 this stick because I cannot pick this leg up
25 without picking it up like this. And I went

1 back out there and I been going to the union
2 hall taking the X-rays, and they said that I
3 had a -- still have that spot on my lung. And
4 I was in Oak Ridge Hospital two weeks ago and I
5 was in intensive care for five days. I got
6 where I couldn't talk and breathe. And I was
7 talking and breathing and doing everything I
8 wanted to when I went out to K-25, because I
9 worked for the school system. I drove the
10 school bus.

11 But the good thing about it, it's one man that
12 always have the last say-so and that's Jesus
13 Christ, and I want to thank you.

14 **DR. ZIEMER:** Thank you, Eliza. Jump back now,
15 did Robert Pidgeon come in yet, Robert Pidgeon?

16 (No responses)

17 Okay, let's go on -- Bob Warren?

18 **MR. WARREN:** Hi, I'm Bob Warren, a lawyer from
19 Black Mountain, North Carolina. I wanted to
20 bring to your attention -- at a previous
21 meeting, one of your meetings and I don't have
22 which one it was, but Advisory Board members
23 were asking questions of the NIOSH
24 representative at that point about using the
25 data generated by the applicants in the dose

1 reconstruction process. And I think the NIOSH
2 representative said -- his response was that
3 when the collected data contained sufficient
4 numbers, that NIOSH expected to factor that
5 into the dose reconstruction process at --
6 because of the different numbers of the cancers
7 at each site. And my question is is what is
8 the status of using the number of cancers for
9 each site as some part of the dose
10 reconstruction process? I -- it seems like it
11 would be important to identify if stomach
12 cancers are in excess at the Y-12 site of what
13 would be the expected level of stomach cancers
14 in the general population. If it's greater,
15 just from voluntary filing of claims, then --
16 and the dose reconstruction doesn't show a 50
17 percent probability -- it seems like NIOSH
18 would then say what's wrong, why do -- what's
19 happening with our dose reconstruction and why
20 couldn't we add things on there.
21 I think it wouldn't be that difficult to get a
22 printout of cancers -- each cancer at each
23 site, and then you could very quickly decide
24 whether or not the expected number of level --
25 expected level of cancer at that site was

1 exceeded or not.

2 The other thing I wanted to draw to the Board's
3 attention if you could, maybe NIOSH needs some
4 kind of triage process. I had a 93-year-old
5 client that worked at K-25 who died last week,
6 and we had a doctor submit a letter saying we'd
7 like you to process his application, expedite
8 it, and that did allow for an immediate
9 telephone interview. But if NIOSH could look
10 at a situation where the workers and their
11 spouses might be able to receive the awards
12 before they die, if they are in a situation
13 where there is -- their health or their age is
14 meaning that. And it would -- it seems like it
15 wouldn't be -- it wouldn't be the same process
16 of minimizing and maximizing process you talked
17 about earlier. This would just be a situation
18 -- in this case, my client had numerous skin
19 cancers and -- in addition to other cancers,
20 but it would have been a situation where NIOSH
21 could have looked at that and said okay, it's
22 probably going to be and we'll take him out of
23 line and do that. But there doesn't seem to be
24 any process other than getting the telephone
25 interview, and that was important. But if

1 y'all could try to work and see if there would
2 be some way to expedite this process because as
3 the population of workers are getting older,
4 you're going to have more and more people dying
5 before they get word, so -- thank you.

6 **DR. ZIEMER:** Thank you. Let me see if Gertrude
7 Timmons has come in. Did Gertrude come back?

8 (No responses)

9 Okay. Beulah Lindsey? Looks like Lindsey.
10 Beulah?

11 **MS. LINDSEY:** It is.

12 **DR. ZIEMER:** Yeah.

13 **MR. LINDSEY:** I'm Alvin Lindsey, I'm next on
14 the list. Could I just go at --

15 **DR. ZIEMER:** Yes, sure, go --

16 **MR. LINDSEY:** -- at the same time and try to --

17 **DR. ZIEMER:** -- go ahead.

18 **MS. LINDSEY:** He happens to be my brother.

19 **DR. ZIEMER:** Okay.

20 **MR. LINDSEY:** See the resemblance, I guess.

21 **MS. LINDSEY:** You know when I came here I
22 actually thought that our situation was a bit
23 unique, but in listening to everyone else, I
24 see that we're all in the same boat here. It
25 seems like if someone doesn't really listen to

1 us, we're going down like the Titanic.
2 It's obvious to me from what I have heard so
3 far that this system is indeed flawed, and I am
4 not certain -- I hope and pray that it's not by
5 design. We know for a fact, my brother and I
6 and my other -- the other siblings. We know
7 that our father died of exposure to radiation
8 at the Savannah River Plant. There's no doubt
9 in my mind about that. I was indeed, as we all
10 were, the -- I have six other siblings who are
11 -- who are still living. There were ten of us.
12 My mother was widowed at the age of 39. My
13 father died at the age of 53, having worked at
14 the Savannah River Plant for about 12 years.
15 We know that he died as a result of radiation -
16 - exposure to radiation and working at that
17 plant. We know that from the people we've
18 talked with who worked there, and I know that
19 from hearing that as a child. I was ten years
20 old when he passed, and -- and I'm just -- I
21 was just amazed when we got the report back and
22 it said that there was less than 50 percent
23 chance that -- that my father's cancer was the
24 result of the radiation that he received at the
25 Savannah River Plant. We were all just in

1 shock.

2 I guess I live in a world that I think that
3 people really just do what's right. But that
4 doesn't always happen. So we have traveled
5 here...

6 **MR. LINDSEY:** We traveled -- actually we -- oh,
7 boy, two of us. We got the results on the
8 report I think about three, four weeks ago. My
9 mother passed in December a year -- December
10 19th a year ago. We was letting it happen
11 through my mother, and we -- we became involved
12 as a result of her demise. And we got the
13 results. As my sister said, we thought well,
14 you know, the process is going to do. And also
15 -- and it's going to work itself out. And also
16 we was following procedures. And that's all
17 well and good, you know.

18 But then, you know, we get the results and
19 results were wrong. And so we get this letter.
20 Her thing where -- where I'm getting on is the
21 credibility and -- of -- of the procedures.
22 Right? For example, you read this last thing
23 that you sign off with after you get the
24 result. (Reading) I am not aware of any
25 additional information available to me that may

1 be relevant to NIOSH in completing a dose
2 reconstruction.

3 Well -- and I offered some information. I
4 offered something about the -- perhaps since he
5 worked on the outside, he could have eaten
6 berries, could have eaten food, could have
7 fished in the pond or something like that
8 around there. And I offered to the -- about
9 the fact that, you know, my father commute --
10 it's like eight to 12 people over the period of
11 time, that it was -- you know, that of the 12,
12 that only one came out, kind of like the West
13 Virginia mining thing. Right? And to me,
14 that, you know, came out that all of them --
15 deceased now. Right? And for cancer, the same
16 thing.

17 So it -- that information, they said well, we
18 don't want it. Right? We don't need that, or
19 you should have given it at the beginning,
20 that's not what we want now. Right? And so
21 I'm finding out (unintelligible) you read the
22 report. Okay? And you guys really must be
23 real smart 'cause I mean I read that report and
24 you cannot -- after you get past that second
25 page of that summary part, you cannot -- I

1 could not follow it. It takes an expert three
2 times over in that particular field to be able
3 to follow it. Right?

4 So I get here today. Right? And talking about
5 people getting fair treatment, I get here today
6 and I talk to Mr. Warren, and he was talking
7 about cancers. Right? The whole thing about
8 my father started in the stomach. Right? And
9 so that wasn't too good, even though it went
10 over to every other part, to the lungs, liver
11 and all that kind of stuff. That's not good
12 'cause it started in the stomach, so that's not
13 good. But I didn't even know about the -- he
14 had several moles and skin lesions taken away
15 from his skin, operations. Right? I didn't
16 know about it until I got here today. How did
17 I know what question to ask or the question to
18 tell them on the beginning end if you are at
19 the end?

20 I -- and one other thing, too, 'cause there's a
21 lot of other things but I don't want -- they
22 don't know about -- in -- in Savannah right now
23 they're concerned with where people have taken
24 waste out and dumping it in cardboard boxes and
25 buried out in water and stuff and it's seeping

1 into the water system. They don't even know
2 where they are. How are they going to know how
3 my father was monitored, anybody else was
4 monitored when you've got boxes and cans of --
5 of real hot stuff that's out there? So how are
6 you going to expect to -- that those people
7 anywhere, how are you going to expect for them
8 to -- to -- to accurate monitor anyone there.
9 And another thing, too, in terms of
10 (unintelligible), I'm just getting into it.
11 Right? But I'm going to get into it from here
12 on out. I'm really going to get into it
13 because we owe it to our father and I'm sure
14 everyone else here does, too. How is it that
15 other plants, your St. Louis or your Ohio plant
16 -- Right? -- they have the same problem, but
17 they get past. How do they get past and we
18 don't get past. Is there something about this
19 marjural (sic) that show that people in
20 Tennessee or people in South Carolina, they
21 are, you know, more docile and they're not
22 going to raise cain and they're -- so therefore
23 we can do it to them a little more than we do
24 it up here to Ohio or St. Louis where this
25 senator is on this committee and that senator

1 is not on that committee going to have to sign
2 this appropriation bill and that kind of stuff?
3 I think all of you know and your auditors have
4 shown that this thing cannot adequately
5 accurately be reconstructed. And so I think
6 we're just playing with some games like around
7 with that thing 'cause I think we all know in
8 our mind that it cannot be accurately
9 reconstructed. And I know you can't do
10 everyone, but percentage-wise you're going to
11 have a overwhelming amount of people that will
12 not get service about the marginal, and I
13 appreciate the (unintelligible) and -- and that
14 you put up. Right? Those PowerPoint
15 presentations and all like that, the pies, the
16 graphs and stuff like that. But how is that in
17 terms of -- one thing is -- is it actuality
18 putting it in reality is another thing. And
19 the missing information, I don't think -- and
20 I've heard some people -- I mean these people,
21 they came prepared (unintelligible) some other
22 line's going to come up to that, they are
23 prepared. I -- we wasn't even prepared. We
24 just came up here cold, just to -- just to
25 holler or vent or whatever you want to do it

1 and just, you know, because we have to because
2 that is -- it's our parents, it's our life and
3 we just cannot stand by. But I do think that
4 when y'all get behind y'all's -- I mean y'all's
5 closed meetings that you be honest with this
6 thing and -- and just tell it. You know,
7 really, that -- I don't think it can be done.
8 It cannot be done with all these people up here
9 saying this. Something is wrong here, and I
10 think you know that. And if you don't do
11 something, if you accept this module as the way
12 it is, you're going to -- you -- I mean really,
13 just the number here shows, and just -- and the
14 people that can't come, the lady that just
15 left. You know, the people that can't come.

16 **MS. LINDSEY:** So I'd just like to say in
17 closing that I -- I'm still of the -- the
18 belief that, you know, we are bound to do what
19 is right. Our -- our purpose -- I don't think
20 that any of us are here because it's about the
21 money. It certainly isn't our reason for being
22 here. And from listening and feeling the pulse
23 of the people here, that isn't even what it's
24 about. We are educated, fortunately. My
25 mother reared us in a way that we could still

1 become educated. We have doctors in the
2 families, post -- people with postgraduate
3 degrees. I am here not because of -- what is
4 \$150,000? I mean in the first place it's a
5 darned insult, so it's not -- it's not even
6 about the money. It's about we lost people who
7 gave their lives, who were exposed to something
8 without their knowing, and we have a right, and
9 it's just -- it's just not right. So we're
10 asking -- I know you say that you're not in a
11 position, that you're simply to kind of hear us
12 or you can't do anything. But I do not believe
13 that you're not in a position. You're not in
14 the position that you're in, you cannot hold a
15 position without being able to make an impact.
16 I do not believe that. It has to start from
17 here. That is the reason we traveled from
18 Atlanta. That is the reason that we're going
19 to continue to do whatever it takes. I don't
20 give a dog what it takes, even if it means
21 writing George Bush. I don't care what it
22 takes, because it isn't right. Our father died
23 trying to make a better life for us. He was a
24 teacher. He didn't have to go to the bomb
25 plant. He did that so that he could make a

1 better life for us and provide. He was
2 educated. So I'm saying I can't -- we just
3 can't allow -- you cannot allow -- you can't
4 sit back and just listen. You can't, because
5 look at what was here, and some of these people
6 here, they have already lost their loved ones,
7 just as we have. And you owe it to them and
8 their families, as you owe it to us. Thank
9 you.

10 **DR. ZIEMER:** Thank you, Beulah and Alvin,
11 particularly for driving all that way to
12 present to us your story. We -- we hope this
13 Board does have an impact. We thank you for
14 your challenge.

15 Okay, let's -- let me go back a moment.
16 Gertrude Timmons, did Gertrude come in?

17 (No responses)

18 Okay. Did Robert Pidgeon come in?

19 (No responses)

20 Glenn -- is it an R, Glenn Real? Is there --
21 Glenn anybody?

22 **UNIDENTIFIED:** Probably Glenn Bell?

23 **DR. ZIEMER:** Dell, that -- it could be Dell.

24 **MR. BELL:** I didn't know my writing was that
25 bad, sorry.

1 **DR. ZIEMER:** Okay, close enough.

2 **MR. BELL:** Thanks for that introduction. I'm
3 Glenn Bell. I've been a machinist at Y-12
4 since 1968. I'm also the chairman of Y-12's
5 Chronic Beryllium Disease Support Group. I'm
6 in favor of expanding Y-12's SE status for
7 several reasons. I'm sure you're aware of --
8 that documentation wasn't there in a lot of
9 cases. Records were -- I've heard incomplete,
10 inconsistent or in the trash, as I've heard it
11 called before.

12 Over my years at Y-12 I have been in almost
13 every building out there that a machinist or
14 inspector could be assigned to, and I can tell
15 you that job title or even the department or
16 building assignment may not reflect the
17 exposures because you could be assigned to one
18 department and be on loan or work overtime in
19 another department that may be more or less
20 contaminated than the one that you're normally
21 assigned to.

22 In December of '03 I submitted two documents to
23 NIOSH that are still listed -- were listed this
24 morning as being under review for public
25 release, even though I had the proper release

1 papers with those at the time I submitted
2 those. It should have been just a matter of
3 verifying that for public release. One was a
4 1990 health physics report from the building
5 that I was assigned to at the time. The other
6 was an SAIC report from 1998 of Y-12
7 contamination survey and a pretty good listing
8 of maps.

9 The SAIC report, that's Science Applications
10 International Corporation, it was prepared for
11 the Oak Ridge Reservation End-use Working
12 Group, and it's 50 or 60 pages of maps, which
13 were really well done. It showed outside
14 contamination of the soil, rooftops. It gave
15 some geological cross-sections, showed pathways
16 of exposure. It was pretty -- pretty well-
17 written, I thought.

18 The other was a health physics survey for
19 uranium surface contamination, as I said, in
20 the building I was assigned to at the time in
21 1990. And I'm not very well versed on health
22 physics, but when I see at the bottom of a page
23 that the maximum is supposed to be 5,000
24 disintegrations per minute, anything above that
25 is considered contaminated, and I'm seeing a

1 report here that has 800,000, 255,000,
2 2,400,000, 6,600,000 counts, that's
3 contaminated. And you know, I haven't had an
4 answer as to whether these documents are indeed
5 being used in Y-12 site profile. I don't know.
6 I've written at least on three occasions to try
7 to get an update, and the only answer I've
8 received is that the documents are still under
9 review. So I don't know, based on what I've
10 been told, are these documents being used in
11 the site profile or are they not, and how many
12 more documents like these are out there that we
13 just haven't turned up. This health physics
14 report was prepared in 1990 and we only found
15 it in the -- about Thanksgiving of '03.
16 If this data is inconsistent or incomplete, how
17 can a credible dose reconstruction be done?
18 That's why I think that, due to the lack of
19 information and all the documents that -- like
20 these that may be out there, that I think the
21 SEC status should be extended to Y-12 and to X-
22 10, regardless of the years. You know, not --
23 not limit it to the -- to the early years. And
24 I thank you for your time.

25 **DR. ZIEMER:** And Glenn, did you say you have

1 Accountability Project. I've met a number of
2 you at previous Board meetings in hotel rooms
3 that looked a lot like this. Good evening,
4 everyone.

5 I would like to touch on the Special Exposure
6 Cohort proceedings that you're dealing with.
7 There are really five separate areas that seem
8 to be in play involving special cohorts that
9 the Board has under consideration, or should.
10 The first, which I see on the agenda, is the
11 Special Exposure Cohort interim final rule, for
12 which comments are due by February 21st of
13 2006. And I don't know whether the Board
14 intends to file comments on it, but I'm going
15 to offer a couple of thoughts from my read of
16 the rule that might inspire you all to bring it
17 under at least discussion, if you choose to
18 file comments. I know the Board has been very
19 diligent in filing lots of comments on the SEC,
20 as well as the dose reconstruction and this
21 probability of causation rule.

22 The second area has to do with the policy
23 issues from Dr. Melius's presentation today.
24 The third issue has to do with whether and how
25 the Sanford Cohen & Associates procedures,

1 which were I think sent out sometime in
2 December, dealing with how they will review
3 Special Exposure Cohort petitions if they are
4 so tasked to do so. And directly related to
5 that would be the question of whether the Board
6 intends at this meeting to assign any tasks for
7 any SECs that are in the hopper for them to get
8 started on, given that the task order I guess
9 was finally issued in August of 2005, some one
10 year after the Board had asked for it.
11 And then the last issue really has to do with
12 sort of a procedural question that I would just
13 like to beg the lawyers to think about a little
14 bit, which is we have Special Exposure Cohort
15 proceedings going forward, and here in Oak
16 Ridge there's an SEC pending. I don't know if
17 the petitioners are here, or even who the
18 petitioners are. We're told the petitioners
19 are -- their identity's protected under the
20 Privacy Act, but yet they represent a whole
21 class of hundreds or more of individuals. And
22 the question really becomes can they be an
23 adequate representative for a whole class if
24 they're operating just in anonymity, without
25 communication with the balance of the class or

1 members of it.

2 Let me just quickly get to the interim final
3 rule. The Defense Authorization Act for FY
4 2005 required that within 180 days from the
5 date that an SEC petition is submitted to
6 NIOSH, NIOSH should make a recommendation on
7 such petition to the Advisory Board, including
8 all supporting documentation. Now I had a
9 chance to look at the interim final rule, and
10 in section 83.5 and 83.11 never once is the 180
11 days even mentioned. It's in the preamble, but
12 not in the text of the rule. Hmm.

13 But the preamble says that the reason for this
14 rule is to deal with the new deadline set forth
15 in the Defense Authorization Act, and the rule
16 does specify the 30-day deadline that the
17 Secretary of Health and Human Services has to
18 receive a recommendation from the Board and
19 then take action, either to approve or deny.
20 So I guess the question is why is the 30-day
21 time frame in the rule, but the 180-day
22 requirement for making this recommendation is
23 not?

24 The other concern we have is -- is whether the
25 definitions that are currently in place for

1 what a petition is have been stretched, as a
2 practical matter, and ultimately result in
3 NIOSH having a year or more to deliberate on
4 SEC petitions. I only look at Rocky Flats
5 where the petition was file in February, and it
6 was one of the most detailed petitions I'd ever
7 seen. It was exhaustive, well-documented,
8 tabbed and organized. And after several months
9 of qualification process, then the petitioners
10 received their qualification and now 180 days
11 have passed and of course there's no petition
12 before the Board.

13 So the question really is are there any
14 consequences for missing the 180-day deadline,
15 and should there be? Is that a statutory
16 question, or should that be in the rule?
17 And I guess the other question that arises is
18 what does it mean, as I've seen in the
19 transcript and I think you, Dr. Ziemer, may
20 have mentioned the concept of being frozen in
21 time. You take the petition where it is,
22 frozen in time. You take the data, frozen in
23 time. This happened in Iowa, I think, that was
24 -- was most profoundly that policy sure came to
25 the fore, and I believe Dr. Melius in his

1 presentation today talked about a petition
2 being frozen in time.

3 Well, I guess the question is, how long do you
4 let this deadline creep before something's not
5 frozen in time, it's sort of sliding along,
6 kind of like at a glacial pace?

7 Now NIOSH created a definition for the term
8 "petition" in this rule. When you submit a
9 petition now, according to this rule, it's
10 actually deemed a submission. And it doesn't
11 become a petition until it's qualified. But
12 there's no deadline on how long it takes to get
13 qualified. And what the Defense Authorization
14 Act actually says, if you look at the text, it
15 says that the qualification process has to take
16 place within the first 30 days of the 180 days.
17 It doesn't say you can do it outside. And let
18 me just read you very briefly what it says.
19 It says -- and this is in House Report 108-767,
20 it says (Reading) During the 180-day period
21 when NIOSH is preparing the petition for review
22 by the Advisory Board, NIOSH should identify
23 all the deficiencies in the petition within the
24 first 30 days. So the 30 days fits within the
25 180-day window, not outside the 180-day window.

1 And there's not even a 30-day deadline for
2 qualifying a petition.

3 The other concern was that NIOSH cut the time
4 for a petitioner to appeal a rejection for the
5 qualification of their petition to a mere seven
6 days, seven days to put your appeal together.
7 Now NIOSH says that the reason that they're
8 doing this is -- is because it would give them
9 -- it would chew up less of the 180-day time
10 period. But if you're not qualifying a
11 petition, the 180-day clock's not going to run
12 anyhow under this rule. So there seem to be
13 some points of confusion here and
14 inconsistency.

15 And then finally the question which was also
16 brought up by another commenter today, which
17 was the term "recommendation", what is a
18 recommendation. Is a recommendation a yes or
19 no? Is it part of an SEC evaluation report,
20 and then when the report comes to the Board
21 y'all have a yes or a no? Or does a
22 recommendation mean we need more time?

23 Now I happened to listen in to that conference
24 call in early January, and what we learned was
25 a recommendation could include a request for

1 more time. The example given was Y-12 here in
2 Tennessee, that -- well, we've evaluated a part
3 of the petition but we need more time for the
4 rest of it so our recommendation is we need
5 more time.

6 Well, I don't know that that's what Congress
7 intended by the word "recommendation".

8 Recommendation meant yea or nay. So it seems
9 to me the rule ought to have a definition of a
10 recommendation. And I guess if all of this is
11 about getting more time, then let's be up
12 front.

13 Now the preamble to the rule says the purpose
14 of all these definitions is to, quote, enable
15 NIOSH to work within the 180-day statute. It
16 doesn't say to conform. And so it's revealing
17 to use the word "enable" versus "conform", and
18 I don't know quite exactly what's at issue
19 here, but I would encourage you all to think
20 about whether the Board should look carefully
21 at this rule, since it's going to have to live
22 with it as part of its guidelines.

23 I went through the guidelines that Dr. Melius
24 laid out, and I just had two or three brief
25 questions, one of which is when can data from

1 another facility be used to establish dose?
2 Now this came up obviously in the Iowa special
3 cohort with whether or not you could use Pantex
4 tritium data, or the neutron/photon ratios from
5 -- from Pantex. But it seems to me that when
6 you look at the special cohort procedures that
7 NIOSH has published, they say, you know, it
8 doesn't say how much data we can use from
9 another facility. It doesn't say how little or
10 how much, and so as long as there's at least a
11 scintilla, we're conforming with the legal
12 requirement to use data from the source of the
13 site that's at issue, 'cause the statute says
14 you have to use data from the site. It just
15 doesn't say how much of that data you have to
16 use.

17 And so one of the questions about whether you
18 can bound the dose, whether you can do a
19 special cohort or whether you should approve or
20 deny one is whether there's other data out
21 there you can use. And I would ask this
22 question: Should you define the parameters in
23 your SEC guidelines on when you can use data
24 from another source?

25 I have some suggestions that should make it

1 fairly clear when you can and when you can't.
2 It seems to me that if your conditions are
3 fairly close to parallel -- I don't mean
4 identical, but parallel and high degree of
5 approximation, then perhaps you could use data
6 from it, but it should cover the same time
7 periods. It should be for the same processes.
8 And -- but it shouldn't be for vastly different
9 time periods and vastly different processes in
10 an effort to try to come up with some kind of
11 number you can throw at the wall.
12 The other question is, how little data can you
13 get away with using from a specific site, and
14 how much data can you borrow from another site
15 and say it's feasible to estimate dose with
16 sufficient accuracy?
17 The other thing I would just like to suggest is
18 a process matter, and it deals with the SECs
19 directly, which is should -- wouldn't it make
20 sense if NIOSH just, right up front in the site
21 profile, if it self-identified classes of
22 workers that would readily qualify for
23 inclusion in an SEC, that they would just
24 communicate this to the Board, that they would
25 just make this public? Now, you know, back --

1 over a year ago, almost 16 months ago, a task
2 order was given to ORAU to precisely do that,
3 to come up with a report. And Larry Elliott
4 reported to this Board that they were working
5 on a report of self-identified Special Exposure
6 Cohorts. And it seems to me that report which
7 was due December 1st, 2004 is at least 14 --
8 13, 14 months overdue, and it would seem to me
9 if that report's in hand in whatever shape it's
10 in, it ought to be made public. People
11 shouldn't be kept in the dark if there's SECs
12 hanging in sort of the closet, so to speak, of
13 NIOSH or ORAU.

14 The other question I guess I would pose is what
15 about site profiles? What happens if you have
16 an SEC petition and the -- there's holes in the
17 site profile? What you find out is maybe you
18 can bound the upper dose -- end of the dose,
19 but the site profile needs a lot of surgery.
20 In other words, the site profile needs a long
21 way to go. How long do you allow the process
22 to go on and all -- on and on and on and on and
23 on till you plug all those holes? In other
24 words, do you -- I guess, put another way, is
25 that an open-ended process? And how do we deal

1 with this frozen-in-time problem that was laid
2 out really well I think in the dialogue on
3 November 17th, and the transcript I think
4 commends itself well to that discussion. But
5 again, the draft guidelines as presented today
6 don't ask or answer that question, and it would
7 be -- it would be helpful I think for you guys
8 to deal with the prescriptively.

9 Finally, I'd just like to talk a little bit
10 about the SC&A procedures. I mean I don't know
11 if they're ready for prime time or not. I
12 don't know whether the Board's going to take
13 them up at this meeting. But one of the things
14 that's really of concern to me is that, you
15 know, site profile reviews are being used as
16 sort of the proxy for SEC reviews, and it seems
17 to me it would be very helpful if the SC&A
18 procedures at least conditionally could be put
19 in place so that you could then task them with
20 a few sites to start getting going on SEC
21 reviews. You know, I -- I have some
22 suggestions on facilities that might benefit
23 from an SEC review, but I'm sure you all know
24 what the list is that's out there.

25 So those are my thoughts. I thank you for your

1 time.

2 **DR. ZIEMER:** Okay. Thank you, Richard, for a
3 number of stimulating questions for the Board
4 to consider. And we do have, incidentally --
5 on the agenda tomorrow we will be looking at
6 those SC&A procedures that have -- that were
7 referred to.

8 Let me check one more time. Do we have anyone
9 on the phone?

10 (No responses)

11 Apparently not. I want to again give one more
12 opportunity -- did Robert Pidgeon return?

13 (No responses)

14 Okay. Or Gertrude Timmons -- Timmer?

15 (No responses)

16 Okay. If not, that completes our public
17 comment period. I thank all of you for
18 participating, those who came long distances,
19 those who live close by, we're pleased that
20 you're here to share with us. If you have
21 additional comments that you'd rather give to
22 Board members privately, you're welcome to do
23 that, as well.

24 Thank you. The Board will reconvene tomorrow
25 morning. You're all welcome to join us at that

1

time.

2

(Whereupon, the meeting adjourned at 6:30 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of January 24, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 7th day of March, 2006.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**