

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes

MEETING 44

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

VOL. I
DAY ONE

The verbatim transcript of the 44th
Meeting of the Advisory Board on Radiation and
Worker Health held at the Cincinnati Marriott
Northeast, Mason, Ohio, on Feb. 7, 2007.

C O N T E N T S

Feb. 7, 2007

WELCOME AND OPENING COMMENTS	7
DR. PAUL ZIEMER, CHAIR	
DR. LEWIS WADE, DESIGNATED FEDERAL OFFICIAL	
STATUS OF NEW BOARD MEMBERS	7
DR. PAUL ZIEMER, CHAIR	
NIOSH PROGRAM UPDATE	10
MR. LARRY ELLIOTT, NIOSH/OCAS	
DOL PROGRAM UPDATE	44
MR. JEFFREY KOTSCH, DOL	
DEPARTMENT OF ENERGY REMARKS	64
MR. GLENN PODONSKY, DOE	
SUBCOMMITTEE ACTIONS	92
MR. MARK GRIFFON, ABRWH	
SELECTION OF REMAINING PROCEDURES TO BE REVIEWED BY SC&A UNDER TASK 3	110
MS. WANDA MUNN, ABRWH	
PUBLIC COMMENT	119
DR. PAUL ZIEMER, CHAIR	
COURT REPORTER'S CERTIFICATE	208

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

BEACH, Josie
Nuclear Chemical Operator
Hanford Reservation
Richland, Washington

1 CLAWSON, Bradley
2 Senior Operator, Nuclear Fuel Handling
3 Idaho National Engineering & Environmental Laboratory

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

1 LOCKEY, James, M.D.
2 Professor, Department of Environmental Health
3 College of Medicine, University of Cincinnati

4 MELIUS, James Malcom, M.D., Ph.D.
5 Director
6 New York State Laborers' Health and Safety Trust Fund
7 Albany, New York

 MUNN, Wanda I.
 Senior Nuclear Engineer (Retired)
 Richland, Washington

 POSTON, John W., Sr., B.S., M.S., Ph.D.
 Professor, Texas A&M University
 College Station, Texas

 PRESLEY, Robert W.
 Special Projects Engineer
 BWXT Y12 National Security Complex
 Clinton, Tennessee

 ROESSLER, Genevieve S., Ph.D.
 Professor Emeritus
 University of Florida
 Elysian, Minnesota

 SCHOFIELD, Phillip
 Los Alamos Project on Worker Safety
 Los Alamos, New Mexico

SIGNED-IN AUDIENCE PARTICIPANTS

ADAMS, NANCY, NIOSH
BALDRIDGE, SANDRA, PETITIONER
BEATTY, SR., EVERETT RAY, FERNALD MEDICAL SCREEN
BEHLING, HANS, SC&A
BEHLING, KATHY, SC&A
BROEHM, JASON, CDC WASHINGTON OFFICE
BURGAN, LARRY, DOW/SCI
CALLAWAY, ALLEN, FERNALD MEDICAL SCREENING
CALVERT, CHARLES, MOUND
CHANG, C, NIOSH
D'ANGELO, JAY, ATTORNEY
DONG, MAXIA, NIOSH/OCAS
ELLIOTT, LARRY, NIOSH
ELLISON, CHRIS, NIOSH
EVASKOVICH, ANDREW K., LOCAL #69
FITZGERALD, JOSEPH, SC&A
GAFFY, VICKI
GRANT, ROGER
HINNEFELD, STUART, NIOSH
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
JERISON, DEB
KOTSCH, JEFF, U.S. DOL
LEAVY, GLENDA, NIOSH
LEWIS, MARK, ATL
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MCFEE, MATTHEW, ORAUT
MCKEEL, DAN, SINEW
MILLER, RELADA, NIOSH/OCAS
PRESLEY, LOUISE S., WIFE OF ROBERT PRESLEY
RAMSPOTT, JOHN, SINEW
ROLFES, MARK, NIOSH
SCHAEFFER, D. MICHAEL, SAIC
SCHNORR, TERRI, NIOSH
SENTKER, TED, G.E.
SKINTIK, ED, DOE
TABOR, ROBERT G., FERNALD
ZACCHERS, MARY JO, ORAU
ZIEMER, MARILYN

P R O C E E D I N G S

(1:00 p.m.)

WELCOME AND OPENING COMMENTS

DR. PAUL ZIEMER, CHAIR

DR. LEWIS WADE, DFO

1 DR. ZIEMER: Good afternoon, everyone. We're going
2 to call the meeting to order. This is the 43rd
3 (sic) meeting of the Advisory Board on
4 Radiation and Worker Health. We welcome you
5 all to southern Ohio. Southern Ohio today is
6 like southern Indiana. Everything is snowy, so
7 we have a bit of a snow delay. At least one of
8 our members is yet to arrive, but we certainly
9 have a quorum.

STATUS OF NEW BOARD MEMBERS

10 DR. PAUL ZIEMER, CHAIR

11 I'd like to begin our session, and before I
12 call on Dr. Wade to make some remarks, I want
13 to call attention to the fact that we have just
14 recently seated two new Board members. They
15 are so new, in fact, to the Board that their --
16 their table tags are still temporary. But if
17 you're real good, we'll get you new ones by
18 next time.

19 Let me introduce them. First of all, Josie
20 Beach -- Josie's a nuclear chemical operator

1 and lead hazardous waste trainer for C2M-Hill
2 in Hanford, Richland, Washington.
3 CM2 -- CH2M-Hill -- let's get it right, yeah.
4 All those acronyms sound alike. Josie is a
5 nuclear chemical operator. She's had 20 years
6 of experience at the Hanford Reservation. She
7 started her career in the plutonium finishing
8 plant there and was active in the final
9 plutonium production campaign. In the mid-'90s
10 Josie became involved with some of the worker
11 training programs and was -- has been involved
12 in developing health and safety classes there
13 at the facility. And more recently with CH2M-
14 Hill has been involved with the tank farm waste
15 operations group and is also a member of the
16 United Steelworkers Union Local 12-369.
17 Welcome, Josie. We're pleased to have you join
18 the Advisory Board.

19 **MS. BEACH:** Thank you.

20 **DR. ZIEMER:** And then also another new
21 individual on the Board is Phillip Schofield,
22 who is from New Mexico. Mr. Schofield worked
23 at the Los Alamos National Laboratory for 21
24 years. He's had extensive experience in
25 handling and in processing plutonium and

1 americium. He's been involved with actinide
2 chemistry processing, a lot of different
3 activities related to that. He has spent the
4 last five years as an operations center
5 specialist. He -- running and monitoring
6 systems at the TA-55 plutonium facility. He
7 has been on medical disability and has been
8 working as a volunteer at the Los Alamos
9 Project on Worker Safety. So Phillip, we
10 welcome you to the Board as well.

11 **MR. SCHOFIELD:** Thank you.

12 **DR. ZIEMER:** Now we do have a quorum. We
13 believe that -- I think that Michael Gibson is
14 on the line. Mike, are you with us on the
15 line?

16 **MR. GIBSON:** Yeah, Paul, I'm here.

17 **DR. ZIEMER:** Thank you. And then, Lew Wade.

18 **DR. WADE:** Let me formally welcome you to the
19 Advisory Board, and as I always do, I'd like to
20 thank the Board members for their service. I -
21 - I welcome the two new Board members and we
22 look forward very much to their participation
23 and to overworking them, probably starting at
24 this meeting. But -- but thank you. They've
25 been through an orientation with the folks at

1 NIOSH and Dr. Ziemer, and I think they -- they
2 come to us ready to -- to begin their service.
3 So again, thank you all and Paul, please.

NIOSH PROGRAM UPDATE

MR. LARRY ELLIOTT, NIOSH/OCAS

4 **DR. ZIEMER:** Okay. We're going to begin our
5 session this week with the program update from
6 NIOSH. Larry, we'll welcome you back.

7 **MR. ELLIOTT:** Good afternoon, ladies and
8 gentlemen of the Board, and colleagues and
9 members of the public. We're so happy that
10 everyone arrived safely during this bout of bad
11 weather that we've had here in Cincinnati.
12 Want to open up my presentation here with just
13 a -- a notice to you all and to those out on
14 the -- on the phone line, NIOSH is very pleased
15 to have announced that we have an ombudsman now
16 on board for Subtitle B dose reconstruction and
17 SEC petition processing. And Denise Brock -- I
18 don't know that she's arrived yet but she is
19 supposed to be here in attendance at this
20 meeting, and I certainly would like to make
21 sure that you know about her existence in the
22 program as the ombudsman, and we'll walk you
23 through a little bit of her duties and contact
24 information.

1 I'd also like to introduce to you as well
2 Laurie Ishak, who is -- Ishak-Breyer, who is
3 now married, as the SEC counselor. She's not
4 here also. I -- I think she's in travel status
5 bringing new computers to the new Board members
6 right now. So when she joins us, please
7 introduce yourself to Laurie.

8 For Denise as the ombudsman, you have -- in
9 this slide you have her phone contact
10 information. It's a toll-free number. I know
11 she has an e-mail address but we -- I think
12 she's trying to change that and we don't want
13 to give that out until we get the final e-mail
14 address.

15 Her duties as ombudsman under Subtitle B or
16 Part B of the Energy Employees Occupational
17 Illness Compensation Program Act includes these
18 various bullets as you see here -- to provide
19 advice to the SEC petitioners in compiling
20 their materials, their information and the
21 documentation that's necessary for filing an
22 SEC petition. She'll also assist petitioners
23 in making their presentations to this Advisory
24 Board. Denise will work with -- with the
25 petitioners as they encounter difficulties in

1 this technically-complex and -- and difficult
2 process of -- of pushing their petition through
3 to closure. And she's also there to assist
4 Subtitle B claimants who may be experiencing
5 some difficulties in the dose reconstruction
6 process, and she can answer questions or help
7 guide them through that process.

8 Laurie Breyer, or Laurie Ishak-Breyer, has her
9 contact information here, both the office
10 number as well as an 800 toll-free number. And
11 if you wish to write her by e-mail, you may use
12 the OCAS e-mail address.

13 Her duties involve assisting individuals who
14 are thinking about or desirous of submitting an
15 SEC petition. She assists petitioners in
16 understanding the process that their petition
17 must go through and helps them in the
18 development and the submission, and works
19 alongside Denise Brock in that regard.

20 She'll work with petitioners in overcoming
21 their frustrations or any confusion that --
22 that may result in their working their way
23 through this process.

24 Both Denise and Laurie have talked about
25 holding Special Exposure Cohort outreach

1 meetings as part of Denise's responsibilities
2 and Laurie in support of that. They intend to
3 help individuals understand this complicated
4 process of moving a petition forward for
5 acceptance and evaluation, and final
6 determination of what should happen. They're
7 going to hold meetings that'll be open to the
8 public that'll be approximately a half a day
9 each. The -- I don't believe they settled on
10 the total number of these meetings yet. They
11 will deal with all requests for meetings of
12 this sort, and identify where they need to hold
13 such a meeting to have the greatest impact.
14 So to request such a meeting, I'd simply ask
15 that you contact either Denise or Laurie Breyer
16 Ishak and they will assist you in setting up
17 those kinds of meetings.
18 Is there any question from the Board about the
19 duties of these two individuals?

20 (No responses)

21 If not, I'm going to have to change slide shows
22 then.

23 (Pause)

24 As I do at every Board meeting, I -- I try to
25 give the Board and the public, the people in

1 attendance who are so interested, an update on
2 the dose reconstruction program and the SEC
3 petition process and where things stand in that
4 regard, and so we'll just jump right in and
5 talk about the overall number of claims that
6 have been sent to NIOSH for dose
7 reconstruction.

8 A little over 23,000 to date have been
9 submitted to us. We have completed 81 percent
10 of those, or 18,659 have been returned to the
11 Department of Labor for a decision. And you
12 see here, of that 18,659 cases, those that are
13 broken out this way -- 16,664 have actually
14 gone to DOL and there's been a decision made;
15 652 claims have been pulled by the Department
16 of Labor for a variety of reasons, and I'll get
17 into that later. There are currently 1,343
18 cases that have been currently pulled for
19 examination by DOL as to being eligible or not
20 for SEC class. That leaves us at NIOSH around
21 4,213 or another 18 percent that are still
22 active, or are claims that are in our process.
23 And this -- these numbers are as of January 26
24 this year, 2007. We have 213 cases, or one
25 percent of the total, that has been

1 administratively closed under dose
2 reconstruction, and I'll speak more about those
3 kind of claims in a moment.

4 Of the 16,664 dose reconstructions that have
5 been returned to Department of Labor for a
6 decision, DOL has found 28 percent, or 4,594,
7 to have met the compensability requirement of
8 greater than 50 percent POC, or probability of
9 causation, at the 99th percent credibility
10 limit. They've also found 72 percent, or
11 12,070, of those claims had a non-compensable
12 determination. These are only dose
13 reconstructed claims.

14 When we talk about how we do dose
15 reconstruction, as the Board knows, there's a
16 variety of approaches that are used in our
17 efficiency process, and these are the different
18 types of dose estimations that can be done.
19 This information is provided to the Board so
20 that you can better plan your review and
21 sampling of concluded cases for your review.
22 The -- by and large, the -- the overestimation
23 of internal and external dose, as you can see
24 here at 11,026 cases, have been completed using
25 that kind of a -- an overall approach. I won't

1 go through the rest of the list. You'll have
2 it -- you have it in your briefing booklets.
3 Of the 4,213 cases remaining at NIOSH for dose
4 reconstruction, we would show that 1,023 of
5 those are currently assigned to health
6 physicists. They're in the process. They're
7 being reconstructed. Another 878 initial draft
8 dose reconstruction reports are currently in
9 the hands of the claimants and we're awaiting
10 their return of our OCAS-1 form which imparts
11 that they have no further information to
12 provide us on that particular dose
13 reconstruction, and we are enabled then to move
14 that claim on to the Department of Labor for a
15 decision. Two thousand and -- 2,312 cases are
16 being developed or are awaiting assignment, and
17 being developed means we're collecting the
18 information necessary from the Department of
19 Energy. We're also conducting interviews with
20 the claimants. We may be -- there may be some
21 of these cases that are held up awaiting a
22 Technical Information Bulletin or a Technical
23 Basis Document development before we can
24 complete them.
25 There are 1,896 cases that are older than one

1 year in this subset of claims, so about 45
2 percent of our active claim population are
3 greater than a year old. That's important to
4 us because we want to get to -- we have a
5 strategic goal that we're trying to achieve
6 this year where we take one more step closer to
7 steady state, and that is not to have a claim
8 in our process over a year old. Once we
9 achieve that, then we'll revise our strategic
10 goal and attempt to -- to refine it to a better
11 goal for the benefit of more claims.
12 As I mentioned, we are particularly interested
13 in working on the oldest claims first and
14 getting them out the door, if possible, so that
15 these folks can have a decision. Here you see
16 in this slide -- and if we look at our first
17 5,000 claims, our oldest claims in-house, we've
18 completed 4,350 -- 4,315 of those. There have
19 been 51 that were administratively closed under
20 dose reconstruction. The Department of Labor
21 has pulled back 269 claims out of this
22 population of 5,000. 166 claims in this
23 population have been pulled for SEC classes,
24 and 48 are in hands -- dose reconstruction
25 reports in the hands of claimants. You see

1 these listed here in white because we feel
2 we've completed our work on those.

3 The next one you see here are the returned
4 cases from DOL. These are returned for various
5 reasons I'll speak about in a moment, but they
6 are -- they've already had one dose
7 reconstruction and now we're being asked to
8 revise that dose reconstruction, so it's more
9 work back on our plate.

10 The -- the red that you see here, the 81 claims
11 that are awaiting dose reconstruction, those
12 are the remaining active claims in our first
13 5,000 that we're working on. And I'll break
14 those down a little bit more for you in a
15 moment.

16 Our traditional slide of showing you by
17 increments of 1,000 -- these are the tracking
18 numbers that are used. When we receive a
19 claim, we assign a tracking number, and so in
20 sequence, if we break them out into 1,000
21 increments, you can see how we're doing against
22 each 1,000 and how we're doing against the
23 total population of claims. The blue bar
24 indicates cases that are completed. The green
25 bar -- part of the bar indicates cases that are

1 pending for some reason; there's no activity on
2 those cases until we can get a resolution of
3 whatever the issue may be or the obstacle may
4 be. And then the red bar indicates those cases
5 that have been pulled or administratively
6 closed.

7 As I've mentioned to the Board in the past, I
8 want this slide to be more informative and to
9 also show the SEC claims that have been
10 processed under the new classes that have been
11 added, and those are not in this graph yet --
12 be forthcoming. Not much time had passed since
13 the last Board meeting and we just haven't --
14 we've been working on other things that have a
15 higher priority than updating that particular
16 graphic.

17 Here this graphic shows you the number of
18 claims in blue -- in the blue line -- that have
19 been sent to NIOSH from the Department of
20 Labor. And you can see that in the early days
21 of the program, in October of '01 -- October
22 17th I think is when we received our first
23 batch of claims -- that result-- that
24 represents the backlog that we were dealing
25 with. The green line indicates those cases

1 that we have completed a dose reconstruction
2 report for and have moved it on to the
3 claimant. And then the red line actually
4 reflects some of the activity coming out of
5 that green line and represents the final dose
6 reconstruction reports that have been provided
7 to the Department of Labor.

8 Here we show -- to just determine in this
9 graphic whether there's any trend in our
10 administratively-closing claims under dose
11 reconstruction. And again I'll remind you this
12 is where a person may become so frustrated they
13 just will not sign our OCAS-1 form enabling us
14 to send it on to Department of Labor for a
15 decision. And at that point we give them 60
16 days to provide us the form or to provide us
17 new information. If at the end of 60 days we
18 don't hear from them, we send them another
19 letter giving them another 14 days of grace on
20 this issue. If at the end of 74 days we have
21 not heard anything or we don't have any
22 indication that the claimants have new
23 information or have an intent to sign the OCAS-
24 1, we administratively close the dose
25 reconstruction.

1 Now we can open this -- reopen this dose
2 reconstruction at any point in time, and we do
3 so upon request of the claimant. They can
4 either request that -- that we reopen it and
5 submit the OCAS-1 so that we can move it on to
6 the Department of Labor for decision, or
7 they'll indicate to us that they have found
8 additional information they want us to
9 consider, and we will do so.

10 We really don't see any trends in this analysis
11 at all. If you -- if you look at the spikes
12 that are seen here, there's usually something
13 accompanying a spike. Our production level
14 increased dramatically, or a new Technical
15 Basis Document come on line, or we run into a
16 set of claimants that were just so fed up and
17 frustrated with the process that -- that they
18 found it just more beneficial to them I guess
19 not to sign the OCAS-1.

20 When we receive a claim back from the
21 Department of Labor asking us to rework the
22 claim -- and you'll see that in this graphic
23 under the green bar. I apologize, this doesn't
24 come out very well in this -- we're going to
25 have to change the colors here I think. But

1 the green bar indicates those we've received
2 from DOL and the blue bar indicates those that
3 we have done our work on and made the revisions
4 and sent them back.

5 Again, there's a -- the majority of these
6 reworks are for situations where the claimant
7 has acquired another cancer, or identified
8 additional employment history, or some
9 demographic change has occurred in the -- in
10 the claim itself. Very few of these come back
11 to us as technical reworks. We may see more of
12 them in the future as we get more involved in
13 changes that we've made to our approaches and
14 DOE -- DOL sends us the claims for rework under
15 a modification to our -- to one of the
16 approaches that we use.

17 When it comes to response to our requests for
18 exposure information from the Department of
19 Energy, they've been very forthcoming. We're
20 only working on a limited number of claims as
21 you see here, 322 open requests out there. DOL
22 sends us, on average, about 200 new claims a
23 month. That's been pretty steady state. So
24 what you're seeing here is really the -- the
25 new claims that have been sent to us. You see

1 here is 70 claims have a request in front of
2 DOE at some point where we're monitoring and
3 tracking the response to those and 70 of those
4 are over 60 days. Every 30 days we follow up
5 with DOE and the point of contact at the DOE
6 facility and seek out with them where they're
7 at on responding to our request, and that is
8 documented. None of these 70 claims are over
9 120 days old at this point in time, and there
10 are no particular sites that we're having any
11 trouble with in this regard. So these are just
12 -- really the -- I'm pretty pleased with how
13 this looks right now.

14 We've also just recently -- we do send
15 supplemental requests to DOE to look for
16 information that goes beyond the individual
17 personal dosimetry or bioassay, and generally
18 those -- on an individual basis, those issues
19 may -- may slow down or delay the completion of
20 the claim, but in this -- in this regard with
21 coworker data, we've made a number of recent
22 requests to the Department of Energy for large
23 datasets that will be used in developing
24 coworker data distributions to fill information
25 gaps across sites. And I've listed the sites

1 here for you. These are very important to us
2 because there is a -- a number of these gaps
3 that these datasets are -- are looking to
4 fulfill. So the sites as you see them here are
5 Argonne National Lab East, Lawrence Berkeley
6 National Laboratory, the West Valley
7 Demonstration Project, Lawrence Livermore
8 National Lab, Los Alamos, and the Sandia
9 National Lab.

10 Looking now at the Special Exposure Cohort
11 class additions, there have been -- currently
12 there are 1,342 claims at the Department of
13 Labor and they're evaluating those claims for
14 their eligibility with -- to stand within a
15 class. You see the numbers here. Ames
16 Laboratory, there have been 20 claims now at
17 DOL awaiting that eligibility. Iowa Ordnance
18 Plant, 336 for the first -- let's see, for the
19 first class, the most current class, and then
20 the -- there's one claim from that facility for
21 the oldest class in time. Linde, we have 47
22 claims at DOL. Mallinckrodt, for the early
23 class, 94 claims; and for the later class, 56
24 claims. And the Nevada Test Site, for that
25 class we're -- have 188 before DOL.

1 Pacific Proving Ground is another class that's
2 been added and 20 claims are represented here
3 going through the eligibility process. Y-12
4 early class, we have 82 claims; Y-12 later
5 class, 277. The Oak Ridge Institute of Science
6 and Engineering class, there are three claims.
7 Los Alamos National Lab, the RaLa class, there
8 are 214 claims working through eligibility.
9 And in S50 we show four claims.

10 If you were here earlier this morning you heard
11 some working group discussion about procedures
12 review, and I want to apologize to Wanda Munn,
13 the chair of that working group. I was
14 confused when you were talking about Y-12
15 documents. They sounded to me like site
16 profiles and some of these documents here I'm
17 going to present in a moment go to site
18 profiles. So Technical Basis Documents that
19 are currently in use in our dose reconstruction
20 program right now total 150. There are 60
21 Technical Information Bulletins that are
22 approved for use in the program.

23 We currently have 12 Technical Basis Documents
24 -- and these may be a chapter of a full site
25 profile or serve as a -- as a site profile in

1 and of themselves, and they -- these documents
2 are being developed by the ORAU team for
3 Harshaw, Sandia National Laboratory, the NUMEC
4 sites in Pennsylvania, Metal and Controls
5 Corporation, Sandia National Laboratory
6 Livermore, the West Valley Demonstration
7 Project, and Ames Laboratory, the Battelle King
8 and Jefferson Street facility, the Peek Street
9 facility, and the Extrusion Plant also known as
10 RMI, and the General Electric Vallecitos
11 facility.

12 Likewise at -- our Battelle technical
13 contractor has also been working on Technical
14 Basis Documents, the uranium metal trades, the
15 -- this is a group of Atomic Weapons Employers
16 that did similar processing of uranium.
17 That'll be covered in that document. And also
18 the uranium refinery -- refining Technical
19 Basis Document.

20 Battelle's efforts are devoted to working on
21 1,400 claims that cover 256 facilities. That
22 represents 15 percent of the total claims that
23 we have, and also 85 percent of the covered
24 facilities. So as you might imagine, this is a
25 very small number of claims per facility here,

1 and in our early strategic planning we focused
2 our efforts on the larger facilities where we
3 had the largest claims. And we're now -- and
4 we're now providing the attention that these
5 Atomic Weapons Employer facilities I think
6 deserve.

7 There are 221 dose reconstructions that have
8 been completed by Battelle, were -- and are in
9 our technical review or have moved on to the
10 claimants; 312 dose reconstructions have been
11 provided to the claimants.

12 As you know, under our Special Exposure Cohort
13 rule we are enabled -- when we identify that we
14 cannot do a dose reconstruction, we can use
15 that as initial class definition and so --
16 that's called 83.14 in our parlance, it comes
17 out of our regulation, and it speaks to this
18 particular situation where a dose cannot be
19 reconstructed. So we have identified these
20 facilities that have -- we're writing up
21 professional judgments and we're checking to
22 make sure that there is no source of
23 information that we have not yet identified,
24 and making sure that we have all of the
25 identified sources of information collated into

1 a folder that will serve as our evaluation of
2 these facilities. They include Combustion
3 Engineering, Kellex-Pierpont, the Lovelace
4 Respiratory Research Institute, the SAM
5 Laboratories at Columbia University, Lake
6 Ontario Ordnance Works, the Massachusetts
7 Institute of Technology, the Naval Research
8 Lab, Norton Company, University of Rochester
9 Atomic Energy Project, Watertown Arsenal
10 Building 421, University of California and Dow
11 Chemical.

12 I've been providing a report to the Advisory
13 Board each meeting on our efforts on
14 construction workers. Unless I hear otherwise,
15 I'm probably going to drop this from -- from my
16 presentation and cover other matters for the
17 Board, but to conclude with this, we're --
18 we're dealing with about 4,600 cases that have
19 a construction trades title in their job
20 history. And of those we have submitted 3,881
21 claims to Department of Labor, 28 percent of
22 which have found to be compensable by Labor; 74
23 percent have found -- been found to be non-
24 compensable by -- by Labor. We have 723 cases
25 remaining of construction trades workers to be

1 reconstructed, and we're working hard on those.
2 Just a note for the Board, since you've had a
3 request from the Center for Protection of
4 Worker Rights on the -- asking for the Board to
5 review construction trades worker claims, the
6 Board in its first 80 dose reconstructions
7 looked at seven that had construction trade job
8 titles. And another 40 dose reconstructions
9 are in the mix that -- that the Board is
10 getting -- selecting from under their seventh
11 review.

12 When we make a change in one of our technical
13 approaches to doing dose reconstruction, or in
14 our risk models for Interactive RadioEpi
15 Program that determines the probability of
16 causation, we're required by regulation to look
17 back at the claims that have been completed and
18 found to be non-compensable and determine
19 whether or not that particular change is going
20 to affect the decision outcome for that claim.
21 And here we call these Program Evaluation
22 Reports. Seven have been completed thus far,
23 and I've listed them here for you. The Hanford
24 Bias Factor -- these are on our web site, the
25 Board has been made aware of them, the public

1 can get access to them -- Misinterpreted
2 dosimetry records that result in an
3 underestimation of dose for the Savannah River
4 Site; Error in surrogate organ assignment
5 resulting in an underestimate of X-ray dose to
6 the Savannah River Site claims; fourth one is
7 the effect of adding ingestion intakes to
8 Bethlehem Steel cases; fifth is
9 photofluorography at Pinellas; then we have the
10 external dosimetry target organ for prostate
11 cancer; and finally the evaluation of the
12 effect of Revision 2 of the Bethlehem Steel
13 site profile.

14 We've modified our program evaluation review
15 procedure to include what we call a PEP, or a
16 Program Evaluation Plan. We use this where we
17 encounter large numbers of cases that might
18 potentially -- that will need to be reviewed to
19 determine whether or not the change that has
20 been initiated affects claims. And it -- this
21 is a -- if you will, a screening effort to
22 identify the universe of claims that needs to
23 be examined one by one. That's in a plan
24 called a PEP, a Program Evaluation Plan.

25 Once we have the plan, it will -- and we work

1 through the cases that have been identified in
2 that universe, then that will become a Program
3 Evaluation Report and will be also posted on
4 our web site to show what we found in that
5 review. Not all PERs will need a PEP, as I
6 said. If they're not large enough, the
7 universe is small, we'll be able to look at
8 those on an individual basis and finish up the
9 Program Evaluation Report with no need to
10 provide a plan.

11 Two Program Evaluation Plans have been
12 completed to identify how we're going to deal
13 with our change to the lung model and the risk
14 that's associated with lung cancer. We've also
15 got a large effort on our lymphoma change, and
16 so both of these required a Program Evaluation
17 Plan, and we're working through those now.

18 I might say that we are tracking in our
19 management plan about 20-some-odd other program
20 evaluation reviews that need to be done, and
21 we're working on those.

22 In our communications efforts we have made some
23 progress I think in dealing with concerns and
24 criticisms and complaints we've heard about our
25 communications with regard to letting folks

1 know that we've received their claim from the
2 Department of Labor. This is what we call our
3 acknowledgement. We send an acknowledgement
4 packet to a claimant informing them that we now
5 have their claim and we're working on it, and
6 what's going to happen with that claim, what
7 are the next steps. And so the Board -- Board
8 provided us review comments on this new
9 communication piece and it's now in effect.
10 It's being sent out this -- this month -- or
11 last month, in January. So from that time
12 forward, that's the kind of acknowledge packet
13 we'll -- we'll use until we change it again.
14 We're also involved in revising and
15 reformatting our draft dose reconstruction
16 report that goes to the claimants to make it
17 more claimant-friendly, to make it more
18 informative and make it more understandable to
19 that particular audience. We've heard concerns
20 that our reports have been developed really for
21 a different audience, a health physicist
22 perhaps, which is perhaps true. So we're --
23 we're striving to revise and reformat that
24 report and I'm not sure how soon we're going to
25 get it out. We have to do a little bit of

1 retooling before we can put it into place. But
2 we also have Board comments on that and we
3 appreciate the constructive input that you've
4 given us.

5 We have completed our effort on producing a
6 video that explains dose reconstruction. I
7 didn't bring one up here to hold up with me,
8 but the Board members should have got an e-mail
9 this morning that indicated that we'd like to
10 know what format you want your video in. You
11 can have DVD, CD, VCR -- you tell us and we'll
12 get you a copy of it, as many as you want.
13 These are available to the public. We're going
14 to distribute them upon request. We'll have
15 them at our public meetings. You can sign up
16 and get one. We're going to place them in the
17 Department of Labor Resource Centers around the
18 country so that they can play them for
19 claimants and use them as they see best to
20 their advantage. We'll also have it running
21 live stream I guess on our web site so people
22 can take advantage of this information there.
23 We've made some accomplishments in 2006 that
24 I'd like to draw your attention to. We've
25 completed all of the oldest claims in our first

1 5,000 except for a few, as we talked about
2 earlier. I can break them down into right now
3 three specific categories. The NUMEC claims --
4 we had some difficulty with NUMEC. We had to
5 threaten some subpoena action on them to get
6 the data that -- that we needed for those
7 claims, and we're very pleased when they
8 finally were forthcoming with that information
9 because there's very rich data and it was --
10 enabled us to do a very high-quality dose
11 reconstruction for those claimants. And we got
12 that information late in November or early
13 December, and so it -- we just didn't have
14 enough time to finish up the 31 that you see,
15 and I think there's more now in that category -
16 - 31 in the first 5,000.
17 There's 170 claims in the first 5,000 here that
18 are -- are awaiting that eligibility
19 determination. I put it on this slide because
20 they may come back to us. DOL may find that
21 they're not eligible for a class, and we'll
22 have to re-- we'll have to reconstruct those
23 doses for those claims.
24 And there's always a possibility that this
25 category of DOL-pulled will come back to us as

1 well. If -- the prime category there is if a -
2 - if a person was pulled because there's no
3 longer a survivor on that claim and a new --
4 they do find a survivor, they can send it in to
5 us. They can also -- there's a large number of
6 this 324 that have been pulled by DOL that are
7 chronic lymphocytic leukemia. And as you know,
8 we're working to develop a prototype risk model
9 and get it in front of the Board with the hopes
10 that someday soon we can add CLL to this
11 program and reconstruct dose against that
12 particular tissue. So those kind of claims can
13 come back at us out of the first 5,000.
14 Another accomplishment is that last year, in
15 2006, we completed over 5,700 draft dose
16 reconstructions. That was a -- that was a high
17 water mark for us. That was much thanks to
18 ORAU team capacity that they built, as well as
19 the Battelle folks and what they were doing on
20 those 1,400 cases that we talked about earlier.
21 We achieved 82 percent of the dose
22 reconstructions being completed within 60 days
23 of assignment to a dose reconstructor. This is
24 a GPRA goal, a Government Performance Results
25 Act goal that we are on the books for. So once

1 a dose reconstructor is assigned a claim to
2 work, we like to see that done within 60 days.
3 Last year we had 80 percent. This year we --
4 we achieved 82 percent in that regard.
5 We -- for our reworks that -- that we respond
6 to DOL's request to rework a claim, we
7 accomplished 75 percent of those cases within a
8 60-day turnaround time frame. The year before
9 I think it was in the 60s.
10 We've completed -- as I reported earlier, we've
11 completed draft dose reconstructions for 80
12 percent of the claims that have been referred
13 to NIOSH, and I think that's pretty remarkable.
14 I know it -- it's -- that's a hollow-sounding
15 statement to claimants who have not gotten
16 their decision yet, but -- or for those
17 claimants who have been waiting for three or
18 four, five years. But this, I think, still is
19 a remarkable accomplishment.
20 We completed the dose reconstruction video that
21 I mentioned. We completed the revision to the
22 acknowledgement packet that I already talked
23 about. And eight new classes representing
24 eight sites were added to the Special Exposure
25 Cohort in 2006. So there's different ways you

1 can parse numbers here, but those are the
2 accomplishments of 2006 in that regard.
3 And I think that's all I have in my slide show
4 for you.

5 **DR. ZIEMER:** Thank you very much, Larry. We'll
6 open the floor for questions. Let me start by
7 asking you on -- I think it's slide eight which
8 is the submittals versus production slide. I'm
9 trying to interpret the -- the blue line for
10 Labor. Has that leveled out or is it going
11 down still? I'm -- I'm -- I'm trying to -- I'm
12 sort of asking I guess what do we project in
13 the next few years. Is it going to -- are we
14 going to have a steady input of, what is it, a
15 hundred and -- hundred and --

16 **MR. ELLIOTT:** It's around 200 a month.

17 **DR. ZIEMER:** A month? That's --

18 **MR. ELLIOTT:** Little bit more, as you see
19 there, but it goes -- it spikes back. I don't
20 know what to say in answer to your question of
21 what my expectations are. I do know, to -- to
22 give you some sort of an informed response, DOL
23 is going to do some new town hall meetings I
24 believe -- I don't see Jeff around here --

25 **DR. ZIEMER:** Yeah, Jeff is here.

1 **MR. ELLIOTT:** -- scheduled where they're going
2 to go out and talk about what their efforts
3 have been under their new rule on Subtitle E.
4 But whenever they go out and do an outreach
5 like that, we tend to see more claims come in
6 to us, so that could spike it up a little bit,
7 but I don't know that -- I don't anticipate
8 that we're going to see a big jump up to 600 a
9 month. There's certainly --

10 **DR. ZIEMER:** Was -- was there not --

11 **MR. ELLIOTT:** -- people out there that have
12 never filed a claim.

13 **DR. ZIEMER:** Right. Initially wasn't there an
14 estimate of the potential number of claims
15 based on what we knew about the size of the
16 work population or...

17 **MR. ELLIOTT:** Yes.

18 **DR. ZIEMER:** Does any -- anyone remember what
19 those numbers were and...

20 **MR. ELLIOTT:** I don't have a recollection --
21 there's different ways that -- that were used
22 to provide estimates on this. You could start
23 out with how many workers were involved across
24 the complex --

25 **DR. ZIEMER:** Right.

1 **MR. ELLIOTT:** -- and you hear different figures
2 about that --

3 **DR. ZIEMER:** Okay, so that's --

4 **MR. ELLIOTT:** -- ranging from a hundred and --
5 hundred and -- you know, hundreds of thousands
6 -- 600,000. If you take 40 percent of that, or
7 45 percent of that, the -- the national average
8 of those who get cancer, you could come up with
9 an estimate. I don't know.

10 **DR. ZIEMER:** Okay.

11 **MR. ELLIOTT:** I don't have a recall of the
12 formal estimates that were given at the start
13 of the program.

14 **DR. ZIEMER:** On the 83.14 list I noticed the
15 Lovelace Respiratory Research Institute on
16 there. I guess I was a little surprised to see
17 them on there since I'm at least somewhat
18 familiar with that program. The 83.14
19 identification suggests that you can't
20 reconstruct dose, and the implication is that
21 there's a lack of information there. It just
22 was surprising. Is this -- do you know whether
23 that's just an early part of their -- of their
24 program?

25 **MR. ELLIOTT:** I can't answer that question --

1 **DR. ZIEMER:** Oh, okay.

2 **MR. ELLIOTT:** -- at this point in time. I --
3 we are awaiting the professional judgment,
4 which is a document that's developed to say
5 this -- we can't reconstruct a portion of dose.
6 I -- I think -- I think it's important to say
7 to this audience that when we -- when we put
8 forward an 83.14 and it starts with our
9 professional judgment document, we -- we do
10 that based upon our recognition that there's a
11 component of dose that can't be reconstructed.
12 We don't go the next series of steps to
13 determine are there other types of dose that
14 can't be --

15 **DR. ZIEMER:** Understood.

16 **MR. ELLIOTT:** -- reconstructed. We sort that
17 out as we move forward --

18 **DR. ZIEMER:** Right.

19 **MR. ELLIOTT:** -- in dose reconstruction
20 efforts. And if we have to broaden, you know,
21 the explanation of why we can't reconstruct
22 dose for one of these facilities, we will do
23 that. But in order to facilitate this process,
24 once we recognize a component of dose that
25 can't be reconstructed, that's when we call and

1 throw the flag up in the air and it becomes an
2 83.14.

3 **DR. ZIEMER:** All right. Okay, I'm looking for
4 other questions. Mark.

5 **MR. GRIFFON:** Larry, I think you mentioned
6 there were 20 or so other PERs in -- in review
7 -- development. Is there any way we can get a
8 listing of those? I -- I would understand we
9 wouldn't see any kind of draft reports, but
10 even a listing might be helpful because I think
11 some of the things that are coming up in our
12 case review might be on that list, you know, so
13 it would --

14 **MR. ELLIOTT:** Yes.

15 **MR. GRIFFON:** -- be good for us to be able to
16 just say it's under review in the PER process
17 or --

18 **MR. ELLIOTT:** I wrestled with giving you a list
19 at this meeting, and the reason why I didn't
20 come up with a list is because right now that's
21 -- it's somewhat pre-decisional. Some of those
22 may drop away. Some of them are dependent upon
23 -- we -- we think they're going that way, but
24 there may be a Technical Basis Document that
25 will influence it the other way. So as soon as

1 I can put together a list that -- that we think
2 are for sure going forward as a PER, we'll
3 bring it to you.

4 **DR. ZIEMER:** Okay. Thank you. Bob Presley.

5 **MR. PRESLEY:** Larry, on the DOL returned cases,
6 are most of those cases that -- where data is
7 coming on board, new data, or can you explain
8 why you would -- why DOL would pull that back -
9 - push that back to you?

10 **MR. ELLIOTT:** Most of those cases that we have
11 seen in DOL reworks are due to the claimant
12 identifying another cancer, the claimant or
13 somebody else identifying additional
14 employment; a new survivor coming to -- to the
15 fore that needs an interview, that has a right
16 to go through, you know, that part of the
17 process and say what they need to say, offer us
18 whatever information they've got to offer us.
19 Where we see what we call technical reworks,
20 we're getting -- we get those -- primarily they
21 have come to us where we haven't attended to a
22 certain type of dose that the claimant appealed
23 on. In the early days, ingestion at Savannah
24 River, we saw a number come back at us on that
25 until we got that corrected. So that -- you

1 know, I hope that answers your question.

2 **MR. PRESLEY:** Yeah. Many of them wouldn't be
3 dose reconstruction re-re-dos then, would they?

4 **MR. ELLIOTT:** Yes, these are all dose
5 reconstruction re-dos.

6 **MR. PRESLEY:** Okay. Thank you.

7 **MR. ELLIOTT:** Once they come back to us, we --
8 we have to revise the dose reconstruction in
9 some regard, either because there's a cancer
10 that we didn't reconstruct for the first time,
11 or there's additional employment that needs to
12 be added to the reconstruction, or a -- as I
13 said, a new survivor appears that may have
14 information that has bearing on the claim; we
15 need to hear them out and make any revisions as
16 appropriate.

17 **MR. PRESLEY:** Thank you.

18 **DR. ZIEMER:** Okay, other comments or questions?
19 Yes, Lew.

20 **DR. WADE:** Larry, just to follow up on Dr.
21 Ziemer's question about the -- what we might
22 expect in the future, I wonder if in -- in
23 future presentations to the Board you could
24 give some thought to where the work is going in
25 the future in terms of 83.14 petitions, in

1 terms of SEC petitions, in terms of site
2 profiles so that the -- the Board could start
3 to look at its future and imagine its work out
4 into the future. I think that would be most
5 useful.

6 **MR. ELLIOTT:** I understand, and we'll do our
7 best to try to fulfill that.

8 **DR. ZIEMER:** I recognize some of these are very
9 hard to predict, but it does appear to have
10 reached a kind of steady state, at least, and -
11 - at least on the numbers of claims.

DOL PROGRAM UPDATE
MR. JEFFREY KOTSCH, DOL

12 Okay, thank you, Larry. Let's move on to the
13 Department of Labor update, and Jeff is here
14 with us again today. Welcome.

15 **MR. KOTSCH:** Good afternoon. This'll be the
16 presentation for the Department of Labor, the
17 summary for -- for the activities.

18 The program at Labor currently consists of two
19 -- two pieces or parts. Part B, which was
20 originally given to us and became effective in
21 July, 2001 is basically the cancer portion of
22 the -- the program. It also includes beryllium
23 disease and silicosis and things related to the
24 Department of Justice's RECA program.

1 Primarily I'm going to talk about cases, but
2 here we also list out claims. On the Part B
3 side we've had 55,499 cases, having 79,642
4 claims. The claim number will always be higher
5 because obviously in some cases there'll be
6 more than one -- one claimant. Of those,
7 35,594 have been cancer cases and of those,
8 23,062 have been referred to NIOSH.

9 Now I have to make the observation that I don't
10 think any of our numbers match NIOSH's numbers
11 that Larry presented because, first of all,
12 each slide has a -- has a date at the bottom
13 which is our snapshot date. That even varies
14 in this presentation, so that's one factor.
15 Another is just the way that we account for
16 cases versus how NIOSH accounts for cases. I
17 know they've been working -- both our
18 Departments have been working to try to get
19 those better synchronized, and I don't know
20 that that'll ever happen, so -- but I think the
21 thing is to look at the general size of that
22 number and not the actual, you know -- I don't
23 know, even -- even ten digit on that thing.

24 The other half of our program is the Part E
25 portion of the program, which used to be with

1 the Department of Energy. But with an
2 amendment to the Act in October of 2004, that
3 piece of the program came to us, the Part E
4 portion of the program, which is basically the
5 exposure to toxic materials. And on that side
6 there are 44,200 cases from about 59-- 50--
7 what's that, 60,000 claimants. 25,632 came
8 across from the Department of Energy at the
9 time that we effectively took the program,
10 which was June 2005.

11 To date, or as of anyway January 24th, the
12 Department of Labor has provided \$2.4 billion
13 in total compensation. That breaks down as
14 \$1.8 billion for Part B and the two largest
15 portions of those are \$1.3 billion for cancer
16 claims and \$216 million for the RECA or the
17 Radiation Employee Compensation Act of 1990,
18 which again is administered by Department of
19 Justice. \$556 million are Part E payments, and
20 there's \$128 million for medical payments --
21 actually for both Part E and Part B.

22 One thing generally is when we have a com--
23 compensable case on the Part B side, it
24 transfers over to Part E and basically goes
25 through as a *fait accompli* basically. It's a -

1 - it's an automatic, almost. And then you see
2 on that side about 73 percent are Part B claims
3 -- or payments, I'm sorry.

4 On this side, if you try to do the math on this
5 one, it -- it doesn't work out. But the top
6 number, the 27,000 roughly total payees is a
7 claim number. The -- some of the other numbers
8 are case numbers and I think what I want to try
9 to get apart -- across here is just the
10 proportion of the cases, you know, the way the
11 pie distributes, basically, that -- the fact if
12 you got 35,000 -- 35 percent cancer cases, the
13 RECA's are 16 percent. The other Part B, again,
14 primarily beryllium and silicosis are 21 and
15 Part E is 17 percent.

16 For the Part B cancer case status, again,
17 there's a list of the numbers there -- 35,594
18 cases and then the claim numbers. 25,208 cases
19 have final decisions, so that's 69 percent are
20 final decisions. We've got 14 percent at
21 NIOSH, seven percent are recommended decisions
22 and eight percent are pending. That means
23 they're in our initial pipeline as a -- as we
24 prepare the case to be sent to NIOSH, we do the
25 employment development, we do the medical

1 development, things related to survivors,
2 things like that to prepare to send to NIOSH.
3 Just a little distribution on cases that are
4 finally -- final decisions that are approved
5 and final decisions that are denied. Approved
6 are 9,282; denied, 15,926, and then the
7 distribution off to the right for the -- the
8 principal reasons that go into that. The
9 primary bar is of course coming from the NIOSH
10 dose reconstructions, the fact that the
11 probability of causation is less than 50
12 percent. But the other ones are just that
13 we're unable to find covered employment at a --
14 at the particular facilities, insufficient
15 medical evidence in -- in Part B space that's
16 evidence of a cancer or beryllium disease or
17 silicosis; non-covered conditions, which now --
18 used to be on the Part B side when that was
19 solely in effect where -- were, you know, other
20 heart conditions, kidney problems, other lung
21 problems. Now those would obviously be
22 addressed on the Part E side. And then
23 ineligible survivors is a -- is a small portion
24 of that.
25 We've referred -- again, the numbers don't

1 match, but 23,062 cases to NIOSH. We've had
2 dose reconstructions come back on 18,504; 1,408
3 of those have -- were withdrawn by the
4 Department of Labor for some reason, which
5 leaves you with 17,096 dose reconstructions.
6 This number, the next number, 925 reworks,
7 doesn't even agree with what I have later in
8 the presentation, but that's a difference in --
9 in databases. And we've got 4,558 initial
10 referrals at NIOSH, so that would be their in-
11 house number -- or our number of what we
12 think's in-house there, but I know that's
13 different.

14 Dose reconstruction case status, 16,171 have
15 dose reconstructions. We've got about -- so
16 that's -- so we've got -- 83 percent are in
17 final decisions, or have final decisions; 13
18 percent have recommended decisions but no
19 final. That's -- initially, after the dose
20 reconstruction is returned to the Department of
21 Labor, the District Office issues a recommended
22 decision to the claimant. They have the
23 opportunity to ap-- appeal and then it goes to
24 the -- basically appeal, and then it goes to
25 our Final Adjudication Branch who renders the -

1 - the final decision. And we have 697 pending
2 recommended decisions, which is about four
3 percent.

4 This slide is -- is an ol-- I mean I update it,
5 but an old folder concept which will phase out.
6 Basically it's just presenting both for
7 approved and denied claims, the numbers of the
8 specified cancers in each of those categories
9 as well -- and then the number of non-specified
10 cancers, the 22 specifieds if they had them or
11 the non-specifieds.

12 The new SEC-related cases, we're showing or --
13 anyway, our number is basically 1,271 withdrawn
14 for SEC review. We've got 975 final decisions,
15 which is about 76 percent; 17 percent are at
16 the recommended decision state and we've got
17 about seven percent, or 85, that we're
18 indicating as pending evaluation at the
19 District Office. Again, they come in and
20 basically are looked at for employment and
21 medical information to make sure they fit into
22 the class, they have 250 days or -- like at
23 Nevada Test Site or Pacific Proving Grounds,
24 the equivalent of that, which is 83 continuous
25 days if they were on-site continuously, things

1 like that.

2 The NIOSH cases -- for NIOSH cases related to
3 compensation, we've paid out \$667 million in
4 4,460 cases. That breaks down as \$572 million
5 of dose-reconstructed cases. That's 389-- I'm
6 sorry, 3,827 cases and \$95 million on added SEC
7 cases, which were -- there's 633 cases.

8 And I think in a previous meeting you asked a
9 little bit about, and Bob asked again, why we -
10 - or what we -- we sent back. This is -- these
11 are numbers from my actual database from the
12 first one that I think I sent back on July
13 25th, 2003 through the end of 2006, and the
14 general reasons. In my 2,002 number, which is
15 the total -- some dose reconstructions that go
16 back for rework have -- have not just one
17 reason. They may have two or three reasons.
18 They may have an employment issue plus a
19 medical -- additional cancer, and then they may
20 even have a third or fourth issue. So that's
21 not the total number, that's just -- what I was
22 trying to do there was just address issues that
23 drive a rework and not the number of cases that
24 are actually reworked. Predominantly what
25 drives a number of reworks are medicals, the

1 addition of cancers primarily. The deletions
2 of cancers, if they're below 50 percent,
3 usually don't go back because that would only
4 drop the dose and the probability of causation.
5 But there are other things that go into the --
6 there are other issues. We have medical --
7 sometimes we -- we interpret the ICD-9 code,
8 which affects the models that NIOSH uses, and
9 then we determine that it has to go back.
10 Employment issues are the next big thing,
11 addition of employment, deletion of employment
12 if it's over 50 percent. Maybe a different
13 site -- maybe the wrong site was designated and
14 -- or it was a close site like at Oak Ridge
15 where they -- maybe it was a Y-12 but it should
16 have been a K-25 or something, or Sandia versus
17 Los Alamos or something like that.
18 Administrative -- that's my category for the
19 ones that primarily are -- we find an
20 additional survivor, and we call those specials
21 because we don't ask NIOSH to actually do a
22 dose reconstruction, we just ask them to -- to
23 interview the additional survivor. And this is
24 only for under 50 percent. If it's over 50
25 percent it's not going to make a difference,

1 but if it's under 50 percent we ask them to
2 interview the additional survivor or survivors
3 and determine whether there's anything
4 significant in that -- in that interview that
5 would affect the dose reconstruction. There's
6 actually a couple in there that relate to the
7 wrong Social Security number. I think we heard
8 one of these at a -- at a previous meeting, but
9 that's that and then a technical one are
10 primarily, by category, four things that are
11 driven by things that we find in review of
12 technical objections that come into our FAB
13 group. When I review them or -- or our other
14 health physicist reviews the objections, we
15 find issues that are reasonable. Like Larry
16 said, in the early days it was ingestion of --
17 at actually Bethlehem Steel or Savannah River,
18 things like that. We have some recently --
19 things related to like Chapman Valve or even a
20 new Bethlehem Steel one where they come in
21 where we know that there's something in the
22 process that's on the web site, or ev-- on the
23 NIOSH web site, or even the lymphoma model. If
24 they come in with an objection that's saying,
25 you know, hey, we know there's a new lymphoma

1 model, it's our position that we have to send
2 that back for a rework even though NIOSH will
3 eventually catch that in their net -- in the
4 PER net for the lymphoma. But there are other
5 ones. Occasionally we -- we come across things
6 where either then -- either we identify or the
7 -- the claimant objects and there's some issues
8 like with Super S that have been raised. We've
9 been seeing some of those at Hanford we've been
10 sending back. If things are -- also if they're
11 citing also SC&A things that are in process now
12 and they have not yet been resolved with NIOSH,
13 we lean towards sending those back for dose
14 reconstructions and allow them to be basically
15 held until NIOSH resolves whatever that issue
16 is because we -- we don't -- we don't have --
17 we can't adjudicate that with -- you know,
18 because we don't know the answer to that
19 question yet. But anyway, the predominant
20 number is medical and employment.

21 I took a couple cuts at the things that when we
22 see them in cases that are initially above 50
23 percent and then we get something else in that
24 unfortunately drives us to send them back for -
25 - for dose reconstruction -- I mean for rework

1 of the dose reconstruction. For the medical
2 side that's primarily a change in the number of
3 cancers. The dose reconstruction was performed
4 for three or four -- or two and one of them
5 disappears. It was considered to be -- or it
6 might have been looked at by our District
7 medical consultant or -- or some other
8 information determined that it was recurrent
9 and it was actually a -- or a metastases of a
10 primary or something like that. Some of the
11 other ones, the purple is what earlier data --
12 no, that -- the purple is --

13 **DR. ZIEMER:** Do we mean less than 50 percent
14 here --

15 **MR. KOTSCH:** No, that's greater than 50
16 percent.

17 **DR. ZIEMER:** That's greater.

18 **MR. KOTSCH:** This is a -- this is a small
19 subset of all the medical ones, but it -- but
20 in a way it's indicative of just the types of
21 things that are medi-- examples of changes in
22 medical information. Earlier date of diagno--
23 I'm sorry, earlier date of diagnosis is another
24 significant piece of that pie where, you know,
25 the -- it was misinterpreted, whatever --

1 there's other information from a pathology
2 report that --

3 **DR. ZIEMER:** I'm missing a point here. Why --
4 why would you be doing a rework if it's already
5 greater?

6 **MR. KOTSCH:** Because the information that --
7 that resulted in the -- in a -- this is at the
8 recommended decision stage. If it was greater
9 than 50 percent and we find out now that one of
10 the -- say one of the cancers was invalid that
11 drove it over --

12 **DR. ZIEMER:** Oh, it --

13 **MR. KOTSCH:** -- you know, we have to send it
14 back for a rework.

15 **DR. ZIEMER:** Okay, it may have been an error in
16 --

17 **MR. KOTSCH:** Yeah.

18 **DR. ZIEMER:** I gotcha. Okay.

19 **MR. KOTSCH:** That's the primary one. The other
20 ones, like I said, a couple of the other
21 significant ones are earlier date of diagnosis,
22 which will -- not always, but generally drive
23 you towards a lower POC. Different cancer
24 organs, again, sometimes we have issue with how
25 these cancers are identified, especially in the

1 early days. And if the pathology report is
2 older, a lot of times the ICD-9 codes were
3 improperly coded or absent, and then sometimes
4 our claims examiners who were not physicians,
5 but now that we have physicians on all our
6 staffs they have the opportunity to go back and
7 look at those things and make a better call at
8 those things.

9 Again, things -- POCs greater than 50, but we
10 have some employment issue. The primary driver
11 here is about 75 percent of the cases is
12 decreased employment. That is, it's now
13 verified that there's actually less employment
14 than NIOSH used -- than we told NIOSH to use in
15 the dose reconstruction. The other big one is
16 occasionally incorrect or what I call different
17 employment sites. Again, the three sites at
18 Oak Ridge, they may have -- we may have chosen
19 the wrong one or -- or the ones that were at
20 Los Alamos, Albuquerque, we may have for some
21 reason picked the wrong one. Occasionally we
22 get non-verified employment. We have maybe new
23 exposures in there or some commercial exposures
24 in there that that needs to come out.
25 And then last just the -- some of the numbers

1 for some of the things that were going to be
2 discussed at this meeting, and I'll just talk a
3 little bit about Fernald. You see there 1,347
4 cases. NIOSH we're showing 710 dose
5 reconstructions. We've got 1,070 final
6 decisions, 307 Part B approvals, 235 Part E
7 approvals and the whole compensation for
8 Fernald of \$57 million.

9 Mound, 626 cases, 347 dose reconstructions.
10 We've got 472 finals -- again, that number's --
11 includes potentially, you know, other things
12 besides the NIOSH dose reconstructions; 118
13 Part B approvals, 81 Part E approvals and \$22
14 million in compensation -- total compensation.
15 And that's it.

16 **DR. ZIEMER:** Thank you, Jeff. I want to ask
17 one other question. I know that your numbers
18 don't track completely with NIOSH 'cause there
19 are those time differentials and so on, but one
20 set of figures I'm most curious about is the
21 sixth slide where you show 9,000 denials on the
22 dose reconstruction and NIOSH shows 12,000 with
23 POCs less than 50 percent, so there's a
24 difference of about 3,000 there. Is that
25 simply that you haven't made the final decision

1 on the rest of those?

2 **MR. KOTSCH:** I think -- I think that's probably
3 it --

4 **DR. ZIEMER:** 'Cause those numbers are really
5 too far apart.

6 **MR. KOTSCH:** Yeah, I -- I would think that's
7 it, probably, just looking at it.

8 **DR. ZIEMER:** So when NIOSH -- or when Larry
9 says that 12,000 cases have POC of less than 50
10 percent, this is not an official final decision
11 number at that point. It's what you think it
12 is --

13 **MR. ELLIOTT:** That's correct --

14 **DR. ZIEMER:** -- based on the dose
15 reconstruction.

16 **MR. ELLIOTT:** That's correct. It's what we
17 think DOL will find the decision to be.

18 **DR. ZIEMER:** Okay.

19 **MR. KOTSCH:** I mean you have to remember when -
20 - when Larry -- when NIOSH sends the dose
21 reconstruction to us and then we come out with
22 a recommended decision -- recommended decision
23 -- between the time a recommended decision is
24 issued and the time a final decision is issued
25 can be up to a year --

1 **DR. ZIEMER:** Right, so there's --

2 **MR. KOTSCH:** -- so there's quite a bit of lag
3 there.

4 **DR. ZIEMER:** Gotcha. Thank you.

5 **DR. WADE:** Jeff, as always, thank you for
6 coming and in the information. On your summary
7 of rework activities slide, the number that
8 jumps out at me that would be of interest to
9 this Board is the technical reworks -- I think
10 the number is 106. Would you agree that that's
11 something that should be of interest to the
12 Board? That's where DOL is -- is of the
13 opinion that there needs to be a rework for
14 technical issue --

15 **MR. KOTSCH:** Yeah, but again, I --

16 **DR. WADE:** -- and if that's the case, could we
17 get some more grain from you as to what that
18 106 might represent?

19 **MR. KOTSCH:** Yeah, I mean I can provide more
20 detail maybe next time. Generally, like I
21 said, some of the things that factor into that
22 are if things like -- well, recently we've seen
23 Chapman Valve and the new Bethlehem Steel come
24 back because people were citing -- like at
25 Chapman Valve, the enrichment -- chip burner

1 issue. I know that NIOSH is looking at that.
2 But again, because that's in -- something
3 that's in transition, basically, or it -- we
4 send it back for adjudication because we can't
5 resolve the issue.

6 **DR. ZIEMER:** It's because you already know that
7 it's a --

8 **MR. KOTSCH:** Yeah, we know it's --

9 **DR. ZIEMER:** -- technical issue at NIOSH.

10 **MR. KOTSCH:** -- an issue, or -- or people cite
11 something from an SC-- SC&A report and we talk
12 to NIOSH and we determine that -- that ha--
13 that issue has not yet been closed and -- but
14 to -- to continue the adjudication process, we
15 send those thing back, too, until they're
16 resolved -- again, after we get resolution one
17 way or the other, and then we'll proceed with
18 the decision. But there's other things that --
19 that -- you know, occasionally we find problems
20 with say the input, what they call Attachment
21 A, the input to IREP, there'll be some
22 discrepancy. Some of our claimants are very
23 meticulous and they'll -- they'll run all the
24 numbers and they'll say well, geez, you know,
25 this is missing, and then we'll go back and

1 we'll say well, we'll talk to NIOSH and -- and
2 look at the thing and say yeah, indeed, not --
3 neutron dose should have been there or -- or
4 some kind of ambient dose should have been
5 there, some element is missing and -- or we
6 pick that up ourselves, just knowing what we
7 know about the sites. There-- there's a number
8 of things, and most of them are -- are --
9 there's not like one major one that jumps up
10 other than something like when a Chapman Valve
11 comes through and we get a series of -- you
12 know --

13 **DR. WADE:** I do think that would be of interest
14 for the Board to see those --

15 **MR. KOTSCH:** We can do that.

16 **DR. WADE:** -- so thank you.

17 **MR. ELLIOTT:** I would add to this that I think
18 it -- it should be of great interest to the
19 Board on these technical reworks, especially
20 the category of technical reworks that are now
21 coming back to us based upon a -- a Board
22 deliberation comment, I'll phrase it that way.
23 SC&A provides some concern or comment or
24 constructive criticism about how we went about
25 doing our work, and the claimant will pick that

1 up and use that in their appeal and the FAB at
2 DOL, the Final Adjudication Branch, will kick
3 that back to us more than -- more likely than
4 not it'll come back to us. We'll have to pend
5 that until we see the resolution of the Board's
6 deliberations, and this is something that --
7 that we've talked with DOL about just as early
8 as last week -- or as late as last week. It's
9 something that we're all concerned about. If
10 Pete Turcic were here -- I don't mean to speak
11 for DOL and Jeff certainly can -- can chime in
12 here, but Pete would be telling you that this
13 is becoming an issue. Things like the Board
14 deliberation on Rocky Flats. You know, there's
15 -- there's claimants there that are in this
16 category that are waiting to see how this is
17 all going to get resolved. And the longer we
18 take, the more frustrated they get.

19 **MR. KOTSCH:** Yeah, the -- people cite in their
20 objections -- they will cite, you know,
21 attendance at a Board meeting or review of the
22 meeting minutes or something on the NIOSH web
23 site, like we know you changed the lymphoma one
24 -- lymphoma model, we know you changed the lung
25 model. You know, that's part of their

1 objection, and of course the lung model we can
2 handle because that's a POC one, but the
3 lymphoma thing, Larry talked about there is a
4 PER in process where we're working with NIOSH -
5 - we're about halfway through that process that
6 -- they're identifying the ones that change and
7 are -- are going out to determine whether we
8 have to -- we actually reopen those cases and
9 send them back to NIOSH.

10 **DR. WADE:** So I think for a number of reasons
11 it would be of interest --

12 **MR. KOTSCH:** Sure.

13 **DR. WADE:** -- to the Board. Thank you.

14 **DR. ZIEMER:** Other comments, questions, Board
15 members?

16 Mike Gibson, you still on the line? Do you
17 have any questions?

18 **MR. GIBSON:** Yeah, I'm still here. No
19 questions.

20 **DR. ZIEMER:** Thank you. Okay, thank you again.

DEPARTMENT OF ENERGY REMARKS

MR. GLENN PODONSKY, DOE

21 Next we're pleased to hear today from the
22 Department of Energy. Let me make a few
23 comments about the speaker before he begins.
24 Glenn Podonsky has recently assumed what I

1 would describe as the highest position in
2 Environment Safety and Health and Security at
3 Department of Energy. He reports directly to
4 the Deputy Assistant -- or the Deputy Secretary
5 of Energy and has responsibility, I believe --
6 and Glenn, you can correct me when you come up
7 here, but I -- I know under the reorganization
8 he's responsible for all the Environment Safety
9 and Health oversight and the Security oversight
10 as well, so -- and -- and part of Glenn's
11 portfolio does give him some responsibilities
12 with respect to liaison with the NIOSH
13 activities and the providing of the records
14 from DOE for this program. So we're very
15 pleased -- and I might add that I had the
16 privilege of working closely with Glenn in the
17 early '90s when I was at DOE myself. So Glenn,
18 we welcome you here. We're pleased to hear
19 your report from Department of Energy.

20 **MR. PODONSKY:** Thank you, Dr. Ziemer, and Board
21 members and members of the public. My name is
22 Glenn Podonsky and I appreciate your putting us
23 on the agenda. I wanted to come and talk to
24 you a little bit about the new organization of
25 Health, Safety and Security and why Secretary

1 Bodman and Deputy Secretary Sell thought this
2 was important and how that affects what you're
3 meeting about here today, because Department of
4 Energy's role in this is getting the records
5 and making them available to NIOSH, to Labor
6 and to the Board.

7 Let me first start by just mentioning a little
8 bit about the creation of the Office of the
9 Chief Health, Safety and Security office. It's
10 an office that's responsible for all policy for
11 health, safety and security in the Department,
12 with the exception of cybersecurity. It's
13 responsible for all technical assistance in
14 those areas, as well as enforcement, which is
15 the Price-Anderson* 820 Rule, the 824 Civil
16 Penalties Rule, and equally as important as
17 anything is the 851 Worker Health and Safety
18 Rule.

19 Also we have the Office of Classification and
20 the Office of Technology Deployment, as well as
21 an office called the Defense Nuclear Facilities
22 Safety Board Liaison Office.

23 Now what's most important with the Secretary's
24 initiative in reassembling three very
25 formidable offices, which was the former Office

1 of the Assistant Secretary for Environment
2 Safety and Health and the Office of Safety,
3 Security Performance Assurance and the Office
4 of Departmental Rep, is it's all under one
5 organization, and I will give you some
6 anecdotal examples of how in the last -- in our
7 first five months, we're just entering our
8 fifth month, on how we partnered with Labor
9 Department and NIOSH to redouble our efforts to
10 get the records that are so important for the
11 work that's going on here. And I have to say
12 that Secretary Bodman is very committed to
13 worker health and safety, and that's why he
14 wanted to put all these offices together, to
15 get a synergy on little things like the Board
16 member Clawson's clearance that got dropped.
17 Security is under us. We were able to get that
18 reinstated right away. An order to make sure
19 that the Department doesn't say that we can't
20 give you the records because they're OUO. The
21 Office of Classification is in our
22 organization, and we've had the Director of the
23 Office of Classification working very closely
24 with the Department of Energy's program offices
25 to make sure that the Department doesn't --

1 doesn't stand behind this bureaucratic
2 administrative control called Official Use
3 Only. It's not a classification, it's an
4 administrative control and our Director of
5 Classification has been working -- very
6 successfully, I might add -- with some of the
7 organizations in the Department that have
8 heretofore been somewhat reluctant. Not
9 because they're mean people. They were
10 ignorant in terms of what they should be doing
11 relative to some of these administrative
12 controls.

13 Larry mentioned in his presentation about the
14 large datasets. Larry, you have our commitment
15 that our office, under Dr. Pat Worthington and
16 her director, Libby White -- one lab director,
17 one manager blinks that they don't -- can't
18 find the records, we're going to go out and
19 redouble our efforts to help them find those
20 records. It's very important to us that we,
21 the Department of Energy, provide you all the
22 records that you all need to do your job. Dr.
23 Ziemer, we commit that to the -- to the Board,
24 as well.

25 We started working with Labor Department in

1 September -- I did -- when the HSS organization
2 -- not to be confused with HHS, this is HSS --
3 HSS, Health, Safety and Security, the
4 Environment, and Health is -- is focused in
5 there. We started going around the complex of
6 DOE looking at all the sites, talking to all
7 the site managers, contract managers, unions,
8 to find out how we can help as an organization
9 to enhance the worker health and safety. We
10 also asked Labor Department to come with us to
11 open up some doors that were previously closed
12 because of access. And Pete Turcic from Labor
13 Department came out with us, Shelby Hallmark
14 also came out with us. And just give you
15 another anecdotal, we were at one site that
16 Labor was not able to access some of the
17 records from some of the former workers --
18 again, just through ignorance of the
19 Department. And with that one meeting we have
20 opened up those doors and those records are now
21 available.

22 Los Alamos, the Medical Center, we were having
23 difficulties with records from the Medical
24 Center and Libby White and her staff, through
25 encouragement from my office and support from

1 my office, were able to move forward to get
2 those records cleaned up -- not from radiation
3 exposure, but from the Hantavirus, so that
4 those records are available and hopefully
5 within the next two months we'll be able to
6 turn those over to you.

7 The Mound records, I just found out about this
8 a couple of weeks ago and I -- and I asked my
9 folks why are we as a government not finding
10 those records? We have to examine what's in
11 there, but the public needs to know, the Board
12 needs to know what's in those records. And I
13 believe, from everything my staff is telling
14 me, that it's something that we need to
15 seriously look at whether or not the government
16 goes back and digs those records up.

17 Now I will tell you candidly, the price seems
18 rather steep. But how do you put a price on
19 people's records that they want to have and so
20 that you can do your work and NIOSH can do its
21 work? It's very important. So on the issue of
22 Mound records, I've asked my staff to go back
23 and not only get as much data as we can as --
24 so we know what are in those boxes, but find
25 out exactly what are the real costs of digging

1 those up and cleaning them, and not just take
2 the first numbers that we got and then turn
3 around and walk away from it. It's not what
4 we're about. That's not what Secretary Bodman
5 wants us to do. It's not what my staff wants
6 to do. We want to do what's right and provide
7 all the records that we can. That's the job
8 that we have, to provide you, NIOSH and Labor
9 Department with those records.

10 I didn't start out by saying I didn't have any
11 slides, for the gentleman on the phone, but
12 there are no slides. I just thought I would
13 open up the -- the discussion for any questions
14 that you might have for the Department of
15 Energy, and just re-emphasize for the Board,
16 for NIOSH and for Labor that the Department of
17 Energy is committed to helping out, and
18 wherever we can find the records and wherever
19 there is any kind of stubborn reaction from the
20 Department, we will put all of our HSS
21 resources to bear, including the Secretary.
22 And just one correction, Dr. Ziemer, I actually
23 report to the Secretary.

24 **DR. ZIEMER:** That's good. Well, thank you very
25 much, Glenn, and yeah, I think -- I think when

1 I originally chatted with Clay Sell about the
2 reorganization, I think he had indicated you
3 might be reporting to him, but I'm -- I'm glad
4 to hear you're even at a higher level, which --
5 **MR. PODONSKY:** Well, the -- the Secretary's
6 made it very clear to me, Mr. Sell does write
7 my performance, but the Secretary expects me to
8 report to him --

9 **DR. ZIEMER:** Very good.

10 **MR. PODONSKY:** -- on everything we're doing.

11 **DR. ZIEMER:** Very good. Well, we certainly
12 appreciate the commitment you've made, both to
13 Labor and to NIOSH, as well as to the Board, to
14 assist in whatever records are -- are needed,
15 including the literal digging up of some
16 records, if necessary. And I don't know if we
17 know at the present time whether those are
18 necessary. But perhaps as you get a better
19 handle on exactly what's there we'll be able to
20 make an informed -- better informed decision of
21 what the -- what the balance is on cost and --
22 and the records.

23 Board members, let's start with -- Dr. Lockey,
24 do you have a question?

25 **DR. LOCKEY:** Thank you, Glenn. I wonder, is --

1 is Department of Energy going to have any type
2 of oversight committee about this,
3 representatives from Labor, et cetera, that are
4 involved with this process of making sure that
5 all records are made available as soon as
6 possible?

7 **MR. PODONSKY:** I'm -- I'm not understanding the
8 --

9 **DR. ZIEMER:** That's his job.

10 **MR. PODONSKY:** We -- we are the oversight, so
11 we -- we are ov-- in our office we are
12 responsible for providing independent oversight
13 of the entire Department. We do -- we do not
14 run any operation throughout the Department.
15 We oversee the Department, and we not only
16 report to the Secretary of Energy, but we
17 report to Congressional committees on how well
18 or how poorly the Department is doing its job.

19 **DR. LOCKEY:** So is Labor involved with that?

20 **MR. PODONSKY:** Labor is involved in our
21 relationship, and we've redoubled our -- our
22 efforts. As I mentioned, Shelby Hallmark and
23 Pete Turcic have -- have worked with us. My
24 respective staff under Dr. Pat Worthington and
25 Libby White, we're -- we're in -- in weekly

1 phone calls. So there's a lot of dialogue that
2 was not there as frequently or as supported
3 from a high enough level in past years. So I
4 apologize, I don't under-- I don't fully
5 understand the -- the question of oversight.

6 **DR. LOCKEY:** Well, I -- I guess I meant does
7 Labor have a chair at -- in this process?

8 **MR. PODONSKY:** Yes.

9 **DR. LOCKEY:** Yes.

10 **MR. PODONSKY:** Yes, and we're -- and we're --
11 and we're -- I just met with the Director of
12 NIOSH, as well, and -- and we're looking to --
13 you know, we recognize our role. Our role in
14 the Department is to provide the records to
15 Labor, to NIOSH and to -- and to the Board.

16 **DR. LOCKEY:** Okay, do un-- does the union
17 representatives have a chair in this process?

18 **MR. PODONSKY:** I don't know the answer to that.
19 If they don't, then they should. We've reached
20 out to the labor unions in the new organization
21 of HSS. In terms of what we're doing, we have
22 a very open process. In fact, we ju-- I just
23 sent out a letter to all of the Assistant
24 Secretaries in the Department, all the Program
25 Officers, all the Contract Managers, that HSS,

1 my organization, is starting a Safety, Health
2 and Security Manager's forum that will meet
3 twi-- meet every two weeks and we're going to
4 invite different folks in from -- from the com-
5 - complex, the unions, and talk to us about
6 their issues. We're not trying to circumvent
7 the Department's existing venues for -- for
8 employee concerns of that nature, but we're
9 trying to open up -- get another avenue of
10 dialogue that the Secretary of Energy wants us
11 to have with the employees out there, to
12 include the unions. Just yesterday I met with
13 the Government Accountability Project, Tom
14 Carpenter, so we're -- we're reaching out to
15 everybody so that we can in fact serve in the
16 capacity of -- of our job of overseeing safety,
17 health and security of the Department. We
18 can't do that in a vacuum. We have to have
19 input from everybody. Does that -- does that
20 answer that?

21 **DR. LOCKEY:** That answers my question.

22 **DR. ZIEMER:** Yes, Phil.

23 **MR. SCHOFIELD:** I've got a question for you.

24 **DR. ZIEMER:** All right, turn -- make sure your
25 mike is on.

1 **MR. SCHOFIELD:** You're talking about possibly
2 retrieving the Mound records from Area G.
3 Being familiar with that area, how much
4 assessment have they done on the biological and
5 radiological hazards those workers would face
6 going into Area G to retrieve these records?
7 That is substantial. That is a very, very
8 nasty area.

9 **MR. PODONSKY:** I don't have a direct answer for
10 you. What I asked my -- my organization to do
11 is -- because what I had heard originally in --
12 in full disclosure is elements of my
13 organization said we don't -- we don't believe,
14 from what we've heard from NIOSH and -- and the
15 Board, that -- we don't believe that the
16 records may be worth the cost, value-wise. And
17 I asked the question, candidly, if those were
18 your family records and the government said
19 that they buried them, whether it was
20 legitimate or not, I'm not here to question
21 that, there is a perception of a lack of trust.
22 And we're all taxpayers here. We all play a
23 different role. And I, as a government
24 official and a steward of the tax dollar, we
25 feel compelled to find out the answer to is --

1 are these records retrievable. No, we don't
2 want to put anybody else in harm's way. But at
3 the same time, it's wrong, in my estimation --
4 my personal opinion, it's wrong for the United
5 States government to say we buried them, we
6 have no access to them, without thoroughly
7 exploring every possibility. And the answer to
8 your question is I don't know how -- how dirty
9 the area is. I do know that people have come
10 back with a high price tag, and I question that
11 price tag because in a previous administration
12 when I worked for Secretary O'Leary, we also
13 had a similar situation looking for human
14 radiation experiment records, as well. So my
15 long-winded answer to you is we need to explore
16 what are all the pluses and minuses to get
17 everything we can to get these records. And at
18 the end of the day, if -- if my office spends
19 one and a half million dollars and -- and we do
20 it in a safe way or maximum safe way, and the
21 records are not as valuable, I still think,
22 personally and professionally, that at least we
23 are beginning to build trust with the American
24 people that we're not just a bunch of
25 bureaucrats.

1 **MR. SCHOFIELD:** Okay, you know, I'm just
2 concerned the potential hazards that are in
3 that area --

4 **MR. PODONSKY:** I understand.

5 **MR. SCHOFIELD:** -- worried about the dollars.

6 **MR. PODONSKY:** We -- we -- my independent
7 oversight ES&H office -- for example, out at
8 Hanford, you know, we did a report on the tank
9 farms and the vapors, so we're ver-- we're very
10 concerned about how the contractors are
11 applying safety for the workers -- the current
12 workers, so we're looking at that. So at the
13 same time we'd be equally as -- as concerned of
14 -- and share your concerns in that area, as
15 well. But I must emphasize, from -- from my
16 point of view, just getting involved in this,
17 is that it's very important that we do
18 everything we can so that we just don't give
19 the answer that they're buried.

20 **MR. GIBSON:** Dr. Ziemer --

21 **DR. ZIEMER:** I assume you at least are doing --
22 or your people will do a risk assessment as
23 part of the, quote, cost evaluation --

24 **MR. PODONSKY:** Absolutely.

25 **DR. ZIEMER:** -- you're working on.

1 **MR. PODONSKY:** Absolutely.

2 **MR. GIBSON:** Dr. Ziemer --

3 **DR. WADE:** If I might make a comment -- Glenn,
4 thank you very much for being here. Please
5 apologize --

6 **MR. GIBSON:** Dr. Ziemer --

7 **MS. MUNN:** Mike's trying to --

8 **DR. WADE:** Oh, okay.

9 **DR. ZIEMER:** Hang on, Mike.

10 **DR. WADE:** Please -- I mean -- accept our
11 gratitude for being here. We do appreciate
12 that. I think -- to set the record straight, I
13 don't think the Board has offered an opinion on
14 whether or not the Mound records should be
15 pursued or not, I -- and towards the end of
16 continuing a dialogue with the Board, I would
17 certainly invite you or your representative to
18 the next Board meeting, or several Board
19 meetings, so that we can engage in that kind of
20 dialogue as -- as you determine factors
21 surrounding that recovery and the Board can
22 then offer you its opinion. So I -- I would
23 appreciate if you could make your -- your
24 representatives available.

25 And then lastly, we would be remiss -- I would

1 be remiss if we didn't recognize Libby White
2 and her staff and the tremendous efforts that
3 they've brought to bear. We've seen the fruits
4 of that. We applaud that and we thank her for
5 that.

6 **DR. ZIEMER:** Thank you. Mike Gibson is on the
7 line with comments. Mike -- or a question.

8 **MR. GIBSON:** Yeah, Dr. Ziemer, I'd just like to
9 address Mr. Podonsky for a minute. I worked at
10 Mound and I was union president and vice
11 president there, and many of those records,
12 when they were shipped out, were loaded
13 (unintelligible) LSA boxes by Mound laborers,
14 without any (broken transmission) physics
15 protection, non-protective gear. Many of these
16 records were not hot, radioactively hot. They
17 were sent out about a month after Mound -- the
18 union found -- filed a Mound class action
19 lawsuit against the company for inadequate
20 radiation protection. Is -- are -- is the
21 Department of Energy moratorium on burying
22 records still in effect? Or for destroying
23 records?

24 **MR. PODONSKY:** As -- as far as I know, it is in
25 effect. Now those records were sent out prior

1 to our existence as an organization, but that's
2 why you -- you heard me answer Phillip's
3 question with some degree of passion here,
4 because we do need to find out what's in those
5 records. I have heard different accounts as to
6 when they got shipped out and why they got
7 shipped out, but at this point our office is
8 committed to -- to what I said earlier, to
9 finding out what's the fea-- what is the real
10 feasibility of retrieving these.

11 **MR. GIBSON:** Okay, well, sir, I just want to
12 say for the record, I can tell you many of them
13 were shipped out about a month after a class
14 action lawsuit was filed and before discovery
15 motions could be issued.

16 **DR. ZIEMER:** Okay. Thank you, Mike. And I --
17 I presume from what I'm hearing that, although
18 the records may not have been contaminated at
19 that point, perhaps were intermixed with
20 hazardous materials of one sort or another,
21 biological or radiological, and subsequently
22 may have become contaminated. Is that what I'm
23 hearing? And Phillip is shaking his head yes.
24 Okay.

25 Mark Griffon.

1 **MR. GRIFFON:** Actually Lew -- Lew asked two of
2 my questions. One, I would -- I think we need
3 a -- the question of communication or dialogue,
4 and I think if you could be present at least at
5 the next number of meetings, that'd be very
6 helpful 'cause we -- we'd like to know status.
7 I think we also, as a Board, may have some
8 requests regarding data that the Board needs
9 access to or NIOSH has been unable to get
10 access to or whatever, so it would be helpful
11 for -- for you to be involved, at least one of
12 the days out of the three.

13 The -- another thing, just to follow up on
14 Mike's questioning, I think there is still a
15 moratorium in effect, but if -- if I remember
16 correctly, it's really -- it -- you may have to
17 -- it may be worthwhile considering rewriting
18 that moratorium because I think it's steered
19 toward health and safety for environmental
20 records or health and safety records only. I
21 don't know that it's -- it's -- really has the
22 language covering EEOICPA.

23 **UNIDENTIFIED:** Epidemiological.

24 **MR. GRIFFON:** Epidemiological, that's correct,
25 epi-- yeah, so -- so those records that might

1 affect epi studies, correct.

2 **MR. PODONSKY:** Well, we'll -- we'll definitely
3 take a look into that and --

4 **MR. GRIFFON:** As opposed to records that may be
5 useful in compensation programs. That might be
6 different things, obviously, so it may be worth
7 considering.

8 **MR. PODONSKY:** The other thing for -- for both
9 Lew and -- and yourself, Mark, is I've asked
10 Libby White and/or Pat Worthington, Dr. Pat
11 Worthington, to be at every one of your Board
12 member meetings, not by phone but in person so
13 that if there are questions, if you do need to
14 have dialogue, or if the public needs to have
15 dialogue with DOE, we have somebody there at
16 that level. So we will be here unless you dis-
17 invite us, so...

18 **DR. ZIEMER:** Very good, thank you. Brad
19 Clawson.

20 **MR. CLAWSON:** So we've got moratorium, I guess
21 my -- one of my questions is is it kind of
22 surprises me to see a lot of these documents be
23 destroyed like that, but are we taking action
24 for in the future that these can't be destroyed
25 like this or -- I -- I guess what I'm trying to

1 say is what -- what is stopping from records
2 being destroyed now? Is there moratorium on
3 these records or...

4 **MR. PODONSKY:** I'm -- I do not have a good,
5 straightforward answer for you other than I
6 would tell you that -- and maybe Larry or -- or
7 Libby -- Libby, why don't you come up to the
8 microphone and -- since you've been working
9 this for years. But what I will tell you is
10 that we will do everything we can to make sure
11 that the records are preserved. Irrespective
12 of what Libby's going to tell you now, that's
13 what we're going to be doing in the future.

14 **MS. WHITE:** That's actually what I was about to
15 say. We're doing a couple of things. We're
16 beginning work with the CIO's office, our Chief
17 Information Officer's office, to look again at
18 the procedures we have in place for the
19 destruction of records. We're looking at
20 what's included under the epi moratorium.
21 Actually soon after the enactment of EEOICPA,
22 there were some additional records collections
23 added to the epi moratorium. But we think it's
24 probably time again to look more closely at
25 that and consider adding additional collections

1 of records so we're going to go out to all of
2 our records contacts in the field and get their
3 input.

4 And then lastly, we're working on a letter that
5 could potentially go out to all employees, or
6 at least to the records officers at the DOE
7 sites, reminding them about the epi moratorium,
8 asking for their input on an ongoing basis as
9 they come upon these records collections so
10 that we can keep this an open issue and on an
11 ongoing basis add records collections to this
12 epi moratorium. But any additional suggestions
13 that you have, we -- we would most definitely
14 appreciate.

15 **DR. ZIEMER:** Thank you, Libby. Larry, you want
16 to follow up and...

17 **MR. ELLIOTT:** I would like to follow up and
18 give you a little bit of process-related
19 concept here that goes on. When DOE identifies
20 a system of records that has achieved, in their
21 records retention schedule, a time to be
22 destroyed -- you know, they have a records
23 retention schedule that calls for destruction
24 at a certain point in time. We are notified --
25 NIOSH is notified in -- in two different groups

1 at NIOSH. The research group at NIOSH is
2 notified of that, and my office is notified of
3 that, and we're asked what are our thoughts
4 about these -- this set of records that are
5 proposed to be destroyed. Are we okay with
6 that or do we want to essentially say no, don't
7 -- and advise not to destroy those, and they've
8 heard us out on those situations.

9 The moratorium, as it's been referred to, is an
10 epidemiologic moratorium, so it's records that
11 go to epidemiology. Not necessarily does that
12 cover the type of records that we need for
13 compensation purposes, so I'm -- I'm happy to
14 hear that they're looking at changing the
15 language in that, if they do.

16 **MR. CLAWSON:** And Paul -- and -- and one thing
17 that I wanted to bring up and -- and Idaho's in
18 the process right now of a lot of facilities
19 being tore down and so forth like that, a lot
20 of our radiological information of when we're
21 tearing out certain areas, they're -- they only
22 have a life expectancy of two years before
23 they're destroyed. And -- and I think it'd be
24 very beneficial for us to look at this,
25 especially tearing into some of these buildings

1 that have histories of 50, 60 years, because
2 they get into some very interesting stuff. I
3 know that we have logbooks of most of the --
4 that they're trying to figure out what to do
5 with right now, and I hope they save them. But
6 they're trying to justify, as a contractor,
7 especially CMH2-Hill on INL side, there's
8 nothing in their contract for them to take over
9 these logs and I -- I know that many of them
10 have been lost in the D&D process and I think
11 that we're really making a mistake and we need
12 to look into it.

13 **MR. PODONSKY:** You raise a good point and we --
14 we would welcome any -- any areas that the
15 Board wants to recommend for us to change the
16 way we're doing business because -- again, as I
17 -- as I said and I'm now going to reiterate my
18 point, is that we are committed -- Secretary
19 Bodman is committed, the Department is
20 committed -- to providing you, the Board,
21 NIOSH, Labor, with all the records and there
22 are things that you're bringing up that, quite
23 frankly, I'll have to talk to my staff and ask
24 why we haven't thought about some of these
25 things. But maybe they have and maybe they've

1 addressed it, and maybe they've had some --
2 some difficulties within the Department. But
3 I'm here to tell you that with -- with the
4 creation of HSS, we're not the Shell answer
5 man, but with the creation of HSS we anticipate
6 making some inroads in areas that we haven't
7 been able to do before because we have such a
8 formidable group together now under one
9 umbrella.

10 One example is recently we went out to all of
11 the site managers and asked for them to
12 designate a point of contact for us and Labor
13 Department so that we don't have to keep on
14 guessing at each site who do we go to. And
15 then we are holding those site managers, those
16 lab directors, personally accountable for
17 working with us. We're using the -- candidly,
18 we're using the force of the office of which I
19 report to, which is the Office of the Secretary
20 of Energy.

21 **MR. CLAWSON:** And I'd also like to thank you if
22 my help -- for your help getting mine back, but
23 one thing that did bother me was that even the
24 I-- our site didn't have a very good
25 understanding of what this Advisory Board was

1 for. It re-- it really surprised me, and I
2 think it was Greg Lewis that helped me and he
3 was quite surprised, too, but he was assured
4 that he'd help me take care of it, so I
5 appreciate it.

6 **DR. ZIEMER:** Thank you. Bob Presley.

7 **MR. PRESLEY:** Glenn, as somebody that -- that
8 works in this at least four days a week, I'm
9 glad to see you on board. I don't know where
10 you remember me or not, but I'm from Y-12 and
11 now, as a retiree, that's what I'm working on
12 is the old -- old records throughout the whole
13 complex.

14 One of the things that -- that I'm having a
15 problem with that you all need to look at, the
16 -- the older records are deteriorating so bad
17 that a lot of times you'll pull a piece of
18 paper -- back many, many years ago they used
19 mimeograph machines. You look at that piece of
20 paper and the mimeograph ink has totally
21 dissolved. The questions are gone, but the
22 answers -- if somebody put it in ink or pencil,
23 the answers to the questions'll be there.

24 That's one of the things I've found.

25 And the other thing that I've found that the

1 site are doing, and this is -- this is -- I
2 can't say totally complex-wide, but in four or
3 five of the sites that I've pulled records from
4 in the last ten years, they will hire contract
5 personnel that all their job is to do is take a
6 piece of paper and slap it on that Xerox
7 machine and -- or the computer scanner and hit
8 "scan," and they don't care if the quality of
9 that thing -- if it's skewed to where you can't
10 see it, they may pull it fast, and a lot of the
11 documents that I have had to go back and look
12 at that have been scanned -- I hate to say it -
13 - are not legible. And that's something that a
14 -- a lot of the people that have gone out here
15 -- here's a guy shaking his head right now --
16 you look at this stuff from the sites and --
17 and it's a real problem, especially the stuff
18 they've got scanned on the computer systems.
19 And the other thing is there's a tremendous
20 amount of data that we have all over the
21 complex that they've gotten rid of the machines
22 to read it. Y-12 has the big disk. It's got
23 all kinds of stuff on it, but there's no
24 machine that can read it anymore. We have
25 millions of clock cards and there's no machines

1 to read those things. And those clock cards
2 are what the dose reconstruction and HP records
3 and all that stuff are on, but you can't go get
4 them; they're worthless.

5 If I can help, holler.

6 **DR. ZIEMER:** Okay, thank you.

7 **MR. PRESLEY:** Thank you. I'm glad to see you
8 on board.

9 **DR. ZIEMER:** Phillip, I think you're next.

10 **MR. SCHOFIELD:** Okay. Yeah, I want to say that
11 -- kudos to you for trying to retrieve those
12 records. I hope you succeed. But I do -- like
13 I said, in the strongest terms, that before you
14 send anybody in there, please send them in
15 there with the proper safety gear.

16 **MR. PODONSKY:** I hear you loud and clear.

17 **MR. SCHOFIELD:** Thank you.

18 **DR. ZIEMER:** Brad? Okay, I --

19 **MR. CLAWSON:** Sorry.

20 **DR. ZIEMER:** Anyone else?

21 (No responses)

22 Thank you again, Glenn. We appreciate it. We
23 look forward to future interactions with you
24 and your -- Libby and others on your staff.

25 **MR. PODONSKY:** Thank you very much.

1 **DR. ZIEMER:** We're going to take a 15-minute
2 break and then we'll reconvene.

3 (Whereupon, a recess was taken from 3:10 p.m.
4 to 3:35 p.m.)

5 **DR. ZIEMER:** Let us now resume our
6 deliberations. We're going to begin this
7 afternoon -- is Mark leaving?

8 **DR. WADE:** Mark.

SUBCOMMITTEE ACTIONS

MR. MARK GRIFFON, ABRWH

9 **DR. ZIEMER:** We're -- we're going to begin with
10 the subcommittee report. Mark Griffon, are you
11 ready? Preventing him from getting his coffee,
12 I think, but -- kick us off here, Mark, on the
13 actions and recommendations of the Subcommittee
14 on Dose Reconstruction.

15 **MR. GRIFFON:** You caught me sliding way there
16 for -- didn't realize I was on the agenda next.
17 Yeah, the Subcommittee for Dose Reconstruction
18 met this morning and we primarily focused on
19 looking at the seventh set of case reviews
20 where -- at the last meeting, and then
21 continued on the last phone call meeting on
22 January 11th -- we had come up with a -- a set
23 of cases that we were interested in. And --
24 and this time we did it a little differently

1 than -- I know a lot of people were here this
2 morning but I'll repeat it -- a little bit
3 repetitive. We did it a little differently
4 this time. We -- we sort of asked NIOSH -- we
5 wanted to pre-screen some of these cases and we
6 selected some cases and then we asked NIOSH --
7 come back with some more information so we have
8 a better understanding what types of cases
9 we're -- we're actually going to review because
10 we don't want to see a lot of the same types of
11 cases that we saw the first six sets of reviews
12 that we did.

13 So we had -- total I think we had about 60 --
14 61 cases to make our selections from. And this
15 morning in the subcommittee meeting we selected
16 -- we came up with a final total of 28. SC&A
17 had asked for around 30 so that they can do two
18 batches in this -- in this year to get their --
19 their contract total for the year is 60. We
20 ended up with 28, which I think we'll move --
21 we're -- we're offering back to the full Board
22 as a recommendation to proceed with our seventh
23 set of cases, these 28 cases. And I guess we
24 can -- do we want to --

25 **DR. ZIEMER:** I think you should identify and

1 make sure everybody has the main -- the main
2 set from which to make the selections.
3 Board members, this should have been
4 distributed to you.

5 **DR. ROESSLER:** I think we have two sets. Can
6 you tell us which --

7 **MR. GRIFFON:** Yeah, one has --

8 **DR. WADE:** Slightly -- slightly different
9 registry on the front, but they're the same
10 numbers.

11 **MR. GRIFFON:** Yeah, I -- I think they're the
12 same thing.

13 **DR. ZIEMER:** The -- the heading on the --
14 there's actually two -- two sets here in one
15 packet. One says first pre-selected set,
16 December. And then if you go down to what's --
17 page 5, I believe it is, near the bottom of the
18 page it says second pre-selected set, January.
19 That's the total of the -- of the sets from
20 which you're choosing. Is that correct, Mark?

21 **MR. GRIFFON:** Yes. Yes.

22 **DR. ZIEMER:** Now I said mine is on the bottom
23 of page 5 and I'm -- I'm noticing yours is
24 what? Do we have the -- do these match up?

25 **MR. GRIFFON:** I don't know if it matches these.

1 **DR. ZIEMER:** -- I think 28 cases.

2 **MR. GRIFFON:** 28 cases, yeah.

3 **DR. ZIEMER:** So Board members, if you would
4 mark these in some way so you know which they
5 are --

6 **MR. GRIFFON:** I'm going to just read the last
7 three numbers in the ID -- selection ID.

8 **DR. ZIEMER:** And then tell us what page you're
9 on in each --

10 **MR. GRIFFON:** First one is --

11 **DR. ZIEMER:** -- case.

12 **MR. GRIFFON:** -- yeah, 079. Now I'm on -- I'm
13 on page 2, but this may be -- the -- the pages
14 may not go exactly the same as the stapled
15 copies. The next one is 063, 455, 335, 337,
16 099, 056, 322, 354, 375, 013, 076, 017, 306 --
17 now you should be onto the second pre-selected
18 set and we've got a number in a row here, 428,
19 377, 379, 470, 370, 352, 060, 100, 340, 360,
20 058, 421, 344 and 001. And that should total
21 28 cases for the seventh set of reviews, so --

22 **DR. ZIEMER:** Okay, so these are the 28 cases
23 that the subcommittee is recommending that we
24 assign to the contractor for the initial
25 review, working together with our normal review

1 teams, and then we would go through the review
2 -- full review process. What -- what we'll do
3 then, we'll take this as a recommendation from
4 the subcommittee and agree to defer action
5 until later in the Board meeting so you have a
6 chance to look at those cases in more detail.
7 Each -- the chart shows you the type of cancer,
8 the facility, years worked, the decades worked,
9 probability of causation and other information
10 on each case, so you have the opportunity to
11 look at those and, Board members, when we take
12 action you have the opportunity to add to or
13 delete from or accept these 28 cases as our
14 next group for audit and review.

15 Any questions on that?

16 **MS. MUNN:** So we're not going to -- we're not
17 going to do anything now?

18 **DR. ZIEMER:** I think Mark has suggested that we
19 allow people overnight at least to have a
20 chance to look at these in more detail. So
21 without objection, we'll do that.

22 **MR. GRIFFON:** And I -- I did notice, and you --
23 if you look through these you may notice that
24 in the second pre-selected set -- beyond that
25 point there seems to be some kind of formatting

1 -- number format problem because instead of a
2 date DR was approved, there's a -- a number in
3 there and I think that was an Excel problem. I
4 don't know if we -- it probably is okay in the
5 electronic version if people have their
6 electronic version. If they reformat that
7 column to "date," I'm sure it's going to be
8 fine. But right now it appears just to be a
9 five-digit number. Right? And not -- it
10 doesn't look like a date to me.

11 **DR. ZIEMER:** Well, I guess I would ask how
12 critical that piece of information --

13 **MR. GRIFFON:** Right, and I --

14 **DR. ZIEMER:** -- is.

15 **MR. GRIFFON:** -- I think it is.

16 **DR. ZIEMER:** I'm not sure it is for -- for what
17 we're doing here. So unless somebody needs to
18 know that particular piece of information, it
19 doesn't --

20 **MR. GRIFFON:** Yeah.

21 **DR. ZIEMER:** -- seem critical to the selection
22 process.

23 **MR. GRIFFON:** Right, I think you -- you have
24 more than enough information, but if you are
25 wondering why that's that way, I think it's a

1 formatting problem.

2 **DR. ZIEMER:** Okay. So we will take formal
3 action on -- on those at that point. Mark, you
4 have some other items from the subcommittee to
5 bring before us.

6 **MR. GRIFFON:** Yeah, just -- just a -- a few
7 other -- just a report back on what the
8 subcommittee's working on. We have the fourth
9 set matrix underway. This is the fourth set of
10 cases we've reviewed. I think it's -- we've
11 done 60 and that would be 61 through 80, case
12 number 61 through 80. The matrix, for those of
13 you who are not familiar with that process, we
14 -- SC&A brought back a report -- that's our
15 contractor -- brought a report to the Board on
16 their findings when they reviewed these 20
17 cases. We tried -- SC&A then put those
18 findings into a matrix -- a summary of the
19 finding actually, in the matrix and then we
20 bring it back to our subcommittee process and
21 we go through a com-- a comment resolution
22 process. There -- it's a little more involved
23 than that, but basically Board members are
24 involved before that, but actually -- anyway,
25 the -- the finding is discussed in the

1 subcommittee and NIOSH gives us a response to
2 the finding. And then at that point the
3 subcommittee, along with SC&A and NIOSH,
4 discuss it and try to come to a resolution on -
5 - on the finding. And at this stage on the
6 fourth set of cases we're -- we're close to the
7 end of the resolution process. There are some
8 outstanding actions for NIOSH to complete, and
9 I think a few for SC&A as well. I've -- I've
10 edited the fourth set matrix to include the
11 resolution from our last meeting. I'll just --
12 I'm -- I'm going to distribute that after this
13 meeting to the subcommittee members as well as
14 SC&A and NIOSH. And we're hoping to have a
15 meeting probably sometime in April, in between
16 Board meetings have a subcommittee meeting
17 where we can do our final deliberations on that
18 fourth set matrix.

19 We also have a fifth and sixth set that are out
20 there and underway. The fifth set matrix --
21 we're almost ready to deliver that to NIOSH,
22 and at that point NIOSH will -- will go back to
23 their team members and -- and review each
24 finding and give us their comments on the
25 findings, and then we'll bring it into the same

1 process, back to the subcommittee.

2 The sixth set is a little earlier on. SC&A has
3 completed their review and they're just about
4 ready, as I understand it, to meet with
5 individual Board members and go through each --
6 Board members are assigned certain cases to
7 review, and SC&A does usually conference call
8 meetings with the Board members to discuss the
9 findings on the cases that were assigned to --
10 to each Board member, and they're just about at
11 that stage now. After that's completed, then
12 it'll come through the same process. So we're
13 -- we're teeing these up to -- to catch up to
14 the seventh set of -- of DR reviews.

15 **DR. ZIEMER:** Mark, if I could interrupt, this
16 is a good point to raise an issue. As we do
17 the sixth set, we want to integrate our new
18 Board members into the review process. I --
19 I'd like to find out if Kathy Behling is still
20 on the line. Kathy, are you on the line?

21 **MS. BEHLING:** Yes, I am.

22 **DR. ZIEMER:** Kathy, do you have at your
23 fingertips the current list of teams that you
24 were going to use for this sixth set? Or do --

25 **MS. BEHLING:** I do not have that at my

1 fingertips at the moment but I can --

2 **DR. ZIEMER:** Could you --

3 **MS. BEHLING:** -- get it.

4 **DR. ZIEMER:** -- pull that out in the next few
5 minutes readily?

6 **MS. BEHLING:** I will attempt to do that, yes.

7 **DR. ZIEMER:** And then -- and we'll come back in
8 a few minutes. What I'd like to do is -- in
9 fairness to the new people -- not require them
10 to be a team by themselves without the
11 experience of these veterans, but to take a
12 look at the team assignments that you had for
13 number six and we might want to change those
14 slightly, integrate Josie and Phil into one of
15 the existing teams, or two of the existing
16 teams, and go from there. So we'll come back
17 to this.

18 **MS. BEHLING:** Okay, very good.

19 **DR. ZIEMER:** Okay. Mark.

20 **MR. GRIFFON:** And the -- the last two items --
21 really the last item we discussed for a fair
22 amount of time was blind reviews. And early on
23 we had talked about doing some of the dose
24 reconstruction reviews in a blind review
25 fashion and -- and to date we haven't done any

1 blind reviews. So we had a -- a fairly good
2 discussion about, you know, what that would
3 involve, not only from a -- a sort of technical
4 standpoint, but also from a process standpoint
5 for the subcommittee. I -- I've -- I've
6 offered to -- to draft sort of some protocols
7 for that blind review within the subcommittee,
8 bring it back to the subcommittee and then
9 certainly will bring it back to the full Board
10 for approval before we go ahead with any blind
11 reviews. But I think we're -- we're
12 anticipating the eighth set may include a
13 couple of blind reviews anyway, but we want to
14 better define -- when we say blind review --
15 exactly what the protocols are and what the
16 process will be. And -- and like I said, we'll
17 do that on the subcommittee and bring it back
18 to the full Board.

19 And the final thing -- and we didn't really
20 have time to discuss this much in the
21 subcommittee, but just -- just so you have it
22 in the back of your mind maybe, most of the --
23 in the original scope of work for the DR
24 reviews we had basic, advanced and blind
25 reviews. And I challenged our subcommittee and

1 How are your fingertips doing, Kathy?

2 **MS. BEHLING:** I have the information in front
3 of me.

4 **DR. ZIEMER:** Right at your fingertips, okay.

5 **MS. BEHLING:** For the sixth set of cases, there
6 are five teams of two individuals from the
7 Board and I will list those for you. One team
8 is John Poston and Robert Presley. Second team
9 is Genevieve Roessler and James Lockey. Third
10 team is Mark Griffon and Bradley Clawson. The
11 fourth team is Michael Gibson and Paul Ziemer.
12 And the fifth team is James Melius and Wanda
13 Munn. So those are currently the five teams
14 that have been selected for the sixth set.

15 **DR. ZIEMER:** Okay, thank you. I -- I think the
16 best way to do this would be to -- we'll make a
17 sixth team and -- and pull somebody from each
18 of two teams --

19 **DR. WADE:** You only have to pull one really.

20 **DR. ZIEMER:** Huh?

21 **DR. WADE:** If you pulled one --

22 **DR. ZIEMER:** Well, all right, we'll pull one
23 and that'll leave the other one open. I'm hav-
24 - I have trouble with these advanced concepts.
25 Okay. And this is kind of arbitrary I guess.

1 Let -- let me --

2 **MR. GRIFFON:** Two teams.

3 **DR. ZIEMER:** Well, we -- let's see, but we --
4 we need --

5 **MR. GRIFFON:** Oh, yeah.

6 **DR. ZIEMER:** -- as many teams as -- I think
7 teams of two worked out pretty well last time.
8 How about if we -- let me pull Lockey off and
9 perhaps -- let's -- let's put -- how about if
10 we put Phil with -- Phil with Gen Roessler. Is
11 that all right?

12 **MS. BEHLING:** Dr. Ziemer?

13 **DR. ZIEMER:** Yes.

14 **MS. BEHLING:** Excuse me, the -- if we proceed
15 with having six groups, we'll have to reassign
16 the cases for the sixth set because currently
17 each of the five groups has four cases, and so
18 we would have to reassign the cases for these
19 two new --

20 **DR. ZIEMER:** Oh, I see what you're saying.

21 **MS. BEHLING:** Yeah, I'm not sure what you're --

22 **DR. ZIEMER:** Well, would it be easier for this
23 sixth set just to -- to put the two new people
24 with an existing team, then? Is that what
25 you're --

1 **MS. BEHLING:** I -- I believe that's the easiest
2 approach.

3 **DR. ZIEMER:** We'll -- we'll do that then.
4 Thank you very much. Good suggestion. So
5 we'll go back to -- to -- I'm just going to
6 take them in order, so let's add Phil to team
7 one --

8 **MS. MUNN:** Who's team one?

9 **DR. ZIEMER:** That's Poston/Presley. And then
10 we'll put Josie with Roessler and Lockey. Is
11 that agreeable? And that will give them
12 experience with the process and then --

13 **MR. PRESLEY:** Paul --

14 **DR. ZIEMER:** -- we'll be set next time around.

15 **MR. PRESLEY:** -- can we go ahead and get them
16 sent, a copy of the cases?

17 **DR. ZIEMER:** Well, let -- let me see what legal
18 counsel is -- I'm -- I'm -- my warning signs
19 are going up. Liz is approaching the mike.
20 Liz.

21 **MS. HOMOKI-TITUS:** I just want to remind you
22 that you need to review whatever cases the
23 teams that have been assigned --

24 **DR. ZIEMER:** Oh, yes --

25 **MS. HOMOKI-TITUS:** -- make sure that they don't

1 have conflicts before you assign --

2 **DR. ZIEMER:** -- and those have not been
3 assigned yet, have they?

4 **MR. PRESLEY:** Yep.

5 **DR. ZIEMER:** Or have they?

6 **MR. PRESLEY:** They have been.

7 **DR. ZIEMER:** Oh, okay, yes. Who -- team one is
8 doing -- well, let -- let me ask Kathy, do you
9 have those assignments? What team -- what
10 facilities are the team one -- is the team one
11 group doing? Any -- any --

12 **MS. BEHLING:** I -- I was just -- I do not have
13 the assignments in front of me. It was easier
14 for me to pull up the fifth list and so I could
15 get the --

16 **DR. ZIEMER:** Okay, let -- let me do the
17 following and Wade can help me on this. If
18 there's a -- if there's a -- for example, if
19 team one is doing a Los Alamos, we'll just
20 switch Phil to another team, and likewise with
21 Josie, if that's agreeable. We'll just go down
22 the line.

23 **DR. WADE:** All right, we'll take care of that.

24 **DR. ZIEMER:** Emily, uh-huh.

25 **MS. HOWELL:** If I can make a recommendation,

1 just knowing the conflicts without knowing
2 who's assigned which cases --

3 **DR. ZIEMER:** Right.

4 **MS. HOWELL:** -- if you keep Mr. Schofield with
5 his current assignment, that will be fine. If
6 you wanted to move Ms. Beach to Dr. Melius and
7 Ms. Munn's group, that would also --

8 **DR. ZIEMER:** Then we know that there's probably
9 not --

10 **MS. HOWELL:** Then there won't be --

11 **DR. ZIEMER:** -- any conflicts there, yeah.

12 **MS. HOWELL:** -- any conflicts.

13 **DR. ZIEMER:** Okay, that'll -- that'll make it
14 easy. Thank you.

15 **MS. MUNN:** 'Cause she has the same conflicts I
16 --

17 **DR. ZIEMER:** Without objection then, Josie,
18 we'll put you there with...

19 **DR. WADE:** Now this is just for the sixth and
20 then the seventh --

21 **DR. ZIEMER:** Just for the sixth set and we'll
22 have a new set of assignments. Okay, without
23 objection, those'll be the assignments for the
24 sixth set, then -- okay. Thank you.

25 Any other items for --

1 so that you would have a better feel for what
2 we were looking at. The question before us was
3 the number of -- which cases we were going to
4 select from the procedures that SC&A was to
5 review for us under their contract Task III.
6 And the members of the Board have this
7 information that we were working from in your
8 electronic files. I'm sorry I didn't have it
9 copied for you because I really thought you
10 were going to have it in front of you.

11 SC&A has submitted us three tables giving the
12 information with respect to what they have
13 already reviewed, what they have reviewed under
14 other tasks, and what they have not yet
15 reviewed. Currently -- during our last meeting
16 we looked at the published documents that were
17 not officially reviewed by them underneath this
18 particular task, but which had been reviewed --
19 essentially they've already been done because
20 they've done them under one of the other tasks.
21 I'm stalling a little bit because I keep
22 thinking that LaShawn's going to show up with
23 the printed copies of the tables I'd hoped to
24 have for you, but she hasn't yet.

25 **DR. ZIEMER:** Well, let me help you stall.

1 **MS. MUNN:** All right.

2 **DR. ZIEMER:** Number one, there are several
3 charts that the Board members may need to refer
4 to, and it may be that what we will want to do
5 is something similar to what Mark has done, and
6 that is to identify today the procedures which
7 the subcommittee is rec-- or the workgroup is
8 recommending for approval, and then allow the
9 Board some time to digest those, particularly
10 if they need to go back and pull up some files
11 and get the full list. And I don't -- I don't
12 have any feel for whether other Board members
13 outside the workgroup have those other charts.
14 Board members, do -- do you know what charts
15 are being referred to? They are -- they -- SCA
16 -- SC&A had a list of procedures that they had
17 reviewed and ones they hadn't. They had a list
18 that Stu Hinnefeld prepared of all the various
19 procedures. We had a recommendation I think
20 from SC&A as to additional procedures that have
21 been reviewed under the other process and
22 others that they recommended. So there's
23 various pieces of input to this that form the
24 basis for the recommendation. So I'm -- I'm
25 saying if the Board members don't have all

1 those pieces, you may simply want to identify
2 the particular procedures and then we can take
3 action on it tomorrow.

4 **MS. MUNN:** I may be forced to do that since
5 I've given my only original copy to LaShawn to
6 be copied. We'll -- we'll see how far we get
7 here. For those of you with your electronic
8 files up, on January 9th John Mauro sent Lew
9 Wade a memorandum which incorporated the three
10 tables that we started to work from, so --

11 **MS. BEHLING:** Excuse me, Wanda --

12 **MS. MUNN:** Yes, Kathy.

13 **MS. BEHLING:** -- this is Kathy. I believe that
14 when I sent this to you on January 12th, I sent
15 all the Board members my recommendations for
16 the procedures, I believe along with
17 attachments of both Stu's attachment of all the
18 new procedures or -- or all of the listing of
19 procedures, and also that Lew Wade/John Mauro
20 memo, if that's any help to those people who
21 have elec-- who have their computers with them.
22 I think that was January 12. Sorry to
23 interrupt.

24 **MS. MUNN:** Yeah -- no, that's quite all right,
25 and that's correct. Most of those -- all of

1 those attachments were there. The complete
2 list of procedures is not very helpful to us at
3 this juncture because it's too voluminous and
4 doesn't segregate them appropriately. But if
5 you -- are we doing okay finding this
6 information on your --

7 **DR. ZIEMER:** Well, let me ask, are their Board
8 members who do not have the needed charts at
9 this time, or tables -- there seem to be
10 several.

11 **MS. MUNN:** Tables 1, 2 and 3. Well, the
12 printed copies are coming very shortly.
13 Let me read to you the titles of the procedures
14 that we looked at last time the Board met, when
15 it was suggested that we might incorporate some
16 of the procedures that had already been
17 reviewed under other tasks. There were eight
18 of those, and they were given in Table 2 of
19 these lists of tables that we're talking about.
20 Shown -- the first one was OTIB-26, external
21 coworker dosimetry data for the K-25 site. The
22 second was OTIB-27, supplementary external dose
23 information for Rocky Flats Plant; OTIB-29,
24 internal dosimetry coworker data for Y-12;
25 OTIB-30, external coworker dosimetry data for

1 the Hanford site; OTIB-31, external coworker
2 dosimetry data for the Paducah Gaseous
3 Diffusion Plant; OTIB-32, external coworker
4 dosimetry data for the Savannah River Site;
5 OTIB-35, internal dosimetry coworker data for
6 K-25; PROC-0042, accounting for incomplete
7 personnel monitoring data on penetrating gamma
8 ray doses to workers in radiation areas in the
9 Oak Ridge Y-12 Plant prior to 1961; and the
10 final one was OCAS-TIB-0014, Rocky Flats
11 internal dosimetry coworker extension.
12 Those were given to us as suggestions last --
13 at our last Board meeting for potentials for
14 incorporating. It was the agreement of the
15 working group this morning that those should in
16 fact be incorporated and should be included as
17 Task III completed reviews that SC&A will have
18 done during the Fiscal Year 2007.
19 The discussion this morning revolved primarily
20 around what the next six should be. We had six
21 that had been suggested to us by SC&A, but of
22 those six we ultimately chose only two because
23 at this time there is under-- in process at
24 NIOSH a group of revisions or new OTIBs which
25 will be of considerable interest to this Board.

1 So the choices that we made with respect to the
2 final six for SC&A to deal with were PROC-0044,
3 Special Exposure Cohort; PROC-0086, case
4 preparation, complex internal dosimetry claims;
5 OTIB-0045, historical evaluation of the film
6 badge program at the Y-12 facility in Oak
7 Ridge, Tennessee, Part 2, neutron radiation;
8 TIB-0060, internal dose reconstruction; TIB-
9 0063, Los Alamos National Laboratory bioassay
10 data project; and PROC-0096, initial quality
11 control, technical editing and final quality
12 control of dose reconstruction reports.

13 Those were the six last procedures that were
14 recommended by the workgroup to the Board for
15 acceptance as Task III in SC&A's charge. You
16 will have a copy of those before we -- perhaps
17 when we get back after our break.

18 **DR. ZIEMER:** And Wanda, the only action needed
19 is on the last six. Isn't that correct?

20 **MS. MUNN:** That's correct. We -- we -- and
21 agreement that the -- the eight which we had
22 nodded our heads and said we'd think about at
23 our last Board meeting, I -- I don't believe we
24 actually took action on those. I think we
25 accepted them as a recommendation, but the

1 working group had not met and discussed that
2 and I believe that they're -- that's our action
3 today.

4 **DR. ZIEMER:** Okay. And Wanda, could you
5 clarify -- when you read the list that the
6 Board had looked at last time and which appear
7 in Table 2 with an asterisk --

8 **MS. MUNN:** Uh-huh.

9 **DR. ZIEMER:** -- I thought that I heard you say
10 OTIB-0031, which on my table doesn't have an
11 asterisk. Was -- was that on your list, 0031?

12 **MS. MUNN:** No, it was not.

13 **DR. ZIEMER:** Okay.

14 **MS. MUNN:** 32 and 35 were, but 31 was not. It
15 was --

16 **DR. ZIEMER:** Right, so --

17 **MS. MUNN:** -- 30, 32 --

18 **DR. ZIEMER:** Okay, I may have -- I may have
19 heard the -- heard you read that wrong, but if
20 that was -- if that was read earlier, that
21 should not be included.

22 **MS. MUNN:** No, it should not, only the eight
23 that had the asterisk in Tables --

24 **DR. ZIEMER:** Right.

25 **MS. MUNN:** -- 2 and 3.

1 **DR. ZIEMER:** Okay. Okay, Board members, is
2 there agreement that we'll defer action on this
3 till you have a chance to see those, or do you
4 wish to act now?

5 We'll defer action, I think, make sure that
6 everybody has the written copy.

7 **MS. MUNN:** Again, I apologize --

8 **DR. ZIEMER:** And also -- will there be copies -
9 - there should be copies for the members of the
10 public as well on this so --

11 **MS. MUNN:** We'll -- we'll get more copies made.

12 **DR. ZIEMER:** -- we'll make sure that everyone
13 has a copy so they can see what we're talking
14 about.

15 Okay, thank you very much.

16 **MS. MUNN:** My apologies again. My computer has
17 failed me. I need another one.

18 **DR. ZIEMER:** We're going to have a brief break
19 before we have a public comment session. We
20 have some folks that will be commenting by
21 phone. We want to make sure all of those are
22 hooked in and ready to go.

23 Lew, do we have any housekeeping items we need
24 to take care of before we take a quick break?

25 **DR. WADE:** Nope.

1 **DR. ZIEMER:** Okay. We want to begin the public
2 comment period sharply at 4:30, so please take
3 a quick break and then reconvene at that time.
4 Thank you.

5 (Whereupon, a recess was taken from 4:10 p.m.
6 to 4:30 p.m.)

PUBLIC COMMENT

7 **DR. PAUL ZIEMER, CHAIR**

8 **DR. ZIEMER:** We are ready to begin the public
9 comment session of today's meeting. As many of
10 you know, in the public comment session
11 generally we're not prepared to deal with
12 individual cases in the sense that if you have
13 a case problem we ask that you take that to the
14 NIOSH case representatives. Now you're
15 certainly welcome to share problems and issues
16 with us, so this is kind of an open session
17 where you can express your views or -- or bring
18 your problems. It's -- it is not a situation
19 where we will delve into individual case
20 histories in any detail, but try to learn more
21 about how the program is working or where the
22 problems lie. And you're welcome to comment on
23 any of the issues that are on our agenda or
24 other issues related to the program.
25 We're going to hear in a few minutes from John

1 Ramspott and from Dan McKeel, both of whom have
2 addressed the Board before. They represent the
3 southern Illinois nuclear workers. And working
4 with them is Vincent Kutemperer, who is I hope
5 on the phone, and Vincent has been involved --
6 has actually published on the issue of
7 accelerator activation, which is one of the
8 issues John raised to this Board when he gave
9 public testimony before. And Vincent, are you
10 on the line?

11 (No response)

12 Vincent Kutemperer?

13 (No response)

14 I'm not hearing -- maybe we'll go ahead with
15 John and I -- Dan, do -- do you have a -- do
16 you have a number you can reach him
17 independently and -- or John does. I'm
18 wondering, Dan, would you like to go first or
19 would you rather wait till he testified? I --

20 **DR. MCKEEL:** (Off microphone) (Unintelligible)

21 **DR. ZIEMER:** We'll wait just a second.

22 **DR. MCKEEL:** (Off microphone) (Unintelligible)

23 **DR. ZIEMER:** Again, Vince, are you on the line?

24 **MS. RAMSPOTT:** This is Christine Ramspott. I
25 could call Mr. Kutemperer.

1 **DR. ZIEMER:** Okay, thank you. Go ahead and
2 make your comments.

3 **MS. CLAYTON:** Yes, I was at the Las Vegas
4 meeting in September, and I presented about
5 four years of records to the Board on my
6 husband's -- the discrepancies in the DOE
7 radiation exposure history. So I have a
8 question for Doc-- well, for Mr. Podonsky,
9 please. Is he there?

10 **DR. ZIEMER:** I think Mr. Podonsky has left, but
11 you can cert-- we can certainly relay your
12 question to him if --

13 **MS. CLAYTON:** Okay.

14 **DR. ZIEMER:** I think one of his colleagues is
15 here who might be able to answer --

16 **DR. WADE:** Libby White is here.

17 **DR. ZIEMER:** -- Libby White from DOE is still
18 with us.

19 **MS. CLAYTON:** Right. I don't know if you
20 remember, but I have about -- almost 1,400
21 pages of my husband's employment at the Test
22 Site, Nevada Test Site. All these records have
23 been declassified and I -- I -- I wanted to ask
24 him about one specific year. I -- I note that
25 he had mentioned that he was going to go for

1 records which would prove radiation exposure.
2 I pulled out another year of my husband's
3 records, 1970, where -- this was from May to
4 December of 1970. We had 32 nuclear shots in C
5 Tunnel, these were specifically in C Tunnel.
6 The radiation exposure history for the -- the
7 DOE in Las Vegas is issuing to the widows that
8 I represent, they're all coming back with a
9 zero radiation. However, in the records that I
10 have which shows a number of crafts such as the
11 miners, the electricians, operators, plumbers,
12 fitters and others, and these are from -- this
13 information is directly from the radiation
14 exposure -- radiation safety monitor logbook.
15 These are handwritten records which shows that
16 in these -- specifically in C Tunnel where
17 these men were working, the radiation levels
18 were from 200 millirem to 5,000 millirem. And
19 I was going to ask him if -- I know that these
20 records were put into my hands, but after they
21 were given to -- my husband's records were
22 given to me, the widow whose husband worked for
23 my husband there in the same tunnel, the
24 records were denied. They can't get anything
25 except the radiation exposure history, which is

1 terribly flawed. I just wanted to know if --
2 if he would take any of the records that I have
3 in -- as supporting evidence and -- and apply
4 them to the other men that worked in that same
5 area.

6 **DR. ZIEMER:** Okay. What I'm going to suggest -
7 - Libby is going to come to the mike, too -- we
8 do need to make sure that -- that NIOSH has at
9 least copies of the records for your husband.
10 And if they have some application to other
11 workers, I suppose those other workers may have
12 to somehow refer to them. Let's see what Libby
13 has to say here.

14 **MS. CLAYTON:** All right.

15 **MS. WHITE:** I was just going to say I -- I work
16 for Glenn Podonsky, who unfortunately had to
17 leave right after his presentation, but I will
18 take that back to him and I think what -- what
19 you're telling us might give us some leads as
20 to what to look for at -- at NTS and --

21 **MS. CLAYTON:** Right.

22 **MS. WHITE:** -- and so certainly that needs to
23 get to NIOSH. And also in general it would be
24 helpful to know where those records came from
25 and -- and the types of information that were

1 included, as you just described.

2 **MS. CLAYTON:** Right.

3 **MS. WHITE:** So maybe if I could give you my
4 phone number, we could talk separately about --
5 about this and -- and I can make sure to be in
6 touch with our -- with our contacts -- our
7 records contacts at the Nevada office.

8 **MS. CLAYTON:** Okay.

9 **MS. WHITE:** And my number is 202--

10 **MS. CLAYTON:** All right.

11 **MS. WHITE:** -- sorry, 202--

12 **MS. CLAYTON:** Uh-huh.

13 **MS. WHITE:** --586--

14 **MS. CLAYTON:** 586.

15 **MS. WHITE:** --3632.

16 **MS. CLAYTON:** 3632.

17 **MS. WHITE:** And my name again is Libby White.

18 **MS. CLAYTON:** Libby White.

19 **DR. ZIEMER:** Did you get that, Dorothy, then?

20 **MS. CLAYTON:** I did, 586-3632, yes, I did, and

21 --

22 **DR. ZIEMER:** Okay, and we want to make sure
23 that -- and maybe, Libby, you can help make
24 sure that the records also will get into --
25 NIOSH database if they're not already there.

1 **MS. CLAYTON:** I did give -- at the Las Vegas
2 meeting I did give Larry Elliott four years of
3 -- of records that showed discrepancy --
4 terrible discrepancy in the radiation exposure
5 history that is being given out to the widows
6 at the Test Site, and -- so he does have four
7 years in his hands. But there's so much more
8 that's available here that -- that somebody
9 needs to take a look at because --

10 **DR. ZIEMER:** Okay.

11 **MS. CLAYTON:** -- I had these records in my
12 hands within two days. I had tried to get them
13 for months, and our Nevada senator just called
14 the DOE and -- and told them to get all the
15 records ready. I had them in my hands within
16 two days, so I know records are there that can
17 be used, and I certainly would appreciate it if
18 -- if someone -- if they could use these
19 records, because the men did work for -- I know
20 the men personally that have passed away that
21 worked for my husband.

22 **DR. ZIEMER:** Okay. We have an additional
23 comment from Chris from NIOSH.

24 **MS. CLAYTON:** All right.

25 **MS. ELLISON:** Ms. Clayton, this is Chris

1 Ellison from NIOSH. I want to assure you that
2 I have been told that we do have those records
3 that you're referring to.

4 **MS. CLAYTON:** Thank you.

5 **MS. ELLISON:** You're welcome.

6 **DR. ZIEMER:** Okay. Thank you.

7 **MR. FUNK:** Dr. Zimmer (sic) --

8 **DR. ZIEMER:** Yes.

9 **MR. FUNK:** -- this is John Funk. I -- I got
10 sick and I didn't get a chance to get my
11 paperwork in. There is a couple things I --
12 although it -- it slightly applies to my case
13 individually --

14 **DR. ZIEMER:** Okay, John, can you hold on just a
15 moment? We had another individual on the line
16 that is scheduled first and let --

17 **MR. FUNK:** That's quite all right.

18 **DR. ZIEMER:** Yeah, if -- are you okay standing
19 by a few minutes?

20 **MR. FUNK:** Oh, yeah, I can stand by for a
21 couple of hours.

22 **DR. ZIEMER:** Oh, well, you're pretty hardy
23 today, okay. You might do better than I do.
24 Here's -- Vincent, are you on the line now?

25 **MR. KUTEMPERER:** Yes -- yes, Dr. Ziemer.

1 **DR. ZIEMER:** Yeah, welcome. You may proceed
2 with your comments.

3 **MR. KUTEMPERER:** Okay, thank you very much, Dr.
4 Ziemer.

5 As -- my name, as I stated before, is Vincent
6 Kutemperer and I'm calling from Brookfield,
7 Wisconsin. I understand that this is a meeting
8 of the Advisory Board on Radiation and Worker
9 Health. I also understand that there are
10 members that -- in this meeting from NIOSH,
11 ORAU and Board auditors and there are also
12 members representing the Department of Labor
13 and also there are members of the public.
14 Thank you very much for taking my call.
15 Let me introduce myself and give you my
16 background. I was a professor of physics at
17 the Milwaukee School of Engineering from 1968
18 to 1978. Before that I was teaching physics
19 and math at Lakeland College in Sheboygan,
20 Wisconsin. While I was at Lakeland College I
21 had the opportunity to work on a nuclear
22 reactor at the (unintelligible) National Lab in
23 Chicago. I use to take the students with me to
24 participate in various types of student
25 experimentation at the reactor,

1 (unintelligible) National Lab. In that process
2 I got interested in what is known as the
3 neutron activation, and later on when I came to
4 Milwaukee School of Engineering in 1968, I
5 continued to take interest in nuclear reactor
6 experimentation and the process of neutron
7 activation.

8 I also had opportunity to go to
9 (unintelligible) Nat-- excuse me, Oak Ridge
10 National Lab in Tennessee. I also had
11 participated in other types of scientific
12 activities at the Texas A&M University, Kansas
13 State University and other universities in the
14 midwest.

15 When I became professor of physics at Milwaukee
16 School of Engineering, I came across the 25
17 million electron volt Betatron that the school
18 used to do industrial radiography. And at that
19 time I became interested in what is known as
20 the photon activation and I started to compare
21 photon activation with the neutron activation.
22 And needless to say, since I had the 25 million
23 electron volt Betatron at the Milwaukee School
24 of Engineering, I started to learn more and
25 more about it and I started to understand the

1 phenomenon of photon activation in more detail
2 than what I originally wanted to do.

3 (NOTE: During the following portion of Mr.
4 Kutemperer's statement an unidentified person
5 was also on the line. He seemed to be unaware
6 his comments were audible. This could have
7 affected the accuracy of the transcription as
8 two people were speaking simultaneously.)

9 In that process I discovered that when
10 industrial radiography is done using 25 million
11 electron volt Betatron, there is a tremendous
12 amount of radiation used in both industrial
13 parts. I became very curious about finding out
14 the level of activity that is induced, and also
15 what effect it might have on people who handled
16 these industrial parts. And in -- in -- in
17 that regard, I did a lot of experimentation and
18 -- and in 1974 I published a paper, which is
19 titled "Photon Activation of Materials
20 Subjected to Betatron Radiography." The
21 conclusion of that paper, which was published
22 in 1974, was that not too much attention was
23 given to the sample that is being radiographed
24 by this powerful X-ray machine, and so I
25 pointed out the fact that there could be some

1 health hazards associated with activation of
2 these materials which are being radiographed to
3 determine if there are any (unintelligible)
4 inside. That was in 1974.

5 That paper was followed up with another paper
6 which is referred to as "Photon Activation of
7 Alloys and Elements Used in Industrial
8 (unintelligible) High Energy (unintelligible)
9 Radiography." In that paper I went into more
10 details about the activation that I noticed in
11 different types of industrial parts that were
12 radiographed. Now keep in mind that some of
13 these industrial parts were very sizeable. It
14 ranged in size from -- for example, one pound,
15 ten pounds to castings that weighed a couple of
16 tons. And these parts were exposed to several
17 thousand Roentgens of radiation and in some
18 cases the exposure lasted several hours to a
19 couple of days. And (unintelligible)
20 situations where phantom castings were exposed
21 to radiation and after I published these two
22 papers, these people who were working there
23 were aware of the radiation (unintelligible) in
24 it and as (unintelligible) of that, especially
25 when they knew that there was a nickel in -- in

1 these alloys, they waited at least a day or a -
2 - day or two to go near the sample that was
3 just radiographed because, as you know, nickel
4 becomes radioactive and the half-life of the
5 isotope that's produced is approximately 36
6 hours. So anyone will say that if you have 36-
7 hour half-life, you may want to wait at least a
8 couple of those half-lives to go near it.
9 Well, anyway, after I published these two
10 papers, I became convinced that most of the
11 workers that are doing this type of radiography
12 may not -- may not know the extent of the
13 activation and that if these people come across
14 these material and handle them without knowing
15 that they are radioactive, and then later on
16 they try to store these in a place before it is
17 shipped to wherever they came from, and when it
18 goes to the places where they are shipped to,
19 they might polish it, grind it, and in that
20 process if still there is a residual
21 radioactivity left -- which I believe that --
22 that there are, if they grind and polish, they
23 might be ingesting radioactive dust. And if
24 they ingest radioactive dust, as most of you
25 know, all of you know, that presents, in my

1 opinion, a health hazard situation.
2 And so with these things in mind, in 1976 I
3 submitted an application to NIH, which
4 subsequently went to NIOSH, and the purpose of
5 that grant was to ask for some money to help me
6 further -- further evaluate and understand the
7 process of activation that happens in these
8 industrial -- industrially radiographed parts.
9 Well, the result of my application was that
10 initially NIOSH refused to fund it, but I had a
11 further discussion with NIOSH officials and
12 then they decided that there are merits in my
13 application, therefore they agreed to fund this
14 application. But it never funded.
15 Now during those years I was still pursuing the
16 activation process and as a result of my two
17 publications, I was invited to participate in
18 two international conference on the application
19 of photon activation in trace element analysis,
20 and these conferences were sponsored by IAEA,
21 International Atomic Energy Agency,
22 headquartered in Vienna, Austria. So I
23 participated in one conference in Vienna and
24 another conference in (unintelligible) France,
25 and what they did was to look at the trace

1 element analysis of human hair using photon
2 activation. There were -- if I remember
3 correctly, there were 15 scientists from all --
4 all over the world, and I myself had the
5 privilege of representing the United States in
6 that -- in those two meetings, and we talked
7 about all the different techniques used by
8 different scientists in analyzing trace
9 elements in human hair.

10 And later on the following year I was invited
11 to participate in a -- in a conference in a
12 similar fashion which was to be held in Tokyo,
13 Japan, but by that time I had changed my line
14 of work from being a scientist and trying to
15 split atoms into trying to split land.

16 Personally I'm a land developer and a builder,
17 and I practically lost interest in radiation
18 and the effect of radiation in -- in biological
19 samples since I was trying to establish my
20 expertise in. And in 19-- excuse me, in 2006,
21 on or about -- on or about the end of August, I
22 had two very interesting letters from two
23 people from St. Louis. One is a Dr. Daniel
24 McKeel. I'm sure most of you might know who
25 this person is. And then there was another

1 letter from John Ramspott, who wrote to me
2 regarding them finding my publications and I
3 asked them why and how they came across my
4 paper, and then they talked about the people
5 who are doing work at the (unintelligible) in
6 Illinois and in St. Louis, and they talked to
7 me about almost 800, 900 people who have become
8 cancer patients and they have tried to relate
9 their sickness to the fact that these people
10 worked in these industrial places where they
11 heavily used Betatrons, 25 million electron
12 volt Betatrons, to radiograph uranium ingots.
13 Well, that made me think about what I did 34
14 years back and the fact that I had sent this
15 application to NIOSH and asked for a grant to
16 further investigation what I found out in 1974.
17 And this is how this process started with me
18 and since August of 19-- excuse me, August of
19 2006 I've spent a considerable amount of time
20 looking through my own papers. By the way, by
21 the end of 1978 when I left the Milwaukee
22 School of Engineering and went into real
23 estate, I had a total of seven publications and
24 a large chapter that I wrote with several other
25 scientists from the United States, and this

1 appears in the *Handbook of Clinical Laboratory*
2 *Science* and this also appears in the Nuclear
3 Medicine section of the book.

4 So I started to review all of these things and
5 I started to think about actually what might
6 have happened with the radiation workers at
7 General Steel Industries. And I was told that
8 these people were working on uranium ingots and
9 these samples were irradiated several times
10 with several thousand Roentgens of radiation
11 from Betatron. And with my previous work I --
12 I started to get so concerned about these
13 people because from my own experience, my
14 thinking was that if -- and example is they
15 radiated -- the radiation from that 25 million
16 electron volt Betatron, my reaction is that I
17 don't want to be near those exposed materials
18 at least for a half an hour because as -- as is
19 very well known, the most prominent reaction
20 that happens is the gamma (unintelligible)
21 reaction. And if you look in the periodic
22 table, just about every element in the periodic
23 table gets activated by gamma (unintelligible)
24 reaction to some level or another.
25

1 But the question is that when you look at the
2 massive casting that contains the different
3 elements, and when you expose them to different
4 amounts of radiation, there is considerable
5 amount of radioactivity in this, especially in
6 the first several hours. And those people who
7 never knew that this was happening and they --
8 they went near it and handled it with their
9 hand and they move it and store it and shipped
10 -- (unintelligible) it and polished it, my
11 concern was that these people might have been
12 exposed to tremendous amount of radiation over
13 a period of time.

14 And as all of you know, radiation effect is a
15 cumulative effect. And if it happens once or
16 twice in your lifetime or a couple of ti--
17 well, you know, at different times in your
18 lifetime, that is not significant. But for
19 those people who are in and out of the
20 facilities and around the Betatron five days a
21 week, 52 weeks a year in 30 years, my thinking
22 was that it was very significant and it might
23 have caused some damage and -- and this was my
24 concern in 19-- 1974 and that's the real reason
25 why I -- why I applied to NIOSH for grant to

1 study this, but it was -- it never happened.
2 Now I know that there are lot of centers in the
3 United States where they are concerned about
4 explosion of a small dirty bomb by terrorists
5 and things like that, and lot of people are
6 looking at the effects of that kind of a bad
7 happening. And my belief is that -- now when
8 you expose, for example, an alloy consists of
9 nickel and copper and other elements for a
10 substantial amount of time, there's a lot of
11 radiation coming out which is the same sort of
12 radiation comes out of a -- a small dirty bomb,
13 but the level of radiation might be different.
14 But I'm not here to say that I know exactly the
15 type of radiation coming from such a situation,
16 but it -- they're somewhat comparable, in my
17 opinion. That's my opinion, that unfortunately
18 this was not studied before and now I know that
19 there are several centers where they are
20 studying it.

21 But anyway, the bottom line is that I believe
22 that there is substantial amount of radiation
23 coming from all these industrial parts that are
24 being radiographed. I personally had an
25 experience three -- three or four weeks back

1 when Dr. Mc-- McKeel and John Ramspott and
2 myself visited a facility where they have a
3 very, very old Betatron and they were
4 radiographing industrial parts and I refused to
5 be in the Betatron room before radiography and
6 after radiography because, as you know, that
7 Betatron itself presents a radiation --
8 because, you know, the parts that are in the
9 machine itself, they have been activated
10 several times in several years and I believe
11 that there is radiation coming from a source of
12 leak and the material itself and the reflection
13 from the floor and reflection from the roof.
14 There's all kinds of radiation coming out of
15 that, so I myself refused to be in that room,
16 especially when I know that this is a 45, 50-
17 year-old machine that has been operating day in
18 and day out.

19 So I believe that this is a situation that has
20 to be looked into and the workers at GSI who
21 worked there for several years, I don't know to
22 what extent they were aware of these, but it is
23 my belief that -- isn't -- that it is fair to
24 them for this group of experts chaired by Dr.
25 Ziemer is looking into it so that these people

1 can be compensated if the Board decides that
2 they have been exposed to radiation in the
3 service of the nation because most of these
4 industrial parts were radiographed and these
5 parts went into all kinds of nuclear
6 governments for the government and I believe
7 that, you know, there was a considerable amount
8 of radiation that came out of this.
9 Now Dr. Ziemer, it's very interesting that
10 after I got the letter from Dr. McKeel and John
11 Ramspott, I -- I talked to John and said John,
12 I believe that the same phenomenon happens in
13 medical -- medical (unintelligible) that are
14 around all over the country, and I said John, I
15 don't have the time to look into it, but why
16 don't you do some research. And he came up
17 with a paper where Dr. Ziemer and another
18 health physicist from Columbia University
19 School of Medicine has published the same type
20 of activation seen in (unintelligible)
21 materials around (unintelligible). And I was
22 so surprised to see that because the same
23 findings were published by me 30 years back
24 about the fact that these materials that are
25 exposed to radiation become radioactive and the

1 fact that Dr. Ziemer himself wrote this paper
2 is (unintelligible) of findings that I came up
3 with this material 30 years back.

4 So my observation in this regard is that these
5 workers that worked in these places might have
6 been exposed to a lot of radiation without
7 their knowledge. So I -- I'd like to answer
8 any questions if any of the radiation member--
9 member committees have any questions.

10 **DR. ZIEMER:** Okay. Thank you very much, Dr.
11 Kutemperer. Let me see if any of the Board --
12 oop, hang on.

13 Thank you very much. Let me ask if any of the
14 Board members do have questions for Dr.
15 Kutemperer.

16 **MR. KUTEMPERER:** Okay.

17 (No responses)

18 **DR. ZIEMER:** Okay. Well, we thank you. We're
19 going to hear from one of your colleagues now,
20 from John Ramspott, and John's here in person.
21 You're welcome to stay on the line and hear
22 John's remarks and then Dr. McKeel will follow
23 that.

24 **MR. KUTEMPERER:** Okay.

25 **DR. ZIEMER:** Then we'll get to John Funk after

1 that.

2 **MR. KUTEMPERER:** Okay.

3 **MR. RAMSPOTT:** Again I thank the Board for
4 their time and their consideration. I've
5 spoken in front of the Board before about the
6 activation issue at General Steel Industries.
7 And I'd like to start out -- I'll be fairly
8 brief, but I found an article about -- oh, I
9 guess a week ago and was able to actually
10 recover it. And I think -- be pretty
11 interesting at this moment, if I may.
12 (Reading) The huge, super-secret Betatron which
13 generates an X-ray so powerful and dangerous
14 that the entire apparatus must be enclosed in a
15 three-foot wall was completed a couple years
16 ago. Wartime security kept it hidden until
17 last week. Then even General Electric did not
18 tell quite all, but GE did give a fair
19 description of how the great gadget works and
20 some broad hints about a few things that it
21 will do.

22 The Betatron, a close relative to the ordinary
23 transformer which raises or lowers voltage of
24 an alternating current, is an accelerator. A
25 whopping electromagnet is energized by heavy

1 current flowing through two coils made of one-
2 inch copper rods.

3 Then skip on just a little bit here. (Reading)
4 The X-ray shines through thick steel castings
5 as if they were made of ice, but it will do
6 other, even more interesting things. A silver
7 half-dollar, for instance, held briefly in its
8 beam become dangerously radioactive. The rays
9 knock neutrons out of solar atoms, cutting them
10 into unstable silver isotopes which breaks down
11 into cadmium, giving off powerful streams of
12 electrons. Some silver, too, is turned into
13 palladium, while some of the copper in the
14 coin's alloy is turned into atoms of nickel.
15 Now that kind of sounds, I thought, what Mr.
16 Kutemperer was talking about and what we've
17 thought all these years -- or recently. The
18 date on this article -- and you guys know me by
19 now. I try to find everything I can on them,
20 so I have the original, which I bought, was
21 dated Monday, October 29th, 1945, *Time*
22 magazine.

23 Now it's not the exact Betatron, because the
24 one in the article -- and I have a web site for
25 you folks that you can pull it up.

1 **UNIDENTIFIED:** On the phone.

2 **MR. RAMSPOTT:** It was 100 million volts. We
3 have 25 million volts.

4 **UNIDENTIFIED:** On the telephone.

5 **MR. RAMSPOTT:** We have documentation from Allis
6 Chalmers saying that the most effective
7 Betatron which will cause the most attenuation
8 is a 20 to 30 million volt one. Over that is
9 like a -- you gain nothing. So what we're
10 saying is is what it says in this article, and
11 I actually intend to follow up with *Time*
12 magazine, and I'm going to send them some of my
13 stuff and say, you know, you wrote this in
14 1945. I'd really like to see what you think
15 about it now. Do you have experts who would
16 look into it as well, because this is exactly
17 what they had -- we think -- at GSI, and it
18 sounds like the Missouri School of -- or the
19 Michigan School of Engineering, as well, where
20 Mr. Kutemperer worked.
21 Now when we start talking about doing dose
22 reconstructions, the main reason GSI ended up
23 on the map for this program was the uranium
24 ingots. But with more research -- and these
25 are federal documents -- they weren't just

1 ingots. There were dingots, there were slabs,
2 there were slices, there were billets, there
3 were rods -- and this is all in print. Part of
4 it's in that 400-page book that I put together
5 for you a while back.

6 Now the other document we have, which is from
7 Mallinckrodt where they made the ingots -- and
8 the time frame covered at GSI was 1953 to 1966
9 for the uranium work. Well, that time frame
10 means that the ingots had to come from the
11 Destrehan Plant and from Weldon Spring, because
12 Weldon Spring didn't actually go into
13 production till '80 -- or '58, so it came from
14 two sites. And with some more research and
15 going out to the Mallinckrodt Weldon Spring --
16 they have a visitor center, actually -- telling
17 them what I was looking for, like to know more
18 about the ingots, they pro-- they actually gave
19 me a document. Those ingots are not 100
20 percent pure. They're 97 percent pure. Then
21 it's kind enough in the article to tell us what
22 else is in it.

23 Well, then doing some more research, found out
24 none of the ingots are pure, 'cause over that
25 span of time they had a little bit of

1 everything. Some of the things that Mr.
2 Kutemperer mentioned -- nickel, cadmium,
3 manganese -- things that do some pretty
4 interesting things, kind of like the coin,
5 under a Betatron beam will cause a lot of
6 problems. Now that's just the ingots. And the
7 ingots -- they were 3,000 pounds. Most of the
8 testing that's done now with Betatrons and
9 accelerators, and even Mr. Kutemperer mentioned
10 it in his article, and there are a lot of other
11 articles, they use things the size of a
12 pinhead. They don't use big subject matter
13 because mass is really important when it comes
14 to radioactivity. The bigger, the more you can
15 put off. I guess radioactivity-wise, mass is
16 important. Well, a 3,000-pound ingot is pretty
17 big.

18 So we started trying to do the research and,
19 you know, we've had several conversations with
20 NIOSH, been very helpful, trying to do a dose
21 reconstruction on something that's that vague,
22 that big, that different -- we don't even know
23 what was in the ingots. We don't know what
24 went over there -- we do know from invoices
25 which are on the web -- you know, I have ingots

1 -- or actually invoices that say the Atomic
2 Energy Commission at Mallinckrodt spent \$3,500
3 in a quarter for X-rays -- at a buck apiece.
4 Well, were those invoices for ingots, ingot
5 slices, slabs or billets? Did they shoot it
6 one time, did they shoot it four time-- I don't
7 know how you can do a dose reconstruction if
8 you don't know what was in the ingots or how
9 many times it was impacted. And on the ingots,
10 we're told by workers -- we have -- and as I'm
11 speaking, there are signed affidavits being
12 finalized now, and we did provide these
13 documents to everyone. They had to
14 (unintelligible) those ingots four times -- I
15 think I mentioned one time in one of my other
16 conversations. Well, now we are talking about
17 100 million volts. You don't even need 100
18 million volts, though. They say that the
19 activation point -- or the threshold, I believe
20 is another term they use -- for iron is about
21 seven million volts. We're way over that. So
22 there's a lot of activation at that site with
23 the uranium metal.
24 But then following up a little bit more with
25 the Battelle TIB-6000, which we discussed in a

1 conversation and have pretty good understanding
2 of it now, I think it clearly says in there all
3 radioactive material during the contract period
4 -- which 1953 to 1966 -- must be included in a
5 person's dose reconstruction. Now it doesn't
6 say all radioactive material ingots, it says
7 all radioactive material. And there was cobalt
8 at that site, there's iridium at that site,
9 there were the activated castings and some
10 people test two, three -- or maybe two-ton
11 castings. Well, these guys are testing 60-ton
12 castings. There were nuclear power plant
13 parts. There were Polaris submarine parts, 30
14 different alloys. I think it's impossible to
15 try to do any dose reconstruction when you
16 can't put all that together, so we don't --
17 we're definitely asking for the SEC actually
18 now.

19 Now know there's a TIB-6000 appendix being
20 worked on. How do you work on something when
21 you don't have all the details? That's got to
22 be tough. So we've tried to provide the
23 information. We don't think it can be done,
24 not with the material that we know was there.
25 That really just covers the article. I have

1 the documents, and I tried to help more.
2 There's a Los Alamos document, and we will ask
3 Department of Energy to help us with this
4 'cause there's a document at Los Alamos library
5 and it's document LAMS-2064. Title's pretty
6 interesting, "Non-Destructive Testing, Report
7 on Uranium." Well, I tried to get that, and
8 the reply back -- (reading) Due to a mandate
9 from NNSA, the Laboratory and Research Library
10 policies, we are providing Los Alamos technical
11 reports to government and military register
12 addresses only.
13 Now I tried to reach them, tried to get it. I
14 went off of their web site. And they said no,
15 so I think that's at least a very interesting
16 report to want to maybe take a look at. Now I
17 can't guarantee they were testing it with, you
18 know, an accelerator. I kind of guess it is,
19 though, 'cause it's going to take something
20 like that probably to go through an ingot, if
21 that's what it was. My guess is it's probably
22 something smaller. But that report'd be pretty
23 interesting, I think.
24 Now what I've done is put together copies of
25 all the web sites that I've mentioned that I'd

1 like to give you. And I promise, I'm not going
2 to read all of Vincent's documents. And I'm
3 going to give you a copy of them because before
4 -- I talked a lot of times about here's the --
5 the hamburger and I got the bun and -- so this
6 is the beef right here. These are the
7 technical documents which should match up to
8 everything that he said, and we have some other
9 ones from him, as well. And we'll be happy to
10 provide -- for everyone, that was the whole
11 intention, working together with you. So if I
12 could hand out these documents here, I
13 appreciate your time. I'm going to be here a
14 couple days. We've got backup proof on
15 everything we have here. We'll be glad to
16 assist you. And I've asked a couple times if
17 anybody thinks nah, you've got the wrong stuff,
18 Ramspott, please let me know 'cause that's how
19 I'll find out if I'm wrong, but I don't think I
20 am. Thank you very much.

21 **DR. ZIEMER:** Thank you, John. Thank you, John,
22 very much. And we'll follow up then with Dr.
23 McKeel.

24 **DR. MCKEEL:** Hello to the Board and to all
25 present. I'm -- Vince Kutemperer's remarks and

1 John's sort of set the stage for what I want to
2 concentrate on, which is really some of the
3 processes connected with the GSI 83.14 SEC
4 which we hope to get approval for and a
5 recommendation for soon.

6 I just wanted to mention -- one of the things
7 that was not quite clear, really not mentioned,
8 was the 25 MeV Betatron not only produces
9 activation products, but is also capable,
10 through the photon process, to actually split
11 uranium, and does so in both a symmetric and
12 asymmetric way. And two papers that we have
13 provided previously to NIOSH and to Battelle,
14 as we did several months ago, actually all of
15 Mr. Kutemperer's major works -- those two
16 papers, one in particular by Schmidt and
17 Duffield*, show that the symmetric and
18 asymmetric markers that they use, which are
19 cadmium-117 and barium-139, as markers of both
20 the symmetric and asymmetric fission -- those
21 markers themselves are radioactive. Cadmium-
22 117, the half-life is about 3.36 hours and
23 barium-139 is about 86 minutes. So if you use
24 Vince' reasoning -- I mean th-- we know that at
25 GSI they bombarded Mallinckrodt uranium ingots.

1 I believe, from the testimony of the affidavits
2 that we have previously provided a long time
3 ago from several of the workers, that they shot
4 the ingots with the Betatron four exposures of
5 an hour at full power, so a total of four hours
6 per ingot was the radiation. The men handled
7 those ingots before and after the shot with
8 their bare hands, no protection at all. So --
9 so that's the other thing. We have that
10 phenomenon.

11 I want to turn next -- and what I want to say
12 to -- what I think basically is a timeliness
13 issue. There has been a tremendous amount of
14 research done on both sites by NIOSH and by
15 ourselves. We certainly tried to provide
16 almost all of the information that we find to
17 NIOSH, and we have always assumed that that
18 information is available as well to the Board
19 and anyone else who's interested in this
20 program. And -- and yet, in spite of all of
21 that and in spite of us now being 16 months
22 into the Battelle contract, we still are faced
23 with the fact that we have more than 600 claims
24 from this site. We've had four dose
25 reconstructions. We've gotten redacted copies

1 of all four of those dose reconstructions and
2 none of them factored in the Betatron or any of
3 the other radiation sources that we just
4 mentioned at GSI. And I think we've well
5 documented that these were significant
6 radiation sources. The only thing that was
7 considered in the dose reconstructions was the
8 uranium metal itself, covered in TIB-004. And
9 since that time and since we know that, Larry
10 Elliott has acknowledged that that TIB is not
11 relevant and is inadequate for the work we are
12 about to go -- for calculating doses for any of
13 the GSI people.

14 So fortunately we have a partial TBD-6000 from
15 Battelle, and we needed some clarification of
16 that document. We knew going into our session
17 which Jason Broehm was kind enough to arrange
18 for us on January the 4th of this year with
19 NIOSH -- there were about ten people
20 represented, four from our southern Illinois
21 group and the rest from -- the project manager
22 from Battelle and -- and a number of people
23 from OCAS were there on the -- on the call. We
24 thought that this was a information exchange
25 session, and it was very important to have the

1 communications be as clear and accurately
2 recorded as possible and therefore we requested
3 that we be allowed to record the session, just
4 as we have done for all our affidavit meetings
5 and the NIOSH outreach meeting. We offered to
6 pay for a court reporter. We offered to do all
7 that. And we were told that there is a OCAS-
8 NIOSH policy that basically is formulated from
9 the CDC that absolutely forbids people to
10 record briefings. And we later learned also
11 this extends to interviews in which the people
12 themselves were a part. I was quite surprised
13 at that idea because it seemed to me that
14 that's the only way that such proceedings could
15 be accurately documented, just as Ray is doing
16 for these proceedings.

17 I also talked to my daughter, who's an attorney
18 in St. Louis, and was told by her that Missouri
19 law in fact allows unannounced tape recordings
20 of phone conversations if you're a party to
21 that conversation. The only reason this is
22 relevant here is it goes to the accuracy of
23 information exchange, which I think is crucial,
24 and it also goes to a policy which is adhered
25 to which I think is a very, very poor policy

1 and needs to be re-examined immediately.

2 Anyway, what -- what we did for this conference

3 is we gave Larry Elliott 34 questions, 20 about

4 Dow that I had and -- and GSI, and 14 that John

5 Ramspott came up with, and Larry very nicely

6 guided us through each of those questions and

7 had prepared answers for all of them. I wrote

8 notes as fast -- as fast as I could, trying to

9 keep up and participate in the conference, and

10 -- but nevertheless, at the end of that one of

11 the crucial matters that evolved was when would

12 we be getting the evaluation from NIOSH of the

13 Dow SEC petition, which we'll hear more about

14 tomorrow. What I heard him say was that that

15 would be delivered sometime during the third

16 week of January, and I wrote down in my notes

17 16 to 20 January, and Larry and all the people

18 at OCAS apparently heard quite clearly that

19 they said January the 24th. So that's just one

20 example of miscommunication. I'm not sure who

21 was right. I'm not sure who was wrong. But

22 the point is, if it had been transcribed and

23 recorded, we wouldn't be having any doubt about

24 that.

25 Why was that important? Well, it was important

1 because we had this meeting coming up and we
2 needed that evaluation report in time to
3 evaluate it, as did the Board. And we -- we
4 really could not get it any later than that, so
5 -- so that was an important thing. We still,
6 by the way, do not know when we will receive
7 that report except I understand from a report
8 that LaVon Rutherford is about to give that it
9 will be sometime in April. And I would note
10 that that's eight months after our 83.14 for
11 Dow was announced to us.

12 With respect to TBD-6000, I think this is
13 extremely important. During the January the
14 24th conference we -- we discussed the fact
15 that the heart and soul of that document is the
16 site-specific appendices, so there'll be one
17 for Dow and there'll be one for GSI. We still
18 do not know when they'll be available. They're
19 being worked on, we were told.

20 Further, there's a section in there for
21 thorium, Section 7.2, which is marked reserved.
22 And that section is completely and totally
23 blank, so we still don't know when that will be
24 forthcoming, and that directly relates to the
25 Dow SEC and its thorium metal work.

1 We were also told then -- we were quite
2 interested and one of the -- one of the
3 questions we had was well, when will we be able
4 to see the methodology you all will use when
5 you say you can reconstruct doses for GSI?
6 That's the reason we're -- have not been
7 awarded an 83.14 SEC, so it's crucial that
8 NIOSH be able to validate and verify their
9 methodology. Anyway, they said that that will
10 be forthcoming. We really don't know when. We
11 were told some hints, and that is that the
12 uranium work would -- part of the dose exposure
13 would be calculated by Battelle and that the
14 rest of the sources at GSI, the cobalt, the
15 activated products -- Betatron, the iridium and
16 so forth -- they would be calculated by OCAS
17 in-house. So that's where we stand on that
18 issue.

19 We are not clear today that all of those other
20 factors that Vincent and John have just --
21 pretty eloquently, I think -- provided further
22 documentation were important. We've still not
23 be-- have not been told definitely that they
24 will be factored into how NIOSH can calculate
25 doses at GSI. We frankly flat out believe it's

1 totally impossible. We've tried to give you
2 the reasons for it. There are too many
3 uncertainties. There's too much missing data.
4 There is no comparable coworker data available.
5 There's no film badge readings. There's no
6 bioassay readings and -- and that was true the
7 last time I talked to you and it's still true
8 today, that NIOSH has or -- or, you know, that
9 could be used for the dose reconstructions.
10 Okay. The other thing I'd like to mention is
11 about an issue that I think probably affects
12 many different sites, and that is the issue of
13 our affidavits. And we provided several months
14 ago a set of DVDs with videotape recordings and
15 verbatim court reporter transcripts of three
16 meetings we had at each of the sites to collect
17 data that would help support our SEC
18 application. We, at the same time, obtained
19 two types of releases from all of those workers
20 which addressed Privacy Act and HIPAA
21 regulations, and they were drawn up by one of
22 the leading Illinois law firms that's helping
23 us -- pro bono, for free, no strings attached -
24 - and those releases passed scrutiny from all
25 of their people. And those releases gave me

1 specifically authority to use those documents
2 in any way that I saw fit to support our SEC
3 application. Well, one of the ways that I
4 think it -- they can support our SEC
5 application is to be published on the OCAS web
6 site for everybody to read and see, in addition
7 to being available through the -- you all's
8 normal distribution channels, O drive, et
9 cetera, for you all to see.

10 So I tried to get clarification for a long time
11 when they would actually be put up on the web.
12 After we got the -- had the meetings and those
13 transcripts, we then converted those into
14 affidavits which were signed and notarized by
15 each of the affiants, and those were also
16 provided. Yesterday the affidavits were
17 published on the OCAS web site in redacted
18 form. And you might say well, you know, what's
19 wrong with that? Why -- why do you -- why do
20 you need to know the people's names? And I
21 might agree with that. You don't absolutely
22 need to know that. But the redaction process
23 took out the people's jobs, and they also took
24 out the la-- the ending date of their
25 employment. So you can read there when they

1 first started, but you can't tell when they
2 ended, and this information -- which I will
3 discuss in more detail tomorrow -- goes
4 directly to the heart and soul of our SEC class
5 definition.

6 So I think the process that needs to be
7 clarified is -- I thought and I think -- is why
8 can't those affidavits be published and those
9 transcript, just the way I sent them, as-is? I
10 have authority, I claim, to grant that that be
11 done. And -- and the people involved have
12 issued signed, notarized statements giving me
13 that permission.

14 So I tried to discuss that through Laurie
15 Breyer -- who, by the way, has been immensely
16 helpful to our cause, so I'm not being critical
17 of her; she's really acting as a conduit. And
18 I asked her, I said well, you know, we have a
19 law firm that's helping us. Why can't we sit
20 down and discuss this with their lawyers and --
21 and -- and Joe Kuzmerczyk*, who's our -- member
22 of the Southern Illinois Nuclear Workers, he
23 asked the same question, and we said and -- and
24 at the same time we'd like to clarify that with
25 your FOIA people so we can find out how you

1 interpret the Privacy Act and how we interpret
2 it and -- and come together on some common
3 ground. Well, we were -- we were told, and
4 this is really the -- the relevance of right
5 now. We were told that the attorneys that OCAS
6 employs only talk to the agencies. They do not
7 talk to petitioners and they do not talk to the
8 public. The request to talk to the FOIA
9 officer has been made twice, and we've gotten
10 no answer about that.

11 So the reason I'm bringing that to you all's
12 attention is there need to be policies about
13 these sort of things. I personally think it's
14 deleterious to the whole process to not allow
15 one attorney to talk to another attorney to get
16 things straight. That's all we're trying to
17 do, to get things straight.

18 Finally, the -- the third thing that I would
19 like to talk about briefly is again to express
20 great thankfulness for the work that Libby
21 White has helped in getting us copies, the
22 Board, SC&A, all of us -- NIOSH and ourselves -
23 - copies of the main Dow/Rocky Flats AEC
24 contract. And -- and as appreciative as I am
25 of that and as relevant as that document is to

1 our SEC, I've also asked for additional
2 information at least three or four times -- to
3 the agencies, the subdivisions of Department of
4 Energy, and more recently to Libby herself.
5 And I know she's tremendously busy and so
6 forth, but several of those documents -- like
7 the administrative index to the administrative
8 record at GSI when it was cleaned up by the
9 Department of Energy in 1993 -- I've simply not
10 gotten an answer about that. You know, today I
11 think there's some movement forward, but it --
12 it still remains that from the public's point
13 of view and SEC petitioners' point of view that
14 I still don't think that our needs for records
15 are being properly addressed at the Department
16 of Energy. And I listened to Glenn Podonsky's
17 very encouraging remarks today. I was
18 encouraged. I was impressed. But
19 nevertheless, you know, I did notice that among
20 the people he was saying that he could help,
21 the public was not included in that. And in
22 this program, the public and the petitioners
23 are really important, integral part of this
24 process.

25 So I just think we've all got a lot of -- lot

1 of work to do. I'm -- I'm highly encouraged
2 that the work has begun. And that's really all
3 I have to say for tonight. Tomorrow we have
4 some -- we've learned, as Vincent said, some
5 more information about Betatron operations that
6 we think y'all will find very interesting and I
7 look forward to tomorrow.

8 **DR. ZIEMER:** Thank you again, Dr. McKeel, for
9 those remarks. Now John Funk has been waiting
10 patiently on the line. John, are you still
11 there?

12 **MR. FUNK:** Yes, Dr. Zimmer (sic).

13 **DR. ZIEMER:** If you would proceed.

14 **MR. FUNK:** Okay. I have a -- a prepar-- a
15 letter that I was going to send the Board. I'd
16 like to read it. It does refer to my case, but
17 my case is not unique for all. There's many
18 other people suffering the same injustices and
19 the same problems that I'm having so I want to
20 bring some of these to your attention, how long
21 it's took me to get a lot of these straightened
22 out. I'll read this letter and I'll go back
23 and show you from other documents that -- from
24 another letter that NIOSH sent that a lot of
25 truth is not being told here.

1 It says Dear Dr. Zimmer (sic), Dr. Lewis Wade
2 and other members of the Advisory Board on
3 Radiation and Worker Health. My name is John
4 Funk. I have a commu-- I have communicated
5 previously with you about the situation
6 regarding former workers at Nevada Test Site.
7 Recently I have received my third draft dose
8 reconstruction, and once again I have run into
9 serious problems. I have identified 37 errors
10 in this draft. Further, I can tell you that
11 Nevada Test Site Technical Basis Document, TBD,
12 contains serious errors and omissions. Many of
13 these errors were pointed out through the SC&A
14 review, yet there has been no substantial
15 changes in the TBD as posted on the NIOSH web
16 site.

17 In my own case, I am not -- I have not been
18 allowed to talk to a health physicist about my
19 dose reconstruction. Rather I have been forced
20 to talk to a Mr. David Shatteau*, who has
21 identified himself as an office manager, or
22 other people who simply will only give you
23 their first name and not their last name,
24 leaving you with not being able to know whether
25 you're talking to a health physicist or to even

1 know if there is a conflict of interest -- the
2 person doing your dose reconstruction. Mr.
3 Shatteau has refused to write down information
4 I have provided without extensive
5 editorializing or distortion. Such refusal is
6 hardly claimant favorable, and I find it very
7 disrespectful. I have refused to sign this
8 dose reconstruction document as I feel NIOSH is
9 trying to bypass my hot potato on the DOL.
10 I have sent Mr. Dave Sundin of NIOSH/OCAS a
11 letter with an itemized list of what was wrong
12 with the third dose reconstruction draft, along
13 with supporting documents. I'd like to share
14 with you some of my concerns.
15 First, the testing of nuclear weapons, whether
16 above or below ground, was an unique, dangerous
17 undertaking. One of my principal complaints is
18 neither they -- they -- neither the identified
19 primary authors of the TBD nor the person doing
20 dose reconstruction have any experience with
21 this unique undertaking. Yet they consistently
22 deny the validity of experience of many workers
23 who did work there.
24 Turning to the specifics of my own situation,
25 here are some of the prominent errors in my

1 third draft -- draft reconstruction. My
2 employment dates are incorrect, despite my
3 repeated attempts to correct this basic
4 information. My work -- my areas of work are
5 incomplete, as Area 12 is left out. This is
6 where the tunnels were located. My hours of
7 work in the tunnel are incorrect. I never
8 worked less than 70 hours a week, but the draft
9 indicates only 50. My payroll information will
10 prove this. I am listed as a part-time worker.
11 There was no part-time worker at NTS, at least
12 in the forward area. Persons were either full
13 time or laid off. Your interviewers say there
14 was no red badges. Red-badged persons were
15 allowed in secure areas. I sent Mr. Sundin a
16 page from the RECo handbook that clearly states
17 a red-badged person was allowed in secure
18 areas. There are false statements about my
19 having full body scans and other tests. I
20 refused such tests and I have documents
21 indicating this. The TBD indicates that only
22 miners worked in the tunnels. I can document
23 that 18 other crafts worked in the tunnels.
24 Miners were used to mine the rest -- the rest
25 of us did all the work to set up the tests and

1 associated complex equipment. There's an
2 important issue of reuse equipment from
3 previous shots. NIOSH claims any such material
4 was processed through a rad-X* yard. Such a
5 yard did not exist in -- such a yard did exist
6 in Mercury, 75 miles away. But we never sent
7 material there unless it was going to be
8 surplused.

9 The interviewer has mixed up my statements
10 about tunnel and down-hole work. There were
11 three different configurations of tests in
12 Nevada Test Site. Above the ground, these
13 tests are responsible for wide-- widespread
14 contamination at the NTS. We used to drag
15 large drill rigs and (unintelligible) over this
16 contamination with the power of up to six
17 (unintelligible) bulldozers. The unique type
18 of resuspension was not discussed in the TBD or
19 in the SCA documents.

20 Below ground, down-hole, RECO had very large
21 drills that were used to drill vertical holes
22 and do -- in which devices were placed and the
23 holes (unintelligible), sometimes emplacements
24 were in (unintelligible) at the bottom of the
25 shafts.

1 Below ground tunnels -- main tunnels were mined
2 out and tests were conducted in
3 (unintelligible). The main tunnels were used
4 over and over, even though the main tunnels
5 became quite contaminated with tritium and
6 fission products.
7 Each type of test created its own unique set of
8 problems. In all cases there was a need to get
9 diagnostic information as soon as possible.
10 Some of these underground tests vented
11 massively and the issue of the contaminating
12 main tunnel was on occasion so serious that
13 many workers were exceeding the dose limits. I
14 worked on both types of underground tests.
15 Officially I was a carpenter, but I did -- also
16 did a lot of welding and fabrication of steel
17 work. I also worked with persons from the
18 National Laboratory to build or rig special
19 equipment that the scientists wanted for their
20 tests. One unique experience involved
21 assembling a communications satellite for a
22 test of survivability in the vicinity of
23 nuclear weapons. RECO's employee evaluation
24 report cards indicate this type of work we did.
25 Neither NIOSH nor ORAU is using the important

1 source of information.

2 I can provide you more information on weapons
3 testing or on the errors in my draft dose
4 reconstruction. However, I think the above is
5 more than enough to indicate that the dose
6 reconstruction process being used at the NTS is
7 seriously flawed. Unfortunately the persons
8 doing the interviewing and dose reconstructions
9 -- those have no concept of what really went on
10 NTS, and they refuse to learn.

11 Finally, I think you know that medical
12 screening was offered to workers for the
13 purpose of early detection of illness. Medical
14 screening questionnaires included information
15 on lifestyle, ethnicity and family medical
16 histories. This information is protected by
17 law, and should not have been used for any
18 other purpose. Yet NIOSH and DOL have these
19 files, without the workers' permission, and
20 they have admitted to using them. Thus the
21 noble purpose of these exams have been thwarted
22 and this information is apparently being used
23 to disqualify claims on the basis of lifestyle
24 factors. Because the NTS TBD is so flawed and
25 the process is so inadequate and worker

1 unfriendly, I respectfully request the members
2 of the Board stop any further dose
3 reconstruction for NTS workers until this
4 process can be straightened out.
5 Now I want -- I just received a letter back
6 from NIOSH. They have conceded, after six
7 years, that my workplaces were incorrect. They
8 have conceded that my areas of work were not
9 complete, although they still hold true that
10 there was a rad-X yard. I'm still trying to
11 find somebody who remembers one out there, but
12 I still can't do it. And they seem to lie with
13 impunity. I would like to -- well, there's a
14 section here where they say -- excuse me, I'm
15 getting in my papers here -- they go through
16 section by section by number and -- just one
17 second. Okay -- that's not it. I beg -- I
18 just beg you for you time, just for a little
19 second here. (Unintelligible) somehow along
20 the lines got mixed up -- oh, here we go.
21 Okay, a letter that NIOSH sent to me, they
22 concede most of everything, and in here there's
23 one statement in particular, and I have a page
24 from my draft dose documents, and I'm going to
25 read you the line from that document, then I'm

1 going to read you their remarks about that line
2 and I'll let you be the judge.

3 (Reading) According to the interview, Mr. Funk
4 worked in tunnels performing pre-event work
5 which included welding and cutting of materials
6 left in the tunnels from previous tests while
7 in the tunnels.

8 Okay. And it's line eight of the letter they
9 just sent me the other day (reading) Our report
10 does not include a statement about using old
11 steel to build bulkheads or a description of
12 (unintelligible) practice concerning how
13 materials (unintelligible).

14 You see what I'm saying? They just lie about
15 it -- I mean they just -- anything they want to
16 say. Now there is a problem here -- there is a
17 con-- we have a right to know about conflict of
18 interest when somebody calls you on the phone.
19 I believe an applicant has a right to know that
20 person's first name, that person's last name
21 'cause there is a lot of conflict of inter-- I
22 know the Board doesn't want to discuss it, but
23 one example I'd like for you to look at is MJW
24 has a total 100 percent conflict of interest.
25 There's 18 people on their site, 11 dose

1 reconstructors all acknowledge they have a
2 conflict of interest on the Nevada Test Site
3 and the only reason the other seven don't
4 because they're computer techs.
5 There's also a rash of nepotism throughout this
6 thing. I've uncovered 40 cases of nepotism.
7 This nepotism goes all the way up to the Board
8 itself and I'd like to point one of them out.
9 Mr. Poston, Sr., his son is a dose
10 reconstructor. His wife was a dose
11 reconstructor a while back, or a relative of
12 his. This -- this thing -- just rampant
13 nepotism.
14 Now I'm sure this may not be against the law,
15 but I'm just wondering how Congress would think
16 about this if they find out the EEOICPA has
17 been turned into a private piggy bank for a
18 select group of people.
19 That's -- pretty much covers what I was trying
20 to get across and -- oh, there's one last
21 point. In the closing remarks in my letter I
22 got from NIOSH, (reading) The information you
23 provided does not require a revised draft dose
24 reconstruction report. If we do not receive
25 your signed OCAS-1 form February the 15th,

1 2007, we will administratively close the dose
2 reconstruction. If we do receive your signed
3 OCAS-1 form by February 15th, we will make the
4 changes indicated above in the final dose
5 reconstruction report.

6 Now there's a Constitutional problem here. On
7 the OCAS-1 form when you sign a document, you
8 sign so under -- under -- under penalty of
9 perjury and a -- and a felony offense. They're
10 asking me to sign my OCAS-1 form before I even
11 see what they've written, and that's a
12 violation of my Fifth Amendment Constitutional
13 rights. I have a right to see what I'm signing
14 for and I have a right to know who I'm talking
15 to. And I have not talked to a dose
16 reconstructor to date that I know of, only a
17 David Shatteau, an office worker, and I don't
18 know how he is qualified to do dose
19 reconstructions, but he doesn't even show up on
20 the ORAU team anywhere. And I'd like the Board
21 to look into this. I know it's -- you -- it's
22 my own problem, but I'm not the only one going
23 -- I mean we're all having the same problems.

24 **DR. ZIEMER:** Okay, John. Thank you for your
25 comments. The Board has all heard them --

1 **MR. FUNK:** Well, what about me signing this
2 OCAS-1 form even before I've seen them? Isn't
3 that -- you -- you're going to allow this to
4 stand?

5 **DR. ZIEMER:** Well, the -- my understanding of
6 the OCAS-1 form is you're only stating that you
7 don't have any additional information to --

8 **MR. FUNK:** But it --

9 **DR. ZIEMER:** -- provide.

10 **MR. FUNK:** -- also says on there you're signing
11 it under perjury of a penalty (sic) that
12 everything on there is true and correct. What
13 is to prevent -- now they've proven they can
14 lie. I just showed you. What is to pro-- what
15 is to prevent them from writing a whole bunch
16 of garbage in there, then I got to live with it
17 after I signed it? I have a right -- under the
18 Constitution of the United States, I have a
19 right to see what I'm signing for.

20 **DR. ZIEMER:** I can only tell you that the
21 signing of the form -- you are only claiming
22 that you have no additional information to --

23 **MR. FUNK:** Well, I tried to provide him
24 additional information. He refuses it.

25 **DR. ZIEMER:** Well, I think you have provided

1 it.

2 **MR. FUNK:** Sir, I tried to provide

3 (unintelligible) --

4 **DR. ZIEMER:** It's in -- it's in the public
5 record that --

6 (Whereupon, interruption on the line rendered
7 understanding of either speaker impossible for
8 a time.)

9 **DR. ZIEMER:** John, are you still there? We've
10 got a bunch of noise on the line here, but we -
11 - we may have to have you -- I -- I don't know
12 if I can tell you any more than that. Signing
13 the OCAS form, my understanding is, has no
14 implication that you agree with the infor--
15 with the dose reconstruction or the findings,
16 only that you don-- that you have no further
17 information.

18 Are you still there, John? We may have lost
19 him, but -- okay, sorry about that.

20 Let us then proceed with Warren Krull* --

21 Warren Krull, SAIC?

22 **UNIDENTIFIED:** (Off microphone)

23 (Unintelligible)

24 **DR. ZIEMER:** Okay, Warren has signed the wrong
25 sheet. You -- Warren, you're obligated to talk

1 for 20 minutes.

2 Okay, I have another one that looks like it was
3 cross out also, Vicky -- maybe it's Gaffey, but
4 it's crossed out. Okay.

5 Larry Burgan, did you sign the right sheet?

6 Okay, here we go. Larry's with Dow.

7 **MR. BURGAN:** Move the microphone closer to the
8 table instead of the table closer to the
9 microphone.

10 **DR. ZIEMER:** That's good.

11 **MR. BURGAN:** That's common sense. That's like
12 -- that's a theme I'd like to use in this
13 comments I'm making is common sense.

14 I'm speaking on behalf of the Dow employees and
15 Spectrulite employees who were -- as you
16 undoubtedly know, with all the information
17 you've been given, this uranium contamination
18 in the amount they used -- I'm just going to
19 glance over this because you have so much of
20 this information already available to you. I
21 just want to make sure that some of this is
22 highlighted and -- and for instance, the -- to
23 start off, you know, the contamination started
24 in the '60s -- '50s and '60s. And these guys
25 who handled this, you know, they should be

1 compensated. They knew that this stuff was
2 hazardous and I'm pretty certain they were told
3 that it was not as dangerous as they was led to
4 believe 'cause anybody sitting here with this
5 information knows that they would not willingly
6 work with this material now unless they was
7 misled to believe it was harmless or not as
8 dangerous as it was led to believe.

9 Now I've found out that the -- even the Corps
10 of Engineers and the Department of Nuclear
11 Safety was having difficulties in addressing
12 the -- the dosage to the workers. In fact,
13 these documents say that the Corps of Engineers
14 inadequately addressed their dose to the first
15 critical group, the workers, and ignored the
16 second group, the residents. And this was in
17 February 25th, 2000. And I'll submit t his one
18 to you.

19 Then the Department of Nuclear Safety came back
20 a month later, and while they agreed that the
21 removal of contamination is the only common
22 sense -- the theme -- there remains a
23 difference of opinion to the extent of the
24 cleanup. And the difference of opinion is that
25 they only want to clean up the uranium and not

1 the thorium. This made no difference to me at
2 the time when I found this information out. It
3 did later on. But as you all know, or should
4 know, the Army Corps of Engineers have never
5 found a record of any radiological cleanup
6 whatsoever after Dow left.

7 Now Dow said it was a small lot of uranium that
8 was, you know, processed through this press,
9 this machine. But yet the Oak Ridge National
10 Laboratory in -- under the Department of Energy
11 stated that they did this cycle -- this work
12 cycles every month for 12 consecutive months.
13 So they ran -- it says here, an estimate 20
14 billets every month for 12 consecutive months
15 from '57 through '60. That's four years. So
16 if you do the math, that's over 960 billets.
17 So each month -- they're right, it was a small
18 lot of uranium ran per month. But when you
19 total it together, 960 billets, and I've ran
20 tens of thousands of billets through this
21 machine. I've wor-- worked on it for 12 years.
22 These billets had to weigh over 2,000 pounds
23 easily 'cause I know the weight of aluminum and
24 magnesium, and there's a -- there's a
25 completely different scale. It's like lead to

1 aluminum. So these were well over 2,000
2 pounds. So if you just do the simple math, 920
3 billets times 2,000, we're talking over two
4 million pounds of uranium was processed through
5 this press over this short period of time.
6 That is not a small lot. That is a large lot -
7 - and I could -- I'm desperately
8 underestimating this because I'm using 2,000 as
9 a low estimate because of what my knowledge is
10 of the weights of aluminum and magnesium.
11 Now the other thing that they addressed -- or I
12 should address, I should say -- is that the
13 uranium that they found over the press,
14 directly over the press, stated was 13.6 times
15 above the surface contamination allowable
16 limit, and this was in 2000. See, two million
17 pounds of uranium just -- you cannot take every
18 single pound back out of there. It's gone up
19 in dust, 'cause every time that hot ram would
20 retract -- you've got to remember, 900,000
21 degrees, all that heat rises, and every piece
22 of dust, smoke and everything goes with it in
23 those beams to make it 13.6 times above the
24 legal limit, or 13,060 percent above the legal
25 limit.

1 Now also they found at the time that directly
2 above the press was between nine percent and
3 100 percent was thorium-232. Now again, at the
4 time this didn't ring a bell with me until I
5 started looking at the information. And when I
6 was looking through the processes of how they
7 ran this uranium, I came across a customer that
8 we did the exact same process for, and I --
9 like I said, me and my coworkers were on this
10 press for dozens of years. We're not talking
11 about hundreds of employees. We're talking a
12 handful, less than a dozen, for a dozen years
13 were on this machine. We never ran this
14 process for any other customer except Martin
15 Marietta, a very hard, dark gray, dense, heavy
16 metal, extremely difficult to run. It was done
17 in a work cycle basis, exactly like
18 Mallinckrodt. It was -- used a carbon follower
19 block, exactly like Mallinckrodt. We never did
20 this process ever again for any other customer
21 any other time all the years I worked there.
22 And this machine, this press, which is from one
23 end of the wall to the other long, of course,
24 this is almost the size of a small locomotive,
25 so this isn't something you can easily

1 decontaminate, trust me. It's very, very heavy
2 and dense.

3 So to give you an extent of the radiation,
4 you've probably seen these already, it's the
5 extent of the contamination. It shows a giant
6 red spot where they cleaned it up in 2000.

7 Well, unfortunately, me and my coworkers' desk
8 was directly underneath the red spot. Now if
9 we had had this information prior, none of us
10 would never have volunteered to work there.

11 You've got to remember, those guys who worked
12 back there in the -- in the '60s and '50s, they
13 were given a choice. They had badges. They
14 knew what they was working with.

15 That choice was taken away from us, along with
16 our health and our means of providing a living.
17 And would any of you voluntarily work
18 underneath this type of exposure and -- if
19 you'd seen this, if you had the knowledge?

20 Well, that knowledge was withheld from us.
21 They knew this. Not only the government, but
22 the owner of the factory. And when they did
23 clean this up in 2000, the shipping manifest
24 says that they removed 59,000 pounds of
25 material. That's -- 60,000 pounds, that's

1 almost 30 tons. Now common sense tells you not
2 all that was radioactive. But even if we say
3 one half-percent, not even one percent of it
4 but just one half-percent of this was
5 radioactive, we're still talking 3,000 pounds
6 of radioactive waste directly over our heads,
7 'cause when they cleaned it up, they did not
8 clean up the whole factory. They did not clean
9 up the whole building. They just cleaned
10 directly above the press where all this uranium
11 was ran.

12 And when I found the process of how they did
13 this and I connected that with Martin Marietta,
14 how the same identical process, how they was so
15 labor-intensive their employees -- how they was
16 paying so much attention, so diligent, they was
17 collecting every single chip. They even took
18 the wooden crates that the billets were shipped
19 in that came to our factory, which seemed
20 unusual at the time but I didn't question it,
21 it's all hindsight now. They took every piece
22 of scrap they could with them. And to me at
23 the -- it made no sense, but now it does 'cause
24 what did we run? What was it that we ran? And
25 the only thing I could think of is it has to be

1 the thorium.

2 And the reason I came to that determination was

3 there's a head of the laboratory, he's a

4 department supervisor -- not a supervisor, but

5 the department supervisor, over the foreman.

6 His job in the laboratory was record all

7 hazardous material coming in and out of the

8 factory. And he would take ground samples,

9 water samples, air samples and radiation

10 readings. And his name is Dean Bartling*, we

11 have a affidavit, and he is also ill and

12 applied to the EEOICPO -- PA, and because of

13 this he was also concerned because of his

14 health being endangered. And he's testified he

15 knows where all the radiological skeletons are

16 buried around this factory, and he repeatedly

17 was told to put down false readings. He was

18 given inadequate equipment, antiquated

19 radiological devices from the '50s, they were

20 uncalibrated. He was used -- he was forced to

21 use beta/gamma detectors on alpha particles.

22 Now we know that's two different, completely

23 separate things. One won't pick up the other,

24 and he was writing down the low readings for

25 the alpha particles using the beta scanner.

1 He knew this was wrong. How did he know it was
2 wrong? He spent six to eight years in the
3 military monitoring nuclear waste, nuclear
4 weapons and nuclear materials. That was his
5 job in the military before he got hired at this
6 factory, so he knew -- kept going to the owners
7 repeatedly telling them you guys aren't doing -
8 - using the right equipment. This is the high
9 readings. We need to get ahold of the Nuclear
10 Regulatory Commission. And he got so fed up
11 with it that he finally quit, found himself
12 another job.

13 And his testament is very, very credible
14 because he is -- he works for the State Police
15 of Illinois as a forensics expert right now.
16 And because of his health, because of his
17 illness, he can't make it up here today and
18 testif-- you know, to tell you his story, and
19 along with a lot of other people who cannot
20 come up here today and tell their story because
21 their health and their illness and their
22 financial situations. So that's why I'm here,
23 to try to help tell them for them.

24 And you know, this was all preventable from the
25 very beginning. In 1989 when they took the

1 first radiological survey in this factory --
2 remember, over millions of pounds of uranium
3 was already ran through this machine, but the
4 very first survey from the government wasn't
5 till '89. And then they said it was in
6 concentrations exceeding guidelines, thorium
7 and uranium.

8 Now why would thorium be there in '89? Well, I
9 talked to coworkers. They got their license
10 for thorium, to produce it, in '86. Bill
11 (unintelligible) is an operator on the press,
12 ran it for one day for this company in white
13 suits -- Martin Marietta -- same process,
14 carbon follower block, same as Martin Marietta,
15 same type of procedure. He did it for one day.
16 His coworkers on the other side, Jim Bland and
17 Charlie Fulkerson*, they worked this one day,
18 ran these six billets. They died four years
19 later of brain tumors, both of them, about four
20 months, six months apart. Now those were the
21 guys who trained me. These guys worked on this
22 press for ten years. Now I was taking over
23 their place.

24 Now when I ran it for Martin Marietta in '92,
25 we did it in work cycles also. We did it for a

1 full week, then came back a month later and ran
2 it for three more days. The government came
3 back in in '93, took surveys and said
4 concentrations, again, were still exceeding
5 guidelines. Now this is not a coincidence they
6 came in the year after Martin Marietta runs
7 this metal. I mean it's not like the
8 government's walking down the street and said
9 oh, while we're here can we take some read--
10 there had to be a reason they were there taking
11 readings after Martin Marietta showed up.
12 Now this press with -- bombarded by all this
13 uranium, hot -- you got to remember, it got
14 heated up to 1,000 degrees to get it through
15 'cause it's a hard, dense metal -- it was for
16 Martin Marietta, which was extremely different
17 from all other alloys. I mean aluminum
18 magnesium heated up is soft and -- softened up
19 enough to squeeze through a shape, a die. But
20 this was up to 1,050 degrees. Now how can I
21 remember this 15 years later? Because anything
22 I ever ran through that machine -- and like I
23 said, I ran tens of thousands of billets
24 through it, me and my coworkers. Anything that
25 went up over 1,000 degree would either melt if

1 it was aluminum or burn if it was magnesium.
2 So when they said to turn it up over 1,000
3 degrees, I was like a cat. I was ready to hit
4 that off button. It came out glowing orange.
5 I've never seen anything come out of that
6 heater glowing orange before. It was either
7 burning or melted. It was a very high
8 temperature. And this was a completely
9 different process and we never did this again
10 after they left. And seeing the connection
11 between Mallinckrodt and what they did leads me
12 to believe that it had to be the thorium is
13 what we -- 'cause the only thing the employees
14 would tell us it was -- and I asked repeatedly,
15 different employees at different times whenever
16 I could get them along, you know, what is this
17 stuff; what is it, what alloy is this? And
18 they all had the same generic response: It's a
19 special alloy. And one gentleman even said
20 well, I'm not sure, I don't know. Which seems
21 very unlikely since he was taking all the
22 information and dictating all the importance
23 and temperatures and grades. I mean this was a
24 very, very complicated process and it was never
25 done again.

1 Now there was also a connection with this press
2 with, believe it or not, GSI 'cause in '66,
3 believe it or not, they got a crack in the back
4 of this press. Like I said, it's -- it's from
5 my -- one end of the room long and about as
6 tall as that screen behind you. Now when it
7 was sent over to GSI, they had it X-rayed for a
8 crack after a repair. So there was even more
9 radiation put into this machine, not counting
10 the uranium dust raining on top of it for
11 dozens and dozens of years, not to mention the
12 uranium that was put through it for four years,
13 not to mention the thorium that was ran in
14 secret on us for those two years, but then they
15 had to go over and send it over there and have
16 it bombarded by a Betatron.
17 Now you'd think this press would be safe from
18 the public and put away because of all its
19 hazards. Well, not so, because I found it
20 abandoned on a back road 50 feet on the other
21 side of the county line. Now, there's three
22 presses that was in this factory. I showed you
23 that hot spot where we sat. The press on the
24 far end was sold for a million dollars to
25 another company in Georgia. This is in a trade

1 press magazine. The one in the middle was sold
2 to a company in Russellville, Arkansas. This
3 press that I'm talking about that ran all this
4 radioactive metal was completely re-overhauled
5 and built like brand spanking new in 2000 was
6 cut up to scrap.

7 Now this does not make sense, especially since
8 the owner of the factory himself -- I heard him
9 say this during a financial meeting -- this one
10 machine's revenue was between \$2 to \$8 million
11 a year. Now no one was going to rope this
12 machine off and say don't get under this
13 radioactive dust and stuff. It's like killing
14 the golden goose. And when it comes to money,
15 people will do just about anything -- lie,
16 steal, cheat or not tell you the truth. And
17 this is one of these cases.

18 Now those gentlemen back there who had the
19 choice in the '50s and '60s, that was taken
20 away from us. But the radiation was still
21 there. It was still affecting us, 13.6 times
22 above -- this was in 2000. Now we're not
23 talking about thousands of people who ran this
24 machine. We're not even talking hundreds.
25 We're -- a general consensus of all the

1 employees I've talked to, anybody who worked
2 four years or more on this machine should be
3 eligible because in the 1990s to 2000 alone,
4 there's probably a dozen people that operated
5 this machine. You had to have seniority to --
6 and when you got that, you held onto it because
7 it -- there was a lot of overtime to be made
8 here, and a person who worked four years
9 probably got six -- five, six years worth of
10 exposure because of the overtime. That's why I
11 put it anybody from four years on should be
12 eligible, because we're not talking about
13 hundreds of people. We're talking adding just
14 a dozen people just for the '90s to be included
15 in this group. And not to mention the '80s,
16 the two gentlemen that I spoke of that died
17 earlier of brain tumors. They both worked at
18 that same machine for ten years in the '80s, so
19 we're still not talking hundreds of people or -
20 - we're still talking two, three -- maybe three
21 dozen, four dozen people at the most affected,
22 with only a fourth ill. So these -- this has
23 to be incorporated in your decision-making when
24 you make your final decision to -- this is a
25 not a handful of people, but this is a handful

1 of people that need the help, that are sick.
2 Now I've brought some affidavits of employees,
3 and I want to submit them to you. I want you
4 to know that, you know, these are not just
5 letters from disgruntled employees that lost
6 their jobs. We're talking about supervisors.
7 We're talking about department supervisors.
8 We're talking about company people, key
9 information, who has guilty conscience, who has
10 knowledge of this and was afraid of losing
11 their job, their well-being, their welfare, you
12 know, that's now not afraid to speak. This is
13 important and need to be addressed before your
14 decision is made.
15 Now this is my health situ-- I was never sick
16 in my life, and I've never been hospitalized,
17 ever. I've never been in emergency room except
18 stitches in my finger one time. Yet I'm on
19 full medical disability the rest of my life. I
20 can't open a soda bottle. I can't open doors.
21 I can't drive for long periods of time. I
22 can't use my hands. I can't walk. My knees --
23 arthritis throughout my whole body. Maybe you
24 might remember up in December in Naperville I
25 had a pronounced limp, using a cane. Well, a

1 week before that I was literally unable to walk
2 for two days because of this. Fortunately I
3 was able to break it up, it was loose -- up and
4 swelling went down so I was able to attend the
5 meeting up in Naperville, but this is the type
6 of illness that I am experiencing. But the
7 coworkers that worked with me on this press
8 have the same respiratory problems, have the
9 same rashes, have the same illnesses. And one
10 more for instance, the employee who worked me
11 that one week on that special alloy for Martin
12 Marietta, he only worked on that job for two
13 months, he was only in that building for two
14 months, he was -- he had the least exposure of
15 probably any employee on the machine, but he
16 worked with that special alloy, the thorium
17 that Dean Bartling said came into the factory -
18 - remember, it was his job to record everything
19 hazardous coming in and out, he knows Martin
20 Marietta leased it -- he knows what they
21 brought in, he put it down in an affidavit. He
22 knows. Well, this employee, this coworker of
23 mine, he has four inches of his esophagus
24 missing. He has lung problems. He has heart
25 problems. He had his gallbladder removed. He

1 had cyst on his liver and intestines.
2 My wife has the exact same illnesses, exact.
3 She had pericarditis, swelling of the lining of
4 the heart; pleurisy, swelling of the lining of
5 the lungs; intestinal problems. She's also
6 applied for disability. Now his wife, her
7 illnesses mimic mine exactly. Skin rash, the
8 arthritis, the migraines.
9 It's not a coincidence. This is not a
10 coincidence. This is a pattern. And this is
11 something that has to be addressed whenever you
12 make your decision because it's not a handful
13 of people -- I mean not millions, not
14 thousands, but a handful of people that need
15 your help. I mean when there's a car wreck,
16 there's someone you can call. You know,
17 there's an ambulance. If there a -- a
18 accident, a robbing, a mugging, you could call
19 a policeman. Your house is on fire, you can
20 call a fireman. He'll risk his life to safe
21 your hou-- he'll risk his life to save yours.
22 But when you're sick from radiation, from
23 factory, there's no 911. You're our first
24 responders. You're the only people we have
25 that can help, that can actually save our

1 lives, just as a policeman can, just as a
2 fireman can. And we're -- we need the help.
3 We have to have it. Remember, this choice was
4 taken away from us. We didn't have it. We
5 didn't -- you'd have to force us to work
6 underneath this, and we was literally by not
7 telling us the facts.

8 So I hope you incorporate this into your
9 decision. I'll give you the information that
10 you need. And one other thing I'd like to
11 address is the short time that I did work over
12 in casting for one year, working in a
13 department called leeching, is where they would
14 take waste from the magnesium process and the
15 sludge and dirt that was left over from the
16 bottom, it sinks to the bottom, was
17 reprocessed, reclaimed. Well, it was rumored
18 through -- from the old guys, who knew what
19 they were doing, that this was thorium-
20 contaminated sludge. Now I ask the employees
21 that I work with, I says did you hear anything
22 about this, and they said they had the same
23 concerns and went through their supervisors,
24 just like normally anybody would, and their
25 response was -- varied from well, you have to

1 be allergic to it for -- and if you're allergic
2 to it, you know, you'll know it, you know; if
3 you're not allergic to it, it'll be fine.

4 Other people -- other supervisors gave
5 information that oh, it -- you'd have to be
6 around it for 1,000 before it would start to
7 hurt you, things of this nature. But not --
8 never the truth.

9 Now beryllium was also used widely over there,
10 even though it -- they may not mention it, but
11 believe me, I had a bar sitting on my desk for
12 over a year, using it as a paperweight. I got
13 it from over casting, and the reason I remember
14 it so well is -- when you look at it every
15 single day, you don't forget it, and this
16 silver ingot had the initials KBI on it, which
17 I believe stands for Kawecky Berylco,
18 Incorporated, and Berylco sounds too much like
19 beryllium. I mean they had to be a beryllium
20 producer. They had -- I talked to employees
21 who worked in the department and they described
22 to me how it used to come out of buckets and
23 they had to chop them up in little ingots
24 because when you melt this, you have to have a
25 certain amount of weight. They may say 20

1 pounds, 14 pounds, depending on what alloy
2 they're making. Well, it has to be chopped up,
3 and chopping up produces dust. And this is a
4 lot of the people's problems in this part of
5 the factory is this process of leeching and
6 casting is respiratory, and I believe that this
7 thorium -- or this beryllium is the main source
8 of that.

9 Now everyone knows radiation is genetic -- on a
10 genetic level can affect people. I lost almost
11 all my grandchildren but one because of this.
12 My wife's health is poor. My health is
13 declining. My first child of course was born
14 with a birth defect. She passed away two and a
15 half years later because of her birth defect.
16 She had a tube in her throat. You may not be
17 able to see it but it's a trachea. It's common
18 with radiation, I'm sure, birth defects.
19 Second child -- grandchild spent four months on
20 a respirator. Four years -- I mean four months
21 in an incubator before he was actu-- but he's
22 still alive, but he still has respiratory
23 problems. Now these were my son's children.
24 Now my daughter's first and only child died at
25 six months. She wasn't even born yet. It

1 wasn't a miscarriage. The baby just died. She
2 had to deliver a stillborn. Again, this is my
3 family line.

4 My sisters -- all her family and children,
5 grandchildren, healthy. My youngest sister,
6 all her children healthy. My family line --
7 bam, just that bad.

8 Now I know as a board of directors, I know what
9 you're assigned to do. I know you can't give
10 me back my friends, my coworkers' health, their
11 lives. I know you can't give me back my wife's
12 health or my grandchildren's lives, and you
13 can't give me back my health or the years that
14 I've probably lost due to this illness. I may
15 not reach the age of 60. I know this and I can
16 accept it. But what you can do is give us back
17 our dignity, hope, a quality of life that we
18 have lost because of this, that was taken away
19 from us. Remember, we didn't slip in a
20 bathtub. This was done to us. We was poisoned
21 for profit, whether intentionally or
22 unintentionally. Sure, they knew it was there.
23 Well, maybe they won't get sick. Okay. Well,
24 if they get sick, maybe they won't get too
25 sick. Okay. Well, if they get too sick, maybe

1 they won't die. Well, if they die, maybe they
2 won't figure it out. But it all goes back to
3 the beginning, to knowing it was deadly, but
4 they was just rolling the dice with our lives.
5 So keep us from literally losing everything
6 we've already lost, our whole lives,
7 everything. Your decision depends on this. I
8 mean standing in line for food every month at
9 the Salvation Army. You know, the financial
10 part of this is only secondary. But medical is
11 the most important. The financial would just
12 bring a loan -- for my sake, a wheelchair ramp,
13 a wheelchair for the future, things that I'll
14 need, someone to actually mow my grass. This
15 is what the financial could provide for us,
16 food for our refrigerator. But the medical
17 part, this is the part that can actually
18 improve the quality of our lives, save our
19 lives, extend it past -- I want to live past
20 60. I want you people to help me do this
21 because, like I said, common sense is what it's
22 all about. You're the only ones can do this.
23 You're the only ones can help us, and I want
24 you to consider all these facts, please. It is
25 so important to so many people. And like I

1 said, if you think it involves thousands on
2 this -- on this press, no. But in the pot room
3 it could go up to two, three dozen people also
4 because these people are exposed to beryllium
5 and thorium. That's a separate issue that I
6 wasn't involved in, but has to be -- you have
7 to, you know, at least acknowledge it in some
8 form whatsoever.

9 Now there will be people tomorrow to speak on
10 Dow's behalf and SCI's, and I hope they have
11 more information than what I've given you guys
12 today. And I appreciate you being here and
13 listening to us 'cause, like I said, there is
14 no one else. Thank you.

15 **DR. ZIEMER:** Thank you, Larry, for a very
16 moving account.

17 I have Randall -- I'm having trouble reading --

18 **MR. COX:** Cox.

19 **DR. ZIEMER:** -- Cox, Randall Cox. There you
20 go, Randall.

21 **MR. COX:** I won't get too dramatic or go into a
22 tirade or anything, but I'm pretty pissed off.
23 It took me a while to figure it out, but my
24 father was an AWE in the '50s, and he worked
25 for Associated Aircraft in Fairfield, Ohio. He

1 didn't work there long, but he worked in it and
2 he was -- actually machined warhead parts. And
3 this went on for a while and came all the way
4 up to probably the late '80s, early '90s, all
5 of a sudden everybody started getting cancer in
6 my family -- everybody, all five members,
7 including myself. I'm a brain tumor survivor.
8 I may even be looking at a second one coming up
9 soon as a MRI just turned up kind of strange
10 here recently. But it took me a while to put
11 it together so I don't know if it was secondary
12 exposure from there or from Fernald, because we
13 also lived near the Fernald Feed Materials
14 Plant, within a few miles of there.
15 And I was foolish enough to call up and ask
16 about the settlement -- so-called settlement,
17 I'll say that, because in my opinion it was a
18 scam. They basically -- I've observed how they
19 were running their grids with helicopters and
20 stuff like that. I don't know if they used a
21 rad chaser or what, but I do know rad chasers
22 don't see through metal roofs on buildings.
23 And this is also probably 20, 25 years after
24 the fact, but I know my whole entire family got
25 cancer, everybody.

1 This doesn't run in my family. There are no
2 other cases of cancer anywhere in my family
3 line. Recently, probably about three or four
4 months ago, my 22-year-old nephew who's a
5 member of our armed services was diagnosed with
6 metastatic colon cancer, and I believe that's a
7 result of some kind of genetic damage or
8 something because shortly after he was born was
9 when my sister, the first one, my youngest
10 sister, developed cancer. Shortly thereafter
11 my mother developed cancer. Well, they managed
12 to get through the operations and stuff and
13 survive for a while. Then my father was
14 diagnosed with bone cancer.
15 Well, that's the -- by this time I didn't
16 realize what was happening to me, that this is
17 like a slow creeping death that comes up on
18 you, and I didn't actually realize what had
19 happened till 2003 when I was diagnosed with a
20 brain tumor. I had a 4.5 gram primary grade
21 one meningioma, and I had it removed. I
22 managed to get through it. It cost me most of
23 my eyesight, part of my hearing, and I started
24 putting two and two together. I thought well,
25 it's com-- cancer is a pretty common thing

1 nowadays, but every member of a family, all
2 within a few years of each other? This tumor
3 that I had was a size -- my neuro-oncologist
4 told me that I'd had it at least ten years.
5 That would make it 1993 when I started
6 developing it, which is also right in the same
7 time frame when everybody else did.
8 And I've been given the runaround. I called
9 this Fernald thing that's handling this
10 agreement. They told me that it specifically
11 excluded health problems. Is that an
12 agreement? That doesn't sound like an
13 agreement to me. I asked them what -- what
14 about the payouts. Well, all the money was
15 gone. We paid most of it out to people with
16 severe emotional distress. Try having your
17 whole family die within a few months and talk
18 about severe emotional distress.
19 I -- at this point I'm beginning to see my
20 federal government as a serious antagonist
21 rather than someone who wants to help out. And
22 I implore you, if you can do anything about
23 this or investigate this, please do, because
24 it's necessary. I'm not the only one. A lot
25 of schoolmates, friends that I grew up with,

1 half of them are dead now. And I lived in
2 Riley Township, which is next to Crosby
3 Township where Fernald was. And out of all the
4 deaths that were -- that I know of out there,
5 almost all of them were cancer. There were
6 maybe two that were heart attacks.
7 I mean some of these people were young. My
8 sister was in her forties, metastatic bone
9 cancer. I mean this kind of stuff doesn't come
10 out of the blue. There's a reason for that. I
11 mean all you have to do is sit down and figure
12 the odds. I mean the odds of that happening
13 are extremely remote that it was a coincidence,
14 extremely remote. And basically I --
15 especially after I found out that I might have
16 a second brain tumor, I'm beginning to lose my
17 patience. And I can't really afford a good
18 civil trial attorney. If I ever win the
19 lottery, the government's in big trouble, you
20 can bank on that.
21 For one thing, I know where to look to find the
22 radiation. They didn't. They flew over with
23 helicopters, probably with '50s and '60s area -
24 - aerotechnology. There are lots of places to
25 look that they didn't look. They didn't do

1 groundwater testing. They didn't do anything
2 of that sort. They didn't test buildings where
3 grain or feed were stored for livestock. The
4 fact of the matter is, we lived on a 360-acre
5 farm. We sold Hereford beef cattle every year.
6 I wonder how many of those steaks were
7 radioactive? Cows don't live that long, so
8 there's no way of telling. They had cattle
9 around Fernald up there, but you know, what's a
10 -- what's a cow that lives to old age? It
11 might live, what, ten years or something, 12
12 years? Well, it took us 25 years before we
13 started developing symptoms. And I'm just --
14 it leaves me scratching my head how a country
15 that always seems to take the moral high ground
16 on every foreign issue that comes along can
17 simply turn their back on their own people and
18 let them die off one at a time. It almost
19 makes me believe that they're waiting for them
20 to die, along with any survivors that had a
21 chance of collecting benefits, just to avoid
22 paying for it.

23 Financial culpability, I agree with the fella
24 that talked last time, it's a matter of
25 financial culpability, and that's exactly what

1 they're avoiding. And they're doing a very
2 good job, too. I'm 53 years old. I don't even
3 know if I'll live to be 60, and I never even
4 worked with the damned stuff. I just lived out
5 where it was being dumped on people's heads.
6 And they said well, oh, the dust from Fernald,
7 it only got out five miles maximum. Well,
8 that's a crock. That would depend on the
9 particle size, the type of material it was,
10 what the weather conditions was, which way the
11 wind was blowing, how high it went up into the
12 atmosphere -- there are so many variables that
13 they have no way of convincing me that they
14 have all the answers because they simply don't.
15 I already know they don't. If they do, they're
16 concealing them.

17 And I suppose it's been very profitable for
18 them, especially since government contractors
19 probably make billions in taxpayers' money.
20 And I -- like I said, I agree with the last
21 guy. I think it's purely a matter of money.
22 They don't want responsibility and they damned
23 sure don't want financial culpability for this.
24 I think all it'd take is a really sincere
25 investigation that would probably prove me

1 right. And I won't go on any longer, but I
2 thank you for your time and thank you for
3 listening to me.

4 **DR. ZIEMER:** Thank you very much. I -- I might
5 comment -- you may be aware already since this
6 Board, by law, is only involved with those who
7 worked on the sites and --

8 **MR. COX:** (Off microphone) Yeah, I
9 (unintelligible).

10 **DR. ZIEMER:** -- but your remarks are on the
11 public record now, so --

12 **MR. COX:** Thank you.

13 **DR. ZIEMER:** -- thank you for sharing that with
14 us.

15 This now -- these are all the folks I have on
16 the list. Is there anyone else that wished to
17 make public comment tonight?

18 (No responses)

19 If not, I thank you. We will have a public
20 comment period tomorrow evening, and the Board
21 will be meeting in full session all day.

22 You're all welcome to come back. We -- we will
23 be here tomorrow and Friday, so please avail
24 yourselves of the agendas to make sure that, if
25 you wish to be here, that you're here at the

1 right time. So we'll recess till tomorrow
2 morning.

3 (Whereupon, the meeting was concluded at 6:22
4 p.m.)

1

2

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Feb. 7, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 22nd day of April, 2007.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**