

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

THIRTY-SIXTH MEETING

ADVISORY BOARD ON  
RADIATION AND WORKER HEALTH

VOL. I

ABRWH BOARD MEETING

The verbatim transcript of the  
Meeting of the Advisory Board on Radiation and  
Worker Health held telephonically, on March 14,  
2006.

C O N T E N T S

March 14, 2006

WELCOME AND OPENING COMMENTS DR. PAUL ZIEMER, CHAIR	7
SEC RULE REWRITE	12
REPORT OF WORKING GROUP: Y-12 SITE PROFILE	71
REPORT OF WORKING GROUP: ROCKY FLATS SITE PROFILE	116
PROGRESS REPORT SC&A SEC TASK	175
BOARD CORRESPONDENCE, AGENDA FOR APRIL MEETING, FUTURE BOARD MEETINGS AND WORKING GROUP SCHEDULE	224
REPORT OF WORKING GROUP: INDIVIDUAL DOSE RECONSTRUCTION REVIEW	237
NIOSH UPDATE BETHLEHEM STEEL	247
COURT REPORTER'S CERTIFICATE	261

### TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

**P A R T I C I P A N T S**

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.  
Professor Emeritus  
School of Health Sciences  
Purdue University  
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.  
Senior Science Advisor  
National Institute for Occupational Safety and Health  
Centers for Disease Control and Prevention  
Washington, DC

MEMBERSHIP

CLAWSON, Bradley  
Senior Operator, Nuclear Fuel Handling  
Idaho National Engineering & Environmental Laboratory

DeHART, Roy Lynch, M.D., M.P.H.  
Director  
The Vanderbilt Center for Occupational and Environmental  
Medicine  
Professor of Medicine  
Nashville, Tennessee

GIBSON, Michael H.  
President  
Paper, Allied-Industrial, Chemical, and Energy Union  
Local 5-4200  
Miamisburg, Ohio

GRIFFON, Mark A.  
President  
Creative Pollution Solutions, Inc.  
Salem, New Hampshire

LOCKEY, James, M.D.  
Professor, Department of Environmental Health  
College of Medicine, University of Cincinnati

MELIUS, James Malcom, M.D., Ph.D.  
Director  
New York State Laborers' Health and Safety Trust Fund  
Albany, New York

MUNN, Wanda I.  
Senior Nuclear Engineer (Retired)  
Richland, Washington

PRESLEY, Robert W.  
Special Projects Engineer  
BWXT Y12 National Security Complex  
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.  
Professor Emeritus  
University of Florida  
Elysian, Minnesota

STAFF

LASHAWN SHIELDS, Committee Management Specialist, NIOSH  
STEVEN RAY GREEN, Certified Merit Court Reporter

PARTICIPANTS

BARRIE, TERRIE, ANWAG  
BROEHM, JASON, CDC WASHINGTON OFFICE  
BUCHANAN, RON, SC&A  
DEMAIORI, TONY, ROCKY FLATS  
ELLIOTT, LARRY, NIOSH/OCAS  
FALK, ROGER, ROCKY FLATS  
HILLER, DAVID, SEN. SALAZAR  
HINNEFELD, STUART, NIOSH  
HOMOKI-TITUS, LIZ, HHS  
HOWELL, EMILY, HHS  
KATZ, TED, NIOSH  
KOTSCH, JEFF, DOL  
LANGSTED, JIM  
MAKHIJANI, ARJUN, SC&A  
MAURO, JOHN, SC&A  
NETON, JIM, NIOSH  
RUTHERFORD, LAVON, NIOSH  
SUNDIN, DAVID, NIOSH  
THOMPSON, JENNIFER, ROCKY FLATS  
ULSH, BRANT, NIOSH

P R O C E E D I N G S

(10:00 a.m.)

WELCOME AND OPENING COMMENTS

DR. PAUL ZIEMER, CHAIR

1                   **DR. ZIEMER:** Lew, do you want to take the roll  
2 call?

3                   **DR. WADE:** Yeah, please, if I could ask  
4 Board members to identify themselves.

5                   **DR. LOCKEY:** James Lockey.

6                   **MR. PRESLEY:** It's Bob Presley.

7                   **DR. DeHART:** DeHart.

8                   **DR. ROESSLER:** Roessler.

9                   **MR. GIBSON:** Mike Gibson.

10                  **MR. CLAWSON:** Brad Clawson.

11                  **DR. MELIUS:** Jim Melius.

12                  **MS. MUNN:** Wanda Munn.

13                  **MR. GRIFFON:** Mark Griffon.

14                  **DR. ZIEMER:** Ziemer. I think we have a  
15 quorum.

16                  **DR. WADE:** We certainly have a quorum. Why  
17 don't we just run through? Again Leon I said  
18 will not be with us. Poston will not be with  
19 us.

1           **DR. ZIEMER:** Okay then let me officially  
2 call the meeting to order. This is officially  
3 meeting 36 of the Advisory Board on Radiation  
4 and Worker Health. I should pause and make  
5 sure that Ray Green is ready to proceed. Ray?

6           **COURT REPORTER:** Yes, sir, we're good.

7           **DR. ZIEMER:** So the meeting is called to  
8 order. I want to again welcome everybody and  
9 make sure everybody has a copy of the agenda  
10 that was distributed. The agenda has in it a  
11 lunch break at 12:15, and if necessary, we're  
12 scheduled on this call to go through four  
13 o'clock. We don't, we're not required to, but  
14 we are able to if so required.

15           Lew, I want to give you an opportunity  
16 to make some preliminary remarks as well.

17           **DR. WADE:** Well, thank you, Paul, and thank  
18 you all for again the considerable time and  
19 effort you expend in support of the Board. I  
20 really can't thank you enough and for the  
21 professionalism that you bring. I'm thrilled  
22 today that we have two of our new members with  
23 us and duly seated. Brad Clawson and Dr.  
24 Lockey have gone through all of the hoops that  
25 I've been told they need to go through, and

1           they are formally with us now, and we welcome  
2           their energy and their experience.

3                   And by everything I've seen to this  
4           point I think the Board will certainly be made  
5           better by their efforts. They've had waivers  
6           prepared. They've gone through that process.  
7           Those waivers will be posted. I thought I  
8           would just take a brief moment and for  
9           everyone let the world know of the conflicts  
10          as they've been identified in the waiver  
11          letters for these individuals.

12                   For Bradley Clawson the conflicts are  
13          the Idaho National Laboratory, any claims  
14          filed by PACE, PACE USW Atomic Energy Workers'  
15          Council, for which he serves as secretary-  
16          treasurer, and any claims filed by PACE USW  
17          Local 652, Idaho Falls, Idaho for which he  
18          serves as area representative and a trustee.  
19          Conflicts for Dr. Lockey are Fernald due to  
20          his work on the Fernald Settlement Fund Expert  
21          Panel and Portsmouth due to his performance of  
22          independent medical evaluation of workers from  
23          the gaseous diffusion plant in Portsmouth,  
24          Ohio.

25                   So I think just so everyone is aware

1 of those conflicts, they really won't enter  
2 into our discussions today. But I think for  
3 purposes of transparency I wanted to get that  
4 on the record.

5 Today we will be dealing with issues  
6 related to the Y-12 site profile, the Rocky  
7 Flats site profile, the Bethlehem Steel site  
8 profile, and as you recall, if an individual  
9 is conflicted when we deal with a site  
10 profile, the Board members who have conflicts  
11 may participate in the discussion at the table  
12 but cannot make motions or vote on motions.

13 The conflicts as they're currently  
14 recorded for Y-12 are Dr. DeHart, Robert  
15 Presley, Dr. Ziemer, Mark Griffon only where  
16 actions are filed by the Atomic Trades and  
17 Labor Council. We have no conflicts recorded  
18 for Rocky Flats or Bethlehem Steel.

19 I don't imagine the Board will be  
20 doing any formal business on SEC petitions on  
21 this call. Just as a reminder, when we do  
22 formal work on SEC petition, Board members who  
23 have a conflict may not participate at the  
24 table in those discussions. They must step  
25 away. They may contribute as site experts

1 during public comment.

2 So just again to set the record  
3 straight, I welcome the two new members who  
4 are with us and certainly look forward to  
5 their contribution.

6 Thank you, Paul.

7 **DR. ZIEMER:** Thank you, Lew.

8 And I think probably for Ray Green's  
9 official record, we probably in addition to  
10 Board members, need to identify the various  
11 support staff who are present on the call. So  
12 I wonder if we should go ahead and do that  
13 starting with NIOSH.

14 **DR. WADE:** This is Lew Wade with NIOSH in  
15 Washington, D.C.

16 **DR. NETON:** Jim Neton with NIOSH in  
17 Cincinnati.

18 **MR. RUTHERFORD:** LaVon Rutherford, NIOSH  
19 Cincinnati.

20 **MR. SUNDIN:** Dave Sundin, NIOSH Cincinnati.

21 **MR. KATZ:** Ted Katz in Atlanta.

22 **MS. SHIELDS:** LaShawn Shields, Atlanta.

23 **MS. HOMOKI-TITUS:** Liz Homoki-Titus with  
24 Health and Human Services in D.C.

25 **MS. HOWELL:** Emily Howell with Health and

1 Human Services in D.C.

2 **MR. BROEHM:** Jason Broehm in the CDC  
3 Washington office.

4 **DR. ZIEMER:** Any other CDC/NIOSH/HHS people?  
5 (no response)

6 **DR. ZIEMER:** Department of Labor?

7 **MR. KOTSCH:** This is Jeff Kotsch here with  
8 the Department of Labor.

9 **DR. ZIEMER:** Any other Labor?  
10 (no response)

11 **DR. ZIEMER:** Is any other federal staff  
12 aboard the call?

13 (no response)

14 **DR. ZIEMER:** That's all we need to identify  
15 is it not, Lew?

16 **DR. WADE:** Yes, I mean we can, if you want  
17 to, have other people identify themselves as  
18 they wish. That'd be fine as well.

19 **MR. BROEHM:** This is Jason. I understand  
20 that some congressional staff may be joining  
21 for discrete agenda items such as Rocky Flats  
22 and Bethlehem Steel. You may have people join  
23 the call later.

24 **SEC RULE REWRITE**

25 **DR. ZIEMER:** Okay, then let's proceed. The

1 first item then after the introductory  
2 materials is SEC rule rewrite. You may recall  
3 at our last meeting we had the materials that  
4 constitute the interim rule. We had a  
5 discussion and actually, we identified at our  
6 meeting a number of items that could be of  
7 concern. And we asked Dr. Melius to draft  
8 some proposed comments based on those items.  
9 He has done so, and that draft, which is a  
10 two-page document, was distributed, I believe,  
11 on the ninth.

12 I want to make sure everybody has a  
13 copy of Dr. Melius' draft. Is there anyone on  
14 board that does not have a copy of that? It's  
15 called "Draft Comments on Proposed Amendments  
16 to 42 CFR Part 83 Special Exposure Cohort  
17 Rule". And I would suggest that you write on  
18 the top of your sheet that it's a draft and  
19 that the date of that is 3/9/06, perhaps  
20 distinguish it from any later versions.

21 **DR. WADE:** And just to complete the record,  
22 if you recall the comment period was going to  
23 close before this call and a 30-day extension  
24 was granted.

25 Ted, when does the comment period

1 close now with the 30-day extension in effect?

2 **MR. KATZ:** I'm sorry, Lew, I don't have that  
3 in front of me. I'm not sure what the date  
4 is.

5 **DR. WADE:** Okay, Liz, do you have that?

6 **MS. HOMOKI-TITUS:** I don't have it in front  
7 of me, but you guys go ahead and start talking  
8 and I'll pull it out.

9 **DR. MELIUS:** I think it's approximately one  
10 week from now.

11 **DR. ZIEMER:** I was thinking it was the 21<sup>st</sup>  
12 of March was what I have on my calendar.

13 **DR. WADE:** Right, I just want to get -- Liz  
14 will give us the official date but I think --

15 **DR. ZIEMER:** Well, roughly a week from now  
16 but we'll get the official date.

17 So I assume by the silence that  
18 everyone has a copy. No one has indicated  
19 they did not. Jim, do you want to make any  
20 preliminary statements on the materials before  
21 we go into it, sort of work through it  
22 paragraph by paragraph?

23 **DR. MELIUS:** No, only that what I drafted  
24 was based on some of our discussions at the  
25 last meeting including some discussions with

1 Board members sort of after the meeting or  
2 during the meeting. I'm sure it was all  
3 formal discussion. So what I tried to do was  
4 to take some of the comments that we discussed  
5 and summarize them into a letter or the format  
6 of a letter that would go from the Advisory  
7 Board to NIOSH's formal comments. And I also  
8 included in there the quote from the  
9 Conference Report simply because that sort of  
10 was what NIOSH was responding to in drafting  
11 their interim final regulation.

12 **DR. ZIEMER:** I wonder on the Conference  
13 Report if it would be helpful if we could put  
14 a reference in here, the date or the location  
15 of the quote.

16 **DR. MELIUS:** I can come up with that.

17 **DR. ZIEMER:** Or maybe NIOSH staff can. I  
18 was a little puzzled by some of the wording in  
19 there. I know you were quoting directly, but  
20 it refers to the President receiving a  
21 recommendation from the Advisory Board.

22 **DR. MELIUS:** That's because that's what the  
23 law says.

24 **DR. ZIEMER:** The original law says that,  
25 yeah.

1           **DR. MELIUS:** It's by, and somebody, Liz or  
2           somebody, could maybe help me here, but it's  
3           by an executive order from the President that  
4           designates that power to the Secretary of  
5           Health and Human Services. So when they amend  
6           the law, they refer to the, or they comment on  
7           the law or they refer to the President even  
8           though, in effect, it's the Secretary of  
9           Health and Human Services that, so when NIOSH  
10          writes the regulation, they essentially  
11          utilize the federal executive order to --

12          **DR. ZIEMER:** Yeah, yeah, I understand that,  
13          but I'm concerned that this comment might give  
14          rise to some confusion if we don't link it  
15          back to this was not a Conference Report that  
16          was related to the, to this particular  
17          revision. This was the original one was it  
18          not?

19          **DR. MELIUS:** Oh, no, no, this relates to  
20          this particular revision.

21          **DR. ZIEMER:** But they are quoting the  
22          conference, the original law I believe.

23          **DR. MELIUS:** Yeah, but when Congress says  
24          anything that references the law, they always  
25          go back to the law, not the executive order

1 because the executive order can change.

2 **DR. ZIEMER:** Anyway, I'm suggesting we put  
3 the reference in there so it's very clear --

4 **DR. MELIUS:** I agree. I actually think in  
5 the first paragraph the last sentence there,  
6 it would be in parentheses. I can put in  
7 something to that effect, that the Secretary  
8 is the President's designee for that  
9 particular task.

10 **MS. MUNN:** This is Wanda. I found that  
11 confusing also if for no other reason than the  
12 fact that I didn't have the Conference Report  
13 per se in front of me and had no indication  
14 where to find it. I only had the Department  
15 of Health and Human Services pages from the  
16 Federal Register.

17 **DR. ZIEMER:** And of course, the reason for  
18 referencing the Conference Report is the time  
19 periods. It's not this particular issue, but  
20 I was concerned that this could introduce some  
21 confusion back into the system.

22 **MS. HOMOKI-TITUS:** Dr. Ziemer?

23 **DR. ZIEMER:** Yeah.

24 **MS. HOMOKI-TITUS:** I just wanted to let you  
25 know that I've got the Federal Register notice

1 in front of me and the deadline is March 23<sup>rd</sup>,  
2 2000 and --

3 **DR. ZIEMER:** Twenty-third.

4 **MS. HOMOKI-TITUS:** Twenty-third.

5 **DR. ZIEMER:** Okay. Thank you.

6 Well, let's, we'll get some clarity  
7 on, or add a reference for that that will help  
8 clarify that issue. If there's no objection,  
9 we'll consider that an acceptable change.

10 Let's look into the specific comments  
11 now. There are three of them.

12 **MS. MUNN:** Before we go to that, Paul, there  
13 is one typo, I think, an omission in the fifth  
14 line of that Conference Report, states, there  
15 in the first line. It's the first word in  
16 that line is documentation. Just during the  
17 180, I believe the word day was omitted there.

18 **DR. ZIEMER:** The word day should be in  
19 there, yes. Thanks, Wanda.

20 Now, item one, again, I'm going to  
21 suggest that we reference each item to a  
22 specific part now of the proposal. Jim, for  
23 example, this seven-day thing shows up --  
24 well, if you look at the materials we had at  
25 the last meeting, which is the Federal

1 Register material, it refers to page 7-5-9-5-3  
2 of the Federal Register, and it's item C.  
3 Again, I'm just suggesting that on each of  
4 these items we refer to the specific part of  
5 the proposal just for ease of cross-  
6 referencing. Is that agreeable?

7 **DR. MELIUS:** Yes.

8 **DR. ZIEMER:** So we would say something like  
9 with regard to the requirement of Item C, page  
10 7-5-9-5-3 of the Federal Register notice, we  
11 do not believe and so on.

12 **DR. MELIUS:** I think maybe a better way of  
13 doing that or at least a shorter way would be,  
14 rather than have to go back to the Federal  
15 Register is refer to Section 83-11 --

16 **DR. ZIEMER:** Okay, yeah, it's Section 83-11,  
17 Item C. Yeah, that will do it very well,  
18 thanks.

19 Is that agreeable with everyone? I  
20 think again that helps clarify what it is  
21 we're commenting on.

22 **MS. MUNN:** Eighty-three eleven is noted in  
23 that.

24 **DR. ZIEMER:** Right, right.

25 **DR. MELIUS:** Yeah, but I think if we put a

1                   bullet up front to say it's 83-11c of the,  
2                   it's a little bit more clear.

3                   **DR. ZIEMER:** Okay, now I guess on this item  
4                   one of the issues now is going to be the  
5                   seven-day versus the 30-day issue and maybe  
6                   have a little debate on that if there is any.  
7                   And this is one of the items we talked about  
8                   at the last Board meeting, the issue of the  
9                   seven days. Is that enough time? I think  
10                  NIOSH was saying, well, in reality they are  
11                  working with the folks so they sort of know it  
12                  in advance, but I guess our concern was do we  
13                  always, is there a guarantee that that's  
14                  always the case. And should we allow, even  
15                  though we want to keep the process  
16                  streamlined, should we allow more time? And  
17                  if we do what should it be? Is it as much as  
18                  30 days?

19                  **DR. LOCKEY:** This is Jim Lockey. I agree  
20                  with Melius. I don't think seven days is  
21                  adequate. I think 30 days is an adequate  
22                  period of time. That's what my opinion would  
23                  be.

24                  **MS. MUNN:** This is Wanda. It's fairly  
25                  obvious to me that whoever dreamed up seven

1 days clearly had never been through this  
2 process so has no real feel for the number of  
3 individuals that are involved, the number of  
4 agencies that are involved and the steps that  
5 have to be taken. Thirty days seems logical  
6 to me.

7 **DR. DeHART:** This is Roy. As I remember in  
8 the meeting there was some concern on the part  
9 of NIOSH as to their being able to be timely  
10 in the completion of their work. Could  
11 somebody from NIOSH comment on what the impact  
12 of the seven days would be versus the 30 days?

13 **DR. ZIEMER:** And also whether or not there's  
14 a separate clock running. Is the 180-day  
15 clock still running here?

16 **DR. WADE:** Could I ask Ted to speak to that  
17 issue?

18 (no response)

19 **DR. ZIEMER:** Or is Ted still here? Or Liz?

20 **MR. KATZ:** Can you hear me?

21 **DR. ZIEMER:** Yeah, now we can.

22 **MR. KATZ:** This is Ted. The phone was on  
23 mute. So the consequence on the other side of  
24 it is that the 30 days, whatever it is, seven  
25 days, 30 days, that's time elapsing against

1 the 180 days. If the review of the  
2 disqualification determines that it is, in  
3 fact, qualified. So that just shortens the  
4 180-day period for completing the evaluation.

5 **DR. ZIEMER:** Yeah, well, you may recall we  
6 had a discussion about that as well because it  
7 was a little confusing, the fact that if it  
8 wasn't originally qualified and then becomes  
9 qualified, then the qualification date in its  
10 essence seems to be moved back. So the 180  
11 days is already going even though the  
12 determination that it was qualified came sort  
13 of later.

14 **UNIDENTIFIED SPEAKER:** (Unintelligible).

15 **DR. ZIEMER:** Is somebody commenting? Ted,  
16 were you responding or --

17 **MR. KATZ:** No, no, that was someone else.

18 **DR. LOCKEY:** This is Jim Lockey. Is the 180  
19 days, I mean, if this petition disqualified  
20 then my assumption is the 180 days has already  
21 expired. Is that correct?

22 **MR. KATZ:** No, the 180 days doesn't begin  
23 until a petition qualifies. But this is a  
24 situation where NIOSH OCAS has in effect said  
25 we don't think this petition qualifies. Then

1           it goes for review at NIOSH if the petitioner  
2           wishes, a review of that proposed decision.  
3           Now if that review decides, in fact, it should  
4           have qualified, then that clock would have  
5           been running at the point NIOSH said it didn't  
6           qualify. So I understand that's confusing.  
7           I'm just trying to explain --

8           **DR. ZIEMER:** That was the issue before so  
9           that if now after 30 days it's designated as  
10          qualified, what they're saying in essence was  
11          that that qualification actually occurred 30  
12          days earlier. So they've already lost 30 days  
13          on the 180.

14          **DR. LOCKEY:** Can that be changed?

15          **MS. MUNN:** Can that be one of our comments  
16          that the clock should start over again?

17          **DR. LOCKEY:** That's what I would say. It's  
18          not fair to NIOSH.

19          **MR. GIBSON:** This is Mike Gibson. Let me  
20          ask a question. So is NIOSH saying that the  
21          qualification process takes place within the  
22          180 days or does not?

23          **DR. MELIUS:** Does not. This is Jim Melius.  
24          Part of this is confusing because if you look  
25          at the Conference Report language, they

1           certainly say, I mean the sentence there says  
2           with 180 days of receipt of a petition, and  
3           NIOSH has somehow interpreted that as 180 days  
4           of qualification, after qualification as  
5           opposed to receipt, which adds to the  
6           confusion here for our part in terms of trying  
7           to, you know, decide what's reasonable in  
8           terms of response.

9                     I just think it's sort of  
10           fundamentally a problem that you give a  
11           petitioner -- first of all, one comment, this  
12           appeal, this disqualification and appeal thing  
13           is a first, so we don't have any experience  
14           with what's involved here. Secondly, to give  
15           a petitioner seven days to respond and gather  
16           additional technical information when NIOSH  
17           has rejected their petition is really not fair  
18           to the petitioner.

19                     I mean, it's just not possible, I  
20           think, or feasible to do that. It's not just  
21           gathering an extra signature or a simple  
22           document. It would be gathering, I think, a  
23           significant amount of more information and  
24           even that could even be hard within 30 days  
25           let alone within seven.

1           **DR. ZIEMER:** Maybe our best bet here for the  
2 moment is to try to keep the two issues  
3 separate, the 30 days and the 180, because we  
4 may have to deal with the 180 anyway in the  
5 next item. Let me ask if there's any other  
6 comments pro or con on the seven days versus  
7 30 or any other number.

8           **DR. DeHART:** This is Roy. I certainly agree  
9 with the 30 days. My only concern for raising  
10 the question that I did is what is the impact.  
11 And I think we're going to be talking about  
12 that in number two.

13           **DR. LOCKEY:** This is Jim Lockey. Are we  
14 going to go back and look at what Jim just  
15 said about, and others just said about the  
16 confusion about when the 180 clock starts to  
17 run? Are we going to define that in a more  
18 appropriate manner?

19           **DR. ZIEMER:** Well, this second item here  
20 deals with that 180 days so it certainly can  
21 be inserted there in some way if necessary.  
22 There's a suggestion that the 180 time period  
23 be clarified anyway.

24                           Any other comments on the seven day  
25 period?

1                   **MR. CLAWSON:** Dr. Ziemer, this is Brad  
2 Clawson. I feel that seven days is completely  
3 inadequate.

4                   **DR. ZIEMER:** Okay, we've heard from a number  
5 of people that are supporting the 30-day  
6 recommendation. Are there any that believe  
7 that we should stick with the seven day?

8                   **MR. ELLIOTT:** Dr. Ziemer, this is Larry  
9 Elliott. I just want to offer a point of  
10 clarification. The seven day requirement of a  
11 petitioner is to send us a letter. It is not  
12 a requirement to produce more information. It  
13 is to send us a letter saying they contest or  
14 they want to appeal the decision that has been  
15 made that a submittal has been disqualified as  
16 a petition. So all we're looking for is that  
17 letter.

18                   **DR. ZIEMER:** Saying that they are appealing  
19 it but not necessarily requiring that they  
20 have the material needed to support the appeal  
21 at that point?

22                   **MR. SUNDIN:** This is Dave Sundin speaking  
23 now. Well, as a matter of fact they are not  
24 supposed to provide additional substantive  
25 material at that point. If they do that, then

1           it becomes a modification to their petition  
2           rather than an appeal. An appeal is supposed  
3           to just be about the process that was used.

4           **MR. GIBSON:** This is Mike Gibson. I guess I  
5           would just want to comment that even on an  
6           individual dose reconstruction case, the  
7           individual has more than seven days, I  
8           believe, to sign and fill out the OCAS 1 Form  
9           or to, if they're denied through DOL, to  
10          appeal that process, don't they? So it just  
11          seems a little illogical to me that given an  
12          SEC involves so many different people and so  
13          many different potential issues, you know, I  
14          think seven days is just too short. You know,  
15          I agree with the rest of the committee that it  
16          should be the 30 days.

17          **MR. ELLIOTT:** This is Larry Elliott again.  
18          And Mike, I appreciate your comment. However,  
19          I don't see any correlation between the dose  
20          reconstruction process and experience that a  
21          claimant goes through as compared to the SEC  
22          petition process that a petitioner goes  
23          through. I think they're distinctly different  
24          systems and processes. And again all we're  
25          asking here for on this seven-day clock is an

1 answer from the claimant as to whether or not  
2 they are contesting a decision that their  
3 submittal does not meet the criteria for a  
4 petition.

5 **DR. ZIEMER:** Larry, there is one phrase in  
6 the wording that says that as part of that  
7 they must specify why the proposed finding  
8 should be reversed based on petition  
9 requirements and on the information that they  
10 have already submitted which sounds like they,  
11 to some extent although you're not allowing  
12 them to submit new information at this point,  
13 that they have to have some sort of an  
14 analysis defending the reason for the appeal.  
15 Is that, am I understanding that correctly?

16 **MR. ELLIOTT:** I'll let Dave Sundin respond  
17 to that.

18 **MR. SUNDIN:** I may not be the best, Liz or  
19 Ted, but I think we're asking that they point  
20 out what aspect of our procedures they believe  
21 we did not follow.

22 **DR. ROESSLER:** This is Gen. I would like to  
23 hear Jim Melius' comments as to whether he  
24 understood the procedure as Larry has  
25 described it when he put this together.

1           **DR. MELIUS:** And the answer -- this is Jim  
2 Melius. The answer is yes, and I think it  
3 just, you know, these petitions some of them  
4 have included hundreds of pages of  
5 documentation. There's more that's uncovered  
6 and for a petitioner to decide what options  
7 they have takes some time. Our procedures are  
8 technically complex and a bit difficult, and I  
9 think they need more time to make up their  
10 minds which route to take. And I think 30  
11 days is appropriate. That's what we had  
12 decided initially when we passed these  
13 regulations or commented on the initial  
14 regulations what NIOSH had in their initial  
15 regulation.

16           **DR. DeHART:** This is Roy. The petitioner is  
17 the only one who's going to be disadvantaged  
18 by the 30 days. We are trying to do a system  
19 that will be effective and efficient, and if  
20 the petitioner wants to raise an issue or a  
21 question it only delays a final decision which  
22 only impacts that petitioner or the  
23 petitioners.

24           **DR. ZIEMER:** They can certainly submit  
25 sooner if they wish to.

1           **DR. DeHART:** Yes.

2           **DR. ZIEMER:** Any other comments on this  
3 issue?

4           **MS. MUNN:** This is Wanda. I'm not at all  
5 sure, I thought I understood what I was doing  
6 when we started this and now I'm confused.  
7 I'm looking back at the Federal Register  
8 notice itself, sub-part C, that says revised  
9 paragraph 83-11 to read as follows: "What  
10 happens to petition submissions that do not  
11 satisfy all relevant requirements? NIOSH will  
12 notify the petitioners and any requirement  
13 that's not met with the submission, assist the  
14 petitioners with guidance in developing  
15 relevant information and provide 30 calendar  
16 days for the petitioner to revise the  
17 submission accordingly. After 30 calendar  
18 days from the date of notification, NIOSH will  
19 notify any petitioner if his submission  
20 remains unsatisfactory of the proposed  
21 findings that the submission fails to meet the  
22 specified requirements and the basis for this  
23 finding."

24                           Then the next section says, "A  
25 petitioner may request in writing a review of

1 a proposed finding within seven calendar days  
2 of notification under Paragraph B.

3 Petitioners must specify why the proposed  
4 finding should be reversed based on the  
5 petition requirements and on information that  
6 the petitioners had already submitted."

7 So this is not talking about new  
8 information.

9 **DR. ZIEMER:** No, that's correct. That's  
10 what Larry was pointing out.

11 **DR. MELIUS:** Jim Melius, they essentially  
12 have a choice of either seeing if they can  
13 gather new information to satisfy NIOSH's  
14 concerns or they have a choice, or they can  
15 basically internally appeal, you know, say  
16 that NIOSH is wrong, that they provided  
17 adequate information. They believe NIOSH  
18 should consider that information. It should  
19 be adequate.

20 And so I think that's why they need  
21 longer than seven days. It's not simply just  
22 sending a letter. There's a decision has to  
23 be made, you know, should they get other  
24 affidavits from other people? Is there other  
25 information that they would be able to seek

1 out which NIOSH would allow to consider. Or  
2 the corollary, if I understand the process, is  
3 if they don't provide new information, then  
4 NIOSH is not going to reconsider their  
5 petition unless they follow this procedure.

6 **MR. ELLIOTT:** Dr. Melius, this is Larry  
7 Elliott again. Wouldn't that, your statement  
8 that you just made there, wouldn't that go  
9 then to the 30 days to develop the basis for  
10 the petition to meet the criteria to support  
11 the petition? Wouldn't it go to the 30 day  
12 time frame rather than the seven day time  
13 frame to make a decision on whether to contest  
14 the decision of disqualification?

15 **DR. MELIUS:** I would argue they need 30 days  
16 for both. I mean, there's some, they need to  
17 decide which route to take.

18 **DR. ZIEMER:** Because one of their options  
19 is, in fact, to submit new material. It's  
20 true that it's then regarded as a what, a new  
21 petition or something like that, but  
22 nonetheless that is the, that is one of the  
23 routes so they do have to make that decision.

24 **MS. MUNN:** And up front NIOSH provides them  
25 with 30 days in which to do that. I had

1                   frankly neglected that 30 days up front when I  
2                   was being concerned about the seven day time  
3                   period.

4                   **DR. ZIEMER:** That's at the front end of the  
5                   process.

6                   **MS. MUNN:** Right, NIOSH has already worked  
7                   with the petitioner for 30 days with respect  
8                   to the content of the petition as to whether  
9                   or not it's adequate.

10                  **MR. GIBSON:** This is Mike Gibson. It still  
11                  seems to me that even if a petitioner's not  
12                  submitting additional information, if they  
13                  want to go back through and, as we've seen  
14                  some of these petitions are very, very  
15                  lengthy, if they want to go back through and  
16                  try to better define the material that was  
17                  included in the first place to specify why the  
18                  finding should be reversed, that in itself is  
19                  going to take a good amount of time. And it's  
20                  just between that and everything else, I just,  
21                  seven days just doesn't seem adequate to me.

22                  **DR. DeHART:** This is Roy. More than likely  
23                  there's going to be a challenge to a ruling or  
24                  determination on the part of NIOSH. It could  
25                  be the same data, but it could be a different

1 expert. And that means defining that expert,  
2 getting the documentation as it applies to  
3 what has already been submitted even without  
4 additional information. And that's taking  
5 time.

6 **DR. ZIEMER:** Okay, any further comments on  
7 this? It appears that from what I'm hearing  
8 is that there's pretty strong support for  
9 recommending the 30 days versus the seven.  
10 And that being the case I think for the moment  
11 I will interpret that as a consensus on that  
12 item. Let's move on to item two, and then  
13 we'll come back and talk about approving the  
14 whole document with any changes.

15 The next item, let's see, is the 180  
16 day issue. Now the 180 day is mentioned in  
17 the, that's actually a statutory requirement.  
18 I think your point here, Jim, in the fact does  
19 not mention in the rule is simply it is a  
20 requirement and why isn't it mentioned?

21 **DR. MELIUS:** Yeah, there are two points to  
22 number two. One is --

23 **DR. ZIEMER:** I mean, you don't have to state  
24 it as a rule. It's already a statutory  
25 requirement.

1           **MS. MUNN:** That's true.

2           **DR. MELIUS:** The 30 days is a requirement,  
3           too, as I understand it, and that's in the  
4           rules. Why isn't the 180 days? To me it's  
5           confusing having to refer back to the preamble  
6           to, you know, if you're trying to reference  
7           this. And then I think the second comment  
8           built in there is let's sort of clarify what's  
9           been, you know, at one point the language says  
10          for a petition submitted suddenly a petition  
11          isn't submitted unless it's, or I should say  
12          when it's, until NIOSH has qualified it and  
13          confusion there.

14                   And some of this I think is addressed  
15          in number three, too, that I think what we're  
16          really looking for, or at least what I would  
17          recommend we look for, is some sort of overall  
18          guidance for the petitioners. What's the  
19          process going to be? How long are different  
20          steps going to take?

21                   Congress has specified some of those,  
22          but there ought to be some sort of overall, I  
23          think, guidance communications for the  
24          petitioners to understand the process as it  
25          goes along. What are their options at each

1 step? Roughly how long is it going to take  
2 for different parts of these steps. Some of  
3 them are going to be hard to specify, but they  
4 ought to have at least some idea of what's  
5 going to happen, what to expect.

6 **DR. ZIEMER:** In that connection also if I  
7 could raise again the original point about  
8 when a petition becomes qualified, if after an  
9 appeal whether it's the seven day or a 30 day,  
10 it then becomes qualified, is there an actual  
11 legal requirement that says that was  
12 interpreted wrong at the front end; it should  
13 have been qualified and the clock really is  
14 running? Or can you legally say once it's  
15 declared qualified the clock starts running on  
16 the 180? I don't know if legal counsel can  
17 speak to that or not.

18 **MS. HOMOKI-TITUS:** I'm not sure I can speak  
19 to that right now.

20 **DR. ZIEMER:** I mean it currently is that  
21 just an interpretation of that particular rule  
22 or is there some sort of precedent that --

23 **MS. HOMOKI-TITUS:** There's no precedent.  
24 What it is is interpretation of 180 day  
25 requirement.

1           **DR. WADE:** We're hearing elevator music or  
2 something like that.

3           **MS. HOMOKI-TITUS:** I think somebody put us  
4 on hold.

5           **DR. WADE:** Those of you who are still with  
6 us, don't put us on hold. I don't know how we  
7 solve this problem. Let's try and work and  
8 see how we do.

9           **DR. ZIEMER:** While we're on that paragraph  
10 on the second page, one, two, three, the fifth  
11 line, there's a typo there. I think it should  
12 say we note that. But let's get specific  
13 comments now on this issue. So one point,  
14 Jim, that you're suggesting is that there be a  
15 specific mention in the rule of the 180 days,  
16 and then the clarification of that 180 days is  
17 sequenced in terms of the various pieces of  
18 activity.

19           **DR. MELIUS:** Correct.

20           **MS. HOMOKI-TITUS:** I'm sorry, Dr. Ziemer,  
21 there sounds like there's some sort of  
22 conversation going on in the background. If  
23 the people who are not speaking could stop  
24 speaking or put it on mute, we're just having  
25 a hard time hearing.

1           **DR. ZIEMER:** Part of that is that music.

2           **MS. HOMOKI-TITUS:** Yeah, part of it's the  
3 music, but it's also the conversation.

4           **DR. WADE:** This is Lew Wade. In order for  
5 us to succeed at this, it's going to take  
6 discipline on everybody's part so please, if  
7 you're hooked up to this call, make sure  
8 you're on mute if you're having any  
9 discussions. And someone is coming in and out  
10 putting us on hold and when you do that  
11 there's music playing. And that makes it very  
12 difficult for us to conduct our business.

13           **UNIDENTIFIED SPEAKER:** Can you hear this?

14           **DR. WADE:** I can hear that, yes.

15           **UNIDENTIFIED SPEAKER:** Can you hear this?

16           **DR. WADE:** Yes.

17           **UNIDENTIFIED SPEAKER:** Oh my god, we are so  
18 sorry.

19           **DR. WADE:** It's unacceptable behavior. You  
20 really need to stop it, please.

21           **UNIDENTIFIED SPEAKER:** Twenty lashes to us.  
22 We will be quiet.

23           **DR. ZIEMER:** Okay, any other comments on  
24 this?

25           **MS. MUNN:** This is Wanda again. Perhaps

1           just a reference to the original law that  
2           National Defense Authorization Act 1-0-8-3-30  
3           and 3-75 that requires the 180 days would be  
4           in order. It just, my first thought when I  
5           saw number two was that the Federal Register  
6           notice had gone to, I thought, very specific  
7           clarification with respect to the fact that  
8           180 day reference is law.

9                     And I understand Jim's point that it  
10           may be a bit confusing for the person who's  
11           reading only this. But the law is referenced,  
12           and since it's referenced I guess the wording  
13           perhaps could be very brief with respect to  
14           that reference just assuring that it is  
15           referenced. I guess I'm concerned about the  
16           confusion that arises out of trying to de-  
17           confuse already confusing language.

18                    It's very difficult, I think, without  
19           offering up specific language and an  
20           indication of where it should go to leave the  
21           rule making in the hands of folks who don't  
22           perhaps understand why we have, where we think  
23           it ought to go. I guess that's what it really  
24           boils down to. Can we be more specific than  
25           where we feel and what we feel should be added

1 to clarify whichever of these paragraphs is  
2 most murky for us?

3 **DR. MELIUS:** Jim Melius, I mean I was  
4 frankly trying to avoid getting into the realm  
5 of legal interpretation of what language may,  
6 you know, congressional language may mean and  
7 how it's interpreted by the Department and  
8 rather go back and say let's look, the intent  
9 is to have this be a timely process that to  
10 the extent possible they ought to specify all  
11 the steps in the process. Some they have put  
12 time requirements on. Some that may take some  
13 time they have not. But at least in those  
14 where there are not specific requirements,  
15 let's at least have a way of informing the  
16 petitioners, those involved in the process, of  
17 what are reasonable periods of time for how  
18 these steps, how long these steps will take.

19 **MS. MUNN:** Yeah, Jim, I guess probably one  
20 of my problems is that I didn't have the  
21 Conference Report, was not aware that the  
22 Conference Report should be a part of our  
23 deliberations here. And that sort of --

24 **DR. ZIEMER:** Well, I don't know that it  
25 necessarily should be. It does refer to the

1 180 days, but in, it probably would be  
2 helpful, maybe even under the definition  
3 section, they talked about computation of time  
4 periods and so on. It may be that there could  
5 be a clarification in there of when the 180  
6 days begins and what counts against it in  
7 terms of these other activities. I think in  
8 general that's the kind of thing you're  
9 getting at, Jim, right? Put something in the  
10 rule that specifically pulls the 180 days in  
11 there and then relates it to these other  
12 activities.

13 **DR. MELIUS:** Correct, and --

14 **DR. ZIEMER:** And we shouldn't try to  
15 wordsmith how that's done.

16 **MS. MUNN:** No, I understand that.

17 **DR. MELIUS:** I think we're just asking NIOSH  
18 to be more specific. Get them to meet the  
19 statutory requirements. The Conference Report  
20 states some of the intentions and rationale  
21 for that. That needs to be addressed. And  
22 then also other steps in the process that are  
23 not addressed in the Conference Report or in  
24 the statute that still would be good to  
25 communicate to the petitioner so all of us

1 involved in this process sort of understand  
2 what the steps are and what are the time  
3 periods that might be expected for these  
4 various steps.

5 **MS. MUNN:** So I guess then the question is  
6 not necessarily to make the final rule  
7 consistent with the Conference Report. It's  
8 just to clarify the time periods in the final  
9 rule.

10 **DR. MELIUS:** Certainly I would say maybe the  
11 language should be, make it consistent with  
12 the intent of the Board or, personally, I  
13 don't think that the Conference Report should  
14 be ignored, but some of the technical and  
15 legal issues here are complicated. And I'm  
16 not sure that we're qualified nor do we want  
17 to necessarily try to rewrite the entire rule.

18 **MS. MUNN:** No, I certainly wouldn't want to.

19 **DR. MELIUS:** I was trying to, you know,  
20 there was some language that would just show  
21 what our general recommendation is without  
22 trying to write more specifics but pointing  
23 out some of the issues that, for example, the  
24 Conference Report certainly implied that the  
25 180 days was meant to start when the petition

1 was submitted.

2 Now and then another point, the end of  
3 the process, NIOSH has its evaluation report.  
4 Well, an evaluation report by itself isn't  
5 necessarily very helpful or doesn't move the  
6 process unless there's also, it's really the  
7 recommendation based on the evaluation report  
8 that moves the process along.

9 **MS. MUNN:** So that last sentence --

10 **DR. ZIEMER:** Well, there's a fairly good  
11 discussion in the preamble of the 180-day  
12 issue and the 30-day deadlines and so on.

13 **MS. MUNN:** Yes, there is.

14 **DR. ZIEMER:** So it probably in a sense is a  
15 question of how much of that is simply to be  
16 descriptive material in the preamble versus  
17 specific rules. Some of these are, some of  
18 these are very specific, you know, the seven  
19 day or 30 day, whichever it will be, will  
20 become a very specific requirement. But as  
21 you look back in the preamble, it looks like  
22 there's a nice effort to describe this 180 day  
23 period and the things that go on.

24 But maybe there needs to be some  
25 transfer of some of that material into the

1 rule itself, but I'm not sure which, you know,  
2 you want to keep it sufficiently flexible. I  
3 mean, operationally now with the 180-day clock  
4 isn't starting at the time that the petition  
5 is submitted, is it?

6 **DR. MELIUS:** No, it --

7 **DR. ZIEMER:** It's really started when it's  
8 qualified, I believe.

9 **DR. MELIUS:** Right, which --

10 **MS. MUNN:** That's what I thought.

11 **DR. MELIUS:** -- pointed out some of the  
12 language in the Conference Report. The  
13 language at the other end just says that  
14 evaluations were submitted. It does not talk  
15 about a recommendation coming from that. And  
16 again I think what we have, there is some  
17 explanatory language in the preamble.

18 What we would like to see is some of  
19 that language get put out in terms of some  
20 overall guidance or communication for the  
21 petitioners. So it would cover the whole  
22 process rather than try to say well, you go to  
23 the preamble, and you'll get this information.  
24 You go to the rule, you get this deadline.  
25 You know, if I were a petitioner, I would be

1 just very confused by what was meant; what was  
2 expected; what was required.

3 **MS. MUNN:** May I suggest that perhaps the  
4 last sentence, if we're going to retain this  
5 section two of our recommendation, that  
6 perhaps the last sentence should read  
7 something like appropriate changes should be  
8 made within the rule to address these problems  
9 and clarify timeline requirements in the final  
10 rule.

11 **MR. GIBSON:** This is Mike Gibson. I kind of  
12 hear what Wanda's saying. I think our  
13 comments should be probably consistent with  
14 the language of the Congressional Conference  
15 Report language. And so maybe we could, you  
16 know, since most of us don't have it in front  
17 of us, I've got so many windows up on my  
18 computer right now it would be hard to do, but  
19 I just don't think, we always say that, you  
20 know, we're wanting the intent of what  
21 Congress had in this law, and I don't think it  
22 would be appropriate to just ignore the  
23 congressional report and what they put in  
24 there. You know, we can give our comments,  
25 but I think we ought to be consistent with

1                   them. And I don't think that ought to be  
2                   incorporated into the interim final rules.

3                   **DR. ZIEMER:** Any other comments on this?

4                   **DR. LOCKEY:** This is Jim Lockey. My comment  
5                   is that, I think it sort of parallels what Jim  
6                   Melius has said. It is confusing to us. I  
7                   can't imagine what it is to the petitioners.  
8                   Somehow that has to be resolved.

9                   **DR. ZIEMER:** Right at the moment this  
10                  recommendation is somewhat general. It simply  
11                  points out that there is some additional  
12                  clarity that perhaps could be brought to the  
13                  rule itself based on whatever is already in  
14                  the preamble, the requirements of the  
15                  statutory law itself, and basically, simply  
16                  calling for some clarification here without  
17                  specifying how that should be done. So --

18                  **MR. GIBSON:** This is Mike Gibson. Could I  
19                  ask a question?

20                  **DR. ZIEMER:** Yeah.

21                  **MR. GIBSON:** If the language is just left in  
22                  the preamble, and maybe I'm asking for a legal  
23                  determination on this, the preamble seems  
24                  almost like an executive summary to the law  
25                  and so if it's not adopted into the law, does

1                   that guarantee that it applies?

2                   **DR. ZIEMER:** Well, the 180 days is a  
3                   statutory requirement, so that's required in  
4                   any event. I think the issue here, I believe,  
5                   is to clarify for petitioners precisely when  
6                   the clock starts. And some of this is done in  
7                   the preamble and that's probably appropriate.  
8                   But it may be helpful in the rule itself to  
9                   spell out exactly how that divided up. What's  
10                  going on during the 180 days. What's NIOSH  
11                  doing? What's the Board doing? What  
12                  deadlines did the petitioners have to meet?  
13                  So I think what's being asked for here is  
14                  clarity in the rule.

15                  Is that a fair statement, Jim?

16                  **DR. MELIUS:** Yeah, correct.

17                  **DR. ZIEMER:** Without specifying exactly how  
18                  that's done. I think we're aware that the  
19                  various pieces of it are there. They're there  
20                  either in the original statutory requirement.  
21                  They are there in the Conference Report. They  
22                  are there in the preamble, and pieces are  
23                  there in the interim rule. So basically if  
24                  there's some way to clarify the rule itself so  
25                  that everything comes together clearly.

1           **DR. ZIEMER:** Is that a fair statement?

2           **DR. MELIUS:** Correct, that what we're asking  
3 NIOSH to do is to the extent that, I guess  
4 it's legally appropriate to clarify these in  
5 the rule and for parts that may not be  
6 appropriate to change in the rule to  
7 (unintelligible) explain in the preamble. But  
8 that there also, I think, should be some  
9 overall document that explains the process and  
10 the steps in the process and the approximate  
11 time periods that those steps are going to  
12 take.

13           **DR. ZIEMER:** So with those comments, again,  
14 this, the second item is fairly general so I'm  
15 going to ask if there's any major objections  
16 to it.

17           **MS. MUNN:** No, I do think we need to follow  
18 what we're requesting of others and probably  
19 tighten it up a little bit and be fairly  
20 specific (unintelligible) being as general as  
21 possible.

22           **DR. ZIEMER:** But is it just in the last  
23 sentence that the appropriate changes should  
24 be made within the rule --

25           **MS. MUNN:** Yes, within the rule.

1           **DR. ZIEMER:** -- within the rule to address  
2 these --

3           **MS. MUNN:** To address or to clarify these  
4 problems.

5           **DR. ZIEMER:** To clarify.

6           **MR. PRESLEY:** This is Bob Presley. I agree  
7 with that because I hate to six months down  
8 the road we're going to be coming back doing  
9 the same thing all over again if we don't get  
10 it right this time.

11           **DR. ZIEMER:** The comment here, then, the  
12 change Wanda suggested is that we say  
13 appropriate changes. And this is really the  
14 recommendation. Appropriate changes should be  
15 made within the rule to clarify these problems  
16 with the IFR and to make the final rule  
17 consistent with the Conference Report. Is  
18 that correct?

19           **MS. MUNN:** Yeah, I don't know whether we  
20 want to actually request -- my personal  
21 preference would be to include a request for a  
22 specific timeline as to how these things  
23 should flow. But perhaps that's asking for  
24 too much specificity.

25           **DR. ZIEMER:** I think actually the words Jim

1 has in here earlier talk about the timeline  
2 and so on. Again, it's general and it would  
3 be up to NIOSH's discretion as to how they  
4 handled that.

5 **MR. GIBSON:** This is Mike Gibson. So are we  
6 -- trying to get this kind of straightened out  
7 here in my head. Are we saying they're going  
8 to remove the 180 days from the text of the  
9 rule --

10 **MS. MUNN:** No.

11 **MR. GIBSON:** -- that we have in the preamble  
12 or are we going to -- in my opinion, we need  
13 it in the rule just like it was, you know, it  
14 would tend to be more clear to everyone  
15 involved that reads the rule.

16 **MS. MUNN:** Yeah, that was the sense of my  
17 suggestion.

18 **DR. ZIEMER:** Yeah, the point was that it's  
19 currently not showing up in the rule itself.

20 **MR. GIBSON:** Right.

21 **DR. ZIEMER:** It shows up in the preamble but  
22 was not showing up in the rule itself.

23 Okay, let's take a look at the third  
24 item. Any comments on that? Actually, this  
25 is kind of supplements the previous item, does

1 it not, Jim?

2 **DR. MELIUS:** Correct.

3 **MS. MUNN:** And again supports the concept of  
4 a timeline.

5 **DR. MELIUS:** I mean, I agree with Wanda on  
6 the need for a timeline. I'm just not sure  
7 that the rule making is the, may not be the  
8 appropriate place for sort of publishing that.  
9 It may be easier to do it in sort of a  
10 separate document that's guidelines that  
11 incorporates what's in the rule making.

12 **MS. MUNN:** Yeah.

13 **DR. ZIEMER:** I'm trying to get a feel for  
14 what we're actually asking for here with  
15 respect to the interim rule.

16 **DR. MELIUS:** I think what we're saying,  
17 specifically saying is that NIOSH should  
18 supplement the rule making process with  
19 section of some, a document set of guidelines  
20 that would cover the, you know, explain the  
21 entire process.

22 **MS. MUNN:** Perhaps we need to say it in just  
23 those words, Jim.

24 **DR. ZIEMER:** Well, the last sentence does  
25 say develop guidelines for the entire SEC

1 petition process including regular  
2 (unintelligible) covering at least portions  
3 required by the law. And by guidelines here  
4 you're not talking about rule making, but a  
5 supplemental guideline here.

6 **MS. MUNN:** Is that second paragraph  
7 considered a part of item three?

8 **DR. ZIEMER:** Item three?

9 **MS. MUNN:** I had thought that it was, I had  
10 thought that we were back in the letter again.

11 **DR. MELIUS:** That's part of three.

12 **DR. ZIEMER:** Any comments on the third item?  
13 This does not require a specific change in the  
14 interim guidelines, does it?

15 **DR. MELIUS:** No.

16 **MS. MUNN:** I don't see any indication here.

17 **DR. ZIEMER:** A supplementary action perhaps.

18 **DR. MELIUS:** Again, just background, I  
19 think, the intent of Congress, I think, in  
20 making the changes in the law and that is just  
21 to make this more timely. And I think if we  
22 cover the whole process, I think it, and  
23 explain the whole process, then, at least the  
24 petitioner will understand the steps that we  
25 take as part of the review and so forth, you

1 know, to keep it going in a timely fashion.  
2 Some of these steps it's more, you know,  
3 there's more uncertainty because of what's  
4 involved, but at least there'd be, again, just  
5 a better understanding. And we would sort of  
6 understand what we're trying to achieve with  
7 these types of recommended times.

8 **DR. ZIEMER:** Well, and that being the case I  
9 have a feeling that we should make a slight  
10 change in the introductory phrase to the three  
11 items. The introductory phrase says we have a  
12 number of questions and comments on the  
13 proposed amendments. I'm wondering if we  
14 might want to add this phrase to that, and  
15 their implementation. Because this third item  
16 really has to do with implementation of the  
17 amendments, I think. It's not a comment on  
18 the amendment per se. Is that a friendly  
19 amendment in your mind?

20 **DR. MELIUS:** Yes.

21 **DR. ZIEMER:** It would say we have a number  
22 of questions and comments about the proposed  
23 amendments and their implementation.  
24 Actually, do we have any questions in here or  
25 are they all comments?

1           **MR. GIBSON:** Well, this is Mike Gibson. The  
2 -- let me try to find this Conference Report.

3           **DR. ZIEMER:** I think these are all comments,  
4 Jim. Were there any questions in there per  
5 se? Did we ask any questions?

6           **DR. MELIUS:** Actually, an earlier draft had  
7 a question in number two, but I changed it to  
8 a comment.

9           **DR. ZIEMER:** So it should be we have a  
10 number of comments.

11          **DR. MELIUS:** Yeah, that's fair.

12          **DR. ZIEMER:** Make that change.

13                   Mike, I'm sorry. I interrupted you.

14          **MR. GIBSON:** That's okay. I was just, if I  
15 could ask Jim, I did finally find this part of  
16 this Conference Report, or one section of it  
17 under the SEC thing. It appears that it looks  
18 like they have, I think they reference maybe  
19 three time periods, 180 days and then the 30  
20 days a couple of times. So at least we should  
21 ask for those three time periods to be spelled  
22 out in the text. Is that one of the things  
23 you're asking, Jim?

24          **DR. MELIUS:** The 30 days already is, the 30  
25 day notification for action on the part of the

1 Secretary of HHS is already in the rule.  
2 That's okay. The 180 days is in the preamble.  
3 The second page, NIOSH identify all  
4 deficiencies in the petition within the first  
5 30 days I don't believe was directly  
6 addressed, and I guess we were asking them to  
7 clarify that. I wasn't quite sure how that  
8 fit into this time frame.

9 **DR. ZIEMER:** That answer your question,  
10 Mike?

11 **MR. GIBSON:** Yeah, I believe so.

12 **DR. ZIEMER:** Well, I want to ask or raise  
13 one other point here. Jim, according to my  
14 notes from the discussion we had at the Board  
15 meeting, we also had this issue of what  
16 constitutes a recommendation. It was the  
17 framework of whether or not the recommendation  
18 was we need more information and does the  
19 clock then start? Or do we need a specific  
20 recommendation, yea or nay from NIOSH, for the  
21 clock to start? Do you recall that  
22 discussion?

23 **DR. MELIUS:** Correct, and I guess it's  
24 really the clock to stop. It's the end of the  
25 180, the 180 days stops when NIOSH does an

1 evaluation report. It's not clear whether  
2 that includes a recommendation for, to accept  
3 or deny the special exposure cohort petition.  
4 So I think what we're asking for is that to be  
5 clarified. I think that it's --

6 **DR. ZIEMER:** Well, you didn't mention that,  
7 the issue of what constitutes a recommendation  
8 here although we had that discussion.

9 **DR. MELIUS:** It's in part two for number  
10 two, the middle of that paragraph. It's the  
11 top of page two.

12 **DR. ZIEMER:** Okay, when you say "but not  
13 necessarily a recommendation".

14 **DR. MELIUS:** We did not specify, I did not  
15 specify what is a recommendation because it  
16 again it's one of these things that it is  
17 confusing because we also have, and have  
18 already, sort of split up petitions. So is it  
19 a recommendation on one part of a petition or  
20 is it a recommendation on all parts and so  
21 forth. And again, I think it's one of these  
22 areas where we're overall trying to achieve  
23 reasonable, appropriate timeliness and to keep  
24 the process moving.

25 And it may very well be that at the

1 evaluation stage we often will split, or when  
2 we're evaluating what NIOSH's report, we may  
3 want to approve one time period and not  
4 another or something like that. And so I  
5 guess I'd want to try to get in, trying to  
6 avoid having to get in a lot of specifics  
7 because it's fairly complicated. I just think  
8 there needs to be some recognition that to  
9 keep the process moving than just having an  
10 evaluation to have a recommendation or a  
11 recommendation --

12 **DR. ZIEMER:** Well, what you say in the  
13 second line of the second page in the  
14 parenthetical statement, the period ends with  
15 the presentation of just the evaluation report  
16 but not necessarily a recommendation.

17 **DR. MELIUS:** Right, that's my understanding  
18 of what NIOSH's current draft was, their  
19 current interim file.

20 **DR. ZIEMER:** Okay, but just the evaluation  
21 report but not necessarily a recommendation.  
22 And how does that relate to the 180 days as  
23 mentioned in the statutory requirement? I  
24 know, Larry, can you help me out here? Larry  
25 Elliott, there's a requirement for a

1 recommendation, but the statutory requirement  
2 does not necessarily spell out that the  
3 recommendation has to be kind of an up or  
4 down. I think you've interpreted it as it  
5 permits gathering more information or --

6 **DR. NETON:** Yes, this is Jim Neton. Larry  
7 just stepped out of the room.

8 **DR. ZIEMER:** Jim, can you clarify that  
9 point?

10 **DR. NETON:** I'm not sure I can. I don't  
11 know if Ted or Liz can help out with that.

12 **MS. MUNN:** What would an evaluation report  
13 be if it does not include a recommendation?

14 **DR. ZIEMER:** Say it again?

15 **MS. MUNN:** I said what would an evaluation  
16 report be if it did not include a  
17 recommendation; what kind of an evaluation  
18 would we have?

19 **DR. WADE:** Do we have Ted or Liz available  
20 to speak to that?

21 **MR. ELLIOTT:** This is Larry Elliott. I just  
22 stepped back in the room.

23 **DR. ZIEMER:** Larry, we're trying to get some  
24 clarification on the understanding of what  
25 constitutes a recommendation. I believe as

1 NIOSH has understood it, it's not necessarily  
2 a recommendation that the petition is -- that  
3 you're going to make a recommendation for a  
4 class or not a class be added, but the  
5 recommendation could also be that you need  
6 more information or something along that line.

7 **MR. ELLIOTT:** Are you asking about --

8 **DR. ZIEMER:** Yeah.

9 **MR. ELLIOTT:** -- recommendation as it's  
10 presented to us in the Defense Authorization  
11 Act?

12 **DR. ZIEMER:** Or how you're using it at  
13 least.

14 **MS. MUNN:** And I'm asking whether an  
15 evaluation would ever be made that did not  
16 include a recommendation.

17 **MR. ELLIOTT:** Well, I can answer that last  
18 question quickly and easily. All evaluation  
19 reports that we sign off on here as complete  
20 have a recommendation to either add or deny a  
21 class. That's on a scientific basis we  
22 provide that conclusion. And then, you know,  
23 the Board takes that up of course.

24 **MS. MUNN:** Good, so our parenthetical  
25 statement but not necessarily a recommendation

1 is not necessary. An evaluation report would  
2 by definition include a recommendation.

3 **MR. ELLIOTT:** Yes, that's correct. By  
4 definition an evaluation report would include  
5 a recommendation. To answer the other  
6 question that I hear you asking what is our  
7 interpretation of the word recommendation as  
8 it is presented in the Defense Authorization  
9 Act? The amendment to this rule --

10 **DR. ZIEMER:** Do you have 180 days to make an  
11 evaluation report?

12 **MR. ELLIOTT:** It's certainly our intent to  
13 try to come forward with an evaluation report  
14 that includes a recommendation within the 180  
15 day time frame. In one instance, Rocky Flats,  
16 we were not able to provide an evaluation  
17 report, as you know, because we were all  
18 wrestling with questions that were raised  
19 about the site profile.

20 And we felt that those questions  
21 needed to be resolved and put to bed before we  
22 could provide a evaluation report. And so we  
23 made a recommendation to essentially postpone  
24 the delivery of the evaluation report until  
25 the site profile issues, questions, were

1 resolved. That was an interpretation at that  
2 point in time on that particular petition that  
3 we made.

4 **DR. MELIUS:** This is Jim Melius. But  
5 refresh my memory, but my recollection was  
6 that on Y-12 that the sort of I would call it  
7 the partial recommendation, recommended only  
8 one aspect of the petition was considered as  
9 meeting the 180 day --

10 **MR. ELLIOTT:** Well, Jim, you certainly bring  
11 up another set of nuances about this whole  
12 process. At Y-12, as an example, we had three  
13 petitions that we combined and responded to  
14 with one evaluation report. And one of those  
15 petitions, the proposed definition in that  
16 petition was broader than the time frame or  
17 the class that we evaluated and recommended  
18 adding, and we're still working on that now.  
19 That begs the question of interpretation as to  
20 did we meet the 180 days for all three of  
21 those petitions or not? And I'll let you all  
22 decide how you arrive in an interpretation of  
23 that.

24 **DR. ZIEMER:** I guess my main question is  
25 does the new rule, or what now is the interim

1 rule, address that in any way that helps the  
2 petitioner understand that as an option that  
3 could be, or an outcome that could result,  
4 that in essence there may not be closure in  
5 180 days from the point of view of making an  
6 up or down recommendation?

7 **MR. ELLIOTT:** This is Larry Elliott again.  
8 I would ask Ted to chime in here, weigh in,  
9 but I don't believe we provide that specific  
10 level of detail that would give a petitioner  
11 that understanding.

12 **DR. ZIEMER:** That could be provided in a  
13 guideline such as we talked about with item  
14 three which would not be part of the rule but  
15 could --

16 **MR. ELLIOTT:** It's certainly something that  
17 we practice here in our assistance that we  
18 give to the petitioner. As we work with the  
19 petitioner, and we walk with them hand-in-hand  
20 through this process, we explain how their  
21 petition is being handled. But Ted, were you  
22 going to offer a comment about our language in  
23 the rule on this point?

24 **MR. KATZ:** Yeah, sure, we didn't change  
25 anything with respect to what constitutes a

1 recommendation in the rule because in the rule  
2 an evaluation report includes a  
3 recommendation, a recommendation. So we  
4 haven't changed, there's nothing in the rule  
5 that really addresses this which has really  
6 just come up, you know, late last fall.

7 **DR. ZIEMER:** Well, I wanted to make sure  
8 since it was discussed at the last Board  
9 meeting in the context of this document that  
10 if the Board wished to, and you may feel like  
11 item two already discusses it adequately and  
12 raises the issues, then that's fine. I just  
13 wanted to make sure that we've covered those  
14 things that the Board raised. And Jim, I  
15 think that your feeling was that it does raise  
16 the issue.

17 **DR. MELIUS:** Correct, and this needs to be  
18 clarified either in, to the extent it can in  
19 the regulation. If not, in guidelines that  
20 would explain what the various steps in the  
21 process are or could be.

22 **MR. GIBSON:** This is Mike Gibson. I agree  
23 with, I believe Wanda was saying that if you  
24 look at the, I guess, how do you define  
25 recommendation. And it's hard for me to see

1 someone coming forth with that after their 180  
2 days is up and not having an approval or  
3 denial. I mean, I understand that there's  
4 complications, but just by the mere what I  
5 consider the definition of recommendation. If  
6 it doesn't have a recommendation to approve or  
7 deny, it seems like it's a meaningless  
8 deadline or something. I mean it just seems  
9 like it needs to be defined in the rule what a  
10 recommendation is.

11 **DR. DeHART:** This is Roy. Some of these  
12 recommendations we've already seen, of course,  
13 where we've divided topulations (ph) and time  
14 frames and so on. Perhaps what is needed is  
15 when that kind of recommendation is done or  
16 there is need to go further in time in  
17 reviewing or seeking out information or  
18 ensuring that we have the proper description  
19 of a site, there should be a time frame added  
20 to that then that says expect a interim  
21 recommendation, a further interim  
22 recommendation within 90 days or something of  
23 that sort instead of it hanging out there  
24 forever and the petitioner having no idea when  
25 they might hear again.

1                   **DR. ZIEMER:** Okay, thank you, Roy.

2                   Any further comments on this?

3                   (no audible response)

4                   **DR. ZIEMER:** It's probably an issue that  
5 would be worth clarifying in some way if only  
6 in the guideline. I think at least based on  
7 the discussion here, I think NIOSH folks might  
8 be in a position to at least try to address  
9 that as part of the clarification process.

10                  I want us to try to come to closure.  
11 We have to provide some comments within the  
12 week. It would be appropriate at this time if  
13 we're comfortable with what we have already  
14 discussed and the few changes that we've made  
15 in the document to call for a motion to  
16 approve these comments and submit them to  
17 NIOSH.

18                  **MR. GIBSON:** Paul, this is Mike. Is that  
19 what the sum of the discussion we've had that  
20 modifies --

21                  **DR. ZIEMER:** It includes two typographicals.  
22 It includes adding a couple of references and  
23 includes a few minor word changes. Of course,  
24 there is a contextual discussion that's in the  
25 record with that as well.

1           **DR. DeHART:** I think that NIOSH having been  
2 a participant in listening to the discussion  
3 and joining in periodically that they  
4 certainly understand the Board's concern and  
5 can address that even though we may have only  
6 minor changes in the documentation that will  
7 be submitted as our comments to the proposed  
8 rule.

9           **DR. ZIEMER:** And therefore --

10          **DR. DeHART:** And therefore, I move that we  
11 allow for the modifications of the document  
12 submitted by Dr. Melius and forward that to  
13 NIOSH.

14          **MR. PRESLEY:** It's Bob Presley. I second  
15 that motion.

16          **DR. ZIEMER:** Further discussion?

17          **MR. GIBSON:** Yeah, I have one point of  
18 discussion. Do we expect NIOSH to look at  
19 these recommendations we have and modify their  
20 findings if they so choose and then let us see  
21 that again so that if we have additional  
22 comments we could submit them before the 30-  
23 day extension is up?

24          **DR. ZIEMER:** The period is up on the 23<sup>rd</sup>  
25 which is only a week away. They had a 30-day

1 extension to allow the time period to at least  
2 include our deliberations today, but it's not  
3 30 days from today. There's only a week.

4 **MR. GIBSON:** I understand that.

5 **DR. ZIEMER:** So I think in I guess NIOSH  
6 people can comment. I think that the process  
7 is such that they receive the comments, but I  
8 don't think they're required to respond to  
9 them. They have a certain amount of period in  
10 which to make the changes. Isn't that  
11 correct, Larry or Ted? Or make any changes  
12 they believe that they should make based on  
13 comments.

14 **MR. ELLIOTT:** This is Larry Elliott and,  
15 Ted, you should weigh in here as well. I  
16 would offer this in response to Mike and to  
17 you, Dr. Ziemer. We are in public comment  
18 period in this rule making. We are listening  
19 to what you have to say. We would welcome the  
20 consensus comments of the Board, and as we  
21 have treated them in the past rule making.

22 We will show how we have reacted and  
23 how we addressed your comments as well as  
24 those of the public in the preamble of the  
25 rule when it's finalized. We will take the

1 public comments. We will take up the Board  
2 consensus comments that you're putting  
3 together today, and we will revise the rule as  
4 we see appropriate and produce a final rule  
5 that will specify how we handled those  
6 comments.

7 Ted, do you want to add anything to  
8 that?

9 **MR. KATZ:** No, thanks, Larry, that was  
10 perfect.

11 **DR. WADE:** This is Lew Wade. I would also  
12 point out the individual Board members are  
13 free to comment as they would.

14 **MR. ELLIOTT:** Yes, that's correct, right,  
15 appreciate your addition there.

16 **DR. ZIEMER:** And Mike, does that answer your  
17 question?

18 **MR. GIBSON:** Yeah, that answers it.

19 **MR. ELLIOTT:** Mike, I would offer this as  
20 well. Like all of our rules if there are  
21 comments that the public wishes to provide us  
22 once we have finalized a rule we certainly  
23 accept those comments. Even though we're not  
24 involved in rule making, we can take a comment  
25 of substance and go back into rule making and

1 make a change if --

2 **DR. ZIEMER:** So it's not frozen forever. If  
3 this motion passes, I'm going to ask Jim to  
4 make the changes with the appropriate  
5 references and get copies out to all of us,  
6 and then I will get it officially transmitted.  
7 Jim, is that agreeable?

8 **DR. MELIUS:** That's fine. I should be able  
9 to get that out later this afternoon or  
10 tomorrow depending on how long we go with our  
11 call today.

12 **MR. ELLIOTT:** Dr. Ziemer, this is Larry  
13 Elliott again. If I might offer one more  
14 suggestion for Dr. Melius' and your  
15 consideration? In your, as you're writing  
16 this up, I think it would be beneficial if you  
17 would refer to the transcript that's created  
18 from today's discussion so that it will add  
19 and enhance whatever you put in your  
20 recommendation to us.

21 **DR. MELIUS:** Right.

22 **DR. ZIEMER:** In other words the contextual  
23 background for this. Thank you, that's a good  
24 suggestion.

25 I think you can just add that, Jim.

1           **DR. MELIUS:** I will, and of course, our fine  
2 transcriber will have a transcript ready by  
3 tomorrow.

4           **DR. ZIEMER:** Do these comments go, do these  
5 need to go to the Secretary, Lew?

6           **DR. WADE:** I don't believe so.

7                       I mean, Larry, where do the comments  
8 to the rule go?

9           **MR. ELLIOTT:** As the rule specifies they  
10 should be submitted to the NIOSH Docket Office  
11 or to me directly, and we'll include them in  
12 the docket for this rule making.

13           **DR. ZIEMER:** Okay, thank you.

14                       We'll call for a vote now. We'll have  
15 to take a roll call vote here.

16           **DR. WADE:** To the motion before the Board,  
17 Brad Clawson?

18           **MR. CLAWSON:** Aye, I accept.

19           **DR. WADE:** Roy DeHart.

20           **DR. DeHART:** Yes.

21           **DR. WADE:** Michael Gibson.

22           **MR. GIBSON:** Yes.

23           **DR. WADE:** Mark Griffon.

24           **MR. GRIFFON:** Yes.

25           **DR. WADE:** James Lockey.

1 DR. LOCKEY: Yes.

2 DR. WADE: James Melius.

3 DR. MELIUS: Yes.

4 DR. WADE: Wanda Munn.

5 MS. MUNN: (inaudible)

6 DR. WADE: Wanda, are you with us?

7 MS. MUNN: Yes.

8 DR. WADE: Robert Presley.

9 MR. PRESLEY: Yes.

10 DR. WADE: Gen Roessler.

11 DR. ROESSLER: Yes.

12 DR. WADE: And Paul, there's no need for you  
13 to vote so it's --

14 DR. ZIEMER: Well, I vote anyway, yes.

15 That completes this item on our  
16 agenda. Thank you very much. We're not too  
17 far off of schedule.

18 DR. WADE: And this is Lew Wade. You're to  
19 be complimented for dealing with a very  
20 difficult issue in a telephone call. You did  
21 extremely well.

22 DR. ZIEMER: Thank you.

23 **REPORT OF WORKING GROUP: Y-12 SITE PROFILE**

24 Next we have a report of the working  
25 group on the Y-12 site profile.

1           **DR. WADE:** If I could, this is Lew Wade, if  
2 I could make some introductory comments on  
3 that.

4           **DR. ZIEMER:** Yeah, go ahead.

5           **DR. WADE:** Just to remind you, and it's  
6 fairly complex and even relates to the things  
7 we just talked about. The Board is actively  
8 involved in the review of the Y-12 site  
9 profile. The Board's contractor, SC&A, is  
10 actively engaged in the review of the site  
11 profile. At the same time we have an opened  
12 SEC petition on Y-12 that sits before us.

13                   What the Board has done in its wisdom  
14 is it's asked the working group chaired by  
15 Mark Griffon that looks at site profiles, dose  
16 reconstruction and procedures reviews to try  
17 and focus their review of the Y-12 site  
18 profile to at this time focus on those issues  
19 that are in the opinion of all involved  
20 germane to the issues the Board will face on  
21 the SEC petition. And there's a broad matrix  
22 that exists that covers all issues. Mark and  
23 his working group have narrowed that, and  
24 we'll hear a report from them on the overall  
25 matrix but more focusing on the specific items

1                   that relate to the SEC.

2                   It is NIOSH's intention to put before  
3                   the Board before the end of April meeting, and  
4                   our target is very early April, an evaluation  
5                   report on this SEC petition that contains a  
6                   definitive recommendation. I refer to our  
7                   previous discussion. Therefore, it's  
8                   incumbent on all of us to try and close as  
9                   many of the technical issues as possible.

10                  To further complicate the matter,  
11                  there's also a working group of the Board  
12                  chaired by Dr. Melius that is looking at the  
13                  activities related to SC&A as it relates to  
14                  their work on their task that relates to an  
15                  SEC. We asked SC&A to look at one broad  
16                  review, that was Ames, Iowa, and two focused  
17                  reviews, they being Y-12 and Rocky Flats. So  
18                  that activity is going on in parallel. We'll  
19                  hear from John Mauro after lunch on that.

20                  But now the stage is set for us to  
21                  hear from Mark Griffon's working group as it  
22                  relates to the Y-12 site profile review with  
23                  particular emphasis on issues that relate to  
24                  the SEC petition that's pending.

25                  **DR. ZIEMER:** Very good, thank you, Lew. And

1 Mark has distributed to the Board within in  
2 the last day the work group minutes which  
3 cover both Y-12 and Rocky Flats. Those are  
4 minutes of a February 27<sup>th</sup> meeting, and I  
5 think, Mark, maybe you sent those out  
6 yesterday or it's fairly recent anyway. And  
7 then also the matrix of priority items that  
8 are relevant to the SEC petition. And that  
9 matrix is officially, let's see, for Y-12 it's  
10 dated February 27<sup>th</sup>, and I think was  
11 distributed to Board members within the last  
12 couple of days. So you should all have those  
13 copies.

14 Mark, take us through the issues that  
15 you think are pertinent here. And keep in  
16 mind now that these are, there's a number of  
17 items that were identified by SC&A that are  
18 identified here as being related to the SEC  
19 petition.

20 **DR. ROESSLER:** This is Gen. Mark, could you  
21 tell us what the top of that document looks  
22 like to make sure that we're on the right --

23 **DR. ZIEMER:** Gen, we can barely hear you.

24 **MR. GRIFFON:** I'm going to speak mainly from  
25 the matrix, Gen, and it's titled Y-12 Site

1 Profile Review, Matrix of priority issues  
2 potentially relevant to SEC petition review,  
3 prepared by the work group, February 27<sup>th</sup>, '06.

4 **DR. ROESSLER:** Okay, thank you, Mark.

5 **MR. GRIFFON:** And Paul, do you want me to  
6 proceed?

7 **DR. ZIEMER:** Yeah, go ahead, Mark.

8 **MR. GRIFFON:** First, I should say I guess  
9 you just got these documents so you probably  
10 would not have had a great deal of time to  
11 review them. It did take a lot of time last  
12 week between myself, SC&A and NIOSH to sort of  
13 from all of our notes fine tune these things.  
14 And I still think there's probably some things  
15 that we have open for discussion on the  
16 wording. But --

17 **DR. ZIEMER:** But we did have the identified  
18 items in January at our meeting, right?

19 **MR. GRIFFON:** That's correct, and that's why  
20 in the middle column you'll see action items  
21 labeled January 8<sup>th</sup>, '06, and on the final  
22 column in the matrix you see the February 28<sup>th</sup>

23 --

24 **DR. ZIEMER:** Right, because we had gone  
25 through those items at our January meeting.

1           **MR. GRIFFON:** Right, and the items  
2 underneath in the columns line up one-to-one.  
3 In some cases there's more items in February  
4 28<sup>th</sup>. That means we had a new action item that  
5 wasn't previously identified on January 8<sup>th</sup>,  
6 but in most cases it matches up one-to-one.  
7 So you'll see for item one it's been completed  
8 by NIOSH. I'm looking on the first page.  
9 They posted the database on the O drive. And  
10 I can step through this, but, you know, to  
11 give you the major updates on where we're at.

12           Item two, this is looking at the  
13 Health Physics reports for Y-12. And the  
14 reason to do this is as a means to test the  
15 reliability of the CER database as the Y-12  
16 databases because they are used for the  
17 coworker models and NIOSH is still in the  
18 middle of this assessment. They did give us a  
19 preliminary update on one of the points raised  
20 out of the Health Physics reports, but they're  
21 going to, as you can see, do a further  
22 assessment based on some of those reports and  
23 comparing the summary data in the reports to  
24 the actual full databases.

25           Any time anybody wants more detail on

1                   these stop me, but I'll just go ahead through  
2                   these. Item three is again NIOSH has  
3                   identified I guess some former lab workers or  
4                   a lab manager that indicated that these  
5                   laboratory logbooks should be available, and  
6                   they're trying to pull that thread and find  
7                   out exactly where they might be. So again,  
8                   this is an outstanding action item. They're  
9                   going to attempt to find at least some of  
10                  these laboratory logbooks. And again, this is  
11                  to look at the reliability of the data in the  
12                  databases.

13                   Number four, this is the question of  
14                   how the units were converted from the raw data  
15                   to the database has units of dpm per day per  
16                   24 hour. And NIOSH has provided actually just  
17                   yesterday or the day before an e-mail with  
18                   some more clarification on that. So they were  
19                   tasked with doing this, and actually they've  
20                   provided us additional information which the  
21                   Board or the work group and SC&A have just  
22                   received.

23                   **MS. MUNN:** Really nice to see that factor of  
24                   eight issue.

25                   **MR. GRIFFON:** Right, the factor of eight in

1 the equation.

2 Number five and, well, number five  
3 basically asked if there was any QC  
4 documentation available, QC reports or  
5 anything like that regarding the bioassay  
6 program from the early years or the years in  
7 question and to date nothing has been  
8 identified. So I think NIOSH foresees a dead  
9 end here. Although when they're looking for  
10 other materials, it may turn up, but at this  
11 point nothing has been identified.

12 **DR. ZIEMER:** What will be the impact of  
13 that?

14 **MR. GRIFFON:** I guess it was another way to  
15 lend a level of confidence in the database  
16 itself, the reliability of the data in the  
17 database.

18 **DR. ZIEMER:** That would be a kind of an  
19 independent assessment of data quality?

20 **MR. GRIFFON:** Right.

21 And the last item, number six, the  
22 other part goes on the next page, this is a  
23 dead end. I think this action is no longer  
24 outstanding. There was some discussion of the  
25 fact that Y-12 had received permission from

1           DOE for using the electronic record as the  
2           record of, the sort of legal record; and  
3           therefore, the raw data records might have not  
4           been kept. And but there was, theoretically  
5           they thought they could find some memorandum  
6           to this effect, and they could not produce  
7           this. So I think they've sort of stopped that  
8           action.

9           **DR. NETON:** Mark, this is Jim Neton. I can  
10          give you a brief update as to where we are  
11          with some of this. It turns out that we have  
12          identified a source of the original IBM punch  
13          cards that were used to record the data. In  
14          fact, the cards were sort of pre-made out and  
15          in the laboratory, the lab analysts wrote the  
16          results on the card and then they were  
17          keypunched. ORAU is going over there now to  
18          review this cache of these punch cards.

19          **MR. GRIFFON:** So this is not lab books, but  
20          it's punch cards?

21          **DR. NETON:** Right, the lab books just turned  
22          out to be a dead end, but the punch cards are  
23          there. And we've identified the room and the  
24          person that owns them right now. And they're  
25          going to go through and try to pull out some

1 representative samples of those cards.

2 **MR. GRIFFON:** That's a good find.

3 **DR. NETON:** Yeah, that was encouraging. We  
4 had a conference call yesterday on this issue.

5 **MR. GRIFFON:** Any other updates on that  
6 item, Jim?

7 **DR. NETON:** No, I think that was it.

8 **MR. GRIFFON:** On to the next page, 1a-3, and  
9 you'll see no action, and that means it's  
10 basically not considered an SEC issue here.  
11 One a-4, again, no action, so that 1a-5, 1a-6,  
12 same thing, no action. And on these when we  
13 say no action, again, we're saying it doesn't  
14 appear to be relevant to the SEC review. It's  
15 still on the site profile.

16 **DR. ZIEMER:** The site profile issue, but not  
17 --

18 **MR. GRIFFON:** Right, right, so we did try to  
19 narrow down issues here.

20 On to the next page, 1b, this was a  
21 major part of our discussion in the work group  
22 meeting surrounding the 6,000 pages, yes,  
23 6,000 scanned pages that were identified, so  
24 we'll step through these. Item 1, the thorium  
25 air sampling data, this particular dataset is

1 post 1960, so it's not within the time frame  
2 of the specified SEC petition. So there's no  
3 outstanding actions on that.

4 Item 2 was an update on the 6,000  
5 pages. NIOSH provided this to SC&A in its raw  
6 form, and also an ORAU team led by Mel Chew, I  
7 believe led by Mel Chew anyway, took a close  
8 assessment of this data. And there's several  
9 actions in here if you can sort them out. I'm  
10 going to try myself, but --

11 **DR. ZIEMER:** Yeah, Mark, let me interrupt,  
12 Ziemer here. On that first item on the  
13 thorium, the sample database is not within the  
14 sufficient time frame, right?

15 **MR. GRIFFON:** Right.

16 **DR. ZIEMER:** But if we had inhalations, I'm  
17 trying to get an understanding of, could there  
18 not still be individuals who got exposed at  
19 that time that are carrying body burdens  
20 forward into the specified time interval?

21 **MR. GRIFFON:** Well, this is air sampling  
22 data, I believe.

23 **DR. ZIEMER:** It wasn't used then as bioassay  
24 data so they don't need that for --

25 **MR. GRIFFON:** Well, that's the impression

1 right now. Jim, can probably speak to this  
2 better, but the indication we have there's  
3 still an outstanding question about thorium  
4 exposures in the '50s. There seems to be some  
5 question of, at least some pilot-run-type  
6 activities for pilot operations. And just how  
7 they're going to be assessed from a dose  
8 standpoint I don't think NIOSH has presented  
9 that to us yet. They're still reviewing that.  
10 But this air sampling data was for later years  
11 with different, I guess sort of a full  
12 production runs and they felt --

13 **DR. ZIEMER:** So these are for later years?

14 **MR. GRIFFON:** Yes.

15 **DR. ZIEMER:** Oh, not prior, oh, okay.

16 **MR. GRIFFON:** Post-1960.

17 **DR. ZIEMER:** Okay, post. Okay, that answers  
18 my question.

19 **MR. GRIFFON:** I guess because of the  
20 different types of operations they didn't feel  
21 that it would be necessarily a factor  
22 extrapolation or anything.

23 **DR. ZIEMER:** So that's post-1960. I missed  
24 that. You're fine.

25 **MR. GRIFFON:** So in item 2, the 6,000 pages

1 Mel Chew and his team actually assembled all  
2 this data in an Excel spreadsheet. I believe  
3 the spreadsheet's going to be provided to the  
4 Board and SC&A.

5 **DR. NETON:** Mark, this is Jim. I put it out  
6 there this morning on the O drive. So there's  
7 7,400 individual bioassay records out there  
8 now on an Excel spreadsheet including some  
9 thorium results by the way.

10 **MR. GRIFFON:** Two a, they agreed to, in  
11 looking at this, what we're calling the Delta  
12 View dataset or data -- it's sort of scanned  
13 images in. It's not really a database. But  
14 in querying this dataset I guess ORAU and the  
15 team requested other radionuclides other than  
16 uranium, which was the task at hand. But in  
17 doing so several of the sheets also in  
18 addition to running for urinalysis for  
19 plutonium, for instance, they often did  
20 uranium urinalysis so now we have this new  
21 cache of uranium results.

22 And in item 2a we're asking NIOSH to  
23 give us an assessment of whether these uranium  
24 results within the Delta View dataset are  
25 bounded by the results in the larger CER

1 database. It seems like they are not included  
2 in the CER database necessarily, but it may be  
3 that the results are bounded by the  
4 distribution that's developed from the CER  
5 dataset if that makes any sense to people.

6 Did I state that correctly, Jim?

7 **DR. NETON:** Yeah, you got it exactly right,  
8 Mark.

9 **MR. GRIFFON:** So we're looking at  
10 additional, there may be some uranium data,  
11 and we're going to assess that. That's where  
12 that stands. I guess that's 2a and b, I kind  
13 of, I think I put those two together.

14 Two c, we asked that in the  
15 discussions there was quite a bit of useful  
16 presentation from Mel Chew regarding the  
17 Calutron/cyclotron production histories and  
18 the different runs that went on. And they  
19 said they could actually assemble a timeline  
20 and references for these production runs which  
21 might be useful in terms of looking at the  
22 source of different exposures over time. So  
23 they're going to do that as well.

24 **DR. NETON:** Yeah, Mark, this is Jim. Those  
25 references are now out there as well. I will

1 put out an e-mail later today to the working  
2 group and SC&A folks to outline what we've put  
3 out there in the last day or so, but they are  
4 there.

5 **MR. GRIFFON:** Great. Is that someone else,  
6 I'm sorry.

7 **DR. ZIEMER:** Go ahead.

8 **MR. GRIFFON:** So then we're on to item 3.  
9 Item 3 is the other radionuclides outside the  
10 Calutron/cyclotron processing -- and I'm just  
11 reading along with you here. So we have these  
12 other sources of exposure that NIOSH is going  
13 to look into including plutonium, uranium-233  
14 and neptunium components.

15 And also further down there's this  
16 other question of the thorium processing that  
17 has come up. And this is the pre-1960 pilot  
18 runs is what we were led to believe anyway.  
19 So this is still an outstanding item, and I  
20 think it's outside the information that might  
21 have come out of those 6,000 pages.

22 Jim, is that correct? Hello?

23 **DR. ZIEMER:** Maybe we lost Jim. I don't  
24 know. Jim, are you there?

25 **MR. GRIFFON:** There was some static on the

1 line there.

2 **DR. ZIEMER:** No, go ahead.

3 **MR. GRIFFON:** Anyway I believe that's an  
4 outstanding item they're pursuing.

5 And then item 4, the X-10 department  
6 information, X-10 department 4000 or 4-X-X-X,  
7 actually, the 4000 series of departments, was  
8 theoretically supposed to be the X-10 workers  
9 that worked at Y-12, I believe, in these  
10 operations. And we were or NIOSH was  
11 considering looking at that data as another  
12 source of characterizing exposures in the  
13 Calutron/cyclotron areas for these runs. But  
14 I think they've sort of are not, no longer  
15 pursuing this approach in lieu of the, I think  
16 they're going to use the 6,000 records of  
17 production histories instead of that.

18 And then number five is the recycled  
19 uranium and the recycled uranium, I think,  
20 let's see -- there was a presentation of how  
21 they were going to handle recycled uranium in  
22 the original TBD, Table 5.2. SC&A provided  
23 comments, and I think NIOSH is reviewing  
24 SC&A's comments and were going to give an  
25 update on that. And the issue here, the

1 primary issue here I think is one of where  
2 these materials might have concentrated in  
3 various areas around the plant so as to have  
4 different ratios in different areas and how  
5 you place people in time, similar issues we've  
6 had before.

7 Going on to 1c, 1c-1 has no applicable  
8 items really for the SEC. On down to 1d, that  
9 whole page no action items remain for the SEC,  
10 1d, 1e-1 and I think we're on down to  
11 external; 1f is also no action items, right?

12 **DR. ZIEMER:** Yes.

13 **MR. GRIFFON:** External dose issues, item 1a  
14 is very similar to the internal item 1a which  
15 is looking at the reliability of the database  
16 data for purposes of coworker models and you  
17 can see the (unintelligible) NIOSH has  
18 provided this information. They've completed  
19 those actions.

20 Item 3, NIOSH provided the data on the  
21 147 workers. This was a previous action item,  
22 and SC&A has just done a preliminary review of  
23 that data, and we feel like we're in the  
24 middle of a discussion on that really.

25 The fourth item this is a comparison

1           between hard copy records similar to what Jim  
2           was just referencing was the punch cards,  
3           testing the, or checking the reliability of  
4           the database. And one source of analysis came  
5           from the Delta View data records. There were  
6           some external radiation records in there.

7                        NIOSH provided a report on their  
8           comparison of those external raw records with  
9           the database concluding that actually there  
10          was a pretty good match. SC&A and the work  
11          group have not had a chance to really review  
12          that report, so we're in the middle of looking  
13          at that. And NIOSH only did a sample looking  
14          at 1953 records out of that. So again, this  
15          is an outstanding item to check raw records to  
16          the extent we can support their reliability or  
17          confirm or deny the reliability of the CER  
18          database.

19                       And then the fifth item is the same  
20          quality control item, and again, they haven't  
21          found these sort of quality control reports  
22          they were hoping to uncover.

23                       **DR. NETON:** Mark, this is Jim. I'm a little  
24          confused on number three where we're at. I  
25          guess I thought we had sort of come to some

1 conclusions there that --

2 **MR. GRIFFON:** My understanding from SC&A is  
3 that they -- is John on the line?

4 **DR. MAURO:** Yes, I am. Go ahead. I'll pick  
5 up after you proceed.

6 **MR. GRIFFON:** Well, I'm asking you for a  
7 response. Where do you think we're at?

8 **DR. MAURO:** With regard to the use of the  
9 140 data, I see that as more of a site profile  
10 issue whereby the extrapolation method that's  
11 being used where they have 147 datasets that  
12 was compiled as a means to extrapolate back to  
13 predict what doses the workers were pre-1961,  
14 that the procedure, a sophisticated  
15 statistical method, and we are looking very  
16 closely at that from the point of view that  
17 this fundamental theme here is that the data  
18 that is available represents those workers  
19 that experienced elevated exposures and not a  
20 cohort sample so to speak.

21 And that goes to the question of can  
22 you use the approach, the statistical  
23 approach, as laid out in one of their  
24 procedures -- I forget the number -- as a good  
25 means, a coworker approach, to reconstruct the

1 doses pre-1961? Bear with me for a minute. I  
2 don't see that as an SEC issue, and the reason  
3 as follows: that approach, though it may have  
4 certain questions regarding is it really the  
5 optimal approach for reconstructing, for a  
6 coworker dataset for reconstructing doses.  
7 There are other approaches that could be used  
8 that would be more claimant favorable that we  
9 are currently looking at in looking at the  
10 records, the 147 records.

11 But it really becomes a matter of has  
12 NIOSH developed a protocol that is  
13 scientifically robust and claimant favorable?  
14 But it's really a matter of degree, and this  
15 is where a judgment will have to be made as to  
16 which strategy is the one that's most  
17 scientifically robust and claimant favorable.  
18 I don't see that as an SEC issue because there  
19 is a strategy.

20 In other words, we believe that you  
21 can reconstruct these doses, the external  
22 doses, and it's really a matter of how  
23 conservative do you want to be. So I guess  
24 I'm hoping that helps answer the question. We  
25 see it as certainly a site profile issue but

1 not as an SEC issue.

2 **MR. GRIFFON:** Well, the --

3 **DR. MAKHIJANI:** Mark, may I add something.  
4 Joe is not on the call, and before Joe left,  
5 and even after he left, we had some exchanges  
6 of e-mails and this is sort of an, like a  
7 yesterday and today issue. I'm sorry for the  
8 additional comments here, but Joe had asked me  
9 to make sure that the paper that George Kerr  
10 handed out on February 27<sup>th</sup>, which only he and  
11 I have since we were the only SC&A  
12 representatives there, was properly reviewed  
13 internally. Now I sent it to Ron Buchanan  
14 yesterday, and then he sent a preliminary  
15 response back.

16 I had some questions about one of the  
17 items in relation to the increase of beta  
18 doses that was significant on a per person  
19 basis within the 1950s which is not explained  
20 in the analysis by Dr. Kerr. And I would say  
21 that while broadly, you know, all of us  
22 thinking like John, but some questions that we  
23 need addressed in the paper that Dr. Kerr  
24 handed out.

25 **DR. MAURO:** This is John Mauro. I hope you

1 can hear me okay. I heard some noise on the  
2 line.

3 Yes, there's certainly some issues  
4 related to the patterns of exposures we're  
5 looking at in pre-'61 and whether or not those  
6 patterns are indicative that perhaps these are  
7 not the high end population or cohort as  
8 represented. And I think those certainly need  
9 to be aired out.

10 **DR. NETON:** I'm a little confused though,  
11 this is Jim Neton. The 147 worker  
12 extrapolation only refers to photon exposures  
13 and Arjun mentioned something about beta  
14 exposures.

15 **DR. MAKHIJANI:** But we were asked to  
16 evaluate, in looking at the question of  
17 external exposures, Dr. Kerr handed out that  
18 paper. And when we looked at that paper,  
19 there was a question as to who was monitored  
20 in the '50s. And there's a smaller anomaly  
21 like that in gamma doses, but it's very  
22 pronounced in beta doses, and the question  
23 really only arose as to who was monitored.  
24 Looking at those beta doses you expect the  
25 beta doses to be sort of higher because they

1                   were handling uranium presumably. And so this  
2                   is a question that just arose examining Dr.  
3                   Kerr's paper.

4                   **DR. MAURO:** Let me add a little bit to that.  
5                   We're looking at that data as another metric  
6                   as a way to convince ourselves that in fact  
7                   the measurements that were made in the 1950's  
8                   up to '61 were in fact these high-end  
9                   exposures. And in the end if we come to  
10                  closure on that, then the extrapolation method  
11                  works.

12                  However, if we run into some issues  
13                  that in fact maybe there's some question  
14                  whether it's because of the beta/gamma skin  
15                  dose or it has to do with the whole body  
16                  photon dose. As the data reveals itself to us  
17                  and we look at it, we find that maybe there's  
18                  still some question. Then there might be some  
19                  other strategy that might be employed that  
20                  would be more claimant favorable. But again  
21                  I'll say it, I think this is a subject for  
22                  site profile not for SEC.

23                  **DR. NETON:** Okay, well, I guess we'll  
24                  receive some comments from you then because  
25                  this is news to me on this analysis of --

1           **DR. MAURO:** Yes, this is actually, as Arjun  
2 pointed out, something that was discussed  
3 amongst ourselves only within the last day or  
4 so.

5           **DR. NETON:** Okay.

6           **DR. ZIEMER:** Okay, so the working group and  
7 SC&A will need to touch base further on this  
8 with NIOSH then.

9           **MR. GRIFFON:** That's part of the reason I  
10 left that open, Jim, because I think we had  
11 just received the George Kerr report, too, at  
12 the last meeting so I didn't know if everybody  
13 -- we got the presentation of it at the  
14 meeting but I wasn't sure if it had been fully  
15 reviewed.

16           **DR. MAKHIJANI:** Yeah, one item -- this is  
17 Arjun. One item I might request if Dr. Kerr  
18 can send us a spreadsheet on which those  
19 graphs were based because it's awfully hard to  
20 try to read off the numbers on the graph.  
21 They are in logarithmic plots and so a small,  
22 small errors in reading kind of could make a  
23 big difference as to, so if we could have the  
24 spreadsheet that would be very useful.

25           **DR. MAURO:** And also, Mark, this is John

1 Mauro again. The statement I made, in other  
2 words, we're almost in real time now, in  
3 looking at that issue, Kerr's data, in effect,  
4 we had a conversation and the consensus among  
5 the SC&A folks right now is that this still  
6 resides in the realm of site profile.

7 However, you and I and the working group, the  
8 rest of SC&A really haven't had a chance to  
9 engage you in this discussion.

10 So I don't want to by any means  
11 preempt the working group's position regarding  
12 whether or not this particular issue is  
13 clearly only a site profile issue. But right  
14 not, at least internally to SC&A, the general  
15 consensus is it is a site profile issue.

16 **DR. NETON:** So are we going to remove it  
17 from this list then or not?

18 **DR. ZIEMER:** I think you need to wait and  
19 discuss this further.

20 **MR. GRIFFON:** Yeah, I'd like to, if we could  
21 hold it on there at least until the next work  
22 group meeting, Jim. I'm actually proposing  
23 that we have another meeting before the April  
24 Board meeting.

25 **DR. NETON:** Yeah, I think I agree with that.

1           **MR. GRIFFON:** Yeah, and then if we can just  
2 hold it as an open item for that time I'd feel  
3 for comfortable because I also raised with  
4 George some questions about the -- and I'm not  
5 sure if it comes up in there, this action item  
6 or a later action item the 2A-1, but the  
7 question of whether the highest exposed  
8 individuals were likely included or covered in  
9 the monitoring program. And I gave him some  
10 specifics on some departments of concern which  
11 I don't think we should go into, might be some  
12 classified issues around that.

13           **DR. NETON:** Okay, I know where you're going  
14 with that, Mark.

15           **MR. GRIFFON:** So that's part of the reason I  
16 left it an open item as well.

17           **DR. NETON:** Okay, that's fine.

18           **MR. GRIFFON:** Item 4 we just went through  
19 and then item 5, okay. So we finished that  
20 unless these there's other comments on that  
21 section.

22                           Going on to the next page, 1a, 3, 4  
23 and 5 are all removed for SEC issue purposes.  
24 One a-6, that was just an action to provide  
25 the models, and they have been provided.

1                   And then on to 2a, and this is really  
2                   the question of the maximally exposed  
3                   individuals. And I think in action one we're  
4                   really deferring this action to sort of the  
5                   sample DR cases will demonstrate the proof of  
6                   principle here. And that's where we'll really  
7                   get to review how this is being implemented.  
8                   So that's being shifted into a question of  
9                   NIOSH will give us a sample dose  
10                  reconstruction applying this methodology, and  
11                  then we can, it sort of for proof of  
12                  principle.

13                  Item 2, NIOSH is going to give a  
14                  response on this criticality action. I think,  
15                  Jim, you said you had prepared, or there was  
16                  some draft preparation in this.

17                  **DR. NETON:** That's right, we have a whole  
18                  TIB on reviewing this criticality action. And  
19                  you know, we've done so much I thought I had  
20                  provided it, but we will get you a complete  
21                  analysis of that scenario.

22                  **MR. GRIFFON:** Then on to item 4, or wait,  
23                  item 3, I'm sorry. NIOSH provided an addendum  
24                  report. I think this was the real, that's  
25                  where I was referencing the George Kerr

1 report. And I think George gave us two  
2 reports, didn't he, Jim? I'm trying to  
3 remember all this.

4 **DR. NETON:** You know, I don't remember. I  
5 know the one that we just talked about.

6 **MR. GRIFFON:** I believe there was one before  
7 that, but maybe I'm, I have to go back and  
8 look. Anyway, there's at least some George  
9 Kerr analysis on this issue. And I believe  
10 this is what Arjun and John were just  
11 referring to, and I think that's sort of an  
12 open discussion item still.

13 **MS. MUNN:** Are we on item 3?

14 **MR. GRIFFON:** Yeah.

15 **MS. MUNN:** So we're talking about DR and  
16 Kerr's report?

17 **MR. GRIFFON:** No, item 2a-1, item 2a-1, and  
18 then action number three.

19 **MS. MUNN:** Action number three.

20 **DR. NETON:** And Mark, honestly, I don't know  
21 what this addendum is that we're talking about  
22 here now.

23 **MR. GRIFFON:** Well, I thought that George's  
24 last report -- I can correct this if I'm in  
25 error --

1           **DR. NETON:** Oh, I'm sorry, it said NIOSH  
2 provided. I see, I thought we were to  
3 provide. Okay, yeah.

4           **MR. GRIFFON:** You provided this report.

5                   The next item really should be a  
6 follow-up to three, SC&A will review those two  
7 reports and provide comments. It sounds like  
8 John's saying that you've done a preliminary  
9 review, and we just need to bring that back to  
10 the work group and discuss it really.

11           **DR. MAURO:** Yes, that's a correct  
12 characterization.

13           **MR. GRIFFON:** Finally, item 5, NIOSH will  
14 attempt to determine, this is actually the  
15 assembly worker question.

16           **DR. NETON:** Mark, Mel Chew and Bryce Rich  
17 are going down to Oak Ridge next week to  
18 attempt to address this issue. And we may  
19 need to have some communications related to  
20 that.

21           **MR. GRIFFON:** All right. And on to 2b-1, I  
22 guess SC&A provided comments to TIB-0051.

23           **DR. MAURO:** Yes.

24           **MR. GRIFFON:** And we need a response from  
25 NIOSH sort of so we're in the middle of

1 discussing TIB-0051 which is a new TIB  
2 developed by NIOSH and ORAU.

3 **DR. NETON:** Right, but we had some fairly  
4 good discussions, I thought, about it, and it  
5 seemed to me that most of the issues that were  
6 raised we kind of addressed at our working  
7 group meeting.

8 **DR. MAKHIJANI:** Yeah, this is Arjun. I  
9 think Jim is right about that. I think the  
10 principles were articulated at the February  
11 meeting and then what remains I think is to  
12 show that those principles can actually be  
13 applied to a dose reconstruction. That's why  
14 in the sample list there are some neutron  
15 items because practically how the knowledge of  
16 tail of the distribution is going to be  
17 extended to the areas where there were no  
18 measurements. That practicality I think is  
19 outstanding. The principle, I think Jim is  
20 right, discussed on February 27<sup>th</sup>.

21 **MR. GRIFFON:** So can this be changed to sort  
22 of like the way I had the previous action  
23 where NIOSH will demonstrate proof of  
24 principle in a sample DR?

25 **DR. MAKHIJANI:** That's the best of my

1 recollection, Mark. I mean, I think the  
2 principle was outlined.

3 John, you were on the call so jump in.

4 **DR. MAURO:** Yes, this is John Mauro. I  
5 think, in fact, all of these issues that we're  
6 discussing now related to Y-12 have matured to  
7 the point where now we believe that really  
8 closure is going to occur or not when we move  
9 into the sample dose reconstruction. You  
10 probably have all received a list of, I  
11 believe, 11, what I will call sample cases  
12 that will test just about every issue that  
13 appears to be coming to closure here on Y-12,  
14 but to see if in fact the rubber meets the  
15 road going through these cases. I believe we  
16 delivered that list only recently.

17 Arjun, did you send that out over the  
18 weekend?

19 **DR. MAKHIJANI:** I did send it on Sunday,  
20 John.

21 **DR. MAURO:** Okay, on Sunday, so you folks  
22 may or may not have seen it. I believe it's  
23 11 items.

24 **MS. MUNN:** Yes, very thorough I might add.

25 **DR. MAURO:** And I think now we recognize the

1 degree to which NIOSH can in fact do that  
2 sample cases. We're in the part of the  
3 process now where I see it as that's where we  
4 are, cases being developed and presented that  
5 test each one of the issues and how they will  
6 be closed. I think we're really, in my mind  
7 stepping back, we're in the home stretch of  
8 either coming to closure on the issues that  
9 yes, in fact it appears that that strategy  
10 works or it does not.

11 And now bear in mind that I think that  
12 issues related to data reliability, this is  
13 more of an amorphous type of matter that's  
14 under both internal and external, that in  
15 effect, once there is consensus that we've  
16 achieved data reliability then we can go  
17 through the cases using that data and using  
18 the protocols as developed by NIOSH to see how  
19 well they serve us. That achieving closure on  
20 data reliability questions in my mind right  
21 now, in fact, I'd like to put this on the  
22 table a bit, is how do we get there?

23 A lot is being done looking at data,  
24 making certain comparisons as laid out in the  
25 action items. I guess it's a little bit

1                   ambiguous right now as how do you really get  
2                   to the point where we say I think we're okay  
3                   or not?

4                   **MR. GRIFFON:** Can we just hold off on that  
5                   one for a second, John, and just finish these  
6                   last couple of items --

7                   **DR. MAURO:** Sure.

8                   **MR. GRIFFON:** -- and go back to the summary  
9                   of the whole.

10                   Under 2b-1, item number two, NIOSH is  
11                   going to provide a new model for beta  
12                   exposures. Is that correct, Jim?

13                   **DR. NETON:** Yes.

14                   **MR. GRIFFON:** And then as John just started  
15                   discussing, item three, the sample DRs and  
16                   there are 12 sort of scenarios.

17                   **DR. MAKHIJANI:** There's 11.

18                   **MR. GRIFFON:** Eleven that SC&A has mailed  
19                   forward. And I just wanted to say I generally  
20                   agree with John, since he added on the  
21                   reliability part I generally agree that most  
22                   of these issues are going to come down to  
23                   let's do some sample cases and demonstrate,  
24                   sort of proof of principle here. But the data  
25                   reliability question does still hang out there

1 over all this on both sides, external and  
2 internal.

3 So with that in mind, John, I think we  
4 can get back to your discussion of how do we  
5 get to closure on the data reliability  
6 questions.

7 **DR. ZIEMER:** Well, before we discuss data  
8 reliability per se, let me just ask -- and  
9 thank you, Mark and work group, for it looks  
10 like you made good progress. I want to ask  
11 two general questions. Do you feel like we're  
12 pretty much on schedule now for the April  
13 meeting? Or to put it another way are there  
14 any show stoppers? And is it going to, it  
15 looks like it's going to come down to the data  
16 reliability issue?

17 **MR. GRIFFON:** It sounds, I mean there's some  
18 pieces that we still haven't heard about, the  
19 other radionuclides other than the  
20 cyclotron/Calutron. And I'll speak from my  
21 standpoint anyway. The cyclotron/Calutron I  
22 don't know that we have a clear model of how  
23 workers in those areas are going to have the  
24 dose assessed. It wasn't clear whether there  
25 was enough isotope specific data in those

1                   6,000 pages that Jim just mentioned. It's on  
2                   the O drive now, the spreadsheet related to  
3                   the 6,000 pages.

4                   So we're not clear on valid data  
5                   there, but otherwise I think the data  
6                   reliability question has been the big question  
7                   as to how long is it going to take to locate  
8                   some of this raw data and to do a sampling  
9                   comparison against the CER database. And it  
10                  sounds like they've made good progress in that  
11                  regard.

12                 **MS. MUNN:** It sounds like we're pretty much  
13                 on track from my point of view.

14                 **DR. NETON:** This is Jim Neton. I tend to  
15                 agree with the two big issues in my mind are  
16                 related to the other radionuclides that we're  
17                 working towards very intensely right now and  
18                 some degree the data reliability although I  
19                 asked that question very early on if we can't  
20                 identify all these sources to validate the  
21                 pedigree where do we end up at the end of the  
22                 day given that there's been no indication that  
23                 the data are corrupt in any way?

24                 But the other big issue that I think  
25                 we need to knock down, and I'm a little bit

1 discouraged from our call today that the  
2 highest monitored workers for external, I  
3 think two or three meetings we've sort of put  
4 this to bed I thought, and it keeps  
5 resurfacing. We really need to get that  
6 resolved if we're going to make any progress,  
7 and I'm somewhat concerned about that because  
8 we've provided numerous approaches to  
9 addressing this issue and even that analysis,  
10 147 worker, that SC&A did, I saw nothing in  
11 there that indicated that we were off base.  
12 And now again we're morphing into another  
13 discussion so that's my concern.

14 **MS. MUNN:** I'm a little surprised about that  
15 too. I was feeling comfortable about it.

16 **MR. GRIFFON:** I mean, you said that Mel Chew  
17 and someone else are on their way to Oak  
18 Ridge.

19 **DR. ZIEMER:** Bryce Rich.

20 **MR. GRIFFON:** So clearly, there was, you saw  
21 an action there, too, Jim, that --

22 **DR. NETON:** That's the other radionuclide  
23 issue. I mean, they're down there working on  
24 the other radionuclide and in addition I will  
25 say that the --

1           **MR. GRIFFON:** But I thought you were  
2 assessing the assembly worker. You mentioned  
3 that after --

4           **DR. NETON:** No, the assembly worker, that's  
5 a separate issue, but I think we're still  
6 talking about this 147 projecting back into  
7 1961 independent of that assembly worker  
8 issue. And I'm somewhat concerned --

9           **MR. GRIFFON:** Well, part of the question I  
10 was raising was with regard to the highest  
11 monitored, you know, highest likely exposed  
12 workers were monitored was the question of  
13 were they monitored in these assembly areas?

14           **DR. NETON:** Right, and I agree with that.  
15 That needs to be addressed.

16           **MR. GRIFFON:** That's where --

17           **DR. NETON:** I'm hearing some dissention even  
18 among SC&A when John has one opinion and Arjun  
19 says no, it's not exactly that. So we need to  
20 come to grips with this. We can't keep  
21 working to moving targets like that.

22           **MR. GRIFFON:** I agree, but also I want to  
23 say, Jim, we received that report the day of  
24 the last work group meeting from George Kerr,  
25 that second one, so I don't know that, you

1 know.

2 **DR. MAKHIJANI:** This is Arjun. I think  
3 because we have been in this real time kind of  
4 science discussion it does make it very  
5 difficult because it's not possible to resolve  
6 all discussions internally and then present a  
7 finished product. This issue that I brought  
8 up really arose as a result of the analysis  
9 for which we do not have the data and  
10 spreadsheets. We have just graphs on  
11 logarithmic paper, but George Kerr put on the  
12 table this information was not provided before  
13 to my knowledge.

14 Now I haven't been involved in the  
15 site profile review, and so Joe left me with  
16 this responsibility. And so I do feel my duty  
17 to look at that paper and see that the  
18 analysis is properly completed. And we just  
19 began this analysis so it's very natural that  
20 we're going to have maybe different ideas of  
21 which pieces of it are important. But I don't  
22 think, I don't know that John even has got the  
23 George Kerr paper as yet.

24 **DR. MAURO:** No, if again I guess this  
25 issue again, I think we owe the working group

1 a discussion with Mark related to whether  
2 we're talking an SEC or a site profile issue  
3 here. I think that there are matters,  
4 technical matters, that we're engaged in right  
5 now, as Arjun described, that warrant  
6 discussion.

7 The more important question at this  
8 time is whether or not those discussions  
9 somehow will bear on this being an SEC issue  
10 or not. And I think certainly we owe it to  
11 the working group to have this discussion with  
12 Mark and the rest of the working group so that  
13 the working group could come to its own  
14 judgment as to whether we want to drop it in  
15 the box as still an issue that requires SEC  
16 consideration or whether or not it's off the  
17 table.

18 **DR. ROESSLER:** This is Gen. As a part of  
19 the Board not involved with the working group  
20 and these discussions, this whole conversation  
21 has been quite confusing because I'm not quite  
22 sure what we're concentrating on. And I think  
23 before we have our next meeting we need some  
24 clarity and some agreement and a presentation  
25 that we can understand that doesn't take us

1 off in various directions that aren't  
2 pertinent.

3 **MS. MUNN:** Gen, your voice is very faint  
4 when you come on.

5 **DR. ZIEMER:** Right now, Gen, this is  
6 primarily a status report so that we know what  
7 issues have been addressed, what issues are  
8 ongoing, which ones have been closed. But  
9 clearly the working group is going to have to  
10 meet again at least once with SC&A and NIOSH.  
11 And ultimately the question that John raises  
12 on credibility or the data reliability is a  
13 judgment the Board will have to make based on  
14 the criteria that we set up spelled out in the  
15 Melius document, the pedigree of the data and  
16 the internal consistency and  
17 representativeness of the data as it relates  
18 to other information sources and so on. So  
19 ultimately that will be a judgment the Board  
20 will have to make.

21 **DR. ROESSLER:** I got off the speaker phone  
22 now. I wonder if I can be heard more clearly.

23 **DR. ZIEMER:** Yeah.

24 **DR. ROESSLER:** That probably helps. I guess  
25 my point was that by the next Board meeting

1           when we do have to vote and when those of us  
2           who have not been involved in the work group  
3           are required to vote that it becomes much  
4           clearer what items are important to the data  
5           reliability and what for the SEC review, and  
6           what items are not.

7           **MR. GRIFFON:** Right, I think one thing we  
8           need to do maybe by the next work group even  
9           is ask NIOSH to look at SC&A's list and come  
10          back to the work group with some of the sample  
11          DRs because that will sort of show proof of  
12          principle in all these areas where we're  
13          concerned.

14                 I do think that 147 worker question is  
15          close to closure, Jim, so I just left an  
16          opening because I'm not completely sure  
17          everyone's reviewed that last document  
18          provided, but I think we're making headway on  
19          those issues. I think we're also still  
20          receiving new stuff in a real-time basis as  
21          Arjun pointed out. And the last item, these  
22          data cards, could go a long ways towards this  
23          question of database reliability. So we've  
24          got some loose ends, but I think we definitely  
25          can tie it together in the next work group

1 meeting and with some sample DRs really show  
2 proof of principle for areas of concern back  
3 to the petition class. That'll be our product  
4 for the Board meeting in April I believe.

5 **DR. WADE:** This is Lew Wade. Maybe I could  
6 just talk a little bit about what's in front  
7 of us to sort of bring some context to the  
8 discussion. Again, it is all of our hope, it  
9 is certainly NIOSH's hope, that the Board will  
10 vote on this open Y-12 SEC petition at its  
11 meeting in Denver at the end of April. That  
12 means NIOSH has to have a definitive  
13 evaluation report before the Board and the  
14 petitioners in early April.

15 NIOSH will be working towards the  
16 production of that report. Obviously, the  
17 more issues that can be resolved before NIOSH  
18 finalizes that report it would form NIOSH's  
19 activity the better. It's quite possible the  
20 issues on data reliability will be left for  
21 the Board to decide on when it votes on the  
22 petition. What I see happening in April is on  
23 day one of the meeting we'll have a thorough  
24 vetting of the site profile issues, hopefully  
25 as much closure as we can bring to bear, and

1 on the second day we'll get into the SEC  
2 issues, and we'll come to the point where the  
3 Board will vote.

4 So the working group needs to take  
5 into account the fact that NIOSH will be  
6 preparing a definitive evaluation report in  
7 early April. What we can do towards making  
8 that a consensus quote/unquote even the  
9 better.

10 **DR. ZIEMER:** Thank you, Lew, that's very  
11 helpful. There's no action required of the  
12 Board today, but we do want to make sure if  
13 there are still outstanding questions Board  
14 members wish to raise right now on Y-12 to do  
15 so. Any issues you want raised with Mark or  
16 with Jim or SC&A with John?

17 (no response)

18 **DR. ZIEMER:** If not, I thank you, Mark, for  
19 the work of the working group and as well as  
20 the others involved.

21 **MR. GRIFFON:** I think the one item, I don't  
22 know if we need to do it here but since  
23 everyone's on the call we do need another work  
24 group meeting for this. And with just  
25 following up with what Lew said if we're going

1 to get an evaluation report in early April, I  
2 think we need to do this probably in late  
3 March. So I don't know if anybody, if you  
4 want to think about dates maybe at the end of  
5 the meeting today and whenever we can --

6 **DR. ZIEMER:** Yeah, and probably, Mark, well,  
7 it's you and Wanda and --

8 **MR. GRIFFON:** Mike and Bob.

9 **DR. ZIEMER:** -- Mike and Bob, right?

10 **MR. GRIFFON:** Right.

11 **DR. ZIEMER:** And maybe you can work that out  
12 individually by e-mail or something  
13 afterwards.

14 **MR. GRIFFON:** Yeah, but we need SC&A staff  
15 and NIOSH for that.

16 **DR. ZIEMER:** Do you want to try to identify  
17 right now some times?

18 **DR. WADE:** We could do it now. The last  
19 agenda item on today we're supposed to work on  
20 that, but --

21 **MR. GRIFFON:** Okay, that's fine because it  
22 impacts Rocky as well probably so --

23 **DR. WADE:** It also could impact, you know,  
24 SC&A has an SEC task that we'll talk about  
25 after lunch.

1           **DR. ZIEMER:** Let's do all those then at the  
2 end when we have it on the agenda. I notice  
3 it's 12:30. I'm wondering if we shouldn't  
4 just take our break now and then start Rocky  
5 Flats after the break. Is that --

6           **DR. WADE:** This is Lew Wade again. I know  
7 that there are petitioners and interested  
8 parties for Rocky Flats on the line. I mean,  
9 the Board does reserve the right to be  
10 flexible with its agenda. I would hope that  
11 you would be able to accommodate our taking a  
12 lunch break and then coming back and working  
13 on Rocky Flats immediately after lunch. If  
14 there's a strong objection, please voice it.

15           (no response)

16           **DR. ZIEMER:** Are there any Rocky Flats folks  
17 on the line for whom that would be a  
18 difficulty?

19           (no response)

20           **DR. ZIEMER:** I hear none. I'm wondering  
21 also, Board members, can we cut the lunch  
22 break down to 30 minutes?

23           **MR. PRESLEY:** This is Bob Presley.

24           **DR. DeHART:** I'm here by the phone. It  
25 doesn't matter.

1           **DR. LOCKEY:** Do we hang up and call back or  
2 what do we do?

3           **DR. ZIEMER:** I think we hang up and call  
4 back, don't we, Lew?

5           **DR. WADE:** Right, you could do either, but  
6 that's normally what we would do. The line  
7 will be open.

8           **MR. CLAWSON:** This is Brad Clawson. I need,  
9 I got your Y-12 site profile, but I never got  
10 a Rocky Flats profile.

11           **DR. WADE:** Okay, I'll try and re-send it. I  
12 did send it, Brad, but I'll try and send it  
13 again.

14           **MR. CLAWSON:** Okay, I appreciate it. So  
15 we're going to reconvene in --

16           **DR. ZIEMER:** So we'll recess for 30 minutes,  
17 reconvene at one o'clock. How's that?

18 (Whereupon, a luncheon break was taken at 12:30 p.m., and  
19 the meeting resumed at 1:00 p.m.)

20 **REPORT OF WORKING GROUP: ROCKY FLATS SITE PROFILE**

21           **DR. ZIEMER:** We're ready to reconvene the  
22 meeting back to order. We're ready to take up  
23 the next agenda item which is a report of the  
24 working group on the Rocky Flats site profile.  
25 And again Mark and Bob and Wanda and Mike were

1 that working group. And you should all have,  
2 in addition to the minutes of their meeting of  
3 February 21<sup>st</sup>, you should have the Rocky Flats  
4 matrix with actions as of February 27<sup>th</sup>. I  
5 think the matrix date is February 27<sup>th</sup>.  
6 Everybody have that? And again, Mark will  
7 basically give us an update of where we are on  
8 Rocky Flats' review and have a chance for  
9 questions or comments.

10 Mark.

11 **DR. WADE:** Paul, this is Lew. Just very  
12 briefly, I won't repeat my message about Y-12.  
13 It applies to Rocky Flats as well. Again,  
14 NIOSH intends to present a definitive  
15 evaluation report to the Board in early April  
16 with the vote at the Board meeting hopefully  
17 at the end of April.

18 I would just take a moment if there  
19 are people involved in the Rocky Flats  
20 petition who are on the line, possibly they  
21 could identify themselves so the record could  
22 reflect their involvement. Anyone from Rocky  
23 Flats with us?

24 **MR. DeMAIORI:** Tony DeMaiori with the USW,  
25 and I'd also like to state that Jennifer

1 Thompson couldn't continue on the line because  
2 she has a job.

3 **DR. ZIEMER:** Okay, thank you.

4 **MR. HILLER:** This is David Hiller with  
5 Senator Salazar's office calling from Denver.

6 **DR. ZIEMER:** Thank you, David.

7 Any others?

8 **MS. BARRIE:** This is Terrie Barrie with the  
9 Alliance of Nuclear Worker Advocacy Group.

10 **DR. ZIEMER:** Thank you, Terrie.

11 (no more responses)

12 **DR. ZIEMER:** Okay, Mark, why don't you  
13 proceed?

14 **MR. GRIFFON:** Sure. For Rocky Flats there's  
15 one note I should make on the matrix here, and  
16 the minutes also, the one set of minutes I  
17 should have mentioned before cover both Y-12  
18 and Rocky so that part of the minutes will  
19 reflect these actions in here.

20 But one important note on the top of  
21 the matrix you'll see for Rocky, which really  
22 didn't come up in the Y-12 site profile, but  
23 it's basically saying additional issues may  
24 arise as a result of the review of the  
25 petition and amendments and NIOSH's evaluation

1 report. And that's particularly important I  
2 think for the Rocky one because the petition  
3 in total, I guess with the amended parts is  
4 some 700 pages or more.

5 And actually, I had not at the point  
6 of this last work group meeting I had not gone  
7 through the whole petition myself. And I know  
8 that the issues as defined here in the matrix  
9 come from SC&A's review of the site profile.  
10 So I think we certainly, I don't know if NIOSH  
11 has looked through the entire petition.

12 I think we need to ask, I think SC&A  
13 has done a preliminary read on it, and I think  
14 in lieu, now since we're in the SEC task I  
15 think it's appropriate that the Board or the  
16 work group ask SC&A to look at the entire  
17 petition and make sure that there are not  
18 other relevant issues that would add to this  
19 matrix. I wanted to note that up front.

20 **DR. WADE:** We'll deal with that specifically  
21 through the next agenda item, Mark, but thank  
22 you for putting it on the record.

23 **MR. GRIFFON:** And then so just to go through  
24 these, comment two, some of these comments  
25 are, have multi-parts to them. Comment two

1 happens to be one of those that has several  
2 pieces to it. But basically, it's the super-S  
3 plutonium question, and NIOSH has developed a  
4 Technical Information Bulletin 49 and has now  
5 provided that as of several days ago to the  
6 work group and SC&A. I think we're still  
7 waiting for the chief data and analysis files  
8 that go along with that TIB-0049, but they  
9 will be provided by NIOSH.

10 **DR. NETON:** Yes, that's right, Mark. We're  
11 still, we lost a couple days due to, as you  
12 may have heard, a small fire in the building  
13 here, and I've got a draft on my desk right  
14 now. I hope to get it out fairly soon.

15 **MR. GRIFFON:** And 1b is NIOSH will provide  
16 all data and analysis related to the USTUR,  
17 the Transuranic Registry autopsy cases which  
18 are used not, my understanding is not directly  
19 in TIB-0049, but they're used to sort of bound  
20 the approaches outlined in TIB-0049.

21 **DR. NETON:** That's correct.

22 **MR. GRIFFON:** And 1c is NIOSH will provide a  
23 procedure for addressing the GI tract doses  
24 from the super-S plutonium exposures. I think  
25 that was in development, right, Jim?

1                   **DR. NETON:** Correct.

2                   **MR. GRIFFON:** So that's also a deliverable.

3                               And I'd, NIOSH and SC&A will set up a  
4 conference call to follow up on -- there's a  
5 lot of details in this. Basically, the TIB-  
6 0049 is looking at some case-specific data for  
7 Rocky cases where known exposures to super-S-  
8 class plutonium occurred and there was  
9 extensive follow-up monitoring that was done.  
10 So they're using these cases along with some  
11 from Hanford, I believe, to sort of develop  
12 adjustment factors for the S-class model.

13                               And in discussing this we get down  
14 into the details of the ICRP modeling and the  
15 methods for calculating doses to various  
16 organs there. And so we decided to set up a,  
17 let them have a conference call separate from  
18 the work group to work on some of these  
19 details. And I don't know. I don't think  
20 that's occurred yet. I think you still have  
21 some items to deliver and then you're going to  
22 set that up probably.

23                   **DR. NETON:** Yes.

24                   **MR. GRIFFON:** Anything to add on comment  
25 two?

1           **DR. ULSH:** I don't think so. I think that  
2 pretty well covers it.

3           **MR. GRIFFON:** Is that Brant?

4           **DR. ULSH:** Yes, sorry.

5           **MR. GRIFFON:** Then going on to item four.  
6 This is the question of the americium  
7 question, americium-241 and how this would  
8 affect the in vivo counting I guess. And I  
9 think I left the meeting actually before this  
10 was finalized, but I think that there was some  
11 good discussion on this issue. I think really  
12 where we're at is that SC&A would still like  
13 to see the supporting documents to back up the  
14 assertions. The presentation by Roger Falk  
15 seemed reasonable, but I think SC&A was asking  
16 for some of the documents that supported that  
17 approach to that presentation.

18           **DR. ULSH:** So you're looking for some  
19 documentation that older plutonium, aged  
20 plutonium that came back to Rocky Flats, as  
21 Roger explained, was then mixed with newer  
22 plutonium, which would have had the parent for  
23 americium. And you're looking for some  
24 documentation that occurred? Is that kind of  
25 the nugget of it?

1                   **MR. GRIFFON:** I think that's it, yeah.  
2                   SC&A, if --

3                   **DR. MAKHIJANI:** Yeah, this is Arjun. Yes,  
4                   what Roger said that the concern that we had  
5                   raised earlier that plutonium-241  
6                   concentrations would go down over time with  
7                   decay with the 14.4 year half-life. And then  
8                   you'd lose your americium signal after that  
9                   plutonium was refined. We indicated that  
10                  plutonium-241 concentration was never allowed  
11                  to go down below a certain amount and because  
12                  of specifications of what Rocky Flats had to  
13                  produce.

14                  And it seemed to me that a reasonable  
15                  thing, and Joe and John and all of us talked  
16                  about it afterwards, and that's where we are.  
17                  But we thought that the process of control of  
18                  this plutonium composition and who worked on  
19                  what, when needed to be examined to make sure  
20                  that the degree to which it occurred and  
21                  what's in the site profile is right. The site  
22                  profile has only two plutonium-241  
23                  concentrations and so we thought that some  
24                  verification of this, just a purely oral  
25                  presentation, was needed.

1           **DR. NETON:** Yeah, Arjun, this is Jim, Jim  
2 Neton. We had discussed at the meeting I  
3 thought that we felt that if you could do a  
4 plutonium urinalysis, which was done  
5 throughout the operating history of the plant  
6 for workers, would bound that, and that this  
7 would only apply to when we were using in vivo  
8 counting.

9           **DR. MAKHIJANI:** Oh yes, I agree. This only  
10 applies to in vivo counting of course. But I  
11 don't know whether, yeah, I don't know when  
12 you're going to use in vivo counting, what the  
13 intake situation is corresponding to your mda,  
14 whether it would be unreasonably large, I mean  
15 all those issues are still in the air.

16           **DR. NETON:** Right, but it would seem to me  
17 though to be not an SEC issue if we agree that  
18 the plutonium would be a bounding analysis.  
19 Then it's a matter of whether we could refine  
20 it based on the in vivo measurements.

21           **DR. MAKHIJANI:** Well, it depends on whether  
22 your intake is calculated from your mda limit,  
23 and urine would be less or more than your  
24 intake from a weak americium signal. I mean,  
25 that's what the issue is here.

1           **DR. NETON:** I can almost guarantee that the  
2 mda for the plutonium in urine will be higher.

3           **DR. MAKHIJANI:** Well, I would tend to agree  
4 with you qualitatively, but it's a question of  
5 just putting it to bed.

6           **DR. MAURO:** Hey, Jim, this is John Mauro. I  
7 guess we were looking at, you have two  
8 fundamental strategies for reconstructing  
9 doses. One was developed more recently,  
10 implemented more recently which is the chest  
11 count. And of course, the one that has been  
12 in place all along is the urinalysis. Now I  
13 guess we were looking at this as we have a lot  
14 to talk about regarding the high-fired  
15 plutonium and the implications of it when  
16 you're trying to reconstruct doses based on  
17 urinalysis. So we saw that as one, I guess,  
18 area of investigation that we need to achieve  
19 closure on.

20                   The chest count, we saw that as okay,  
21 that puts us, once you have the chest count  
22 program in place, you basically have now  
23 sidestepped the urinalysis issue. It's okay,  
24 we've got our chest count, and at least we can  
25 say that notwithstanding what happens

1           regarding the high-fired plutonium issue, if  
2           you've got reliable chest count data, at least  
3           you have constrained the time period, for  
4           example, or the classes of workers that might  
5           be at issue regarding an SEC because you could  
6           say, well, starting at this point in time, we  
7           have the chest count. And we could sort of  
8           hang our hat on that.

9                     But we did, now for that reason we  
10           bifurcated the two, and now once you do that  
11           then it becomes important that we're all  
12           comfortable that there are no surprises  
13           related to, let's say, areas where you might  
14           have some difficulty using the chest count.  
15           And I think Roger Falk had pointed out he does  
16           not anticipate that because all the  
17           information needed in order to interpret the  
18           signals coming back from chest count are  
19           available to you. So that you could always  
20           use your chest count data and reliably predict  
21           what the body burden is or the lung burden is  
22           on the inhaled plutonium.

23                     So that's the reason why we sort of  
24           have these as two separate items. I would  
25           agree with you if you were to argue that well,

1           once we achieve closure on the high-fired  
2           issue, and let's say that that's achieved to  
3           everyone's satisfaction that you've got a  
4           tractable problem, then you don't have to  
5           have, then we don't have to engage the chest  
6           count as an issue. Although frankly, I think  
7           that we probably would like to go down both  
8           roads and make sure we're comfortable with  
9           both approaches.

10                   I mean I hate to say well, let's just  
11           take the chest count issue off the table  
12           because we expect to be able to achieve  
13           closure on the high-fired plutonium issue  
14           related to urine. I think we want to leave  
15           the chest count issue on the table until we  
16           resolve the high-fired plutonium issue.

17           **DR. NETON:** Okay, I understand what your  
18           logic is. Maybe we should annotate item  
19           number four somehow to reflect that because  
20           technically and really you're right. If we  
21           come to closure on item two and number four, I  
22           don't think in my personal opinion, it doesn't  
23           become an SEC issue.

24           **DR. MAURO:** I'd agree with that.

25           **DR. NETON:** In fact, plutonium is measurable

1 in the lungs. I mean it's just easier to  
2 detect it via the americium as you know. But  
3 plutonium does have a finite detection limit  
4 in lungs, admittedly much higher. It depends  
5 on the person's size, but it's not totally  
6 undetectable in lung counts.

7 But anyway, if we just made that  
8 notation I'd feel a little better so that we  
9 know --

10 **DR. MAURO:** Before we, one more point  
11 though. Let's say you do have a pretty good  
12 handle on what's in the lung based on chest  
13 count for a moment. Don't we still have an  
14 issue on the kinetics? So that if you were  
15 trying to reconstruct the doses to the bone,  
16 liver or kidney from your chest count not  
17 knowing the chemical form of the plutonium  
18 that you're counting for your chest count, you  
19 still have a bit of a problem there trying to  
20 reconstruct the dose to the other organs.  
21 Would I be correct in that statement?

22 **DR. NETON:** No, not really, I think --

23 **DR. MAURO:** Then I could use a little help  
24 in understanding --

25 **DR. NETON:** Yeah, I think we can go back, I

1 mean, if you know what's in the lung, you can  
2 know what's getting out of the lung. And we  
3 would apply the solubility factors that were  
4 the most generous, and TIB-0049 is our shot at  
5 doing that. So we're saying once you know  
6 what's in the lung, we would clear it from the  
7 lung using the TIB-0049 calculates to the  
8 lung, and then we also have the amount that  
9 would show up systemically.

10 **DR. MAURO:** I stand corrected.

11 **MR. GRIFFON:** So Jim, I can say on this,  
12 pending closure on item two basically for item  
13 four?

14 **DR. NETON:** I don't want to browbeat anybody  
15 into that but --

16 **MR. GRIFFON:** No, no, no, I think we agree  
17 on that.

18 **DR. NETON:** -- in my mind that's true.

19 **DR. MAURO:** Well, I understand what you're  
20 saying, Jim, and you're absolutely correct.

21 **MR. GRIFFON:** And then item six is OTIB-  
22 0050, and I'm not sure where we stand on  
23 closure in that one. Can someone help me with  
24 that? I guess there's a question of the NTA  
25 calibration versus the glass track dosimeters.

1           **DR. MAKHIJANI:** This is Arjun. I don't  
2 think our team has fully digested the NDRP  
3 report. This came up. It was discussed at  
4 the Boston meeting. The issue raised there is  
5 the NDRP report says the calibration factor  
6 for NTA film applies also to the glass track  
7 but doesn't provide any analysis. There are  
8 few other issues I think that were raised in  
9 the February 21 memo sent to NIOSH, but  
10 actually, I don't have it in front of me. I  
11 have to open it to see what they were. But  
12 we're not sure that all of them have been  
13 addressed maybe because we haven't gone  
14 through the NDRP report thoroughly enough as  
15 yet.

16           **MR. BUCHANAN:** This is Ron Buchanan. We are  
17 presently -- with SC&A. We are presently, I'm  
18 presently finishing up the analysis of the  
19 OTIB-0050 to be sent to SC&A internally for  
20 review to see how it reflects on the NDRP  
21 report. The questions on the NDRP that we had  
22 was, two, there was two questions.

23                           Number one was using the NTA  
24 calibration for the NTP plates rather than  
25 having a separate calibration for those. That

1 was a question. And a number two question was  
2 using only a moderated and an unmoderated  
3 figure F source, neutron source, to cover all  
4 the different energy ranges at Rocky Flats.  
5 Those were our two concerns in number six.

6 **MR. GRIFFON:** Okay. And I think they're  
7 still on the table, right, Jim? Are you, or  
8 Brant?

9 **DR. ULSH:** I think so. Roger, are you on  
10 the line?

11 **MR. FALK:** Yes, I am.

12 **DR. ULSH:** Do you want to talk about that  
13 now or would you rather wait?

14 **MR. FALK:** I would much rather wait.

15 **DR. ULSH:** Okay, that is an issue.

16 **DR. ZIEMER:** It's still an open issue,  
17 right?

18 **MR. GRIFFON:** We can save that for a work  
19 group discussion. It think it's better served  
20 there.

21 **DR. ZIEMER:** Okay.

22 **MR. GRIFFON:** Item seven, now my  
23 understanding was, and Roger is on the phone,  
24 but I think some of this is described in a TBD  
25 but I thought that you were going to provide

1 support reference documents for the  
2 calibration technique. Maybe I misunderstood  
3 that in the work group meeting. Is that the  
4 case?

5 **DR. ULSH:** Okay, Mark, this is the plutonium  
6 tetrafluoride calibration information?

7 **MR. GRIFFON:** Yes.

8 **DR. ULSH:** And I think what Roger said was  
9 that that was included in the NDRP. Is that  
10 correct, Roger?

11 **MR. FALK:** Yes, it is described, I think, on  
12 page 16, but it's in section eight.

13 **MR. GRIFFON:** So I thought in the meeting  
14 that you said it was described in the report,  
15 but you had some backup document that detailed  
16 the calibration technique. That was my  
17 understanding. Maybe I was wrong.

18 **MR. FALK:** It is described on page 14 in the  
19 NDRP report. There is also a paper written by  
20 Mann and Voss which basically described the  
21 initial calibration of that source. And that  
22 is a part of the documents on the O drive.

23 **MR. GRIFFON:** Okay, maybe that was, is there  
24 anything else outstanding on this item? Maybe  
25 that is the document I was thinking of unless

1 SC&A had anything else on this item.

2 **MR. BUCHANAN:** This is Ron Buchanan. I just  
3 wanted to make a comment, Roger. In the NDRP  
4 report they mention it on page 14 through 16.  
5 They do not describe any details. Are you  
6 saying that the details, all the details  
7 available is in that Mann and Voss report, 64  
8 or something around that area?

9 **MR. FALK:** That is how they did the initial  
10 calibration. They also used the Hanford long  
11 counter and also a couple other specialized  
12 techniques. But this is the paragraph in  
13 section eight is how I did the updated  
14 calibration for the neutron films in 1967.  
15 And those were the calibration films that we  
16 used for the NDRP project.

17 **MR. BUCHANAN:** They did not do any re-  
18 exposures or anything. They used the old  
19 calibration film from the past in the NDRP  
20 analysis. Is that correct?

21 **MR. FALK:** Yes, we did.

22 **MR. BUCHANAN:** Okay, thank you. That would  
23 be something I need to look into in more  
24 detail on that calibration to solve this  
25 issue.

1           **DR. ULSH:** So the action item on number  
2 seven, should that be shifted back to SC&A's  
3 court to review?

4           **MR. GRIFFON:** I think that sounds right,  
5 yeah. So I'll note that the references have  
6 been provided on the O drive and SC&A will  
7 review further.

8                         Then I think we're on to item nine,  
9 and this is a broader one. It has several  
10 actions in it. The NDRP report has been  
11 provided and OTIB-0050 has been released for  
12 SC&A review, and as Ron just described, he's  
13 in the process of doing that.

14           **DR. ZIEMER:** Mark, let me interrupt. This  
15 is Ziemer. Could you describe briefly for the  
16 Board members the content of the NDRP report?

17           **MR. GRIFFON:** Well, I'll probably give that  
18 to Brant to describe. It's a neutron dose  
19 reconstruction project.

20                         Brant, maybe you can give a quick  
21 overview of what that encompasses.

22           **DR. ULSH:** Well, I'm going to say just a  
23 little bit and then maybe defer to Roger since  
24 he was the author of it. But the idea of the  
25 NDRP was to go back and look at the neutron

1 films that were taken at Rocky Flats and to  
2 correct those recorded neutron doses due to  
3 some recognized deficiencies in neutron film.

4 Roger, would you care to maybe expand  
5 on that just a little bit?

6 **MR. FALK:** Yes, it turns out that back in  
7 1993 when the Colorado Department of Health  
8 with the (inaudible) Med Center was going to  
9 do their epidemiology study of the Rocky Flats  
10 workers, we had a dosimetry meeting and then  
11 the question was raised what is the weakest  
12 part of the dataset? And then I mentioned  
13 that probably the weakest part of the dataset  
14 was the neutron doses which were evaluated by  
15 the films in the '50s and the '60s.

16 Then the DOE sponsored a pilot study  
17 that I was the primary investigator to scope  
18 out what was the nature and the magnitude of  
19 the problem. And then I gave a presentation  
20 to the DOE back in 1994, and the overheads for  
21 the presentation is part of the SEC petition  
22 documents. And so that is the nature of the  
23 problem. Based on that the Rocky Flats DOE  
24 sponsored the project to essentially re-read  
25 all of the old neutron films to try to get a

1 handle on what are our best shots at the  
2 reconstructed neutron doses for the '50s and  
3 the '60s.

4 And we finished that project in the  
5 year 2004, and the NDRP write-up is a  
6 description of the methods and the outcomes  
7 that we used for this study. And then we gave  
8 all of the data for each affected worker to be  
9 appended to that worker's Rocky Flats  
10 dosimetry history.

11 **DR. ZIEMER:** Okay.

12 **MR. ELLIOTT:** Roger, this is Larry Elliott  
13 at NIOSH. Just to provide a little  
14 clarification on the context here. Am I  
15 correct in my understanding that the neutron  
16 films that were used in the '50s and '60s that  
17 the issue about the weakest part of the  
18 dataset and those being neutron films is not  
19 how they were collected. It was the fact that  
20 they were in some cases never read, or if they  
21 were read, were never recorded and assigned to  
22 an individual. Is that correct?

23 **MR. FALK:** No, that is not correct.

24 **MR. ELLIOTT:** Okay, I'm sorry then.

25 **MR. FALK:** Basically, all the films that

1           were read the doses were actually assigned to  
2           them.  What the problem was, especially in the  
3           '50s, many of the plutonium workers were not  
4           monitored with the neutron film.  Therefore,  
5           we had to assign some type of a notional dose  
6           to those workers.  Also, the workers who were  
7           monitored with the film the issue was the  
8           quality of the reading of the film.  That is  
9           why we took it on ourselves to actually re-  
10          read all of the films that we could find and  
11          then match to a worker.  And that was about  
12          93,000 films.

13           **DR. ZIEMER:**  So it was a hundred percent re-  
14          read then, not just a sampling?

15           **MR. FALK:**  It was a hundred percent re-read.

16           **DR. ZIEMER:**  And what was the elapsed time  
17          since the original readings, the smallest  
18          elapsed time?  In other words, you went back  
19          to what years and --

20           **MR. FALK:**  We captured all of the films that  
21          were archived through 1970, although starting  
22          in 1970 many of the films were not archived so  
23          1970 was not a well-behaved year.

24           **DR. ZIEMER:**  I assume you looked at storage  
25          conditions and made determinations about

1 signal fading since --

2 **MR. FALK:** Yes, I had personally done that  
3 during the pilot study, and I basically  
4 observed that the images are just as sharp as  
5 I recall in 1967 and '68. Those were very  
6 high quality photographic films of an image.

7 **DR. ROESSLER:** This is Gen; I have a  
8 question. How did you match then the  
9 information with the workers which you said  
10 had not been done before?

11 **MR. FALK:** We also captured all of the  
12 original worksheets. Also, starting I believe  
13 in 1960 they started to X-ray the workers'  
14 employee number on the films. And prior to  
15 that there was a badge number that we had to  
16 correlate with the worker based on the  
17 worksheet data which had both.

18 **DR. ROESSLER:** Okay, thank you.

19 **DR. ZIEMER:** Very good, that's the answer to  
20 my question. Sorry for the interruption,  
21 Mark.

22 **MR. GRIFFON:** That's all right, that's a  
23 good clarification.

24 **MS. MUNN:** For those of us who have not read  
25 the NDRP, what was the bottom line with

1                   respect to your findings?

2                   **MR. FALK:** The bottom line is that we found  
3                   the general increase in the doses to the  
4                   workers and the maximum increase over the  
5                   lifetime for a single worker was actually 49  
6                   rem extra neutron dose.

7                   **MS. MUNN:** Okay, that's what I need to know,  
8                   thank you.

9                   **DR. ZIEMER:** But that had to do with missed  
10                  doses and so on, not on the readings  
11                  themselves?

12                 **MR. FALK:** It was on the readings plus --

13                 **DR. ZIEMER:** Well, it sounded like you were  
14                 saying that --

15                 **MR. FALK:** -- plus the unmonitored notional  
16                 doses that were assigned. It's the sum of the  
17                 two.

18                 **DR. ZIEMER:** So basically, you're using a  
19                 different algorithm to define the doses based  
20                 on the reading, right?

21                 **MR. FALK:** Not really because we had the  
22                 same calibration films that were used in the  
23                 late '70s.

24                 **DR. ZIEMER:** Oh, okay.

25                 **MS. MUNN:** That was inclusion of possible

1 missed dose.

2 **MR. FALK:** One of the things that we did  
3 differently was that we did not subtract off  
4 any background tracks; and therefore, that is  
5 also claimant favorable.

6 **MS. MUNN:** Very.

7 **DR. ZIEMER:** Okay, thank you.

8 **MR. GRIFFON:** Thanks for that clarification.

9 Item two is the, there's some  
10 additional data University of Colorado, I  
11 believe. Jim Ruttenber (ph) has done some  
12 work through NIOSH actually and under the  
13 medical surveillance program I believe, and  
14 there's some job exposure information,  
15 particularly I think looking for job category  
16 information from that data. And I think  
17 they're still working with Dr. Ruttenber to  
18 obtain that data.

19 Is that accurate, Jim?

20 **DR. ULSH:** This is Brant. That is correct,  
21 Mark. We are still trying to get access to  
22 the Ruttenber data. I do, however, want to  
23 clarify what we expect from the Ruttenber data  
24 once we do get it. I don't think it's  
25 accurate to say that we can't do a coworker

1           dose reconstruction unless we get the  
2           Ruttenber data. We are pursuing coworker data  
3           distributions now. The Ruttenber data may  
4           prove helpful, but I don't think our ability  
5           to do a coworker dose reconstruction is  
6           dependent on the Ruttenber data. That's one  
7           of the --

8           **MR. GRIFFON:** I don't think that's stated  
9           here, is it?

10          **DR. ULSH:** Well, it's not, but it is on this  
11          matrix as an SEC issue and I'm not sure that  
12          that is entirely appropriate.

13          **MR. GRIFFON:** Well, it was an outstanding  
14          issue from last time. I guess that's  
15          something for discussion.

16          **DR. ULSH:** Right, I do agree that it was an  
17          outstanding issue. It's just I'm not sure it  
18          rises to the level of an SEC issue, and if you  
19          prefer we could talk about that at another  
20          time.

21          **MR. GRIFFON:** Yeah, or maybe a  
22          (unintelligible) if you provide another  
23          coworker approach and it doesn't rely on any  
24          Ruttenber data then maybe this just goes away.  
25          I guess that's sort of the way I see it.

1           **DR. ULSH:** Okay, that's fair enough.

2           **MR. GRIFFON:** Item number three, NIOSH will  
3 provide analysis regarding the completeness of  
4 external exposure data SC&A will review. I  
5 think that's all. I don't have any more  
6 expansion on that. I think --

7           **DR. ZIEMER:** That remains to be done by  
8 SC&A?

9           **MR. GRIFFON:** Well, NIOSH has to provide an  
10 analysis on it, too. And I've got to say I'm  
11 forgetting where I quoted that completeness of  
12 external exposure data from. I think that  
13 came from one of the internal memos back and  
14 forth.

15           **DR. MAKHIJANI:** Mark, this is Arjun. I'm  
16 not current on everything with Rocky Flats.  
17 Joe is not here. He gave me some items to  
18 work on. Has NIOSH provided analysis  
19 regarding?

20           **MR. GRIFFON:** NIOSH --

21           **DR. MAKHIJANI:** Ron, do we have this? Is  
22 this correct?

23           **MR. BUCHANAN:** No, I do not have any data on  
24 completeness of external exposure.

25           **DR. MAKHIJANI:** I have not seen this, but I

1 may be ignorant of all this.

2 **MR. GRIFFON:** Was that delivered in the last  
3 meeting?

4 **DR. ULSH:** Yeah, that was our written  
5 responses to comment nine that we did provide  
6 to, let's see, it was the working group, and I  
7 think I sent it to Joe Fitzgerald. We did  
8 provide some material there on the  
9 completeness of external exposure data.

10 **MR. GRIFFON:** Was it just your letter there  
11 or was there more than that?

12 **DR. ULSH:** Yeah, I think it was my letter.  
13 The cover page is NIOSH preliminary responses  
14 to issues with potential SEC implications.  
15 That's the cover page and then it's our  
16 written responses that wouldn't really fit  
17 easily into a matrix.

18 **MR. GRIFFON:** That's right, so that's why I  
19 quoted it this way I guess, yeah.

20 So you need to look at that letter  
21 report, and I don't think because we just got  
22 it a few days before the meeting, I don't  
23 think it was really reviewed by SC&A.

24 **DR. ULSH:** I think that's correct.

25 **DR. MAKHIJANI:** I guess this will have to

1 wait. John or Ron, unless you know something  
2 to say, I guess this will have to await Joe's  
3 coming back.

4 **DR. MAURO:** Yeah, unfortunately, I can't add  
5 any more except to say that this, if you  
6 recall when we first started to develop a list  
7 of focus issues for SEC potential  
8 consideration for Rocky Flats, we originally  
9 identified three broad categories. First and  
10 foremost was data reliability, and then second  
11 was the high-fired issue. And the third one  
12 was the americium or chest counts.

13 And that's where we came in. And then  
14 what happened was subsequent to that we also  
15 had these conference calls, working group  
16 conference calls where we started to dive in a  
17 little further primarily in response to some  
18 questions that Mark had raised related to  
19 neutron exposure. And that surfaced a  
20 discussion we had just completed, but at the  
21 same time we noticed also that the data for  
22 photon exposures in the TBD showed that they  
23 were primarily roll-ups.

24 That is, starting I believe up until  
25 1976, I think the data that was available, and

1           you can certainly correct me if I'm wrong,  
2           were not individual measurements but were  
3           roll-up data of total photon and neutron  
4           exposures, external exposures. And then the  
5           intent was to somehow disaggregate them so  
6           that we could actually reconstruct the photon  
7           doses versus the neutron doses.

8                     And I believe that all of what we're  
9           talking about now, mainly the neutron exposure  
10          discussion we just had and this matter of  
11          these other data, go toward the, please  
12          correct me if I'm wrong, the deconstruction of  
13          the roll-up data in a form that will allow  
14          reconstruction of individuals' doses, I think,  
15          pre-1976. And that's where I believe then the  
16          delivery of the special neutron study and also  
17          now these other data that we're talking about,  
18          the latest external dose.

19                    So this was like the fourth item that  
20          was added on to the original list of three  
21          that we felt we needed to start to explore.  
22          Now it seems to me the conversation that we're  
23          having now is a mixture of data reliability  
24          issues and also this business of  
25          reconstructing photon and neutron doses in the

1 earlier years. So when I look at number nine  
2 and the way it's constructed, I see a little  
3 bit of both in there as looking at the data  
4 from the point of view of dealing with  
5 reconstructing historical photon exposures,  
6 but also there are some items in here that  
7 will also go toward data reliability.

8 And that's where I am right now in my  
9 understanding of where we are in the process.  
10 And NIOSH is providing these data and records  
11 for us to review to see if, in fact, the  
12 concerns we originally raised related to this  
13 roll-up issue, neutron-photon roll-up issue,  
14 are, in fact, not a problem. And that's where  
15 my understanding is right now of this  
16 particular potential SEC issue.

17 **DR. ULSH:** John, I would point you to that -

18 -

19 **MR. GRIFFON:** That issue, yeah.

20 **DR. ULSH:** -- that Mark just referenced  
21 about the roll-up of neutron and gamma doses  
22 together. In our written responses for the  
23 Boston meeting on pages nine and ten we talked  
24 about that very issue. And we reported that  
25 for the time period that you're talking about

1 where the photon and neutron doses were  
2 combined, we applied that measurement to both  
3 neutrons and to photons. So effectively that  
4 doubles the reported dose, and we presented  
5 that as a claimant favorable resolution to  
6 this issue. I don't know if you guys have  
7 reviewed that yet, but it's on pages nine and  
8 ten of our written responses.

9 **DR. MAURO:** Now that you mention it, yes, I  
10 do recall that, and I haven't. Unfortunately,  
11 as pointed out earlier, Joe is, I believe, in  
12 Europe right now, and he's been sort of the  
13 point man on this, and I wish, and I'm not  
14 thoroughly briefed on this.

15 **MR. GRIFFON:** And I think we, that's why and  
16 on the last page new issue number one, I left  
17 that as an open item that SC&A's reviewing  
18 your response, Brant. That's sort of where  
19 that stands.

20 I agree there's a little bit of  
21 overlap between the neutron and the data in  
22 the number nine issues here. I think we can  
23 proceed on though. We're on the right track,  
24 John.

25 Item number four is the description of

1 the coworker model, and I don't think at this  
2 point that NIOSH has provided anything to us.

3 **DR. ULSH:** That is correct. We have not  
4 provided you coworker models. We are  
5 developing that from the Rocky Flats database.  
6 Now I want to point out that there's a  
7 difference here between Rocky Flats and some  
8 of the other sites that you previously  
9 considered. And that is that we are not  
10 proposing to use CER data. We are using  
11 actual data from Rocky Flats. We have about -  
12 - Craig jump in and correct me if I'm wrong,  
13 but I think 360,000 bioassay data, and I don't  
14 even know how many external. But it covers  
15 just about all the, it covers all the years  
16 that we're talking about the operations at  
17 Rocky Flats, and we are currently bouncing the  
18 results of, the results that are contained in  
19 the electronic database against paper records  
20 for this. But I do want to point out that  
21 this is not CER data. It's not third-party  
22 data.

23 **DR. ZIEMER:** Okay, go ahead, Mark.

24 (no response)

25 **MS. MUNN:** We seem to have lost Mark.

1           **DR. ZIEMER:** Mark, are you there?

2           **DR. WADE:** I say we wait a minute, he'll be  
3 back. Mark, you're not on mute, are you?

4           **MR. GRIFFON:** Hi, Paul. This is Mark. I  
5 got cut off somehow.

6           **DR. ZIEMER:** We just were waiting for you to  
7 get back. We figured you'd come back if we  
8 waited. I think we're down to item five under  
9 nine.

10          **MR. GRIFFON:** Item number five is this  
11 question about the zeros or no data available  
12 fields, and I think where that stands is that  
13 NIOSH has basically outlined an approach for  
14 this.

15                   Brant, you referenced this in that  
16 same document I believe and also maybe in the  
17 TBD. I'm not sure.

18                   And SC&A has to look at this and see,  
19 review it as it applies to the SEC petition I  
20 guess is the question.

21          **DR. ULSH:** Yeah, we presented, Jim Langsted  
22 presented a discussion of this issue. It was  
23 primarily related to after the years 1964 and  
24 forward where they had the combined dosimetry  
25 and security badges. And the question that

1 SC&A raised was after that time period why do  
2 you still see blanks in some cases or zeros in  
3 some cases when everyone was badged.

4 What Jim described was that in some  
5 cases workers would miss a badge exchange  
6 cycle and so there would be no recorded dose  
7 for that cycle. However, they were still  
8 wearing the badges they were issued, and they  
9 would turn it in at the next badge exchange  
10 period. In cases like that --

11 **DR. ZIEMER:** Presumably, that period dose  
12 was on the next time period.

13 **DR. ULSH:** That's exactly right. All of the  
14 doses recorded on that badge would be recorded  
15 in the latest time period when the badge was  
16 actually exchanged. And of course, that  
17 leaves you with a hole for the first monitored  
18 period, but in that case NIOSH would assign  
19 missed dose because this worker was  
20 continuously monitored.

21 So by assigning missed dose that's  
22 actually a claimant-favorable approach. We  
23 laid that out in the comment responses that we  
24 prepared for Boston, that letter that we keep  
25 referring to, and I think it is in SC&A's

1 court to review that.

2 **MR. GRIFFON:** Right, but that doesn't really  
3 address the question of potentially leaving  
4 badges aside when doing, when working in an  
5 exposure area.

6 **DR. ULSH:** You're right. That's a separate  
7 issue.

8 **MR. GRIFFON:** That's a separate issue.

9 **DR. ULSH:** Those two issues weren't rolled  
10 into one.

11 **DR. ZIEMER:** As they approach their dose  
12 limit to take their badge off so they --

13 **MR. GRIFFON:** But I think, Brant, you also  
14 offered a way for handling that second issue.

15 **DR. ULSH:** Yeah, the assertion was that in  
16 some cases workers as they approached the dose  
17 limit would leave their badges in their locker  
18 or stick them in their back pocket or  
19 something like that. We have heard that,  
20 similar stories from other sites. I don't  
21 think that NIOSH is questioning that that  
22 might have occurred in some situations.

23 However, I did mention that we do have  
24 methods to handle that, nearby technique,  
25 looking at the worker's monitoring results

1 over time. There is a paper by Kumazawa (ph)  
2 that describes how you can identify situations  
3 when this occurred, and when it does, how you  
4 can adjust the recorded dose.

5 **MR. GRIFFON:** And have you looked at that  
6 method as it applies to this particular site,  
7 this particular petition? Whether it would  
8 apply or if that approach can be used? I  
9 guess that's the question here.

10 **MS. MUNN:** Is it generic enough?

11 **DR. ULSH:** It is generic. It's a generic  
12 approach for adjusting recorded doses. I  
13 think Jim has something he wants to add.

14 **DR. NETON:** Yeah, I think what Brad's  
15 talking about is the Kumazawa approach was not  
16 specific to adjusting doses. It evaluated  
17 lognormal distributions of individual worker  
18 exposures. And you can see that as workers  
19 tend to get closer to the administrative  
20 limits, the curve tails off and doesn't go in  
21 a straight line all the way up through.

22 That could either be due to the fact  
23 that they weren't working or that they were  
24 leaving their badges in their rack. And we've  
25 adopted techniques at places like Hanford

1 where we would just extrapolate that straight  
2 line right up and not account for the  
3 curvature and give credit for the fact that  
4 the person may have continued working and  
5 didn't wear their badge.

6 I would say this only does apply to  
7 people who were fairly heavily exposed. I  
8 mean, the ones who would leave the badge to  
9 continue working to get their incentive pay or  
10 whatever would be the ones at the higher end  
11 of the distribution.

12 **MR. GRIFFON:** Right, I would agree.

13 **MR. DeMAIORI:** I've got a question. This is  
14 Tony DeMaiori with the Steel Workers. And my  
15 first question is what internal procedures,  
16 written procedures allowed for badges that  
17 weren't counted to be counted again the  
18 following period? I guess that's for Roger  
19 Falk. What procedures did we use that allowed  
20 for that when a badge was missed?

21 **DR. ULSH:** Actually, I think that might go  
22 towards Jim Langsted. Jim, are you on the  
23 line?

24 **MR. LANGSTED:** Yes, I am.

25 **DR. ULSH:** This is Brant Ulsh. I can say

1 that we are tracking down right now QA  
2 procedures or procedures that the radiation  
3 control group would have used in terms, in  
4 situations where there was a suspect badge  
5 reading. And we do intend to present that in  
6 the evaluation report or at the time we  
7 present the evaluation report. But Jim, I  
8 don't know if you have an answer for that  
9 or...

10 **MR. LANGSTED:** There were procedures during  
11 the '80s and '90s and the 2000s that did  
12 account for reading badges that were submitted  
13 off cycle or after two cycles. And those  
14 results did go into the database. There were  
15 also procedures that Brant referred to for  
16 investigating and documenting badges that were  
17 off normal, for instance one crystal that was  
18 odd or a badge that was, with an unusual  
19 reading on it and investigating the dosimetry  
20 to assure that the badge was reading correctly  
21 and assigning the appropriate dose.

22 **MR. DeMAIORI:** Well, I understand conduct of  
23 ops and the conduct of operations was  
24 perfectly clear that if you had an unusually  
25 high dose you trusted your instrumentation and

1           you assigned that dose. So I know procedures  
2           that would require you to assign the dose, I  
3           just don't know any other procedures that  
4           would allow for no current data available. I  
5           guess that's really what I'm shooting at.  
6           What procedure allows that insertion to the  
7           permanent document?

8           **MR. LANGSTED:** The procedure did not address  
9           no current data available. That was a record  
10          keeping issue while there was not a number  
11          available for that exchange period. And like  
12          we discussed earlier if the badge did not get  
13          exchanged but got exchanged the second period  
14          a no current data available would show up in  
15          the database for that first period. And then  
16          all the dose would show up for the second  
17          period.

18          **DR. MAKHIJANI:** Mark, this is Arjun. There  
19          are a number of these data integrity  
20          questions, and they're quite different, and  
21          the approaches might be quite different. And  
22          I guess it might be useful to make a list of  
23          them and discuss it at the working group so we  
24          know the issue is being addressed. Because  
25          apparently, NIOSH is contemplating addressing

1                   them, but --

2                   **DR. ZIEMER:** And we certainly can't address  
3                   them here today so that's probably a good  
4                   suggestion. Just to identify those kinds of  
5                   issues and whether or not they get addressed  
6                   in some kind of a procedural way or  
7                   operational way.

8                   **MR. GRIFFON:** That might be a follow up from  
9                   item five, Arjun, is that look at NIOSH's  
10                  response, and when you come back with your  
11                  comments make sure we cover all the areas of  
12                  data integrity issues there.

13                  **DR. MAKHIJANI:** But since Tony was speaking,  
14                  you know, this is the point that you raised  
15                  earlier. The petition I think has additional  
16                  issues some of which Tony's been raising here.  
17                  And so it might be, the reason I made the  
18                  comment is it might be good to combine all  
19                  those issues into one list so that we're sure  
20                  that they've all be taken care of including  
21                  the petitioners' issues.

22                  **MR. GRIFFON:** I agree. At the outset of  
23                  this I think we said that, I think SC&A under  
24                  the SEC task we'd need to review the full  
25                  petition and provide comments back on that.

1 So to the extent you can have that done before  
2 the next work group meeting that would be  
3 beneficial. Does everybody agree with that?

4 **DR. MAURO:** Yes, this is John Mauro. Our  
5 intent in our February 21<sup>st</sup> proposal for the  
6 task V, the SEC task that has been recently  
7 authorized, it includes reviewing the full  
8 Rocky petition. And as you indicated in your  
9 note at the top of the matrix table, certainly  
10 there are other issues that may emerge that  
11 need to get into this matrix. So we're sort  
12 of caught right now between working off the  
13 original site profile set and transitioning  
14 into the SEC activity.

15 And given the magnitude of the  
16 petition itself, we're not there yet in terms  
17 of, in order to say that we have not only  
18 looked at the material that is being provided  
19 to us by NIOSH to deal with the issues that  
20 we've already begun to identify, but we really  
21 have not moved into a mode where we're  
22 comfortable that we've explored and reviewed  
23 the full petition to the extent that we think  
24 that we have our arms around it.

25 **DR. MAKHIJANI:** In that context I might say

1 is NIOSH has undoubtedly reviewed the whole  
2 petition and if they have a list of these  
3 issues that would maybe make it more efficient  
4 and cut down the time. Because it is 700 odd  
5 pages, I have tried to kind of take a first  
6 look at it, but it's very long.

7 **DR. ULSH:** It is a very extensive petition,  
8 very thoroughly documented. We are in the  
9 process as required preparing an evaluation  
10 report which we plan to have, as Lew mentioned  
11 at the beginning of the call, we plan to have  
12 that in the hands of the petitioner and SC&A  
13 and the Board in early April. I don't know  
14 that we would be prepared to provide a  
15 breakdown of the petition before that time.

16 But I would like to take the  
17 opportunity to point out that the time is  
18 short here. To the extent that we can capture  
19 the issues on the matrix so that we're not  
20 shooting at a moving target, I think that  
21 would be beneficial for everybody. I don't  
22 think anybody wants to go into the Board  
23 meeting with brand new issues that have just  
24 come up recently because I really think the  
25 petitioners are anticipating a vote in April.

1                   And we certainly want to be responsive to any  
2                   concerns that are reflected both in the  
3                   petition and raised by SC&A. I think we've  
4                   done that, and we're in the process of doing  
5                   that, but we need to know what the issues are  
6                   in order to prepare responses to them.

7                   **MR. GRIFFON:** I think we're in agreement  
8                   with you, Brant. We're doing our best. We're  
9                   all working hard on this. And it is partially  
10                  because it's from a site profile that we  
11                  started this process that we're, I guess,  
12                  modifying these slightly as we move because  
13                  we're understanding the issues better, quite  
14                  frankly. I think that's what's happening.

15                 **DR. MAKHIJANI:** It's a little bit more than  
16                 that. This is Arjun. It's different than Y-  
17                 12 in that the Y-12 petition is short and has  
18                 been on the web. We only recently got the  
19                 Rocky Flats petition. It's very long, as  
20                 Brant has said, it's thoroughly documented.  
21                 It's technically very complex and the Board is  
22                 just charging us, or recently has charged us  
23                 with looking at it as a petition. So I don't  
24                 know what the pleasure of the Board is in  
25                 terms of asking us, but as a task manager for

1 SEC I do feel constrained to say that these  
2 issues that have been raised from a site  
3 profile there's no necessary connection with  
4 what the petitioner might have said. I do  
5 know there may be overlap, but --

6 **MR. GRIFFON:** From my standpoint that's part  
7 of why we put this under the SEC review  
8 process. And we certainly owe it to the  
9 petitioners to fully review the petition  
10 they've put together. I mean, that's what  
11 we're doing here so to the extent we can, we  
12 want to do this in a timely fashion, I agree  
13 with you, Brant. But it is extensive and  
14 lengthy and we also owe it a thorough review  
15 so I agree. I think we're getting there.

16 **DR. ZIEMER:** Well, let's proceed here, Mark,  
17 with the rest of this.

18 **MR. GRIFFON:** Item six, this is something  
19 that was addressed in Brant's response  
20 document that he's referring to, and  
21 basically, I think it needs further follow up.  
22 It was a finding in a 1993 GNFSB report, and I  
23 think it just hadn't been tracked back. Is  
24 that accurate, Brant, that you're working on  
25 that?

1           **DR. ULSH:** I think that's accurate, Mark.

2           **MR. GRIFFON:** Item seven is -- and since  
3 there's no previous item six, this is kind of  
4 a new item. This was a, Tony brought this up,  
5 a petitioner, on the last work group meeting.  
6 He was on the phone, and it's a question of  
7 following up on some criminal investigations.

8                         And I put this as an action because I  
9 think that NIOSH needs to work with the  
10 petitioner on this. I think at the time of  
11 the phone call Tony didn't have specific  
12 dates, times or who was involved. And I think  
13 that we were hoping that NIOSH could follow up  
14 with the petitioner and at least pull the  
15 thread on this and check into it and make  
16 sure, or see what's there basically.

17           **DR. ZIEMER:** Does this refer to the original  
18 grand jury investigations that were done after  
19 the FBI visited Rocky back in '89 or '90?

20           **MR. GRIFFON:** That's what we're not sure of.

21                         Tony, do you have any more that you  
22 could offer on this for clarification?

23           **MR. DeMAIORI:** Under clarification I would  
24 give you, we just concluded an investigation  
25 at Rocky Flats under an (unintelligible)

1 sample. It was (unintelligible), whatever  
2 term you want to use. It's with pure  
3 plutonium, no americium ingrowth, so that's a  
4 current one that was just completed by Kaiser-  
5 Hill and the United States Department of  
6 Energy, and there was no criminal prosecution;  
7 however, there was no dose added to the  
8 individuals' record. And this is not  
9 uncommon. This has been continuous throughout  
10 the history of that site.

11 **MR. GRIFFON:** Well, can I ask --

12 **MR. DeMAIORI:** And I've got the current one.  
13 I've got the report in my filing cabinet right  
14 here as this is something that we just  
15 completed.

16 **MR. GRIFFON:** Are any of these that you  
17 referenced in the last work group meeting, are  
18 they included within your petition or is this  
19 something beyond the materials that you  
20 provided already? I mean I guess that's what  
21 we sort of need to know. We want to make sure  
22 we cover --

23 **MR. DeMAIORI:** Right, it's a challenge under  
24 the record keeping, the no current data  
25 available. You know, we don't believe that

1           it's simply because there was no data  
2           available. The workers don't believe it.  
3           I've got a package right here from Norm Worwin  
4           (ph) from the '90, when we were adding  
5           plutonium to the stacker/retriever in Building  
6           371, he was the (unintelligible). We were  
7           turning out our ADRTs as two-week limits so  
8           that they didn't exceed their five rem a year  
9           so we were rotating them out.

10                    But Norm did the job for all four  
11           months from the inside of the C cell, and his  
12           records indicate no current data available  
13           quite often during that time period and low  
14           dose even though the people he was supporting  
15           had high doses, and we were rotating them in  
16           and out on a routine basis. And so in the  
17           petition these are the types of things that we  
18           are questioning on the record keeping  
19           absolutely.

20                    And so as I brought up historically  
21           that is when the doses weren't believed to be  
22           correct as there was the (unintelligible) no  
23           current data available. And so this is really  
24           where we're at.

25                    **DR. ULSH:** Tony, this is Brant, Brant Ulsh

1 with NIOSH. You mentioned that you've just  
2 finished up, I don't know if you used the term  
3 investigation, but --

4 **MR. DeMAIORI:** It was an investigation by  
5 Kaiser-Hill and the United States Department  
6 of Energy.

7 **DR. ULSH:** If there are situations like  
8 that, investigations, can you please forward  
9 that to us? We would be very interested in  
10 considering it and responding to it. And if  
11 there are other ones that you're aware of but  
12 you may not have in hand, if you could point  
13 us in the right direction, tell us whatever  
14 you can tell us in terms of who we call or --

15 **MR. GRIFFON:** When this first came up, just  
16 to respond to what Paul said, I was thinking  
17 it was related to the 1989, you know, the FBI  
18 --

19 **DR. ZIEMER:** Although I think that original  
20 case had less to do with personnel monitoring  
21 and more to do with dumping, illegal dumping  
22 into the environment.

23 **MR. DeMAIORI:** Yeah, the grand jury  
24 investigation was more of an environmental  
25 investigation, no question about it. What I'm

1           telling you is, you know, and this relates  
2           directly to using the coworker model as when  
3           in fact out at Rocky Flats as some of the  
4           doses came in that were a lot higher than the  
5           operations would normally expect to see, and  
6           there were investigations, internal  
7           investigations. (Unintelligible) were not  
8           justified in the minds of those who did the  
9           investigations. They were zeroed, just like  
10          this person, the investigation we just  
11          completed was zeroed.

12                 You know, the people investigated it,  
13           determined that the samples had been doped  
14           with pure plutonium, and we never worked with  
15           pure plutonium. As did who did the doping,  
16           that's why there's no criminal prosecution due  
17           to the chain of custody. So you know, but  
18           once again we're at the zero. Now conduct of  
19           operations out at Rocky Flats is something  
20           that we implemented in the mid-'90s anyway.  
21           And would say yeah, we believe your  
22           instrumentation and your assigned dose.

23                 So really what I'm saying is that if  
24           there are procedures that I've been told about  
25           here recently on the telephone that would

1 explain these type of things and direct, we'd  
2 like to know what those procedures are.

3 **MR. GRIFFON:** All right, I think I'll leave  
4 that action. Brant, you can call up with Tony  
5 and maybe see if he has more materials to  
6 provide, and we'll leave it there. Is that  
7 okay?

8 **MR. DeMAIORI:** I've got the current  
9 investigation. I've got the files. My sister  
10 was part of the investigating team.

11 **MR. GRIFFON:** And number eight, and this  
12 goes to the data reliability similar to the Y-  
13 12 matrix, this NIOSH/ORAU will demonstrate  
14 reliability of bioassay and external database  
15 data for the comp program. And this is, you  
16 know, I think we're asking for NIOSH/ORAU to  
17 give a method by which they're going to  
18 determine the reliability of these databases.

19 And it sort of depends, it's related  
20 to the coworker models in that I'm not even  
21 sure how extensive their reliance on coworker  
22 models will be for this petitioning cohort.  
23 We know at Y-12 for that period of time the  
24 coworker models were going to be fairly  
25 heavily relied on. I'm not sure the same is

1 true for this, for Rocky Flats. So I think  
2 they're sort of tied together with the  
3 coworker model in that respect.

4 **DR. ULSH:** Mark, I think you're right. If  
5 you look at the graph that we put together in  
6 our written responses for Boston, there's a  
7 very high proportion of the plant population  
8 that was monitored, particularly between the  
9 years of -- I'm trying to eyeball it off the  
10 graph here -- about 19, in the early '60s up  
11 into the '90s. It, of course, ramped up in  
12 the '50s up to that peak in the '60s.

13 **MR. GRIFFON:** So if a high percentage were  
14 monitored, and you have enough data to do  
15 individual dose reconstruction, obviously,  
16 these kind of things go away.

17 **DR. ULSH:** That's exactly right; however, I  
18 don't want to say that we had a hundred  
19 percent monitoring. We certainly will in  
20 individual situations rely on coworker data.  
21 But again I do want to point out again that  
22 we're relying on the site, the actual site  
23 data, not CER data that might have been  
24 massaged by, for an epidemiology study.

25 **MR. GRIFFON:** Correct.

1           **MS. MUNN:** Not third party stuff.

2           **MR. GRIFFON:** These are identified as new  
3 issues. New issue one I think John pretty  
4 much outlined earlier, this roll-up question.  
5 And I think that's this basically we need to,  
6 SC&A needs to review that. We just got that  
7 response at the last meeting. And then the  
8 same issue, too, is kind of a specific issue I  
9 think in that this question of an  
10 inappropriate algorithm being used.

11                   And I believe we had a response which  
12 seemed to be, you know, result in higher  
13 doses, but SC&A just has received it at the  
14 last meeting again, so you know, that last  
15 item might, for instance, be resolved very  
16 quickly. But we want to give SC&A a chance to  
17 further consider.

18                   Anything else to add either --

19           **DR. ZIEMER:** Board members, any further  
20 questions on the material that Mark's  
21 presented?

22                   (no response)

23           **DR. ZIEMER:** The same issues or the same  
24 questions apply in terms of timing. Are we on  
25 track? It looks like we're going to be really

1 pushed hard on this one timetable wise. NIOSH  
2 is doing their best to come up with their  
3 recommendation by early April, but then also  
4 the opportunity for SC&A to evaluate that  
5 material and for us to look at it before the  
6 Board meeting --

7 **MR. GRIFFON:** I guess the big, you know, one  
8 big sort of unknown right now for us is the  
9 petition is some 730 pages, and we've just  
10 asked SC&A to really look into it. So this is  
11 fairly recent that they've been tasked with  
12 that part of it. They've been looking at the  
13 profile in the past. So that's, you know, I  
14 know we have to like everything else, we're  
15 going to try to expedite that, but that's sort  
16 of a big unknown and hopefully we've captured  
17 a lot of the same kind of issues in the  
18 original matrix, but we're not sure of that.  
19 So we definitely need to look at that  
20 thoroughly.

21 **DR. ZIEMER:** Board members, any other  
22 questions for Mark?

23 (no response)

24 **DR. ZIEMER:** Okay, thank you very much. We  
25 appreciate again the work group's efforts on

1 this. It's been extensive and time consuming.

2 **MR. HILLER:** This is David Hiller with  
3 Senator Salazar's office.

4 **DR. ZIEMER:** Yes, David.

5 **MR. HILLER:** It sounds like you're ready to  
6 move on past this issue, and if I can I'd just  
7 like to, I guess, echo your question regarding  
8 whether or not this petition is going to be  
9 ready for action at the April meeting. I'm  
10 not sure that anybody can answer that  
11 question, but as you all know, this petition  
12 is well beyond the 180 day limit now. And  
13 there's a great deal of concern both among the  
14 community of Rocky Flats workers and the  
15 congressional delegation this is going to be  
16 postponed yet again.

17 **DR. WADE:** Well, I can try and answer your  
18 question. This is Lew Wade with NIOSH. It is  
19 NIOSH's intent to present a definitive  
20 evaluation report to the petitioners at the  
21 early April and bring the petition evaluation  
22 report to the Board so that the Board can vote  
23 on it at its meeting at the end of April in  
24 Denver. That is really NIOSH's expressed  
25 intent that I would imagine will live true to

1           that intent.

2                       What really is being discussed now is  
3           how much closure there'll be on the variety of  
4           issues that we've raised prior to that. And I  
5           think that's where the push is. I think this  
6           next discussion on the Board's contractor and  
7           their progress on the SEC task will relate to  
8           this issue as well. But it is NIOSH's intent  
9           to issue a definitive evaluation report prior  
10          to the end of April meeting and to see that  
11          the Board is in a position to vote at the  
12          Denver meeting at the end of April.

13               **MR. HILLER:** Well, fair enough --

14               **MR. GRIFFON:** With that in mind -- I'm  
15          sorry. This is Mark Griffon. I didn't mean  
16          to cut in. But with that in mind I think one,  
17          I'm just looking back at our matrix and one  
18          big item that's missing in my mind is the  
19          sample DRs, the sample dose reconstructions.  
20          And I think I don't know if, you know, giving  
21          this timeline I think we need to ask SC&A now  
22          to develop the same thing they did for Y-12  
23          and get those to NIOSH as soon as possible  
24          possibly for, so NIOSH can do some sample dose  
25          reconstructions for the next work group

1 meeting.

2 I don't know if this is all possible,  
3 but I'm throwing it out there that it seems  
4 like we need to have some sample dose  
5 reconstructions to sort of stick by our draft  
6 SEC review procedures as well. This is sort  
7 of the proof of principle. Show us some draft  
8 dose reconstructions of representative cases.

9 **DR. MAURO:** Mark, this is John Mauro. I  
10 agree with you completely. I believe,  
11 especially in light of this discussion, we're  
12 in a position to begin to craft cases similar  
13 to the set that we sent down on Y-12. The  
14 only thing I would caution is that while we do  
15 that and we'll begin that, we have already  
16 begun that, and we do want to leave the door  
17 open, that in parallel we are reviewing the  
18 large, the petition, all the data that is  
19 being provided, information and procedures  
20 that are being provided to us.

21 So I think that if acceptable to the  
22 working group and the Board, we could probably  
23 put something out as an initial set of cases  
24 that we think, given our, the maturity of our  
25 understanding of the issues, we think these

1 are cases that would help achieve closure.  
2 But we may have to add additional ones as we  
3 proceed.

4 **DR. MAKHIJANI:** Mark, this is Arjun. I  
5 agree with John. As I said we have done a  
6 very rough look to of the first part of the  
7 petition. And part of our suggested  
8 procedures, and granted you haven't voted on  
9 them, but in the commonsense spirit that Dr.  
10 Wade instructed us to work a couple of weeks  
11 back, we think that it's important for us to  
12 interview the petitioner and, or at least one  
13 of the petitioners, and we can begin to  
14 develop this partial dose reconstruction list  
15 even as we did with Y-12 based even on the  
16 site profile issues and in the initial  
17 reading. But as John has said there's no, we  
18 can do that within a few days, but we don't,  
19 probably it will not be complete, or at least  
20 we won't --

21 **MR. GRIFFON:** Yeah, I think we understand  
22 that. I think we need to get a partial  
23 listing though and maybe within a week if  
24 that's possible. And then NIOSH will have  
25 some time to possibly turn it around before

1 the work group meeting at the end of the  
2 month.

3 **DR. MAKHIJANI:** Yeah, we can work on that  
4 and if you like we can integrate some of the  
5 issues that we see in the petition into that  
6 as well to kind of move things along in the  
7 spirit that's here.

8 **MR. GRIFFON:** I think that would be  
9 advisable, yeah.

10 **MS. MUNN:** This is Wanda. I would hope that  
11 that list of scenarios would not be  
12 unmercifully long. This site had from its  
13 outset a very focused mission and very focused  
14 activity range. And added to that a very high  
15 level of worker monitoring that we don't  
16 always see. Given those parameters I would  
17 hope that we'd be able to focus in on a  
18 limited number of issues that affect the SEC  
19 and reduce the number of potential dose  
20 reconstructions that we need to prove.

21 It would certainly seem reasonable to  
22 expect that we might not need to have 12 or  
23 even 10 or even nine different scenarios that  
24 we need to cover. I would hope we would be  
25 very, very circumspect in choosing what we are

1 expecting our people to do.

2 **DR. MAKHIJANI:** Ms. Munn, I guess you're  
3 directing us to work with the working group  
4 that developed this, and we will, of course,  
5 take our guidance from the Board members on  
6 the working group, and you're on it. So I  
7 suppose we will develop a process in that  
8 light and send you --

9 **MS. MUNN:** I'm just asking that it be  
10 focused specifically on issues that are raised  
11 by the SEC.

12 **DR. ZIEMER:** And you'll be there to help do  
13 it, Wanda.

14 Other comments or questions?

15 **MR. GRIFFON:** And maybe you can provide that  
16 list within a week, John, and circulate it to  
17 the working group and NIOSH.

18 **DR. ZIEMER:** And we really haven't answered  
19 the question from the Colorado delegation in  
20 terms of reaching closure, but I think it's  
21 safe to say we'll do our best effort to come  
22 to closure at the April meeting.

23 **PROGRESS REPORT SC&A SEC TASK**

24 **DR. WADE:** This is Lew. The second  
25 discussion we're going to have now is hearing

1 from SC&A on their work and plan for the SEC  
2 task. That is also part of this. So until  
3 that discussion takes place I don't think  
4 we've explored all of the issues we need to  
5 explore prior to making our plan. I would  
6 suggest we move into that agenda item.

7 **DR. ZIEMER:** Right, that this the next item  
8 on the agenda. Lew, do you want to make any  
9 other preliminary remarks on that before we  
10 look at the proposal?

11 **DR. WADE:** Only that again, we asked SC&A to  
12 take on three reviews, one full-blown review  
13 on the Ames, Iowa petition, and then two very  
14 focused reviews on Y-12 and Rocky Flats, the  
15 focus being the issues identified in the site  
16 profile activity. And based upon that charge  
17 John has prepared, I think it's a February 21<sup>st</sup>  
18 bit of report plan that I think maybe, John,  
19 you could simply walk us through and just  
20 paint us a picture as to where you are and  
21 where you're going. And then let us know what  
22 guidance you need. Now again this work is  
23 happening under the able leadership of Dr.  
24 Melius who's --

25 **DR. ZIEMER:** Still there?

1                   **DR. WADE:** Yes.

2                   So I would ask Dr. Melius if he has  
3 any introductory comments, and then we could  
4 hear from John.

5                   **DR. MELIUS:** I have no introductory  
6 comments.

7                   **DR. ZIEMER:** And before John begins here,  
8 Lew, I just for clarification on process, this  
9 material from John is actually the material  
10 that was sent to the contracting officer.  
11 Does this require any Board action or is this  
12 for information only? It's basically  
13 responsive to the Board's already what we've  
14 designated as our desire. Do we need to  
15 formally approve this?

16                   **DR. WADE:** I think we do have an opened  
17 action in that SC&A has made a proposal, two  
18 proposals really to us as to the procedures  
19 they would follow. And the Board has never  
20 formally approved those procedures. So I  
21 think there is an opened action. Whether or  
22 not you want to take that action now I leave  
23 to your wisdom. It is something we could do  
24 at the full-blown meeting in April as long as  
25 we have SC&A working to the Board's desires

1 between now and then.

2 **DR. ZIEMER:** Well, there's several documents  
3 that go back to last fall. You know, we have  
4 the, I think they were November documents  
5 dealing with Task Five and the sub-tasks  
6 thereof. And this letter proposal basically  
7 is an addendum to Task Order Five. But has  
8 Task Order Five not been formally issued  
9 already?

10 **DR. WADE:** Yes, it has.

11 **DR. ZIEMER:** So it does exist, and this is a  
12 proposed addendum or -- is that the proper  
13 word?

14 **DR. WADE:** Yes.

15 **DR. ZIEMER:** Yes, Addendum to Task Order  
16 Five. So I think we'll go through this and  
17 see if the Board is in agreement that this is  
18 what we would like you to concentrate on.

19 **DR. MELIUS:** This is Jim Melius. Can I  
20 change my mind and make some preliminary?

21 **DR. ZIEMER:** You bet.

22 **DR. MELIUS:** I thought they could come  
23 later, but in our last meeting as I recall,  
24 what we decided to do was postpone approval of  
25 the proposed procedures that SC&A had given to

1 us and try to merge those procedures with our  
2 work group report so that we made sure that  
3 their procedures were developed before and  
4 sort of independently of our work group  
5 efforts. And we needed to merge the two  
6 documents in a way that would, I think,  
7 provide more focus to what SC&A would be  
8 doing.

9 **DR. ZIEMER:** We actually on our own  
10 procedures though, we did in a sense approve  
11 those as a working document that we could  
12 always modify if necessary. So I think we  
13 said that we were going to at least operate  
14 under that draft that your work group  
15 prepared. And then SC&A had developed this  
16 item Board procedures for review. That's the  
17 November 30<sup>th</sup> document I believe.

18 And those were the two that we had  
19 talked about possibly merging those as a  
20 formal document, but in essence we are  
21 already, I believe, operating under our own  
22 document subject to later refinement as we  
23 review the SC&A. But in terms of our own  
24 document that talks about key considerations  
25 for Board review of SC&A, or of special

1 exposure cohort documents, I think in those  
2 issues such as the credibility of the datasets  
3 and demonstration of feasibility and  
4 sufficient accuracy and those things. We  
5 actually are operating under those if I'm not  
6 mistaken.

7 **DR. MELIUS:** That is correct; however,  
8 SC&A's procedures as outlined in the November  
9 30<sup>th</sup> document was written beforehand. And I  
10 think the task that we need to do is to  
11 somehow combine, merge the two so that their  
12 procedures reflect the focus of what we want.  
13 I propose that we do that in a sort of going  
14 forward at the next meeting. Meanwhile, the  
15 three issues that we have under consideration  
16 now, we handle sort of on an interim basis as  
17 best we can, operating under the guidance for  
18 that document and how SEC -- SC&A is  
19 approaching these.

20 **DR. ZIEMER:** Right, which means in essence  
21 we're going to focus on this February document  
22 that John sent to the contracting officer.

23 **DR. WADE:** Right, and just to -- this is Lew  
24 again -- to assure that we're on sound  
25 contractual and legal ground, in proposal five

1           that SC&A developed is incorporated now as  
2           part of the contract. That proposal laid out  
3           certain activities that SC&A was proposing to  
4           do. So we can operate under the cover of that  
5           proposal and this amendment. Now the Board  
6           has to decide intellectually how it reacts to  
7           this amendment.

8           **DR. ZIEMER:** Right, okay, and John, why  
9           don't you proceed then?

10          **DR. MAURO:** Well, I have to say you've done  
11          a very good job in stealing my thunder and  
12          anticipating everything, and many of the  
13          issues that you have just been discussing are  
14          issues that I've been thinking about and from  
15          the point of view of an SEC Task Five status  
16          report. I think it's a good idea for us to  
17          step back and recollect that Task Five has  
18          been fully funded and approved. It consists  
19          of a number of sub-tasks. The first two are  
20          the delivery of one was a review of NIOSH's  
21          evaluation procedures for SEC petitions and  
22          one was -- pardon me? I thought I heard a  
23          question.

24          **DR. ZIEMER:** I think we're getting some  
25          offline static or something. Go ahead.

1           **DR. MAURO:** The other deliverable, November  
2 deliverable that we mentioned is SC&A's  
3 proposed procedures to review SEC petitions on  
4 behalf of the Board and for the Board. So  
5 those two deliverables are in the hands of the  
6 Board for your consideration. Now --

7           **DR. ZIEMER:** I'm getting a lot of side  
8 chatter again.

9           **DR. WADE:** We're getting talk and laughter  
10 and someone's going to remind somebody of a  
11 discussion. That's all on open mike. Please,  
12 if you're doing that, mute your discussion.

13           **DR. MAURO:** Okay, I'll continue. Now the  
14 framework that Dr. Melius' working group put  
15 together represents really the only approved,  
16 I guess, guideline under which let's say work  
17 is proceeding. The other two documents that  
18 we've submitted are yet to be approved.

19           **DR. ZIEMER:** That's correct.

20           **DR. MAURO:** So where we are in terms of  
21 stepping back and the big picture is we have  
22 authorization and budget to proceed with the  
23 full scope of work that's laid out in the  
24 February 21<sup>st</sup> letter that you folks have in  
25 your hands. The reason that was needed is the

1 original authorization of Task Five was only  
2 really authorized us to proceed with those  
3 first two deliverables.

4 All the other tasks which consists of  
5 other sub-tasks which consists of the review  
6 of five SEC petitions that have site profiles,  
7 the review of one SEC petition that does not  
8 have a site profile which turns out to be the  
9 Ames case. So we basically, that sub-task now  
10 has been officially authorized, and then there  
11 is the focused reviews. So that was the  
12 framework that was set up originally, and that  
13 was approved.

14 But now that we've been given through  
15 the working group and through the Board  
16 authorization to move forward with  
17 specifically with Ames and these other two  
18 what I will call focused reviews, I felt it  
19 was necessary for me to inform the Board with  
20 the February 21<sup>st</sup> letter, okay, we are now  
21 about to proceed with some additional work  
22 that up until that point in time really was  
23 not authorized. Here is what I believe will  
24 be the budget, and here's what I believe to be  
25 the scope and the approach that we will use.

1           I elected in that letter to treat the  
2 Y-12 and Rocky work as focused reviews. So  
3 they really fall under one of the sub-tasks  
4 that we have a budget for. And the Ames work  
5 that we have begun is a full-blown review that  
6 is, that we have draft procedures in place but  
7 really not approved. So we're using right now  
8 our commonsense approach to the problem.  
9 Mainly, we have Dr. Melius' framework, and we  
10 have the dialogue that's going on of what we  
11 need to do.

12           But we really have never married Dr.  
13 Melius' framework to our procedures that we  
14 proposed in November. That's probably needed  
15 in order to firm up the framework within which  
16 we're doing our Ames review because the Ames  
17 is a full-blown review. With regard to the  
18 two focused reviews -- and I'll get into the  
19 specifics. I'm trying to stay back right now  
20 to give you the big picture.

21           With regard to the two focused  
22 reviews, we have a little bit of an unusual  
23 circumstance in terms of originally the  
24 focused review concept was put in place when  
25 Task Five was first authorized as a way in

1           which the Board could authorize SC&A to do  
2           some special studies, relatively small  
3           studies, and that would be performed after the  
4           Board had received an evaluation report on a  
5           particular site profile from NIOSH. And then  
6           the Board would then say, well listen, SC&A,  
7           you may want to look into this, this or this.

8                         What we have here is hunting a little  
9           bit different, and appropriately different  
10          that emerged as a result of the maturation of  
11          our understanding of how best to proceed.  
12          With regard to the Y-12 and Rocky it became  
13          clear that in order to expedite the process  
14          it's better not to wait until the evaluation  
15          reports show up at the Board, and then the  
16          Board to deliberate and determine what areas  
17          you'd like SC&A to look at and not look at.  
18          So the judgment was let's try to get this  
19          process moving forward as early as possible  
20          following the qualification of a particular  
21          SEC petition.

22                         Now moving closer and closer now to  
23          where we want to get into, talk about the  
24          details. Y-12, Y-12 in my mind is, even  
25          though it's been initiated prior to the

1 evaluation, it is our understanding of the  
2 issues are very mature. Our ability to define  
3 the issues, you could see where we were able  
4 to do that very effectively. There really has  
5 not been a growth in the number of issues that  
6 need to be looked at because we were working  
7 on the site profile for Y-12 for quite some  
8 time, and our understanding of what issues  
9 really rise to the level of an SEC issue and  
10 what does not is pretty clear.

11 So the idea of a focused review for  
12 those issues really makes a lot of sense. And  
13 I think it's well in hand. I think we're  
14 progressing very nicely with that. That is,  
15 the next real stage of activities is those  
16 sets of cases. Granted we have 11 cases there  
17 that we suggest. The reason there are that  
18 many is because there's a lot of complexity  
19 especially to these special radionuclides that  
20 need to be aired out.

21 But I think if we can go through cases  
22 that address those 11 issues, we'll be in the  
23 position fairly quickly to give advice to the  
24 working group and then the working group to  
25 the Board regarding the degree to which NIOSH

1 has demonstrated that they're proposed  
2 approaches do, in fact, work. So I think our  
3 progress on Y-12 is very, I'm very optimistic  
4 that we're going to be able to move pretty  
5 quickly through the various issues and using  
6 the case studies as the basis to achieve  
7 closure.

8 Now the focused review for Rocky as  
9 you can tell is still a bit, I guess, early.  
10 What I mean by that is we really move very  
11 quickly from the, moving from a mode, the site  
12 profile review mode, where out of the site  
13 profile we were able to identify three,  
14 perhaps four, major categories of issues that  
15 emerged from the site profile. We are now in  
16 a mode where we're looking at the petition  
17 itself, and unlike Y-12, the Rocky petition is  
18 a very large petition, a complex petition.

19 We believe that it would be  
20 inappropriate for us to presume that the four  
21 fundamental issues that are in the matrix,  
22 even though the matrix has a lot of elements  
23 to it, they really boil down to four  
24 fundamental issues with a number of sub-  
25 issues, it would be inappropriate to say that

1           that is the boundaries of the SEC issues at  
2           play simply because I think there are two  
3           things that SC&A has to do.

4                     One is we have to very carefully  
5           review that petition, and two, we have to  
6           interview the petitioners to make sure that we  
7           feel that we've given due process to  
8           understanding the issues and getting our arms  
9           around it. Which brings me to a question,  
10          maybe it was inappropriate to call the Rocky  
11          review a focused review simply because from  
12          what I just said, obviously, it's not that  
13          focused. So I guess one of the matters I'd  
14          like to leave before the Board is perhaps in  
15          light of the process we're engaging in right  
16          now, it would have been more appropriate to  
17          define the Rocky work as something that's more  
18          akin to a full review as opposed to a focused  
19          review.

20                    Now for a practical sense the reason  
21          that, and I'm not saying we should do this,  
22          but from a practical sense, as we move, unlike  
23          Y-12 where I think we understand how much time  
24          it's going to take and how much it's going to  
25          cost to work our way through the process. On

1 Rocky it's a lot more open ended as I see it  
2 right now. And I don't want to leave anyone  
3 with the impression that it's what I would  
4 call a standard focused review where the  
5 issues have been defined, the process for  
6 closing out the issues have, or the need to  
7 address the issues, whether they'll be,  
8 achieve resolution or not, of course, it's yet  
9 to be seen, but I think that the issues may  
10 still be unfolding before us.

11 Unfortunately, I think early on when  
12 we had one of our conference calls we all were  
13 optimistic that, well, let's define those  
14 issues and move ahead. I think we did that  
15 effectively on Y-12. I think we were a little  
16 bit overly optimistic on Rocky. I'd like to  
17 leave a little elbow room to allow us to  
18 explore with the working group other issues  
19 that might emerge as we move through these  
20 processes. From a practical standpoint the  
21 implications are that it does have cost and  
22 schedule implications.

23 I noticed in the previous conversation  
24 that everyone is very anxious to try to move  
25 this as quickly as possible especially with

1 the April meeting coming up. But I also want  
2 to caution everyone that I think we've got a  
3 very large petition in front of us and we  
4 really are only, we're in the beginning stages  
5 of totally digesting that document. I think  
6 it would be unfair to claim that the work  
7 we've done on the site profile certainly gets,  
8 certainly moved this up the learning curve in  
9 addressing the issues. But I wouldn't presume  
10 that, that we have captured all of the SEC  
11 issues completely as a result of the work we  
12 did on the site profile.

13 So I guess one of the things I think  
14 we might want to do is decide whether it's  
15 important that rather than work from a  
16 commonsense approach that we've been operating  
17 under perhaps it's time to formalize our  
18 procedures for performing reviews, mainly  
19 marrying Dr. Melius' framework with our review  
20 procedures so we have an approved set of  
21 protocols under which the Ames review can move  
22 forward.

23 The Ames review is moving forward, but  
24 it really, and it's moving forward from the  
25 commonsense approach. We are starting to,

1           there are only three of us right now reading  
2           all of that material. So there's a lot of  
3           material by the way, and we're starting to  
4           develop a sensibility regarding what those  
5           issues are. We're hoping within the matter of  
6           a week or so to start to communicate to the  
7           working group some of the, to tee up some of  
8           the things that we think might be issues  
9           related to Ames, might be SEC issues. Because  
10          that was one of the reasons we began as early  
11          as we could on this so that we could  
12          communicate to the working group and the Board  
13          some of the issues that emerged.

14                 And so from the point of view of the  
15          status report three of us have read  
16          substantially the two CDs that were provided  
17          and about 70 documents that are on the O  
18          drive. And we're starting to -- our opinion  
19          regarding what might be some of the SEC-  
20          related issues at Ames are starting to take  
21          form, but we are very much in the early stages  
22          of that.

23                 As those issues start to emerge and  
24          within our own group of people that are  
25          working on it, we achieve general agreement

1           that we think we've identified X, Y and Z as  
2           an issue, at that point in time we will  
3           communicate them in writing to the working  
4           group. With regard to Y-12, as I mentioned  
5           earlier, I think we're very mature, way out in  
6           front of a power curve so to speak, and  
7           because we have, I think, one of the big  
8           milestones in the process we're in is to get  
9           the list of cases that we'd like to look at.

10                    Because really what that means is that  
11           we understand what we believe to be the key  
12           SEC issues, and we understand, we believe we  
13           could define the kinds of cases that if we can  
14           work our way through those cases to everyone's  
15           satisfaction, we have gotten to the point  
16           where we fully appreciate the degree to which  
17           we have issues that are resolvable or issues  
18           that may not be resolvable. And so I think  
19           we're well along on Y-12 in that matter.

20                    So I think we're pretty much in the  
21           earlier stages on Rocky. Even though we've  
22           identified a number of important issues, I  
23           think we're, and we're about to deliver to the  
24           working group a list of at least initial cases  
25           that we think will serve us well in testing

1                   those issues, I believe that there is quite a  
2                   bit more to be done there. I hope that this  
3                   gives you the overview that you're looking  
4                   for.

5                   **DR. ZIEMER:** Thank you very much, John.

6                   **DR. MAURO:** I'd be happy to answer your  
7                   questions.

8                   **DR. ZIEMER:** Thank you very much.

9                                 Bottom line on Rocky is that although  
10                   you've identified four issues in your proposal  
11                   and that makes it look focused, but in fact,  
12                   there's a high possibility or even probability  
13                   that other issues may emerge as you get into  
14                   the petition itself and as you examine the  
15                   issues that we've already talked about in the  
16                   matrix which makes it look a little less  
17                   focused than it might otherwise have looked.

18                   **DR. MAURO:** Right, exactly correct.

19                   **DR. ZIEMER:** Okay, let's get comments from  
20                   Board members. And then the other implication  
21                   of what you said in terms of resources for  
22                   Rocky, whenever that's the case, one of the  
23                   important resources is time. And that makes  
24                   me awfully nervous about the April time frame,  
25                   not in terms of what NIOSH is able to do, but

1           what the Board and its contractor will be able  
2           to do in terms of assessing the recommendation  
3           and coming to closure on it.

4           **DR. MAKHIJANI:** Dr. Ziemer, this is Arjun.  
5           I have a question in this regard. What we're  
6           doing is sort of developing as we proceed and  
7           the calendar when NIOSH is going to put the  
8           evaluation both on Iowa and Rocky Flats is  
9           fairly short; Iowa is March 22<sup>nd</sup> and Rocky  
10          Flats is early April. And given the fact that  
11          in both readings of those petitions and the  
12          associated materials, earlier for Ames and  
13          more along for Rocky Flats, but still not very  
14          far along. What portions of the review maybe  
15          the Board would like to happen after the  
16          evaluation report is published? What parts of  
17          the dose reconstructions might be done  
18          afterwards or before?

19                 This is a little bit unclear. I mean,  
20                 we are going to submit a list of dose  
21                 reconstructions for Rocky Flats as soon as  
22                 possible, soon. But I am a little bit unclear  
23                 about what happens before and after. I guess  
24                 not much is going to happen before in Iowa,  
25                 but whether the Board is anticipating some

1 kind of more extended conversation with the  
2 working group and with NIOSH before the Board  
3 meeting on Rocky Flats after the petition  
4 evaluation is published, if not published, at  
5 least sent around to the Board and  
6 petitioners?

7 **DR. ZIEMER:** Well, number one, I think we're  
8 anticipating another work group meeting before  
9 the NIOSH recommendation on Rocky. That would  
10 be correct, Mark, would it not?

11 **MR. GRIFFON:** I think so. I mean, the way  
12 they were framing it they're looking at giving  
13 that evaluation report in early April so I  
14 think, yeah.

15 **DR. ZIEMER:** But the other part of that is  
16 that, and I think this is sort of the question  
17 that Arjun is raising, is what do we expect  
18 before that happens and what do we expect  
19 after that happens. Part of this is a time  
20 constraint that gets imposed a bit in terms of  
21 wanting to be timely on these petitions. And  
22 of course, NIOSH itself is constrained by the  
23 requirements of the law in terms of the 180  
24 day thing.

25 We have no such constraint per se

1           except that we recognize based on our  
2           interactions with the public that they also  
3           are looking for a timely action. We are in a  
4           situation where we want to be able to  
5           responsibly review a petition and feel like we  
6           have done it justice or basically review our  
7           recommendation by NIOSH, and yet we don't want  
8           to drag this on and on and on.

9                         But we don't want to get into the kind  
10           of thing we had at Mallinckrodt where every  
11           time we met we had a new set of issues to deal  
12           with, and we couldn't come to closure. I'm  
13           just saying that right now particularly based  
14           on what John has said about Rocky and the fact  
15           that we're just now getting into looking at  
16           the petition itself, and we'll have the NIOSH  
17           recommendation in early April, that's only two  
18           or three weeks at best before our meeting.  
19           And whether or not we can do a credible review  
20           and meet our responsibilities in that time  
21           would be a concern for me.

22                         **DR. MELIUS:** This is Jim Melius. I want to  
23           share that concern and sort of back up a  
24           little bit because we're trying to facilitate  
25           the process, but it is important that, one,

1           that our review be, it's an independent review  
2           of NIOSH's evaluation of that SEC petition.  
3           And so we maintain some separation from NIOSH,  
4           and given like the circumstances on Rocky  
5           Flats I even question why we're submitting or  
6           attempt to submit cases to NIOSH, sample cases  
7           or illustrative cases to NIOSH if we're not  
8           confident that the issues, that we understand  
9           the issues with the SEC.

10                   And until their evaluation report, I  
11           mean, we want to make sure that NIOSH's  
12           evaluation report is independent of our review  
13           of that. And so I think the idea of starting  
14           this early was to be able to make sure we  
15           better understand some of the issues  
16           particularly with the site profiles, some  
17           experience with the site can be gained, and it  
18           would facilitate the process. We still have  
19           to, one, maintain independence yet, secondly,  
20           recognize that when NIOSH does produce its  
21           evaluation report we may suddenly notice a  
22           number of new issues that haven't been, you  
23           know, we didn't have the foresight to  
24           identify. And they may require some amount of  
25           work.

1           **DR. ZIEMER:** We have asked NIOSH as part of  
2 their report to us to include sample dose  
3 reconstructions.

4           **DR. MELIUS:** Correct, I think the issue is  
5 whether we have SC&A suggest to them what  
6 sample dose reconstructions to do.

7           **DR. ZIEMER:** Yeah, a priori, yeah.

8           **DR. MELIUS:** It's a little problematic. I  
9 wasn't too uncomfortable with it with Y-12, at  
10 least as uncomfortable, because I thought that  
11 everyone sort of understood what the key  
12 questions were. But I'm very uncomfortable  
13 with trying it on Rocky Flats, and I also just  
14 think procedurally -- and I participated, we  
15 had two conference calls to discuss Y-12/Rocky  
16 Flats and then another call to discuss what to  
17 do about the Ames. And at the time of those  
18 calls, which were earlier in February, I  
19 believe, SC&A did not even have access yet to  
20 the Rocky Flats or the Ames petitions.

21                   And I think we need to sort of look at  
22 our task, or to me there ought to be maybe a  
23 separate task early that's awarded where it's  
24 for them to become familiar with the, for SC&A  
25 to become familiar with what's in the

1           petition. These are, some of them are quite  
2           extensive, familiar with the site, again  
3           depending on whether or not there's been a  
4           site profile, whether or not they've reviewed  
5           that site profile. And then based on that,  
6           propose to us what issues might be worth  
7           evaluating or becoming familiar with prior to  
8           NIOSH's evaluation report.

9                     But I don't think we can accelerate  
10           this process too much and yet retain sort of  
11           the independence of it. And I also think we  
12           need to maintain control of our contractor so  
13           to speak. I get a little worried when they're  
14           proposing 1,000 hours of work on the Ames  
15           petition when they haven't even read it yet.  
16           And I understand why they did that because  
17           they hadn't read it, and they weren't familiar  
18           with the site. There's no site profile. But  
19           still, that's a lot of effort for something  
20           that nobody's really started to understand  
21           yet.

22                     **DR. ZIEMER:** Thank you.

23                     Other comments?

24                     **DR. MAURO:** This is John Mauro. Is it okay  
25           for me to just --

1           **DR. ZIEMER:** Yeah, John, sure.

2           **DR. MAURO:** -- help out a little bit here.  
3           When we originally put in our proposal for  
4           Task Five and we were required to put in a  
5           cost estimate for doing one SEC petition  
6           review for a petition that did not have a site  
7           profile and five reviews for petitions that  
8           did have. What we did was we said, well, we  
9           have a lot of experience in doing site profile  
10          reviews. And we envisioned that a petition  
11          review was in many respects very similar, the  
12          kinds of things you have to do were very  
13          similar so we used that as our baseline.

14                 That is, our experience quite frankly  
15          in doing site profile reviews turns out to be,  
16          to deliver the product that you folks have  
17          seen, the large document. We envisioned that  
18          the SEC petition review would be at a similar  
19          level of effort or level of analysis. So we  
20          basically used, the rule of thumb that we've  
21          been using is approximately 1,000 work hours  
22          to do, deliver one of those products. And we  
23          assume that the site profile review without --  
24          I'm sorry, the SEC petition review without a  
25          site profile would be a comparable cost.

1           You're absolutely right, the actual cost that  
2           we incur are better known right now. We're  
3           reading the document, the Ames material.  
4           There's a lot of material there, but it's not  
5           that much more than the material we review  
6           when we review a site profile.

7                        When you consider the size of most of  
8           the total volumes that make up a site profile  
9           and all of the documents that stand behind it.  
10          The reality is perhaps it will be less  
11          expensive to do an SEC petition review because  
12          its range may not be as extensive. But I'd be  
13          the first to admit that, yes, the costs  
14          regarding a full-blown review are difficult to  
15          anticipate. So we put in our best estimate in  
16          our proposal which was 1,000 work hours, and  
17          we're working towards staying within that  
18          budget.

19                    **DR. WADE:** This is Lew Wade. Maybe I could  
20          talk a little bit about each of the three  
21          issues and begin to talk about how we might  
22          proceed. I do this really with my two hats  
23          on, that is, the technical project officer for  
24          the SC&A contractors and the Board's DFO.  
25          Let's take what I think is the easiest of the

1 three issues, and that's the Ames full-blown  
2 review.

3 For just as background NIOSH will  
4 likely issue an evaluation report on Ames  
5 within the 180 days, which will have it issued  
6 at the end of March. It is not NIOSH's intent  
7 to bring that proposal to the Board to vote  
8 until the meeting after the end of April  
9 meeting. Let's say that's early July or late  
10 June so there is some window.

11 One course of action could be that  
12 once NIOSH issues its evaluation report, the  
13 working group chaired by Dr. Melius, that's  
14 the working group looking at the SEC issues  
15 for the contractor, would meet. It could  
16 consider that report, and it could instruct  
17 the contractor as to what it might want to  
18 focus on or to highlight.

19 At the full Board meeting at the end  
20 of April, as Dr. Melius suggested, there could  
21 be this merger of the SC&A procedure proposal  
22 and the Dr. Melius proposal. And we could  
23 leave that meeting with SC&A tasked to  
24 undertake its procedures focused as the Board  
25 might wish leading up to a presentation by

1 SC&A of its findings prior to the early July  
2 meeting at which time it's likely that the  
3 petition would be voted on.

4 So again, right now SC&A would be  
5 reviewing the materials once NIOSH's petition  
6 was out. The working group would meet, decide  
7 upon if it wanted to give any particular  
8 instructions to SC&A. Certainly, at the end  
9 of April meeting, we would finalize the  
10 procedures, and SC&A could operate consistent  
11 with those procedures. So again, just as  
12 straw man, you can modify it as you might  
13 want.

14 Let me go on to the second easiest  
15 which is Y-12.

16 **DR. MELIUS:** Why don't we talk about them  
17 one at a time?

18 **DR. WADE:** I only propose it as a means for  
19 reaching a solution. It's not perfect.

20 **DR. ZIEMER:** That's fine, go ahead, Ames.

21 **DR. MELIUS:** Well, on Ames, I mean, actually  
22 I agree with your proposal, Lew, and I think  
23 that the time we had our first call wasn't  
24 clear what the schedule would be for NIOSH.  
25 SC&A hadn't had a chance to look at the

1                   petition which is quite extensive, and I think  
2                   that a work group meeting, discussion of that  
3                   in early April would be appropriate. I think  
4                   we should involve the petitioners in that  
5                   discussion so they're aware of what's going  
6                   on. But I think that would facilitate that.  
7                   And I just want to make sure that we're  
8                   focused. Again, I'm not sure, until we've,  
9                   you know, we've looked at the petition and  
10                  understood the site, we have to decide what  
11                  really needs to get focused on and use our  
12                  resources appropriately for that.

13                 **DR. MAKHIJANI:** Dr. Melius, this is Arjun.  
14                 I've been tasked with coordinating the Ames  
15                 review task that you've asked us to do. And I  
16                 think at the April Board meeting we'll be able  
17                 to give you a pretty good progress report on  
18                 where we stand. And of course, we will have  
19                 looked at NIOSH's evaluation report also.

20                 **DR. MELIUS:** Arjun, as I understood there's  
21                 some issue of scheduling that because I don't  
22                 think NIOSH planned to present their  
23                 evaluation report at the April meeting.

24                 **DR. ZIEMER:** July meeting I think is what  
25                 you said.

1           **DR. WADE:** The report will be out there.  
2           The report will be in everybody's hands at the  
3           end of March. So it'll be there for  
4           intellectual consideration. We won't be  
5           presenting it at the April meeting.

6           **DR. MELIUS:** If possible we could do a work  
7           group meeting after you've had an opportunity  
8           to look at the evaluation report, become more  
9           familiar with the petition, and then we can  
10          decide exactly what would be appropriate to do  
11          at that point.

12          **DR. WADE:** The only reason my proposal  
13          talked about a work group meeting possibly  
14          before the full Board meeting was just to give  
15          a little bit more time in case there are  
16          substantive issues raised by the evaluation  
17          report.

18          **DR. MELIUS:** Correct.

19          **DR. ZIEMER:** You're talking about a work  
20          group meeting before the July meeting?

21          **DR. WADE:** I'm talking about a work group  
22          meeting in early April once NIOSH has released  
23          its evaluation report by Dr. Melius' work  
24          group so that they could look at that  
25          evaluation report and decide if there are any

1 special instruction they wanted to give to the  
2 contractor relative to the evaluation of the  
3 Ames situation.

4 **DR. MELIUS:** Again, just in response to what  
5 John Mauro was saying earlier, I'm not  
6 convinced that a full site profile review is  
7 necessary or at least that scope of work. So  
8 let's gather the information and determine, it  
9 may be; it may not, but let's use our  
10 resources appropriately.

11 **DR. MAURO:** Yeah, Dr. Melius, the way of  
12 thinking about, I think our way of thinking  
13 about the full-blown review when we originally  
14 conceived of it back when we wrote our  
15 proposal was, it was -- I use the work  
16 monolithic -- in the way that now we review  
17 all this material and we deliver our draft  
18 report with its findings. That's how, we were  
19 thinking about it the way we think about site  
20 profile reviews.

21 What I'm hearing -- correct me if I'm  
22 wrong -- is that it might be a little more  
23 iterative than that but we'll review this  
24 material, and then as early as possible in the  
25 process once the document is qualified. In

1           this case Ames has been qualified. We've been  
2           authorized to start reading all this material,  
3           which we are. Along the way I guess it sounds  
4           like sometime the end of March, there would be  
5           an evaluation report which SC&A will review.

6                     But as you, while that's going on  
7           there will be working group meetings whereby  
8           SC&A's perspectives, what we've been reading,  
9           what we've learned from reading NIOSH's  
10          evaluation report, the question becomes more  
11          of an iterative process that is ongoing and  
12          matures as opposed to, I guess, the way we've  
13          been doing things on the site profile. It's a  
14          little bit different. It's more where we do a  
15          lot of work and then we deliver this product  
16          that you see at the end of this process.

17                    It sounds like the process you'd like  
18          to use for doing full-blown reviews such as  
19          Ames is more one where we try quickly to focus  
20          in on the issues through a process, a working  
21          group process where it might have a little bit  
22          different form than the way in which we  
23          proceed for site profile reviews. Do you see  
24          it that way also?

25                    **DR. MELIUS:** Yes, I do.

1           **DR. WADE:** And I do, too, John. And again,  
2 remember we're dealing now with a finite  
3 amount of time. I think that really shapes  
4 the reality we're pursuing, so yeah, I'm not  
5 troubled by your characterization.

6                           Let me talk about Y-12.

7           **DR. ZIEMER:** Let's see if there's any other  
8 comments on Ames, otherwise we'll take it by  
9 consent that we could proceed on this basis.

10                           (no response)

11           **DR. WADE:** Let me talk about Y-12. It's  
12 interesting in that you have the Mark work  
13 group that's done excellent work on the site  
14 profile issues. I would ask that work group  
15 to complete its work on Y-12, to have another  
16 meeting as quickly as is practicable to look  
17 at the remaining issues, try and close the  
18 issues in the matrix, look at the NIOSH sample  
19 dose reconstructions and intellectually try  
20 and tie a knot around the open technical  
21 issues.

22                           Then NIOSH issues its evaluation  
23 report and then the Dr. Melius work group  
24 takes precedence. It meets with the NIOSH  
25 evaluation report in its hands. It also will

1           have the benefit of Mark's working group. I  
2           would suggest they invite Mark to come to  
3           their working group and to share the final  
4           thoughts. And then the Melius working group  
5           takes up the task of instructing SC&A on  
6           anything it might want it to do prior to the  
7           full April meeting. Again, SC&A is taking on  
8           a focused review of the Y-12 site profile so  
9           it would not be inappropriate for the working  
10          group to issue some very focusing  
11          instructions.

12                       Now it could well be that the Mark  
13          working group would have gotten it right, and  
14          the issues will be on the table. And it's  
15          simply of matter of proceeding forward, but I  
16          think that judgment needs to be made in light  
17          of the released NIOSH evaluation report, and I  
18          would suggest then that, again, these meetings  
19          can happen at the same time. But the Melius  
20          working group meets after the evaluation  
21          report has been released, reviews the material  
22          and decides what instructions, if any, it  
23          might want to give its contractor. That's my  
24          Y-12 proposal.

25                       **DR. MELIUS:** Jim Melius. I had always

1           presumed, and maybe I misunderstood, but I  
2           would almost think it would, there's overlap  
3           in these groups so I'm not sure it makes that  
4           much difference, but given all the back and  
5           forth that's gone on with the Y-12 issue that  
6           it would be better staying with the same  
7           working group, not establish, not trying to  
8           switch working groups in mid-stream.

9           **DR. WADE:** Makes sense to me.

10          **DR. MELIUS:** Again, if I remember who's on  
11          that working group, but certainly Mark's been  
12          part of the SEC evaluation working group also  
13          so there'd be enough continuity there, and I  
14          think we'd avoid -- for people that have not  
15          directly participated in the site profile  
16          review meetings, it's very hard, and it takes  
17          awhile to get up to speed. And I think, I'm  
18          afraid we might, with a new working group we  
19          could do more damage than help.

20          **DR. ZIEMER:** I think the main thing here  
21          would be for that work group to have available  
22          the merged document, Lew, that you're talking  
23          about, right?

24          **DR. WADE:** I don't think that merged  
25          document is going to be --

1           **DR. ZIEMER:** Okay, that's not going to be  
2 acted on until the April meeting, but it's  
3 going to be --

4           **DR. WADE:** The only driving document we have  
5 is the Melius document right now, and I think  
6 that's enough to steer the group.

7           **MR. GRIFFON:** Yeah.

8           **DR. MELIUS:** I will work on drafting a  
9 merged document so to speak.

10          **DR. ZIEMER:** Yeah, that's right because  
11 that'll be the April meeting.

12          **DR. MELIUS:** Paul, except that there's a  
13 time, again, I don't know the exact timing of  
14 this, but I will work on a merger of the two  
15 with a procedural merger. I've already  
16 started doing that.

17          **DR. WADE:** So this means, Mark, that if we  
18 agree to this proposal then your working group  
19 would have two meetings. It would meet some  
20 time in March to try and wrap a bow around the  
21 Y-12 matrix. And then it would meet again  
22 once the NIOSH evaluation report was available  
23 and decide if there is anything else it wants  
24 the contractor to do between the day of that  
25 meeting and the end of April full Board

1 meeting.

2 **MR. GRIFFON:** Yeah, for good or bad that  
3 sounds like we need to do that.

4 **DR. DeHART:** This is Roy for a point of  
5 clarification. With Jim's working group,  
6 which I think I'm a participant in, is the Y-  
7 12 issue out of bounds for me?

8 **DR. WADE:** It would be, yes.

9 **DR. DeHART:** That's what I thought so I  
10 could not be --

11 **DR. WADE:** So Dr. Melius' proposal works  
12 even better then because you wouldn't be able  
13 to pick up the Y-12 issue anyway.

14 **DR. MELIUS:** I think Paul has the same issue  
15 also so--

16 **DR. WADE:** It's best staying with Mark's  
17 working group.

18 **DR. ZIEMER:** Yeah, now the other part of  
19 that is on Y-12 since you've been working  
20 right along there I think many of the issues,  
21 you might get to the point when the evaluation  
22 comes out that it becomes very clear that you  
23 don't have any issues. Or at least it  
24 wouldn't take a big effort to identify what  
25 they are because you've been working on this

1 for quite some time.

2 **DR. WADE:** Yeah, our hope would be that  
3 there wouldn't be many new issues resulting  
4 from the evaluation report, but we would cover  
5 that --

6 **MR. GRIFFON:** That's our hope with this  
7 parallel processing. I think we at least need  
8 to leave a time frame for a potential meeting.

9 **DR. ZIEMER:** If you have to meet, yeah.

10 **DR. WADE:** Well, now it gets difficult  
11 because now we're to Rocky Flats although  
12 we've learned some things from the previous  
13 two discussions. I would say on Rocky Flats  
14 that SC&A needs to be put to work immediately  
15 with reviewing the petition, and I think  
16 they're doing that based upon John's proposal.

17 I would see value in Mark's working  
18 group meeting one more time even before the  
19 evaluation report is out to try and sort  
20 through those issues because I still think  
21 that you'll find the issues raised by that  
22 working group will be paramount in the  
23 discussions that follow. They might not be  
24 all inclusive but they're going to be  
25 important issues. So I would think again --

1           **MR. GRIFFON:** My attempt -- sorry.

2           **DR. WADE:** Go ahead, Mark.

3           **MR. GRIFFON:** My attempt would be to have  
4 that the same day of the Y-12 meeting like we  
5 did last time if that's --

6           **DR. WADE:** I would agree. Although the only  
7 difference would be I guess we would stop  
8 short of the sample dose reconstructions at  
9 this point given sort of Dr. Melius' caution  
10 which I think is a sound caution.

11          **MR. GRIFFON:** It is a sound caution. I  
12 guess I was getting a little ahead of myself  
13 trying to keep the ball moving. I'm not sure  
14 that we can identify some at this point that  
15 are of interest, but I think you're right. I  
16 think it's, you know, we have many issues that  
17 we're not as far along on such as, we don't  
18 even know how often a coworker model will be  
19 used, and what the coworker model is. So I'm  
20 not sure, we might be better served to hold  
21 off on that.

22          **DR. WADE:** So then NIOSH issues its  
23 evaluation report we can only hope, and then a  
24 working group meets armed with the materials  
25 of the evaluation report, the work of the Mark

1 Griffon working group, and decides what the  
2 instruction will be to the contractor on  
3 continuing the focused Rocky Flats review.  
4 The only question in my mind is should it be a  
5 continuation of Mark's working group for the  
6 reasons that Jim mentioned or should it be the  
7 Melius working group? I leave that to the  
8 wisdom of the Board.

9 **DR. ZIEMER:** Well, particularly because of  
10 the time issue I think it would be very  
11 difficult for a new working group to get up to  
12 speed on that one. What do some of the others  
13 of you think?

14 **DR. MELIUS:** This is Jim. I concur on that.  
15 It just, it's hard enough at the time of the  
16 meetings when some of these issues have been  
17 distilled to catch up that try to do so and  
18 not disrupt their burden, you know, NIOSH and  
19 their contractors with lots of questions and  
20 potential misunderstandings. I think it would  
21 be better if we --

22 **MR. GRIFFON:** My only concern with the  
23 timing on this, Lew, is that if we meet before  
24 the evaluation report comes out and we don't  
25 have any sample DRs from NIOSH, then we're

1 going to get an evaluation report and then I  
2 think we would have to, I mean, as part of our  
3 procedures we're now asking for sample DRs as  
4 proof of principle, and I think we'd have to,  
5 I guess we could ask for them over the  
6 telephone or NIOSH could outline some sample  
7 DRs covering the breadth of potential classes  
8 within, you know --

9 **DR. ZIEMER:** The current procedure requires  
10 NIOSH to provide some sample DRs.

11 **MR. ELLIOTT:** This is Larry Elliott. And  
12 certainly, we have taken to heart the need to  
13 present an evaluation report to the Board.  
14 Although the evaluation report itself will not  
15 include sample dose reconstructions, the  
16 presentation of that report to the Board will  
17 include sample dose reconstructions when and  
18 where we say we feel we can reconstruct dose.

19 **MR. GRIFFON:** Okay, I guess that's why I was  
20 requesting that we sort of get some ideas in  
21 mind for sample DRs, but I think it's more  
22 appropriate that NIOSH, like Jim Melius said  
23 earlier, I think it's more appropriate NIOSH  
24 self-identify at this point and --

25 **MS. MUNN:** Mark, how many DRs do you feel

1                   like we need to see?

2                   **MR. GRIFFON:** Well, I think we leave that up  
3 to NIOSH in this case, you know, but because I  
4 think, I agree with your general statement  
5 earlier, Wanda, that --

6                   **MS. MUNN:** I'm concerned about the number.

7                   **MR. GRIFFON:** But we want to make sure, I  
8 think NIOSH can consider that it covers the,  
9 it's representative of the class.

10                  **MR. ELLIOTT:** This is Larry Elliott again.  
11 I think Dr. Melius' comment is on independence  
12 in our evaluation review for a petition is  
13 something that came from a discussion we had  
14 back in February trying to kick off the Ames  
15 review. I made that plea that we wanted to  
16 maintain our independence in developing our  
17 evaluation of a petition. And even though  
18 SC&A has come forward on Y-12 and offered  
19 suggestions on dose reconstruction examples  
20 that they think would demonstrate either we  
21 can or we can't do dose reconstruction, I  
22 think that there's some help in that. I think  
23 we're going to learn from talking through  
24 those 11. I think you're going to hear us  
25 where we feel it's appropriate to respond and

1 show a sample dose reconstruction we will.  
2 But on some of these 11 we're going to point  
3 out quickly that they have no merit to the  
4 class as being designated.

5 **MR. GRIFFON:** Yeah, that's fair.

6 **MR. ELLIOTT:** So I would offer that those 11  
7 are going to serve us as an example and gain  
8 experience, but I prefer not to see example  
9 dose reconstruction suggestions given to us  
10 while we're in the midst of an evaluation  
11 report for Rocky Flats or any other petition.

12 **DR. ZIEMER:** Lew, as I understand what  
13 you're suggesting here, there would be a work  
14 group session after the petition evaluation  
15 report is out at which time the work group  
16 would identify for the contractor issues that  
17 need to be addressed or reviewed. Is that  
18 correct?

19 **DR. WADE:** Correct, and with the contractor  
20 bringing that intellectual content to the  
21 Board prior to the Board being formally  
22 presented the petition at the meeting so that  
23 you would hear the contractor report back to  
24 you on things you asked it to focus on so you  
25 could consider that as you deliberated on --

1           **MR. GRIFFON:** Right.

2           **DR. ZIEMER:** And with respect to Rocky and  
3 the comments you made earlier, John, and as  
4 you guys are looking at the petition, and of  
5 course, you're probably going to be  
6 identifying things along the way as you go  
7 anyway. And then meeting with the working  
8 group as you exchange comments and ideas, it's  
9 possible that you will identify a number of  
10 things that aren't on your list now. You've  
11 got four issues here in your letter proposal.

12           **DR. MAURO:** That's correct.

13           **DR. ZIEMER:** But I think there is a fair  
14 possibility that that could expand by maybe  
15 significantly.

16           **MS. MUNN:** It could, then the question, the  
17 next question that comes to my mind is how  
18 long would SC&A need to look at the result of  
19 the Board's review? Is two weeks enough time  
20 for them to do that?

21           **DR. ZIEMER:** Well, I think one of the  
22 problems is the following: SC&A will have the  
23 NIOSH evaluation report. They will have a  
24 number of issues that they identify, and this  
25 could take a couple of weeks. I don't know,

1 but then you have the issue of well, when does  
2 NIOSH get to respond to the issues that are  
3 raised?

4 **MS. MUNN:** Right.

5 **MR. GRIFFON:** Right.

6 **DR. ZIEMER:** That's what worries me about  
7 the timetable for Rocky. As was pointed out,  
8 we're quite a ways along on resolving issues  
9 on Y-12. At Rocky we're sort of just  
10 underway.

11 **MR. GRIFFON:** I would agree, yeah, I would  
12 agree.

13 **DR. WADE:** Your concerns are real, Paul. I  
14 think what we can do is work the issue and see  
15 where it takes us. The Board might find  
16 itself in a position at the end of April that  
17 it's not prepared to vote. And that would be  
18 the Board's decision. It'll be a tough  
19 decision, but it'll be the Board's decision.

20 **DR. ZIEMER:** Yeah, but again, I hope that  
21 the Rocky Flats folks, and I don't know if any  
22 of them are still on the line, but would  
23 recognize that although we do want the process  
24 to move along, we do want to do it right at  
25 the same time and not short-change it. So,

1                   you know, that's the pressure.

2                   **DR. WADE:** And I think that's  
3                   (unintelligible) with the technical issues in  
4                   hand as opposed to hypothetical. And the  
5                   reality is that the Melius working group will  
6                   likely meet in early April. Its focus will be  
7                   on the Ames petition and possibly some work in  
8                   terms of the merging of the SC&A procedures  
9                   proposal and the Melius thought piece. Then  
10                  the Griffon work group will meet twice, one,  
11                  third week in March, not ten days from today,  
12                  not far from now, and try and work on its  
13                  matrix work, and then early April following  
14                  the release of the NIOSH evaluation reports.  
15                  Again, it's very compressed, and I think on  
16                  Ames and Y-12 I think we can all see our way  
17                  through. In Rocky Flats it really needs to  
18                  start now in earnest and NIOSH needs to get  
19                  its evaluation report on the street, much will  
20                  be informed by that.

21                  **MR. PRESLEY:** Lew, this is Bob Presley.

22                  **DR. WADE:** Sir?

23                  **MR. PRESLEY:** Before we get too far, and you  
24                  just told a couple of people that they have  
25                  problems with Y-12. Now, have y'all got a

1                   problem with me with Y-12 because I sit on  
2                   this working group?

3                   **DR. WADE:** I think when it changes its  
4                   focus, Robert, to the SEC petition I think you  
5                   won't be able to sit on the working group.  
6                   And I think the meeting in March would be  
7                   fine. I think the meeting in April I think we  
8                   would need to replace you or not have you  
9                   involved.

10                  **MR. PRESLEY:** Okay, I'll agree to that.

11                  **DR. ZIEMER:** Any objections if we proceed on  
12                  this basis then?

13                  (no response)

14                  **DR. ZIEMER:** Okay. I take it by consent  
15                  that that's what we'll do in these three  
16                  cases.

17                                 What do we need to do on the letter,  
18                                 the Mauro letter? I'm sort of asking you,  
19                                 Lew.

20                  **DR. WADE:** Well, John, John Mauro, are you  
21                  comfortable now working consistent with that  
22                  plan based upon the contractual documents in  
23                  place?

24                  **DR. MAURO:** Yes, I think that the letter,  
25                  the February 21<sup>st</sup> letter leaves enough room to

1           implement the task that we have just  
2           discussed. When all is said and done, the  
3           thing that I just learned that bear on that  
4           letter go toward really two points.

5                         With regard to Ames there's going to  
6           be active working groups and an iterative  
7           process, something that's not actually stated  
8           in the letter, but it's sort of silent. So  
9           the letter really does not need to be  
10          modified. Right now it says we're going to  
11          perform a full review of the Ames document,  
12          and that we're going to do that in accordance  
13          with our Task Five overall proposal of work  
14          which really joins directly from 42-CFR, Part  
15          83. So there's nothing in there that  
16          contradicts anything that we've said so far.  
17          So I don't see any problems with Ames.

18                        With regard to the focused reviews  
19          right now the only, we really didn't identify  
20          the issues that we felt were part of the  
21          focused reviews for Y-12 and for Rocky, in the  
22          February 21<sup>st</sup> letter, but we also put in some  
23          qualifying words in the letter that says we  
24          are going to review the full petition as part  
25          of the scope of work. And we also had some

1 words that if it turns out, you know, that the  
2 number of issues may expand beyond four.

3 If it does and it has a potential to  
4 affect the budget, I will inform the  
5 contractor officers, the Board, the working  
6 group, that we are about to exceed the budget  
7 that we set forth for Rocky if that turns out  
8 to be the case before that happens, then seek  
9 guidance from you all on what we should do.  
10 But those words are in there right now. So as  
11 far as I'm concerned I think we have  
12 everything in place we need to move forward.  
13 And there's nothing that we've discussed here  
14 that requires a modification to the February  
15 21<sup>st</sup> letter.

16 **DR. ZIEMER:** Well, with that in mind I think  
17 we can then proceed as you've outlined and as  
18 we've gone through here on these particular  
19 cases.

20 **BOARD CORRESPONDENCE, AGENDA FOR APRIL MEETING,**  
**FUTURE BOARD MEETINGS AND WORKING GROUP SCHEDULE**

21 **DR. WADE:** Some logistics questions, I mean,  
22 so the Mark working group would need to meet a  
23 couple of days in March. Might I make the  
24 suggestion we meet in Boston again? Is that  
25 overly difficult?

1           **MR. PRESLEY:** It's very difficult for me.

2           **MR. GRIFFON:** Cincinnati's probably better  
3 for most people.

4           **DR. WADE:** Okay, so let's say Cincinnati.

5           **MR. PRESLEY:** Correct.

6           **MR. GRIFFON:** And then NIOSH has their  
7 resources there as well.

8           **DR. WADE:** Okay, I was just trying to be  
9 respectful.

10          **MR. GRIFFON:** How about the 29<sup>th</sup>/30<sup>th</sup> though,  
11 either one of those days? I don't know that  
12 we need two days, but either one of those  
13 days.

14          **DR. NETON:** Mark, this is Jim. I'm going to  
15 be in St. Louis on the 29<sup>th</sup>.

16          **MR. PRESLEY:** How about the 27<sup>th</sup> and the  
17 28<sup>th</sup>? This is Bob Presley.

18          **DR. NETON:** I'd have to leave early to get  
19 to the airport.

20          **MR. GRIFFON:** Yeah, and Jim, do you think  
21 we'll need two days or one day for this  
22 meeting?

23          **DR. NETON:** I think one day.

24          **DR. WADE:** One good day.

25          **MR. GRIFFON:** I was going to do Y-12 and

1 Rocky.

2 **DR. WADE:** Full day the 28<sup>th</sup>.

3 **DR. NETON:** I will have to leave probably by  
4 at three o'clock, but --

5 **MR. GRIFFON:** Well, do Y-12 in the morning  
6 and then we can go into Rocky. We can even  
7 work a late day if we need to. I mean  
8 everybody likes to put in the hours on this  
9 work group.

10 **DR. WADE:** The 28<sup>th</sup> in Cincinnati.

11 **DR. NETON:** My only concern is I'm probably  
12 going to be involved in some of this super Y  
13 discussions, super-S rather, but if we --

14 **MR. GRIFFON:** That'll be the first one on  
15 Rocky, right?

16 **DR. NETON:** Yeah, right.

17 **MR. GRIFFON:** And we'll try to accommodate  
18 you, Jim.

19 **DR. NETON:** Sorry, I'm not trying to be  
20 difficult.

21 **MR. GRIFFON:** No, no, no, I mean, I'm  
22 serious. I wasn't being facetious.

23 **MS. MUNN:** Are we going to try to do Rocky  
24 and Y-12 on the 28<sup>th</sup>?

25 **MR. GRIFFON:** Yeah.

1           **MS. MUNN:** Oh, you dreamer.

2           **MR. GRIFFON:** I'm a dreamer?

3           **DR. MAKHIJANI:** This is Arjun. I think  
4 there are a bunch of dose reconstructions to  
5 consider, Mark.

6           **MR. GRIFFON:** Oh, yeah, we have sample DRs.

7           **DR. MAKHIJANI:** And I think just from the  
8 experience of last time, they do take awhile  
9 to understand. And if Jim has to leave at  
10 3:00, it's a question.

11          **DR. NETON:** I also just noticed on my  
12 calendar, Mark, that right now we've got a  
13 tentative date with Dr. Howard coming into  
14 town.

15          **DR. WADE:** We can change that.

16          **DR. NETON:** I'm checking right now to see if  
17 that might be moved. Yeah, Lew, you could  
18 speak for that I suppose.

19          **DR. WADE:** Yeah, we could change that.

20          **MS. MUNN:** We have to push it out that far  
21 in order to have any DRs, right? We can't do  
22 it the preceding week like the 22<sup>nd</sup>, 23<sup>rd</sup>?

23          **DR. NETON:** We're going to be pushing to  
24 have any DR --

25          **MS. MUNN:** Right, that's what I wanted to

1 verify.

2 **MR. GRIFFON:** And we can't really push it  
3 forward because then we're getting, we've got  
4 another meeting after the evaluation report.

5 **MS. MUNN:** Right, so who can't appear on the  
6 27<sup>th</sup>?

7 **MR. GRIFFON:** I can't, but I might be able  
8 to rearrange that. Let me --

9 **DR. WADE:** What if we were to try and travel  
10 the morning of the 27<sup>th</sup>, Mark, if you could  
11 rearrange, meet the afternoon of the 27<sup>th</sup> and  
12 then as much of the 28<sup>th</sup> as we would need?

13 **DR. NETON:** Sounds good to me.

14 **MR. PRESLEY:** It'd give us more time.

15 **MR. GRIFFON:** Okay, 27<sup>th</sup> - 28<sup>th</sup>.

16 **DR. WADE:** So we would plan a new start, one  
17 o'clock start on the 27<sup>th</sup>, and then we'd have  
18 the 28<sup>th</sup> as much as we needed.

19 **DR. NETON:** Yeah, that sounds good.

20 **MS. MUNN:** That way we could run late on the  
21 27<sup>th</sup>.

22 **DR. WADE:** And then the other two we will  
23 schedule off line.

24 **MR. GIBSON:** I will not be able to make the  
25 afternoon session on the 27<sup>th</sup>, but I could be

1                   there on the 28<sup>th</sup>.

2                   **MR. GRIFFON:** And the other one, I don't  
3 know. Do you want to wait on the other  
4 meeting, Paul? I mean, Lew. I was going to  
5 say April 11<sup>th</sup>, 12<sup>th</sup> and 13<sup>th</sup> by surveying SC&A.  
6 And the dates I have the week of like or the  
7 days of April 11<sup>th</sup>, 12<sup>th</sup> and 13<sup>th</sup> are almost all  
8 that are left except for right before the  
9 meeting.

10                  **DR. WADE:** Well, let's take one right now.

11                  **MS. MUNN:** Let's do it then for goodness  
12 sake. That only leaves now a bare couple of  
13 days before --

14                  **MR. GRIFFON:** Do we need two days for this  
15 one or one day?

16                  **MS. MUNN:** I'm always in favor of scheduling  
17 two and then if you get through with one,  
18 more's the better.

19                  **MR. GRIFFON:** Let's say the 11<sup>th</sup> and 12<sup>th</sup>  
20 then with the same format that we just  
21 described starting at noon or whatever. How  
22 does that work for people?

23                  **MS. MUNN:** Yeah, don't get out ahead of us,  
24 Mike.

25                  **DR. NETON:** Now this is NIOSH's involvement

1 here as well I suppose?

2 **MR. GRIFFON:** Yep.

3 **DR. NETON:** This is the SEC meeting that we  
4 were talking about with Dr. Melius' group. Is  
5 that right?

6 **MR. GRIFFON:** I guess it's going to be,  
7 yeah, covering the evaluation reports though.

8 **DR. NETON:** Would this be the meeting where  
9 we would have example dose reconstructions  
10 nailed down I suppose?

11 **MR. GRIFFON:** Well, we'll discuss your  
12 evaluation reports to the extent you provide  
13 sample DRs to demonstrate the case, yeah.

14 **MR. PRESLEY:** This is Bob Presley. I don't  
15 have to worry about that meeting, right?

16 **DR. WADE:** Just half of it, the Rocky Flats  
17 part.

18 **MR. GRIFFON:** The Rocky portion which would  
19 be the second day, I imagine.

20 **MR. PRESLEY:** What's those dates again?

21 **MS. MUNN:** Eleven, 12.

22 **DR. NETON:** Eleventh and 12<sup>th</sup> of April.

23 **MR. GIBSON:** And those are all day meetings?

24 **MR. GRIFFON:** I think starting at noon on  
25 the 11<sup>th</sup> was the idea or just after noon and

1 going through as long as we had to on the  
2 second day.

3 **MR. PRESLEY:** Couldn't start on the 10<sup>th</sup>,  
4 could you?

5 **MR. GRIFFON:** I can't do the 10<sup>th</sup>.

6 **MR. GIBSON:** In fact, I'll miss the  
7 afternoon session on the 11<sup>th</sup> again also.

8 **DR. WADE:** Well, it's a plan. I don't know  
9 if Dr. Melius if you want to wait to schedule  
10 yours or do you want to try and do it now?

11 **DR. MELIUS:** When do you think the  
12 evaluation report will come out on Iowa?

13 **DR. WADE:** I think it should be the end of  
14 March; correct, Larry?

15 **MR. ELLIOTT:** Yes, it's our full intention  
16 to have an evaluation report completed and in  
17 the hands of the Board and the petitioners by  
18 the end of March.

19 **DR. WADE:** So it's your call, Jim, as to  
20 when you want to try it.

21 **DR. MELIUS:** I could do the 11<sup>th</sup>, the 13<sup>th</sup> or  
22 14<sup>th</sup>.

23 **MR. GRIFFON:** Can I ask a silly question?  
24 Who's on this work group, Jim?

25 **DR. MELIUS:** You're on the work group, Mark.

1           **MR. GRIFFON:** I don't think I am for Ames  
2           though.

3           **DR. MELIUS:** I thought we were just using  
4           the --

5           **MR. GRIFFON:** -- members of the SEC work  
6           group. I don't know who it --

7           **DR. WADE:** The SEC? I don't have it in  
8           front of me. I think it was Dr. DeHart,  
9           correct?

10          **MR. GRIFFON:** Okay, well, I'm on that work  
11          group, but I thought you had a separate work  
12          group looking at Ames.

13          **DR. WADE:** No.

14          **DR. MELIUS:** No.

15          **MR. GRIFFON:** Okay, sorry.

16          **MS. MUNN:** Isn't two enough?

17          **MR. GRIFFON:** If you do it the morning of  
18          the 11<sup>th</sup>, I'll be out there.

19          **DR. DeHART:** The 11<sup>th</sup> is good for me.

20          **MR. GRIFFON:** I mean, would it be done in a  
21          half, I could get there early and do that in  
22          the morning and then Y-12 start after that?

23          **DR. ZIEMER:** (Unintelligible) and Jim on  
24          your subcommittee or work group?

25          **DR. MELIUS:** Pardon?

1           **DR. ZIEMER:** Who's on your work group?

2           **DR. MELIUS:** You, Roy, Mark and myself. It  
3 was the group that did the SEC audit.

4           **DR. ZIEMER:** I couldn't remember who all was  
5 on that. I'm not available on the 11<sup>th</sup> and  
6 12<sup>th</sup>, but if you have three, go ahead.

7           **DR. MELIUS:** Roy, are you available?

8           **DR. DeHART:** Yes, I am, on the 11<sup>th</sup>, 12<sup>th</sup> and  
9 13<sup>th</sup>. It's nice to be retired.

10          **DR. ZIEMER:** The 13<sup>th</sup> I'm okay.

11          **DR. MELIUS:** I think the morning of the 11<sup>th</sup>.  
12 I don't' think it's going to take a full day,  
13 so it's --

14          **DR. WADE:** So let's say the morning of the  
15 11<sup>th</sup> we'll get a bright and early start.

16          **MR. GRIFFON:** Okay, in Cincinnati I'm  
17 assuming.

18          **MS. MUNN:** Yeah.

19          **DR. DeHART:** I can be downtown by nine  
20 o'clock. I think that's when we made it  
21 before, Jim.

22          **DR. MELIUS:** Yeah.

23          **DR. NETON:** This is Jim Neton. We have a  
24 little confusion around the table here as to  
25 which meetings we are required at. The 11<sup>th</sup>

1 and 12<sup>th</sup> meeting, which is the Mark Griffon  
2 meeting, and now we're talking about another  
3 11<sup>th</sup> and 12<sup>th</sup> meeting that is with Dr. Melius?

4 **MR. GRIFFON:** No, the morning of the 11<sup>th</sup>.

5 **DR. MELIUS:** Just the morning of the 11<sup>th</sup>.

6 **DR. ZIEMER:** But that's got to be --

7 **MR. GRIFFON:** They won't overlap.

8 **DR. ZIEMER:** -- with SC&A and, right?

9 **DR. NETON:** Yes, and NIOSH would not be  
10 involved in that?

11 **DR. WADE:** NIOSH wouldn't be required. I  
12 would be there as the DFO.

13 **DR. NETON:** I mean, if we're available and  
14 there's no overlap, we could be there. I just  
15 want to make sure we understand.

16 **DR. DeHART:** We will have documents in hand  
17 in advance of that meeting, correct?

18 **DR. MELIUS:** Correct. You've already got  
19 the Board, we've already received the  
20 petition. It was extensive. It's on a CD  
21 disk.

22 **DR. ZIEMER:** A CD, right.

23 **DR. MELIUS:** And then we'll have the  
24 evaluation report by then.

25 **DR. WADE:** Because I think it would be

1                   worthwhile NIOSH having a technical person  
2                   available just if there are any questions.

3                   **MR. PRESLEY:** Mark, this is Bob Presley.  
4                   When are we going to do the other Y-12 dose  
5                   reconstructions? Is that on the 11<sup>th</sup> or the  
6                   12<sup>th</sup>?

7                   **MR. GRIFFON:** The afternoon, we're going to  
8                   go over NIOSH's evaluation report on the 11<sup>th</sup>  
9                   in the afternoon. So at that point I'm  
10                  assuming they will show some sample DRs.

11                  **MR. PRESLEY:** On the afternoon of the 11<sup>th</sup> I  
12                  do not need to be there?

13                  **DR. WADE:** You do not need to be there.  
14                  That's correct.

15                  **MR. PRESLEY:** But I do need to be there the  
16                  morning of the 12<sup>th</sup>.

17                  **MR. GRIFFON:** Correct. Now there might be a  
18                  little spillover in the, you know. If we  
19                  don't finish Y-12 in the afternoon, we may go  
20                  over into the next morning, but we're going to  
21                  try not to.

22                  **MR. PRESLEY:** Okay, well, I'll plan to be  
23                  there on the 12<sup>th</sup> then.

24                  **MR. ELLIOTT:** When you say be there, you're  
25                  coming here to Cincinnati?

1           **MR. PRESLEY:** Yeah, I hope.

2           **MR. ELLIOTT:** And Dr. Melius, are you  
3 proposing to have your work group meeting in  
4 the morning on the 11<sup>th</sup> by phone?

5           **DR. MELIUS:** I thought we were coming to  
6 Cincinnati.

7           **MR. ELLIOTT:** Oh, you're coming to  
8 Cincinnati, too.

9           **DR. NETON:** That's fine as long as we've got  
10 the hotel.

11          **MR. ELLIOTT:** Yeah, that's fine. I just  
12 wanted to make sure we knew where we were  
13 supposed to be.

14          **MR. PRESLEY:** Are we going to stay out at  
15 the airport again?

16          **DR. WADE:** Might as well.

17          **DR. NETON:** Okay, what about the March  
18 meeting? Is that at the airport as well?

19          **MR. GRIFFON:** Same thing, yeah, I would  
20 assume.

21          **DR. NETON:** Can we get it?

22          **DR. WADE:** Yes, we'll try. We'll start  
23 working this afternoon to get the room.

24          **MR. PRESLEY:** This is Bob Presley. I  
25 appreciate it. I've got to go to therapy.

1                   **DR. WADE:** Dr. Ziemer, it's back to you.  
2                   I'm sorry we took so long.

3                   **REPORT OF WORKING GROUP: INDIVIDUAL DOSE RECONSTRUCTION**  
4                   **REVIEW**

5                   **DR. ZIEMER:** Just looking at the time here,  
6                   we have just a couple more items to be  
7                   reported on. We have the individual dose  
8                   reconstruction review. Is there anything  
9                   there that we need to do today other than the,  
10                  do we need to go through that matrix today,  
11                  Mark?

12                  **MR. GRIFFON:** I think I should go through  
13                  every line of --

14                  **DR. ZIEMER:** Right.

15                  **MR. GRIFFON:** -- in the next 20 minutes.  
16                  No, I just got those out this morning, and  
17                  actually, just to report, we finished going  
18                  through the third set matrix as well, but I  
19                  just didn't have time to get everything  
20                  together on that one. So these really, the  
21                  second set of cases now have the sort of  
22                  resolution column filled out and the  
23                  procedures review and also in the second set  
24                  of cases.

25                  And what I would ask at this point is  
                    that, NIOSH and SC&A just got these when the

1 Board got them. They're in raw draft form.  
2 There are some gaps. There are some places  
3 where I highlighted in yellow because I was  
4 not sure with my notes what the resolution  
5 was.

6 So I propose to do the same with the  
7 third set, which we finished in the work group  
8 meeting, circulate it to NIOSH and SC&A and  
9 the work group. Then get comments back and  
10 assemble them for final form for the April  
11 meeting if that's okay.

12 **DR. ZIEMER:** That would make sense. I don't  
13 think we can really act on them today.

14 **MR. GRIFFON:** No, they're not in the form --

15 **DR. ZIEMER:** They're not in the format to do  
16 that.

17 **MR. GRIFFON:** And I still need to complete  
18 the Board action column as well, but I was  
19 waiting to get NIOSH and SC&A feedback to make  
20 sure I got all these correct. So we need a  
21 little more work on these, but the good news  
22 is that we completed the procedures review  
23 matrix and the second set of cases and the  
24 third set of cases, made a lot of headway at  
25 the last work group meeting.

1           **MS. MUNN:** And the really good news is that  
2 there's virtually nothing, there's only one  
3 high priority item or so in there.

4           **DR. DeHART:** Do we need to hold onto the  
5 documents that you've just transmitted if  
6 you're going to be modifying them?

7           **MR. GRIFFON:** Not unless you want to submit  
8 comments, and that will primarily be for the  
9 work group probably. So yeah, there'll be  
10 another final draft coming out, and I'll try  
11 to --

12           **DR. ZIEMER:** Okay, so we'll plan to have  
13 that on the agenda for the April meeting then  
14 if you try to come to closure on groups two  
15 and three of dose reconstruction reviews.

16           **MR. GRIFFON:** There's only one thing I want  
17 to bring up relative to this which I'm not  
18 sure where we stand on, and it's the action  
19 tracking process. And as I develop all these  
20 matrices, it becomes, it's fast becoming  
21 difficult to follow where actions stand. And  
22 in some cases, as you'll see if you look  
23 through these matrices, many times the  
24 procedures that were reviewed have been  
25 replaced, or as a result of the findings, have

1                   been replaced with new procedures. So the  
2                   resolution is that SC&A is going to review a  
3                   new procedure or the resolution is that SC&A  
4                   and NIOSH will discuss in the site profile  
5                   review process. So it's getting complicated.

6                   **DR. ZIEMER:** Are you on the procedures  
7                   review?

8                   **MS. MUNN:** Yes.

9                   **MR. GRIFFON:** But it's the question of  
10                  following these resolutions through to  
11                  completion I guess is my concern. And I think  
12                  we need to make sure that all these are being  
13                  tracked.

14                 **MS. MUNN:** We've never even identified which  
15                 agency is going to do this much less what  
16                 person inside the agency is going to be the  
17                 person of contact to track.

18                 **MR. GRIFFON:** To track these.

19                 **MS. MUNN:** And it really is --

20                 **DR. ZIEMER:** Well, I do want to ask a  
21                 question in that regard and maybe direct it to  
22                 NIOSH. There was a, in the GAO report there  
23                 was an issue on tracking findings, and I  
24                 thought that there was some plan underway to  
25                 do that. Lew or Larry or Jim, can any of you

1 speak to that?

2 **MR. HINNEFELD:** This is Stu Hinnefeld. In  
3 our conversations we've talked that a  
4 convenient way to do this in the sort of  
5 matrix form that we've been collecting so far  
6 is an additional column that provides this is  
7 the action that's being done, and this is the  
8 status, and so that, I mean, we've talked  
9 about that in terms of what kind of products  
10 we've had so far. My own view though is, you  
11 know, we have a GAO report that essentially  
12 calls upon us to develop tracking systems for  
13 Board recommendations and resolutions. You  
14 know, what was done in response to Board  
15 recommendations.

16 And so I guess our own thought process  
17 here is that the conversation that occurs in  
18 these various working groups and a compilation  
19 of these matrices does that constitute a Board  
20 recommendation that we've added to it or is  
21 there going to be a Board correspondence to  
22 the Secretary recommending that we resolve  
23 these matrices in that fashion.

24 **MR. ELLIOTT:** And this is Larry Elliott.  
25 Let me kind of answer Stu's question as we see

1           it here. The GAO report refers to a Board  
2           recommendation and as an advisory board to the  
3           Secretary and under FACA, we're interpreting  
4           that to mean a recommendation to the  
5           Secretary. That's what we are required to  
6           track. And letters that would come forward  
7           from the Board with consensus recommendation  
8           would be those things that we would track and  
9           be held accountable for.

10          **DR. ZIEMER:** Right, well, the dose  
11          reconstruction reviews will be in that  
12          category because each of these will be going  
13          to the Secretary.

14          **MR. ELLIOTT:** But what Stu was just  
15          referring to as the matrix, those are the  
16          matrix between us and SC&A and the working  
17          group --

18          **MR. GRIFFON:** But the matrices in the first  
19          set of cases for review the matrix was an  
20          attachment to that letter, and I would think  
21          the same is going to be true eventually --

22          **MR. ELLIOTT:** Has that letter gone out yet?

23          **DR. ZIEMER:** No.

24          **MR. ELLIOTT:** The letter has not gone out  
25          yet?

1           **DR. ZIEMER:** No, it hasn't.

2           **MR. GRIFFON:** Oh, it still hasn't gone out?

3           **DR. ZIEMER:** No. There's a, I'll need an  
4           electronic copy of the matrix from you, Mark,  
5           but I'll get, I'll check with you offline on  
6           that. Everything else is ready to go. But  
7           those reconstruction matrices will be in that  
8           category. They'll be part of the reports to  
9           the Secretary.

10          **MR. GRIFFON:** So to that extent they should  
11          become part of that overall tracking tract?

12          **DR. ZIEMER:** Well, I was just asking what  
13          the plan was.

14                        Obviously, independent of that we need  
15          to be tracking what's happening.

16          **MR. ELLIOTT:** I think to answer your  
17          question, Mark, a letter that comes from the  
18          Board if it simply includes recommendations in  
19          the body of the letter, we would track that.  
20          If it includes, as I hear the first review of  
21          20 includes a matrix attached to the letter,  
22          we would track that as well.

23          **MR. GRIFFON:** Okay.

24          **DR. MELIUS:** This is Jim Melius. I think  
25          we've got to take a little broader view than

1 just the GAO recommendation. I think NIOSH is  
2 supposed to provide assistance to the Board as  
3 needed in doing our tasks and if it would be  
4 helpful to have some sort of system to track  
5 some of these changes and so forth. I think  
6 we need to figure out how to get it  
7 implemented. I don't think we can do that on  
8 the phone now, but I think it's sort of more  
9 than just the GAO requirement or a response to  
10 a GAO recommendation.

11 **DR. WADE:** Right, let us bring, this is Lew  
12 Wade. Let us bring a proposal to the Board  
13 meeting at the end of April on how best to do  
14 this.

15 **MS. MUNN:** I would appreciate -- one of the  
16 things that concerns me is that some of these  
17 things are going to be fairly long lasting. I  
18 hate to continue to see the entire matrix  
19 revolving before our eyes time after time. I  
20 would like to be able to see action items  
21 specifically taken out of the matrix so that  
22 eventually what we see is only action items  
23 who have the action and what its status is.  
24 I'd like to see the matrix go away after we've  
25 finished beating it to death and it's been

1 submitted.

2 **DR. WADE:** And on the other side of the coin  
3 the thing that worries us all I think is that  
4 sometimes in the dose reconstruction review  
5 the action is really to deal with something  
6 through a procedures review. And once you  
7 start to cross from one matrix to another we  
8 need to be sure there's a mechanism for  
9 capturing that and not losing that  
10 intellectual content.

11 **MR. GRIFFON:** In other words, the site  
12 profile reviews. It gets quickly complicated.

13 **MS. MUNN:** Which is one of the reasons why  
14 in my mind there needs to be an individual  
15 that is perhaps even a separate individual who  
16 tracks outstanding issues from the procedural  
17 point of view and someone else who tracks the  
18 outstanding issues from the DRs. That just  
19 seems to be two separate things to me and --

20 **MR. ELLIOTT:** I think it goes back to the  
21 recommendation from the Board and however and  
22 whatever shape or form that takes would still  
23 require us to address those recommendations  
24 and react to them. And there needs to be a  
25 response given back to the Board, something

1 that goes back through the Secretary's office  
2 that says here's how we have reacted to the  
3 Board's recommendation. We may not take the  
4 recommendation, and we would need to in that  
5 case say why we didn't accept the  
6 recommendation and move forward with it. And  
7 so I think this will be accommodated. As we  
8 proceed you'll see how it works. My  
9 experience with other FACA committees is that  
10 the FACA committee provides a recommendation  
11 in writing, and they expect a response to that  
12 and so we would have to do that.

13 **DR. WADE:** Well, let's think about it  
14 internally and then come up with a proposal.

15 **DR. ZIEMER:** Mark, on the procedures review  
16 you also distributed the latest matrix and all  
17 of those still require Board actions, right?

18 **MR. GRIFFON:** I had just closed it out so  
19 I'm pulling it open again. I thought I put  
20 Board actions in there.

21 **MS. MUNN:** Yeah, they're in there. Board  
22 action and the procedures, we have --

23 **DR. ZIEMER:** -- got the right version here.  
24 That's the most recent version, most recent  
25 undated version.

1           **MS. MUNN:** Yeah, needs to have 3/14 on top  
2 of that.

3           **MR. GRIFFON:** That file name is 3/14, but  
4 yeah, I agree.

5           **DR. ZIEMER:** Anyway, do we need to actually  
6 act on those Board actions?

7           **DR. WADE:** Not today I don't think.

8           **MR. GRIFFON:** I don't think today only  
9 because there's still some holes in that.

10          **DR. ZIEMER:** But we at some point need to  
11 take final action on this whole matrix.

12          **MR. GRIFFON:** Yes, yes, this is the work  
13 group still recommending this.

14          **DR. ZIEMER:** We'll view that as a status  
15 report for the time being.

16          **MR. GRIFFON:** Yes.

17          **DR. ZIEMER:** Okay, thank you.

18          **NIOSH UPDATE BETHLEHEM STEEL**

19          **DR. WADE:** All we have left is really the  
20 Bethlehem --

21          **DR. ZIEMER:** Yeah, what's the update on  
22 that? Who's got the lead on that?

23          **DR. WADE:** Larry or Jim.

24          **DR. NETON:** This is Jim Neton. I've got the  
25 shtick here. There were six issues or six

1 findings that we worked through SC&A, have had  
2 numerous meetings and have come to agreement  
3 on on all six findings actually. And we are  
4 moving forward in revising the Bethlehem Steel  
5 profile and incorporate all of them with the  
6 exception of one finding which had to do with  
7 the oronasal breathing issue.

8 And we agreed in our discussions with  
9 SC&A that we would pull that out as a separate  
10 document because it's universally applied to a  
11 lot of other locations. So of the five  
12 remaining findings we're working them in. I  
13 can go over them individually or just assure  
14 you that we are working them and hope to have  
15 a revised site profile complete and in the  
16 Board's hands in advance of the end of April  
17 meeting.

18 **DR. WADE:** Could you just give a quick  
19 update, Jim? I know that there's some people  
20 on the line who are interested in this.

21 **DR. NETON:** Sure. The first finding had to  
22 do with the models used in a 1951 and '52 time  
23 frame. And you recall we have air sampling  
24 data for those two years at Bethlehem Steel,  
25 and the issue between NIOSH and SC&A is how

1 best to use those data to bound exposures.  
2 And after some discussion we came to agreement  
3 as to how we were going to do that. And most  
4 notably that involved adjusting the GA samples  
5 upward to represent the breathing zone, and we  
6 were going to do that in the site profile.

7 The second issue had to do with the  
8 cobble issue. SC&A questioned whether our 95<sup>th</sup>  
9 percentile took into account short and  
10 episodic events, most notably the cobbling  
11 where there was some assertion that these  
12 uranium rods were cut with torches. And we  
13 were working to address that. We talked to a  
14 number of experts with uranium handling with  
15 multiple years of experience. And everyone  
16 that we've talked to suggests that that would  
17 be a very bad idea. We do have a somewhat of  
18 an open item here to interview, attempt to  
19 interview some workers from Bethlehem Steel  
20 and we're trying to work with Mr. Walker in  
21 that area to identify some workers to flesh  
22 this out a little better. Thus far we have  
23 not been able to connect there.

24 **MR. ELLIOTT:** Before you go on, Jim, let me  
25 add some clarification. A bad idea meaning

1           that to use a cutting torch on uranium would  
2           result in a major fire. And so, as Jim says,  
3           we're looking forward to talking with some  
4           workers from Bethlehem steel. And it's our  
5           belief and our thinking that this was a  
6           typical process, cutting cobbles on steel or  
7           iron when they were working through the  
8           rolling mill, but we doubt that it happened on  
9           uranium rods.

10           **DR. NETON:** But we do know pretty well when  
11           the rods were rolled in 1951 and '52, they had  
12           very good records as to which ones cobbled. I  
13           mean, we know exactly how many cobbled, and so  
14           given that universe of cobbles, we would  
15           estimate a certain time frame to cut it up and  
16           we just have to put an upper estimate on that  
17           operation for generation of airborne uranium.

18                     Right now, if they cut them with a saw  
19           we believe we're fairly bound in giving what  
20           we have. If there's some indication that  
21           torches were used, we might have to rethink  
22           that. That's the only one where we still have  
23           a little bit of information to flesh out.

24                     Finding three had to do with the  
25           oronasal breathing that I just spoke about.

1 Finding four had to do with ingestion intakes.  
2 We came to an agreement with SC&A that we  
3 would use an approach where we would take air  
4 concentration to surface concentration to  
5 ingestion, and we've agreed to flesh that out  
6 in more detail in our site profile. And in  
7 fact, we're going to modify TIB-0009. It is a  
8 generic ingestion model, and it will be  
9 applicable to other sites as well.

10 Finding number five had to do with  
11 resuspension in, oh, yeah. SC&A had made a  
12 suggestion that we would use the median value  
13 of the general area air samples to be  
14 representative of resuspension in the vicinity  
15 of operations, and we ended up agreeing to  
16 that, and are going to incorporate that into  
17 the site profile.

18 And finding six had to do with  
19 external doses from beta particles, and we  
20 have agreed to modify our profile to include  
21 skin dose and clothing contamination to the  
22 extent that it would add one and a half  
23 millirem per hour, which would add about a 1.8  
24 rem per year to skin dose during all years of  
25 operation.

1                   That's it. It's a fairly short list,  
2                   and we've been working on it.

3                   **DR. ZIEMER:** Jim, the beta thing is a  
4                   general skin dose, not a hot spot dose.

5                   **DR. NETON:** Right, that's right. The  
6                   clothing were contaminated and we got  
7                   statements from actually people who were at  
8                   our meeting that indicated that workers may  
9                   have worn their clothes for up to, I believe  
10                  it was a couple of weeks. And so we're just  
11                  assuming that they remained contaminated for  
12                  up to two weeks. We also have some data from  
13                  Simonds Saw that indicated that was a fairly  
14                  reasonable approximation.

15                  So those are the five issues that  
16                  we're adding, and they're not that extensive,  
17                  but they do require us to go back and modify  
18                  some tables and go back and revise the front  
19                  end. We will go back once the profile is  
20                  revised though and review every single dose  
21                  reconstruction that was passed back to the  
22                  Department of Labor that had been, you know,  
23                  had a PC in our estimation of less than 50  
24                  percent and see what effect this might have on  
25                  those cases. And we hope to provide a full

1 report on that at the end of April.

2 DR. WADE: Thank you.

3 DR. ZIEMER: I think that completes our  
4 agenda.

5 Lew, any final comments from your end?

6 DR. WADE: Amazingly, we're close to on  
7 time, and thank you. I know this is difficult  
8 work, but it needed to be done, and I  
9 appreciate all of your efforts.

10 DR. MELIUS: Are we going to do a future  
11 Board meeting? You had sent around the  
12 (unintelligible).

13 DR. WADE: LaShawn told me this morning that  
14 she needs more time so we'll be in touch by e-  
15 mail.

16 DR. MELIUS: Well, if you're going to need  
17 more time then I think you need to re-poll at  
18 least maybe 'cause I can't hold dates.

19 DR. ZIEMER: Filling in the calendar, right?

20 MS. MUNN: Yeah, mine's filling in, too.

21 DR. MELIUS: It's been three or four weeks  
22 now and since I sent her dates and --

23 DR. WADE: We'll get an e-mail out starting  
24 fresh.

25 MS. MUNN: And Lew and Jim, I sent a note

1 out this morning probably too late for anyone  
2 to get it asking that if we had an opportunity  
3 to do so during this meeting, I don't know  
4 about other members of the Board, but I'd  
5 certainly like to share what went on with the  
6 House Subcommittee on Immigration, Border  
7 Security and Claims, what a mouthful, and  
8 appreciate Jim's testimony and  
9 (unintelligible), but I'd really like to know  
10 what went on.

11 **DR. ZIEMER:** Lew, do you want to report on  
12 that?

13 **MS. MUNN:** What stimulated that, what we're,  
14 you, we, being asked to do? What was the  
15 motivation? What's going on other than the  
16 usual power play?

17 **DR. WADE:** Everything I will offer is my own  
18 opinion and speculation when it gets to the  
19 issue of why the hearing was held. I believe  
20 it was held because there was a pass back from  
21 OMB became public. That pass back seemed by  
22 the interpretation of some to raise issues  
23 that would result in trimming back of the  
24 special exposure cohort activities, and at the  
25 same time there was an OMB budget release that

1 looked at trimming, a reduction in the costs  
2 of the program.

3 So the committee was wondering about  
4 the nexus of these two things. The pass back  
5 specifically made a number of potential  
6 recommendations that talked about independent  
7 review of the HHS process and raised some  
8 questions about the balance of the Board and  
9 the unbiased nature of the Board's contractor.  
10 I think these issues just triggered an  
11 interest on the part of the subcommittee. So  
12 a panel was put together that included Shelby  
13 Hallmark from DOL, John Howard from NIOSH, our  
14 own Dr. Melius and Richard Miller, and they  
15 offered statements and there was rigorous  
16 questioning.

17 I don't know, Jim, I'll defer to you  
18 now in terms of your telling of it.

19 **DR. MELIUS:** Just a couple more things on  
20 background, one is the OMB issues that were,  
21 quote/unquote, had been raised by the  
22 Department of Labor and some solutions had  
23 been suggested like changing, quote/unquote,  
24 changing the balance of the Board, adding  
25 steps to the review process, having an outside

1 external review. So I think there were five  
2 separate items. The subcommittee involved the  
3 Subcommittee of the House Judiciary Committee  
4 which was the same committee that had asked  
5 for the GAO report about the functionings of  
6 the Board. So there had been interest there.  
7 The subcommittee was chaired by, the chairman  
8 was Hostettler, who's a Republican from  
9 Indiana. (Unintelligible) who attended the  
10 meeting was one other Republican and then two  
11 Democrats were also in attendance during the  
12 hearing. The Department of Labor raised some  
13 concerns in their testimony and their  
14 questioning though not as pointed as what were  
15 in the OMB document. I think Richard Miller,  
16 John Howard and myself basically, I think,  
17 defended the current process. And there were  
18 questions about how we, what our procedures  
19 were. It turned out that a number of the  
20 issues, I think, raised by the Department of  
21 Labor were misleading or misunderstood, and I  
22 think we corrected those issues in sort of  
23 both questions and answers. Basically, my  
24 testimony and my response to questions was  
25 saying I thought that the Board was

1 functioning well. We represented a diversity  
2 of viewpoints, that we worked hard to reach  
3 consensus and usually did or came close to  
4 that and the process I thought was working.  
5 We recognized there was always room for  
6 improvement. We would continue to work to  
7 improve it. And the questioning from the  
8 committee, at least for us, was for the most  
9 part friendly, a little bit more pointed  
10 towards the Department of Labor. The  
11 committee had scheduled another hearing for  
12 last week that was going to include OMB, some  
13 other, like Senator Bond was scheduled to  
14 speak, Denise Brock, but that meeting has been  
15 postponed or that hearing has been postponed  
16 and my understanding at least for the time  
17 being has not been rescheduled, that  
18 apparently these issues are getting resolved  
19 partly as a result of the public scrutiny.  
20 There were newspaper articles about what was  
21 going on that Wanda had passed one on from the  
22 Hanford area, and there were a number that ran  
23 around the country.

24 **MS. MUNN:** Yeah, there were two from here.  
25 So this additional hearing that was scheduled

1 but has been postponed is the same  
2 subcommittee under Judiciary, right?

3 **DR. MELIUS:** Right. They will be continuing  
4 I think to monitor the --, but my conclusion  
5 of it is, yes, I thought we had a process in  
6 place. It was what was envisioned by  
7 congressional legislation, and that we were,  
8 you know, functioning was fine, and that we  
9 should sort of just continue to do what we're  
10 doing.

11 **MS. MUNN:** Yeah, I appreciated your  
12 testimony. With only one or two minor  
13 exceptions I would have slapped you, but --

14 **DR. MELIUS:** As I said I pointed out there  
15 was a diversity of viewpoints.

16 **MS. MUNN:** I noticed that.

17 **DR. WADE:** This is Lew. The only take away  
18 message I took from the hearing is that I  
19 think there might be some follow-up hearings.  
20 I think there'll be a great deal of interest  
21 related to conflict of interest, and I  
22 wouldn't be surprised if several among you or  
23 among us were back up there on that issue. It  
24 does seem to be attracting some attention.

25 **DR. MELIUS:** Though I think I feel what the

1 exact issue, one of the issues that had come  
2 up, it was interesting that Representative  
3 Hostettler had, as he was addressing the  
4 questions and talking about the issue, had  
5 actually come to the same conclusion that we  
6 had about how to handle a certain situation  
7 which I thought was --

8 **MS. MUNN:** That's encouraging.

9 **DR. MELIUS:** -- encouraging, yes.

10 **MS. MUNN:** Anytime the Chair comes to the  
11 same conclusion we've come to, that's a good  
12 sign.

13 **DR. MELIUS:** I very quickly pointed out that  
14 we agreed with him.

15 **DR. WADE:** Larry, I know you were there. Do  
16 you have any observations you'd want to make?

17 **MR. ELLIOTT:** No, I think you guys have  
18 covered it.

19 **DR. ZIEMER:** Okay, thank you very much. Any  
20 other comments or --

21 **DR. LOCKEY:** Yeah, Jim Lockey. May I ask, I  
22 don't know what everybody thinks, but is it  
23 possible that we look at our calendar like  
24 always 12 months ahead of time? Is that not  
25 feasible? That would be great for me if we

1                   could do that because things do get booked in  
2                   and if we're on other panels or in study  
3                   sessions or something like that it creates  
4                   problems.

5                   **DR. ZIEMER:** It's certainly worth an effort  
6                   to do that if we can.

7                   **DR. WADE:** I'll have LaShawn come out with a  
8                   year query later this week.

9                   **MS. MUNN:** The further out we go the better  
10                  it is for me, too.

11                  **DR. LOCKEY:** That'd be great, thanks. This  
12                  is a real education, thank you, everybody.

13                  **DR. ZIEMER:** Okay, then I'm going to declare  
14                  the meeting adjourned. Thank you very much.

15                  (Whereupon, the Board meeting concluded at 4:50 p.m.)

1

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of March 14, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 14th day of April, 2006.

---

**STEVEN RAY GREEN, CCR****CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**