

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

TWENTY-THIRD MEETING

ADVISORY BOARD ON

RADIATION AND WORKER HEALTH

The verbatim transcript of the Meeting of the Advisory Board on Radiation and Worker Health held at the Red Lion Hotel, 802 George Washington Way, Richland, Washington, on April 20, 2004.

C O N T E N T S

April 20, 2004

REGISTRATION AND WELCOME	
Dr. Paul Ziemer, Chair . . . . .	.11
Mr. Larry Elliott, Executive Secretary . . . . .	16
REVIEW AND APPROVAL OF DRAFT MINUTES, MEETINGS 21, 22	
Dr. Paul Ziemer, Chair . . . . .	16
PROGRAM STATUS REPORT	
Dr. Jim Neton, NIOSH. . . . .	.26
STATUS AND OUTREACH - DEPARTMENT OF LABOR	
Mr. Pete Turcic, DOL. . . . .	.54
STATUS REPORT - DOE	
Mr. Tom Rollow, DOE. . . . .	77
SITE PROFILE STATUS, USE IN DOSE RECONSTRUCTIONS, AND ROLL-OUT, Dr. Jim Neton, NIOSH . . . . .	.113
RESEARCH ISSUES STATUS	
Mr. Russ Henshaw, NIOSH. . . . .	.155
INTRODUCTION	
Dr. Paul Ziemer, Chair. . . . .	191
PUBLIC COMMENT. . . . .	198
ADJOURN. . . . .	.262
COURT REPORTER'S CERTIFICATE. . . . .	263

## TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

In the following transcript (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

In the following transcript (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

In the following transcript "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

In the following transcript "\*" denotes a spelling based on phonetics, without reference available.

In the following transcript (inaudible) signifies speaker failure, usually failure to use a microphone.

In the following transcript (off microphone) refers to

microphone malfunction or speaker's neglect to depress "on"  
button.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERS

CHAIR

ZIEMER, Paul L., Ph.D.  
Professor Emeritus  
School of Health Sciences  
Purdue University  
Lafayette, Indiana

EXECUTIVE SECRETARY

ELLIOTT, Larry J.  
Director, Office of Compensation Analysis and Support  
National Institute for Occupational Safety and Health  
Centers for Disease Control and Prevention  
Cincinnati, Ohio

MEMBERSHIP

ANDERSON, Henry A., M.D.  
Chief Medical Officer  
Occupational and Environmental Health  
Wisconsin Division of Public Health  
Madison, Wisconsin

ANDRADE, Antonio, Ph.D.  
Group Leader  
Radiation Protection Services Group  
Los Alamos National Laboratory  
Los Alamos, New Mexico

DeHART, Roy Lynch, M.D., M.P.H.  
Director  
The Vanderbilt Center for Occupational and Environmental  
Medicine  
Professor of Medicine  
Nashville, Tennessee

ESPINOSA, Richard Lee  
Sheet Metal Workers Union Local #49  
Johnson Controls  
Los Alamos National Laboratory  
Española, New Mexico

GIBSON, Michael H.  
President  
Paper, Allied-Industrial, Chemical, and Energy Union  
Local 5-4200  
Miamisburg, Ohio

GRIFFON, Mark A.  
President  
Creative Pollution Solutions, Inc.  
Salem, New Hampshire

MELIUS, James Malcom, M.D., Ph.D.  
Director  
New York State Laborers' Health and Safety Trust Fund  
Albany, New York

MUNN, Wanda I.  
Senior Nuclear Engineer (Retired)  
Richland, Washington

OWENS, Charles Leon  
President  
Paper, Allied-Industrial, Chemical, and Energy Union  
Local 5-550  
Paducah, Kentucky

PRESLEY, Robert W.  
Special Projects Engineer  
BWXT Y12 National Security Complex  
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.  
Professor Emeritus  
University of Florida  
Elysian, Minnesota

AGENDA SPEAKERS

(in order of appearance)

Dr. Jim Neton, NIOSH

Mr. Pete Turcic, DOL

Mr. Tom Rollow, DOE

Dr. Jim Neton, NIOSH

Mr. Russ Henshaw, NIOSH

STAFF/VENDORS

CORI HOMER, Committee Management Specialist, NIOSH  
STEVEN RAY GREEN, Certified Merit Court Reporter

AUDIENCE PARTICIPANTS

DAY

CARY, ANNETTE  
COLLEY, ROBERT G.  
DEHART, JULIA  
EBY, KRISTIN  
ELDER, DIANA  
ELDER, ROBERT D.  
KUDING, SYLVIA  
LOVE, MARGUERITE E.  
MAST, VERN  
OLSON, JOYCE  
RINGEN, KNUT  
SCHAEFFER, D. MICHAEL  
SMITH, ROBERT L.  
STALEY, KENNETH D.  
TOOHEY, DICK  
TRENT, FRANK  
WILLIS, T.L.

AUDIENCE PARTICIPANTS

EVENING

ADAMS, HUGH  
AMADOR, ROBERT  
BARKER, DIRK  
BATES, CHARLES R.  
BEECROFT, DONNA ALLRED  
BERENETT, ROSE S.  
BERGSTROM, AGNES  
BIERLONI, THEO K.  
BONE, SYLVIA  
BOOKER, GARY P.  
BROWN, E.B.  
BROWN, PAUL  
CHAMBERLAIN, TAPLEY B.  
CHAMBERS, DONNA  
CLAPHAN, F.D.  
COLLEY, BOB  
COOK, BETTY G.  
DAUGHERTY, DOUGLAS C.  
DAVID, JOHN  
DUNCAN, HEATHER  
EBY, KRISTIN  
FESHUM, VIRGINIA P.  
FLEMING, R.M.  
HALSTEAD, CHARLES M.  
HARRISON, B.W.  
HAYS, CAROL  
HARTLEY, DAN R.  
HENNING, MICHAEL E.  
HENSLEY, JANEL  
JAHNKE, LOUISA  
JARMSON, E.R.  
KNIGHT, LAURA M.  
KNOWLES, RANDY  
KOLEBER, MARGARET  
LEDFORD, CLIFF AND PEGGY  
LEWIS, MARK  
LILLION, ERIC  
LOZIER, VERNON W.

LOZIER, VIRGINIA R.  
MANUEL, MR. AND MRS. JAMES L.  
MARTIN, KEITH A.  
MASTROGIUSEPPE, RON  
MCCALLUM, ROBERT  
MERCER, CHRIS  
MILLER, RICHARD  
MITCHELL, C.J.  
MOORE, CHARLES W.  
MORSE, ROCCO J., SR.  
MULLEN, LOIS  
MYERS, CHESTER B.  
NEWBILL, MATT  
OGLESBEE, GAI  
O'NEILL, ED  
OVERSTREET, ROBERT W.  
PATRICK, LINDA FOR LAURENCE PATRICK  
PHILLIPS, CHESLEY W.  
PHILLIPS, NATHAN  
PIERCE, BRENDA PRINGLE  
POWAUKEE, SHIRLEY  
RAY, VELMA  
RICHMOND, OLDEN  
RUGGLES, ROBERT  
SAMSON, E.R.  
SCHNECK, LESTER  
SHATELL, CHARLES W.  
SMITH, MARY S.  
STALEY, KEN  
THOMPSON, BARBARA J.  
TORRES, HENRY B.  
TRENT, FRANK  
UNDERWOOD, DAVID H.  
VAN DYKE, KATHY  
WALLACE, STEVEN L.  
WALLACE, VIRGINIA  
WELCH, MERLAINE E.  
WERST, KENNETH E.  
WILLIAMS, ROSIE LEE  
WILLIAMSON, JIM  
WILLIAMSON, NINA  
YATES, ROY

1 PROCEEDINGS

2 (9:00 a.m.)

3 **REGISTRATION AND WELCOME**

4 **DR. ZIEMER:** Good morning, everyone. We welcome  
5 you to this meeting of the Advisory Board on Radiation  
6 and Worker Health. This is the 23rd meeting of this  
7 Board. I was reflecting on that earlier today. I've  
8 been on a number of boards in my lifetime, but I don't  
9 think I've been on any that have met 23 times in two  
10 years, but this is a hard-working group.

11 My name is Paul Ziemer. I serve as Chairman of  
12 this Board. You -- those who are visitors, members of  
13 the public and others, you will notice the placards in  
14 front of each individual, and that will serve as an  
15 introduction to who the various members are.

16 Mark Griffon is not here this morning, but he  
17 would certainly want you to know that he ran the Boston  
18 marathon yesterday and is on his way here from Boston,  
19 so Mark has bragging rights on that accomplishment, I  
20 guess. But he will be joining us a little later in the  
21 meeting.

22 Let's see, and the Board is a little bit confused  
23 here because we've changed the seating arrangement.  
24 Dr. Roessler is sitting where the Chair usually does  
25 and I'm sitting over on the side today, so we've

1 shuffled things around. It helps keep the Board alert,  
2 you know.

3 We would like to remind everyone, including Board  
4 members, to please register your attendance. There are  
5 registration books in the back on the table. If you've  
6 not already done that, please do that sometime yet this  
7 morning.

8 Also, for members of the public who wish to  
9 address the Board, there's a sign-up sheet there. You  
10 may realize that as you look at the agenda that we have  
11 set aside an evening session at 7:00 p.m. this evening  
12 here for -- devoted to public comment, and you're  
13 welcome to sign up for that. If the agenda permits  
14 during the daytime hour here -- and we have a number of  
15 members of the public here -- we might be able to  
16 squeeze in some comments even earlier than that for  
17 those who might be interested before the afternoon  
18 session is over. I can't guarantee that; we'll see how  
19 things go. But if we have time, we may be able to  
20 permit some public comment as well this afternoon.

21 There are also handouts on the table to my right.  
22 This includes not only the agenda, but various  
23 presentation materials that are being utilized by some  
24 of our speakers today, as well as various documents  
25 involved with past actions of this Board that might be

1 of interest to you. So please avail yourselves of any  
2 of those that you think might be of interest to you.

3 We're pleased to have with us today some special  
4 guests. Well, you're all special, but we do want to  
5 recognize a couple of individuals. Shawn Bills, who is  
6 with Senator Patty Murray's office -- and Shawn is over  
7 here (indicating), and then Joyce Olson, who's chief of  
8 staff for the tri-cities office for Congressman Doc  
9 Hastings. Joyce is here and Joyce, being a local  
10 person, has agreed to give us a few words of welcome,  
11 as well. So Joyce, the podium is yours.

12 **MS. OLSON:** Good morning, everyone. On behalf of  
13 Congressman Doc Hastings, welcome to the tri-cities.  
14 Welcome to a special corner of this world. Your fellow  
15 Board member, Wanda Munn, invited Doc to be here today  
16 to greet you in person, and first of all, you should  
17 know that Doc (sic) is well-known in this community as  
18 a leader and she's held in high regard for her service  
19 to the city of Richland and organizations like Girl  
20 Scouts of America and also on a committee called  
21 Citizens for Medical Isotopes that promotes the use of  
22 medical isotopes for the treatment and diagnosis of  
23 cancer. And so Doc considers Wanda to be a very  
24 knowledgeable person and appreciates her expertise on  
25 issues especially pertaining to the nuclear industry.

1           So Wanda invited Doc and Doc is very sorry he couldn't  
2           be here in person to say hello to Wanda and to extend a  
3           special welcome to each of you, but I have the pleasure  
4           of doing that on his behalf.

5           To make your visit here a little bit more  
6           intriguing as you're doing your work, I just wanted to  
7           share a few local factoids with you.

8           Did you know the tri-cities is situated in one of  
9           the world's most productive and diversified  
10          agricultural growing regions? Perhaps last night you  
11          had a chance to sample some of the wines produced in  
12          this region. Everything from apples and asparagus to  
13          mint and grapes and potatoes and alfalfa is grown here  
14          in abundance.

15          And did you know that this region had two very  
16          special visitors about 200 years ago, Lewis and Clark,  
17          and they were part of the corps of discovery expedition  
18          dispatched by President Thomas Jefferson, and they came  
19          through and explored this region. And in a book  
20          written by Walter (Inaudible) and also referred to in  
21          Lewis and Clark's journals, they mention that when  
22          Lewis and Clark camped at the confluence of the Snake  
23          and Columbia River, they were greeted by 200 men  
24          singing and beating their drums. I think you'll find  
25          my greeting to you a little bit far less dramatic, but

1 I hope that some day you can explore our Native  
2 American heritage and early history.

3 Did you know that the towns in this area,  
4 particularly Richland, are the legacy of the secret  
5 Manhattan Project developed during World War II to  
6 produce plutonium for our nation's first atomic bomb?  
7 And actually that's a fact you probably do already  
8 know, and on that note, I'd like to tell you that  
9 Congressman Hastings is very interested in the work  
10 that you are doing. It's important to promote and  
11 encourage healthy and safe workplaces. And in looking  
12 back at Hanford and the number of workers that worked  
13 at Hanford during World War II and on the Cold War  
14 effort, he recognizes that many of them possibly  
15 suffered from exposures. And he has acknowledged that  
16 our nation has the responsibility to aid in the care of  
17 those who suffered during their service at Hanford.

18 Lastly, Congressman Hastings appreciates the  
19 progress that you're making in dealing with some of  
20 these very tough and sensitive and emotional issues.  
21 And finally, it is his sincere hope that your meeting  
22 here in Richland today and tomorrow is very productive  
23 and informative. Thank you very much, and welcome.

24 (Applause)

25 **DR. ZIEMER:** And thank you, Joyce, for that

1 welcome to all of us here today.

2 We're going to now proc-- oh, I almost  
3 overlooked our distinguished Executive Secretary, Larry  
4 Elliott, who usually has an opportunity also to  
5 officially greet us at this point. Larry?

6 **MR. ELLIOTT:** Thank you, Dr. Ziemer. On  
7 behalf of the Secretary Thompson, Department of Health  
8 and Human Services; Dr. John Howard, the director of  
9 NIOSH, I'd like to welcome the Board to Richland. And  
10 to the public, we welcome you to this meeting. We  
11 think it's very beneficial and informative. We hope  
12 that the public finds the work of the Board to be such  
13 and to find how the Board does its work in this open  
14 public setting. We look forward to a productive and  
15 informative two days. Thank you.

16 **REVIEW AND APPROVAL OF DRAFT MINUTES**

17 **DR. ZIEMER:** Thank you, Larry. We're now  
18 going to proceed with the agenda as you have it in your  
19 booklets, the first items being the review and approval  
20 of draft minutes. We have two sets of minutes to  
21 review and approve today. One is for our meeting --  
22 the 21st meeting which was held in Augusta, Georgia on  
23 February 5th and 6th, 2004. And then the second one is  
24 the 22nd meeting, which was actually a telephone  
25 conference call meeting held March 11th, 2004.

1                   The minutes of the Augusta meeting were  
2 distributed to the Board members about a week ago so  
3 that they would have an opportunity to read them before  
4 they came to the meeting. Our Board minutes, I might  
5 point out -- particularly for members of the public --  
6 are rather extensive. They include more than simply  
7 the actions of the Board, but they do give a fairly  
8 detailed summary of the discussions so that you have  
9 context for the various things that were done. So for  
10 example, this last set of minutes comprises somewhat  
11 over 50 pages. In fact, one could argue that it was a  
12 good thing we left the page numbers off so the Board  
13 members didn't realize how long they were. But we will  
14 instruct our keepers of the minutes next time to  
15 include page numbers so that we have a little easier  
16 time tracking where changes may need to be made.

17                   But with that being said, let me now call for  
18 any additions or corrections to the minutes of the  
19 February meeting held in Augusta, Georgia. And again  
20 we are looking for substantive changes. If you have  
21 minor typographicals, you can pass those on to Cori or  
22 to me later. Dr. Roessler?

23                   **DR. ROESSLER:** On the second page of the  
24 Executive Summary, right at the top, this was a summary  
25 of Pete Turcic's talk. There's a very impressive

1 number there, \$742 million. I think that that needs  
2 more detail because I think that number refers to more  
3 than just radiation compensation. And I looked later  
4 in the rest of the minutes and I couldn't find any  
5 detail later on on that.

6 **DR. ZIEMER:** Yes, this --

7 **DR. ROESSLER:** Do you see where I'm --

8 **DR. ZIEMER:** -- this is the Department of  
9 Labor report and could -- you're asking for a  
10 clarification of that number or --

11 **DR. ROESSLER:** Well, I think it could be  
12 misleading since -- I think it's probably not just  
13 radiation compensation.

14 **DR. ZIEMER:** It's not -- it's not the number  
15 for payouts from the portion of the program that -- for  
16 which this Board is responsible.

17 **DR. ROESSLER:** And since the minutes are  
18 related to this, I think we need another line in there  
19 explaining that.

20 **MR. ELLIOTT:** You are correct. I don't  
21 believe that -- I think Mr. Turcic stepped out, but I --  
22 -- this number, \$742 million, is for beryllium,  
23 silicosis, the SEC cancers and cancers that have been  
24 dose reconstructed.

25 **DR. ZIEMER:** So can we agree that we will ask

1           that that clarification be added to the minutes? We'll  
2           put the proper words in there, basically to describe  
3           what Larry Elliott has just said and we will make that  
4           correction. Okay.

5                         Dr. Andrade?

6                         **DR. ANDRADE:** If we move beyond the summary  
7           section and just into the actual minutes themselves, on  
8           the OCAS program status report -- let's see, one, two -  
9           - three pages in there's a comment made by myself noted  
10          about halfway down the page, starts that I noted that  
11          while I was anxious to see the SEC rule completed, et  
12          cetera, et cetera -- it goes on to say that it has  
13          nothing to do with dose reconstruction except for the  
14          fact that the rule proclaims that if dose  
15          reconstructions cannot be done -- and I think some  
16          words were left out -- parties might be eligible to  
17          apply for SEC status. I think those words would change  
18          the entire context of that statement.

19                        **DR. ZIEMER:** Does everyone see the paragraph  
20          that's being referred to? (Reading) Dr. Andrade noted  
21          that while he's anxious to see the SEC rule completed,  
22          it has nothing to do with dose reconstructions except  
23          for the fact that the rule proclaims that -- and then  
24          you're asking that it say if?

25                        **DR. ANDRADE:** That if -- and then dose

1 reconstructions cannot be done as stated there --

2 **DR. ZIEMER:** Uh-huh.

3 **DR. ANDRADE:** -- parties might be eligible to  
4 appeal for SEC status.

5 **DR. ZIEMER:** And then what about the rest of  
6 the sentence there?

7 **DR. ANDRADE:** The rest of the sentence  
8 stands.

9 **DR. ZIEMER:** So that he doesn't see any  
10 connectivity... Okay. Is there any objection to  
11 adding this clarification phrase that Dr. Andrade's  
12 suggested?

13 (No responses)

14 **DR. ZIEMER:** Without objection, we'll make  
15 that correction.

16 Any others? Dr. Roessler?

17 **DR. ROESSLER:** This is a bit difficult  
18 without page numbers, but under site profile updates,  
19 when Dr. Neton was talking -- it's maybe ten pages into  
20 the minutes.

21 **DR. ZIEMER:** Main topic, site profile  
22 updates?

23 **DR. ROESSLER:** Right, now go back --

24 **DR. ZIEMER:** It starts on the left side of  
25 the double page. Right?

1                   **DR. ROESSLER:** Now I'm looking at the  
2 minutes. Mine are not --

3                   **DR. ZIEMER:** Oh, okay.

4                   **DR. ROESSLER:** Anyway, but then go back about  
5 four pages -- and of course this is one of my favorite  
6 topics --

7                   **DR. ZIEMER:** Back toward the front or --

8                   **DR. ROESSLER:** No, toward the back, go four  
9 more pages --

10                  **DR. ZIEMER:** All right.

11                  **DR. ROESSLER:** A paragraph that starts with  
12 occupational medical dose, and you recall that that is  
13 one of my favorite topics.

14                  **DR. ZIEMER:** Yes.

15                  **DR. ROESSLER:** When you find it, I'll tell  
16 you what my question is.

17                         Okay, in the middle of that paragraph it says  
18 an X-ray is taken with a collimated beam. Other organs  
19 not in the field of view would be irradiated, and I  
20 think that's probably true. I mean I think that's what  
21 was said, but I wonder if that might be confusing  
22 because it would seem to me that other organs not in  
23 the field of view would not be irradiated.

24                  **DR. ZIEMER:** Well, technically that's  
25 certainly correct, they would possibly received some

1 scatter radiation, but this --

2 DR. ROESSLER: I think maybe this sentence --

3 DR. ZIEMER: This is summarizing what Dr.  
4 Neton said. An X-ray is taken with a collimated beam.  
5 Other organs not in the field --

6 DR. ROESSLER: ... of view might -- I think  
7 it means that it might be included, to be claimant-  
8 friendly, or something along that line, because we  
9 talked last time about --

10 DR. ZIEMER: Well, Dr. Neton is here, maybe  
11 he can clarify -- were you referring to scatter here  
12 or...

13 (Pause)

14 DR. NETON: Okay, let me just get my bearings  
15 here. An X-ray taken with a collimated beam -- other  
16 organs not in the field of view would be irradiated.  
17 That's true, even if they had a collimated beam, there  
18 would be scatter and would irradiate the organs, so  
19 that statement is true.

20 DR. ZIEMER: Right.

21 DR. ROESSLER: That's what I suspected.

22 DR. ZIEMER: So that's what you're referring  
23 to?

24 DR. NETON: Yes, that's what I was referring  
25 to, scatter radiation from -- from even a well-

1 collimated beam would have scattered radiation in the  
2 body.

3 **DR. ROESSLER:** Maybe just to --

4 **DR. ZIEMER:** So other organs in the field of  
5 view would still be irradiated from scatter.

6 **DR. ROESSLER:** Due to scatter.

7 **DR. NETON:** Due to scatter.

8 **DR. ROESSLER:** I guess I'd say might still be  
9 irradiated due to scatter.

10 **DR. NETON:** Correct.

11 **DR. ROESSLER:** Okay.

12 **DR. ZIEMER:** So the proposed change then  
13 would be might be irradiated due to scatter -- simply a  
14 technical clarification. Thank you very much.

15 Tony, you have another one?

16 **DR. ANDRADE:** Right. One double page over,  
17 same section, near the top of the page. There was a  
18 question that I asked about -- I asked it of Dr. Neton  
19 and it says Dr. Neton referred to whether -- Dr. Neton  
20 referred to natural. He meant whether it was processed  
21 in its natural form. It's -- processing it naturally  
22 does not make any sense.

23 **DR. ZIEMER:** He meant -- insert the word  
24 "whether"?

25 **DR. ANDRADE:** Whether it --

1 DR. ZIEMER: Was --

2 DR. ANDRADE: -- was processed --

3 DR. ZIEMER: In its natural form.

4 DR. ANDRADE: -- in its natural form.

5 DR. ZIEMER: As opposed to processed

6 naturally.

7 DR. ANDRADE: Right.

8 DR. ZIEMER: Any objection to that

9 clarification?

10 (No responses)

11 DR. ZIEMER: Thank you. Without objection,

12 we'll make that change. Others?

13 (No responses)

14 DR. ZIEMER: If there's no changes, we can

15 have a formal motion to approve the minutes as

16 corrected.

17 DR. ROESSLER: So moved.

18 MR. PRESLEY: Second.

19 DR. ZIEMER: Moved and seconded to approve

20 the minutes as corrected. Any final comments or

21 discussion?

22 All in favor, aye?

23 (Affirmative responses)

24 DR. ZIEMER: Any opposed, no?

25 (No responses)

1                   **DR. ZIEMER:** Any abstentions?

2                                   (No responses)

3                   **DR. ZIEMER:** No, okay. Dr. DeHart, do you  
4 have a comment?

5                   **DR. DEHART:** We have been changing format of  
6 the minutes almost continuously. I would urge that we  
7 hold to his format. It's the easiest to read and to  
8 follow and I commend this document.

9                   **DR. ZIEMER:** You like it without the page  
10 numbers, is that -- with page numbers, if we could. So  
11 noted.

12                               Is that -- that's Dr. DeHart's view, but  
13 others like some other version better? It appears not.  
14 Thank you.

15                               If we can turn to the 22nd meeting, these  
16 minutes you did not have in advance. This is a summary  
17 of the telephone call. It's a single topic discussion.  
18 These are very brief; however, if you have not had a  
19 chance -- well, you got your packet last night. If you  
20 did not have a chance to read these, the Chair is  
21 willing to have action deferred until tomorrow. I'd  
22 point out, however, this is a very short set of  
23 minutes.

24                   **MS. MUNN:** I'd appreciate tomorrow.

25                   **DR. ZIEMER:** We can delay till tomorrow.

1 Others -- okay, it seems to be a consensus that we  
2 delay action on those meetings unt-- or minutes until  
3 tomorrow, and we will take those up during the  
4 housekeeping session in the morning.

5 **PROGRAM STATUS REPORT**

6 We'll go ahead then with the next item on the  
7 agenda which is the program status update. Jim Neton  
8 is going to make that presentation.

9 **DR. NETON:** Thank you, Dr. Ziemer. Is  
10 that --

11 **DR. ZIEMER:** Is that a rheostat behind you  
12 there? Can we lower the --

13 **DR. NETON:** There's a lot of them. Is that  
14 too low? Okay.

15 Thank you, Dr. Ziemer. It's my pleasure to  
16 be here in Richland to present the NIOSH program  
17 statistics -- appreciate the nice weather that was  
18 arranged for us to be here. The last time I was here,  
19 in January, I think we had ten inches of snow on the  
20 ground, which is unusual around here.

21 This is the standard format -- or standard  
22 presentation that you've received over the last few  
23 Board meetings, but it's gotten a little bit of a  
24 facelift and I think you'll find there's more graphics  
25 in here, a little prettier to look at, anyway, and

1 organized a little differently, and maybe a slide or  
2 two that you haven't seen before.

3 The first slide shows the number of cases  
4 that have been referred to us from the Department of  
5 Labor. This is as of April 15th. We've popped over  
6 the 16,000 mark, so we're steadily increasing. The  
7 proportions from the different district offices of the  
8 Department of Labor are remaining fairly constant. We  
9 have about two-thirds of the claims from Seattle and  
10 Jacksonville combined, and Cleveland and Denver  
11 constitute about a third of the other claims. Of  
12 course Seattle and Jacksonville encompass some of the  
13 major DOE facilities such as Savannah River, Hanford  
14 and the Oak Ridge reservation, which largely accounts  
15 for the number of claims we're seeing in that -- in  
16 those district offices.

17 This is a histogram that shows the cases  
18 received by quarter from the Department of Labor. As  
19 you can see, we popped at almost -- over 2,900 claims  
20 in the summer of -- end of the summer of calendar year  
21 2004 and have been dropping steadily down to around 200  
22 claims a week on average right now, although I think  
23 we're -- pardon?

24 **MR. ELLIOTT:** 2002.

25 **DR. NETON:** 2002, yeah -- 2002, I'm sorry.

1           And we're seeing about 200 claims a week coming in the  
2           last month of the quarter for -- quarter three in '04  
3           is just for the first half of April statistics, so  
4           that's why the numbers seem so low. We expect that  
5           that will be at least as equal to quarter two after the  
6           end of the month is over.

7                         This slide depicts the number of requests we  
8           sent out to the Department of Labor -- I mean  
9           Department of Energy. We've sent out 15,373 requests  
10          that represent 13,897 cases. The number of requests of  
11          course exceeds the number of cases because we have  
12          multiple work histories for a number of our claimants.  
13          The other thing I'd point out is even though we have  
14          16,000 cases in-house, a couple thousand of those are  
15          from Atomic Weapons Employer sites, therefore the  
16          number is lower. We're fairly -- we keep fairly close  
17          with the requests for information to the Department of  
18          Energy. There's rarely a one or two-week backlog in  
19          getting those requests out to -- to Energy. So if you  
20          add the 2,000 AWE claims which we don't request  
21          information from Energy for most of the cases, we're  
22          right around 16,000 -- pretty close.

23                         We've received 14,711 responses, representing  
24          over 13,000 cases.

25                         We do request that we receive a response from

1 the Department of Energy within 60 days. If they  
2 cannot provide a response within 60 days, we ask that  
3 they notify us and provide a reason why that response  
4 can't be met. And we've had a fairly good  
5 relationship, as you know over past Board meetings, in  
6 getting these responses in from Labor -- or Energy.  
7 They've been quite responsive. We do show some claims  
8 that are outstanding over 60 days, and in fact we have  
9 a few -- a few, actually 114 -- that are over 150 days.  
10 Those claims -- we're working with Energy on those to  
11 try to move those forward. They typically represent  
12 claims that are either very early in the process -- you  
13 know, late '40's or even mid-'40's -- or have some  
14 bioassay records, particularly for internal dosimetry,  
15 that we're trying to capture that don't exist in  
16 retrievable form. They're either in databases or  
17 something to that effect where we actually need to --  
18 they need to write a little database to get them to us.  
19 But we're working very closely. We do put out a  
20 monthly report to Energy informing of their performance  
21 and coordinate our effort to make sure that we both  
22 agree as to which claims are still outstanding.

23 Telephone interviews I think has been a  
24 fairly successful program. ORAU has done an excellent  
25 job at keeping up with these interviews. We've done

1 13,127 interviews for -- at least one interview per  
2 case. Many cases have multiple interviews required  
3 because there are multiple claimants per case. And  
4 we've sent some reports out to 12,000 -- almost 12,300  
5 drafts to the claimants.

6 The capacity of 200 to 300 is well in place.  
7 The interview process is not the pinch point in this  
8 process at all, and I think it's a fairly well-running  
9 machine at this point.

10 This is a histogram of the number of  
11 interviews done by month since 2002. And as you can  
12 see, it's sort of an inverse of the number of claims  
13 received from Labor. Where in Labor we had the big  
14 bolus here and then going down, you can see that we're  
15 going up. It's kind of like a reverse lognormal  
16 distribution. But you can see we've had months where  
17 we've done over 1,700 interviews.

18 This is the statistics for where we are in  
19 the dose reconstruction process. We have 4,338 claims  
20 staged for dose reconstruction. And what that means is  
21 that the claimant has received a letter notifying them  
22 of one of the select-- one of the -- from ORAU telling  
23 them that their dose reconstructor will be assigned.  
24 We also have received some response from the Department  
25 of Energy indicating that there is some available

1 exposure information. And most often the site profile  
2 for that site has been done, or some other technical  
3 document that would allow us to move the dose  
4 reconstruction forward.

5 We also have -- this is a cumulative process,  
6 so there's 4,338 staged. There's also 1,020 that have  
7 been assigned to dose reconstructors. That means that  
8 a dose reconstructor has physically been assigned.  
9 There's a name attached to that file and it's in the  
10 person's queue to be done. These claims are what we  
11 call our hoppers. We fill the hoppers, ready to move  
12 out, and they would be the next -- they would -- these  
13 would be very close to having completed dose  
14 reconstructions.

15 We've sent out over 2,700 draft reports to  
16 claimants, of which 2,319 -- well, we sent 2,714 and  
17 we've sent 2,319 finals to the Department of Labor.  
18 The disconnect here is that we require the OCAS-1 form  
19 to be signed before we can move it to the Department of  
20 Labor. That can take time. A claimant has up to 60  
21 days to sign the OCAS-1, so there's always a slight lag  
22 between the number that we have in the hands of the  
23 claimants and the number that are in Department of  
24 Labor. In some cases where you have multiple  
25 claimants, there may be ten claimants per case, it

1 takes some time to accumu-- do all the close-out  
2 interviews and acquire the OCAS-1 forms.

3 This is just a histogram that shows our  
4 production by month. And you can see within the last  
5 year, starting in April of last year, those 2,700  
6 claims have been put -- most of those have been put out  
7 in the last 12 months. Our production is increasing.  
8 The month of April of course is not complete. We're  
9 optimistic that this histogram will exceed the March  
10 production goals. We're working very hard to do that.  
11 And I think -- if you can bear with my imagination, I  
12 think you can see a nice trend going upwards. I might  
13 argue that a linear quadratic equation could be fit to  
14 that. But we are -- we are moving forward and moving  
15 towards our goal of 200 dose reconstructions per week.

16 The final dose reconstruction reports, as I  
17 indicated, should mirror the drafts that go out, the  
18 only difference being the waiting on the OCAS-1's to be  
19 signed -- close-out interviews and the OCAS-1's being  
20 forwarded to Labor. So this fairly closely mirrors our  
21 experience with the drafts going out the door.

22 This is a new slide I don't think you've seen  
23 before. It might need a little explanation. The X  
24 axis here is claimant number. As you may know, we  
25 assign every claimant a unique I.D. number starting

1 from claimant 1 and moving out through claimant 16. So  
2 what this portrays in blocks of 1,000 is how many  
3 claims we've done per block of 1,000 claims. So we've  
4 done 253 dose reconstructions out of the first 1,000  
5 claims we received.

6 I think it's interesting to see that the  
7 slope does tend to go in the right direction, that  
8 being that we are concentrating efforts to move out  
9 claimants earlier in the process when we can. However,  
10 we also have a policy that if a claim can be done and  
11 processed with the information that we have at hand,  
12 we're not going to hold them up, either. So that's why  
13 you see a fair number of these being done, as well.  
14 But in general, I think the trend shows our efforts to  
15 try to move the earlier claims out in a priority  
16 manner.

17 This is a little busy, I suppose, but it's  
18 really a combination of the three histograms I showed  
19 before, this being the number of claims that we've  
20 received from the Department of Labor, the orange or  
21 reddish line -- or the yellow line is the draft dose  
22 reconstructions sent to claimants, and the red line is  
23 the final dose reconstructions sent to the Department  
24 of Labor. I like to look over in this area where I  
25 think in the month of -- two months, February and

1 April, I believe, we actually exceeded the number of  
2 claims going back to Labor than we received from them.  
3 So in a small way, we're starting to reduce the backlog  
4 of claims that are in our possession. We hope that  
5 this trend continues and we can rapidly start to chew  
6 into the backlog a bit faster.

7 This slide depicts the administratively  
8 closed analysis records that we have in-house. What  
9 this means is that the number of claims that have been  
10 in the hands of the claimants for more than 60 days and  
11 an OCAS-1 form has not been received and the claimant  
12 is not forthcoming with any additional information.  
13 Per our regulations, we can administratively close the  
14 dose reconstruction, send a letter to the claimant  
15 notifying them that we have done so, and copy the  
16 Department of Labor. At that point the Department of  
17 Labor may close the case itself. So there have been a  
18 number of these -- not a tremendous number, but there's  
19 14 claims or cases that have -- people have received  
20 administrative closure letters from us.

21 Of course the dose reconstruction is -- can  
22 be reopened if the claimant signs the OCAS-1 form or  
23 provides additional information.

24 Dr. Ziemer?

25 **DR. ZIEMER:** Jim, you have an extra slide on

1 your handout. Did one get skipped or --

2 DR. NETON: That's possible. Which one is  
3 it?

4 DR. ZIEMER: Well, it appears just before  
5 this one in our handout.

6 DR. NETON: Just before this one...

7 UNIDENTIFIED: The reworks.

8 DR. NETON: Oh, the reworks. Maybe -- yeah,  
9 maybe the...

10 (Pause)

11 DR. NETON: Somehow they got swapped in the  
12 computer. Okay. This is a slide that's titled  
13 "Reworks". What this depicts is number of claims  
14 during those time periods that have been returned to us  
15 from the Department of Labor. They've been through the  
16 entire process. The claimants received the draft, they  
17 signed the OCAS-1, the close-out interview's done. We  
18 sent it to the Department of Labor and, for a variety  
19 of reasons, it comes back to us to be redone.

20 There are a number of reasons. They can  
21 range from the claimant has developed an additional  
22 cancer in the time period that the dose reconstruction  
23 was being processed. There could be an issue with the  
24 ICD-9 coding, the type of cancer coding that was on the  
25 original referral. There could be differences in

1 employment dates. The claimant will look at it and  
2 point out that their employment record was not exactly  
3 as depicted -- those type of issue.

4 It doesn't look like a large number, but if  
5 you add those all up, it constitutes about five percent  
6 of our workload going back to -- that goes to Labor  
7 comes back to us for a rework. We have committed and  
8 negotiated this, that we would like to get these  
9 reworks done within 60 days because the claimant's  
10 already received it, they've signed the OCAS-1.

11 In general, it's possible for us to do that  
12 because many of these reworks are adding a month or two  
13 of employment or an additional cancer that isn't very  
14 difficult to reconstruct. However, there are some  
15 cases where there are blocks of cancers or unique  
16 cancers that require -- if we'd done the efficiency  
17 process, for example, for a cancer and then the claim  
18 has a very low probability of causation, and then an  
19 additional cancer comes in that would require us to do  
20 a full analysis, it would require a lot of additional  
21 work, and sometimes it's not possible for us to do  
22 those in 60 days. But we do our best to get those out  
23 -- out the door.

24 I think you see -- it looks like there's a  
25 trend here going up, but I think this is just an

1 artifact of the number of claims we're starting to  
2 process.

3 Okay. The phone calls continue to be heavy.  
4 OCAS has received over 29,000 phone calls since the  
5 program started. However, I've been told that since  
6 we've started issuing these quarterly activity reports  
7 in the mail to claimants, that has actually reduced our  
8 phone burden somewhat. The claimants, after the first  
9 round, got the idea of what was in -- what was -- what  
10 this activity report was all about and they're able to  
11 interpret it. Our phone calls have gone down somewhat.

12 ORAU has apparently -- there seems to be a  
13 very large number there, over 84,000 phone calls. I  
14 believe this includes the interviews that are done, as  
15 well as scheduling of interviews and close-outs, that  
16 sort of thing. So it includes some of that -- routine  
17 operations, but nonetheless, they've taken over a large  
18 burden of handling the phones. They have their own 800  
19 number that the claimants are aware of and I think they  
20 do a pretty good job at that.

21 E-mail continues to be popular, over 3,900 e-  
22 mails we've received. We try to respond to those in a  
23 timely manner. Hopefully we can answer these within a  
24 day of when we receive them, sometimes a little longer  
25 depending on the nature of the question.

1                   Okay, recent accomplishments. Physician  
2 panel -- in the area of physician panels, 40 new  
3 appointments were made on April 12th to bring our --  
4 NIOSH has appointed 215 total physicians for the  
5 Department of Energy's activities under Subpart D.

6                   Site profiles continue to be developed and  
7 approved. I don't want to steal my thunder in my  
8 subsequent presentation, but we have four of the major  
9 DOE sites now covered with site profiles, those being  
10 Savannah River, Hanford, Y-12 and Rocky Flats. And as  
11 of Friday, we generated and issued the Iowa Ordnance  
12 Plant site profile, which was long in coming. I'll  
13 talk a little bit more in detail about that later on  
14 today.

15                   Quarterly dose reconstruction activity  
16 reports I alluded to a little earlier. Every quarter  
17 we send out an activity report that details the status  
18 of the claim to each claimant. We just finished the  
19 third issuance of those or third quarterly report last  
20 week, and I believe we sent out over 20,000 mailings to  
21 the claimants. I think that's been a very positive  
22 activity.

23                   The web site, if you haven't visited it  
24 recently, I would encourage you to. It's been somewhat  
25 redesigned. The site profile page that used to be part

1 of the dose reconstruction page now has its own page.  
2 There's some explanatory text in there about what a  
3 profile is and what the definition of facility is that  
4 we use for those profiles, that sort of thing. There's  
5 an archive page now for previous site profiles that are  
6 -- have been revised. So even if we -- if we revise a  
7 site profile now, all versions are still maintained on  
8 the web and it can be viewed by anyone who so chooses.

9 The claimant status request is a new feature  
10 we've added. We've allowed now for claimants to  
11 request a status report of their claim via e-mail. If  
12 they send an e-mail to the OCAS box, they will be --  
13 they will receive a written response from us. We try  
14 very hard to maintain claimant privacy with this  
15 process, and it was not -- it was virtually not  
16 possible to verify a claimant is who they said they  
17 were via e-mail. That's why if you send a request and  
18 we do some basic validation to make sure the person is  
19 either a claimant or an authorized representative, then  
20 we will send the e-mail -- the response directly to the  
21 claimant or the authorized rep's home address. In that  
22 case, if they haven't been the one to send in a  
23 response (sic), then there's no harm done. They'll  
24 receive a response that they didn't request. And we've  
25 been starting to get some of those in the door and we

1 process those in a fairly quick manner.

2 The claim information page is updated  
3 somewhat, and I really an excited about this update.  
4 It provides some very good statistics. There's a flow  
5 chart there that has six boxes that depict where we are  
6 -- essentially a summary of the status that I just  
7 gave; how many claims in-house, how many responses from  
8 the Department of Energy, how many interviews, how many  
9 back in the hands of claimants, how many at Department  
10 of Labor, so it's a really nice linear flow chart that  
11 depicts what the status is.

12 But what I really like is the feature that  
13 you can view all claim sites. If you click on the  
14 cases by covered facility, it is organized by state and  
15 you can look up where we are with every covered  
16 facility in each of those six boxes by site.

17 So for example, if one wanted to know where  
18 we are -- we were with the Hanford claims, you could go  
19 to Washington state, find Hanford and find out that we  
20 have 1,865 I think claims from Hanford -- 1,875 claims,  
21 233 which have been returned to the Department of Labor  
22 with completed dose reconstructions.

23 It's a dynamic site. It's updated once a  
24 day, so the numbers change daily. One needs to be  
25 aware of that, so when you quote statistics you have to

1 be careful on what day you're quoting the statistic.

2 Okay, I think that concludes my formal  
3 remarks. I'd be happy to answer any questions if there  
4 are any.

5 **DR. ZIEMER:** Thank you, Jim. Let's open the  
6 floor now for questions. Dr. Melius?

7 **DR. MELIUS:** Yeah, I have a couple -- about  
8 three questions, to be exact. The first question  
9 concerns the backlog and how -- explain a little bit  
10 more how you're sort of triaging the requests. I get I  
11 guess a little concerned. I was a little surprised to  
12 see that one new chart you gave with the -- the group  
13 of 1,000 at a, you know, time, where they were that --  
14 we've got a lot of requests that are very old in there.  
15 Some of the first ones that come in that aren't being  
16 handled yet, and without knowing which sites they came  
17 from and so forth, it's hard for me to, you know,  
18 project how soon you get into those. But it -- could  
19 you describe a little bit more how you're balancing  
20 between doing -- assuming you're doing batches as the  
21 site profiles get done, but what's happening to the  
22 other cases that aren't going to get -- that are old  
23 but aren't sort of covered by the site profiles or --  
24 either within a facility or because you're not doing a  
25 site profile on that facility for a while yet.

1                   **DR. NETON:** Uh-huh, where was that -- that's  
2 the one you're talking about (indicating)?

3                   **DR. MELIUS:** No, no, I'm talking about the  
4 one where you broke it up by -- by thousands of claims.

5                   **DR. NETON:** Oh, okay.

6                   **DR. MELIUS:** That one, yeah.

7                   **DR. NETON:** Okay. Both slides I guess are  
8 sort of connected. There are 4,000 claims that are  
9 staged -- what we call staged for dose reconstruction.  
10 And really that -- that's a function of where we are  
11 with our technical documentation on the programs. We  
12 have -- those four major site profiles for DOE  
13 facilities that I mentioned constitute roughly about 40  
14 percent of our cases that we can start to do. It  
15 doesn't mean we can do them all, but at least we're  
16 eligible now. We've got a pretty good handle on the  
17 technical issues at those sites, so those are going to  
18 be in the hopper, so to speak, we like to call them.

19                   But we also have other technical  
20 documentation that we can use. I believe at the last  
21 Board meeting I talked about these complex-wide  
22 approaches where we take a DOE complex-wide or an  
23 Atomic Weapons Employer complex-wide where we assign  
24 these very large exposures and we can move certain  
25 claims through that way. Those tend to go across many

1 sites. I've forgotten the statistic now, but the last  
2 time I looked, we had done dose reconstructions at 65  
3 different sites. I think it's much more than that now,  
4 and that's primarily a function of these complex-wide  
5 documents.

6 But the bulk of the ones that you're going to  
7 see move forward are the ones that are covered by these  
8 -- the major site profiles. Savannah River Site, we've  
9 done a large number. Hanford, we're moving forward  
10 now. We expect Rocky Flats to start moving. Iowa  
11 should start moving. So that's sort of how we triage  
12 them.

13 **DR. MELIUS:** 'Cause if I look at this chart,  
14 it looks as if you've taken the first 11,000 or so -- I  
15 don't even know where the cutoff is -- and just sort of  
16 treated them as one group that applied at the same time  
17 and -- or just going through that process rather -- and  
18 then you're sort of triaging by when they applied for  
19 the most recent, you know, few thousand that have come  
20 in. I guess my concern is that if we get -- as you  
21 start to go through this backlog, you get -- however  
22 long that's going to take, a year or more, I don't  
23 know, it's hard to say -- but that you're going to be  
24 left over with some people that have, you know, filed  
25 claims four or five years ago and aren't being --

1                   **DR. NETON:** I hear what you're saying. I  
2 agree with that, but the -- the problem is, once you  
3 have a site profile and you can do it, you know, we  
4 will do these first because those are the older claims.  
5 But however, if these can be done because we have the  
6 profile, we feel that it's in the claimants' interests  
7 not to hang onto it and wait until, you know, someone  
8 else back here can get done. So we will move a claim  
9 forward if we can --

10                   **DR. MELIUS:** Uh-huh.

11                   **DR. NETON:** -- given that we'll put most  
12 emphasis on moving these first.

13                   **DR. MELIUS:** Yeah, I guess I would just get  
14 worried if we got six months down the road or a year  
15 down the road and we still had 500 claims left in that  
16 first 1,000 that, for whatever reasons, aren't being  
17 dealt with yet. Meanwhile we've got a lot more recent  
18 claims that you're going through. And I don't -- not  
19 saying it's an easy answer and I'm just trying to get a  
20 sense of what -- where -- where it goes and, you know,  
21 what approach might be used to help that.

22                   **DR. NETON:** I understand. We're very  
23 sensitive to that and we -- we constantly -- I think I  
24 mentioned this in past Board meetings -- are moving  
25 through the claims and looking at them to see which

1 ones, you know, can -- can be done preferentially in  
2 the lower numbers.

3 **DR. MELIUS:** My second --

4 **DR. NETON:** Sorry, I think Dick Toohey might  
5 have an additional comment to make.

6 **DR. TOOHEY:** Dick Toohey, ORAU. I just want  
7 to remind you that we do have a small group -- it's  
8 only about four people, but we call them the  
9 supplemental dose reconstruction team, and their  
10 mission is to work on the oldest cases. They've  
11 started with claim number one and if it can be done in  
12 the absence of a completed site profile, they do it.

13 Also, our other efficiency process is to look  
14 at some of the easily-compensable cases. For example,  
15 lung cancer cases with positive lung counts for  
16 transuranic inhalation. Those turn out to be pretty  
17 compensable without having to do a lot of work on the  
18 dose reconstruction. And most of those, also --  
19 apparently, at least from what I've seen -- are some of  
20 the earlier cases. So we are -- it's not a huge effort  
21 on knocking out some of the oldest cases, but there is  
22 some additional effort going on on that.

23 **DR. NETON:** Thanks, Dick.

24 **DR. MELIUS:** Another one of my questions  
25 really may be a third part of that efficiency process,

1           which would be in Special Exposure Cohort process. Can  
2           we have an update on that or is...

3                     **DR. NETON:** I'll refer that question to --

4                     **DR. MELIUS:** To Larry?

5                     **DR. NETON:** -- Larry. I know. I know.

6                     **MR. ELLIOTT:** We should just make that part  
7           of the progress report and say we're not making any  
8           progress.

9                     Seriously, the rule has been revised  
10          according to public comment, which we carefully  
11          considered, and it has cleared through our Department  
12          and we're waiting on clearance from Office of  
13          Management and Budget.

14                    **DR. ZIEMER:** Let me insert at this point that  
15          I have just received a letter from Secretary Tommy  
16          Thompson in reply to the letter from this Board. Did  
17          we get copies of this to distribute? We just got this.  
18          Why don't you go ahead and distribute that.

19                    It simply says we, the Department, have  
20          completed our work on the rule and its publication  
21          awaits clearance by the Office of Management and  
22          Budget. We realize that potentially eligible classes  
23          of workers have been blocked from filing petitions to  
24          become members of the Cohort, and we look forward to  
25          publishing the rule shortly so that petitions may be

1           filed.

2                         So I believe that's all we can say at this  
3 point. This is from the Secretary of Health and Human  
4 Services. You'll each get a copy of that letter and  
5 copies will be available for the public, as well.

6                         You had a third question though?

7                         **DR. MELIUS:** I had a third question. I'm not  
8 going to suggest we write a letter to OMB yet, but...

9                         My third question goes back to the interview  
10 process, and we had a working group that dealt with  
11 some of those issues and I think we reported -- I  
12 believe it was about six months ago or so, but is --  
13 and I guess my question is sort of where are you in  
14 some of the sort of the quality assurance steps that  
15 were being -- that we recommended and I think everyone  
16 sort of agreed on at the time that were sort of being  
17 implemented that would allow for better -- sort of --  
18 better quality control in that process as what's sort  
19 of an ongoing evaluation of that process and -- this  
20 may be something that we put on the agenda for the next  
21 meeting or something, it's really up to you, but I  
22 think it would be nice to get an update on that.

23                         **DR. NETON:** Yeah, I'm not 100 percent  
24 familiar with where they are with that right now. I  
25 know that they've drafted some procedures. Maybe I

1           could ask Dick Toohey to inform us -- for a sentence or  
2           two on that issue.

3                       **DR. TOOHEY:** I should learn to sit closer to  
4           the microphone. The procedures have been drafted.  
5           They're in internal review. Some of them are in  
6           internal review, some we've sent over to NIOSH for  
7           review. We also have our internal QA group who  
8           completed a semi-annual -- oh, everybody hates the term  
9           "audit" so we say quality conformance assessment of our  
10          operations in February, and that report is out. And  
11          they also took a look at how the interview procedure is  
12          working and what additional procedures or controls, if  
13          any, may be needed.

14                      **DR. MELIUS:** I'd just suggest that we  
15          consider sort of a -- one of the -- maybe next couple  
16          of meetings consider putting the interview -- sort of  
17          an update on that whole process on agenda on that  
18          'cause I think in terms of our review of the individual  
19          dose reconstructions that would sort of be timely and  
20          helpful.

21                      **DR. ZIEMER:** Henry Anderson and then Robert  
22          Presley.

23                      **DR. ANDERSON:** Yes, I just want to commend  
24          you for -- we're sort of getting into a routine on the  
25          slides for tracking, and I think they're very helpful.

1 I would only add two things -- and I find this one very  
2 helpful, but what you might want to do is even make it  
3 more complex, which I know you've tried to simplify, is  
4 one along the base there it would be helpful to know  
5 what are the time frames, because 1,000, 2,000, 3,000  
6 might have been in a four-week period or some of those,  
7 so some sense -- I like the slides that show, you know,  
8 kind of the dynamics of time, so I -- and this is  
9 somewhat time, but it's also -- at times you have a  
10 bolus come in, so 6,000 to 7,000 might really be a  
11 arbitrary split and I wouldn't want people to think  
12 that because their number is, you know, 2,001, that  
13 that's somehow -- they've been waiting a whole lot  
14 longer than somebody at 3,000, so that might help.

15 The other would be if you do have your staged  
16 ones, you could put the bar on top so we could have a  
17 sense -- not -- completed is obviously the finality,  
18 but get a sense of how many in fact are moving versus  
19 just sitting waiting for something else might be  
20 helpful.

21 The other is the phone calls. I think that  
22 would also be helpful to look at that over time or  
23 spread it out in some way so -- you'd like to be able  
24 to see that the load on NIOSH has been coming down at  
25 the same time the others are going up, where you don't

1 get that dynamic sense from just the totals.

2 The other would be to add -- I think the web  
3 site certainly has become more user-friendly providing  
4 information, and it would be helpful to see the hits on  
5 various components to see are claimants actually using  
6 it more than they were in the past. I mean you put a  
7 lot of resource into that, so you'd like to be able to  
8 show that in fact that's been effective.

9 **DR. NETON:** Thank you, very good suggestions.

10 **MR. PRESLEY:** Bob Presley. Jim, on the  
11 fourth slide, the age of outstanding requests, from 60  
12 days to 150 days, are these outstanding requests -- are  
13 they more prevalent from one site or two or three  
14 sites, or are they pretty much scattered out all over  
15 the AWE?

16 **DR. NETON:** You know, we used to have that  
17 statistic on these slides and we took it off for this  
18 one, so now you've caught me a little short. I would  
19 say they're probably reflective of a few sites, some  
20 sites -- I can't give you the exact details. I know  
21 that at Los Alamos we have some issues with bioassay  
22 results. That's when I alluded to the database issues  
23 where we're working with them very closely to get the  
24 data into the proper form so we can get those numbers.  
25 But I honestly can't give you the statistics off the

1 top of my head which sites those are. I do suspect,  
2 though, that they're some sites -- you know, some  
3 selected sites that constitute the bulk of those  
4 delinquent -- what I'll call delinquent requests.

5 **MR. PRESLEY:** I'm just wondering if it would  
6 help -- if some of these sites -- if we put a letter  
7 out asking that a little bit more attention be given to  
8 helping these sites get their information in.

9 **DR. NETON:** I don't know, I guess -- I can't  
10 answer that, other than I know we coordinate very well  
11 with the Department of Energy. They're aware and, as  
12 far as I can tell, the appropriate level of resources  
13 appear to be dedicated to these efforts. It's not a  
14 resource issue, I don't think. It's really  
15 availability of the information.

16 **DR. ZIEMER:** Dr. Melius?

17 **DR. MELIUS:** Yeah, along those lines, I  
18 believe on our -- one of the agendas -- draft agendas  
19 we saw, there was a -- I think Ted Katz or someone was  
20 going to give a report that had to do with access to  
21 exposure -- you know, dose records and so forth. What  
22 was that, Larry? I'm...

23 **MR. ELLIOTT:** That was a -- we had scheduled  
24 an agenda item for this meeting to have Ted report on  
25 matters that influence dose reconstruction.

1                   **DR. MELIUS:** Oh, okay.

2                   **MR. ELLIOTT:** This was actually a report that  
3 we were asked to prepare for Congress on that subject  
4 matter. We had envisioned that report would be  
5 available to present to you all. We think it's very  
6 educational and informative. But unfortunately, that  
7 report is not available to speak from today. So  
8 hopefully next meeting we'll have that.

9                   **DR. MELIUS:** Okay, thanks. Could -- just --  
10 it would be helpful, along Bob's question, to -- the  
11 next time you present this is to -- little more  
12 information on the sites that -- where there is a  
13 problem and we can get a better understanding of that  
14 and not put you on the spot by trying to -- making  
15 someone remember where --

16                   **DR. NETON:** We'll add that back next time.

17                   **DR. MELIUS:** 'Cause if my memory's right, it  
18 appears you -- a lot of the backlog has been cleared  
19 and --

20                   **DR. NETON:** Oh, yeah.

21                   **MR. ELLIOTT:** We think there's a very good  
22 relationship here and we're working really hard with  
23 the DOE to be coordinated on this. And I think -- is  
24 ETEC -- is Boeing in that mix? Is that one of those  
25 sites --



1 proceed on the agenda.

2 **STATUS AND OUTREACH - DEPARTMENT OF LABOR**

3 Next we'll have the status report from the  
4 Department of Labor. Pete Turcic is here today. Pete  
5 -- oh, hang on just a moment. Comment from Jim Neton.

6 **DR. NETON:** I'm going to steal the microphone  
7 from Pete -- we'll add back Pete's time. I forgot to  
8 mention one important thing that we're working on right  
9 now, and that is connected with recent accomplishments.  
10 We're working to get IMBA available to members of the  
11 public through our web site. We will entertain  
12 requests for IMBA outputs and runs to the OCAS inbox at  
13 this time. We do -- we are aware, though, that it's a  
14 complex program and is going to need some assistance  
15 from us, more than likely, to guide a person as to what  
16 type of input we need. And we're working to that end  
17 as we speak to develop a template for people to fill in  
18 to request, via e-mail, outputs from IMBA. So we're  
19 working very closely with Oak Ridge Associated  
20 Universities to make that happen.

21 In addition, we will entertain calls to their  
22 800 number for guidance as to how to submit a request,  
23 as well. I don't -- we don't believe that it's  
24 practical to do on-line -- on telephone with IMBA runs.  
25 It's just too complicated. So we'll work with people

1 on the telephone to nail down what parameters they're  
2 really interested in obtaining information for, and  
3 we'll put that in writing and then we'll issue a  
4 request via e-mail or regular mail, whatever --  
5 whatever makes sense. We'll also of course at any time  
6 entertain written requests via regular mail to our  
7 office.

8 Sorry for not mentioning that, but I think  
9 it's very important that I bring that up.

10 **DR. ZIEMER:** All right. Thank you. A  
11 question on that.

12 **DR. MELIUS:** I believe -- this is Mark  
13 Griffon's question -- (Inaudible) running out here, but  
14 there was I believe a commitment to try to make -- to  
15 make IMBA available to the Board members?

16 **DR. NETON:** Yes.

17 **DR. MELIUS:** Has that been resolved yet?

18 **DR. NETON:** No, not yet, but we're working  
19 very diligently to work through the licensing issues.  
20 I believe that we're close, but at this time a decision  
21 has not been made how that will work. That's the best  
22 I can say.

23 **DR. MELIUS:** How soon will we have a  
24 decision?

25 **DR. NETON:** Larry, can you help me with that?

1                   **MR. ELLIOTT:** As soon as we can get it to  
2 you. We want it sooner. We realize that the Board  
3 needs IMBA, your contractor needs IMBA, and each --  
4 each of those two entities, as well as our contractor.  
5 We are currently operating under a different user's  
6 license agreement and we have to put all of that into  
7 place. So as soon as we can work out those details --  
8 we're full aware of the Board's time schedule for  
9 reviewing dose reconstructions. You want to get  
10 started on that, and to do that you have to have IMBA.  
11 We realize that. So we're working as diligently as we  
12 can to get it to you.

13                   **DR. ZIEMER:** Thank you. Now, Pete.

14                   **MR. TURCIC:** Thank you. It's a pleasure to  
15 be here to give you a status update on the DOL portion  
16 of the program.

17                   The number of claims -- we're up to over  
18 53,000 claims. And as you can see, the vast majority  
19 now are cancer claims.

20                   And here's the status. This chart gives the  
21 status. It shows where -- the status of the claims in  
22 the process. As you can see, there's about 15,600  
23 pending at NIOSH, another 2,000 -- over 2,000 that are  
24 pending action in our district offices. So those would  
25 be claims that have not received a recommended decision

1 yet. One thing that's affecting that number is that  
2 due to our recent efforts in enhancing our outreach,  
3 our number of claims have -- received have considerably  
4 gone up. For the last several months we've been  
5 averaging anywhere from 250 to 300, 320 claims per  
6 week. And in addition, another aspect of that is that  
7 the claims are now also -- that we're looking at,  
8 they're far -- far fewer percentage -- far less  
9 percentage are non-covered conditions. So we -- you  
10 know, the number of claims are up -- is up and so is  
11 the number of claims that are covered conditions.

12 Another 1,900 are -- have a recommended  
13 decision and are in the process of, you know, awaiting  
14 a final decision -- either review of the record or for  
15 a -- for a hearing -- requested hearing.

16 And to date we've issued over 22,000 final  
17 decisions out of the total of 39,000 -- almost 40,000  
18 cases that we have received.

19 The breakdown, recommended decisions, you can  
20 see almost 13 -- over 12,500 recommended decisions to  
21 approve benefits; 19,000 to deny; final decisions,  
22 almost 12,000 to approve benefits and 16,000 to deny.  
23 Again, 16,000 -- that number is getting closer, Larry -  
24 - 16,035 that -- referred to NIOSH. We've issued  
25 payments in 10,619 and we're approaching \$800 million

1 in benefits and some -- over \$30 million in medical  
2 benefits have been paid.

3 Initial decisions, again we've issued  
4 recommended decisions in almost 32,000 claims or 24,000  
5 cases. Again, there are 13,600 pending -- cases  
6 pending at NIOSH and we've issued what we call our  
7 initial decision in some 95 percent of the cases that  
8 we've received since the program became effective on  
9 July 31st, 2001.

10 Final decisions, final decisions in, again,  
11 22,000 cases or almost 28,000 claims, and there are  
12 final decisions issued in over -- in about 56 percent  
13 of the cases received, you know, since the inception of  
14 the program.

15 Again here's a breakdown to show -- that  
16 shows the final decisions. Again, 11 -- almost 12,000  
17 to approve benefits. Of the 16,000 to deny, as you can  
18 see, some 9,000 -- almost 9,600 were denied because of  
19 non-covered conditions; 25 -- 2,500 where employee was  
20 not covered; 700 and some the survivor was not  
21 eligible; and 2,200 was insufficient medical evidence  
22 to demonstrate a covered condition. And this number is  
23 going up, the -- now it's over 900 where the cancer was  
24 not related or had a POC of less than 50 percent.

25 We track our -- we have -- do a lot of

1 internal measurement of our processes and our -- you  
2 know, have standards for the performance. And under  
3 the Government Performance Result Act, our standards  
4 for initial processing is for a timely decision. In  
5 this year we raised it from 75 percent to 77 percent,  
6 and the two standards that we used -- if it's a DOE  
7 facility or a RECA claim, within 120 days; 180 days if  
8 it's an AWE or a subcontractor claim. And as you can  
9 see, for this fiscal year we -- we did meet our GPRA  
10 goals for last year.

11 For this fiscal year, which we made in -- of  
12 the decisions that were made, the initial decisions  
13 this fiscal year, 93 percent were completed in --  
14 within those time frames and with an average of 92 days  
15 to complete that initial decision from the time we  
16 received the claim until the time the case is either  
17 referred to NIOSH or a recommended decision issued.

18 On final decisions, it's -- again we have --  
19 our standard is that we want a final decision within 75  
20 days of either receipt of a waiver of objections or a  
21 request for a review of the written record, and within  
22 250 days if the individual requests a hearing. And  
23 this fiscal year in the final decisions issued, 99  
24 percent of the cases met the -- those standards.

25 Again, on -- we have a GPRA goal that --

1 processing time for the probability of causation, and  
2 we have a average -- we hold our district offices to an  
3 average of 21 days, from -- 21 days from the time a  
4 dose reconstruction is received from NIOSH that they  
5 have a recommended decision issued. And as you can  
6 see, in the first quarter we met it within 99 percent  
7 of the cases with an average of nine days. And the  
8 second quarter this fiscal year, 97 percent with an  
9 average of 13 days from the time of getting a  
10 recommended decision to the claimant from the time we  
11 receive the dose reconstruction back.

12 The status of the NIOSH referrals -- and  
13 again, we've received 2,213 that -- with completed dose  
14 reconstructions, 189 that they weren't com-- you know,  
15 dose reconstruction was not necessary. And that could  
16 be for various reasons. Most of them were early when  
17 we had sent the CLL cases and, you know, when those  
18 came back. Of those, the breakdown, the recommended  
19 decisions, 528 to approve benefits and 1,388 to deny  
20 benefits. Final decisions, 470 final decisions to pay  
21 benefits and 691 to deny benefits.

22 Some Hanford-specific statistics. Again, the  
23 nature -- we've -- this is -- we had an effort in the  
24 last two months that -- with PACE to try to increase  
25 the number of claims that we have received from the

1 Hanford site. That has been very successful. In the  
2 last two months we've received over 275 new claims, of  
3 which 200 -- yeah, the increase in the last two months  
4 since -- since that outreach effort began, and now  
5 we're up to 3,565 claims received from individuals  
6 claiming Hanford as a work site. The breakdown, again,  
7 most of them are cancer claims and 192 beryllium  
8 sensitivity, 126 CBD and other non-covered conditions,  
9 607. And again, that is way down, also. Most of those  
10 were early -- early cases.

11 The breakdown, final decisions, 153 to  
12 approve benefits, 557 to deny. Recommended, 160 and --  
13 to approve, 785 to deny; 1,726 cases referred to NIOSH.  
14 We've issued 70 payments and over \$9 million paid to  
15 individuals at the Hanford work site. Just in the last  
16 two months since our increased outreach efforts, we had  
17 an additional 16 cases approved for benefits, 32 denied  
18 in those two months, with 14 additional that have been  
19 payments issued and 159 additional cases in that time  
20 period referred to NIOSH.

21 And the nature -- again, 70 payments issued,  
22 30 of them were for cancer, 40 for chronic beryllium  
23 disease and we have 100 individuals that have been  
24 awarded benefits for beryllium sensitivity and  
25 receiving medical monitoring.

1                   The status of the NIOSH referrals from  
2                   Hanford, 209 that we've received back, 205 with  
3                   completed dose reconstructions.   Recommended decisions  
4                   in 28 cases to approve benefits, 138 to deny and 27  
5                   final decisions to approve benefits and 33 to deny.

6                   Our outreach efforts, we've -- again, we've  
7                   tried to -- been trying to greatly enhance our outreach  
8                   efforts, and our goals are to identify potential  
9                   claimant populations, solicit claims from non-filers.  
10                  We've been tracking very closely and trying to look  
11                  into various sites, more specifics of the nature of the  
12                  claims.  And where we're not getting the number of  
13                  claims that we expected, we've been collecting a lot of  
14                  facility information and to promote -- goal to promote  
15                  public knowledge and awareness of the program and to  
16                  provide assistance in filing claims, as necessary.

17                  Our district office -- our district offices  
18                  have been charged with coordinating the outreach  
19                  efforts of the district offices, along with the  
20                  resource centers in each of those areas, and to  
21                  research employers at the covered facilities.  And  
22                  we've been focusing on trying to increase stakeholder  
23                  involvement in our outreach efforts with unions, media  
24                  outlets, advocacy groups and health care providers.

25                  We had a pilot program here with PACE that,

1           again, has been very successful and I want to thank  
2           Randy Knowles again for -- for their efforts. It's  
3           been very successful. And we've had meetings, you  
4           know, with PACE here, public meetings. We've had a lot  
5           of media outreach. We've met with a number of the  
6           local law firms here. And based on that, our resource  
7           center has had -- just in those two months -- an  
8           additional 353 contacts have been made, people that  
9           have come in for interviews.

10                         Some of -- on a national scope, some partners  
11           that we've been working with in our -- in our outreach  
12           efforts, the Center to Protect Workers' Rights. We  
13           have a -- an effort there where not only outreach, but  
14           the Center to Protect Workers' Rights -- we've had a  
15           difficult time, especially with some of the  
16           subcontractors, and they've -- we've put together a  
17           program and it's been very successful. They have  
18           performed well beyond what is called for in the  
19           contract and, as Knut liked to point out, under budget.  
20           So that's been a real good effort where they've --  
21           we've been able to get employment verification  
22           completed on a number of very difficult cases that we  
23           were having problems with that, you know, the records  
24           just didn't exist. And we were able to -- they have  
25           access to some record sources that have turned out to

1 be very useful in that. And then we're also working  
2 with them to try to increase and develop some outreach  
3 efforts -- one of the things we were just beginning  
4 discussions on is a national effort for construction  
5 workers -- national outreach effort to reach many of  
6 the construction workers that worked at the different  
7 sites.

8 We're in discussions with the National Cancer  
9 Society and there's going to be links on their web site  
10 to the program to identify, you know, potential sources  
11 of -- that people may come to for assistance.

12 We also have an effort going on with the  
13 Cancer Treatment Centers of America. This is an effort  
14 where we're -- a number of thing-- we're cross-matching  
15 -- we're going to give them a listing of employers at  
16 the -- different sites that we have identified and  
17 they'll cross-match on their records, and anyone who  
18 may have listed that employer as an employer, then  
19 they'll send a mailing -- a letter that we give them --  
20 to that current patient or former patient notifying  
21 them about the program and the elig-- you know,  
22 potential eligibility for benefits. So that effort is  
23 going on.

24 We have a strong effort with the California  
25 Beryllium Vendors. We've had a number of meetings with

1           -- with those folks to try to make them aware of the  
2 program. And in fact, one of our goals is to reach  
3 beryllium vendors across the country, particularly very  
4 -- we have gotten very little from subcontractors of  
5 beryllium vendors.

6                         And a -- we have a effort going on with the  
7 National Councils of Laborers, and that's been very  
8 promising. And we're also working with them on some of  
9 their trust funds that -- in order to reimburse for  
10 payment of medical benefits and also to identify  
11 potential claimants there.

12                         And we have an agreement with the Ohio Bureau  
13 of Workers' Compensation. This effort that -- we've  
14 had a number of joint claimants, particularly beryllium  
15 claimants up in, you know, the Toledo area and we've  
16 signed an agreement with the State of Ohio to do cross-  
17 matches of claimants, so -- also we're -- we have a  
18 process where we have reimbursed the State of Ohio for  
19 medical benefits that they may have paid for a claimant  
20 who then receives benefits under the DOL program. And  
21 that's going very well. In addition, there's a lot of  
22 exchange going on -- data exchange for -- particularly  
23 subcontractors at various sites in the state of Ohio.

24                         And just as a -- each of our districts --  
25 we're in a process -- we've met with each of our

1 districts and -- along with the affected resource  
2 centers and -- to come up with a strategic plan for the  
3 next six months in our outreach. We have Jacksonville  
4 to do. We've met with the other three districts so  
5 far and we'll be meeting with Jacksonville I think it's  
6 week after next to come up with their -- their plan.  
7 Basically what that amounts to is our Cleveland  
8 district office and the affected resource centers, the  
9 -- for the next three to six months they're going to  
10 focus on outreach to Fernald and Mound, with the  
11 rationale there being that those two sites are closing,  
12 and also the beryllium vendors. Again, we've -- the  
13 number of claims from beryllium vendors has dropped off  
14 considerably and they -- we haven't had a lot of claims  
15 from subcontractors of beryllium vendors.

16 Denver is going to be focusing on Rocky Flats  
17 and Los Alamos, and we're trying to do our outreach and  
18 tie it in with when the site profiles are completed and  
19 coordinate, you know, these efforts with -- with NIOSH  
20 and the site profiles.

21 Seattle will be focusing here in -- at  
22 Hanford and in California, and our Jacksonville office  
23 -- right now, again, we'll be meeting with them to nail  
24 down in a -- week after next, exactly what their  
25 outreach is going to be.

1                   Additionally, we have -- on a national basis  
2 we're going to begin doing some what I refer to as  
3 educational outreach. I think that we have not done a  
4 very good job of educating people of the process so  
5 that, you know, when we get these large numbers of  
6 decisions coming back, it's -- I don't think we've done  
7 a good job of explaining to people why two people that  
8 may have worked together -- one, you know, goes through  
9 a dose reconstruction, has a certain type of cancer, is  
10 being compensated; the person they worked next to is  
11 not. So we're -- we're trying to develop some  
12 educational outreach efforts there.

13                   The first one, we're scheduled -- we're  
14 trying to schedule is to go up to the Buffalo area to  
15 have such a meeting with the people from the --  
16 particularly from the Bethlehem Steel facility there.

17                   And with that, that -- open...

18                   **DR. ZIEMER:** Thank you, Pete. I think you  
19 were out of the room during our earlier review of our  
20 minutes from our previous meeting, and then a question  
21 arose in the reporting of some of your statistics in  
22 our minutes. And I'm wondering if a similar confusion  
23 might not arise again. If we look at slides, for  
24 example, four and five of your presentation -- and I  
25 know that the specifics that I'm going to ask about are

1 given in later slides, but I think what happens is that  
2 as people look at these slides, they may get misled.

3 For example, a bullet on slide four says  
4 cases sent to NIOSH for dose reconstruction, 16,035.  
5 The very next bullet says payments issued, 10,619. The  
6 casual reader may be tempted to assume that there have  
7 been 10,619 NIOSH cases that have been issued payments,  
8 so perhaps in the future we could clarify -- for  
9 example, of the payments issued, what fraction of those  
10 --

11 **MR. TURCIC:** Okay.

12 **DR. ZIEMER:** -- involve NIOSH. Similarly, in  
13 the following slide, cases pending at NIOSH, 13,633;  
14 initial process completed for 95 percent of the cases  
15 received. I don't know if that 95 percent is of all of  
16 Labor's cases or those NIOSH cases.

17 **MR. TURCIC:** Okay.

18 **DR. ZIEMER:** You understand?

19 **MR. TURCIC:** Okay.

20 **DR. ZIEMER:** So a little bit of  
21 clarification, really --

22 **MR. TURCIC:** Yeah, we'll restructure --

23 **DR. ZIEMER:** -- in connecting those with your  
24 later slides which are NIOSH-specific, which give the  
25 actual numbers.

1                   **MR. TURCIC:** Okay, we'll restructure that and  
2                   try to make it clearer.

3                   **DR. ZIEMER:** Very good. Yes, Roy and then  
4                   Jim.

5                   **DR. DEHART:** Roy DeHart. My question is, you  
6                   had mentioned that in the process -- the legal process  
7                   of going through, that there are hearings that have  
8                   been occurring. Could you expand on that a bit, the  
9                   justi-- not the justification, but the issue around  
10                  most of those hearings?

11                  **MR. TURCIC:** What -- once a claimant gets a  
12                  recommended decision, one of their options is to file  
13                  objections. And they can raise objections and, if they  
14                  so choose, they can ask for a hearing. And then they -  
15                  - at the hearing they can present their objections and  
16                  to date -- we're starting to get a number of hearings  
17                  that are dealing with issues in the dose  
18                  reconstruction. Prior to that, most of the hearings  
19                  dealt with -- objections dealt with factual information  
20                  that -- or a lot of them dealt with, you know, non-  
21                  covered condition, why -- or why are you saying it's --  
22                  it's not covered. Now we're starting to get quite a  
23                  number of requests for hearings that are dealing with  
24                  the specifics on a dose reconstruction.

25                  Now the way that works is that DOL will have

1 to adjudicate factual information that goes into the  
2 dose reconstruction and the application of methodology.  
3 So someone can raise an issue that the -- in the dose  
4 reconstruction, either a factual piece of information  
5 was not covered -- then we would have to address that  
6 and either, you know, address it in the final decision  
7 or remand it back to NIOSH for -- to redo the dose  
8 reconstruction. Or they could also object to the  
9 application of methodology, saying that it's not --  
10 wasn't consistent with other cases or whatever, you  
11 know, the objection may be.

12 So far, we've gotten objections that range  
13 from that certain issues were not covered in the dose  
14 reconstruction and what we would do is we then go back  
15 to NIOSH and see how that was addressed, and then in  
16 the final decision would either be to address it, you  
17 know, at that point in time or remand. But we're --  
18 we're also -- instituted -- and maybe at the next  
19 meeting I'll have some hard, you know, data for you.  
20 We've asked our hearing representatives to identify and  
21 report issues that are coming up as, you know, that --  
22 that -- when claimants request a hearing. You know,  
23 what -- what are the issues particular -- you know,  
24 relative to the dose reconstructions that are -- that  
25 are being raised.

1                   **DR. MELIUS:** Actually first question's along  
2 those same lines and Jim Neton talked about -- I think  
3 you call them remakes or --

4                   **MR. TURCIC:** Reworks.

5                   **DR. MELIUS:** Reworks, okay, whatever the --  
6 and -- confuse me, but those are cases that have gone  
7 up -- this has nothing to do with the hearings. These  
8 are cases that have gone --

9                   **MR. TURCIC:** Right.

10                  **DR. MELIUS:** Could you talk -- explain a  
11 little bit about the process --

12                  **MR. TURCIC:** Sure.

13                  **DR. MELIUS:** -- there and what kind of  
14 issues...

15                  **MR. TURCIC:** Sure. We found that in -- more  
16 often than we expected, the situation may change. For  
17 example, another cancer. The individual may have been  
18 diagnosed with another cancer that was not addressed in  
19 the dose reconstruction because they didn't have that  
20 cancer diagnosed at the time the dose reconstruction  
21 was done. So that case may have to go back to have  
22 that cancer covered.

23                  Another instance is that we -- we have  
24 sometimes -- you know, our district office may not have  
25 done a -- either a -- the form for the -- you know, for

1 skin cancer or smoking -- for lung cancer, and it may  
2 have to go back. There may have been errors in the --  
3 or changes in the ICD-9 codes. So there are --  
4 there's, you know, a number of reasons why these  
5 reworks have gone back. I don't think that to date --  
6 and again, we are just in the process of getting the  
7 requests for the hearings on dose reconstruction cases  
8 in large numbers -- that we have remanded any on dose  
9 reconstructions. I'm not aware of any that have been  
10 remanded yet.

11 **DR. MELIUS:** One of the topics that Jim Neton  
12 mentioned was the employment history discrepancies.

13 **MR. TURCIC:** Right.

14 **DR. MELIUS:** That was the one I was sort of  
15 trying to figure out how that could occur, though it  
16 seems to me -- I mean I know one of the issues has been  
17 figuring out how the employment history matches up with  
18 the exposure records.

19 **MR. TURCIC:** Uh-huh.

20 **DR. MELIUS:** So is it related to that or is  
21 it related -- that you get new information or more  
22 information about the person's --

23 **MR. TURCIC:** It could be both.

24 **DR. MELIUS:** Okay.

25 **MR. TURCIC:** It could be that we have gotten

1 more information. Sometimes we don't get that  
2 information till after a final decision and the  
3 individual asks for a reopening, and so we may get  
4 information -- additional employment on a number of  
5 cases. We -- we have the process set up because  
6 oftentimes in -- in NIOSH getting the exposure records,  
7 they find additional employment that was not -- was not  
8 verified up front. Those -- they continue working it  
9 and note it so that -- but then before the case can  
10 become final, we may have to verify that employment.  
11 You know, if that additional employment was found  
12 through records, that's very easy to verify. But if  
13 the additional employment was talked about in an  
14 interview, we may have to go back and redevelop that,  
15 so there -- there may be instances there that, you  
16 know, we need to rework the case.

17 **DR. MELIUS:** Okay.

18 **DR. ZIEMER:** Wanda Munn.

19 **MS. MUNN:** Thank you very much for these good  
20 statistics. Along the same vein that was discussed a  
21 little earlier with respect to breaking numbers out,  
22 I'm particularly pleased, obviously, to see the Hanford  
23 site statistics. I'm doubly pleased to do so because  
24 one of our nationally-elected officials was quoted  
25 publicly here recently on a couple of occasions of

1 saying out of 35,000 cases -- claims, only one had ever  
2 been paid. So I'm pleased that you have some more firm  
3 numbers than that.

4 In the first Hanford site statistic,  
5 compensation figures, we had the same kind of problem  
6 that we previously mentioned --

7 **MR. TURCIC:** Yeah.

8 **MS. MUNN:** -- in that it is not clear to the  
9 casual reader or even to me, as a matter of fact --

10 **MR. TURCIC:** Uh-huh.

11 **MS. MUNN:** -- how much of this compensation  
12 is applicable to the concerns of this specific Board,  
13 so --

14 **MR. TURCIC:** Okay, yeah, we'll do -- we'll  
15 break that out specifically, yeah.

16 **MS. MUNN:** As a -- as a sub-note, if you  
17 would break that out in the future.

18 **MR. TURCIC:** Okay.

19 **MS. MUNN:** Just so we know what our specific  
20 cases --

21 **MR. TURCIC:** Okay.

22 **MS. MUNN:** -- are doing, we'd certainly  
23 appreciate it. Thank you.

24 **MR. TURCIC:** Okay, no problem.

25 **DR. MELIUS:** Another question. We're going

1 to spend a lot of our time -- this Board -- tomorrow  
2 dealing with setting up the individual dose, you know,  
3 review process with our -- with our contractor and so  
4 forth, and I was just wondering if you had any views on  
5 how that process sort of ties into your efforts at the,  
6 you know, Department of Labor and issues -- obviously  
7 we're not, you know, reviewing individual cases per se  
8 and -- but -- but issues may arise during that process  
9 that may affect future claims or other claims and how  
10 that gets done and I'm just curious how you view it in  
11 terms of your overall process.

12 **MR. TURCIC:** I think it's -- it's very  
13 important to our overall process, and we would like to  
14 see it as early in the process as possible. You know,  
15 it's a quality control function. I would much rather  
16 have issues identified that can be addressed, you know,  
17 early rather than waiting until -- you know, from a  
18 particular site that we may have 2,000 final decisions  
19 and then find out that we may have to reopen all 2,000  
20 cases. So as early as possible would be, you know, our  
21 -- of benefit to us. And you know, the Board's input  
22 on things like that would be very useful when we get  
23 into these hearings on, you know, specific issues  
24 relative to the -- you know, the dose reconstruction.

25 **DR. ZIEMER:** Gen Roessler?

1                   **DR. ROESSLER:** Wanda's comment, along with  
2                   Pete's discussion of outreach, has prompted me to bring  
3                   up something that's been on my mind for some time, and  
4                   it goes back to something John Till told us when he  
5                   spoke to us about outreach. It appears that Pete's  
6                   program is doing a real good outreach with potential  
7                   claimants. But it appears that there's a big  
8                   disconnect, either misinformation or lack of up-to-date  
9                   information, with others -- the Congressmen and the  
10                  public and the media, perhaps. And going back to what  
11                  John said, I wonder if -- I don't know if it's the  
12                  Board's responsibility or somewhere we should have  
13                  maybe a quarterly newsletter that's written that could  
14                  be handed out to interested people. That's just a  
15                  beginning thought on that.

16                  Now I know NIOSH has the web site, which is  
17                  fantastic, but how many people out there -- other than  
18                  us, and maybe including us -- what percentage of people  
19                  really use the web site? And is it -- is there a  
20                  statement on there that's concise enough to really  
21                  convey the progress that it seems like is being made on  
22                  this project? It's just a thought that I think we need  
23                  to pursue a little bit further.

24                  **DR. ZIEMER:** I don't know if those were  
25                  rhetorical questions or if you want the NIOSH staff to

1 answer, but certainly thought-provoking ideas.

2 Other comments or questions?

3 (No responses)

4 **DR. ZIEMER:** Pete, thank you again for --

5 **MR. TURCIC:** You're welcome.

6 **DR. ZIEMER:** -- updating us on the progress,  
7 and NIOSH.

8 The Chair is going to declare a ten-minute  
9 comfort break, even though it's not on your agenda.  
10 But we will take ten minutes before our next speaker  
11 comes to the podium. So please avail yourselves of the  
12 ten minutes, but come back promptly.

13 (Whereupon, a recess was taken.)

14 **STATUS REPORT - DOE**

15 **DR. ZIEMER:** Okay, I'd like to call the  
16 meeting back to order, please. Our next speaker will  
17 be Tom Rollow with the Department of Energy, and Tom's  
18 going to give us a status report and update on DOE's  
19 path forward. Okay, Tom.

20 **MR. ROLLOW:** Good morning. Since I talked to  
21 you folks last, I -- to this Board about -- in the fall  
22 -- last fall, I think it was in St. Louis, we've made a  
23 lot of progress. It's been real exciting. I think the  
24 main messages I want to share with you today are that  
25 we've put a maximum amount of our resources to work and

1           made some great progress in processing cases for the  
2           Part D portion of the program. We have a new plan  
3           which I'm going to share a little bit with you today on  
4           how we get from here to eliminating the backlog over  
5           the next two and a half years.

6                       And just as a reminder to the audience -- I  
7           know the Board is well aware of this -- Part D is the  
8           part of the program administered by the Department of  
9           Energy. It has to do with Workers Compensation and not  
10          with a compensation payment from the Department of  
11          Labor. And it's not necessarily under the auspices of  
12          this Board, but is basically a sister program to the  
13          program run by the Department of Labor and the National  
14          Institute of Occupational Safety and Health.

15                      I'd just like to start out here and say that  
16          first -- as a reminder, the first bullet up there, DOE  
17          provides determinations on causation by qualified  
18          physicians on our applicants, and these are  
19          determinations that affect the processing of the cases  
20          to state workers compensation. The determinations --  
21          as you're going to see in a later slide, but the  
22          determinations that we've -- that we're now producing  
23          on a weekly basis have increased, but not nearly enough  
24          to reduce the backlog. Now we think it's a matter of  
25          resources and some legislative changes and some rule

1 changes, and I'll touch upon those.

2 Our plan to eliminate the backlog will take  
3 about two and a half years to achieve. That plan is a  
4 combination of increasing our current production,  
5 eliminating backlog. We need some Congressional help  
6 to do that, both financially and legislatively.

7 Last bullet on this slide, DOE is maximizing  
8 our applicants' opportunity for state workers  
9 compensation benefits. Again, as a reminder, this  
10 program helps people to apply for state work comp and  
11 does not have a compensation payment associated with  
12 it.

13 Just contrasting the two programs, Part D and  
14 Part B, for everyone's information, the Part D program  
15 administered by DOE is assistance with state workers  
16 compensation, it's -- covers all illnesses related to  
17 radiation and toxic exposure. If we look over on the  
18 Part B side, the Department of Labor and NIOSH-run  
19 programs have to do with radiation-induced cancers, and  
20 in some cases beryllium and silicosis, as I'm sure  
21 you're aware. And a large part of the radiation-  
22 induced cancer determination is the dose  
23 reconstructions performed by NIOSH.

24 Back on the left side of this screen, we use  
25 physician panel determinations. NIOSH does enter into

1 the picture there because NIOSH helps us recruit and  
2 actually selects and certifies the -- or qualifies the  
3 physicians that serve on these panels for the  
4 Department of Energy. Lastly, we gather radiation and  
5 other medical and employment data at the sites.

6 We also do gather data for the Department of  
7 Labor and NIOSH programs. In fact, Mr. Turcic -- who  
8 was up here a few minutes ago -- talked about the  
9 Department of Labor program. Almost without exception,  
10 every case that has been acted on by the Department of  
11 Labor and by NIOSH on that side of the program, we  
12 collected the data and provided that to them for those  
13 programs, both employment -- mostly employment data and  
14 also the radiation data that -- that NIOSH uses.

15 This is a kind of a picture of where we are,  
16 just to -- and for purposes of summary, our process can  
17 be thought of as several different boxes in a time  
18 continuum processing these cases. There's an  
19 application made here, next step is for us to interview  
20 and work with the applicant to figure out what the  
21 illnesses they're claiming, where they worked. Then we  
22 go get records from the site. Once we have the records  
23 from the site, we put together a case, and once we put  
24 together the case, it goes before the physicians panel.

25 This is a picture of the cases per week that

1 we've actually been processing and preparing cases for  
2 the physicians panel. So these are not cases  
3 completed, but these are cases prepared by the  
4 Department of Energy for the physicians panel. On the  
5 left-hand side here, these are cases processed per  
6 week, and down on the bottom is a time line.

7 If you notice this dotted line right here,  
8 this has to do with some financial changes that  
9 occurred in our program last fall, but it also is about  
10 the same time I think I came out to St. Louis and  
11 talked to you folks, so at that time we were preparing  
12 about -- looks like about 30 or 35 cases per week for  
13 the physicians panels, the Department of Energy. Today  
14 we're actually producing somewhat greater than 100  
15 cases per week. We're averaging about 120 cases per  
16 week for the physicians panels.

17 Let's see -- this chart -- as I was drawing  
18 this diagram in the mid-air up here, this chart  
19 represents the physician panel determinations, so it's  
20 kind of the downstream end of the process. This is  
21 after the physicians have actually finished reviewing  
22 the cases and a determination has been sent back to the  
23 applicant. And again you can see that in the early  
24 part of the program we were averaging somewhere less  
25 than five cases per week. And since middle or late

1           January, we've actually -- are averaging up around 30  
2           cases per week processing for the physicians panel.

3                       The reason that these changes have taken  
4           place are -- there's several reasons for that. One of  
5           the main reasons is we did start full-time physician  
6           panels in Washington, D.C. The early concept in this  
7           program when I first took it over about a year ago was  
8           that we'd use part-time physicians. NIOSH would  
9           appoint these physicians and we would put them together  
10          part-time, working in the field, coordinating  
11          electronically to rule on these cases. We have since  
12          found that it's very efficient if we can get the  
13          physicians to meet all in one place at one time. So in  
14          early January we started bringing physicians to  
15          Washington -- one, two or three weeks at a time -- and  
16          putting them together in Washington and actually  
17          serving on a panel where they can work together in one  
18          room. And the productivity went up dramatically in our  
19          program for doing that, and we're trying to get more  
20          and more physicians interested in working that way.

21                      Our plan to eliminate the backlog -- we have  
22          about 23,000 cases -- applications for this program.  
23          We've processed something over 1,000 -- I'll show you  
24          the numbers later in my package. But our plan to  
25          eliminate the backlog results from a four-month

1 comprehensive review which we finished about a month  
2 ago and has these aspects to it.

3 As far as the regulations go, we have  
4 actually issued a new rule about a month ago. It's out  
5 for comment -- for 30 days comment right now, but we're  
6 actually operating to it, and that new rule changes the  
7 number of physicians on a panel from three physicians  
8 to one physician. Now what that means is that one  
9 physician will look at a package in an application and  
10 rule on it. If that is in fact a positive, that  
11 application package is done and that person gets a  
12 positive determination. If that application is a  
13 negative, then it would go on to a second and to a  
14 third review to give the applicant the benefit of the  
15 doubt to make sure that -- before we give them a  
16 negative -- that two out of three physicians agree that  
17 that was a negative physicians panel finding.

18 The legislation component -- components of  
19 our plan involve changing the physicians' pay cap. As  
20 you may or may not be aware, the original legislation  
21 fixed the pay cap at a executive level three level,  
22 which basically comes out to be \$68 an hour, and we've  
23 found that physicians doing this kind of work typically  
24 see two -- a factor of two or more times that pay per -  
25 - on a per-hour basis, and that is affecting our

1 ability to hire and attract physicians to work in this  
2 area.

3 Also we are looking for legislative changes  
4 to expand the hiring authority. Basically the --  
5 there's some restrictions in the current statute --  
6 when I say statute, I mean law -- in the current  
7 statute or law that restrict the physicians that NIOSH  
8 can nominate for this program, and so we're looking for  
9 some changes to the statute to expand that hiring  
10 authority.

11 And then also there's a requirement in there  
12 for a state memorandum of understanding with each state  
13 before we can process cases in each state. And that's  
14 really kind of a legacy or an antiquity for the  
15 program. At one time it was thought that this program  
16 might actually rule on each individual case on behalf  
17 of the state, and at that time you'd obviously have to  
18 have some kind of agreement with the state to spend  
19 their money -- their work comp money or make their work  
20 comp decisions for them.

21 The current program does not do that. The  
22 current program provides a positive physicians panel  
23 finding and then helps the employee make an application  
24 for state work compensation.

25 Those legislative fixes have been recommended

1 to the Congress and we're looking forward to their  
2 action on those legislative changes sometime in the  
3 next few or many months.

4 As far as budget goes, year -- in FY 2004  
5 currently we have a \$33 million appropriations  
6 transfer. I'll talk a little bit more about that in  
7 another slide, but we've asked Congress to allow the  
8 Department to move money from other tasks inside the  
9 Department to this task, and that request has been in  
10 to the Congress since about January and we're hoping to  
11 get action on that soon. That will allow us to apply  
12 more resources and process more cases, which I'll show  
13 you in just a minute.

14 In the year 2005 or FY 2005 we have a healthy  
15 budget, \$43 million budget request in. And when I say  
16 healthy, as I've shared with you last fall in St.  
17 Louis, we under-estimated the level of applications in  
18 this program early on and got a slow start, and these  
19 are monies that will help us catch up in the  
20 processing.

21 Lastly, we've also implemented or are in the  
22 process of implementing many process changes. We've  
23 increased physicians recruiting, working closely with  
24 NIOSH to try to get more physicians attracted to  
25 working in this program. The physicians panels are our

1 major bottleneck in this program, and that cannot be  
2 solved just by resources. We've got to find qualified  
3 physicians to process the cases.

4 We're also going to put together a tiger  
5 team. The Department of Labor has agreed to work with  
6 the Department of Energy to put together a tiger team,  
7 and those of you that -- been around DOE sites for  
8 ten-plus years -- no, this is not that kind of tiger  
9 team. But put together a tiger team to help categorize  
10 and rate suggestions that have been made to date for  
11 this program and figure out which ones will give us the  
12 best bang for our buck and implement those kinds of  
13 changes. I'll touch on that in another slide here in a  
14 few minutes.

15 Also we are prioritizing cases -- living  
16 applicants before deceased applicants, for example. We  
17 try to process those first because those may have  
18 medical benefits which can benefit people sooner. Some  
19 of the cases involve dose reconstructions that NIOSH is  
20 performing. Previously we could have sent those to  
21 panel without a dose reconstruction and then waited  
22 some number of months or a year later to get the NIOSH  
23 dose reconstruction. It might have conflicted with the  
24 ruling of the panel, so we're going to hold back on  
25 those cases and await dose reconstruction before we

1 send those to panel. That provides both consistency in  
2 the panel findings, as well as allowing some of our  
3 other cases to go forward while we're waiting for the  
4 dose reconstructions.

5 The supply of physicians, as I mentioned, is  
6 our number one challenge or number one bottleneck in  
7 this program, and I've touched on these issues before -  
8 - the inadequate compensation issue which is outlined  
9 there. Limited hiring authority is more of a  
10 bureaucratic challenge, but if you're interested,  
11 basically the law or the statute that we're allowed to  
12 hire physicians under kind of characterizes them as  
13 part-time workers, yet this is a two and a half year  
14 program to reduce the backlog, and we need physicians  
15 that can work hard, hot and heavy for us full time for  
16 two and a half years or more, so we need some changes  
17 in the statute to allow us to do that. I don't think  
18 there's much more to say on that particular slide.

19 This is an interesting slide. I'll take a  
20 minute and explain some of the aspects of it so you can  
21 kind of focus on it. On the left-hand side is full  
22 time equivalent hours. And full time equivalent hours,  
23 for those of you not familiar with the term FTE, if  
24 someone is working one hour a week for you and a full  
25 time person works 40 hours a week, then you get one-

1           fortieth full time equivalent. Okay? So that's  
2           actually a pretty good explanation on how this chart  
3           lays out.

4                         If you see this -- the dark blue up here, it  
5           says 167. NIOSH has appointed for the Department of  
6           Energy program to day -- when I say to date, this was  
7           like a month ago because NIOSH actually sent us 40 more  
8           physicians in the past few weeks. But NIOSH has  
9           actually appointed 167 physicians to our program here.  
10          Of those 167, 129 are currently working actively in the  
11          program. And the difference between those two numbers  
12          is just basically some docs are on vacation or for  
13          whatever reason -- they got appointed to the program  
14          but they don't have time to work on the program, so  
15          basically we have about 129 docs that work full time.

16                         But 129, we don't get 129 FTE from them.  
17          They originally committed to NIOSH that they would work  
18          for about 16 hours per month. If they worked for 16  
19          hours per month, you'd get this many FTE -- I guess  
20          that looks like it's about eight or nine, ten FTE there  
21          in that brown bar. What we're actually getting from  
22          them right now on average is about four hours per  
23          month, so just a couple FTE down here in this purple.

24                         Where we need to go to accomplish our plan  
25          and to process these cases is we need 20 FTE by June of

1           2004, goes to 35 FTE in January of 2005, and we need 60  
2           FTE in April, 2005 and then to the end of calendar year  
3           2006 to make this program work. So that kind of gives  
4           you a picture of where we need to go. And this is --  
5           these are big steps. These are giant leaps to get from  
6           this little purple bar here to those yellow bars, and  
7           it's going to take a lot of work on our part and on  
8           NIOSH's part to identify and put to work those  
9           physicians.

10                         Physician productivity issues, there were  
11           stumbling blocks or some challenges, some obstacles in  
12           the statute and in the DOE rule that was originally  
13           produced. I've touched on this before. The rule  
14           required three physicians. We're going to one. That  
15           we have seen near-unanimity of results from these  
16           panels. It's taken us two to four weeks to coordinate  
17           these three-physician panels by telephone and e-mail,  
18           and we think going to a single physician will give us  
19           some great efficiencies there, also.

20                         I talked about dose reconstructions, there  
21           was a possibility of double determinations or  
22           conflicting determinations with dose reconstructions.  
23           If we sent through a case today and then got a NIOSH  
24           dose reconstruction that disagreed with that case a  
25           year from now, that would have presented some

1 challenges for the program as to how to deal with that.  
2 Also it's much more efficient for our physicians panels  
3 to deal with a dose reconstruction if it's already  
4 performed. They can just look at it. In fact, there  
5 may even be a possibility -- we talk about it down here  
6 in the lower right-hand corner -- of some presumptive  
7 determinations and not even have to go to the panel.  
8 Say if we had a positive dose reconstruction for a  
9 certain cancer from NIOSH, why even send it to the  
10 panel; just give it some kind of blanket approval and  
11 move it on. So those are some of the things that we're  
12 studying.

13 There's not much more I want to say on this  
14 slide. Let me move on to the next one.

15 Physician panel rule, I've touched on --  
16 provides a doubling of cases per physician. We  
17 calculate or we estimate that we'll get about a double  
18 -- a factor of two increase in our productivity going  
19 to a single physician's panel. Now you say well, gee,  
20 Tom, if it's one physician versus three, you ought to  
21 be three times faster. But it actually doesn't work  
22 out that way because there are negatives, and the  
23 negatives have to go to a second and a third physician.  
24 And if you take the number of -- the percentage of  
25 negatives that we have versus the percentages of

1 positives and you do some simple math, you wouldn't see  
2 a doubling, you'd see a different number. But we also  
3 think that there's more efficiencies, as I mentioned  
4 before, by a single physician working alone than having  
5 to coordinate with two or three -- with two other  
6 physicians electronically and e-mail. So we think that  
7 the -- actually going to -- change the number of  
8 physicians should give us a reduction in physician  
9 hours per application by about 58 percent.

10 Also it will save us money. We calculated  
11 \$37 million in physician panel pay because there'll be  
12 less physician hours spent on these determinations. On  
13 the flip side, we plan to put that same \$37 million  
14 back to work again both in increased pay for physicians  
15 -- if we can get that legislation through the Congress  
16 -- as well as faster rate of production. So we're  
17 going to spend that money, so I don't want anybody in  
18 the room to think we can turn that back to the  
19 Treasury. We need to put that money back to work.

20 The case development process, when I was  
21 drawing this diagram in thin air up here --  
22 application, case development, physicians panel,  
23 notification to the employee -- case development  
24 process is a little bit back upstream. That process  
25 we're actually pretty comfortable with today with the

1 resources that we have available. We have pretty much  
2 tweaked and optimized that process, and I'll show you  
3 some numbers here in a few minutes.

4 Some of the issues that we have as far as  
5 ramping up, when the funds are available, to processing  
6 sufficient cases to work off the backlog in two and a  
7 half years, number one, not enough case managers, but  
8 that's simply a resource issue. We know where the case  
9 managers are. We know how to hire them. We use  
10 contractors for this work and so they're very -- it's  
11 very quick to get them on board and trained up.

12 Additional productivity improvement still  
13 available, we're going to bring in DOL/DOE tiger team  
14 to help us categorize -- we've gotten a lot of  
15 suggestions from different organizations that have  
16 looked at our program. I brought in an independent  
17 review from a company called the Hayes Companies last  
18 August/September time frame. I also did an internal  
19 self-assessment of the program. We identified  
20 potential improvements from those activities. The  
21 General Accounting Office, the GAO, has also made  
22 suggestions. Government Accountability Project has  
23 made some suggestions. And so this DOL/DOE tiger team  
24 will take all those suggestions, prioritize it, figure  
25 out the cost benefit, the return on the investment, and

1           implement the ones that make sense to implement.

2                       Process is not standard throughout the field.  
3           We are -- this program has actually benefitted, it's  
4           actually been blessed, if you will, by having a lot of  
5           records available in the DOE system.   Some of that's  
6           due to security.   Stuff got classified in the past and  
7           stayed classified for many years and it made it harder  
8           to destroy.   In the other cases, DOE -- the DOE program  
9           is just a pack rat and it has retained a lot of  
10          records.

11                      In other cases, there are regulations for  
12          retaining records.   For example, radiation exposure  
13          records have to be maintained for 75 years.   So we're  
14          lucky that those records do exist.   Because they exist  
15          in many different shapes and forms at different sites,  
16          the collection of those records, both to support NIOSH  
17          dose reconstructions as well as to support the  
18          Department of Energy's work, it looks a little bit  
19          different at each site as far as how those records are  
20          collected.

21                      Over the years the records were treated  
22          differently at different sites.   Some of them have been  
23          made electronic, digitized.   Others are still stored in  
24          boxes.   Some of them have people's names on them.   Some  
25          of them had employee -- you know, employee numbers on

1           them, so you have to go correlate those to the people's  
2           names and Social Security numbers. So it's been a real  
3           challenge all around the complex of putting these  
4           records together. There's probably some optimization  
5           we can do through some standardization of collecting  
6           those records, and we'll continue to work on that.

7                         Additional operational improvements and  
8           reprioritization of cases, I touched upon this a little  
9           bit earlier. We have -- we allowed our advisory  
10          committee -- our board, not unlike you, to expire last  
11          January, and we are in the process of reauthorizing our  
12          advisory board -- our new advisory board. This board  
13          will be focused more on the production end of the  
14          business and less on the conceptualization of the  
15          program end of the business. But we expect the first  
16          meeting -- we expect those members to be appointed  
17          sometime in the next three or four weeks, and we expect  
18          to have our first meeting -- we hope in the month of  
19          May.

20                        I talked about applications as far as  
21          prioritizing living applicants who are eligible for  
22          medical benefits. Some applications also -- the  
23          applicants will -- may never be avail-- eligible for  
24          workers compensation benefits, like survivors who were  
25          -- had reached the age of majority before their parent

1 passed away, and so those kind of applications may also  
2 be reprioritized to the back of the line so that we can  
3 get the more needy cases or the more compensable cases  
4 moving forward in the process faster.

5 This is another picture representing the  
6 overall plan, if you will, the scope of the program.  
7 And so let me just take a minute to kind of explain  
8 this to you, kind of let our eyes study it here for a  
9 minute. On the left-hand side is cumulative cases  
10 processed, and I mentioned the word -- the number  
11 23,000. We have about 23,000 cases -- applications  
12 today, yet this -- this chart goes up to September '07  
13 and has numbers up above 30,000 on it. We're still  
14 getting applications in at about 100 to 150 a week for  
15 this part of the program, and so whatever plan we have  
16 over the next three or four years working off this  
17 backlog has to take that into account, those cases  
18 still coming in.

19 The green line here is cases processed for  
20 the physicians panels. So this is mostly my people  
21 working with the sites to get the records and put  
22 together the cases. And if you look on this chart,  
23 we're somewhere right here before this point of  
24 inflection in the chart waiting for additional  
25 resources for Congress to let us move that money from

1 one part of DOE to the other, and then we can put the  
2 case production past this point of inflection and put  
3 it in this -- this increase right here and process  
4 cases -- about 300-plus cases per week going up that  
5 green line.

6 The dotted blue line is the physicians panel  
7 process, which is much harder for us to manage, and  
8 it's not just a resource issue, but it's find  
9 physicians and work -- work smart in the physicians  
10 area so the physicians panels can process over 300  
11 cases per week. And we anticipate that that increase  
12 is going to lag the green line by some number of months  
13 as we make these changes and get up to speed. For  
14 example, I made the rule happen a month ago. I can't  
15 make the legislation happen. That's the Congress's  
16 job. And so we have the proposal over on the Hill, but  
17 it could be well into the summer or the early fall  
18 before statute changes take place that can help this  
19 program.

20 This is just another picture of the plan,  
21 looking at it strictly from determinations per week of  
22 physicians panel. And so the left-hand side here is  
23 determinations per week. As I mentioned before, we're  
24 somewhere down around 30 or less than 30, so we're --  
25 that's the solid line -- and this is our plan over the

1 next 12 months. If you recall when I was showing you  
2 that chart of FTE, how that stair stepped up over the -  
3 - over a 12-month period getting more and more  
4 physicians FTE time, that's what this sloping line  
5 reflects. At some point we hope to be processing  
6 physician panel determinations greater than 300 per  
7 week, and that will allow us to work off the backlog by  
8 the end of calendar year '06. And when we hit that  
9 point in calendar year '06 working off the backlog,  
10 then we're basically working in steady-state time, so  
11 that's why you see a dramatic drop-off in physicians  
12 panel determinations because we don't need as many  
13 physicians at that point in time.

14 Again, as I've emphasized to you in my  
15 presentation today, budget and legislation. We have  
16 worked hard on optimization up to this point. We think  
17 we've done a good job in maximizing the use of the  
18 current funds to do -- and the current physicians that  
19 we have to process as many cases as rapidly as we can.  
20 To move forward from here forward, we need budget and  
21 legislation, and I think I've touched on that  
22 adequately.

23 These are the numbers -- and I can come back  
24 to the Hanford numbers. I think what I'd like to do is  
25 go past Hanford and PNNL and just talk about the

1 national program statistics here for just -- just a  
2 minute. We can come back and talk about Hanford -- I  
3 don't want to shortchange Hanford and PNNL, but we can  
4 come back and talk to them here in a minute.

5 We have 23,000 applications to the program,  
6 23,600. A couple of things to observe on this chart  
7 here. Of those 23,000, we are done, complete, with  
8 2,140. Now we get -- we get complete in several  
9 different ways. Like Labor, we have applications that  
10 are ineligible. They applied for a disease that's not  
11 covered by the program, they applied for a facility  
12 that's not covered by the program, or they applied  
13 during a time period that's not covered by the program.  
14 And a large majority -- or a significant percentage of  
15 Labor's rejections are also in the -- in the same  
16 category. This also includes, though, physicians panel  
17 determinations -- looks like about 400 to 500, both  
18 positive and negative determinations. And then there  
19 are some situations where people withdraw -- withdrew  
20 their case for one reason or another. So we've  
21 finished 2,140 cases.

22 Now just to kind of focus on this for a  
23 minute, cases awaiting development, 9,600. So what --  
24 what that says to us is we have some 12,000 -- 14,000  
25 cases that we're currently working on, so we are

1 working 14,000 cases. If you were to look at this  
2 chart over time, over the last six months, that number  
3 was up in the high teens just four or five months ago.  
4 We have significantly increased the number of cases  
5 that are actually being physically worked.

6 If you do a little mental math here and you  
7 take these 2,000 cases here that have been completed,  
8 you take these 1,500 that are in the physicians panel  
9 process today, and you take these 1,500 that were done  
10 with that are waiting to go into the physicians  
11 process, these -- these are the total cases that my  
12 people have put together in Washington -- assembled for  
13 these physicians panels -- and that says two, three,  
14 four, five -- about 5,000 of the 23,000 cases DOE is  
15 done with their work. DOE has finished their work on  
16 those cases. All they're waiting for now is the  
17 physicians panel, and so we need to solve that problem  
18 so we can move those cases forward. But that reflects  
19 a great leap in production since I think I talked to  
20 you last fall in St. Louis.

21 With that, I guess I'll ask if you have any  
22 questions that I can answer for you?

23 **DR. ZIEMER:** Thank you, Tom. Let's see, who  
24 has questions? Okay, Roy.

25 **DR. DEHART:** Thank you, Tom, for the update.

1 I think the -- we all appreciate the new information.  
2 Particularly I was delighted to see that there's a  
3 reduction in potential conflict between Subpart B and  
4 Subpart D with regard to requirements now of having  
5 some kind of case reconstruction before you make a  
6 decision on the worker comp side of the house.

7 On the advisory board, if I'm not mistaken,  
8 you're asking for those participants to be volunteers,  
9 not to be reimbursed. Right?

10 **MR. ROLLOW:** That is correct.

11 **DR. DEHART:** I don't understand why you would  
12 do that when you're -- you're having problems moving  
13 forward, but this Board is not all volunteer, I might  
14 add.

15 **MR. ROLLOW:** That's information I didn't  
16 know. I'll take that back to Washington.

17 **DR. DEHART:** You may find it helpful.

18 **MR. ROLLOW:** Okay. Thank you.

19 **DR. DEHART:** One other thing. In talking  
20 with physicians, and I think you're aware that I've  
21 been actively trying to recruit the program, one of the  
22 common questions is the insurance issue. Members of  
23 this Board may not realize that malpractice insurance  
24 is not covered under this. We are not practicing  
25 medicine in reviewing those records. It's an

1 omission/commission administrative insurance, and also  
2 many of the doctors are hired physicians by  
3 universities, by corporations, by whoever they happen  
4 to work with and for, and their insurance wouldn't  
5 cover them if they're doing this on their own time.  
6 And what is the status of that consideration?

7 **MR. ROLLOW:** That insurance is in place, and  
8 the fact that you're one of our practicing physicians  
9 and you didn't know that tells me I have a  
10 communications problem that I'll take back to  
11 Washington and work on.

12 **DR. DEHART:** It's been about two months since  
13 I've done a case, so that may be why, but thank you  
14 anyway.

15 **MR. ROLLOW:** Yes, sir.

16 **DR. ZIEMER:** Jim, then Tony.

17 **DR. MELIUS:** Hoping that Larry will assure us  
18 that this advisory panel is not going to expire -- had  
19 us a little bit concerned there for a second, Tom.

20 I just wanted like first to clarify for the  
21 record one issue, I think it was the reference to what  
22 Wanda said during the previous -- when Department of  
23 Labor was presenting. The quote -- the data from  
24 Senator Cantwell was in reference to this program,  
25 Subpart D, and refers to -- I think at least as of a

1           few weeks ago, one person had gone through the entire  
2           program and got to the point of compensation. Now  
3           there's issues, not to belabor them, of what the intent  
4           of this program is and so forth, but that is an  
5           accurate -- accurate figure that Senator Cantwell was  
6           saying and it was something that Department of Energy,  
7           you know, testified about a few weeks ago -- at least  
8           former staff people at the Department of Energy -- do  
9           that.

10                           One of the question I had is -- relates to  
11           some of your appropriations issues that you mentioned  
12           about cutting back staff and -- and so forth if you  
13           don't get the reprogramming. Are these staff at all  
14           involved in activities related to this program?

15                           **MR. ROLLOW:** In my slides there may have been  
16           a couple of words that I didn't talk about today which  
17           talk about making some cut-backs later in the year if  
18           we don't see the appropriations. Is that what you're--

19                           **DR. MELIUS:** Yeah.

20                           **MR. ROLLOW:** -- talking about?

21                           **DR. MELIUS:** Yeah.

22                           **MR. ROLLOW:** Yes, those would be staff that  
23           work for us through the M&O contractors at the DOE  
24           sites that collect records.

25                           **DR. MELIUS:** Uh-huh.

1                   **MR. ROLLOW:** In other words, we're -- in the  
2 records collection area we're working at a rate that  
3 exceeds a level budget, if you will, in anticipation of  
4 seeing this \$33 million reappropriation. If we don't  
5 see it, we'll have to start laying off staff in the  
6 next few months at sites.

7                   **DR. MELIUS:** So that would mean that the  
8 records coming to NIOSH would be cut back also?

9                   **MR. ROLLOW:** That's a good question. We have  
10 from day one, since I took over this program about a  
11 year ago, I made the decision to put my customers  
12 first, which was NIOSH and Department of Labor, and we  
13 have never wavered in that -- on that commitment. And  
14 there are many reasons for that, but one was that their  
15 program was more mature and moving faster than ours was  
16 and so right now my intentions would be to continue  
17 that commitment and to put NIOSH and Department of  
18 Labor information requests first. But as I start to  
19 run out of resources, anything can happen.

20                   **DR. ZIEMER:** Tony?

21                   **MR. ROLLOW:** Let me, if I could, also, just  
22 to add the -- the statement of one person has received  
23 compensation. Let me just clarify that if I could for  
24 the Board. We actually see that as light at the end of  
25 the tunnel, as a great -- great achievement. The first

1 person at the end of our program has received  
2 compensation from the state workers compensation  
3 program. When people say well, gee, you spent -- and  
4 they quote \$70-something million, it's really more in  
5 the \$50 million in setting up this program, and you try  
6 to divide that by a denominator of one, then it says  
7 okay, \$50 million to get, you know, one case \$15,000.  
8 That's not what actually has happened. What I just  
9 showed you here is that -- I can't make this thing go  
10 backward; there we go -- what I just showed you here  
11 was that as far as the numbers go, there's a lot of  
12 activity that's happening in the process. And not the  
13 least of which is that 14,000 cases are being worked on  
14 right now. So when you look at numerators and  
15 denominators, you need to divide by 14,000 or some much  
16 larger number than just one number in state workers  
17 comp.

18 We also expect many of these positives --  
19 right now our positives are standing at 163. One can  
20 forecast that within the next four, five or six months  
21 those positives might reach 1,000. And we expect many  
22 of those to also result in financial compensation.

23 Now let me also be clear. We don't control  
24 the financial compensation. That is controlled by  
25 state process; it's different in every state. The

1 Secretary puts us in a position for maximizing the  
2 probability of that compensation by ordering current  
3 contractors not to contest a claim. But the states and  
4 state laws actually govern that.

5 **DR. ZIEMER:** I think, Tony, you're next and  
6 then Leon.

7 **DR. ANDRADE:** Right. Perhaps I missed it  
8 during the course of your presentation, but during a  
9 change in -- a reprogramming action, the money has to  
10 come from somewhere. Did you mention where that  
11 somewhere was, what it was, and given whatever its  
12 origin may be, what your own personal assessment is  
13 that the reprogramming is likely?

14 **MR. ROLLOW:** Let me make just a couple of  
15 points on that. First of all, as far as the sources  
16 go, I'm the guy running the workers comp program and  
17 I've not spent a lot of time worrying about or studying  
18 where it comes from. Now holistically, I do represent  
19 the Department of Energy, and the Department of Energy  
20 of course is very much aware and concerned of that.  
21 And also our friends on the Hill in Congress look very  
22 close at that also because there are many projects in  
23 the Department of Energy besides the workers comp  
24 arena, and many, many more considerations need to go  
25 into those decisions as to where and when you move

1 money.

2 From my standpoint, though, I do believe that  
3 the sources that were identified, a large part of them  
4 were like construction projects where the money was not  
5 used and now just needs Congressional approval to go  
6 use let's say excess or leftover money from an earlier  
7 project, use them in this project. In a couple of  
8 cases it may represent projects that will not get  
9 moving as fast this year as they -- as some may --  
10 would like and therefore the Department says well,  
11 let's use this year's money from that project on Mr.  
12 Rollow's project and -- but I do think there's a high  
13 probability that the -- that our friends in Congress  
14 will agree that the sources, compared to the use of  
15 this money for this program, would be acceptable to  
16 them. So I do not expect that to be a stumbling block.

17 **DR. ZIEMER:** Okay, thank you. Leon?

18 **MR. OWENS:** Mr. Rollow, I've had several  
19 occasions to meet with you, talk with you, and I guess  
20 programs are measured in terms of success. And in  
21 regard to Subpart B and Subpart D, I guess we would  
22 measure them based on actual cases and claims that were  
23 paid for the individual workers and/or the survivors.  
24 And as I listened to your presentation, I think we know  
25 that there is one glaring deficiency at a lot of the

1 sites in regard to a willing payer. And after reading  
2 the Hayes report and reading the report by the Office  
3 of Management and Budget, I think both of those point  
4 to that as a deficiency.

5 I'm also aware under the former leadership at  
6 the Department there was not as much interest in  
7 addressing the willing payer issue. I know  
8 specifically at my plant, Paducah Gaseous Diffusion  
9 Plant, for those workers who do not have a covered  
10 cancer, do not fall under Subpart B, there is basically  
11 no one there, even if they receive a positive  
12 physicians panel finding.

13 As part of the overall programmatic changes  
14 that have been made, has the Department considered a  
15 legislative fix to the willing payer issue coming from  
16 the Department -- not just from the standpoint of  
17 processing these claims and then getting an individual  
18 to the point where they have a piece of paper that is  
19 of no value?

20 **MR. ROLLOW:** That's a good question. Let me  
21 talk globally about the program at large and then I'll  
22 just touch upon Paducah here before we finish. The  
23 willing payer question, just to frame that up for  
24 everybody's information, is a term that was coined to  
25 describe a situation where if the Department -- the

1 physicians panels give a positive finding to an  
2 individual, to an applicant, and that applicant applied  
3 for state workers comp, a willing payer situation would  
4 be where the Department actually controls the  
5 contractor, has a contract with the contractor where we  
6 can tell that contractor when -- when Mr. Jones  
7 applies, do not contest his claim. And that would be  
8 to put the Department in the position of being a  
9 willing payer, if you will.

10 There might be many payers for a claim --  
11 insurance, state funds, other contractors -- that we  
12 don't control might pay. Willing or not, they might  
13 pay. But this situation is just the willing payer  
14 question that Mr. Owens is asking about.

15 There've been several estimates that have  
16 been put forth as far as what percentage of our  
17 applicants might have willing payers, and those  
18 percentages have varied from as high as 86 percent to  
19 as low as 50 percent. And so I think, Mr. Owens, your  
20 concern is that there's a -- that there's a group of  
21 people out there that may get a positive physicians  
22 panel finding that says yes, DOE harmed you, and they  
23 may not be able to get any compensation for that  
24 because of the willing payer issue.

25 The question is, what is the Department doing

1 about that. We are -- we are developing cases and  
2 moving cases forward to see how the cases react, if you  
3 will, in the state workers comp programs in each state.  
4 And we're also doing some work studying the contracts  
5 and insurance arrangements for all the contracts for  
6 the Department of Energy. That work'll take me a  
7 couple of months to do and that'll give me some good  
8 indication of where I can and cannot make orders to  
9 contractors to do not contest a claim.

10 As far as what's the final answer, is it 86  
11 percent, is it 50 percent, we won't know that until we  
12 have more cases under our belt. The official position  
13 of the Department is that we will -- we're going to  
14 contract, we're going to the National Academies to go  
15 study this issue because it's not just a mechanical  
16 question of how many cases are covered. It's also a  
17 social question and it's a Congressional kind of legal  
18 -- legislative kind of question.

19 Congress passed a law that said use the state  
20 work comp system. The state work comp system in our  
21 country does not answer that kind of willing payer  
22 question. It's different -- it's different in every  
23 state and in different situations. And there's a lot  
24 of debate that went on on the Hill and we studied this  
25 debate, and it's -- and it's -- we have to let -- we

1 have to let the law -- the law has to have meaning, and  
2 so we have to -- we have to abide by the law. That's  
3 what we're doing now.

4 So the answer to your question is we will not  
5 be coming forth with any legislative fixes to the  
6 willing payer problem this summer because we don't know  
7 if it is a problem. We need to characterize that  
8 through the summer, through the fall. Probably will  
9 take maybe upwards of about 12 months to finish the  
10 National Academy study and have more experience under  
11 our belt.

12 Paducah, this will be good news for you, I  
13 think. I guess I'm concerned you hadn't heard this  
14 before, but as far as Paducah goes, all cases for  
15 Paducah that -- for exposures that occurred prior to  
16 July of 1998 when the Paducah plant was turned over to  
17 USEC would be covered by Bechtel-Jacobs. In other  
18 words, DOE would issue an order to Bechtel-Jacobs  
19 Company not to contest.

20 **DR. ZIEMER:** Okay, Larry has a comment and  
21 then -- or Leon, go ahead and follow up.

22 **MR. OWENS:** I guess my concern there, Mr.  
23 Rollow, would be that it's just not positive if  
24 Bechtel-Jacobs is going to continue to be on the site.  
25 And so at the Congressional hearing that was held by

1 Senator Bunning, Bechtel-Jacobs at that point in time  
2 stated that they had not been asked to be a willing  
3 payer, had no knowledge of that. So I guess -- you  
4 know, we're recompeting two contracts right now, and  
5 Bechtel's basically not going to be in position next  
6 fiscal year, so I -- you know, I'm struggling just a  
7 little bit in the event that they're not even on-site.  
8 I can't see them agreeing to serve as a payer, but...

9 **MR. ROLLOW:** Today, as you mentioned,  
10 Bechtel-Jacobs is a willing payer and will be until  
11 those contracts are placed for the Paducah and  
12 Portsmouth sites, and at that time I -- prior to that  
13 time it's the Department's intent that Bechtel-Jacobs  
14 would be ordered through the contracting officer at Oak  
15 Ridge to continue that responsibility. But that --  
16 that legal document has not been written yet, so you're  
17 correct -- you can't count on it until it's done.

18 **DR. ZIEMER:** Larry Elliott.

19 **MR. ELLIOTT:** Tom, you mentioned your intent  
20 to establish an advisory committee. I assume and I  
21 think I'm pretty right here, that's going to be a  
22 Federal Advisory Committee Act chartered committee?

23 **MR. ROLLOW:** Right.

24 **MR. ELLIOTT:** What perspective of -- balance  
25 of perspective do you hope to bring in that committee,

1 and how large or how small do you see it being?

2 **MR. ROLLOW:** Well, the committee, as -- in  
3 the notice in *Federal Register*, I think it was in early  
4 January, was described I think as having representation  
5 from -- from labor, from -- I don't know the exact  
6 terms, but the insurance company, the DOE contractors,  
7 the DOE employees -- in other words, it could be both  
8 labor or it could be employee representatives -- people  
9 in the work comp industry -- I forget the exact -- the  
10 exact cross-section, and we expect it to have about 12  
11 members. And we have already solicited and gotten a  
12 lot of recommendations into the Department of Energy,  
13 and the Secretary is in the process of making that  
14 decision to actually select the members, and then we'll  
15 send that over to the White House for the White House's  
16 endorsement. And so we expect to see that in three or  
17 four weeks.

18 **DR. ZIEMER:** Thank you. Any other comments?

19 (No responses)

20 **DR. ZIEMER:** Again, thank you, Tom. We  
21 appreciate the input and updating us on the DOE  
22 program.

23 It's now time for our lunch break. We're a  
24 little bit behind the agenda, but we have some sort of  
25 flexible time at the other end, so we'll go ahead and

1 take our hour-and-a-half lunch break and reconvene at  
2 1:30.

3 (Whereupon, a luncheon recess was taken.)

4 **DR. ZIEMER:** Let the record show that Mark  
5 Griffon has arrived back from the Boston Marathon and  
6 he did finish the race, and that's great -- good job.

7 (Applause)

8 **DR. ZIEMER:** He's keeping the time a secret,  
9 so all I'll say is he finished the race, which is an  
10 accomplishment in itself.

11 **DR. MELIUS:** Finished it in time to catch his  
12 plane to get here.

13 **SITE PROFILE STATUS,**

14 **USE IN DOSE RECONSTRUCTIONS, AND ROLL-OUT**

15 **DR. ZIEMER:** We're going to now return to the  
16 regular agenda, and we begin our afternoon session with  
17 report on site profile status, and Jim Neton is back on  
18 the roster. Jim?

19 **DR. NETON:** Thank you again, Dr. Ziemer. Had  
20 a nice lunch, I hope everyone can stay awake through  
21 this one. It's always tough addressing a crowd after a  
22 long lunch hour.

23 I'm here to talk this time about DOE site  
24 profile status, where we are, a little bit of an update  
25 on what we've accomplished since the last Board meeting

1 two months ago. This is a companion piece to an AWE  
2 profile discussion that I'm going to -- I'm scheduled  
3 to present tomorrow -- I think morning sometime -- so  
4 if you'll hold your questions on AWEs till tomorrow,  
5 I'd appreciate it.

6 The first thing I'd just like to start with  
7 is the basic definition that we've put in our site  
8 profile web page so that people are all talking about  
9 the same thing. It's a document that contains  
10 information used to understand activities and radiation  
11 protection practices at a facility, and also attempts  
12 to flesh out the source terms that were there -- what  
13 types of radionuclides were there, what quantities were  
14 there, what chemical forms were there. And if you can  
15 marry those source terms with the radiation protection  
16 practices, particularly if you had decent monitoring  
17 data, then one should be able to move dose  
18 reconstructions forward.

19 One thing I'd like to say -- I think at the  
20 Board conference call we had, it was discussed -- I  
21 brought up the issue at one point that the site  
22 profiles did not intend to be comprehensive evaluations  
23 of incident reports, and they aren't. There are some  
24 incident report -- incident type information in there,  
25 some of the major incidents, but they are not all

1 inclusive for incidents. We maintain that information  
2 in a separate site images database where we collect --  
3 particularly the major incidents, catalog them. The  
4 site images database is searchable by keyword, that  
5 type of information. Some incidents are -- reports are  
6 very large. I mean the criticality -- Y-12 criticality  
7 incident report's very large. So I don't want you to -  
8 - give the impression that we don't include incidents  
9 in these, but they are not necessarily contained in  
10 these documents. Particularly when you're doing dose  
11 reconstructions for monitored workers, we wouldn't  
12 necessarily rely on the incident reports.

13 Just as a reminder, you've seen this slide  
14 before, but they are limited scope documents used as a  
15 guide, a road map to dose reconstructions, and used as  
16 a handbook. Again, if one has monitoring information -  
17 - urinalysis, TLD, film badge measurements -- one  
18 should be able to interpret, for instance, the missed  
19 dose that was there if a person was monitored. And in  
20 fact, if one looks at the internal dosimetry  
21 calculations that we do in many of these dose  
22 reconstructions, they almost presume that incidents  
23 occurred.

24 If one has a well-established monitoring  
25 program and you look at a bioassay point that was non-

1 detectable, we will assume that some sort of incident  
2 or chronic exposure occurred between those two periods  
3 and assign some sort of dose for that monitoring  
4 period.

5           These are dynamic documents. They are  
6 subject to revision any time we feel we have  
7 information available to us that was discovered that is  
8 new and would affect the dose reconstruction outcome  
9 for any of our claimants.

10           Again, they're a compilation of technical  
11 documents. There's six separate chapters. Each is a  
12 stand-alone chapter, so that when it's ready it is  
13 signed as a stand-alone document and if it can be used  
14 for a dose reconstruction -- to accomplish a dose  
15 reconstruction, it will be. We do not require that all  
16 six documents be signed and compiled for that  
17 individual chapter to be used. We call the individual  
18 chapters, if you remember, Technical Basis Documents.  
19 The compilation of all six would be called a site  
20 profile.

21           I want to say a little bit about the internal  
22 and external dose areas. There's been a number of  
23 questions since the last Board meeting that I've  
24 received from various sources regarding the concept of  
25 missed dose versus unmonitored dose. Those concepts

1 are not necessarily addressed in the site profile, but  
2 are included in our implementation guides. So if a  
3 worker were monitored routinely, for every monitoring  
4 period we would assess and attempt to assign the missed  
5 dose; that is, what dose could the worker have received  
6 and had all of his measurements show up as non-  
7 detectable.

8 The example I would use is if you wore a film  
9 badge and every month they exchanged the film badge and  
10 that film badge could see no less than ten millirem,  
11 for an upper limit we would assign a 121 millirem dose  
12 to that monitored worker. There'd be a distribution  
13 about it, but the upper limit would be 120 millirem.

14 If a person were unmonitored, it is not  
15 necessarily appropriate to assign missed dose to  
16 unmonitored workers. In fact, it shouldn't be assigned  
17 unless one can demonstrate fairly conclusively that the  
18 missed dose would conservatively estimate the person's  
19 unmonitored exposure. An example I like to use in  
20 those situations are if a person was monitored for ten  
21 years and had non-detectable dosimetry results every  
22 time for ten years, and based on that they were removed  
23 from the monitoring program because they had very low  
24 potential for exposure and they did exactly the same  
25 job for the next two years, it may be appropriate to

1 substitute missed dose for that unmonitored dose if we  
2 can demonstrate that that job was the same. But we  
3 have to be careful there. It's not always an  
4 automatic. It has to be done with very good  
5 justification.

6 I hope that clarifies it 'cause I think it's  
7 a -- they're difficult concepts to grasp. They're sort  
8 of abstract, but we do assign -- and this is covered in  
9 our implementation guides. We do assign missed dose  
10 and we are recog-- we recognize unmonitored dose. An  
11 unmonitored dose cannot necessarily be substituted with  
12 missed dose unless there's some very careful analyses  
13 done.

14 Looks like I got a little tab out of place  
15 here, but we have issued six site profiles for DOE  
16 sites. I don't normally think of Huntington Pilot  
17 Plant and Mallinckrodt as DOE facilities, but that's in  
18 fact that way the OWA -- Office of Worker Advocacy --  
19 web site lists them. They're considered DOE  
20 facilities, so I've included them in this list. Many  
21 of these -- these have been done previously. I think  
22 the new ones on this list -- Rocky Flats is completed.  
23 That will allow us to start investigating 834 claims  
24 from that facility. Oak Ridge Y-12 is fairly recently  
25 completed. There's 2,088 claims from Y-12. And just

1 Friday we approved the Iowa Ordnance site profile,  
2 which I believe there's around 400 claims from that  
3 facility -- 500 claims from that facility. So we've  
4 made some very good progress. I think collectively, if  
5 you add these up, you get somewhere around 7,000 cases  
6 that are affected -- that are from these different  
7 sites, and that represents somewhere approaching 45 to  
8 50 percent of our claimant population -- not 50, about  
9 40 percent of our claimant population is covered by the  
10 current site profiles in place at DOE facilities. So  
11 we've -- I think we've made some pretty good progress.

12 I do want to point attention to the fact that  
13 I've said "issued" and not "completed". We do, when  
14 necessary, issue a site profile without having every  
15 single piece of information in there. We will reserve  
16 sections -- I think the Board has become familiar with  
17 this. For example, at Rocky Flats in the external  
18 dosimetry Technical Basis Documents the neutron  
19 monitoring section for certain time periods is listed  
20 as reserved. We just can't use it. It's -- we're  
21 still trying to work out the details of what the  
22 neutron exposures really were during those time  
23 periods, but in fact anyone who didn't work in those  
24 time periods and had low potential for neutron  
25 exposures, we could start evaluating those cases. So

1           that's the concept of pushing these out as soon as we  
2           feel that they're technically accurate, complete enough  
3           to address certain blocks of dose reconstructions. And  
4           then we continue to move forward with the completion  
5           after the fact.

6                         They're also subject to revision. The  
7           Mallinckrodt site profile is undergoing revision one as  
8           we speak. If you remember from the St. Louis Board  
9           meeting, there were several gaps in that profile. It  
10          did not address exposures from decommissioning  
11          activities between 1959 and '61; also did not address  
12          residual contamination from '62 to 1995. So we're  
13          trying to flesh out those blocks of information so that  
14          we can move more Mallinckrodt claims through the  
15          process. I think Hanford site profile's also  
16          undergoing some limited amount of revision.

17                        And most of these revisions tend to be  
18          additions to the information that we couldn't use  
19          before. However, occasionally a site profile will be  
20          modified from a technical perspective that may change  
21          the dose reconstructions that we have previously done  
22          with them, and of course if that happens, we are  
23          committed to going back and looking at all dose  
24          reconstructions that have been through the Department  
25          of Labor process and denied and evaluating what effect

1 those changes may have on the previous dose  
2 reconstructions. It's not an easy task, but we're  
3 committed to doing that.

4 Okay, this -- Dick Toohey likes to call these  
5 a measles chart -- really tries to depict where we are  
6 in the process. And a green circle is draft complete  
7 and in comment resolution. What this means is for  
8 these sites all of the site profile, the individual  
9 Technical Basis Documents, are complete and in draft  
10 form and have been seen by OCAS, the Office of  
11 Compensation Analysis and Support, so we're in comment  
12 resolution. We have some issues to iron out with ORAU,  
13 some technical issues -- some are substantive, some are  
14 not; it depends on the individual site. But I think  
15 it's very interesting to note that all but five of  
16 these sites are actually very near completion. So if  
17 you add that to what I just showed on the previous  
18 slide, we have a fair number of these DOE sites very  
19 nearing completion.

20 Once these are all done, we will have covered  
21 site profiles -- we have site profiles that will cover  
22 about 80 percent of the cases that we have in house.  
23 So that's a major success story, I think, on our part  
24 and on ORAU's part.

25 I know the question's going to be asked:

1 Well, when are all of these going to be done? And  
2 that's difficult, but I think you could understand that  
3 if we have drafts in hand and we're ironing out the  
4 details, we're not talking six months, a year. We're  
5 talking a matter of months before all of these are --  
6 should be finalized and ready for use.

7 That doesn't mean, though, that there won't  
8 be small pieces of each individual Technical Basis  
9 Document that will need to have some additional work to  
10 flesh out some neutron dosimetry issue or some  
11 unmonitored period that we can't quite figure out  
12 without additional research.

13 I'll point out that this Iowa Ordnance Plant  
14 is now done. It can be taken off the list. Iowa  
15 Ordnance is a unique site. All the major DOE sites  
16 have these individual chapters. Iowa Ordnance is  
17 somewhat handled more like an Atomic Weapons Employer  
18 site. It's -- it had a limited operation for -- from  
19 DOE activities, and so it's covered with one -- one  
20 document rather than having these individual approved  
21 chapters.

22 There's additional site profiles under  
23 development. I've listed them here. ETEC is the  
24 Energy Technology Engineering Center a/k/a Rocketdyne,  
25 General Atomics -- I mean there's a number of different

1 facilities imbedded in -- or connected with that  
2 facility, but it's in California, 123 cases there. If  
3 one adds up these claims, that will enable another  
4 1,400 cases to move forward.

5 It's not exactly 1, 400 cases, though,  
6 because some people work at multiple sites and so it's  
7 not an exact number, but it gives a fairly good  
8 approximation, within about 20 percent I think of the  
9 number of cases we could cover. Particularly at AWES  
10 people didn't tend to jump around as much as maybe some  
11 of the DOE facilities, like the Oak Ridge reservation.

12 So these are under construction. Weldon  
13 Springs is a key one for us to finish to be able to  
14 move a number of Mallinkcrodt claims forward because a  
15 number of people that worked at Weldon Springs when it  
16 closed down moved to Mallinckrodt. That's one reason  
17 why you're not seeing more Mallinckrodt cases being  
18 completed. We just have not finished this site  
19 profile.

20 Again I know I'm going to be asked a question  
21 about time frame. I think the best I can say is we're  
22 hoping to have -- and this is a goal -- these completed  
23 by end of summer or early fall. That's our target goal  
24 for the remaining ones.

25 Once we get below a certain number of cases

1 for a site profile, we have to make a decision. Do we  
2 really want to invest the resources to generate a  
3 fairly extensive document that requires a lot of  
4 resources, or is it better just to do an individual --  
5 what we tend to call hand-crafted dose reconstructions  
6 at those facilities. I think after these are done,  
7 we're probably getting there.

8 I'd just like to switch gears a little bit  
9 and talk about the site profile -- what we call site  
10 profile roll-outs and the worker input activities that  
11 we've been trying to go around and obtain. ORAU, at  
12 the last meeting I indicated, had written a draft  
13 worker outreach plan. That document is now in fact  
14 completed and it's available here for distribution. I  
15 don't know if it's been passed out to the -- okay, so  
16 you all should have a copy of that. This is a  
17 controlled document that was written by ORAU, reviewed  
18 by us and approved by us, that essentially sketches out  
19 what the intent of this program is, and I just  
20 reiterated what the bullets are here. It establishes a  
21 worker outreach group. That worker outreach group is  
22 headed up by ORAU, Bill Murray -- who many of you may  
23 know -- is the ORAU representative there. Vern  
24 McDougal is also on board. He's a subcontractor to  
25 ORAU from ATL.

1                   They have also just recently hired -- many of  
2                   you may know Mark Lewis, formerly of the Portsmouth  
3                   facility. He is now actively engaged in arranging  
4                   these worker reach-out meetings for us. We had a very  
5                   successful, I think, meeting at Portsmouth last week.  
6                   It was Mark's inaugural meeting and I'm very excited.  
7                   I think that -- I see a lot of energy going into these  
8                   meetings and I'm looking forward to productive input  
9                   from these sessions.

10                   They do provide an excellent input for worker  
11                   -- worker input. As you recall, the site profiles --  
12                   we were -- it was indicated to us that they were --  
13                   they tended to be written in a vacuum, which we agreed,  
14                   so we needed to go out to the workers, meet with the  
15                   workers, get their input, let them know what these  
16                   things are about, what type of information we may be  
17                   missing or what they can share with us. This has been  
18                   particularly productive -- I mentioned Portsmouth was a  
19                   good example of that, good information-sharing.

20                   The building trades of course also have a  
21                   unique perspective on what was done and what was  
22                   monitored that we need to incorporate. And in fact, we  
23                   are committed to adding construction activity chapters  
24                   to several of the site profiles to help flesh out those  
25                   -- those gaps that we perceive to be there.

1                   These are -- we haven't done any public  
2 briefings yet, although we may be close at one  
3 facility. Occasionally you go to these sites and it  
4 appears that the lack of information is fairly low  
5 about the programs in general, about the difference  
6 between Subpart B and D and who does what. At that  
7 point, you know, we have to make a decision. Is it  
8 worth just having a public outreach type meeting to --  
9 for a general education session to get the information  
10 level up there.

11                   We do take minutes at these meetings. It's  
12 not to intimidate anybody, but it's just to, you know,  
13 capture what we've done on paper, and we'll distribute  
14 them to a representative at the meeting to be  
15 distributed to the workers. We take a sign-up sheet  
16 and basically, you know, get people to input and say is  
17 that what we discussed, have we captured the relevant  
18 issues that you -- you rose (sic) at this meeting. All  
19 of this is detailed in that plan that's been  
20 circulated.

21                   This is a listing of some of -- well, the  
22 worker input meetings that we've had so far. We've had  
23 five meetings. If you notice, we're going on our third  
24 one at Hanford on the 22nd -- that's Thursday after the  
25 Board meeting. Thursday morning we're meeting with

1 PACE, and I believe the Guard union representatives.  
2 Sometimes it's difficult to get everybody together in  
3 one room on the same day, and we're sensitive to that  
4 so we try to accommodate where we can. Of course we  
5 prefer to make fewer trips, but if it requires us to  
6 make multiple trips to a site, we will do that.

7 So we've done Hanford, we've done three  
8 meetings there. Savannah River was our first one, as  
9 you recall, on November 11th. Portsmouth we had March  
10 24th and April 16th. And these are upcoming: INEEL is  
11 next Wednesday, I think, April 28th; Nevada Test Site  
12 is tentative for May 10th, and Pantex is scheduled for  
13 June 3rd. All these have been scheduled since Mark  
14 Lewis has come on board, so you can tell that he's  
15 ambitious to get things rolling, and we really  
16 appreciate his enthusiasm. I believe we have some  
17 tentative negotiations going on with the Mound site in  
18 May.

19 One thing that's come up at these meetings is  
20 that the site safety reps need some training. This  
21 came up at the Portsmouth meeting and I've heard this  
22 from other union representatives before, that there's  
23 enough claims being distributed now and the workers are  
24 going to their union representatives and asking for  
25 interpretation -- what does this mean; you know, what

1 is an OCAS-1 and should I sign it and what is this IREP  
2 program and IMBA? So it's happened enough times that  
3 we realize that there's a need for this training and we  
4 are in the early stages of planning a workshop for --  
5 we're going to invite union representatives from the  
6 major sites -- hopefully health and safety type  
7 representatives -- invite them to Cincinnati. We'll  
8 fund the meeting at our expense to come there and have  
9 a one or two-day session -- we're not clear yet on how  
10 long it would take -- to essentially have a dose  
11 reconstruction workshop. Start with the regulation, go  
12 over the efficiency process, talk about IREP, IMBA, how  
13 do you read an IREP input sheet, all that kind of  
14 stuff. And hopefully to give people a baseline of  
15 knowledge that they're comfortable with with the  
16 process that we're doing.

17 I understand it's a complex process. It's  
18 very difficult to understand these things. I don't  
19 know that we'll ever get there where people will be  
20 totally comfortable. But to the extent that we can  
21 provide some education and input, we're committed to do  
22 it and I look forward to working with the unions -- in  
23 the very near term; I don't want this to drag on for a  
24 long time -- to come to Cincinnati and collaborate with  
25 us and to getting this information shared.

1                   That's all of the formal remarks I had. If  
2 there's any questions, I'd be happy to answer them.

3                   **DR. ZIEMER:** Okay, let's start with Gen  
4 Roessler, then Jim Melius.

5                   **DR. ROESSLER:** Early on in the goals of the  
6 -- doing the site profiles, you talked about meetings  
7 with old-timers -- I don't know if that's the word that  
8 was used, but the workers and the people who were  
9 around there, if they're still available, in the '40's.  
10 And at Hanford I think that's particularly important to  
11 get that perception from the people who were really  
12 working there during the '40's and maybe during things  
13 like the green run. Have you -- what success have you  
14 had with getting people like that?

15                   **DR. NETON:** I'll be honest with you, haven't  
16 done a lot in that area, but we are collecting data and  
17 information. Matter of fact, just this morning I was  
18 speaking to a fellow that's at this meeting who we're  
19 going to interview. He had a -- interesting knowledge  
20 -- level of knowledge, fascinating knowledge about what  
21 happened in the early monitoring days for construction  
22 workers -- or more specifically, what didn't happen.  
23 So we're trying to do that. We need to do more of  
24 that. But you know, we'll see how it goes. Right now  
25 we've -- we're committed to interviewing two or three

1 people -- I think we did one interview at Rocky Flats  
2 for a person who we had discovered had some knowledge  
3 and was getting ready to retire, but you know, our  
4 involvement there has been limited. We need to -- we  
5 need to aggressively pursue that more.

6 **DR. MELIUS:** Yeah, I have a few questions.  
7 The first is an item from last meeting -- actually  
8 several meetings ago, also, but from my understanding  
9 from last meeting was that ORAU was -- and NIOSH were  
10 developing a conflict of interest policy regarding --

11 **DR. NETON:** Right, right, I'm glad you  
12 brought that up because it was in my notes and I  
13 skipped right over it. Thank you.

14 ORAU has drafted a conflict of interest  
15 policy. We are -- we are still in the process of  
16 reviewing it, but I will say that the revisions that  
17 they've made to their conflict of interest plan are  
18 very similar to the concepts that are included in their  
19 dose reconstruction conflict of interest policy, so  
20 that any worker who had worked at the site -- currently  
21 works or previously worked at that site could not be a  
22 principal author of one of those Technical Basis  
23 Document chapters. That doesn't preclude, though, them  
24 from using resources, site subject matter experts as  
25 resources to help flesh out and author those chapters,

1 because we really frankly believe that they have to.  
2 Those people are the most knowledgeable. But the  
3 person who puts pen to paper or whatever you want to  
4 say is -- cannot be -- you know, have that conflict of  
5 interest. And for the new profiles being developed,  
6 ORAU -- even though the official policy is not approved  
7 -- is following that voluntarily at this point until we  
8 review and approve their completed conflict of interest  
9 modification.

10 **DR. MELIUS:** I don't know how to ask this.  
11 It would be helpful to see it and -- I mean you say  
12 you're following it, and yet we can't see it.

13 **DR. NETON:** I understand, Dr. Mel-- yeah,  
14 it's -- until we get the final form out, I can't -- I  
15 can't authori-- or issue it, but it's extremely close.  
16 I mean I imagine this will be within a matter of weeks  
17 that we can get this thing issued.

18 **DR. MELIUS:** Well, if we can get a -- 'cause  
19 I think it's a significant problem and frankly people  
20 are going to be skeptical until they -- they see it and  
21 see how it's being implemented.

22 And just a comment on what you have briefly  
23 described is I think one of the major issues is going  
24 to be transparency if there are -- you're going to  
25 access or use people with potential conflicts of

1 interest or whatever you want to call that as a  
2 resource, at least there ought to -- there should be  
3 some transparency to that, and I think it's really  
4 transparency for all your references for this 'cause I  
5 think that would be --

6 **DR. NETON:** I agree.

7 **DR. MELIUS:** -- very helpful and --

8 **DR. NETON:** Yeah, anyone who works on the  
9 profile as a member of the team needs to file a  
10 biographical sketch -- you know, they will have a  
11 signed biographical sketch indicating that conflict of  
12 interest and what their role was. But you're right,  
13 until we get that formal policy issued, it's -- you  
14 know, you can't tell.

15 **MR. ELLIOTT:** Can I comment here on that  
16 issue? We agree, I think, very strongly that whoever  
17 contributes to these documents needs to be so  
18 referenced. And I think you'll see this conflict of  
19 interest plan come out, as Jim has described it, that  
20 will make sure that the principal authors -- who  
21 interpret what is provided to them, what resources they  
22 have -- are not conflicted. And as soon as we have  
23 this conflict of interest plan approved, I assure you  
24 we'll give it to the Board the day it happens.

25 **DR. MELIUS:** We'll give you a day or two.

1                   **MR. ELLIOTT:** I'm committed on the record,  
2 the day it happens.

3                   **DR. MELIUS:** Okay, okay. Appreciate that.  
4 And I think also -- I mean references to people who are  
5 at these outreach meetings you're having, the so-called  
6 "old timers" that Gen mentioned I think would be -- are  
7 also -- I think it's helpful to the credibility of the  
8 process to see who was accessed. And as people go back  
9 and look at how this site profile that was -- you know,  
10 may have been used for their dose reconstruction, I  
11 think it really adds to the process.

12                   **DR. NETON:** We are committed to putting the  
13 minutes of those meetings on our web site, as well as  
14 the attendance sheets. And I think we make it clear at  
15 the meetings that we plan on doing so, so if anyone has  
16 a problem with that, they can -- they can withdraw  
17 their name.

18                   **DR. MELIUS:** You have me a little confused on  
19 another point, some of the clarifications you did at  
20 the beginning -- and this has I think some implications  
21 on what the Board's going to be doing in terms of  
22 review process. And you mentioned I think three  
23 different -- you have sort of the site profile  
24 technical document which you describe in a chapter, so  
25 forth. You have these implementation guides which I

1 take it -- I wasn't clear whether those were site-  
2 specific or more general.

3 **DR. NETON:** No, implementation guides are  
4 more general. Like we have an implementation guide for  
5 internal dosimetry, an implementation guide for  
6 external dosimetry. Those are more conceptual-based,  
7 how would one perform a dose reconstruction giving a  
8 set of bioassay records or a set of TLDs, how do you  
9 correct for where the organ is relative to the badge,  
10 those type of issues.

11 **DR. MELIUS:** So -- I mean those are something  
12 we as a Board have to think about how we -- do. But  
13 then the third one was this repository of incident  
14 reports and so forth?

15 **DR. NETON:** Well, it's not just incident  
16 reports. I don't -- I don't want to give you a mis-  
17 impression of that. It is what we call a Department of  
18 Energy site images database. We do a lot of data  
19 capture efforts at facilities. We have scanned I don't  
20 know how many thousands of pages of records, but  
21 they're all catalogued on our database as PDF files by  
22 site. So for instances if one wanted to look at all  
23 the records we've captured at the Savannah River Site,  
24 one could go to that section of the database and do a  
25 keyword search and pull up anything that had "incident"

1 or "accident" in the title and retrieve those type of  
2 documents. So it's not just purely an incident  
3 database, but the incidents are catalogued in that.

4 **DR. MELIUS:** Are they -- those referenced or  
5 indexed anywhere relative to the site profile technical  
6 document? I mean how do we -- how do somebody from the  
7 outside know what you have and -- information you have  
8 and -- and don't have and -- I'm assuming internally  
9 you --

10 **MR. ELLIOTT:** Well, these are all indexed.

11 **DR. NETON:** We can generate an index of  
12 what's in there. I mean that are put in there, but  
13 they're --

14 **MR. ELLIOTT:** And the Board certainly has  
15 access to that, as well as your contractor.

16 **DR. MELIUS:** Uh-huh.

17 **MR. ELLIOTT:** And if -- correct me if I'm  
18 wrong, Jim. If one is -- one of those are used in a  
19 dose reconstruction, that's cited in the dose  
20 reconstruction report, are we --

21 **DR. NETON:** Yeah.

22 **MR. ELLIOTT:** -- incident report was found  
23 and such and such a date cited from --

24 **DR. NETON:** Yeah, if it were used in the dose  
25 reconstruction, I mean the first ones we did were the

1 Y-12 criticality accident and those are referenced.

2 **DR. MELIUS:** But they're not referenced in  
3 the site profile technical document.

4 **DR. NETON:** There are some referenced in  
5 there, but it's not an exhaustive list. The problem  
6 you have with incident reports and investigations,  
7 where do you draw the line? Do you draw the line at  
8 these little episodic two or three-people incidents, or  
9 do you have to get to a critical mass of 20, ten  
10 people? We have catalogued the best way we can the  
11 ones that have reports associated with them. We also  
12 request all incident monitoring data from the  
13 Department of Energy when we issue a request for  
14 information. We also request incident information  
15 during the CATI. There's numbers of sources that bring  
16 these incidents to the forefront. However, in certain  
17 dose reconstructions where we have monitoring data, it  
18 is not necessary -- necessarily essential to have that  
19 small incident in there, for example, an internal  
20 exposure. If one assumes -- if you have two bioassay  
21 samples and we assume for dose reconstruction purposes  
22 that an incident happened the day after his last sample  
23 and what could it have been and still been non-  
24 detectable a month or two months later, that dose would  
25 be assigned to the worker in the reconstruction.

1                   So any incident that would have been in there  
2 is covered in a fairly claimant-favorable manner. That  
3 way we don't -- we can't possibly find all -- reference  
4 to all possible internal dose incidents that occurred.  
5 If we know about them, of course we'll deal with them.  
6 But if we don't know, using the claimant-favorable  
7 approach, we will assume some type of incident happened  
8 in that period.

9                   **DR. MELIUS:** Yeah, but --

10                  **DR. NETON:** I don't know if that's --

11                  **DR. MELIUS:** No, I understand what you're  
12 saying. I think it just -- if the individual's name is  
13 not attached to that in -- I'm trying to think as --

14                  **DR. NETON:** Yeah, yeah.

15                  **DR. MELIUS:** If this is like the -- you know,  
16 the base document that's supposed to sort of guide  
17 these individual dose reconstructions.

18                  **DR. NETON:** Right.

19                  **DR. MELIUS:** And those -- and that -- and  
20 you're using these other technical documents and -- and  
21 -- but that incident -- let's assume that it's a  
22 significant incident, whatever that means. Okay?  
23 Clearly you can't cover every single one, but there is  
24 no name attached to it. It would seem to me that you  
25 would want some system to be able to make that

1 association, whether it be with a building or a process  
2 or a type of job that at least would raise the  
3 suspicions or -- I mean, again, we're -- you're not  
4 necessarily going to pick up the interview process,  
5 you've got a survivor or whatever.

6 **DR. NETON:** Right. Yeah, to confuse matters  
7 even more, I can talk about a different subset of the  
8 data, which is this worker profile database that we've  
9 talked about in the past, and that is under  
10 construction, where workers' data are going in there.

11 Right now, by and large a large number of the  
12 claims that we are working on have monitoring data.  
13 These are -- I'm not saying we're not doing any  
14 unmonitored workers, but until we get a number of  
15 workers through that have monitoring data where we  
16 flesh out what their exposures could have been, that  
17 goes into the worker database. Then we can start  
18 moving through these workers who may have been  
19 completely unmonitored. It just can't happen until we  
20 get some more experience at certain sites. I'm not  
21 saying we're not doing any of those because there are  
22 some techniques we can use to do unmonitored workers,  
23 but -- but we need to gain some more experience with  
24 the monitored workers who have bioassay samples and  
25 TLDs to understand what happened in those areas, to

1           then be able to say okay, this unmonitored worker who  
2           did this exact same job or similar job has this  
3           exposure, in our estimation. I'm probably confusing --

4                     **DR. MELIUS:** No, no, no -- well, probably --  
5           I probably don't realize I'm confused -- do it -- when  
6           I'm asking these questions, but you are using this  
7           efficiency process.

8                     **DR. NETON:** Yes.

9                     **DR. MELIUS:** And so you have someone that has  
10          monitoring and you're -- assuming that's a fairly  
11          significant percentage of those that you're moving  
12          through now. I don't --

13                    **DR. NETON:** Yes.

14                    **DR. MELIUS:** You know, the site -- and so  
15          they're being excluded based on -- their -- their claim  
16          is being denied based on efficiency, yet how -- then  
17          how do you know whether or not you've missed an  
18          incident? I mean 'cause an in-- a signifi-- a  
19          significant incident, where's that --

20                    **DR. NETON:** Like I say, we assume -- if  
21          someone had bioassay monitoring, let's say that the  
22          person was monitored every six months. We would assume  
23          that the person had exposure, even though they were  
24          non-detectable that whole period during their work  
25          history, and give them internal dose -- whether it

1 would be -- there's a judgment call from a health  
2 physi-- professional judgment call whether this was a -  
3 - potentially a chronic exposure scenario which could  
4 be more claimant-favorable or an episodic exposure  
5 scenario. I mean it depends on the case, but we assign  
6 essentially missed dose for internal exposures that  
7 would incorporate or include doses from incidents.  
8 That's what missed dose really is, from an internal  
9 monitoring perspective.

10 **DR. MELIUS:** Uh-huh.

11 **DR. NETON:** If I assume you had an incident  
12 the day after you left your last sample, then your --  
13 and your next bioassay was non-detectable, there's no  
14 incident that could have been greater than that. That  
15 is the highest dose we could possibly come up with for  
16 that un-- for that monitored period.

17 **DR. MELIUS:** Uh-huh.

18 **DR. NETON:** Those are techniques that are --  
19 that are often used in the program. So there is no  
20 incident that happened anywhere in that month that's  
21 going to be less dose because it happened closer to the  
22 monitoring period. Am I...

23 **DR. MELIUS:** Yeah, I've just been trying to  
24 see how the -- we, as the Board reviewing this program,  
25 captured that in our -- to make our process efficient

1 in terms of --

2 **DR. NETON:** Right.

3 **DR. MELIUS:** 'Cause if we're going to  
4 approach it -- if we're going to wait until we get to  
5 individual dose reconstructions, it seems to me that  
6 that could be then a lot of work for each individual  
7 dose reconstruction to make sure that the information's  
8 complete --

9 **DR. NETON:** Right, yeah.

10 **DR. MELIUS:** -- that we have for that -- that  
11 you used for that individual.

12 **DR. NETON:** I'll give you an example. About  
13 a year ago I think we gave a presentation where we said  
14 in certain cases where an organ doesn't concentrate  
15 plutonium, for example, and the person was monitored  
16 and had periodic monitoring, or maybe only one  
17 monitoring, an exit monitoring point and it was non-  
18 detectable.

19 **DR. MELIUS:** Yeah.

20 **DR. NETON:** In the efficiency process, we  
21 could assume that the person had an acute intake of  
22 plutonium on the first day of employment, and bring it  
23 down to where it was non-detectable the last day and  
24 give the person that whole integrated dose for maybe a  
25 15 or 20-year work history. That would encompass any

1 possible incident that could have occurred in their  
2 work history. I can't imagine mathematically that  
3 there is a more generous assignment that one could use.

4 **DR. MELIUS:** Uh-huh.

5 **DR. NETON:** These are outlined in our  
6 implementation guides, these type of concepts. So  
7 those incorporate -- they preclude the use of incident  
8 data because you're assuming that a worst-case incident  
9 occurred at the beginning of the process.

10 I think when we start reviewing dose  
11 reconstructions I'm hoping this will become a little  
12 clearer, but --

13 **MR. ELLIOTT:** I know this goes back many  
14 meetings ago, but we gave a presentation -- Dave Allen  
15 gave a presentation on internal dose, bioassay  
16 analysis, and how we proposed to do that under the  
17 internal dose implementation guide. And Tim Taulbee  
18 come before you and gave a similar presentation on the  
19 external dose implementation guide. If you want to  
20 revisit those, we can certainly consider that and bring  
21 them back. They're on the web site, but we can bring  
22 those guys back in and I think illustrate again what we  
23 were proposing then and how we're actually doing it  
24 now, how we're using that -- those methods and those --  
25 those concepts.

1                   **DR. NETON:** Yeah, I would be more than happy  
2                   that we'd come back and revisit the issue of how we --  
3                   internal missed dose and the efficiency process work  
4                   hand-in-hand and are extremely claimant-favorable in  
5                   many of these dose reconstructions.

6                   **DR. ZIEMER:** Jim, are you asking about cases  
7                   where -- is there any assurance that, if we do have an  
8                   incident report, that it's linked to a particular  
9                   individual who might have been there or involved?

10                  **DR. MELIUS:** There's a way of linking it,  
11                  that's --

12                  **DR. ZIEMER:** Yeah --

13                  **DR. MELIUS:** -- what is -- what method are  
14                  they using to link to this --

15                  **DR. ZIEMER:** So let's say somebody worked at  
16                  Y-12 at the time of the criticality accident.

17                  **DR. MELIUS:** Uh-huh.

18                  **DR. ZIEMER:** Does the Y-- can you link the Y-  
19                  12 report with an individual whose dose reconstruction  
20                  occurs during that period?

21                  **DR. MELIUS:** Yeah.

22                  **DR. ZIEMER:** It's that kind of question  
23                  that's being asked.

24                  **MR. ELLIOTT:** Yes and no.

25                  **DR. NETON:** Yeah, I mean --

1                   **MR. ELLIOTT:** Yes and no.

2                   **DR. ZIEMER:** You may or may not, and I think  
3 Jim is saying, for example, if it's an internal dose  
4 issue and there's bioassay data, then the fact that you  
5 made the linkage may not matter --

6                   **DR. NETON:** For -- for -- to do --

7                   **DR. ZIEMER:** -- that that's what caused it.

8                   **DR. NETON:** Right.

9                   **DR. ZIEMER:** On the other hand, if it's  
10 someone who wasn't monitored, you might have a  
11 different situation.

12                   **DR. NETON:** Yeah, and that's what I was  
13 trying to say. The unmonitored workers are much more  
14 difficult. I mean I'll agree with that, and that's why  
15 we're constructing this worker database -- these data  
16 points, but we're not ignoring incidents. We're  
17 cataloguing them, but we are also performing dose  
18 reconstructions without necessarily having to link the  
19 internal exposure to an incident.

20                   **DR. ZIEMER:** But if a person worked at a  
21 given site -- let's say Y-12 -- in mid-June of '58 --  
22 that was the year, I believe. I happen to know that  
23 'cause I was there.

24                   **DR. NETON:** Right.

25                   **DR. ZIEMER:** Did -- how would you link that

1 person's work with the incident, I guess is the...

2 **DR. NETON:** Yeah. Well, we have the entire  
3 report. We know how many people were reconstructed in  
4 that incident. It's in this database that I spoke of,  
5 the Y-12 criticality incident --

6 **MR. ELLIOTT:** I think a more illustrative  
7 example is the obverse question. Where we don't have  
8 the ability to link, what are we doing?

9 **DR. ZIEMER:** Right.

10 **DR. NETON:** Right, and that's what I'm trying  
11 to say --

12 **MR. ELLIOTT:** And we're giving the benefit of  
13 the doubt. We're not challenging them in that way.  
14 We're looking at the reasonableness of the allegation.

15 **DR. NETON:** Right, I think --

16 **MR. ELLIOTT:** And if they say an incident  
17 happened in building X where I was working, and to our  
18 best efforts we can't find that that incident was ever  
19 recorded but we can get an affidavit -- okay? -- we  
20 pursue that line.

21 **DR. NETON:** Yeah, I'm --

22 **DR. ZIEMER:** Okay.

23 **DR. MELIUS:** But the -- I guess my question  
24 is -- well, I think it's two-fold. One is how do we  
25 assure the people involved in the program, the

1 claimants and so forth, that these are -- you know,  
2 incidents are accessible to you, to the extent that  
3 it's possible to do this, and that in some cases there  
4 may be a reported incident that's not recorded. Other  
5 cases there -- you may not have -- again, 'cause it's a  
6 survivor's -- you know, applying, they may not have  
7 that information, yet there's some assurance that  
8 there's an attempt to find that out. And I think  
9 people's expectations -- some say that was -- would be  
10 part of the site profile 'cause the site profile is the  
11 -- what the person doing the dose reconstruction's  
12 going to use as their Bible. And so I'm trying to get  
13 a feeling for what is the other --

14 **MR. ELLIOTT:** But this goes to the practice  
15 of dose reconstruction. It doesn't go to the site  
16 profile. The site profile is a specifically-purposed  
17 document that doesn't necessarily speak to incident or  
18 accident reports.

19 **DR. MELIUS:** Uh-huh.

20 **MR. ELLIOTT:** But in the practice of dose  
21 reconstruction, we expect each dose reconstructor to  
22 ask those questions of a -- of the case and pursue that  
23 line of thought until they're satisfied.

24 **DR. NETON:** I think we need to start -- when  
25 you start getting into the dose reconstruction reviews,

1           it'll become more -- may become more obvious how this  
2           tends to work. The profile is a guide, it's a living  
3           document, a dynamic document. It helps the dose  
4           reconstructor with their job to be more uniform and  
5           consistent. It does not have to have every piece of  
6           data that were ever existing at that site for it to be  
7           used, and you see we oftentimes do publish them without  
8           having the entire document completed. As long as we're  
9           aware of what is missing in there and can't use it for  
10          those scenarios, I think we can use it. I mean it's  
11          okay. But one needs -- you cannot review a site  
12          profile in a vacuum without looking at its  
13          corresponding dose reconstruction that was done with it  
14          to see does that really make sense. Was a good enough  
15          job done on the dose reconstruction in collaboration  
16          with the site profile to provide a convincing argument,  
17          technically, that this is the reconstructed dose for  
18          the person.

19                   **DR. MELIUS:** But I think we're also looking  
20          for how are you -- it's a concern of ours, it's a  
21          concern of yours -- is how do you maintain consistency  
22          among the cases so that the same type of information's  
23          accessed, and that's to some extent what the site  
24          profile would provide -- again, never -- not complete  
25          and so forth, and I'm sort of thinking as our process

1 to review these, how do we do that in an efficient way  
2 that, you know --

3 **DR. NETON:** Yeah, I --

4 **DR. MELIUS:** -- it helps the process, I mean  
5 -- obviously would do that and -- while -- but I think  
6 -- somehow I think -- you haven't convinced me yet, but  
7 I --

8 **DR. NETON:** Yeah.

9 **DR. MELIUS:** -- the site profile, including  
10 this -- you know, these incident (Inaudible), would be  
11 one way of making sure that there was some consistency.  
12 And for, you know, the claimants also to know that  
13 there'd been a comprehensive attempt to get what  
14 information's available for significant incidents and  
15 to the extent possible -- do that. And may or may not,  
16 you know, be helpful for some of the individuals --  
17 individual dose reconstructions that you do. I'll  
18 think about it some more --

19 **DR. ZIEMER:** Let's go ahead --

20 **DR. MELIUS:** -- and be more confused and --

21 **DR. ZIEMER:** -- Robert Presley has a  
22 question.

23 **MR. PRESLEY:** Jim, do -- are y'all getting  
24 any feedback from say the -- on the site profiles that  
25 are out on the web site now, are you getting any

1 feedback from any type of old-timers group or what we  
2 call graybeards or anything like that? And if so, are  
3 there means to where that site profiles can be updated?

4 **DR. NETON:** We have not received a lot of  
5 feedback. I want to say that it's a handful of  
6 comments and not as many as we would have hoped, I  
7 suppose. At least -- you know, the union briefings, we  
8 do -- we do get feedback and got some valuable  
9 information. But from the write-in, there's been some  
10 -- some input. If they do provide substantive input  
11 that would change the approach to dose reconstruction,  
12 as I mentioned, we would certainly modify the site  
13 profile to incorporate that information, put that back  
14 out on our web site as a revision and then go back and  
15 view its effect on all prior dose reconstructions that  
16 were denied. We wouldn't go back and look at the one  
17 that were awarded.

18 **MR. ELLIOTT:** If I could add to that, I know  
19 that we've had one comment that resulted in our looking  
20 at the source documents for a site profile to make sure  
21 that we had a reference that was given to us by this  
22 commenter. And I think that was valuable because we  
23 did have it and we could tell them we had it.

24 In another case, a commenter gave us a  
25 reference which we didn't have, and so we considered it

1 and added it and -- added it to; I don't think it made  
2 any change to the site profile, but it was another  
3 piece of information we hadn't had before.

4 **DR. ZIEMER:** Another comment?

5 **DR. MELIUS:** Yeah, I will just be brief, but  
6 I will catch you tomorrow on your outreach plan once I  
7 figure out how to read an ORAU technical procedure --  
8 couldn't even figure out where -- what it was at first,  
9 but -- and may have some questions tomorrow, but I'm --  
10 one -- glad that you're doing it and so forth. I would  
11 -- and I think the idea of doing some more educational  
12 technical outreach I think is good. I would urge you  
13 to work with Department of Labor in some of -- 'cause  
14 it seems that Pete Turcic and DOL wants to do some of  
15 the same activities. And I think to the -- given the  
16 potential confusion about the different programs, I  
17 think it's helpful if everyone can go out together and  
18 do that, you know, within -- within resources and --  
19 and so forth. But appreciate you getting this done and  
20 -- I say, I may have -- if I can understand it, I'll...

21 **DR. NETON:** I apologize for getting it late,  
22 but it was literally just signed Friday.

23 **DR. ZIEMER:** Thank you. Further comments?  
24 Mark, yes.

25 **MR. GRIFFON:** Jim, I just need a little more

1 clarification on the unmonitored -- unmonitored workers  
2 versus unmonitored exposures. And what I'm trying to  
3 get at here is the -- I mean I've interviewed quite a  
4 number of former workers that have -- that have said  
5 there's been various jobs, various time periods where  
6 they were coming close to their quarterly limit and  
7 were told or -- or volunteered, in a sense, or else  
8 they would be rotated out of their job, they were told  
9 to leave their badge in their locker when they worked  
10 for the next couple of weeks or else they'd be over  
11 their limit and be shipped off somewhere else. That's  
12 one example.

13 Another example is if you have all this  
14 bioassay monitoring data but the source term suggests  
15 that there were exposures to other radionuclides,  
16 that's something I would consider a potentially  
17 unmonitored exposure. You know, the worker was being  
18 monitored, but maybe for the wrong thing.

19 **DR. NETON:** Uh-huh.

20 **MR. GRIFFON:** So I'm wondering if you address  
21 that in your unmonitored -- in your concept of  
22 unmonitored dose, 'cause you didn't really say that  
23 when -- in your earlier statements.

24 **DR. NETON:** Right. Right. The first example  
25 that you bring up -- brought up actually came to us at

1 one of our worker outreach briefings. A person came up  
2 and brought up that exact issue, that even in fairly  
3 recent times workers were pulled off a job as they  
4 approached the administrative limit because -- or not  
5 pulled off, but they weren't badged and they continued  
6 to receive exposure. And in fact we're looking at  
7 that. We're going to actually write a technical  
8 bulletin on this issue where if you look at the  
9 cumulative dose for workers, cumulative frequency  
10 distribution, it goes up and then all of a sudden  
11 towards that administrative limit, it starts to go like  
12 this (indicating). And you know something happened  
13 there because the workers may even still have been on  
14 the bioassay program. So you can -- you can fit that  
15 curve and maybe extrapolate back upwards, and we're  
16 looking at ways to accommodate that.

17 That is not going to affect a large number of  
18 workers, but a very important segment of workers  
19 because those are the ones that are very close to maybe  
20 the compensation, you know, value, so we're looking  
21 very closely at that. That's not addressed right now,  
22 but we're looking at ways to address that and this is a  
23 good example of something that we learned at one of  
24 these worker outreach meetings.

25 I think we're aware of it in general.

1           There's articles on this, but to the extent it happened  
2           and to hear a real-world example at a specific site was  
3           very interesting to us.

4                       The second example --

5                       **MR. GRIFFON:** (Off microphone) We've heard  
6           that at a lot of sites (Inaudible).

7                       **DR. NETON:** Yeah, I'm learning that. Yeah.  
8           The second example where we have bioassay programs  
9           where the source term had nuclides that weren't  
10          monitored, I think -- I think that speaks to the site  
11          profile. I mean the internal dosimetry site profile is  
12          supposed to cover and flesh out the source terms --  
13          what radionuclides were there; were there transuranic  
14          nuclides mixed in with the uranium source term; were  
15          there other types of materials. And then that would  
16          require the health physicist to go back and reconstruct  
17          those. In fact --

18                      **MR. GRIFFON:** Then this also get to the  
19          linkage that Jim was talking about. How do you place  
20          that -- the worker -- the individual that you're dose  
21          reconstructing with that source term? How do you --  
22          you know?

23                      **DR. NETON:** We know what years the source  
24          term existed and when the transuranic wastes, for  
25          instance, started coming in in the late '50's and so

1 if --

2 **MR. GRIFFON:** And do you --

3 **DR. NETON:** -- and the site profile would  
4 definitely address that. That's not an incident-  
5 related issue. That is just --

6 **MR. GRIFFON:** No, no, no --

7 **DR. NETON:** -- a fact -- source  
8 term-related --

9 **MR. GRIFFON:** -- but -- but for a --

10 **DR. NETON:** -- fact.

11 **MR. GRIFFON:** -- work history, especially  
12 for --

13 **DR. NETON:** Right, where the worker was, and  
14 if we didn't know, we will assume always the most  
15 claimant-favorable approach and assign the worker the  
16 worst source term that existed at the site if it's not  
17 possible to determine their exact location. That's  
18 fairly standard practice in this program.

19 **DR. ZIEMER:** Did you have an additional  
20 question, Mark? No? Okay. Question, Mark? Okay.  
21 Other comments, questions?

22 (No responses)

23 **DR. ZIEMER:** Jim, thank you very much. Our  
24 agenda calls for a break. We've not been back from  
25 lunch for a full hour. Does the committee feel like



1 year.

2 And Dr. Ziemer, if it's okay with you, I'd be  
3 happy to entertain questions at any time during the  
4 presentation or after --

5 **DR. ZIEMER:** Sure.

6 **MR. HENSHAW:** -- particularly in the second  
7 part when we start looking at the -- all the data. If  
8 it's unclear, please don't hesitate to ask me to  
9 clarify it.

10 Just to recap the Board's earlier  
11 consideration of research topics -- and here for  
12 reference purposes are the topics the Board previously  
13 identified as priorities. There are three priority one  
14 topics and two priority two topics. And I'll address  
15 each of those in the coming slides.

16 Well, this topic -- and that is the  
17 incorporation of occupational studies into NIOSH-IREP -  
18 - appears first on the Board's priority one list.  
19 Obviously the DOE work force itself, rather than the  
20 atomic bomb veterans -- excuse me, atomic bomb  
21 survivors -- would be the ideal source population from  
22 which to derive IREP risk coefficients. However, when  
23 the risk models were first developed for IREP, NIOSH  
24 judged that worker studies were insufficient from which  
25 to derive quantitative risk estimates, due to a number

1 of factors but primarily because the complexity of the  
2 factors in the study and also the often conflicting  
3 findings.

4 The idea, though, was to periodically revisit  
5 this issue, and we intend to do that this year. We  
6 will conduct a feasibility study within the year to  
7 review the current state of knowledge of worker  
8 studies. And if it appears warranted from that review,  
9 we would then propose to launch a more formal  
10 evaluation leading to the possible adjustment of IREP  
11 risk coefficients.

12 And this has been discussed often, the NIOSH-  
13 IREP lung and smoking model. And as you know, the  
14 model's a priority one topic and it conflicts now --  
15 the model in NIOSH-IREP conflicts with the model  
16 currently in use in NCI's version of IREP which is  
17 known as NIH-IREP. NCI introduced a new lung model  
18 late last year based on a new analysis of updated  
19 Japanese cohort data.

20 The question was how to deal with that,  
21 whether to adopt the NCI model, not adopt it, adopt it  
22 in some revised form, what have you. Well, this year  
23 we will have SENES convene an expert panel to evaluate  
24 the new model, not to second-guess NCI's decision, but  
25 to evaluate it for its applicability in our program --

1           whether it fits the unique exposure characteristics of  
2           the EEOICPA-covered work force.

3                         This approach could also be used for other  
4           model differences. For example, the bone model. The  
5           NCI model uses a slightly different latency function  
6           than we do in NIOSH-IREP.

7                         The other priority topic -- priority one  
8           topic on the Board's list is the -- is how cancers are  
9           grouped in IREP, the grouping of rare and miscellaneous  
10          cancers, including prostate cancer. Well, again, we're  
11          going to address that this year. In fact, SENES will  
12          begin re-evaluating the risk coefficients used in IREP.  
13          In particular we are asking them to focus on the  
14          possible discrepancies in the uncertainty  
15          distributions, especially revisiting the logic and  
16          consistency in how the models were grouped in the first  
17          place and wound up into one of the 32 risk models  
18          currently used in NIOSH-IREP. Again, this project will  
19          begin this year.

20                        There were two priority two topics on the  
21          Board's list. There really isn't much to report at  
22          this time. The age at exposure workshop concept, which  
23          has been discussed previously at Board meetings, has  
24          been shelved for the time being. But it could be  
25          revisited at a later date. The problem right now is

1 the lack of development of a standardized database.  
2 And frankly, lack of staff time to pursue the project.

3           However, age at exposure is a potentially  
4 crucial and controversial factor, so we can't let it  
5 fall off of our radar. Later, by the way, when I get  
6 into looking at the claims results, I have a slide  
7 showing the compensation rates by exposure age. It's  
8 kind of interesting.

9           Interaction with other work exposures was the  
10 other priority two topic, and quite frankly we've  
11 discussed this from time to time within OCAS, but we  
12 simply have not had time to properly consider it.  
13 There's nothing currently planned.

14           There of course are other potential research  
15 topics other than the five on the Board's priority one  
16 and two list. One of those is DDREF or dose and dose-  
17 rate effectiveness factor. And as you probably know,  
18 DDREF is a risk modifier that's used to adjust for low  
19 level radiation doses just for the non-leukemia  
20 cancers. The leukemia models employ a linear quadratic  
21 function which it's thought adjusts already for that  
22 issue.

23           Actually the first phase of this project is  
24 already nearing completion. The first phase was an  
25 extensive literature review that SENES has been doing -

1 - they began that earlier this year, and we expect to  
2 have a progress report from SENES within a few weeks.  
3 Once the report is in, we will review SENES's tentative  
4 findings and recommendations. The next phase then  
5 would likely involve convening an expert panel, but  
6 we'll wait for the written report before commenting  
7 further on that.

8                   And then there is chronic lymphocytic  
9 leukemia. As the Board knows, there was a  
10 Congressional appropriation for research specifically  
11 on CLL. CLL is of course the only cancer specifically  
12 excluded from compensation in IREP. It's been  
13 traditionally regarded as a non-radiogenic cancer.

14                   However, there are other cancers with very  
15 little evidence for radiogenecity and we have  
16 quantitative risk models for those cancers in IREP.  
17 For example, prostate cancer, non-Hodgkins lymphoma,  
18 and even some of the leukemia subtypes like hairy-cell  
19 leukemia that is granted some risk in NIOSH-IREP,  
20 whereas for example the United Kingdom compensation  
21 program excludes hairy-cell leukemia as well as CLL.

22                   At any rate, our Health-Related Energy  
23 Research Branch, otherwise known as HERB, will begin a  
24 research project on CLL this year. That will include  
25 an acceleration of two leukemia studies already in

1 progress -- two of their own studies -- as well as a  
2 meta-analysis of other relevant studies, both published  
3 and unpublished. And they also intend to convene an  
4 expert panel, and I believe their plan is to do that  
5 this summer.

6 If the findings from this study warrant it, a  
7 quantitative risk model for CLL could be developed and  
8 incorporated in NIOSH-IREP.

9 **MR. ELLIOTT:** Russ, may I interrupt you just  
10 a moment?

11 **MR. HENSHAW:** Sure.

12 **MR. ELLIOTT:** I just want to make note here  
13 that each of these scientific expert panels or  
14 technical peer panels, subject matter expert review  
15 panels, whatever you want to call them, whatever the  
16 findings and recommendations are from those, we would  
17 then bring forward to this Board.

18 **MR. HENSHAW:** Right, thanks, Larry. Just  
19 following up on that, they're -- in addition to the  
20 Board's own discussion of procedures for modifying  
21 IREP, that's also spelled out in the probability of  
22 causation rule which requires us to submit proposed  
23 substantive changes to the Board for review and to then  
24 consider those -- the Board's comments, if any. Also  
25 to notify the public via the *Federal Register*, consider

1 those comments, et cetera. And then finally to notify  
2 the Board, the public and the Department of Labor of  
3 the expected completion date for implementing any  
4 change after we've gone through that process.

5 There's a provision to deviate from that --  
6 those procedures, and again, those are the procedures  
7 in the probability of causation rule -- to deviate from  
8 those if circumstances warrant. It does not explain  
9 what those circumstances need be, but that -- that  
10 option is there. And a substantive change is defined  
11 as -- a substantive change to NIOSH-IREP is defined as  
12 any change that would substantially affect probability  
13 of causation.

14 Now this is maybe only marginally a research  
15 topic, but since we're doing it right now, I thought  
16 I'd report on it. As you may know, the guts operating  
17 in the background of NIOSH-IREP is a software program  
18 called Analytica 2.0. Analytica released a newer  
19 version earlier this year, Analytica 3.0, and it  
20 addresses, for our needs, some of the limitations  
21 inherent in the older software package. 2.0 was  
22 limited by capacity, and by that I mean the number of  
23 rows of dose input that IREP can effectively process,  
24 as well as the number of iterations used. You might  
25 recall that most claims are run using 2,000 iterations

1 in the Monte Carlo simulation process. Claims that  
2 initially fall into the 45 to 50 percent range but do  
3 not meet the compensability level of 50 percent  
4 probability of causation, we use a -- we up the number  
5 of iterations to 10,000 for a more precise estimate of  
6 probability. At any rate, IREP is currently limited to  
7 -- at 10,000 iterations, probably no more than 300 rows  
8 of dose input. What we're finding lately is that some  
9 claims can have considerably more dose input than that,  
10 up to 500 rows or even more. So 3.0 would solve that  
11 problem.

12           However, before changing over to it, we need  
13 to thoroughly test the software to ensure that there  
14 are no inadvertent effects on PC results, either due to  
15 rounding or some other unanticipated glitch in the  
16 software. And we are doing that. We're working  
17 cooperatively with ORAU and SENES to accomplish that.  
18 We have a test planned that actually is probably just  
19 getting underway this week, if not last week.

20           And finally, the research part of the  
21 presentation, I thought I'd mention the potential use  
22 of our own claims data. It's possible that an epi  
23 analysis of claims data could prove useful in the IREP  
24 risk model. I say possible because there are  
25 limitations and some very serious challenges to the

1 data, but it's still possible.

2 To begin with, the data are currently  
3 limited. The results should not be construed as being  
4 representative of all claims, not by any means. But  
5 more importantly, the dose reconstruction efficiency  
6 approach carries very serious limitations, especially  
7 when attempting to assess dose response.

8 Right now -- you'll see as we get into the  
9 slides, results are based on 1,325 completed claims.  
10 Of those claims, with the exception of the claims from  
11 Bethlehem Steel, a claim -- any claim that's  
12 compensable -- virtually all compensable claims use the  
13 underestimate approach. Virtually all non-compensable  
14 claims use the overestimate approach. What that means,  
15 for my purpose -- our purposes for trying to do an  
16 epidemiological analysis, is that for compensable  
17 claims the dose reconstruction stops when enough dose  
18 is found to make the claim compensable.

19 The converse of that, for a non-compensable  
20 claim, an extreme overestimate is used. If the extreme  
21 overestimate is still below -- would still result in a  
22 probability of causation below 50 percent, again the  
23 dose reconstruction stops. Therefore, we have few, if  
24 any, claims with complete dose reconstructions.

25 Again that efficiency process -- I believe

1 Bethlehem Steel would be the exception to that where --  
2 it was a kind of -- I don't know whether it's a unique  
3 site, but it was a site with I believe no personal  
4 monitoring data, so the model applies I think to all  
5 the claims.

6 Other challenges are in comparing the data  
7 with National Cancer figures. It's difficult to do  
8 under the best of conditions. Also there are hundreds  
9 of different types of cancer, but less than three dozen  
10 cancer models in NIOSH-IREP. And finally, the  
11 claimant-friendly process further complicates an  
12 epidemiological analysis of the completed data, as in  
13 many cases we use multiple IREP models and take the  
14 model with the highest probability of causation, and  
15 that is the information that appears in our database  
16 that can be extracted for analysis.

17 I'd like to share the claims results with  
18 you. Again, that's through March 31st, 2004. This  
19 includes completed dose reconstructions submitted to  
20 the Department of Labor for which we have received  
21 notice from the Department of Labor of a decision.  
22 That's about two-thirds at that time -- through March  
23 31st, about two-thirds of the dose reconstructions  
24 submitted to DOL. Thus it may not -- for that reason,  
25 it may not be predictive of future results, but also

1 because of the efficiency process, a more compelling  
2 reason, it would be surprising if it's predictive of  
3 future results.

4 Another caveat is that the results for the  
5 cancer -- specific compensa-- cancer model-specific  
6 compensation rates reflect only claims with one primary  
7 cancer. You'll see later -- I show the compensation  
8 results for two other broad groups of claim types. One  
9 is secondary cancers for which the primary is unknown.  
10 The other is multiple cancers. Those are not included  
11 in the cancer model-specific results.

12 Okay, just to -- what I've done here, I've  
13 taken the 32 cancer models in IREP and put them in a  
14 table. It goes across several slides. I have -- just  
15 to try to explain the table here -- I hope I'm pressing  
16 the right button for the laser pointer -- the column on  
17 the left is the cancer model in IREP, and it's arranged  
18 simply in the order that the models appear in the  
19 NIOSH-IREP pull-down menu, and that's roughly in  
20 ascending numerical order by ICD-9 code.

21 The middle column is the total number of  
22 cases that were processed using that model -- and  
23 again, these are only -- these are claims with only one  
24 primary cancer. And the right column, probability of  
25 causation greater than or equal to 50 percent -- equal

1 to or greater than 50 percent. There are the claims  
2 that were -- the portion and percentage of -- excuse  
3 me, the number and percentage of claims that were  
4 compensable.

5 In this case, for example, oral cavity and  
6 pharynx, there were 23 claims. Four of the 23 were  
7 compensable for a compensation rate of 17 percent.  
8 Oral cavity and pharynx, by the way, includes tumors of  
9 the lip, tongue, gums, tonsils, et cetera.

10 Any questions on the table format or the  
11 numbers before I go on to the next slide?

12 (No responses)

13 **MR. HENSHAW:** I think I'll save you the agony  
14 of having me read what exactly you can see on the  
15 slide, so...

16 **DR. HOFFMAN:** Russ, since we have members of  
17 the public here, I think it's important to point out  
18 that this is not probability of causations greater than  
19 50 percent, but a one percent chance that the  
20 probability of causation would be greater than 50  
21 percent, and so it's a -- it's a highly conservative  
22 estimate of the probability of causation.

23 **MR. HENSHAW:** I -- thanks, Owen. I think  
24 what Owen -- what Owen is saying is that -- I think --  
25 don't interpret the percentage in parentheses as the

1 average PC or the PC result. That's simply the rate --  
2 the compensation rate, the percentage of cases that  
3 were compensable.

4 **DR. ZIEMER:** I believe he was simply defining  
5 what probability of causation means in this case. I  
6 don't think we understood the numbers in the column to  
7 be that. Owen, you were simply defining probability of  
8 causation as it's applied by NIOSH, which is --

9 **DR. HOFFMAN:** Right, but in this case it's  
10 not a true probability of causation. It is -- after  
11 accounting for all sorts of uncertainty, if there is  
12 more than a one percent chance that the probability of  
13 causation is above 50 percent, then the claim is  
14 eligible. But that -- that qualification isn't evident  
15 here in the slide. It just says PC greater than 50  
16 percent.

17 **DR. ZIEMER:** Yes, understood.

18 **MR. HENSHAW:** Thank you, Dr. Ziemer. I  
19 misunderstood what Owen said. I'm sorry.

20 Anyway, going on to the next slide, in the  
21 next five models as they appear in the IREP pull-down  
22 menu --

23 **MR. GRIFFON:** Russ, I was just going to ask  
24 one thing.

25 **MR. HENSHAW:** Sure.

1                   **MR. GRIFFON:** Do we -- I think we've asked  
2 for this kind of data before and I'm not sure -- it  
3 might be more appropriate in tomorrow's discussion, but  
4 do we have a breakdown of number of claims by cancer  
5 type by site or something like that? I think -- I  
6 don't know if we --

7                   **MR. HENSHAW:** Whether or not the claims were  
8 processed, you mean?

9                   **MR. GRIFFON:** Yeah, just in -- in terms of  
10 our case selection process it might be important for us  
11 to see, you know, how -- how that distribution is  
12 across all the claims currently in the system.

13                   **MR. HENSHAW:** I have some results. I did not  
14 include that in this presentation since I was focusing  
15 on completed claims. But roughly, if you consider all  
16 claims submitted -- sent to NIOSH from the Department  
17 of Labor, about 34 percent of the cancers are non-  
18 melanoma skin cancers; 14 percent fall into the all  
19 male genitalia model, it's mostly prostate cancer;  
20 about 12 percent --

21                   **DR. ZIEMER:** Let me interrupt --

22                   **MR. GRIFFON:** (Off microphone) (Inaudible)  
23 something you can --

24                   **DR. ZIEMER:** Yeah, we don't need that now,  
25 and you're giving the overall. I think Mark is asking

1           -- for example, does some particular cancer appear to  
2           be, at least claim-wise, more prevalent at Savannah  
3           River, for example, or at Hanford -- and maybe --

4                   **MR. GRIFFON:** (Off microphone) Looking at  
5           both, I think (Inaudible) --

6                   **DR. ZIEMER:** Right, and maybe at some future  
7           point or next meeting we could have that, or earlier,  
8           perhaps. I think as we get into the selection process,  
9           it might be helpful information. But please proceed.

10                   **MR. HENSHAW:** The simple answer then is I  
11           haven't looked at that yet, so --

12                   **DR. ZIEMER:** But it could be retrieved.

13                   **MR. HENSHAW:** One clarification on the all  
14           digestive model, by the way, that is all digestive  
15           except for the organs that have specific cancer models.  
16           So for example, liver cancer would go into its own  
17           model, gall bladder, et cetera. Anything that doesn't  
18           fit into that -- for example, tumor in the small  
19           intestine would go into the all digestive model.

20                   You can see lung cancer is a very high  
21           compensation rate thus far, 91 percent of the 230  
22           single primary lung cancer claims, only 21 were non-  
23           compensable. Some of this data is graphed a little  
24           later, as well. And the lung model, by the way,  
25           includes cancers of the trachea and bronchus.

1                   Going on -- other respiratory, compensation  
2 rate of about 32 percent thus far. And other  
3 respiratory would include probably largely cancers of  
4 the pleura. For example, most of the mesotheliomas  
5 would fall into this category, but also the larynx and  
6 nose, except for skin cancer of the nose.

7                   Basal cell carcinoma model, the bottom row,  
8 it's a relatively high compensation rate thus far, 44  
9 percent.

10                   Any questions before I move on?

11   (No responses)

12                   **MR. HENSHAW:** The other non-melanoma skin  
13 cancer model in IREP is squamous cell, and as you can  
14 see, that is a much lower compensation rate, which  
15 basically one might speculate mirrors the fact that  
16 squamous cell carcinoma is thought to be much less  
17 radiogenic than basal cell carcinoma.

18                   There is a separate cancer model in IREP for  
19 ovarian cancer. That's because the epidemiologic  
20 evidence is much stronger for radiogenicity for the  
21 ovaries. All other female genital organ tumors fall  
22 into the female genitalia excluding ovary model. Thus  
23 far from this dataset, none have been compensable.

24                   That's kind of a stunning number at the  
25 bottom, all male genitalia. That is about -- well, 219

1 cases. None in this dataset have been compensable.  
2 And of those 219, about 95 percent were prostate  
3 cancer.

4           Going on, bladder cancer and then urinary  
5 organs excluding bladder, that has a relatively high  
6 compensation rate. That is -- that is a model that  
7 renal cancer would be processed in, cancer of the  
8 kidney.

9           Nervous system models, ICD-9 codes 191 and  
10 192 -- 191 is for brain tumors, 192 is cancer of other  
11 organs in the nervous system, no compensable cases thus  
12 far with this data.

13           And similarly for thyroid cancer, 14 cases,  
14 none were compensable. This -- there are a number of  
15 surprises in these results, but I certainly was  
16 surprised when I first looked at many of these numbers.  
17 I would caution the Board, though, to bear in mind that  
18 these results almost certainly will change. With  
19 thyroid cancer -- I don't know this yet, I haven't  
20 checked into it this closely, but it's quite possible,  
21 for example, that someone did a dose reconstruction on  
22 a thyroid cancer at a very low dose, learned how to do  
23 it and then, you know, culled other low dose thyroid  
24 cancers out of the claims database and did those as  
25 part of the efficiency process. It may be that

1           there'll be another batch of higher dose thyroid  
2           cancers which will completely change the way the  
3           results look.

4                        I do intend to follow this and other trends,  
5           of course, as we get ongoing in the program.

6                        I see a fairly large number of claims fell  
7           into the lymphoma and multiple myeloma model, very few  
8           of which were compensable, two out of 90.

9                        And finally we go to the leukemia models.  
10          There are four leukemia models in IREP. You see the  
11          first two here. The other two are on the next slide.  
12          They all have varying rates of compensation. For this  
13          dataset there were fewer than ten claims -- that is  
14          completed dose reconstructions submitted to DOL for  
15          which we've received notice -- fewer than ten claims in  
16          each of the four leukemia categories. If you lump the  
17          four -- the numbers from the four leukemia categories  
18          together, however, that's a total of 24 cases, 16 of  
19          which were compensable, for a compensation rate of 67  
20          percent.

21                        You kind of -- you kind of draw a line right  
22          there separating the last of the leukemia models from  
23          the next two categories and summed up the 32 cancer  
24          models, that would be a total of 1,071 claims. There  
25          are an additional 254 claims, however, that I did not

1 include in the cancer model-specific categories because  
2 there's really no good effective or logical way to do  
3 that.

4 The claims with unknown primary cancer, there  
5 were 28, 24 of which were compensable. Those are -- in  
6 this case, they're all secondary cancers with an  
7 unidentified primary. As you may know, our protocol is  
8 to run one or more of the primary cancer models  
9 depending upon the secondary cancer identified, and  
10 then take the model that produces the highest  
11 probability of causation. There were 226 claims with  
12 multiple primary cancers, 146 of those were  
13 compensable, a rate of nearly two-thirds.

14 Taken all together, all completed claims in  
15 this dataset, it's 1,325 claims, compensation rate is  
16 33 percent.

17 I took the cancer -- cancer models with  
18 claims of at -- with -- excuse me. I took the cancer  
19 models with at least ten claims and graphed them from  
20 highest to lowest in terms of rate of compensability.  
21 And again, this is not -- the vertical axis is not  
22 probability of causation. That is the compensation  
23 rate. This recaps what you've seen in the table. The  
24 highest compensation rate was lung cancer, followed by  
25 urinary organs excluding bladder. Again that's -- I

1 haven't looked at this to verify it, but I'm  
2 speculating it's probably largely kidney cancer. Then  
3 the basal cell carcinoma model, 44 percent; other  
4 respiratory organs and then oral cavity and pharynx and  
5 malignant melanoma at 16 percent. And going down,  
6 squamous cell carcinoma, 6 percent; bladder, lymphoma  
7 and multiple myeloma, and so on.

8           There were -- there were nine cancer models  
9 with no compensable cases. There are only eight on  
10 here. Sorry, I inadvertently omitted the all male  
11 genitalia, but that should also be on this slide. Nine  
12 models with ten or more completed cases, none  
13 compensable thus far. I'm saying thus far, that's  
14 through March 31st. I mean there may very well be  
15 compensable cases in our hopper by now for some of  
16 these.

17           This is just a bar graph of the two groups I  
18 mentioned before, the unknown primary cases and the  
19 multiple primary cases. Again, you can see very high  
20 compensation rates.

21           This is a graph of compensation by years of  
22 employment. I was really struck by the way this graph  
23 turned out, a nice -- nice slope to the data.

24           Any questions?

25           **DR. ANDRADE:** Russ, not a question but a

1 quick comment. Perhaps you can validate this or not.  
2 Isn't that slope rather artificial, given the  
3 efficiency process? I mean the longer -- the longer  
4 that you have worked, either at one site or more sites,  
5 if you go through the efficiency process and assign say  
6 missed dose over the span of your career, you're going  
7 to get a linear slope.

8 **MR. HENSHAW:** I think -- yeah, that's a good  
9 point. I think it's quite possible that -- maybe  
10 largely due to the efficiency process, that this could  
11 be a proxy for estimated dose.

12 I did the same thing with age at diagnosis.  
13 Again, very nice linear slope. It also does not  
14 necessarily mean anything, following up on Tony's  
15 comment. It's hard to tell really what's going on with  
16 this. Of course I intend to follow it and look at it  
17 more closely as we get into this, but it's pretty to  
18 look at, anyway, for right now. But -- kind of thing  
19 if you were writing an epidemiology textbook, you know,  
20 you'd invent something like that.

21 This was a very interesting observation. I  
22 looked at compensation with -- I looked at lung cancer  
23 compensation by smoking status. The bar on the left is  
24 never smoked, the bar on the right are all the other  
25 categories, including former smoker. Somewhat

1           surprisingly, the compensation rate was actually higher  
2           for smokers.

3                         It's hard to tell exactly what's -- I'm going  
4           to show you a slide -- the next slide breaks those  
5           numbers down by smoking category. It's hard to know  
6           exactly what's going on there. It's something we want  
7           to continue to look at as more data comes in. It  
8           probably should be noted that about -- about 100 of  
9           those 230 lung cases were from Bethlehem Steel. This  
10          may -- this may just be a function of such an  
11          overestimate -- or excuse me, such a high dose estimate  
12          used that it washes out the smoking adjustment.

13                         Here it is by smoking category. At 86  
14          percent is the bar on the left, and again, this is not  
15          probability of causation. That's compensation rate.  
16          You have former smoker, less than 10 cigarettes a day,  
17          10 to 19 cigarettes a day -- you can see all of those  
18          categories are higher than non-smoker. It doesn't  
19          start to drop until you get to more than one pack a  
20          day, but even there it's a compensation rate of 75  
21          percent. This -- you really can't make much of this  
22          number -- I think it was only two cases, one of the two  
23          were compensable. That's the more than two pack a day  
24          smoker. Then the column on the right, that question-  
25          marked number, that just -- that means smoker, but it's

1 unknown how many cigarettes he or she smoked per day.  
2 In the risk model it's kind of an average across the  
3 other smoking categories. Again, it was only a few  
4 cases.

5 Any questions?

6 (No responses)

7 **MR. HENSHAW:** Compensability by gender, 37  
8 percent of claims for male workers were compensable,  
9 only four percent of the cases for female workers. I  
10 don't know for sure what the explanation is for that,  
11 but I think a good guess would be probably low dose,  
12 probably less years of employment than the males.  
13 About -- somewhat slightly less than half of the claims  
14 -- of the completed claims for females were breast  
15 cancer, by the way. I think it was like 46 percent.

16 Well, this takes me to the last slide, so I  
17 guess to summarize, we will have projects underway  
18 within the year addressing the three priority one  
19 topics on the Board's list. We have other research  
20 projects already underway or in planning. The  
21 completed claims results, some surprises, but again,  
22 the results are undoubtedly skewed by the dose  
23 reconstruction efficiency process and also possibly by  
24 the incomplete data. We'll continue to monitor the  
25 data for trends and anomalies and of course we will

1 keep the Board updated as more and more data comes in.

2 Thank you very much for your attention. I'd  
3 be happy to take any additional questions.

4 **DR. ZIEMER:** Thank you, Russ, for a very  
5 interesting presentation. Let's see, we've got a  
6 question here from Dr. DeHart.

7 **DR. DEHART:** Russ, when you were talking  
8 about multiple primaries -- skin cancer, primarily  
9 squamous cell and basal cell frequently are associated  
10 with primary -- multiple cancers. Is that in keeping  
11 with your data or do you exclude -- if they both -- if  
12 they're multiple cancers and the -- three of them and  
13 all three are squamous cell, how do you handle that?

14 **MR. HENSHAW:** Multiple skin cancers were not  
15 included in the skin cancer-specific results. I  
16 initially tried to include those cases, but it's --  
17 decided it really wasn't appropriate to do that. You  
18 know, we can try to do it -- look at it that way in the  
19 future if you'd like, but the problem is, many of the  
20 skin cancer cases are not just squamous cell or not  
21 just basal cell. (Inaudible) have three or four sites,  
22 two basal cell carcinomas, one squamous cell. Then the  
23 problem, you know, arises which model do you put it in,  
24 and then if you only count it as -- you know, most of  
25 them are compensable, so do you count it as compensable

1 for basal cell, non-compensable for squamous cell, you  
2 know, and so on. I finally -- I looked at that really  
3 about a dozen different ways, and I just finally came  
4 to the conclusion that it would be more honest and  
5 clean to just simply exclude all multiple primaries  
6 from cancer model-specific rates.

7 **DR. ZIEMER:** Jim?

8 **DR. MELIUS:** Yeah, I have a question and a  
9 comment. One's just more out of curiosity, but when  
10 you run into sort of the limits of Analytica 2 in doing  
11 -- when you have such a complicated dose -- exposure  
12 situation, what do you do if you can't...

13 **MR. HENSHAW:** I don't think --

14 **DR. MELIUS:** I mean does it just slow it down  
15 or is it a question of you're just -- it's just unable  
16 to handle that...

17 **MR. HENSHAW:** The awareness of the problem  
18 occurred when we discovered we had claims in the hopper  
19 with rows that exceeded IREP's capacity. We have not -  
20 - we have not gotten to those yet in the dose  
21 reconstruction.

22 **DR. MELIUS:** Okay, so it's not -- hopefully  
23 you'll have the Analytic 3 that --

24 **DR. ZIEMER:** You have a comment?

25 **DR. MELIUS:** Yeah, my comment is related to

1 the issue of your review of the occupational studies  
2 and the other issue that you're not dealing with,  
3 though, I think it might be possible to -- at least you  
4 should consider in that is this question of interaction  
5 with other occupational exposures. If you're going to  
6 be doing anything as part of that -- part of the work  
7 that you are doing with the occupational health studies  
8 I think sort of cataloguing what information might be  
9 available and thinking -- it's just going to be hard to  
10 separate out the two issues entirely, and I just --  
11 rather than saying you're ignoring it, I think that  
12 you're really -- I would hope that you're sort of  
13 subsuming it under the other -- other issue because  
14 there are -- particularly as we're dealing with IREP,  
15 there are ways -- different ways of thinking about the  
16 other occupational exposures, for example, that add  
17 more uncertainty to -- to your -- the model and so  
18 forth.

19 **MR. HENSHAW:** To be honest -- well, I think  
20 that's a good point and when we get to the point where  
21 we can begin a study of occupational -- a review of  
22 occupational studies, which we intend to have the  
23 literature review this year, the feasibility study, I  
24 think that's a good point. I think we can try to look  
25 at that, as well, to the extent that it's feasible to

1 do that, but I agree with you.

2 **DR. ZIEMER:** Henry and then Gen.

3 **DR. ANDERSON:** Just one thought that I had  
4 that would be an interesting, difficult to interpret  
5 analysis, but not unlike some you have here, would be  
6 to look at the overall distribution of the types of  
7 cancers you have, almost to a proportional ratio like  
8 you do a proportional mortality and look to see is the  
9 distribution, if you age-adjust it, is the distribution  
10 of cancers in those that you have here different than  
11 you'd expect in the general population or does there  
12 seem to be more lung cancers, fewer, is liver  
13 disproportionately represented in this group. I think  
14 that would be -- treating this as a selective cohort  
15 coming through, would be interesting to see. Are  
16 claims -- are, you know, people putting in claims  
17 because they believe a specific cancer is radiation-  
18 related, or is it just every cancer that's occurred,  
19 somebody has filed. I mean I think that would be  
20 interesting to see, as their -- prostate looked to me  
21 to be about right, the breast cancer's -- if you look  
22 at, you know, incidence not mortality -- probably is  
23 not out of line for the age groups here. But some of  
24 them seem to be a little bit more than you might  
25 expect.

1                   **MR. HENSHAW:** I absolutely agree, and I  
2 intend to do that. There will be some obstacles to  
3 overcome as we do that. You know, what do you compare  
4 it to -- you know, this data -- which block of this  
5 data. You know, the way this data is modeled is not  
6 the same as the way the data's modeled in NIOSH-IREP  
7 and so on, but I agree, it's a -- it's a rich dataset  
8 to look at from that point of view and I definitely  
9 intend to do it.

10                   **DR. ZIEMER:** I must have missed something  
11 there. Henry, it's not clear to me what it -- what are  
12 you suggesting be compared in that case, because these  
13 are all -- I mean this is not a normal population to  
14 start with.

15                   **DR. ANDERSON:** Right, but what you do is you  
16 have 1,000 people or 1,000 cancers --

17                   **DR. ZIEMER:** Right.

18                   **DR. ANDERSON:** -- and you say seven percent  
19 of those were liver cancers --

20                   **DR. ZIEMER:** Oh, the relative numbers of each  
21 one --

22                   **DR. ANDERSON:** Right, and then you look at  
23 the general population where -- and age-standardize and  
24 say in the general population it's two percent.

25                   **DR. ZIEMER:** I gotcha.

1                   **DR. ANDERSON:** And therefore you may -- and  
2 then, one, looking at compensation, you may say gee,  
3 there seems to be an excess of a cancer that isn't  
4 compensated at all in this group. That would give you  
5 some leads to look into some of the epi studies to see  
6 -- 'cause these are all pretty well vetted for what  
7 type of cancer it is, other than those that are  
8 unknown.

9                   **DR. ZIEMER:** Of course the underlying  
10 question then is is a population group of cancer  
11 individuals have this a priori -- should it have the  
12 same distribution?

13                   **DR. ANDERSON:** Well, I mean that's -- that's  
14 part of the discussion of it, but at least you might  
15 look to see --

16                   **DR. ZIEMER:** A starting point.

17                   **DR. ANDERSON:** It's a starting point to see  
18 whether there are some of these. You would expect to  
19 see in this population the radiation-sensitive cancers  
20 ought to be over-represented.

21                   **DR. ZIEMER:** Right.

22                   **DR. ANDERSON:** And those that aren't, ought  
23 not to be.

24                   **DR. ZIEMER:** Right.

25                   **DR. ANDERSON:** Now if those are rare

1 malignancies, then percentage-wise it isn't going to be  
2 very easy to see early on, but I think that's what  
3 you'd like to look as -- more for the consistency in  
4 this database with what you know in the epi studies  
5 rather than get into quantitative measures.

6 **DR. ZIEMER:** Dr. Roessler?

7 **DR. ROESSLER:** This discussion in the last  
8 part of your presentation bothers me because even  
9 though you have qualified the interpretation, many  
10 times numbers like this are carried forward without the  
11 qualifications and I think, other than scientific  
12 curiosity, this really doesn't mean much at this point  
13 and we ought not make too much of it. As you've said,  
14 the small database, the efficiency process has  
15 certainly made this a very -- not representative  
16 population, even of the workers. And as I looked at  
17 one of your slides where you presented the numbers with  
18 regard to age, I keep wondering if because this is a  
19 claimant-friendly process, that's just the normal  
20 incidence of cancers with age and has nothing to do  
21 with the radiation exposure. My point is, let's not  
22 make -- have to be careful not to make too much of the  
23 interpretations at this point.

24 **MR. HENSHAW:** Your point is well taken. I  
25 mean absolutely. Hopefully no one will run up -- run

1 off and try to use this to affect regulatory decisions  
2 or anything.

3 **DR. ZIEMER:** Okay. Other comments or  
4 questions?

5 (No responses)

6 **DR. ZIEMER:** Thank you again, Russ. We  
7 appreciate your input to us.

8 We are actually approximately an hour behind  
9 schedule. At the beginning of the meeting I indicated  
10 that we might have the opportunity for public comment  
11 if we were ahead of schedule. What I will ask is if  
12 there are any who signed up for public comment who  
13 would find it very inconvenient to do their comments  
14 this evening, which is the scheduled time -- if for  
15 whatever reason, we can certainly accommodate -- yes,  
16 are you on the list, sir?

17 **UNIDENTIFIED:** (Off microphone) Yeah.

18 **DR. ZIEMER:** If you prefer to give your  
19 comment now, we'll be glad to hear --

20 **UNIDENTIFIED:** (Off microphone) (Inaudible)

21 **DR. ZIEMER:** Use the mike, please, and  
22 identify yourself for the record.

23 **MR. COLEMAN:** I'm Thad Coleman. I worked at  
24 PRTR for four or five years. That was a plutonium test  
25 recycle reactor, a very hot place. Numerous times --

1 let's say you're a supervisor of a building. You have  
2 50 or 60 pipe fitters (Inaudible) work with one welder.  
3 Only one welder has the qualifications to go in and do  
4 the welding. Well, how many of those pipe fitters you  
5 going to burn out before the welder's burned out in the  
6 same place? And whenever you do burn out, the  
7 supervisor would come in -- give me your badge and  
8 pencil; he took them and went and got me another set,  
9 go back in. Well, after I took all I could, I got sick  
10 and went home.

11 Well, they come out to my house to see if I  
12 couldn't come back. They needed the welding done.  
13 Well, with one welder, there was no way they were going  
14 to get it done. But I had to go back in and do the  
15 welding. I was overexposed many, many times.

16 Another thing they did there melting lead  
17 with an acetylene torch, making shields. Well, lead  
18 is very bad. We couldn't do it in the shop 'cause it  
19 would contaminate the whole shop. They moved me  
20 outside. You still had to melt it with -- I said why  
21 don't you buy me a ventilator, a up-sucker to pull  
22 these fumes away? Oh, it costs too much. I said I'll  
23 tell you, I'll pay for it, you put it in. They  
24 wouldn't do it.

25 Today my lungs are full and I'd like to get

1           somebody to tell me what is in there. Is it lead  
2           poisoning, zinc poisoning, (Inaudible), brass poisoning  
3           or what is it? They can't tell me. They say you've  
4           got asbestosis. Well, that's one they don't pay. I  
5           would like to have them prove that mine is not -- what  
6           it is, because they can't -- I welded around the fumes  
7           and the lead-based paint and stuff for over 60 years.  
8           You get a lot of fumes in that much time. Yes, I had  
9           asbestosis 'cause I spent seven years and eight months  
10          in the south Pacific aboard ship a lot of times working  
11          around (Inaudible), but it wasn't this asbestos  
12          (Inaudible). My lungs are not asbestos today. I've  
13          got something wrong. What is it? Can you tell me?

14                        I got a letter from a little gal said your  
15          statute of limitations expired, you don't qualify.  
16          That's a very poor excuse, if you ask me. I took it  
17          over to my doctor this morning and told him -- I had an  
18          appointment at 12:30, 12:15. I said Doctor, I just  
19          don't agree with this letter. But how are you going to  
20          overcome it? What can we do about it?

21                        Medicare gets a bill and it costs me \$449 a  
22          month for my secondary insurance. Medicare won't pay  
23          it. My insurance won't pay it. Well, then I got to  
24          pay the damned bill. We need somebody -- a coordinator  
25          in here to try to get this -- justice done. I know

1           this is important to do all this, but it costs a lot of  
2           money. We're suffering like hell trying to get -- stay  
3           alive, and sometimes I can't even talk, but you can't  
4           even get a doctor to go in -- I got one doctor that I  
5           think the world and all of him. He's Dr. Clipper and  
6           he's helping me a lot. But I -- I just choke up too  
7           bad to talk.

8                        I would like to have somebody to tell me what  
9           is in there. My lungs -- they said oh, your lungs are  
10          gone. Well, now my teeth's gone. I just had them  
11          pulled out day before yesterday. Is there any place  
12          you could send me or tell me where I could go to get  
13          somebody that could give me an answer?

14                       **DR. ZIEMER:** This Board probably can't answer  
15          your question, but maybe -- maybe some of the staff can  
16          direct you to who you should be in contact with. It  
17          appears to me that this is -- is this one of the  
18          workmen's compensation area ones that's --

19                       **MR. COLEMAN:** Well, I don't know -- workmen's  
20          compensation, what is that, money?

21                       **DR. ZIEMER:** Well --

22                       **MR. COLEMAN:** I told them I don't want to be  
23          the richest man in the graveyard whenever I die.

24                       **DR. ZIEMER:** I doubt if you will under  
25          workmen's comp, but let's -- let's find out and maybe

1 after the meeting find out if there's someone here --  
2 certainly a doctor's diagnosis becomes a part of this,  
3 and then I don't know where in the system we plug this  
4 gentleman in, but we'll see if we can find somebody to  
5 at least assist you.

6 **MR. COLEMAN:** I would just like to get to  
7 where I can get my breath and breathe.

8 **DR. ZIEMER:** Yeah. Thank you.

9 **MR. COLEMAN:** That's all I'm after.

10 **DR. ZIEMER:** Okay. Appreciate your comments.

11 **MR. COLEMAN:** And the first thing they give  
12 me, they say fill out another form. Well, hell, I've  
13 filled out 50 of them.

14 **DR. ZIEMER:** Right.

15 **MR. COLEMAN:** And they look at me -- how many  
16 cigarettes you smoke a day? I never smoked a cigarette  
17 in my life. How much alcohol do you consume a day? I  
18 never used a drop of it. I was a healthy, very strong-  
19 willed man.

20 **DR. ZIEMER:** Okay.

21 **MR. COLEMAN:** And I thank God because I'm the  
22 only one left out of my whole group that I worked with  
23 a few years ago, and I'm the only boy that's left out  
24 of ten kids, one girl. No, all I need is somebody that  
25 knows what to do to get my breath.

1                   **DR. ZIEMER:** Okay. Thank you.

2                   **MR. COLEMAN:** Thank you for listening to me.

3                   **DR. ZIEMER:** Are there any others that prefer  
4 to speak now?

5   (No responses)

6                   **DR. ZIEMER:** It appears not. Fine, then we  
7 will recess until 7:00 this evening. We will reconvene  
8 in this room and all are welcome to join us at that  
9 time. This will be exclusively a public comment  
10 period. Thank you very much.

11                                       (Whereupon, a recess was taken.)

12   **INTRODUCTION**

13                   **DR. ZIEMER:** Good evening, everyone. I'd  
14 like to ask you to please take your seats. We'd like  
15 to get underway right away.

16                                       Thank you all for coming tonight. This is  
17 the public comment session for the Advisory Board on  
18 Radiation and Worker Health. My name is Paul Ziemer.  
19 I serve as the Chairman of the Advisory Board, and the  
20 Board is very pleased to be here in the Richland-  
21 Hanford area tonight for this particular meeting.

22                                       Our meetings have very specific focus, but we  
23 always have an opportunity for public comment, an  
24 opportunity to learn about what's going on with respect  
25 to individual people as far as it impacts on this

1 program.

2 Before we have the actual opportunity for  
3 many of you to speak, I thought it might be of use if I  
4 took just a few minutes and familiarize you with the  
5 responsibilities of this particular Board. Our  
6 responsibilities are quite well-defined, and to some  
7 extent they are limiting in terms of what we are able  
8 to do as a Board. And I want to make you aware of what  
9 it is we do, and you can put that in the context of the  
10 larger program that many of you are already familiar  
11 with.

12 The program -- the workers compensation  
13 program that we're talking about here tonight is  
14 actually administered by several different entities --  
15 the U.S. Department of Labor, U.S. Department of Health  
16 and Human Services, the Department -- Energy Department  
17 and also the Attorney General. So these various  
18 Secretaries of the various Departments, all of their  
19 agencies have a role in this process.

20 Independent of those agencies is this Board,  
21 called the Advisory Board on Radiation and Worker  
22 Health. The individuals on this Board largely are  
23 independent of those agencies. I say largely because  
24 actually some of the members of the Board may work for  
25 one of the subsets of an agency. That is, we have some

1 individuals here who are associated with some  
2 Department of Energy facilities. But in terms of our  
3 day-to-day responsibilities, we are independent of the  
4 program and serve as an oversight type of agency or  
5 really board. And I want to familiarize you with our  
6 responsibilities.

7 First of all, the Board itself -- its  
8 composition is defined by law. It's -- the law  
9 specifies that the Board will be comprised of up to 20  
10 individuals. These individuals, incidentally, are  
11 appointed by the White House, by President Bush, and  
12 the White House actually determines the number because  
13 they make the appointments, so there are not actually  
14 20 individuals, as you will see. There are a dozen of  
15 us at the moment. The White House also designates the  
16 Chair of the committee.

17 And the other specification in the law is  
18 that the individuals on this Board are to represent  
19 certain facets of the interested community as far as  
20 this law is concerned. And by that I'm talking about  
21 labor, I'm talking about medical, I'm talking about  
22 radiation safety or health physics types of  
23 individuals. So there are technical, medical, labor  
24 individuals. The individuals do not necessarily  
25 represent specific groups, but have that kind of

1 background so they can bring to the table the  
2 perspective of say labor or medicine or the technical  
3 community.

4                   These are the members of the Board. As I  
5 indicated, I serve as Chair. We have a designated  
6 Federal official, Larry Elliott, and Larry, as I  
7 introduce each of you -- even though they have  
8 placards, you might not be able to see the placards.  
9 So Larry Elliott serves as the Executive Secretary and  
10 also heads up the dose reconstruction program or the  
11 Office of Compensation Analysis for NIOSH, which is, as  
12 you know, part of the Department of Health and Human  
13 Services.

14                   Then we have Henry Anderson. Henry is not  
15 back from dinner yet, so -- should not have gone  
16 alphabetically, I guess.

17                   Tony Andrade, who is with Los Alamos National  
18 Laboratory; Dr. Roy DeHart, Vanderbilt University;  
19 Richard Espinosa, Los Alamos -- you'll see in each case  
20 an indication of their particular background. Mike  
21 Gibson with Babcock & Wilcox; Mark Griffon has his own  
22 consulting firm; James Melius, New York State Labor's  
23 Health and Safety Trust Fund -- Dr. Melius; Wanda Munn,  
24 who's retired but here -- one of your local people from  
25 here in Richland; Charles Owens from U.S. Enrichment

1 Corporation, Paducah, Kentucky; Robert Presley is  
2 actually retired, but is still there in Oak Ridge --  
3 retired and working again, so to speak; and Dr. Gen  
4 Roessler is retired from the University of Florida and  
5 now living in Minnesota -- in Lake Woebegone, is it?  
6 Yes, right.

7 The role of this Board is -- as I suggested,  
8 is specified and it's pretty well-defined. We have a  
9 role in the development of guidelines on the  
10 probability of causation. That's that calculation for  
11 the likelihood of a cancer or health effect being  
12 produced by radiation exposure. We have a role in the  
13 development of the guidelines for the dose  
14 reconstruction process, so the first two bullets simply  
15 summarize those responsibilities for reviewing the  
16 guidelines as they're developed, and those guidelines  
17 have been developed and the Board has, in a sense, done  
18 those.

19 We have an ongoing responsibility to assess  
20 the scientific validity and quality of the dose  
21 reconstructions that are being done. This is an  
22 ongoing process and the Board is underway and actually  
23 has its own contractor now to assist in this process.

24 And then there's a role for evaluating and  
25 assessing both guidelines and petitions that have to do

1 with what is called the Special Exposure Cohort. This  
2 is a rulemaking which is still in process and the Board  
3 has played an ongoing role in that process, as well.

4 So basically what you see here on this slide  
5 are the responsibilities of this Board.

6 The Board does not deal specifically with  
7 individual cases. We are not a Board that listens to  
8 appeals or even reviews individual cases. We may, as  
9 part of the determination of scientific validity and  
10 quality of dose reconstructions, we may as part of our  
11 audit process, look at specific dose reconstructions  
12 that have been done to assess -- and in fact we will be  
13 sampling a certain fraction of the work that is done by  
14 the Federal agency, by NIOSH, to determine the quality  
15 of that work. But we ourselves do not -- if you are a  
16 claimant, this Board will not be specifically reviewing  
17 necessarily your particular claim. And in fact, if you  
18 have claim issues, they would be referred to the agency  
19 that is responsible for processing that claim.

20 That completes those slides. I want to,  
21 before we start the public comment, just make a couple  
22 of additional observations. And that is that the  
23 comment period, as far as the Board is concerned, is  
24 really simply intended for us to hear from you. We're  
25 not operating in a mode -- sort of a question and

1 answer mode because we -- we do not have access to your  
2 specific case. Those, you know, are confidential. And  
3 so we are not in a position tonight to answer specific  
4 questions you might have. However, if you have a  
5 question on your case, if you have a particular  
6 question or concern, we certainly will make sure that  
7 it gets addressed by the agency, whether it's NIOSH or  
8 Labor or DOE, or make sure that we get you in touch  
9 with the right person to do that.

10 We are interested in learning about how  
11 effective this program is or where it is not effective.  
12 We're interested in hearing whatever your experiences  
13 may be that you're welcome to share whatever you wish  
14 with us because that helps us get a feel for how this  
15 program is working. So we do listen to a lot of  
16 personal experiences. Not that we can necessarily  
17 address them ourselves as a Board, but they do help us  
18 in the context of trying to assure that the Federal  
19 agencies involved do correctly and rapidly -- although  
20 the speed is not always where one would desire, but at  
21 least to be moving along on addressing the issues that  
22 individuals might have. So any commenters are free to  
23 talk about both their personal experiences as they  
24 wish, but please understand that we're somewhat limited  
25 as a Board in how we can deal with you on an individual

1 basis. But we do want to hear your stories and  
2 experiences, and please -- if you have issues, we will  
3 try to help make sure that they get addressed, even  
4 though we may not be able to, as a Board, address them  
5 individually with you.

6 **PUBLIC COMMENT**

7 Now with those preliminary comments, I have  
8 already a list of individuals who have requested to  
9 speak. And I think because of the size of the room and  
10 the configuration -- although we have already set up a  
11 mike near the back, I think it would be better if those  
12 who wish to address the group would come up here to the  
13 podium where you can be seen better. And also if  
14 you're a little nervous, it gives you something to lean  
15 on, so that always helps, too.

16 Oh, I do need to announce two things. One is  
17 that for our public record we do like to have a record  
18 of all who are in attendance, so there's a sign-up  
19 sheet if you haven't already done this. It's in the  
20 back and Cori, who's waving her hand back there, is the  
21 keeper of the records and she will point you to the  
22 right place to record your attendance with us tonight.  
23 And then if you have -- if you do wish to speak and  
24 haven't already done so, we ask that you sign up there.  
25 And this just helps us keep the flow going and make

1           sure that we get everyone who wishes to speak.

2                        So I'm going to return to my seat now and  
3           I'll get the record there and we'll get underway. And  
4           please excuse me if I don't pronounce the names right.  
5           I can feel for you, mine gets pronounced incorrectly at  
6           least half the time, also. It looks like Gai Oglesbee.  
7           Is Gai here? Gai's with National Nuclear Victims for  
8           Justice from here in Richland. Gai, could you -- would  
9           you be willing to use the mike near the front so we  
10          can...

11                      **MS. OGLESBEE:** (Off microphone) Oh, okay.  
12          That one up there?

13                      **DR. ZIEMER:** Yes, please.

14                      **MS. OGLESBEE:** Okay. I'm from this area and  
15          I work with a lot of people across the nation to try to  
16          help all I can because I am very experienced and  
17          knowledgeable by now after many decades. My own  
18          daughter is a claimant, as well, who suffers with  
19          beryllium exposure and the effects of cancer, so it's  
20          pretty disheartening sometimes, so -- I've had cancer  
21          and her father has had cancer. We all worked at  
22          Hanford. There's nine people in my family that have --  
23          are battling with cancer right now.

24                      Before I get started -- this is always a  
25          show-stopper because you can't see it -- I know you

1 can't, but this is what radiation exposure looks like,  
2 people. And I'll give your Chair a copy of it. A  
3 friend of mine did this after traveling to -- they're  
4 Russian farmers is what they are, by the Caspian Sea.  
5 And I know people that have mutations just like this in  
6 this country.

7 Then this is -- I want to get this in, too,  
8 and again I'll give your Chair a copy. This is  
9 (Inaudible) that was found on Hanford by individuals  
10 appointed by the CDC that says dose reconstruction  
11 cannot be done here at Hanford. That is very emphatic  
12 information that's not being paid attention to.

13 Then there is a survey done already of  
14 Hanford that lists what some of the construction  
15 workers and people are exposed to at Hanford. So there  
16 has been a survey done here -- many of them -- and I  
17 have copies of them if -- and I'll probably try to send  
18 them along.

19 So let me begin here by saying in my case the  
20 agency employees are traditional agency defendants with  
21 conflicts of interest with a point of view that is so  
22 confrontational I have decided the incidents and  
23 quarrels must stop. I didn't enter this to be put-upon  
24 and I've -- it's cost me a lot of money to get where  
25 I'm at right now in time and energy and my own

1 finances, and I live on a fixed income. So I was an  
2 unmonitored employee, especially after I disclosed the  
3 events that happened to me at Hanford B plant  
4 (Inaudible). There are many in this room who know my  
5 story. I support them and they support me.

6 Because the escalation of my historical  
7 issues a high-ranking government official, the former  
8 Secretary of Energy, Hazel Leary (sic), came to  
9 (Inaudible) by April 17th, 1996, which was indeed her  
10 obligation anyway, and she knew that. Secretary Leary  
11 (sic) decisively enforced her initiatives.  
12 Consequently, her subordinates were disciplined for  
13 their adversarial role against me. Because of this  
14 error of adjustment, I processed through -- there is a  
15 contract -- I -- as I processed through, I should say,  
16 there is a contract in place that forbids the Hanford  
17 contractors and the USDOE from violating the terms of  
18 agreement. If one agreement's violated, the rest are  
19 still intact, so this became a problem for me with some  
20 of the agencies and agents that I had done business  
21 with before in my past.

22 In a private meeting before I testified  
23 before the USDOE Assistant Secretary Environmental  
24 Health and Safety, which was Dr. David Michaels, I was  
25 encouraged to apply for EEOICPA provisions after being

1 informed that my history would not affect my right to  
2 apply. USDOE contractor Oak Ridge Associated  
3 Universities disqualified themselves already due to  
4 their recognition of conflicts of interest about a year  
5 and a half ago. The USHHS subsidiary, CDC-NIOSH,  
6 employees seem to be unable to get a grasp on the  
7 concept of conflicts of interest where I'm concerned.

8 Because of my background, knowledge and  
9 experiences, I know for a fact that health physicist  
10 evaluations are not considered expert in any illegal  
11 (sic) adjudication process I am aware of. My expert  
12 witness is a high-profile Ph.D. peer who heads a team  
13 of ten international experts. The preparation of my  
14 expert witness evidence cost \$24,000, and that was done  
15 several years ago.

16 NIOSH insists that the expert witness do not  
17 outrank their scientists and methodology. Well,  
18 perhaps the time will finally come when we test the  
19 NIOSH employees and the USDOAC employees -- employee  
20 Admiral Rollow's perspectives in Federal court.  
21 Consequently, for lack of better -- a better phrase  
22 that is powerful enough to -- definition enough to use,  
23 Dr. John Howard, Director of NIOSH, and I are in a  
24 pissing contest -- excuse me, but that's what it is --  
25 regarding what he feels in his right -- is his right to

1 dismiss my generic dose reconstruction. I am informed  
2 that the only evidence NIOSH would accept is imaginary  
3 USDOE contractor HEHF X-rays. Obviously I have failed  
4 to explain historical circumstances over and over again  
5 to the NIOSH agents. I should not have to explain in  
6 the manner that these agents have required. I am  
7 wholly aware that I am not finished with my medical  
8 monitoring, as that is an ongoing reality that I must  
9 manage for the rest of my life, but Dr. Howard insists  
10 that I shall obey his agency code command and sign his  
11 waiver, or else.

12 The Interactive Radio-Epidemiologic Program,  
13 IREP, that was created by Dr. F. Owen Hoffman, is  
14 challenged as an unreliable methodology. The generic  
15 causation has already been deemed unreliable for  
16 individual causation purpose by peers -- peer experts  
17 and also by the Ninth Circuit Court of Appeals, who  
18 explain in their decision that Dr. Hoffman's theory is  
19 not all that is needed to reconstruct the dose of  
20 radiation-exposed workers and vic-- or victims,  
21 whatever you want to call them.

22 My point is, because I am the only person in  
23 the world who can release any of my original personnel  
24 records regarding my case issues, I cannot be  
25 absolutely sure that the records that are being

1 processed by the agency employees are the same records  
2 I submitted. It appears NIOSH and USDOE employees are  
3 relying on slush files -- what's been deemed slush  
4 files provided by unassuming USDOE record-keepers. The  
5 \$500 box of records I compiled have been lost,  
6 rediscovered, lost, rediscovered, recopied, re-  
7 established, lost again and rediscovered in the USDOE  
8 mail room, according to the witnesses. Because of this  
9 rhetoric, the originals I submitted in early August,  
10 2001 were ordered returned to me, so I have the  
11 original copies of what I originally sent.

12 I have custody of high-profile expert witness  
13 testimony. That is a court record which is included in  
14 my files I released for this EEOIC purpose. The  
15 experts verify that I am irreparably damaged by  
16 ionizing radiation and components. I have filed a  
17 claim with the USDOL and the USDOE. This was  
18 originally supposed to be presented to just the  
19 Advisory Board, these quotes. I'm very alarmed by some  
20 of them. Resigned -- this is Secretary Robert Card  
21 testimony that was sworn before the Senate committee  
22 March 30th, 2004. In that -- in a quote that was very  
23 alarming to many of the survivors, the quote said  
24 additionally given, the medical benefits are available  
25 in most state workers compensation systems for living

1 applicants. We are moving applications filed by living  
2 applicants ahead of those filed by survivors. Finally  
3 given that the statute requires us to provide all  
4 available information, including a dose reconstruction  
5 from relevant Part B applications, we are setting aside  
6 these Part D applications where Part B dose  
7 reconstructions are pending.

8 Now Senator Grassley, as you know, is very  
9 heavy into this situation. And I also have made some  
10 quotes because I was challenged today by Mr. -- or  
11 Admiral Rollow, and I want to read -- these aren't my  
12 statements -- sworn statements. These are Senator  
13 Grassley's sworn statements. It says (Reading) Nothing  
14 can make up for the illnesses these workers developed  
15 because they were exposed to toxic substances without  
16 their knowledge or consent.

17 That's me. Today they wear their battle  
18 scars in the form of illnesses and disease and --  
19 diseases, the least of our -- our government can do is  
20 try to compensate them, compensate them quickly and  
21 compensate them before they die, but that -- but that  
22 is a problem. The program is moving like molasses.  
23 Thousands of workers or their survivors are in limbo  
24 while their requests for help sit in offices here in  
25 Washington. We need reform with -- with accountability

1 and results.

2 Now he goes on to talk about the Science and  
3 Engineering Associates known as SEA, which is a USDOE  
4 contractor. This company's employees are the ones  
5 processing the compensation claims of sick workers.  
6 What we found should make Congress think twice before  
7 forking over more money to the Energy Department,  
8 especially without any guarantees that things will get  
9 better. Mr. Chairman, I want to note that the Navy and  
10 SEA don't want these numbers to come out. They stamp  
11 the words business confidential and priority in big red  
12 letters all over their invoices. Sometimes people in  
13 government contractors who feed from Uncle Sam's trough  
14 forget who they are working for. They're working for  
15 the taxpayers, not themselves, and they should not be  
16 trying to hide the way they're using taxpayer money.

17 The Energy Department's seeking \$33 million  
18 in FY '04 appropriations transfer, plus \$43 million for  
19 its FY '05 request, totaling \$73.3 million or \$77  
20 million. The Department of Energy's plan to eliminate  
21 the entire backlog of applications will be 2006,  
22 commonly referred to as a path forward plan.

23 Let's see -- SEA is charging exorbitant  
24 amounts of money for questionable results. An aide  
25 position at SEA bills the government at a rate of 36.9

1 or \$36.09 an hour that comes up to \$72,180 a year.  
2 That's a lot of money for someone who makes copies,  
3 sends FAXes and puts files in filing cabinets. Then  
4 they get their 40 percent an hour benefits. And people  
5 who do the bulk of the case preparation work at SEA are  
6 the nurses who examine compensation claims and get them  
7 ready for the physicians and make a decision. Now how  
8 is it that when I have an expert witness and a ten-team  
9 international team of witnesses that a nurse -- and I  
10 don't really -- I don't want to offend the nursing  
11 profession, but I don't see where that is a reliable  
12 source. Sorry, they don't know what we know and what  
13 anybody else knows, but that's the way it works. And  
14 they're making \$90.51 an hour for nurses' work and  
15 about \$181,000 a year. The highest-paid SEA official  
16 on the project is Richard Cutshaw, the program manager.  
17 SEA is billing \$264 an hour for the -- his time. Let  
18 me be clear so there's no confusion. I said \$264 (sic)  
19 cents per hour. That comes up to \$401 -- \$200 --  
20 \$401,280 a year. Mr. Cutshaw costs the taxpayer more  
21 than the salaries of Energy Secretary Abraham and Labor  
22 Secretary combined. He costs more money than the Vice  
23 President, and SEA charges just a bit more for his work  
24 than the salary of George -- President George W. Bush.  
25 Mr. Cutshaw's counterpart at the Labor Department would

1 be a GS 14 director or district director who costs  
2 about \$135,000, including fringes. Only in the  
3 government contract can people make so much money and  
4 perform so poorly. If this were the private sector,  
5 these people would not be -- would get canned and be  
6 out on the street. Now we know how much the Labor  
7 Department folks are getting paid. We don't know how  
8 much SEA employees are getting paid. We only know how  
9 much the company is billing the taxpayers for their  
10 work.

11 And in excerpt quotes, USDOE Admiral Rollow  
12 explains that I have misunderstood all the issues and  
13 that if I repeat any of the conversation we had this  
14 afternoon that I can be charged with slander. I would  
15 say, Admiral Rollow, that you don't know where I've  
16 been or what's going on and you need to find out  
17 because your -- your people are handling my case right  
18 now, and you assured me that they were.

19 Can Admiral Rollow handle a job after making  
20 a statement such as this? Is everybody wrong and  
21 Admiral Rollow right? It is Senator Grassley's sworn  
22 statement and other of his associates investigative  
23 findings, it wasn't mine. I have before me several  
24 sworn statements regarding the conduct of the USD  
25 employees that are before me to ponder. I'm not quite

1 ready to talk about those yet, but they're pretty  
2 disturbing, I can tell you that, that involves me.

3 My daughter Carol is also an EEOICPA claimant  
4 who battles with the health effects caused by cancer  
5 and respiratory problems after being exposed to the  
6 harmful toxins at Hanford and Rocky Flats. In 1993  
7 Carol was notified by the USDOE she was exposed to  
8 beryllium because a coworker died after developing the  
9 disease. Gai Oglesbee, Subtitle B and D claimant,  
10 National Nuclear Victims for Justice. And I will give  
11 your Chair a copy of what I have here, and I have many  
12 more -- any many more records I want to send you. I  
13 have over 75,000 records accumulated.

14 **DR. ZIEMER:** Thank you very much. Our next  
15 speaker will be Thad Coleman, and Thad -- oh, that's  
16 the individual who -- I think Thad already addressed us  
17 this morning -- or this afternoon. Thank you.

18 Louisa -- is it Jahnke? Louisa Jahnke.

19 **UNIDENTIFIED:** (Off microphone) (Inaudible)

20 **DR. ZIEMER:** I'm sorry?

21 **UNIDENTIFIED:** (Off microphone) (Inaudible)

22 **DR. ZIEMER:** You want to use this mike here?  
23 Oh, okay. Thank you. Did you have someone else you  
24 wanted to speak in your behalf or --

25 **UNIDENTIFIED:** (Off microphone) (Inaudible)

1                   **DR. ZIEMER:** Okay, thank you. E. R. Samser,  
2 Samson, Samser -- E. R. -- you might have to correct me  
3 on the name, sir, when you get up there. He's from --  
4 Samson from Kennewick.

5                   **MR. SAMSON:** Well, there's quite a few people  
6 here tonight I know, but anyway, I've worked around  
7 here many a year and everything, but I'm not going to  
8 talk about that.

9                   I am so thrilled that this group that's here  
10 now has give us more information in one day than we've  
11 had in four years here. Now that's pretty pathetic,  
12 really. That's the thing that's disturbing me.  
13 They've got a lot of money they're spending and  
14 nobody's telling us nothing. And I mean it's bad when  
15 you call Seattle and they say well, can I get -- I want  
16 to see where my list is of my -- on my plan that -- as  
17 I wrote to you guys about, and they say well, we'll  
18 have to see if the examiner's got time to work with  
19 you. I've seen the examiner one time in two months.  
20 The rest of the time, he never comes on.

21                   Here we are trying to find out what's going  
22 on. I think I have a pretty good claim, you know. I  
23 worked all over the -- every area out there and  
24 everything. My nose is half gone and everything, but I  
25 don't -- I don't let that bother me. What I want to do

1 is -- we got a little group of people here that a lot  
2 of their husbands has all passed on and whatever, on a  
3 fixed income. I want some of them people. I'm not  
4 worried about me, I'll make it. But some of these  
5 people that needs the money and everything, you know,  
6 especially on the medical end of it. So I'm going to  
7 close by just telling you that. I think we need some  
8 more help like you give us today would help a bunch of  
9 things around here. Catch you later.

10 **DR. ZIEMER:** Thank you for your comments.

11 (Applause)

12 **DR. ZIEMER:** Again, I'm having a little  
13 trouble reading the writing. It's -- the last name  
14 appears to be M-o-u. Is it -- could be a Charles W.  
15 Moore, maybe?

16 **MR. MOORE:** (Off microphone) That's me.

17 **DR. ZIEMER:** Is it Moore?

18 **MR. MOORE:** (Off microphone) I'm not a Ph.D.  
19 there and I wrote that.

20 **DR. ZIEMER:** Well, you must be a medical  
21 doctor. It looks like a prescription to me.

22 **MR. MOORE:** (Off microphone) No, I'm not  
23 (Inaudible).

24 **DR. ZIEMER:** Anyway, it's Charles Moore then,  
25 is it, from Yakima?

1                   **MR. MOORE:** Correct, from Yakima. I worked  
2                   23 years on the Hanford project. After 23 years I was  
3                   fired because I have asbestosis. I've never received  
4                   any compensation whatsoever about that, but that's not  
5                   why I came here to talk to you. I come to talk about  
6                   reconstruction of the dosage, and I have a whole bunch  
7                   of documentation that I want to give the panel. I have  
8                   one here that's got my name on it. It's a five --  
9                   four-page document about my personal exposure, but it's  
10                  not what I come to talk to you about, nor will I read  
11                  it.

12                  But I have a document here that I received  
13                  under public disclosure showing my dose rates from --  
14                  oh, boy, I had cataracts removed the other day and it  
15                  changed my eyesight a little bit -- from 1950 to 1972,  
16                  and it kind of lays out what I've received. And then I  
17                  went through my documentation and here's a document  
18                  here that says mine was withdrawn. Here's another  
19                  document that shows that I received a lot of radiation  
20                  in a year that is not on the first documentation. They  
21                  forgot to put it on there. And here's another document  
22                  exactly the same.

23                  So I don't want to talk too long. I just  
24                  want to say that there is no way we can reconstruct  
25                  dose radiation from years ago because they didn't keep

1 track, nor did they give a damn -- excuse my  
2 expression.

3 Here's another document from Battelle, says  
4 that my documents has been changed, altered. This is  
5 kind of one of my favorite ones. It says that I had  
6 contamination on my nose in dash five building. I took  
7 a shower, changed clothes, and I left the building and  
8 the alarm went off. It wasn't on the tip of my nose; I  
9 had alpha particles in my nose. That's kind of a good  
10 example of what we had to contend with out there.

11 Here's another document that says that --  
12 primary the same thing. It is not on the computer  
13 sheet with the dates. Another document, deleted. And  
14 here's a nice little document. Remember your weekly  
15 radiation dosage that you signed the bottom of each  
16 week? A lot of you remember those, don't you? Well,  
17 this is not my signature. Somebody forged my signature  
18 on this one. So how can we reconstruct something if  
19 the left hand doesn't know what the right one's doing?

20 Here's another one about the same as the  
21 other one. So I just wanted to give the panel these  
22 documents to go through and look at and tell me if they  
23 can figure dose radiation from what we received out  
24 there. And I thank all of you, and have a good day.

25 (Applause)

1                   **DR. ZIEMER:** Okay. Thank you, Charles. Next  
2 we have Randy Knowles. Randy's with PACE and -- here  
3 in Richland. Randy Knowles.

4                   **MR. KNOWLES:** (Off microphone) I had intended  
5 to speak on behalf of Mr. and Mrs. Williamson, but (on  
6 microphone) their son's here and I think it's more  
7 appropriate that you hear from him --

8                   **DR. ZIEMER:** That would be fine.

9                   **MR. KNOWLES:** -- instead of me.

10                  **MR. WILLIAMSON:** My name's Jim Williamson. I  
11 was just writing notes as I heard my name called, so  
12 you have to understand I'm not really ready because I  
13 didn't know I was going to speak tonight. But I'm  
14 speaking on behalf of my mom and my family and my dad's  
15 name was John Williamson and some of you know him as  
16 Jack. He was hired in 1987 -- 1967 and he worked for  
17 25 years. He retired in 1993, and that same year he  
18 was diagnosed with cancer; 1996, a few years later, he  
19 also had part of his nose cut off, like the gentleman  
20 said earlier with him. And two years after that, he  
21 was screened for asbestosis and it was confirmed he had  
22 that. And in '99 he was diagnosed with myelodysplastic  
23 syndrome and finally myelomonocytic leukemia in August  
24 of '99. And I remember -- I mean I vividly remember --  
25 I have four kids and I remember this day with my dad

1 more than I remember my kids being born, but I remember  
2 the doctor looking at my dad and saying John, you have  
3 a disease -- a rare disease that has no cure. You have  
4 one year to live. I mean it was just a -- I replay  
5 that many times in my life.

6                   Again, here's where I'm not quite sure...  
7 But anyway, my mom had LNI claim and it was from the  
8 State of Washington and it was -- they won the claim  
9 with the State of Washington and it was one of the few  
10 or maybe the only ones with the Hanford cancer-related  
11 cases that has won in the State of Washington, but in -  
12 - for the Federal, for some reason, it's -- it's not  
13 working, for some -- we just don't understand if -- if  
14 it's one of those cases that the state is paying and  
15 they're supposed to have some kind of process where the  
16 cases that are -- that are easy to process and  
17 everything's already done, and I -- and I don't even  
18 know how many years ago it was, three, four years ago,  
19 my mom's still trying to deal with this and it keeps  
20 getting backed up or they call her and they're doing a  
21 phone interview and my mom doesn't -- my mom's here so  
22 I can't say anything bad about my mom, but she doesn't  
23 have -- she doesn't have a clue on those kind of  
24 questions or the way they're asking the questions. So  
25 I teach school and I take off school and I go over and

1 I try to help my mom with the questions and it's just -  
2 - it's kind of unfair -- it's very unfair, and I feel  
3 for the -- all of you that are in here that are going  
4 through that, that are dealing with this stretched out  
5 and stretched out. Again, I -- I don't know what it  
6 is, four years, five years -- seems like a long, long  
7 time that we're dealing with this process.

8 So again, I just -- if it -- to me, if it  
9 happened at the State of Washington and they've already  
10 gone through and they say yes, it's -- he died of  
11 cancer and I -- and then how come at the Federal level  
12 it's -- I don't know, we need to check it out a little  
13 bit longer and spend another four years. Anyway, it's  
14 -- sorry for being unorganized, but I didn't know I was  
15 going to be speaking tonight.

16 (Applause)

17 **DR. ZIEMER:** Okay. Thank you very much, Jim.  
18 Next we have Ken Staley. Ken Staley, is it? Ken?

19 **MR. STALEY:** (Off microphone) Here or up in  
20 front?

21 **DR. ZIEMER:** We'd prefer in the front, if  
22 you're willing.

23 **MR. STALEY:** (Off microphone) (Inaudible).

24 I think maybe I'll talk into this. My name  
25 is Ken Staley. I come back here in 1946. I come out

1 here in the '40's early when they were building  
2 Hanford. Uncle Sam knocked on the door and I got hurt  
3 in the south Pacific. I come back in '46 and started  
4 working at Hanford and there isn't an area out there  
5 that I haven't been in.

6 And I think probably that I've looked around  
7 the room here and seen a lot of people that I know have  
8 worked there, but it's very obvious to me that when we  
9 first started working out there, you were allowed 300  
10 millirems a week. You were allowed 50 a day or 300 a  
11 week. My contention is, no one explained where that 50  
12 went and you were able to pick it up the next Monday,  
13 or the next -- the next week.

14 I understand from my son-in-law now they're  
15 allowed only 100 a week. Am I getting to everybody?  
16 Well, I'll tell you what. The people have moaned and  
17 groaned about these down-winders. I happened to have  
18 worked in that 108-B building, the P-10 project. I  
19 have four children by my first marriage, '47, '49, '51  
20 and the one born in '53. It so happens that not only  
21 me, but my friend of mine's daughter was born the same  
22 time in '53 that the down-winders are hollering about  
23 this stuff that went up the stack, that beautiful  
24 yellow smoke. She's been in a wheelchair over 30 years  
25 with MS. Her girlfriend, born the same time, over the

1 same period of time, is in the ground. I have asked  
2 several people, did I contribute that to them.

3 And this building, this 108-B building that  
4 I'm talking about, this other electrician is in the  
5 ground, and I went in there. I said Art, what in the  
6 world have they got these scales in here for? Well, he  
7 said, Boat, he -- my name is Boat, Steamboat. He said  
8 they weigh this heavy air before they let it go. I  
9 said what the hell they let it go for -- excuse the  
10 French. Once in a while I speak French. He said but  
11 they weigh it so they know how much they've got.

12 Now I know -- I go around the room and I know  
13 a lot of these people go around 240 to shortcut over to  
14 the coast. They see this beautiful orange-yellow smoke  
15 go up the stacks in the 200 areas. What is it? I'll  
16 tell you what it is -- contamination off the slugs that  
17 they've taken the stuff off them. Where does it go?  
18 Out in the prairie. Now they're worried about the deer  
19 and the rest of them having it. Well, I wouldn't eat a  
20 deer from out there anyway, but my contention is this.  
21 This gentleman that's sitting back here that I worked  
22 with for years, if you've noticed his beautiful nose --  
23 1973 he had a speck go up his nose; it took them five  
24 hours to get it out. They went in with chemotherapy  
25 and burnt on him. It come back on the lobe about a

1 year later. If you look at his beautiful face, it's  
2 going to cost \$40,000 to get it rebuilt. There hasn't  
3 been one iota finances to help this gentleman out --  
4 none -- whether the Medicare or the -- what will take  
5 care of it, but they're not getting off their duff here  
6 to help anybody that has been irradiated with this  
7 stuff.

8 I've got beautiful arms here. It's not from  
9 the sun. It's from different things that you get into  
10 out there. I've never smoked in my life. I have to  
11 admit I put them on -- swinging graveyard in the bars  
12 once in a while. But security was so tight at the time  
13 that this happened in the '50's and the early -- late  
14 '40's that five of us had a glass of -- one pitcher of  
15 beer down at the old Rec Hall down here, and I happened  
16 to be the last one in. This is telling you how tight  
17 security used to be. I was the last one in with this  
18 little glass sitting there and I said gosh, that's for  
19 saving it for me. You better be good 'cause we were  
20 about to drink it. I sat down and said see those two  
21 fellas sitting at the bar up there? I said yeah. He  
22 said that one guy's been shooting his mouth off of what  
23 he's been doing out there. And I hadn't finished that  
24 glass of beer and he grabbed him bar and he said he's  
25 fired, come on.

1                   Now this is how tight security is and nobody  
2                   -- and I'm sure around here a lot of them know what I'm  
3                   talking about, but I know there's a woman didn't want  
4                   to get up here, used to be my neighbor, and we were  
5                   interviewed from the State Radiation Department, three  
6                   of us, Ray Samson, myself and Louise Jahnke. She has  
7                   pictures of her husband. For the last five years he  
8                   was dying of radiation poisoning. She don't want to  
9                   speak.

10                   We were interviewed three years ago by  
11                   *Seattle Times* -- three years ago, Bobby Pittman, Ray  
12                   Samson and myself. Bobby Pittman had radiation so bad  
13                   when he come out of that danger zone, they field-  
14                   stripped him, scrubbed him and buried the truck. Now I  
15                   know a lot of you people working out there know what  
16                   I'm talking about, burying radiated equipment. Before  
17                   my friend Bobby Pittman died, he was on chemotherapy  
18                   three times a week. Now if you think that's fun, try  
19                   it.

20                   I'm not going to get up here and preach  
21                   because I preach Fridays. I don't know religion  
22                   preaches Fridays, so I'm going to get down. But I do  
23                   want you people to know that they're very, very slow in  
24                   compensating these widows and some of these other  
25                   people out here, and it's very obvious that somebody is

1 filling their pocket up other than taking care of these  
2 widows. I have a unit of about 12 or 20 people -- I  
3 think it's real close to 20 people -- that we are  
4 talking about, widows. I'm looking at a few of them  
5 around here. What they're living on? I had one of the  
6 people say -- I had to loan this woman \$100 so she  
7 could eat for the rest of the month. Is that fair  
8 because her husband died of this stuff? Not really,  
9 fellas.

10 I've heard this early morning session where  
11 they were talking about Paducah, this wave and -- I  
12 think I heard, in the whole course this morning,  
13 Hanford mentioned only twice. What are they waiting  
14 for? These men and women come in here to understand  
15 what kind of stuff has been going on out there, and  
16 these people at NOSHA (sic) down there or whatever they  
17 call it, I don't think any of them -- I don't believe  
18 any of them have ever been across that 300 area line  
19 toward the radiation and know what they want.

20 I have a claim number. I have been denied.  
21 I had a sample taken out of my arms that are so  
22 beautiful, but they took it in the wrong place. It  
23 come back benign. My case number's 2398. I've heard  
24 nothing but it has been denied. So it kind of makes  
25 you wonder, and the woman down there was a little bit

1           impudent with me. I said don't worry about it, they  
2           still make lawyers, and that's a heck of a way to be.

3                       I'm not going to preach anymore because I  
4           know there's other people got better stories than mine,  
5           and I thank you.

6                       **DR. ZIEMER:** Thank you, Ken.

7                                       (Applause)

8                       **DR. ZIEMER:** Next I have Michael Henning. Is  
9           it Michael Henning? Michael Henning is -- I think  
10          that's Henning. Anyone with a name similar to that?  
11          Okay, perhaps is not here.

12                       Richard Miller, Government Accountability  
13          Project.

14                       **MR. MILLER:** (Off microphone) Why don't I  
15          pass for now, Dr. Ziemer?

16                       **DR. ZIEMER:** Okay. Donna Beecroft. Donna?

17                       **MS. BEECROFT:** I wanted to make a comment on  
18          dose reconstruction. In January of 1943 we moved here.  
19          My dad was one of the first people to be a reactor  
20          operator out at Hanford.

21                       In those early years you probably know that  
22          the rods -- the nuclear rods were changed by hand, so  
23          whenever the reactor slammed, Dad would go in and put  
24          on these white gloves and white suit and go in and take  
25          these rods out and they were disposed of. The gloves

1           came off and went into a big bin and the white suit  
2           went into a big bin, and all of the beautiful equipment  
3           like pliers and wrenches also went into a bin and  
4           everything was buried.

5                         One time one of Dad's coworkers tried to  
6           sneak a wrench home in his lunch box and was fired  
7           immediately. And my dad was not a person ever to take  
8           anything that didn't belong to him, but he thought that  
9           was so severe because I don't think in those days they  
10          understood anything that they were up against. This  
11          was -- this was fun, it was wonderful, it was exciting  
12          and we loved living here.

13                        We didn't come and ask for this money. I was  
14          approached and asked if we would apply for it, and I  
15          feel like we have not been treated well and we never  
16          asked for it. My dad worked -- when the reactors went  
17          down, sometimes Dad worked three shifts in a row, and  
18          they were -- they had a limit, and I don't know if it  
19          was seven minutes or 12 that they were supposed to be  
20          inside the reactor, and the -- but -- and then Dad  
21          would come out -- seven minutes, and Dad would come out  
22          and take off his gloves and his suit and put it in the  
23          bin that gets thrown away and put on a new suit and new  
24          gloves, and go back in again. And he wasn't supposed  
25          to do that, but they did it over and over because when

1           that reactor went down, you didn't say well, my time is  
2           up. You know, you kept on working, and they kept on  
3           working and a shift -- back-to-back shift.

4                         It's the first time Dad ever heard of a TV  
5           dinner. They had these dinners and they'd just heat  
6           them right up and it -- it was fun, it was exciting.  
7           He liked it. And he didn't know that he was getting  
8           sick, and some of his friends died. The first one was  
9           Jack Spadey and another one was Earl Sealey, and I  
10          happen to know them because -- I mean I knew them  
11          personally, and so -- and I'm sure a lot of others did,  
12          too.

13                        Anyway, now when I -- I call, they tell me  
14          well, we are looking at -- this is ten months -- ten  
15          months Dad's been in dose reconstruction. We're in  
16          dose reconstruction, but I'm wondering, you know, how  
17          in the world are you doing dose reconstruction when Dr.  
18          Charles Moore who spoke to you, his record's from 1950  
19          to 1976, and do you think they did a better job in  
20          record-keeping back in 1940? Let's see, 1943, that's  
21          when Dad started working, before they even had robots  
22          to change those rods. He was changing them with his  
23          hands. And you say you're going to do -- Dad knew what  
24          he was doing was illegal. He wasn't supposed to be  
25          taking that -- he'd -- he'd go on the geiger counter,

1           it'd tick -- when it ticked so hard that he couldn't  
2           make it quit ticking by showering and scrubbing and  
3           soaping, when he didn't quit ticking, then he didn't go  
4           back in anymore. But he came home like that.

5                        Now I don't want you to think we're a pitiful  
6           little family, because we're not. And we are proud of  
7           what my dad did and we're proud of him. We're proud of  
8           Hanford. We love it and we've enjoyed our lives here.  
9           It cost us to be here, but it's worth it. But this  
10          wasn't just Dad.

11                       When he comes up with bladder cancer, he had  
12          years of chemotherapy, changed his personality, it's  
13          taken a toll. I have three brothers and all three of  
14          them have thyroid problems and have had to have -- one  
15          of them's had the thyroid removed, maybe two. My only  
16          sister had breast cancer. My mom died of cancer. I  
17          seem to be the only one who made it just great, but  
18          anyway, the dose reconstruction, that's my point.

19                       I don't think it's honest or fair to say  
20          you're going to do dose reconstruction. You can't do  
21          dose reconstruction. They didn't keep those records,  
22          and you know they didn't write down when the dosimeter  
23          had a higher number than was legal. They didn't write  
24          that down. They wrote down something that was legal.  
25          And why is it that DOE has recognized that it's

1 impossible to do dose reconstructions at other sites,  
2 but not at Hanford? I don't think it's fair.

3 We didn't ask for the money. I was not -- I  
4 was contacted. I didn't come and ask for it. But now  
5 that it was offered, and it's been what, nearly three  
6 years or -- over -- well, and -- and as far as I know,  
7 there isn't anything's been done on it, and it seems  
8 like it's a dead end. And I appreciate the opportunity  
9 to speak to you.

10 (Applause)

11 **DR. ZIEMER:** Okay, did Michael Henning come  
12 back to the room? Yes.

13 **MR. HENNING:** My name is Mike Henning. I've  
14 worked out there since 1978 and --

15 **UNIDENTIFIED:** (Inaudible)

16 **MR. HENNING:** My name's Mike Henning. I've  
17 worked out there since 1978. I've worked pretty much  
18 every building that's there. I was working as a QC  
19 inspector, inspecting pipes they broke and everything  
20 else, and going in the tank farms and doing that sort  
21 of stuff. And I have had my reconstruction done. I  
22 filed it in 2001, December 2001, and they came back and  
23 said that I had so many rem and that it was less than  
24 the 50 percent required.

25 Well, they didn't say what the 50 percent

1 required was, where it had to be five rad or 50 rem or  
2 whatever it was supposed to be. They didn't never tell  
3 us -- tell me in the letter or anyplace else that I  
4 know of what that criteria is. And where do they come  
5 up with this criteria, pull it out of their hat? I  
6 don't know. They don't tell you that, either, where  
7 they got these criteria for making these -- for  
8 rejecting you or whatever. And I -- I've had lymphoma  
9 cancer five or six years ago -- six years ago, and it  
10 hasn't come back again, but I don't know whether it  
11 will.

12 You have people ask you whether or not you're  
13 clear from the cancer. Well, I was clear before I got  
14 it, so I don't know.

15 So I just -- I think they need to inform  
16 people a little better about what criteria they're  
17 using and where they got their criteria and give --  
18 like I said, they said I didn't meet 50 percent. Well,  
19 what was 50 percent? I don't know. So thank you very  
20 much. Oh, and I am glad you guys are here.

21 (Applause)

22 **DR. ZIEMER:** Thank your very much. Your  
23 comments are noted. The issue of communicating is one  
24 that we hear fairly regularly and it's something that  
25 is certainly being worked on.

1                   Let me ask if there are any other individuals  
2 here who did -- who did wish to speak but did not get  
3 an opportunity to sign up on the sign-up sheet?

4                   Sir? Please. We have a little time, so we  
5 can take additional...

6                   **UNIDENTIFIED:** (Off microphone) (Inaudible)  
7 gentleman says he signed up and he hasn't been asked to  
8 speak yet.

9                   **DR. ZIEMER:** Am I missing a -- I may be  
10 missing a sheet. Please go ahead, sir, and we'll -- we  
11 have time, we'll get you next.

12                   **UNIDENTIFIED:** Well, I want you to know I'm  
13 only 86 years old. I came here to Hanford in 1944.

14                   **DR. ZIEMER:** And give us your name, for the  
15 record, please.

16                   **MR. SHATELL:** Charles W. Shatell.

17                   **DR. ZIEMER:** Thank you.

18                   **MR. SHATELL:** And I came here with the DuPont  
19 Company, but as far as radiation work is concerned, I  
20 wasn't involved in any until 1948, and from 1948 I  
21 worked for the Jones Company and -- or the contract  
22 under Jones, and we did radiation work for all the  
23 reactors. And so finally with -- well, I ended up with  
24 cancer. And in 1978 we used 400 men -- 400 exposure --  
25 radiation exposures of 400 men in 100-N when we were

1 changing all the valves out. And of course now  
2 everybody thinks this film you've got on your badge was  
3 -- tell you how much radiation you took, and that is  
4 not so 'cause most of the times that we worked in 100-N  
5 on all those valves, it was beams from material that  
6 was in these valves. And a lot of -- well, I guess  
7 that when the fuel elements had a rupture and then  
8 those -- those partly -- is -- gets into the valves and  
9 you get a beam from them, and that's what you get most  
10 of your radiation from was the beams from ruptured fuel  
11 elements and whatever. And I don't know how many times  
12 I've talked to people since I've been in this cancer  
13 business, and they think this film badge on here tells  
14 you how much you get. Well, that's not so.

15 Well, anyhow, in 1978 we did all this work  
16 out here at 100-N. And as I say, we used 400 -- the  
17 exposure of 400 men. And right at the last of the  
18 valves, we run into cobalt 60. I don't know whether --  
19 how many of you know about cobalt 60 or not, but  
20 anyhow, we had a rupture -- fuel element, evidently --  
21 and we had a valve that read 550 R, which is pretty --  
22 pretty rough. And that day that we run into this valve  
23 that read 550 R, everybody left. And you couldn't  
24 blame them. All the engineers and everybody that we --  
25 was taking over the thing, they all left. They didn't

1 want anything to do with 550 R.

2                   So we -- when we took the contract from Jones  
3 to do these valves, we anticipated that we might run  
4 into some high reading radiation, and so we built boxes  
5 -- lead-lined boxes, even up to the point of three-  
6 quarters of an inch thick of lead -- that when we cut a  
7 valve out we could put it in that and then you could  
8 handle it. But this cobalt 60 -- and I didn't know  
9 that they was even using cobalt 60 as a fuel element,  
10 but I guess they were. So we run into this valve that  
11 was reading 550 R and so what do you do? You can't  
12 even get close to it.

13                   So the plumbers and the fitters are the ones  
14 that had jurisdiction over these valves. We had  
15 decided that we would take 300 MR per week. That was  
16 it. And anybody caught going over that amount on their  
17 own, like putting their things in their hip pocket or  
18 whatever, they would get fined \$1,500, so most of them  
19 -- nobody ever went over it that I know of.

20                   And so I know that I was one of the -- I was  
21 the general foreman over the group and any time we had  
22 something that was reading 550 R, I wanted to be damned  
23 sure that somebody didn't do something wrong, so I went  
24 with them all the time whenever we had something that  
25 was -- reading that hot. And so I happened to be -- I

1           happened to be one of the people that did one of the  
2           operations that -- we decided how we were going to do  
3           it and got it all set up to take this valve out. And  
4           we got young -- agile young fellas. The boy that could  
5           go by the valve and put a choker on it in three seconds  
6           -- he had three seconds. In three seconds you would  
7           get 300 MR. He had to do it in three seconds, so he  
8           did it. And I know I was up above with a electric  
9           hoist with a hook hanging down and he hooked the choker  
10          onto the -- onto the electric hoist.

11                        So anyhow, then the welder that cut the  
12          bolts, he had a cut torch with a six-foot handle and he  
13          was able to take his 300 in one minute, and he cut the  
14          four bolts -- cut the nuts, the bolts off, then they  
15          dropped out.

16                        In the meantime, we had this valve hoisted  
17          up, pulled the pipe apart and whatever and we hoisted  
18          the thing up. And the job that I did was nothing. I  
19          put a plastic bag over the valve as it come up through  
20          the floor. And -- because if that particle that read  
21          550 R dropped out on the floor, you'd been in a hell of  
22          a shape, so that's what I did. And I put that -- that  
23          plastic bag on the valve, and it come right up by me  
24          and I had three seconds to do it, and I did it.

25                        And so two years later, that's when I found

1 out when I had a four plus four cancer in my prostate,  
2 and it was really -- I'd never had any cancer before or  
3 anything like this. I've been a pretty healthy guy all  
4 the way down the line, so anyhow, when this new  
5 urologist come in, he got a bunch of new equipment and  
6 he -- he found this -- with the biopsies, he found this  
7 cancer on my prostate and it was four plus four. Now I  
8 don't know whether -- how many of you know what four  
9 plus four means. They told me that five plus five  
10 would kill you, so it was -- pretty hot thing.

11 So anyhow, now I signed up for this deal for  
12 the Hanford setup, and when I signed up for it of  
13 course they needed all this information. The Jones  
14 Company that I was -- worked with, every day that  
15 anybody worked on any of our radiation, we had a log  
16 book that was fixed up every day and wrote down exactly  
17 what everybody did, how much radiation they took and  
18 location and everything. The reason we did that was  
19 because we got sued two or three times for people  
20 saying they did this and did that -- just like your  
21 down-winders or whatever now that stuff drops into you  
22 out of the sky. But anyhow, those log books, when I  
23 left there we had a whole filing cabinet full of them.  
24 And Jones Company, when they left out there, they give  
25 them to DOE and brought them down here to DOE. If they

1           could get those log books, that'd solve a lot of  
2           problems for these people as to what they did, where  
3           they did it and how much radiation they took and the  
4           whole works. So -- but they tell me they can't find  
5           them, so I don't know.

6                         But anyhow, I signed up with this thing and  
7           I've been going through all the -- the -- for the NIOSH  
8           and the whole works, and in 2002 I spent \$10,000 on my  
9           cancer. Now the shots that they gave me -- I know that  
10          gal from DOE says what? The shot I took every four  
11          months was \$2,400 a shot, and it didn't take too long  
12          to get up to \$10,000 bucks when you do that. But it  
13          did the work. It got my cancer way down, PSA was way  
14          down. But I still got -- the doctor said oh, hell,  
15          your PSA is down, you don't need any more shots. I  
16          said no, I want a biopsy to make sure. So we had a  
17          biopsy and find out sure enough, I still got a little  
18          bit of cancer left. So we're thinking the \$2,400 shots  
19          -- it was \$2,360 when we started but \$2,400 now, and  
20          (Inaudible). I can't under-- this nurse says did you  
21          ever have gold pumped into you, and I said no, I never  
22          did. She said well, you just did. At that time the  
23          shots was \$2,360.

24                         So anyhow -- so now I've went through all  
25          your NIOSH and I've went through telephone interviews

1 and I've went through the whole works, and finally I'm  
2 back to the deal now where they want to know actually  
3 how much money I spent, so maybe they're getting ready  
4 to pay me, I don't know. But I asked that -- the girl  
5 that is the first gal under -- oh, the head of the DOE  
6 -- she wrote me a letter and told me about (Inaudible)  
7 four plus four cancer, and she knew it was high, and  
8 she put her phone number on there, so I figured if  
9 anybody puts their phone number down, they expect you  
10 to call them. So I did and I called her and I said  
11 well, what I want to know is when are you going to  
12 start paying us some money so we can get -- get this  
13 thing back in shape again. And she said well, that's a  
14 different story. She said we put in for that program  
15 every month into the White House, and Mr. Bush turns  
16 her down every time. He said they've got insurance,  
17 let their insurance take care of it. Sure, I've got  
18 insurance. But my insurance now is up to \$530 bucks a  
19 month and it's going to go higher. You can bet your  
20 life on it. And each time I get a letter from the  
21 insurance company that says -- and they turn their --  
22 turn me down, but they said we're taking it under  
23 advisement. So finally they come and pay it. But with  
24 \$530 a month, that's getting up around \$6,000 a year.  
25 And so I think it's time that NIOSH or whoever's doing

1           it would be start recognizing the fact that the  
2           insurance companies can't be expected to pay for the  
3           whole thing.

4                         And then another thing, that other \$40,000  
5           that they had there was -- I don't know what that was  
6           for, for a person. And every time I talk to anybody,  
7           how's your cancer doing? So it -- that \$40,000 was to  
8           take care of whatever happened to you, I suppose. But  
9           I think -- I think that radiation, as far as Hanford  
10          was concerned, we did a lot of it. And all the records  
11          was kept and everything and I'll say one thing for the  
12          DOE. When we had suggestions, they did them. We told  
13          them how to clean the radiation down and before we'd go  
14          in, and for a higher radiation they would clean the  
15          place up first, and that was good. And they got us  
16          blankets with -- lead-lined blankets to where we could  
17          stop these -- the beams from hitting you. So I'll say  
18          one thing for them, they were -- they were cooperative  
19          with us on the thing.

20                        But since you have a group here of these  
21          people, I think there's one thing I would -- I'd just  
22          like to add before I quit. Quite a number of years ago  
23          it came out in the paper that anybody that had  
24          leukemia, radiation didn't -- was -- didn't have a  
25          thing to do with leukemia. And it was -- and it was

1           believed. People believed it. So this boy, that HEHF  
2           doctor here, I don't know what his name was because he  
3           wouldn't tell us, but we've -- from the plumbers and  
4           fitters, we decided that we were going to -- wasn't  
5           going to take 5,000 MR a year, we was only going to go  
6           -- take 3,000. And so we had an awful time getting it  
7           through, but they -- our international president said  
8           no, you're going to take 5,000. I said well, come on  
9           out and take it, if that's the way you want.

10                        So anyhow, they -- we talked to a nurse.  
11           She's dead now, God help her. She would come and take  
12           blood samples of our people that was -- we were going  
13           down in the -- like at 100-N, down in those holes, and  
14           they get 300 MR in about eight minutes. So we would  
15           take a blood sample of the boys that went down in those  
16           holes and this doctor that came along, he brought his  
17           microscope out and he would take this plate and make a  
18           plate of the blood sample. They would go in and do  
19           their job at high radiation and eight minutes, and come  
20           out and we took another blood sample right after they  
21           come out and he made a plate for it. And after looking  
22           at -- a lot of people never looked in a microscope  
23           before, but I have, and we looked at it -- at the  
24           plates. And this doctor said you see that? When  
25           you've got leukemia that's what your blood looks like.

1           So it was pretty much proven that radiation upset your  
2           blood system, too. So what we did, too, we went a week  
3           after that and took another blood sample of the same  
4           people and their blood was back to normal again. So  
5           that's were we came up with the 300 MR. That's all we  
6           took.

7                        So I just hope some time or other that they  
8           start paying us to get back even again because -- oh,  
9           one other thing. I just got a letter from them. I  
10          complained to them about -- that they didn't have any  
11          doctors or people that interview us from the Hanford  
12          project, so they sent me a list of the people from --  
13          they said all you've got to do is just put a circle  
14          around the ones you want to talk to, so that's what  
15          they did. And this one guy I talked to, he had never -  
16          - he didn't even know what a tube reactor was. He'd  
17          worked in labs all his life, you know. And so as I  
18          say, they cooperate with you pretty well. But it's  
19          been quite a few years since -- since this thing's been  
20          going on and I hope I outlive the cancer. I don't know  
21          whether I will or not, but -- but anyhow, I'm still  
22          taking the shots. And these shots that they give you -  
23          - any of the women in here that's over 50 years old  
24          know what I'm talking about -- you have hot flashes.  
25          Yeah, you do, you have hot flashes. And I mean -- so I

1 told the doctor, I said God, those hot flashes -- he  
2 said oh, hell, I got a pill for that, so he give me  
3 some pills for it. And also this thing that you're  
4 taking, you take this shot and you -- these hot flashes  
5 you have, your skin just burns up, you know, and -- but  
6 you get red spots and green spots in front of your  
7 eyes. That's what -- that goes with those shots. So  
8 you -- so I'll tell you, it's -- it's quite a -- it's  
9 quite a thing to go through that, and I just hope that  
10 -- that they get their act together. They say they're  
11 up in the million dollars now that they've give to  
12 people in -- in the Hanford project. I hope they --

13 **DR. ZIEMER:** We had those discussions. Thank  
14 you. Thank you.

15 (Applause)

16 **DR. ZIEMER:** I think they cover everything  
17 but hot flashes, actually. Just -- thank you very much  
18 for your comments.

19 The other gentleman that -- is over here,  
20 yes.

21 **MR. DAVID:** My name is John David. I'm a  
22 sheet metal worker. I'm fortunate enough right now to  
23 represent the sheet metal workers here in this area,  
24 sheet metal workers Local 66. And I think it's pretty  
25 evident to anybody that's had an opportunity to hear

1 people speak here that this record -- dose  
2 reconstruction just absolutely, totally does not work.

3 Now I can remember working out there where  
4 this gentleman worked, and I can remember working with  
5 that gentleman right there, and he's a sheet metal  
6 worker and his father was a sheet metal worker. And  
7 whether you're a sheet metal worker or a pipe fitter or  
8 whatever you did out there, you took a whole lot of  
9 dose. And it's pretty amazing to me that -- and I can  
10 remember people called timekeepers, and that's all  
11 their job was, they kept track of our dose. Now where  
12 all these books went is pretty amazing to me because  
13 they've got stacks of books everywhere out here and  
14 they've got every record in the world. And I'll  
15 guarantee you if I did something wrong out there, they  
16 could find every record on me they -- and they could  
17 probably replicate it in -- just like that. But when  
18 it comes to finding out for these people's medical  
19 issues, they can't find squat. Now there ain't nothing  
20 -- you can't call it anything other than unadulterated  
21 bullshit.

22 (Applause)

23 **MR. DAVID:** Thank you. And these people need  
24 to be taken care of. Now I don't -- you guys can  
25 travel all around the country, and I want to thank you

1 for coming here, I want you to know that, and every one  
2 of us here want to thank you for that. But bottom  
3 line, you've got to give these people what they have  
4 coming, plain and simple. And they've put all this  
5 paperwork together. They've done everything they're  
6 supposed to do, and they're just waiting for somebody  
7 to do what they're supposed to do.

8 And this gentleman here, Mr. Elliott, I've  
9 had the opportunity to see him and his people come  
10 through here, and his people that are sitting over  
11 here, I've seen them and I've seen them here multiple  
12 times. But hey, the rubber's got to meet the road  
13 sooner or later. And people are not going to continue  
14 to accept from you that hey, we're working on it,  
15 because working on it just don't cut it. And so all  
16 these people that are saying that they're trying to do  
17 something, what are they trying to do?

18 Now I'm no genius. Okay? I went to two  
19 years of community college and I went to an  
20 apprenticeship, and I'm proud to tell you that. But I  
21 can figure out that this site needs to be a special  
22 cohort site, and I don't know how long it's going to  
23 take your Advisory Board or the NIOSH or whoever else  
24 it is to come up with that.

25 Now these people around the country, these

1 other sites, they've got that. And you're just going  
2 to continually just talk to them and talk to them and  
3 talk to them -- okay? -- and they're not going to get  
4 anything and these people are dying, and that's  
5 horrific.

6 Now I had the opportunity to work out there  
7 for 14 years. I don't have anything wrong with me, I  
8 don't think. Okay? So you -- you got -- you just got  
9 to bite the bullet and accept that and create this --  
10 make this a special cohort site. You can't beat around  
11 the bush any more than you already have. You  
12 determined now that you had your dose reconstruction  
13 project complete in October of 2003. You can't prove  
14 to anybody that you're getting anything done.

15 I'd also like to say that I happen to have  
16 the opportunity to represent a gentleman that's had his  
17 head opened up twice. Well, he's not eligible. He can  
18 only go through the State of Washington LNI program.  
19 Well, the last time it was, here last October, he got  
20 his head opened up, that was \$250,000 to our health  
21 care plan. That's the second time it's happened. Both  
22 times, fortunately, the tumors are benign. He's not  
23 eligible, and he's going to get some more of these  
24 tumors 'cause he's got to go in every six months and  
25 he's got to get checked. He's 50 years old. He's got

1 to have malignant tumors before he can get any money?  
2 A quarter of a million dollars.

3 This gentleman over here says he's told that  
4 hey, our health care plans will provide it -- provide  
5 for us, and our health care insurance premiums are  
6 going through the roof, which the government has a  
7 responsibility to address this.

8 I also had an opportunity to work with a  
9 gentleman that he came here at the last time Mr.  
10 Elliott and his group of people were here, and he was  
11 so serious about this that he told Mr. Elliott that you  
12 could go exhume my dad's body right now, I'll give you  
13 permission. My sister and I will do that. Because  
14 there's no records of my father ever being  
15 contaminated, and I will guarantee you you will find  
16 plutonium in his system today. Now that's a pretty  
17 serious thing when somebody would be willing to allow  
18 their parent to be exhumed. And there's probably other  
19 cases just like that.

20 Now I also would like to say and I'd like to  
21 thank Eunice Godfrey and the people that are over in  
22 that office that are trying to help these people of  
23 this community, because they have one of the most  
24 thankless jobs that I could ever imagine having. And  
25 they do a fantastic job of working with what they have.

1 But you people and the people that are supposed to be  
2 helping these people have got to do something to  
3 actually come up with something. And so this gentleman  
4 over here doesn't have to tell you about the horrific  
5 medical expenses he's experienced and 86 years old,  
6 which I'll go out on a limb and say it's pretty amazing  
7 to me that he can afford that. And go back and tell  
8 whoever it is you've got to tell wherever you've got to  
9 tell, because apparently they're not here, that this  
10 can no longer go on any longer.

11 And again and lastly, I'd like to thank you.  
12 I know that everywhere you go you're probably hearing  
13 the same story. I don't know if you get paid for what  
14 you do or whatever, but whatever you're getting paid,  
15 you're probably -- you're earning every penny of it.  
16 But you're going to continue to get this until you  
17 finally and -- give these people what they're asking  
18 for, and that's simply just what they're supposed to  
19 get. This program was created in 2000, said hey, come  
20 on, sign up. And it's unacceptable to anybody that  
21 here four years later we have these minuscule numbers  
22 that we get a chance to read in the papers that we've  
23 compensated people for. Comparative to the amount of  
24 people that have applied for this program, it's -- I  
25 don't think that you could -- anybody could really say

1           that it's done its job so far.

2                        So again and lastly, thank you for coming and  
3 please take this message back. Not for me, not for  
4 you, for all these people and for all these people that  
5 aren't here tonight that -- they have died and their  
6 survivors are trying to get this compensation, and  
7 thank you.

8    (Applause)

9                        **DR. ZIEMER:** Thank you very much. Another  
10 gentleman approaching the mike -- give us your name,  
11 sir, and...

12                       **MR. MITCHELL:** My name is J. L. Mitchell and  
13 I worked out at the project for 33 and a half years. I  
14 worked in all the areas and all buildings with various  
15 types of material. In fact, the night that the plant  
16 blew up, I was the one that ran the sample and I was  
17 told that the samp-- we didn't have that much americium  
18 in the project. And we pulled another sample and in  
19 between the two, then she went -- the plant went. And  
20 I got contaminated and so did the rest of the crew that  
21 was there. We really got a shot of americium.

22                        I also worked with the thorium and beryllium  
23 and all of that over the period of time that I was in  
24 the plant. And I -- we always wore badges, but the  
25 badge only reads when it's coming directly to you. If

1           you have the badge pinned here and you turn this-a-way,  
2           well, your body's getting the reading instead of the  
3           badge. So it's not a -- really a true reading there,  
4           and I was never satisfied with -- they put the air  
5           sample up and then they'd take it down the next day and  
6           they let it set 24 hours while it decayed before they'd  
7           take the reading. But in the meanwhile, we was in the  
8           lab all the time getting it all the time and we never  
9           had no decay period. So there's really not a accurate  
10          reading that -- I don't think, because if we had been,  
11          it wouldn't be as many people is sick -- that are sick  
12          from the -- the things that they went through out there  
13          and they taken. And so I'm here to just let them know.  
14          And as I read in the *Reader's Digest*, the article about  
15          McCluskey was really not accurate because they left out  
16          some things and I don't know who dictated to the  
17          writer, but I'm the man that ran the sample and I'm the  
18          one to know what happened. And I just want people to  
19          know that that write-up wasn't really like it was  
20          supposed to be because it was too much left out. I  
21          don't know if it was covered over or left out, but it  
22          really wasn't accurate. And I'm here because I would  
23          like to get compensated for my sickness and for the  
24          suffering and I put myself through. And if it's any  
25          ways possible that I could get some help with this

1 reconstruction because I've been contacted by attorneys  
2 from a southern state -- and I won't call the state --  
3 about my sickness and they wanted to know was anybody  
4 doing anything for me. And they asked me about the  
5 asbestos and I didn't even know about it, and they said  
6 they was in the area and took X-rays and I had  
7 something in my lungs and they figured it was asbestos,  
8 and this is what they was writing me about and they  
9 wanted to get an answer from me. Well, I don't know  
10 what it is, so what can I tell them? So I'm just kind  
11 of between a rock and a hard place, but they keep  
12 calling me and talking to me about it and they said if  
13 -- if they don't do something about it pretty quick and  
14 they was going to take over -- they was going to take  
15 over the -- for my -- and be my attorney, and without  
16 me even knowing what was going on. So I would, you  
17 know, just like to know, is it other people outside the  
18 state that know more about things than we do right here  
19 in the tri-city area?

20 And I realize I been in Arkansas taking care  
21 of my mother for about five years and I really haven't  
22 kept up with everything because I wasn't here. And if  
23 I had gotten any mail there and she got ahold to it,  
24 ain't no telling what would have happened because she's  
25 suffering with Alzheimer's. But really something

1 really needs to be done because it's a lot of people  
2 out -- out here that worked out in that area and we got  
3 a lot of radiation that we shouldn't have gotten. But  
4 we got it and so what we going to do about it? And  
5 thank you.

6 (Applause)

7 **DR. ZIEMER:** I have one more individual that  
8 signed up. It's Hank Hartley. Hank Hartley?

9 **MR. HARTLEY:** Good evening. My name is Hank  
10 Hartley. I did have the pleasure of serving on the  
11 Hanford Health Effects subcommittee with Dr. Henry  
12 Anderson for about six years. For about six and a half  
13 years I have managed the Hanford building trades  
14 medical screening program, and I wanted to touch on I  
15 guess four subjects. I'll start out with Charlie.

16 I worked for Charlie many years ago, the pipe  
17 fitter general foreman who came up here a little while  
18 ago and talked. I was one of those young guys that  
19 used to run down there and attach the chokers, and  
20 Charlie would tell them to be careful of the shine.  
21 Well, I didn't know what shine was, so we went over to  
22 100-H one day to get some valves out and Charlie said  
23 see that wall over there? I said yeah. He says you  
24 got to run like a son of a gun and get over there --  
25 and I did. And then we ran in and put the chokers on

1 and got it out.

2                   Anyway, the only thing I've ever really had  
3 is a little bit of skin cancer, which the doctors burn  
4 off about every three months or so. A little acid fell  
5 on my shoulder in the Purex building -- PNO galley --  
6 and I had a cancer removed from it. It was about 30  
7 years ago I got that on me. But anyway, so much for  
8 that.

9                   What Charlie was talking about is these guys  
10 (Inaudible) shine. I have seen a lot of fellows that  
11 are not even nearly Charlie's age that are gone -- of  
12 all -- of all crafts, of all unions, of all workers of  
13 all types, production and construction.

14                   The other subject I wanted to talk about was  
15 this dose reconstruction. So many times in the past I  
16 wanted to know what my dose rate was or how much did I  
17 get, and they never could really quite tell me. Now  
18 hopefully -- I'm hoping that today they can establish  
19 some way or some means of being able to tell us what  
20 our dose assessment was. I don't know how they're  
21 going to do that. Maybe if they have people that did  
22 receive doses and you worked near them or in the same  
23 building as them, maybe they can do it, I don't know.  
24 I hope that they have means and methods of doing it.

25                   The other thing I was going to talk about was

1           -- so many talk about -- people talk about the down-  
2 winders. Well, you know, I had my doubts a little bit,  
3 too, a long time ago. But time passed and I've  
4 listened to a lot of people talk, especially Gail at  
5 the meetings I attended, and Ken Staley and Mr. Samson.  
6 And anyway, I've been married a few times, but I  
7 married a lady that used to live out in the Waluke  
8 Slopes. She got 47 acres out there. I told her to  
9 sell the property. I don't even want to live near  
10 that. But what happened out there, during the green  
11 run there were people out there, innocent people living  
12 out there just doing their thing, and there are areas  
13 out there that has been documented where people  
14 absolutely died for no real reason. And I'm talking  
15 about like Ritzville, Connell, all the little outlying  
16 lands that are down wind from Hanford.

17                       Well, anyway, I didn't much believe a lot of  
18 these stories until you actually, like in my case, get  
19 married to someone and they talk about it. And there  
20 were a lot of strange things that took place out there  
21 with animals, vegetables, women drinking milk when they  
22 were -- I don't know, six, seven, eight years old and  
23 developing breast cancer. And those women that  
24 couldn't tolerate milk from a cow drank goat's milk,  
25 which was even worse. And I attended a meeting on that

1 up in Spokane, and that was documented about the green  
2 run that got on the grass and the cows ate it and the  
3 animals got it up.

4           Anyway, there was a fella that lived out near  
5 Eltopia, he was a Navy SEAL, and he has kept records of  
6 -- of deaths of people in and around the area that are  
7 hard to explain, and mostly they were cancers. And a  
8 lot of them didn't have cancer in their family, but  
9 they lived out in the blocks, we call it, down wind  
10 from Hanford, and they had pretty bad cancers.

11           Then there was this -- another individual who  
12 -- he's about my age, I would say. He lived out on his  
13 grandfather's farm when he was very young, and the  
14 grandparents used to go out into the wheat stubble and  
15 find weather balloons. And these weather balloons were  
16 released about the same time as the green run and they  
17 would come over and fall down into the wheat stubble.  
18 Well, the folks would go out there and pick up the  
19 balloon. It'd have a little note that says if found,  
20 please return to your Federal government and tell us  
21 where and when and what and how. They did.

22           They thought they were doing their duty to  
23 their country, and they were. But by the same token,  
24 they were sort of being -- I call it experimented on,  
25 you know, what through this release through the

1 balloons and the green run stuff that went over the top  
2 of them. Well, most of those people died of a strange  
3 -- brain stem cancers and things like that. A lot of  
4 those people died from it.

5 And I read about it where so many times it's  
6 written off, saying oh, well, you know, they had to  
7 have it somewhere. Or there's people that live other  
8 places that get it; you know, you can't blame it on  
9 Hanford. But why so many people in such a small little  
10 area? I mean that becomes the question, to me; why so  
11 many deformed animals in that area, vegetables, things  
12 like that. It makes you wonder.

13 Now from my wife's property, which I don't  
14 own, you walk up to the top of the hill and what are  
15 you looking at? 100-N, 100-H, all the places that  
16 Charlie told me to look out for when we were working  
17 out there as a pipe fitter. So I just wanted to touch  
18 on that, that the whole thing is related not only from  
19 the workers at Hanford, but from the people that live  
20 down wind from Hanford, and they suffered serious  
21 consequences.

22 There were cases that I have noticed, having  
23 been a construction worker, Hanford's Health Effects  
24 and the building trades medical screening, where there  
25 were sometimes -- all the people on one side of a

1 block, for example, in Ritzville, would die, but the  
2 people on the other side didn't. Something to do with  
3 the prevailing wind -- I mean who knows? I'm not a  
4 scientist. But I wholly concur with David -- John  
5 David who just got up from sheet metal, and I sincerely  
6 hope that some good things come of these meetings. And  
7 I just want to tie it all together, Hanford, down-  
8 winders, all the people that have suffered one way or  
9 another because -- perhaps because of a lack of  
10 knowledge.

11 So many people are afraid to come to EEOICPA.  
12 I refer a lot of people here from the medical  
13 screening. They're afraid that they can't remember the  
14 details or who they worked for or when or where or  
15 what. But there are ways -- I want to let the public  
16 know, there are ways of finding out where you worked  
17 and who you worked for. It takes a little research,  
18 pension records, Social Security, affidavits from other  
19 people that worked around you.

20 Now Charlie -- speaking of Charlie, there are  
21 many people who could use Charlie as a person who could  
22 sign an affidavit for them, and I have signed myself  
23 four or five affidavits for widows whom I worked with  
24 their husbands in various areas. And now that I saw  
25 Charlie again tonight, it brought it to mind. He might

1 be able to help with a lot of these other people who  
2 are looking desperately for someone they worked for.  
3 They come to me and they say gee, Hank, everybody I  
4 worked with is dead. You know, he was right. They  
5 have been dying at a rapid rate, and they're at that  
6 age where they do naturally die at this time because a  
7 lot of them are World War II vets. My father is dead.  
8 He worked out there. A lot of those guys have passed  
9 on and they're not around to do affidavits and say that  
10 yes, I worked with this individual at 100-K, 100-D,  
11 HHR, whatever, they were there. But I think Charlie  
12 would be a good person who still has good faculties and  
13 he could sign affidavits and help people to prove where  
14 they worked, and that's one of the bugaboos that the  
15 people are worried about.

16 I tell them, regardless of your fear, call  
17 the resource center in Kennewick. Those ladies down  
18 there will help you. They will help you with the  
19 paperwork. They're very good at what they do. They're  
20 personable. I've had many, many, many individuals come  
21 back to my office and tell me how personable those  
22 ladies were, how good they were, how they -- how  
23 helpful they were and how resourceful they were. I  
24 mean they really work hard. And personally, my hat is  
25 off to Judy Goudy, Teresa Hammer, who are the

1 caseworkers there, and Eunice Godfrey, their manager.  
2 I mean they -- those ladies have really done a great  
3 job and I'm here to give them a hand.

4 (Applause)

5 **MR. HARTLEY:** I guess that's all I had to  
6 say. This is kind of impromptu. I was kind of nervous  
7 coming up here. Usually I can talk a little bit  
8 longer, but I'll try and let Charlie be the longer  
9 talker. Thank you.

10 (Applause)

11 **DR. ZIEMER:** Thanks, Hank. We are running  
12 short of time, but there was another individual -- yes,  
13 sir, if you would approach the mike and give your name.  
14 Use the mike so our recorder can pick it up here.  
15 Thanks.

16 **MR. YATES:** Yes, I'm Roy Yates and I'm an  
17 electrician out at Hanford. And I did have colon  
18 cancer and it was stopped, you know -- or caught before  
19 it spread throughout my body, but I did have to take  
20 nine months of chemo. And right now I have on stage of  
21 osteoporosis. You know, they detected it in my back  
22 and hip and the doctors, you know, point for a man to  
23 have it at my age of 56, you know, it had to be the  
24 chemo that affected the thyroid and -- but I'd like to  
25 add a few notes here that -- I worked at Purex and at

1 the plutonium finishing plant and while I worked at  
2 Purex, you know, I witnessed, you know, a lot of  
3 inconsistencies, such as, you know, we had commingling,  
4 and that was throughout the 300-- or 200 areas and --  
5 and that the rad workers would routinely check, you  
6 know, the code site, you know, for any contamination.  
7 And during one check they up and found hot spots on our  
8 chairs in our shop -- one of our chairs. And these are  
9 the same chairs we sat in, you know, with our coming  
10 and going-home clothes. And after that there, a couple  
11 of our more rowdiest electricians, you know, complained  
12 to DOE and at Purex we got that commingling stopped,  
13 which made, you know, management kind of upset, but --  
14 this was for taking breaks and stuff. It was fast to  
15 get surveyed out of a zone and -- and not change out of  
16 your whites.

17                   And at the same -- as time went on, we ended  
18 up finding contamination on our whites after not being  
19 in anywhere where we should have got contamination, and  
20 it came to be that we were getting hot coveralls back  
21 from the laundry and -- so that was another episode  
22 that -- all the stuff is probably -- no records kept of  
23 it, you know, and Rockwell mission, you know, to its  
24 managers, was do what it took to keep the plant  
25 running.

1                   And I was told as electrician at times to,  
2                   you know, do things that I thought was unsafe  
3                   electrically just to keep, you know, different  
4                   components running. And consulting with a radiation  
5                   technician that I worked with, both at Purex and then  
6                   moved on to the dash -- you know, plutonium finishing  
7                   plant, enlightened (sic) me with activities about their  
8                   equipment. They had monitors that, you know, they  
9                   turned off because they'd cause nuisance alarms, and  
10                  then they had inaccurate monitoring of records of other  
11                  monitors. Then they had -- these monitors also  
12                  consisted of aluminum parts, and when they had them in  
13                  the corrosive environments of -- of areas of Purex,  
14                  they -- they tend to fail that way. And I witnessed  
15                  this working on the equipment in those areas myself  
16                  that components were badly corroded. And so we were  
17                  exposed to another element right there with all the,  
18                  you know, toxics (sic) of the corrosions that went on.

19                  And I guess -- like I said, I just -- I knew  
20                  this meeting was -- somebody told me this meeting was  
21                  coming up, but I didn't know about it until, you know,  
22                  just -- just this -- you know, earlier this evening, so  
23                  that's about as prepared as -- I did get my -- I did  
24                  record, you know, my -- my cancer, you know, into the  
25                  ONOSH (sic), you know, reporting. And I got my -- my

1 report back that said I was denied because I didn't  
2 have, you know, the percentage it required. But I  
3 still feel like under, you know, other testimonies and  
4 -- and what I'm stating here that -- that we were  
5 getting shines and other stuff that -- like I'd get  
6 that shine, too, because we went to, you know, the  
7 canyon where we had to work on the crane and the  
8 component you were working on is what they would, you  
9 know, kind of, you know, time kept what you were  
10 facing. But in back of you, you had the crane hook  
11 that was putting off a lot more dosage and a lot of  
12 your monit-- or a lot of your timekeepers didn't  
13 account for that, and that was coming from your back.  
14 So there was other -- oh, various activities of this  
15 nature that I feel like I didn't -- you know, what's on  
16 my records, you know, probably didn't account for  
17 everything that I was exposed to.

18 **DR. ZIEMER:** Thank you.

19 (Applause)

20 **DR. ZIEMER:** Thank you very much. Let's see,  
21 Richard Miller, are you wanting to speak today yet  
22 or --

23 **MR. MILLER:** (Off microphone) (Inaudible)

24 **DR. ZIEMER:** Okay. There will be an  
25 opportunity again tomorrow for public comment.

1                   Let me ask one final time, are there any  
2 other individuals -- I know we've gone past our time --  
3 was advertised as going to 8:30, but -- you have  
4 another lady? Thank you very much.

5                   **UNIDENTIFIED:** (Inaudible)

6                   **DR. ZIEMER:** Okay, right. Well, let's give  
7 this lady a chance and then you'll have the  
8 opportunity...

9                   **MS. VAN DYKE:** Hi, my name is Catherine Van  
10 Dyke and I am not a public speaker so you'll have to  
11 excuse me, but I've been feeling led throughout the  
12 whole meeting tonight to get up here and share. I was  
13 a quality control inspector out at Hanford for ten  
14 years, and I quit to come home and take care of my  
15 little boy and be an at-home mom. When I come home  
16 from being employed out there, I worked at several  
17 different areas out there, I had ongoing health  
18 problems and was in communication with the journeyman  
19 that I worked side-by-side with all those years who has  
20 a cousin disease compared to what they were finding or  
21 treating or still are currently treating me for.

22                   He has scleroderma, which is a connective  
23 tissue problem. They've been treating me with lupus,  
24 but I've never really been textbook for anything. I  
25 went and applied for the former Hanford checkup and I

1 am beryllium sensitized, which really took me by  
2 surprise after many years of ongoing testing and  
3 putting us in a financial situation of many medications  
4 and many different testing. I am currently going to  
5 National Jewish once a year. I go next month for lung  
6 biopsies. I did have high lymphocytes showing and  
7 everything. But my main concern this evening is to  
8 mention to you -- and I do have a claim with you guys.  
9 It has been approved as far as the beryllium  
10 sensitivity goes for ongoing testing.

11 But I'd also like to have you take a look at  
12 the fact of all my other health problems from all the  
13 other things that I've been exposed with. I just  
14 cannot seem to find a physician or someone to place it  
15 all together as all the multiple problems that I have.  
16 I am 45 years old and I am permanently disabled, and it  
17 has been a real struggle for me. And I thank you for  
18 coming and -- and I just want to make you aware of  
19 where I'm coming from. Thank you.

20 **DR. ZIEMER:** Thank you.

21 (Applause)

22 **DR. ZIEMER:** Now this -- this is --

23 **MS. JAHNKE:** Louisa Jahnke.

24 **DR. ZIEMER:** Right, Louisa.

25 **MS. JAHNKE:** My husband worked out here for

1 40 years. He came -- he came out of the Marines, went  
2 to work for Hanford. I have documented where he --  
3 which building he worked in, every building he worked  
4 in and what he done. He was exposed to asbestos,  
5 beryllium, and I have papers on where there was two  
6 accidents out there that he was in in radiation. And  
7 this is the way he ended up, completely paralyzed.

8 I have letters from five doctors that said  
9 they did not know what was wrong with him. They  
10 couldn't diagnose beryllium or -- or anything that he  
11 had. And if you men would look at this picture, I had  
12 to change his diapers every hour. It was rough. Just  
13 think if your wife had to do that for four years. But  
14 I loved him, so I did it. And I just can't get no  
15 place on these people. They won't do nothing for me,  
16 and I'm still paying the hospital bills. Can you  
17 imagine that? I'm still paying them. Social Security  
18 don't go very far, so I sure wish you would do  
19 something about this. I thank you.

20 And I want to tell you something. My kids  
21 were all born and raised here. My youngest son, they  
22 found beryllium in his lungs. He never worked out  
23 there. He went to Seattle to Dr. Dakari, probably some  
24 of you know him, and Dr. -- the doctor came down here  
25 to Hanford. They found beryllium in his lungs from

1 Bill carrying it home on his shoes, washing the  
2 clothing all together. That's what the doctor said.  
3 Can you imagine that? So I wish you would take care of  
4 at least one of these. I appreciate it. Thank you.

5 **DR. ZIEMER:** Thank you.

6 **MS. JAHNKE:** I made it.

7 (Applause)

8 **DR. ZIEMER:** Thank you very much, all who  
9 participated and all who attended this evening. The  
10 Board will be meeting again tomorrow. I should  
11 emphasize to you, our meetings are completely open, not  
12 just the public period. They may be a little boring at  
13 times, they may be exciting, but you are welcome to all  
14 the meetings tomorrow. There's a lot of information,  
15 as one of the earlier gentlemen pointed out, our  
16 various presenters providing the Board with information  
17 to help us 'cause we are learning, too. And so you're  
18 welcome to join us again tomorrow.

19 Our session begins -- what time does our  
20 session begin? The formal part of the session will  
21 begin at 8:30 and we continue through the day tomorrow.  
22 There will be a public comment period in late morning  
23 tomorrow, as well.

24 Again, thank you and good night.

25 (Meeting adjourned 8:50 p.m.)

C E R T I F I C A T E

STATE OF GEORGIA )  
 )  
 COUNTY OF FULTON )

I, STEVEN RAY GREEN, being a Certified Merit Court Reporter in and for the State of Georgia, do hereby certify that the foregoing transcript was reduced to typewriting by me personally or under my direct supervision, and is a true, complete, and correct transcript of the aforesaid proceedings reported by me.

I further certify that I am not related to, employed by, counsel to, or attorney for any parties, attorneys, or counsel involved herein; nor am I financially interested in this matter.

WITNESS MY HAND AND OFFICIAL SEAL this \_\_\_\_\_ day of May, 2004.

---

STEVEN RAY GREEN, CVR-CM  
 GA CCR No. A-2102

