

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

THIRTIETH MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

DAY ONE

The verbatim transcript of the Meeting of the
Advisory Board on Radiation and Worker Health held
at the Crowne Plaza Five Seasons Hotel, Cedar
Rapids, Iowa, on April 25, 2005.

C O N T E N T S

April 25, 2005

WELCOME AND OPENING COMMENTS DR. PAUL ZIEMER, CHAIR DR. LEW WADE, EXECUTIVE SECRETARY	9
REVIEW AND APPROVAL OF DRAFT MINUTES, MEETING 28 DR. PAUL ZIEMER, CHAIR	17
IOWA ARMY AMMUNITION PLANT TECHNICAL BASIS DOCUMENT:	18
PRESENTATION BY NIOSH, MR. TIM TAULBEE	18
PRESENTATION BY SC&A, DR. JOHN MAURO	85
BOARD DISCUSSION: IAAP TBD	135
PUBLIC COMMENT	146
ADJOURN	209
COURT REPORTER'S CERTIFICATE	210

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

In the following transcript (off microphone) refers to microphone malfunction or speaker's neglect to depress "on" button.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

ANDERSON, Henry A., M.D.
Chief Medical Officer
Occupational and Environmental Health
Wisconsin Division of Public Health
Madison, Wisconsin

DeHART, Roy Lynch, M.D., M.P.H.
Director
The Vanderbilt Center for Occupational and Environmental
Medicine
Professor of Medicine
Nashville, Tennessee

ESPINOSA, Richard Lee
Sheet Metal Workers Union Local #49
Johnson Controls
Los Alamos National Laboratory
Española, New Mexico

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

MELIUS, James Malcom, M.D., Ph.D.
Director
New York State Laborers' Health and Safety Trust Fund
Albany, New York

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

OWENS, Charles Leon
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-550
Paducah, Kentucky

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

AGENDA SPEAKERS

(in order of appearance)

Mr. Tim Taulbee, NIOSH

Dr. John Mauro, SC&A

STAFF/VENDORS

CORI HOMER, Committee Management Specialist, NIOSH

STEVEN RAY GREEN, Certified Merit Court Reporter

AUDIENCE PARTICIPANTS

AMENELL, MARY
AMENELL, PAUL
BEHLING, HANS, SC&A
BEHLING, KATHY, SC&A
BLOSSER, FRED, NIOSH
BRAND, ANSTICE, CDC
BROCK, DENISE, UNWW
BUCH, CATHRYN JOANNE, IAAP
CHANG, C., NIOSH
DEEP, HEIDI, NIOSH
DEHART, JULIA
DETHERAGE, DEBBIE, IAAP
ELLISON, CHRIS, NIOSH
FAIRCHILD, FRAN, IAAP
FITZGERALD, JOE, SC&A
FLEMING, LEEANN
FUORTES, LAURENCE, UNIV. OF IOWA
GILDS, S.
GRAHAM, PAULA, IAAP
GREENE, ELLEN
GREENE, GARY
HALLMARK, SHELBY, LABOR
HEISTER, MELANIE, NCRP
HINNEFELD, STUART, NIOSH
HOMOKI-TITUS, LIZ, HHS
HORGAN, TOM, SEN. BOND
IVERSON, SI
KATZ, TED, NIOSH
KOTSCH, JEFFREY L., DOL
LEON, BETTY L., IAAP
LOVING, ANITA, IAAP
LOWRY, LORI, IAAP
MAURO, JOHN, SC&A
MCKEEL, DAN, MD, WASHINGTON UNIV.
MILLER, RICHARD, GAP
MOORE, RON
NESVET, JEFF, DOL
NETON, JIM, NIOSH
PORTER, DIANE, NIOSH
PRESLEY, LOUISE S.
RIDER, MARLENE, IAAP

ROESSLER, CHARLES
SCHAUER, DAVID, NCRP
SHELTON, JAMES L., IAAP
STONGER, JANE, IAAP
TAULBEE, TOM, NIOSH
THOMSON, KATHY, IAAP
TOOHEY, R.E., ORAU
VORHICS, DAN, IAAP
WEBB, E.D., IAAP
WEINREICH, REBA, IAAP
YERINGTON, LASCA, IAAP
ZIEMER, MARILYN

P R O C E E D I N G S

(1:12 p.m.)

WELCOME AND OPENING COMMENTS

1
2
3 **DR. ZIEMER:** Good afternoon, everyone. This is
4 the 30th meeting of the Advisory Board on
5 Radiation and Worker Health. We're pleased to
6 be here in Cedar Rapids, Iowa for this
7 particular meeting.

8 I would ask, if you haven't already done so,
9 would you please register your attendance with
10 us today in the registration book that's near
11 the doorway. Also, individuals who wish to
12 make public comment later in the meeting,
13 there's a place for you to sign up there, as
14 well.

15 There are copies of our agenda, as well as a
16 number of other documents relating both to this
17 meeting and other activities of the Board, and
18 they are on the table down by the side wall.

19 Please avail yourselves of those, as well.

20 At the meeting of this Board in St. Louis
21 February 7th to 9th, member Tony Andrade was
22 not present with us. Shortly thereafter the
23 Board learned that Tony died on February 10th.

24 I would like to read and enter into the record
25 of this meeting a memorial resolution for Tony

1 Andrade.

2 Antonio "Tony" Andrade, acting leader of the
3 Quality Assessment Office for the Weapons
4 Engineering and Manufacturing Directorate, Los
5 Alamos National Laboratory, died suddenly
6 Thursday, February 10th, 2005. Tony had a
7 distinguished career at Los Alamos and was
8 recognized nationally as an expert on worker
9 safety and radiation issues. His associate
10 director, Dave Beck, said we will all miss Tony
11 and his steady counsel and keen insights here
12 at Los Alamos.

13 Tony Andrade began his work at the Laboratory
14 as a graduate assistant in 1979 and became a
15 technical staff member in 1981 as a member of
16 the thermonuclear applications, or X-2 group.
17 Later he led the former radiation protection
18 group, ESH-12, and was group leader for
19 Radiation Protective Services at the time that
20 he was appointed to this Advisory Board, his
21 appointment following his nomination by Senator
22 Pete Dominici.

23 While at Los Alamos Tony had been a member of
24 the National Security Program support team. He
25 had also been a technical assistant to the

1 Associate Director for Defense Research and
2 Applications, as well as nuclear reactor
3 project specialist and director of the Omega
4 West Reactor.

5 A native of El Paso, Texas, Dr. Andrade was a
6 graduate in mechanical engineering for the
7 University of Texas at Austin. He earned a
8 master's degree in nuclear engineering and a
9 doctorate in plasma physics, both from the
10 University of Michigan. Tony Andrade is
11 survived by his wife, Rosemarie, of -- who also
12 works at Los Alamos in the nuclear materials
13 technical division, and by four sons.

14 I would ask that the Board and those assembled
15 please rise and let us observe a moment of
16 silence in memory of our departed colleague.

17 (Pause)

18 **DR. ZIEMER:** Thank you very much. Board
19 members, you have received a large stack of
20 materials since the subcommittee session this
21 morning. I'm going to ask Lew Wade if he can
22 review what has stacked up here before you in
23 addition to your regular Board book, and Lew,
24 any other introductory comments you may wish to
25 make at this time would be fine.

1 **DR. WADE:** Thank you, Paul. As you know, we're
2 going to begin this afternoon to discuss the
3 Iowa TBD and then tomorrow will continue on
4 with a discussion of the Iowa SEC petition.
5 You have a number of materials that have been
6 provided to you, some in your workbook and some
7 given out during the lunch break, and I'd just
8 like to walk you through those materials so
9 that we all realize what we have. And I'd also
10 solicit, if there's any additional information
11 you might want, if you would let me know, I
12 could get that information.

13 But if you'll start with your workbook under
14 the tab that says "IAAP TBD, Technical Basis
15 Document," the first thing you'll see under
16 that tab is the presentation that's going to be
17 made by NIOSH concerning the revised Technical
18 Basis Document.

19 Second you'll have a document signed by John
20 Mauro dated April 18th, 2005. What this is is
21 the report prepared by your contractor
22 reviewing the Technical Basis Document. This
23 was an unclassified review. It did not involve
24 the Q-cleared individuals. This is something
25 that was prepared by John and submitted to all

1 of us on the 18th of April.

2 In the stack that I've handed you -- that you
3 received over lunch there's another document
4 from John dated April 23rd, 2005. This is an
5 addendum to the report that I just mentioned.
6 It contains some additional thoughts and
7 deliberations that John wanted to share with
8 you, so that's an addendum to the April 18th
9 document.

10 Also handed out is something from John dated
11 April 22nd. This now is the report prepared by
12 the Q-cleared representatives of SC&A. This
13 document was prepared by them early last week
14 and was cleared by DOE the end of last week and
15 was sent to you on Friday. We're giving you a
16 copy here on the likely possibility that you
17 didn't have an opportunity to print that
18 document out.

19 So in all there are three documents that your
20 contractor has provided, two related to the
21 unclassified review and one related to the
22 classified review.

23 If you go then to the next tab in your book,
24 the IAAP SEC petition, the only tab (sic) there
25 is a supplement to the SEC petition evaluation

1 report, and it's dated the 31st of March, 2005.
2 A handout was given to you in the form of a
3 PowerPoint presentation. This is the
4 presentation that Larry Elliott will make
5 tomorrow to you. This is NIOSH's presentation
6 to the Board with regard to the Iowa petition
7 evaluation.

8 And then lastly you have a document with the
9 letterhead of the University of Iowa. This is
10 material that was provided to us under the
11 signature of Dr. Field. It's information that
12 he wanted to share with you.

13 So those represent the materials in your
14 possession as it relates to this two-pronged
15 discussion of Iowa that we're going to have,
16 first the TBD and then the SEC petition. If
17 any Board member would like me to make
18 available to them additional materials -- the
19 original SEC petition evaluation, all of those
20 materials were made available to you. If
21 anyone has any needs, just slip me a note and
22 I'll get those materials to you as quickly as
23 possible.

24 So that represents an accounting of the
25 materials that you have relative to these

1 discussions.

2 The one additional thought I'd like to leave
3 with you -- again, we're going to have an Iowa
4 TBD discussion leading to an SEC petition
5 discussion tomorrow. I'd like to remind you
6 that the SEC -- excuse me, the SEC rule -- and
7 I'll read from it -- the Director of NIOSH will
8 propose a decision to add or deny classes of
9 employees to the cohort. This proposed
10 decision will take into account one, the
11 evaluations of NIOSH; two, the report and
12 recommendations of the Board; three,
13 information and -- presented or submitted to
14 the Board; and four, the deliberations of the
15 Board.

16 I point out number four to you now. The
17 deliberations of this Board are terribly
18 important as they will create a record that
19 will support your recommendation. I encourage
20 you to -- to deliberate fully and to make that
21 record as complete as possible as you undertake
22 the issues surrounding Iowa. Thank you.

23 **DR. ZIEMER:** Thank you, Lew. And I note, Board
24 members, there also is a handout from John
25 Mauro which is his PowerPoint presentation.

1 Did everybody get that, as well? I found that
2 in my stack and that's -- that deals with the
3 Iowa Army Ammunition Plant. That will be our
4 contractor's presentation. Make sure you have
5 that.

6 **DR. WADE:** Thank you.

7 **DR. ZIEMER:** And then I call the Board's
8 attention to a draft which we will address
9 probably tomorrow, but this is a draft letter -
10 - I don't believe it's available yet to the
11 public, maybe it is -- so far we just have
12 copies for the Board. We will make copies for
13 the public. This is a letter which was -- the
14 Board requested at its telephone meeting last
15 month that deals with the Iowa situation and
16 the Board's action or sort of no action in the
17 intervening period, and deals with the issue of
18 what happened with regard to our previous
19 recommendation. So this will be in the form of
20 a letter, which will be considered and will be
21 directed to the claimants, and Mike and Rich
22 were tasked to prepare that letter on behalf of
23 the Board, so that -- you have that draft, too.

24 (Pause)

25 **DR. ZIEMER:** Okay, I'm sorry. I'm the only one

1 that has this. This is a secret draft and I'm
2 not letting you see it.

3 We will -- we will get this copied and will
4 make sure the Board members have it, and also
5 it'll be available for the public. It's not in
6 final form, but basically expresses some
7 regrets about the situation.

8 **REVIEW OF DRAFT MINUTES**

9 **DR. ZIEMER:** Okay, let us proceed then. I call
10 attention to the draft minutes in your booklet
11 and you probably discovered by now that there
12 is -- there's something missing in it. It
13 revolves around pages 14, 15 -- well, 14 and 15
14 of those minutes. The -- you will -- you will
15 see as you look at that that there's a large
16 gap in the minutes in terms of content, and we
17 are trying to retrieve the appropriate pages,
18 so without objection we'll defer action on
19 those minutes till later in the meeting,
20 probably tomorrow or even the next day
21 depending on how soon we get these. You can
22 make sure everything else is okay in the
23 meantime, but we will not take action until we
24 have the full set of minutes before us.

25 **IOWA ARMY AMMUNITION PLANT TECHNICAL BASIS**

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DOCUMENT

DR. ZIEMER: We're going to proceed now with the materials relating to the Iowa Army Ammunition Plant. This will be initiated by a presentation by NIOSH, followed by a presentation by SC&A, so we'll begin with the NIOSH presentation on the Technical Basis Document, and Tim Taulbee is going to make that presentation. Tim?

PRESENTATION BY NIOSH

MR. TAULBEE: Can everybody hear me okay? Thank you, Mr. Chairman, and ladies and gentlemen of the Board. I appreciate this opportunity to talk to you about the revision to the Iowa Army Ammunition Plant Technical Basis Document. Before I get started I want to recognize one of my colleagues, Mark Rolfes. He's another health physicist. Mark and I worked on this revision together. Mark is primarily responsible for the internal dose changes to the site profile or the Technical Basis Document, whereas I worked on the external dose reconstruction components. Next slide, please. Little bit of an overview of what I plan on

1 talking with you today about is a background of
2 this revision, a little bit of the history of
3 how we got to where we're at today with this
4 revision. I'll specifically go through the
5 changes with site description, onsite ambient
6 dose, internal dose reconstruction and external
7 dose reconstruction, and then wrap up with a
8 quick summary. Next slide, please.

9 The main purpose of this revision was to
10 address worker comments and concerns that we
11 had heard through a series -- or a couple of
12 public meetings -- or one public meet-- one
13 public meeting and one worker meeting. And the
14 second purpose was to extend the coverage
15 period. Rev. 0 of the Technical Basis Document
16 only covered from 1957 to 1974, and part of
17 that reason was our uncertainty with the
18 materials that were handled and the work
19 practices from that early time period. So we
20 knew with Rev. 0 we were going to have to try
21 and do more work to understand those earlier
22 exposures, and so that part was reserved. The
23 new revision goes from 1949 to 1974. Next
24 slide.

25 Specifically, some of the worker comments and

1 concerns that we heard dealt with internal
2 dose, the handling of bare pits, depleted
3 uranium exposures and how that -- the exposure
4 potential occurred during disassembly.
5 Probably the largest thing that we heard was
6 the use of Pantex data, and specifically for
7 tritium exposures, radon. And then also the
8 use of Pantex data under external dose with the
9 neutron to photon ratios, and also again the
10 handling of bare pits and workers not caring --
11 or not wearing dosimeters all the time.
12 What I'm going to do through my presentation is
13 kind of hit on each one of these to go through
14 the changes that we made to the site profile
15 and how we revised it to incorporate these
16 particular comments. Next slide.
17 So a little bit of the revision time line.
18 About a year ago, in April 2004, was the first
19 Technical Basis Document for Rev. 0, and this
20 was developed by the Oak Ridge Associated
21 Universities team and it was reviewed by NIOSH.
22 And kind of the fundamental basis of that
23 initial site profile was using a lot of Pantex
24 data. With both Iowa Army Ammunitions Plant
25 and Pantex assembling nuclear weapons, we felt

1 at that time that the work practices would have
2 been similar. They were operated by the same
3 company. We felt that that was a good
4 surrogate.

5 In June 2004 Mr. Elliott and Dr. Neton came out
6 to Iowa and discussed that site profile or
7 Technical Basis Document -- pardon me if I'm
8 using those two words interchangeably -- and
9 the purpose was is to discuss it with the
10 public and with workers. And during that time
11 numerous comments and concerns were raised, and
12 they came back to NIOSH sharing those concerns
13 with the rest of the team. They asked Mark and
14 I to look into these comments or look into
15 these questions that workers had raised because
16 they really posed potentially major problems
17 with our initial assumptions of using some of
18 the Pantex data and that applicability.

19 So in July of 2004 Mark Rolfes and I came out
20 here and we had the privilege of meeting with
21 workers -- some of them I see in the audience
22 and it's good to see them again -- to discuss
23 their concerns in more detail, in more depth,
24 so that we could gain an understanding of that.
25 Following that meeting we came back to

1 Cincinnati and began to come up with our
2 strategy of how we were going to review and
3 incorporate their concerns, and we quickly
4 realized that we needed access to more
5 information. We needed to know what the source
6 terms were, what were the early work practices,
7 because at that time all we had reviewed was
8 non-classified information.

9 We went to the National Archives and Records
10 Administration, as well as to the Department of
11 Energy in Germantown, in order to gain this
12 additional insight as to -- to try and address
13 some of these concerns.

14 In November of this year is when we began
15 revising the site profile or the Technical
16 Basis Document. We -- as part of the expanded
17 time period we needed to conduct some
18 calculations, some dose recalculations. These
19 were conducted by Pacific Northwest National
20 Laboratory under contract to Oak Ridge
21 Associated Universities.

22 In January we submitted the revision for
23 classification review. Because we had used
24 classified information in our review, we were
25 concerned of how much of that information would

1 get translated into the document, and we needed
2 to get this reviewed by the Department of
3 Energy to make sure that we weren't divulging
4 anything or disclosing anything that we
5 shouldn't be.

6 We received a non-redacted document back from
7 DOE on February 14th. Unfortunately that was a
8 week after your last Advisory Board meeting is
9 when we actually got that document back.
10 And then in March we released the revised site
11 profile. Next slide.

12 So now I'm going to try and go through some of
13 the changes to the site profile and start with
14 the site description and fissile materials.
15 Well, when did fissile materials first arrive
16 on site? And what I'm talking about with
17 fissile materials, they're the most radioactive
18 component from an external and internal dose --
19 dose reconstruction effort.

20 We looked at lots of different sources --
21 interviews with weapons experts, review of
22 courier service history, multiple literature
23 notations -- and we really don't have a good
24 answer for this particular question. We've got
25 a general guideline of what time frame that we

1 believe these materials were on site. But
2 since we can't rule out that early time period
3 -- and I'll talk a little more about that in
4 just a second -- we've used the claimant-
5 favorable assumption that they've been on site
6 since March of 1949, which is when the first
7 weapons were assembled at Iowa. Next slide.
8 But some of the information that we do have
9 that we can share is that the early weapons
10 were what was called in-flight-insertable, thus
11 they were a ball and capsule design and the
12 capsule was kept separate from the weapon. The
13 capsule was what would contain the fissile
14 material, the uranium or plutonium. And this
15 was kept separate from the rest of the weapon
16 until the time of use.
17 We know that the first sealed pit assembled in
18 Iowa was the W-25, and this was in December of
19 1956.
20 We know from the Line 1 project history reports
21 that prior to the assembly of the W-25 that
22 non-radioactive model pits, or capsules, were
23 used in mockups during assembly.
24 From a report -- or a text written in 1979
25 about the Mason-Hanger Company, we have an

1 annotation that in 1956 the company recorded
2 two more firsts at the Burlington AEC plant,
3 and this is when they first began to assemble
4 nuclear warheads for guided missiles, and in
5 doing so handled the fissionable material for
6 the first time.

7 In October of 1957 was the assembly of -- or
8 the construction of Gravel Gerties, and the
9 purpose of the Gravel Gertie is to marry,
10 basically, the fissile material with the high
11 explosives in a safe type of environment. What
12 they were concerned with was an off-site
13 release should the explosives go off
14 prematurely, therefore there could be a
15 dispersion of fissile material. So they
16 constructed these Gravel Gerties and the first
17 time that this occurred was in October of 1957.
18 So all this data together still doesn't give us
19 a definitive date, unfortunately, as to when
20 the fissile materials were on site. And the
21 primary reason is is we know they weren't
22 assembled, but there was surveillance activity
23 that went on during the assembly process, and
24 surveillance would be bringing a weapon out of
25 the stockpile and teardown and then reassembly.

1 And during that time period we can't rule out
2 that the capsules didn't come back out of the
3 field. We don't believe that they did, but
4 since we can't rule it out, we've gone with the
5 claimant-favorable assumption of assuming 1949.
6 Next slide.

7 Another worker comment and concern that we
8 heard -- that Mark and I heard out in -- down
9 in Burlington back in July was that our
10 exclusion of onsite ambient dose -- that there
11 would be some low level exposure to workers not
12 on Line 1 and that -- you know, around
13 transportation gates and that type of thing.
14 Initially the Rev. 0 used the Pantex data to
15 justify this dose would be very low. What
16 we've done is we've gone back and we've looked
17 at the area dosimetry from with inside the
18 assembly cells -- non-storage areas but
19 assembly cells -- and determined from that data
20 that there's a dose rate of around 37 millirem
21 per year. So this purpose of this onsite
22 ambient dose is to assign it to non-Line 1
23 workers. Next slide, please.

24 So moving on to internal dose reconstruction,
25 one of the concerns we heard was workers

1 handling bare pits, and this caused us a great
2 deal of concern when we first started our
3 reviews because this affected both internal and
4 external dose reconstruction. From an internal
5 dose reconstruction, we were very concerned
6 about the material oxidizing or something along
7 those lines and being available for workers
8 from an inhalation standpoint.

9 What we verified through a review of classified
10 information is that all the fissile materials
11 were encapsulated at the site. I can
12 understand why workers would indicate the bare
13 handling of pits. To workers this would
14 actually seem as if they were handling bare
15 metal, bare uranium or bare plutonium, but
16 there was a cladding material. There was a
17 material surrounding the actual fissile
18 material, encapsulating it.

19 So following along with the internal dose
20 reconstruction, I'm going to go through the
21 tritium, depleted uranium and radon changes.
22 This did not constitute a change in the
23 Technical Basis Document. This was further
24 confirmation of -- of Rev. 0. Next slide.
25 So with the tritium dose estimation -- again,

1 this was a reliance on the Pantex data, and
2 some of the reason for the Pantex data -- not
3 to make any excuses here, but it was more
4 readily available at the time, at the initial
5 time of the writing of the site profile, and we
6 did rely on it too heavily. We should have
7 gone more with the Iowa data.
8 Again, in Rev. 0 the basis for the dose
9 estimation was Pantex coworker data. In the
10 revision we used tritium measure-- Iowa-
11 specific tritium measurement data. This was
12 new data that we had collected. And then also
13 process knowledge, and some of this comes from
14 our interviews with workers, and this was a
15 really -- very interesting component and we
16 were very fortunate. When we sat down with
17 workers back in July, we went through and they
18 described to us how the materials would be
19 handled onsite or arrived and how they would be
20 tested and inspected. And then when we
21 reviewed the AEC health and safety reports, we
22 found that in fact same description of how
23 these materials would be handled, how they
24 would have been checked, and so we had two
25 corroborating evidence. When we compared that

1 to the tritium measurement data, all three were
2 telling the same story, so we're very confident
3 that we've got an idea or that we know what was
4 going on with the tritium in that time period,
5 even though to date we have not been able to
6 locate any tritium bioassay data. Next slide.
7 So our tritium exposure model is based upon the
8 purging of the headspace gas that's in the JP
9 containers. These would be tritium shipping
10 containers. And basically before these would
11 be allowed to be released to production for
12 assembly, they had to be purged to make sure
13 the concentration was below 90 microcuries per
14 cubic meter. Based upon this type of allowable
15 concentration, we've estimated that the maximum
16 annual intake would be about 4,900 microcuries
17 per year, and this results in a dose of about
18 331 millirem per year.

19 Now this dose level would be easily readily
20 detectable in bioassay. This dose level is
21 higher than the typical or average value that
22 you see at Savannah River, which is where these
23 containers would have been packaged together.
24 What we have is an AEC review in 1969
25 indicating no positive urinalysis. That was

1 according to their report in 1969. Now we
2 don't know what they're referring to as what
3 was positive. It could have been five
4 microcuries per liter. But we do -- we also
5 interviewed an Iowa laboratory staff person who
6 analyzed the bioassay. And he indicated that
7 there were a few positives; however, nothing
8 abnormally high. He indicated that the vast
9 majority of the data was zero, that it was
10 below detection limit. And again, four
11 microcuries per liter would have been readily
12 detectable at that time period.

13 So with this revised model, the Iowa assigned
14 exposure is approximately three times higher
15 than what the maximum recorded annual dose at
16 Pantex is. And the reason for this is we're
17 using an exposure model instead of bioassay
18 data. As you'll see with -- when I get later
19 into the external dose reconstruction, any time
20 we use a source term model to do our dose
21 estimations, we end up compounding claimant-
22 favorable assumptions and so our estimates of
23 exposure increase quite significantly. Next
24 slide.

25 Another concern that we addressed in the

1 revised site profile was dealing with the
2 disassembly and depleted uranium exposures.
3 From our review of classified information we
4 determined that the original exposure source
5 term from Rev. 0 was appropriate. However, as
6 workers pointed out to us during our meetings
7 that the frequency of disassembly and the time
8 period certainly needed to be modified. Our
9 initial assumptions were that Iowa primarily
10 did assembly type of operations, not
11 disassembly -- not assembly and disassembly.
12 From our review of information, they clearly
13 did more assembly than disassembly, but with
14 the surveillance activity thrown in there,
15 you've also got weapons coming out of the
16 field, being torn down, which could be
17 considered a disassembly operation, as well.
18 So in Rev. 0 the frequency of disassemblies --
19 and this would be one worker -- what they're
20 involved with was initially two disassemblies
21 per year, and this was very, very low. Rev. 1
22 we've changed that to 100 disassemblies per
23 year. And from our interviews with workers,
24 they talked about that there would be a
25 production schedule, that at times there would

1 be periods of assembly and then there would be
2 periods of disassembly and then periods of
3 surveillance. And so it was kind of a cycle
4 that was going on and they stuck very close to
5 that particular schedule. This is what we
6 gained back in July.
7 What we learned also is the time period.
8 Initially Rev. 0 only considered from 1950 to
9 1957. With the revision we've expanded that
10 from 1949 to '74, and again this is due to that
11 surveillance activity that workers would have
12 been doing of the teardown of the particular
13 weapons. Next slide.
14 Another internal dose exposure to change within
15 this new revision was at Firing Site 12.
16 During our review of the Iowa specific air
17 sample data from FS-12, Mark noted that some of
18 the positive air samples for depleted uranium
19 were during regular HE tests. And we knew
20 initially during the hydroshot tests that we
21 would -- that we would see depleted uranium
22 increases, and that was accounted for in Rev. 0
23 of the Technical Basis Document. However,
24 these non-hydroshot tests or regular HE tests
25 was a surprise to us. And in -- through

1 interviews with people familiar with the
2 hydroshot operation and then subsequent HE
3 testing -- this is likely the resuspension of
4 contaminated soil following the hydroshot
5 activities. Some of the uranium would be
6 deposited on the ground and you'd detonate a
7 regular HE charge and that -- some of that dirt
8 would be resuspended, and that was resulting in
9 the positive air samples.

10 Again, this exposure was not considered in Rev.
11 0. With the help of information from workers
12 and so forth, we've added this as a chronic --
13 chronic intake model based upon the air sample
14 data to account for exposures over the whole
15 time period from hydroshots starting around
16 1965 to 1974. Next slide.

17 Another concern we heard was the use of Pantex
18 data for radon estimation. And again, this was
19 when we went and we looked back at the data
20 that we had, and the Iowa radon measurement
21 data was lower than the Pantex data that we
22 had. Since this was lower, we didn't make a
23 change to the Technical Basis Document. The
24 geometric mean was lower than the Pantex data,
25 and so we viewed this as being more claimant-

1 favorable; therefore we left this particular
2 exposure alone. Next slide, please.

3 Now I want to talk a little bit about the
4 external dose reconstruction and kind of go
5 through the monitoring time line and
6 specifically talk about photon dose and neutron
7 dose.

8 What I'm not going to talk about is skin dose.
9 I'm going to talk about that right here 'cause
10 this is still reserved in the site profile.
11 And the reason that the skin dose is still
12 reserved at this point in time is due to a
13 description that we heard from -- from workers
14 and from Dr. Laurence Fuortes, who indicated
15 that some workers discussed an exposure of
16 reaching back into what -- what they referred
17 to as the pit and wiping it out, the inside.
18 Well, it wasn't the pit. It was -- this was of
19 the ball and capsule design weapon, so they'd
20 be reaching back into the ball and doing
21 potentially some cleaning or something along
22 those lines. In that type of an exposure
23 scenario, at worst case the exposure would be
24 depleted uranium. Therefore there could be a
25 significant beta dose rate to the hand and to

1 the forearm, and at this time we can't estimate
2 what that particular exposure is. However,
3 it's non-penetrating radiation. This would be
4 beta dose to the skin at this time. So until
5 we develop that particular model, this skin
6 dose is still reserved within the site profile.
7 So now talking along the monitoring time line,
8 what you will find in looking at the data --
9 and I hope to walk you through it here -- is
10 from 1949 to 1974 there's really four periods
11 or eras of dosimetry monitoring. And era one
12 would be from 1949 to 1955, and this is where
13 there's no personal monitoring data. This is
14 also during the time period of in-flight-
15 insertable weapons. Therefore, we don't really
16 have an indication that they were on site. If
17 they were on site, then this would clearly be a
18 gap in personal monitoring type of protection.
19 If they were not on site, there wouldn't be any
20 exposure and you wouldn't expect any -- any
21 personal monitoring data during that time
22 period.

23 Is this better, if I just stand back? We'll
24 try it this way. Sorry.

25 Era two would be from 1955 to 1962, and here

1 all that we have is intermittent monitoring
2 data. Typically what we have is one quarter of
3 monitoring data per year, and it's important to
4 note that we're not sure that they weren't
5 doing more monitoring at that time period. We
6 only have primarily the Landauer badge
7 information. We know that they were using
8 Tracer Labs, as well. And so from our data
9 gaps, it could be where they're switching
10 between vendors. We just simply don't know at
11 this time.

12 We do know that this was the time period of the
13 first sealed pits, that this is where we do
14 have definitive evidence that the fissile
15 materials were on site. We also know that at -
16 - during this time period was the establishment
17 of the Rad-Safe Program, or the radiation
18 protection program, which included monitoring
19 of individuals, both personal monitoring as
20 well as air sampling. There was training that
21 was conducted on all Line 1 workers for the
22 safe handling of radioactive materials, as well
23 as the development of emergency response
24 capabilities, both on site and off site. All
25 of this is in the Project One history reports

1 that are -- that were available from the
2 University of Iowa and from the Department of
3 Energy.

4 Era three is from 1963 to 1967. This is again
5 routine monitoring data -- or this is the first
6 time period when we had routine monitoring
7 data. And the badging was of workers with the
8 highest exposure potential, and specifically
9 radiographers, assembly/disassembly workers and
10 inspection workers. And I'll get to a slide a
11 few down from now where I'll discuss this a
12 little bit more.

13 During this time period was the first
14 implementation of neutron monitoring data using
15 NTA film, as well as the routine monitoring of
16 fissile material storage areas. This would be
17 area monitoring, area badges placed within the
18 storage vaults. Next slide.

19 The final era is from 1968 to 1974. This is
20 where there's extensive routine monitoring of
21 the work force. They were using the Landauer
22 Gardray badge. This was a J-type badge which
23 was four-window film badge. It had an open
24 window, a plastic window for beta
25 determination, an aluminum window for low

1 energy photons, and then a lead/tin alloy
2 filter for high energy photons.
3 There was also the badging of multiple types of
4 workers. People generally entering any of the
5 controlled areas were badged. We also see the
6 first extremity -- routine extremity dosimeter
7 monitoring. This was for people handling the
8 more radioactive -- the actual pits during
9 their inspection process.

10 Also we have continued neutron monitoring there
11 in this time period, and then there's also
12 extensive area monitoring of the fissile
13 material storage areas as well as each assembly
14 cell. At Iowa there would be a dosimeter put
15 into each assembly cell. It was read on a two-
16 week basis, and those results were recorded.
17 So what we have from an external dose time
18 period is from era three and era four we have
19 routine monitoring data that is available.
20 Prior to this time period, all we have is --
21 well, we had no monitoring data, and then we
22 had some intermittent data where sometimes
23 there'd be a period of a few months that would
24 be together, and then we don't have any more
25 data for that particular year.

1 So we have two different types of dose
2 reconstruction that we do here. One would be
3 using the routine monitoring data and the other
4 would be development of a source term model,
5 and this is where the generic pit and the work
6 factor come into play.

7 So what I would like to do is focus on this
8 last time period first because this data is
9 actually used back here in our model for
10 estimating the earlier work -- or earlier
11 doses, and so I want to try and explain this
12 component first.

13 So one of the worker concerns that we heard
14 with this latter period where dosimeters were -
15 - were worn was that there was a loosely-
16 enforced practice mandating radiation
17 dosimeters be worn at all times. Some workers
18 during our interviews indicated that they
19 always wore their badge, others did not, that
20 they didn't always wear their badge.

21 Well, what this does to the data is introduce a
22 negative bias in the monitoring data, so
23 there'd be too many zeroes within the dataset.
24 Rev. 0 we didn't account for this. We only
25 accounted for the missed dose based upon

1 readings below the limit of detection.
2 In Rev. 0 (sic) we incorporate this by applying
3 a correction method of eliminating the zeroes,
4 and let me go through that right now. The red
5 line here is the original data. This would be
6 from 1965 at -- at the Iowa Army Ammunition
7 Plant. If you were to fit a distribution -- a
8 lognormal distribution to this dataset, you end
9 up with a geometric mean of about 6.6 mR per
10 month that a worker would be exposed to.
11 Well, in this period of -- this 60 percent
12 zeroes, we have three populations of people.
13 One would be workers who wore a badge and were
14 not exposed, so they are true zeroes. Another
15 would be workers who wore a badge but the
16 reading was below a limit of detection,
17 therefore they were exposed but their badge
18 reading would be zero. And now we've got this
19 third group of people who did not wear their
20 badge, even though they were supposed to and
21 the rad protection group really didn't enforce
22 the practice.
23 So we looked at how could we partition that
24 across here, should we take the number of
25 zeroes and partition it, 33 percent across each

1 one. Should we assume half of the zeroes were
2 false zeroes. We looked at different ways that
3 we could try and do this and realized that any
4 way that we tried to partition this was going
5 to not be giving the full benefit of the
6 (unintelligible) or the uncertainty to the
7 claimants, therefore we eliminated all of the
8 zeroes.

9 So effectively what ends up happening is for
10 our geometric mean we're now sampling up here
11 at around 17.4 mR per hour. This would be
12 about the 83rd percentile of the original data.
13 So now we bias all of the monitoring data that
14 we had. By eliminating the zeroes we moved it
15 up to where we're only analyzing that top
16 portion of the dataset. So this does introduce
17 a slight bias to the data, but we don't really
18 see any other way around estimating these
19 zeroes. Next slide, please.

20 From this data the geometric mean of an annual
21 dose is calculated -- these were monthly doses,
22 actually four-week, so there's going to be 13
23 badge exchange cycles per year. And so in
24 order to estimate this, you'd simply multiply
25 by 13 by those values that are in Appendix F of

1 the site profile.

2 Some of the claimant-favorable assumptions that

3 introduce this bias is that we're assuming an

4 exposure every -- every cycle, every badge

5 exchange cycle. We're not taking any credit

6 that some people would be monitored but not

7 exposed, nor that there are values that are

8 actually below a limit of detection. So we've

9 eliminated the limit of detection, we've

10 eliminated true positive zero.

11 So these are the new annual dose distributions,

12 and in specific this particular column here,

13 and this would be the uncertainty in the upper

14 95th percentile. I've included in this

15 particular slide the number of workers

16 monitored. After we released the site profile

17 -- this is one of the quickest comments that we

18 got back, that we had taken out that number of

19 workers and what was NIOSH trying to hide. I

20 wasn't trying to hide anything. I simply

21 didn't use it in my data analysis. What I was

22 using here was the positive dosimeters, and

23 that was why that particular information was in

24 there. I apologize if anybody was under the

25 impression that -- that I was actually trying

1 to hide this information, but it certainly
2 wasn't. In a revision of the site profile I
3 will include it back in.

4 What we have here is the actual number of
5 positive dosimeters, by year, and this is what
6 we used to develop those particular
7 distributions. And as you can see, we have
8 several hundred per year, totaling up to about
9 6,000 total positive dosimeters measuring
10 radiation dose at Iowa. This is out of a total
11 of about 22,000 dosimeters over this time
12 period. Next slide. Oh, I'm sorry, go back
13 for just a second.

14 What I want to do is -- because of this concern
15 about the number of workers monitored in this
16 early time period, I took 1965, which was 46
17 workers, and went back and determined where --
18 who were those workers during that time period.
19 Next slide.

20 And in going through my assessment, what we
21 found is that of the 40 workers within a single
22 dosimeter cycle in 1965, 15 of them were from
23 the production department, nine were from the
24 inspection department, six from the X-ray
25 department, and these were the workers who were

1 the most highly-exposed on the site. These
2 were the people handling the pits directly and
3 directly working with the fissile materials.
4 Safety and the AEC also were included in this
5 population. Most of the zeroes that you see in
6 the dataset are from these two pop-- from these
7 two particular groups. So by discarding the
8 groups or discarding all of the zeroes, what
9 we've got is a sampling of the highest exposed
10 workers.

11 Other of the other interesting things in
12 looking at the particular datasets was
13 following our discussions with workers out here
14 in Iowa where they were very -- very helpful in
15 describing their work practices and the work
16 activities, I was able to go back to Cincinnati
17 and look at the dosimeter reports and look at
18 the names, and I saw several of the same people
19 that I had just interviewed, that I had worked
20 with, that were describing these exposures to
21 me. So I'm very confident that we have the
22 highest exposed workers in this -- even though
23 this is a small population, we've actually
24 included them.

25 The other thing to consider within this

1 population is that in 1963 the AEC put out
2 standards for radiation protection, and this
3 would be AEC manual 0524. In that they
4 required individual monitoring for all workers
5 likely to exceed ten percent of the quarterly
6 limit. The quarterly limit at this time period
7 was three rem per quarter. So they were
8 required to monitor everybody who had the
9 potential of exceeding 300 millirem. If you'd
10 go back a slide.
11 What you'll see here is during this time period
12 -- this is the upper 95th percentile of our
13 modified distribution. All of these doses are
14 well under the 1.2 rem per year that would
15 trigger that particular type of monitoring.
16 And this is why only a sampling was done. This
17 is -- this is compliance-based monitoring. We
18 see this across multiple Department of Energy
19 sites where they only monitor the workers that
20 they feel are the highest exposed to ensure
21 compliance with the AEC regulations. As the
22 doses began to expand, so did the number of
23 people being monitored and the number of -- the
24 issuance of dosimetry really increased, almost
25 -- well, three -- four-fold. So as things --

1 as materials would begin to increase dose rate,
2 you end up with a higher monitoring percentage.
3 Next slide. No, the next one.

4 Another worker concern that we heard was the
5 handling of the bare pits. Even though the
6 pits would be encapsulated, there was concern
7 of the low energy photon dose. For Rev. 0
8 (sic) this is where we used the generic pit.
9 And some of the claimant-favorable assumptions
10 here that we use is that all pits were
11 plutonium without cladding. And there's only
12 so much that I can say about cladding -- that I
13 can disclose about cladding materials, et
14 cetera. In fact, it's very little, so to
15 ensure I don't say anything that I'm not
16 supposed to, let me read something out of here,
17 out of the site profile, because I know this is
18 approved text.

19 (Reading) It's important to note that not all
20 components had a significant low energy photon
21 dose. There are three basic types of pit used
22 in assembly and disassembly at Iowa. One,
23 enriched uranium pits; two, plutonium pits;
24 three, composite pits, or a combination of
25 plutonium and enriched uranium. Since the low

1 energy -- or, I'm sorry. In the composite pits
2 the plutonium always had an outer shell of
3 enriched uranium.

4 So when they were composited they would be
5 surrounded by enriched uranium, and enriched
6 uranium doesn't have much of a low energy
7 photon dose compared to plutonium.

8 (Reading) Since the low energy photon dose from
9 enriched uranium is negligible, only the
10 plutonium pits had a potential for significant
11 low energy photon dose.

12 So what we've assumed from the generic pit
13 standpoint, and for all time periods, is that
14 all of the pits were plutonium. So we've
15 eliminated all of the others in an effort to
16 overestimate. We've assumed that there's no
17 cladding. We've also assumed that all the pits
18 were 15-year aged plutonium, and this could be
19 typical of a disassembly type of an operation.
20 As plutonium ages, over time you get more in-
21 growth of plutonium 241 converting to
22 americium, therefore the dose rate actually
23 increases over time from fissile materials.
24 Another overestimating assumption that we've
25 used is that all dosimeter badges could only

1 measure a fraction of the americium 241
2 photopeak. This is the predominant dose
3 component from -- from the plutonium pits,
4 especially the aged ones.

5 When we initially wrote this revised site
6 profile, this was our assumption 'cause we were
7 quite confident that as we gained more
8 information about their photon monitoring that
9 we would find that their dosimeter probably
10 could have measured this dose -- the full dose,
11 not just a fraction. But at the time when we
12 wrote this back in November, December and
13 January, we didn't have that information yet
14 and so we put this in as a claimant-favorable
15 overestimate. Since then we've found out that
16 clearly the use of the Landauer Gardray J badge
17 could measure the full photopeak, and so this
18 is really an overestimate. All of these
19 maximize the low energy photon dose. Next
20 slide.

21 So the changes to post-1963, what we've done is
22 we've corrected for the potential negative bias
23 of workers not wearing their dosimeters by a
24 reanalysis of the dosimetry data. We've
25 incorporated the low energy photon dose from

1 specific pits, and these are the adjustment
2 factors that I've got here. When you total
3 these up, this comes out to about a factor of
4 two that we would be multiplying their
5 dosimeter dose by in order to account for this
6 low energy photon dose.

7 I guess I should back up a little bit there.
8 With the concern on the low energy photon dose,
9 the initial Rev. 0 of this site profile
10 indicated that all of the pits were -- would be
11 of sufficient -- the cladding would be
12 sufficiently thick such that there wouldn't be
13 any dose. During our review we've determined
14 that that is generally the case, but not
15 always, and this is why we used the plutonium
16 over the whole time period. Next slide.

17 So now let me talk about the changes for dose
18 reconstruction prior to 1963. Prior to 1955 we
19 don't have any monitoring data. We expected
20 the exposures would be low due to the absence
21 of fissile material, and if they were present
22 at all it would be during these surveillance or
23 modifications, retrofits that would be
24 conducted, so it wasn't a routine type of an
25 exposure, as it was in latter years.

1 Starting around 1955 we have intermittent
2 monitoring data, but since we -- to fill in
3 those gaps, we decided to go with the source
4 term model using a generic pit and a work
5 factor. And again, any time we use source term
6 type of model, we end up compounding claimant-
7 favorable assumptions on top of each other and
8 this over -- tends to overestimate the dose.
9 Next slide.

10 So when we first talked about the generic pit,
11 what we needed to do was find out what the
12 source term was and be able to talk about it,
13 and our goal was to try and be as transparent
14 as we could at the time. And so through a
15 review of the classified literature and with
16 the assistance of the Department of Energy, we
17 developed parameters to describe a generic pit.
18 And the goal of this was to come up with a
19 bounding -- a bounding pit. With these
20 parameters, and these are identified in
21 Appendix D of the site profile or TBD, what we
22 have is the result of an upper bound of the
23 photon dose rate from all pits at Iowa. With
24 these parameters, with our modeling, this is
25 the upper bound. This would be the maximum

1 dose rate a worker would be exposed to from
2 handling one of these materials.

3 These calculations were conducted by Pacific
4 Northwest National Laboratory by Dr. Rick Traub
5 and Dr. Bob Sherpells*, who ran MCNP codes in
6 order to calculate what this dose rate would
7 be, and then this was compared with measurement
8 data.

9 The purpose -- go back, I'm sorry. The purpose
10 of the generic pit, again, was to establish an
11 upper bound. So with this generic pit we could
12 come up with -- if a worker were to hold one of
13 these pits for 2,000 hours of a year, this
14 would be a true upper bound for the particular
15 worker. What we wanted to do was come up with
16 is a more reasonable dose, 'cause the purpose
17 was to put this and assemble this object into a
18 -- into a weapon. This is where the work
19 factor came in. Is there a way we could use
20 the latter monitoring period to estimate what
21 the relative time or proximity or shielding
22 they would have been exposed to from the
23 earlier time period. Now next slide.
24 So again we're using the routine monitoring
25 data here in trying to estimate what the dose

1 would be back in this time period. Next slide.
2 So again, the work factor is a relative
3 occupancy, proximity and shielding factor. It
4 was not intended to be maximized. It was never
5 intended to be the 95th percentile. The
6 combination of the generic pit, which is an
7 upper bound, a maximum dose rate, and the work
8 factor is an overestimate of the dose. And
9 this is where you basically take -- you can --
10 you can prove this to yourself by looking at
11 normal distributions and multiplying one by the
12 other. If you take a maximum, like a 99th
13 percentile of the normal distribution, and as
14 long as you're multiplying your second
15 distribution by at least the mean, or something
16 slightly positive, then you're going to end up
17 with your final distribution being an
18 overestimate of the combination of the two.
19 Okay?
20 So it was never intended to be maximized. It
21 was the combination of these two would result
22 in an overestimate of the dose.
23 The work factor was calculated by taking that
24 modified annual distribution times the
25 correction factor to make the units work

1 between exposure and personal dose equivalent,
2 divided by what we call the era dose rate times
3 2,000 hours.

4 Now unfortunately, here's where transparency or
5 disclosure becomes an issue because I can't
6 really describe to you what the era dose rate
7 is or how we calculated it. So this is an area
8 where I was able to explain it to Mr. Presley
9 and Mr. Griffon and Mr. Fitzgerald and Ms.
10 DeMers in Germantown, but in this scenario I
11 can't disclose how we actually calculated this
12 particular dose -- this dose rate. Next slide.
13 To calculate the work factor, though, what we
14 took was the modified Hp(10), the era dose rate
15 times 2,000 hours will give you the simulated
16 annual dose, and we calculated a series of work
17 factors. And you'll see we've divided those
18 between era three and era four, and the reason
19 we did that was we knew that there was a change
20 within monitoring -- where is that; here it is
21 -- where in this later time period we're
22 looking at thousands of dosimeters being
23 issued. And so we wanted to know was there
24 going to be a change in the work factor during
25 this time period, and our initial intent was to

1 take the maximum of the two. What we found is
2 we got basically the same answer.
3 So we're very confident that what we're
4 predicting here is the actual exposure
5 potential, because it tracks along with our era
6 dose rate changes. Next slide.
7 So in estimating the pre-1963 dose, we take the
8 generic pit -- which again is an upper bound.
9 We have a claimant-favorable central tendency
10 of the work factor, and I've got this as a
11 small arrow because it's a slight bias; it's
12 nothing like what the generic pit bias is.
13 Times 2,000 hours, and then we get into a
14 little bit of a problem with the americium and
15 the 15-year assumption -- claimant-favorable
16 assumption, and then in 1949 there was no such
17 thing in the AEC as 15-year plutonium. So we
18 ramped this up, assuming that plutonium was all
19 made in 1945 up to a maximum in 1960. Overall
20 this is a -- this results in an overestimate of
21 the annual dose. Next slide.
22 And this is illustrated by this graph. This is
23 our model dose, and you can see that the value
24 peaks out here about four -- about four rem,
25 four and a half rem, and this is before you

1 apply any adjustment factors. This is before
2 you apply that additional dose -- or not
3 additional dose but the correction for the low
4 energy photon response. So effectively these
5 values would all be multiplied by two. And
6 what you'll see -- this is where the model dose
7 and uncertainty and compounding claimant-
8 favorable assumptions ends up overestimating
9 what the actual dose would be. 'Cause within
10 this parameter here -- these arrow bars, by the
11 way, are the fifth and 95th percentiles.
12 They're not one standard deviation. So
13 effectively here in this early time period we
14 have the fifth percentile equating about the
15 95th percentile later time period monitoring.
16 This is how much of an overestimate some of
17 this modeled dose that we have is.
18 Again, because we have intermittent monitoring
19 data here and we have no monitoring data here,
20 using a source term model's going to introduce
21 uncertainty, going to introduce compounding
22 claimant-favorable assumptions and you end up
23 with a dose that is -- is quite large. The
24 true dose is somewhere between zero and up in
25 here (indicating). Okay?

1 Another worker comment concern that we heard
2 was the Pantex neutron to photon ratios. And
3 again, this initial assumption of using the
4 Pantex data was out of -- out of convenience
5 from the early time period of Rev. 0 in that a
6 lot of the Iowa data had not been coded yet so
7 it hadn't been put into a format such that we
8 could easily analyze it. Since then we've
9 coded it. We also used MCNP to determine the
10 under-response of the NTA dosimeter. NTA film
11 has a problem below about 800 keV neutrons in
12 that it really can't measure them, so we used
13 MCNP to model what fraction of the dose would
14 be below 800 keV, and it comes out to about 40
15 percent. So from a claimant-favorable
16 standpoint, we doubled the actual dose. It
17 would be like 50 percent.

18 And when we finished doing this, the Pantex
19 data still indicated a higher ratio, and this
20 is likely due to the contemporary use of lead
21 aprons. At Pantex they use lead aprons to
22 block some of the photon dose that would be
23 delivered to a worker. In the use of a lead
24 apron you're going to be blocking the photon
25 dose, but the neutrons it's pretty transparent

1 to. So the end result was no change to the
2 Technical Basis Document. And on the next
3 slide let me show you what the actual values
4 were.

5 The original Iowa data, neutron to photon
6 ratio, was .15. When we corrected based upon
7 MCNP, it raised this to about .3. The Pantex
8 ratio is .79, so it's about a factor of two and
9 a half higher than what the corrected Iowa data
10 would indicate. Since this was a claimant-
11 favorable assumption, we already had it in the
12 Technical Basis Document, we didn't try and
13 revise it in order to do -- make any changes.
14 Next slide.

15 So in summary, the major purpose of this
16 revision was to incorporate worker comments and
17 concerns. The second part was to expand the
18 dose reconstruction methodology into that early
19 time period. The initial site profile was
20 truncated at 1957; we needed to expand it back
21 to 1949.

22 As you can see from the presentation,
23 transparency and the disclosure of information
24 from '49 to '62 -- I really can't tell you all
25 that there is about the work factor and the

1 details of how that was calculated. From '63
2 to '74, with the release of the generic pit
3 dose cal-- dose rate calculations we can now
4 discuss what that full dose rate would be, and
5 there is no issue with disclosure in that time
6 period.

7 And finally, while disclosure is an important
8 program value for us at NIOSH, it's not an
9 overriding limitation on the scientific conduct
10 of our dose reconstructions for compensation
11 purposes.

12 And with that, I'll be happy to answer any
13 questions.

14 **DR. ZIEMER:** Thank you, Tim. Let's begin with
15 Wanda Munn, and then Gen Roessler.

16 **MS. MUNN:** Since there's no indication of any
17 fissile materials on site prior to 1955, I have
18 forgotten why we felt it necessary to begin our
19 overview at 1949.

20 **MR. TAULBEE:** 1949 is when they did the first
21 assembly of nuclear weapons. After -- once you
22 start an assembly operation and you start
23 sending weapons off into the stockpile, they
24 would come back occasionally under surveillance
25 type of mode where they would be torn down and

1 then put back together again. We can't rule
2 out that the capsule didn't come back at this
3 time with those weapons during the
4 surveillance. We know that they were not
5 assembled -- the capsules were not assembled
6 into the weapons 'cause we have evidence of the
7 use of mockup pits for that purpose. So it's
8 that early time period of that potential for
9 exposure. We haven't been able to rule it out
10 is the simple reason.

11 **MS. MUNN:** I see. Thank you.

12 **DR. ZIEMER:** Clarification here, perhaps, too.
13 Identify for the recorder, please.

14 **DR. FUORTES:** Hello, this is Laurence Fuortes
15 and a couple of statements have gone by that I
16 would like to take issue with, but just this
17 one about the lack of evidence of fissile
18 material prior to 1955 is not evidence of no
19 fissile material prior to 1955. That is a
20 statement regarding the availability of data to
21 NIOSH confirming fissile material after 1955,
22 not absence, not confirming absence there of --
23 prior to -- we have workers who worked as early
24 as 1950 describing no mockup pits at that
25 period, so I'd say if you want to ask Mr. Webb

1 or one of the other workers, we can try to
2 clarify for you that concern, but this speaks
3 very, very, very strongly to a concern I have
4 about the availability of data to NIOSH to
5 corroborate certain things. So I hope that
6 philosophical point makes sense.

7 **DR. ZIEMER:** Thank you. Okay. I think Gen
8 Roessler and then Jim Melius.

9 **DR. ROESSLER:** My question has to do with the
10 radon estimations that you pointed out. It's
11 on page seven in the slide. And you say that
12 based on the information you have, the levels
13 were lower in the -- this area than in Pantex.
14 Well, that's really -- for those of us who live
15 in the upper midwest, that's contrary to what I
16 would think because Iowa is a high radon state
17 and I think this is pointed out quite
18 dramatically in a letter which you probably
19 haven't seen yet, but from Dr. Field. So I
20 don't know how much data that was based on. I
21 guess I'd like a little more evaluation of that
22 situation, that natural radon.

23 **MR. TAULBEE:** Okay. Well, we can certainly do
24 so. Part of the reason that we did not use the
25 Iowa-specific data was, one, it was less than

1 what the Pantex data was. Number two, we
2 couldn't tell where those measurements were
3 taken on site, whereas with Pantex we had
4 building identification so we could tell where
5 they were being -- where they were taken and --
6 you know, in the Gravel Gerties, et cetera. At
7 Iowa we just had a collection of data, so we
8 didn't have exact information of where the
9 buil-- where those measurements were taken. So
10 as a result, between the two, when you don't
11 know, we went with the Pantex data. We could
12 certainly try and evaluate that further. I
13 don't know that we've gone back to the Army
14 recently to see if they actually have
15 measurements, you know, within the past year.

16 **DR. NETON:** Tim --

17 **DR. ZIEMER:** Jim Neton, could you add to that?

18 **DR. NETON:** I'd just like to add a little bit
19 of clarification on that point. I believe
20 there was something on the order of about 380
21 measurements taken by the Army, but they were
22 taken fairly contemporary -- in a fairly
23 contemporary time frame, about 1989, so -- and
24 we -- we don't know which buildings -- they
25 weren't identified as being associated with any

1 particular building. We do feel that we need
2 to go back and take another look at that. For
3 example, they may not have been -- since they
4 were taken by the Army, they may not have been
5 placed -- measurements may not have been taken
6 in areas that were underground, representing
7 the higher potential exposure levels in those
8 areas. So we -- we are willing to go back and
9 certainly feel we need to go back and take a
10 look at that -- that data -- those data.

11 **DR. ZIEMER:** Jim Melius.

12 **DR. MELIUS:** Yeah, I have some questions about
13 the data availability issue. I believe in your
14 presentation, Tim, you made a reference to when
15 the original ORAU site profile was produced
16 that at that time, quote/unquote, the Pantex
17 data was more available and therefore they used
18 it, and since then you -- you being NIOSH or
19 whoever's been involved in this effort -- have
20 gone and obtained more data. But is there
21 other data available that you have yet to
22 examine? I mean it would certainly appear from
23 what we've been hearing about both the radon
24 and about some of the other data that -- that
25 we're continually finding new sources of

1 information about this site. And could you
2 give us some sort of overview on that?

3 **MR. TAULBEE:** Certainly. Any time we're
4 developing a site profile -- site profiles are
5 designed inherently to be revised and updated
6 as we get new data. At some point you have to
7 put an end to the -- to the research and issue
8 a Rev. It doesn't mean that you actually stop
9 looking for data or stop continuing to collect
10 data. It just means at this point in time we
11 needed to get a revision out so that we could
12 start doing dose reconstructions. The actual
13 research could go on effectively for years in a
14 sense. And so from that standpoint, we need to
15 start doing dose reconstructions. So it's a
16 balance between how much data digging do we do,
17 and then how much do we feel we've got enough
18 to bound some of the dose estimates.
19 To give you a perfect example here, I really
20 believe once we get the tritium measurement
21 data, we will have sufficient evidence to go
22 back and revise the site profile such that the
23 tritium doses that we're assigning now are --
24 are much higher than what the actual exposures
25 were. The bioassay data I believe is out there

1 somewhere. It could be at Pantex. It could be
2 in Iowa Records. We have looked extensively
3 for that. But that's an example of potentially
4 other data sources that are out there that
5 could affect the site profile. However, our
6 goal in this particular revision was to come up
7 with upper bound estimates such that any new
8 data that we found would basically confirm that
9 our estimates were in fact overestimating.

10 **DR. MELIUS:** Yeah, I think you misunderstood my
11 question.

12 **MR. TAULBEE:** I'm sorry.

13 **DR. MELIUS:** I'm not really concerned about
14 your philosophy in doing this, though I
15 appreciate that explanation. But rather what -
16 - what do we know about what data is out there
17 that has not been examined? There's a lot of
18 references in your report and I believe some of
19 the other reports to a lot of data from Iowa
20 being shipped to Pantex, being stored there.
21 Has NIOSH ever done -- gone in and tried to do
22 an inventory of what's available and examined
23 that information and --

24 **MR. TAULBEE:** We received from the Department
25 of Energy an inventory of those records that

1 are down at Pantex. There's about 120 boxes of
2 records that are down there. We received some
3 description about those particular records.
4 Based upon those descriptions we requested some
5 of those records from Pantex. An example would
6 be the Iowa tritium measurement data. That was
7 something that we found through that records
8 retrieval and review process. So yes, we are
9 aware that there are records down at Pantex.

10 **DR. MELIUS:** But -- but you've never gone and
11 examined those --

12 **MR. TAULBEE:** We have not --

13 **DR. MELIUS:** -- records directly.

14 **MR. TAULBEE:** We have not individually gone
15 down there. We have reviewed those summaries
16 of what the records were and requested samples,
17 and based upon that methodology we were able to
18 retrieve both air sampling and -- both air
19 sampling data for tritium and depleted uranium.

20 **DR. MELIUS:** Yeah, so about how much of that
21 proportionally -- I mean is that -- you think
22 you've examined all the data there or is --

23 **MR. TAULBEE:** Certainly not.

24 **DR. MELIUS:** No. So it's a small proportion of
25 it.

1 **MR. TAULBEE:** Yes, sir.

2 **DR. MELIUS:** Okay. Thanks.

3 **DR. ZIEMER:** Okay. Additional questions or
4 comments? Mark Griffon?

5 **MR. GRIFFON:** Can -- looking at this pie chart
6 -- I'm trying to find the page --

7 **DR. ZIEMER:** Page 13 is --

8 **MR. GRIFFON:** -- page 13, yeah. This is for
9 1965, the question of the jobs that were
10 monitored.

11 **MR. TAULBEE:** Yes, sir.

12 **MR. GRIFFON:** I know --

13 **MR. TAULBEE:** Those are departments.

14 **MR. GRIFFON:** Excuse me?

15 **MR. TAULBEE:** They're departments.

16 **MR. GRIFFON:** Departments, okay.

17 **MR. TAULBEE:** That those workers came from.

18 **MR. GRIFFON:** Okay. Do you -- do you have
19 department information for all time periods or
20 is this a -- how -- how did you come -- how did
21 you --

22 **MR. TAULBEE:** Well, this information came from
23 --

24 **MR. GRIFFON:** -- construct this table, I guess?

25 **MR. TAULBEE:** -- the University of Iowa, from

1 their records that we captured a couple of
2 years ago. The best summary that we have is
3 really from about 1965 forward, from a
4 department standpoint. I believe, and please -
5 - if Dr. Fuortes knows more about those records
6 holdings -- I believe the Army has individual
7 employment cards over all time, but I am not
8 that familiar with that standpoint.

9 **MR. GRIFFON:** Okay, and -- and as far as the --
10 the dosimetry records that you used post-'63 to
11 '75 or so, those didn't have job titles on
12 them, did they, or...

13 **MR. TAULBEE:** Not all of them have job titles.
14 We do have some job titles, but it's certainly
15 not comprehensive at this point in time.

16 **MR. GRIFFON:** On the -- on the follow-up slide
17 on that, the discussion of the bare pits, I
18 just wanted to -- a clarification on the
19 assumption of the bare pit is claimant-friendly
20 on the low energy photon doses. It's also
21 claimant-friendly on the overall photon dose
22 received? I just wanted to --

23 **MR. TAULBEE:** That's correct.

24 **MR. GRIFFON:** -- you didn't say that, I just
25 wanted to --

1 **MR. TAULBEE:** That is correct.

2 **MR. GRIFFON:** -- I think we can say that.

3 Right? Right.

4 **MR. TAULBEE:** Yes. Yes.

5 **MR. GRIFFON:** All right.

6 **DR. ZIEMER:** Did you have additional follow-up?

7 Go ahead, Dr. Melius.

8 **DR. MELIUS:** Yeah, I -- back to the pie chart,

9 I think you may have answered this indirectly,

10 but do you have num-- sort of the denominators

11 for those department -- how many people worked

12 in those departments at that time so --

13 **MR. TAULBEE:** No, I don't, not for 1965, sir.

14 Although we could -- probably could develop

15 that by coding all of the information, all of

16 the annual summaries that we had. But where

17 this information came from was a tally of

18 workers, their summation of their occupational

19 history -- I'm sorry, occupational dosimetry.

20 This would all be put onto one form with the

21 department listed on that particular form. And

22 there's about -- I believe around 800 of these

23 particular forms and we have not coded all of

24 them to give you a proportion --

25 **DR. MELIUS:** Okay.

1 **MR. TAULBEE:** -- at this time.

2 **DR. MELIUS:** And a related question, as you get
3 back into that earlier time period of
4 monitoring, I believe you -- yeah, one of your
5 slides here for era three, and actually I think
6 going back into era two, but for the monitoring
7 that was done you refer to it as being done to
8 workers with the highest exposure potential.

9 **MR. TAULBEE:** That's correct.

10 **DR. MELIUS:** Is that based on an evaluation of
11 whether those people have the highest exposure
12 based on data that was subsequently collected,
13 or is it based on what sort of the philosophy
14 of the program was at the time?

15 **MR. TAULBEE:** It's a combination of -- of
16 things. One is from our interviews with actual
17 workers out here in Iowa last year and then
18 going back and looking at the dosimetry records
19 and seeing the people that I was talking to,
20 seeing their records. And listening to them
21 talk about what their exposures were, they were
22 clearly the ones doing the assembly, the
23 disassembly, the inspection that was going on.
24 That's one component.

25 The other component comes from CATIs. The

1 third component does come from monitoring
2 philosophy at that time. So it's a combination
3 of things that have given us this picture.
4 It's not one particular piece of information.

5 **DR. MELIUS:** But there's been no systematic
6 verification of that, going back through and
7 looking at people by department or -- or where
8 they worked to try to get a sense of who might
9 have been missed based on -- you know, whether
10 -- what high exposures might have been missed
11 based on subsequent data that became available
12 about that department and so forth during years
13 when there was much more comprehensive
14 monitoring.

15 **MR. TAULBEE:** That is correct. I would like to
16 -- that -- that's correct.

17 **DR. MELIUS:** Yeah, maybe systematic isn't the
18 right -- I may -- that may be --

19 **MR. TAULBEE:** That's not the word.

20 **DR. MELIUS:** -- exaggerating it with the way
21 you --

22 **MR. TAULBEE:** What I would like to indicate is
23 that there is other information that we do have
24 that I'm sorry I can't disclose dealing with
25 source term that also helps us make this

1 determination.

2 **DR. MELIUS:** Yeah.

3 **MR. TAULBEE:** I'm sorry.

4 **DR. MELIUS:** But -- but -- you don't have to
5 apologize for that. But you wouldn't
6 necessarily know, based on that other
7 information, you wouldn't know everyone that --
8 all the -- who was -- might have been exposed
9 in a similar situation that wasn't monitored.

10 **MR. TAULBEE:** That's correct, yes, sir.

11 **DR. MELIUS:** Yeah, because it's very -- I mean
12 it's -- I'm just trying to get an understanding
13 of how robust that --

14 **MR. TAULBEE:** I understand.

15 **DR. MELIUS:** -- that conclusion is.

16 **DR. ZIEMER:** Tim, could you help us understand
17 a little further -- I'd like to go back a
18 minute to the -- the boxes at Pantex, and you
19 made a decision to look or to request certain
20 things. Tell us a little more about the things
21 that you did not request. On --

22 **MR. TAULBEE:** Okay.

23 **DR. ZIEMER:** On what basis would you have said
24 we don't need these now or these may not be
25 nearly as important or -- and sort of follow

1 that -- I think sometimes we're left with an
2 uneasy feeling that there's 100 boxes out here
3 and we've gotten a few files, but what's in the
4 rest of those? And this Board is, in a sense,
5 under pressure -- time pressure, as a minimum,
6 and to make a decision with a lot of boxes only
7 examined by titles or file names or something.
8 Help us understand that whole business.

9 **MR. TAULBEE:** Certainly, and I apologize for
10 not expanding more on this earlier. What we
11 had was -- we have the boxes, we also have the
12 description. In many of the boxes, especially
13 the dosimetry records and rad protection type
14 of monitoring and incident reports along that,
15 what was accompanied in there was a copy to the
16 University of Iowa. Back a couple of years ago
17 we sent a team out to the University of Iowa to
18 Dr. Fuortes's shop and captured all of those
19 records. So when we saw that particular label
20 on a particular box, then we didn't try and
21 request more information from that because we
22 knew we already had that, that (unintelligible)
23 --

24 **DR. ZIEMER:** And give us a feeling for what --
25 is that a big fraction of the total or...

1 **MR. TAULBEE:** A big fraction, it is a --

2 **DR. ZIEMER:** Significant figure --

3 **MR. TAULBEE:** -- my guess is probably 25
4 percent type of a scenario, maybe -- let me go
5 through what some of the other boxes are that
6 we made the decision not to, and this would be
7 like a box labeled the Mark 30 program logs,
8 for instance. And what this would contain
9 would be all of the specifications and so forth
10 for that particular weapon. And so it wasn't
11 related to dosimetry.

12 When we went through and we saw something that
13 would be related towards occupational exposure,
14 that was where we asked for sampling of
15 records. Some of our sampling of records did
16 not return what we thought that they might
17 have. For instance, there's several boxes of
18 smears or swipe data, and so we asked for
19 sampling from that, hoping that that would be,
20 you know, uranium or radioactivity smears.

21 What they were was smears for beryllium, and so
22 -- or for -- there's also other boxes labeled
23 as bioassay and so we asked for a sampling of
24 those records, hoping that they would be the
25 tritium records. And it would be bioassay for

1 MOCA, a high explosives that they were doing
2 monitoring for. So that was our process of
3 going through and eliminating which boxes that
4 we would want and which boxes that we didn't.
5 And I would probably say 50 percent of them or
6 more were clearly a group of production type of
7 records related to specific weapons
8 assembly/disassembly.

9 **DR. ZIEMER:** Thank you. Mark?

10 **MR. GRIFFON:** Just to follow up on that, Tim,
11 were those boxes classified, any of those boxes
12 classified or...

13 **MR. TAULBEE:** Any time that we request a set of
14 records out of the Pantex and Iowa holdings, it
15 undergoes a classification review.

16 **MR. GRIFFON:** Oh, no, I meant of the 130-box
17 inventory at Pantex --

18 **MR. TAULBEE:** I don't know which ones are
19 classified.

20 **MR. GRIFFON:** On your index you couldn't tell,
21 right? Okay.

22 **MR. TAULBEE:** They're all stored in a
23 classified vault.

24 **MR. GRIFFON:** Oh, they're all in the classified
25 vault, right?

1 **MR. TAULBEE:** Yes.

2 **MR. GRIFFON:** Right. But you don't know
3 because you weren't there --

4 **MR. TAULBEE:** That's correct.

5 **MR. GRIFFON:** -- whether there was something --
6 right. I mean I guess part of our experience
7 doing this work is that oftentimes there's some
8 very valuable health and safety information
9 amongst those production records, so I'm not
10 sure that that's just -- sometimes those titles
11 can be deceiving.

12 Having said that, I have one other topic I
13 think that wasn't covered in the presentation,
14 but it was brought up by worker testimony at
15 past meetings and maybe in letter form. I
16 forget where I've seen it. This question of
17 the potential for fissile materials to come off
18 the pit and have potential internal exposures
19 from plutonium in that manner. And I know that
20 this was -- was not considered an issue, but in
21 Appendix E -- I'd point the Board to this
22 section in Appendix E of the TBD, if you have
23 it with you, you point out that it couldn't
24 happen, and then in this next paragraph it
25 states that it actually happened a few times at

1 Pantex. Although you go on to say that these
2 incidents were well-documented, my question
3 would be that, you know, is there a -- I mean
4 it certainly could have happened at Iowa and
5 you just didn't retrieve those incident
6 reports. That's one fear I have, maybe. Are
7 you -- I mean it seems like you're pretty
8 certain that no plutonium exposures could have
9 occurred at this site. Are you ready to make
10 that kind of statement here or where do you
11 stand on that? I know we had a little follow-
12 up in some of the subcommittee meetings, as
13 well.

14 **MR. TAULBEE:** That's correct. And this is
15 something that we are trying to track down more
16 along the lines of the plutonium waste that you
17 had pointed out to us a couple of weeks ago in
18 a relatively small quantity. Due to the
19 magnitude of those particular accidents, we see
20 at Iowa very similar types of accidents where a
21 pit would be dropped or something. We do have
22 incident reports for Iowa, and we see where the
23 workers evacuated the cell or the room when
24 this would occur -- when this occurred and the
25 rad safety group coming back in and checking

1 the materials. So we see the similar type of
2 incidents occurring. The difference is is that
3 at Pantex one of them actually broke open -- or
4 it actually didn't break open, it cracked. It
5 had a fracture in the particular pit following
6 this incident and it contaminated the whole
7 cell. And there was bioassay and follow-up
8 along those lines.

9 Based upon the monitoring practice and
10 monitoring program that was going on, the
11 routine smears and the care of the workers in
12 the handling of their materials, I'm fairly --
13 I'm very confident that there was not any of
14 the catastrophic incidents that we saw at
15 Pantex. There was certainly the potential, by
16 far, and concern of when a very similar
17 accident happened. It just simply didn't break
18 open at that time.

19 **DR. ZIEMER:** Henry Anderson.

20 **DR. ANDERSON:** Just trying to get a handle on
21 some of the data, do you -- do you go through
22 and sort of grade the information by its
23 utility? I mean for instance, there may be
24 measurements like there was for radon, but it's
25 35 years after some of the people may have been

1 there and there's some other -- now it's
2 measurements, but one might question, as you
3 did; you decided not to use that and use radon
4 in another part of the country. Do you go
5 through that with some of the other data? For
6 instance, we've heard that in some of the
7 measuring -- or the badges, you have the badge
8 data, you can do some of the laboratory issues
9 of limits of detection and things like that,
10 but it might be less useful because you don't
11 know who they were on or what the people were
12 doing, and then you start to make assumptions.
13 Do you have any kind of a qualitative any way,
14 assessment of the quality of the information
15 you have? We've continually heard that well,
16 this is claimant-friendly. At what point does
17 claimant-friendly become very divorced from
18 data? I mean it may be claimant-friendly, but
19 just saying everybody has the highest -- we'll
20 just make up a dose is even more claimant-
21 friendly than some of these others. So that's
22 kind of a -- the first part of it, do you -- do
23 you do that?
24 And then my second question is, there's been a
25 number of site profiles that we're reviewing or

1 that we have reviewed. Where would you place
2 this one as far as quality, data and
3 information compared to the other site profiles
4 that have been done?

5 **MR. TAULBEE:** Let me answer your first
6 question. We do go through a process, and the
7 purpose of the site profile is to describe the
8 methods that we would use for dose
9 reconstruction. It doesn't detail all of the
10 data that we have used or looked at or
11 analyzed.
12 For example, at Iowa there is a large volume of
13 pocket ionization chamber data, particularly
14 among radiographer workers, that we didn't even
15 use in this particular analysis. We also have
16 within that same dataset times of them entering
17 into the radiography cell and leaving, so we
18 have time measurements, as well -- they were in
19 this particular cell for a half-hour type of
20 thing. We didn't use that data, as well. We
21 didn't discuss it in the site profile because
22 we don't -- we're not using it, from this
23 particular standpoint. So there is some data
24 quality review that we go through. We know
25 pocket -- or dosimeters, film badge dosimeters

1 are of higher quality than pocket ionization
2 chamber data. Therefore, since we had the film
3 badge dosimeter during the same time period, we
4 decided to use that instead of the other
5 dataset. Okay? So there is a data review and
6 quality that we do as we're developing the site
7 profile.

8 To answer your second question -- and I'm
9 sorry, I just forgot what it was.

10 **DR. ANDERSON:** Basically how does the quality
11 and the extent of the data used in this site
12 profile preparation compare to some of the
13 other sites, so we can do kind of quali--
14 qualitatively get a sense of --

15 **MR. TAULBEE:** Sure.

16 **DR. ANDERSON:** -- how confident are you that
17 you've actually estimated doses rather than
18 just make --

19 **MR. TAULBEE:** Okay.

20 **DR. ANDERSON:** -- the policy decisions of, you
21 know, protectiveness.

22 **MR. TAULBEE:** And I think Jim wants to speak to
23 this, but let me start it. When you compare
24 Iowa to atomic weapons employer, we have a
25 large volume of data compared to what we do

1 dose reconstruction with from AWE sites. When
2 you compare it to some of the larger
3 facilities, in that early time period prior to
4 1963, it's much lower than what we see at other
5 -- other facilities. But it's certainly not --
6 I guess I should just leave it at that. It's
7 not as much lower than what we see -- the big
8 part that we don't see is the actual bioassay
9 data. We do see -- we have sufficient
10 information I believe to estimate that
11 particular dose, but for Iowa we have yet to
12 find any bioassay data. Film badge monitoring
13 is about the same. They were following the AEC
14 manual 524 as to who would be badged and who
15 wouldn't. So it's very common across other
16 facilities to see this gap or this limited
17 amount of monitoring data. Y-12 is another
18 prime example for that, and that would be prior
19 to 1961 at Y-12.

20 **DR. ZIEMER:** And Jim, would you like to
21 elaborate on that?

22 **DR. NETON:** I'd just like to elaborate a little
23 bit. I think Tim's right on with his response,
24 but I think -- it's hard to compare these
25 different sites. Each site is very different,

1 and what we find here in Iowa is that the
2 potential for internal exposure we believe was
3 fairly low because of the encapsulated nature
4 of the material. There were no grinding
5 processes going on with these pits, et cetera.
6 Whereas a place like a Bethlehem Steel, it's
7 the opposite. Fairly low external dose
8 potential but huge potential for internal based
9 on the processes involved. So each site stands
10 by itself. I mean you can't really compare the
11 quality of one dataset with the other, I don't
12 think. It really depends on the processes that
13 were employed at the site and what we can do
14 with that as far as a source term calculation.

15 **DR. ZIEMER:** Dr. Melius.

16 **DR. MELIUS:** I have a few more questions. One
17 is I guess a request for information if you
18 have it on that revised table you put in your
19 slides, the work factor development, where you
20 added the number of workers that were
21 monitored.

22 **MR. TAULBEE:** Yes, sir.

23 **DR. MELIUS:** Will you also have available -- I
24 wouldn't expect from memory, but the data on
25 the number of workers that had positive

1 determinations?

2 **MR. TAULBEE:** I have -- I have not --

3 **DR. MELIUS:** I mean you have the number of
4 positive -- I can't -- it just might be helpful
5 to get a sense of relatively what that
6 proportion is. I don't expect you to have it
7 in your head.

8 **MR. TAULBEE:** Yes, sir. Unfortunately I've not
9 gone through and done that type of a tally yet,
10 so I'm sorry --

11 **DR. MELIUS:** Well, if you could or if it is
12 available somehow --

13 **MR. TAULBEE:** Oh --

14 **DR. MELIUS:** -- it would be useful to have.

15 **MR. TAULBEE:** Okay.

16 **DR. MELIUS:** Okay. Secondly, along this line
17 of sort of how do you go about doing this, and
18 this gets to the area of -- of classification
19 and so forth. I guess I'm trying to understand
20 that in this process that you're going through
21 that -- I assume you're always, in doing a site
22 profile you're always looking for what's the --
23 the best data is to characterize the exposure.

24 **MR. TAULBEE:** Yes, sir.

25 **DR. MELIUS:** So though, you know, a source term

1 type of information may -- along with other
2 information may be adequate, it's not
3 preferable, so you're looking for what is
4 preferable. Detailed individual monitoring
5 data I guess is sort of eventually the -- what
6 you're trying to get at, and what I'm trying to
7 understand, one, is does the secrecy issues
8 related to the site prevent you from accessing
9 or utilizing better data that might be -- that
10 might be used in evaluating or describing
11 someone's exposures at the site?

12 **MR. TAULBEE:** Yes.

13 **DR. MELIUS:** And then along that same line,
14 it's just getting a sense as to what extent the
15 classification impedes our ability to -- for
16 you to present, I guess, this information to us
17 and for us to -- who are not -- don't have the
18 appropriate clearances to understand the
19 scientific basis for this, so I guess they're
20 sort of two separate but related questions.

21 **MR. TAULBEE:** Okay. With regard to the issue
22 of do we have better data such that we could
23 estimate the doses more accurately, yes, we do.
24 The problem is is that if we were to do so, all
25 we would be able to give you is the annual

1 dose.

2 **DR. MELIUS:** Uh-huh.

3 **MR. TAULBEE:** There wouldn't be other
4 information associated with anything else, and
5 that's as transparent as it would be.

6 **DR. ZIEMER:** Did you have a follow-up on that?

7 **DR. MELIUS:** No, that's...

8 **DR. ZIEMER:** Other questions or comments from
9 the Board?

10 **PRESENTATION BY SC&A**

11 **DR. ZIEMER:** If there are no further questions
12 or comments, we're going to continue with our
13 review by our contractor. John Mauro's going
14 to make a presentation which -- this represents
15 a summary of the review that they've done,
16 pretty much on an accelerated basis now, since
17 our last meeting. John, look forward to
18 hearing from you here.

19 **DR. MAURO:** Good afternoon. My name is John
20 Mauro. Many of us have met before. Before I
21 get into my presentation, this may go on --
22 maybe it doesn't need to be said, but when I'm
23 in a situation like this I ask myself the
24 question, okay, let's say I'm a worker. I've
25 come down with a cancer and I worked at this

1 facility for some time period, whether it's
2 pre-'63 or post-'63, and as a health physicist
3 who's read all this material, listened to a
4 very impressive presentation and been giving a
5 lot of thought to this particular problem over
6 the last month, I say to myself -- and I'm --
7 but I'm a, you know, a -- a claimant -- am I
8 convinced? And what do I say, well, what do I
9 need to be convinced that I feel as if I've
10 been treated fairly, especially since I have
11 this background in this area? And right now,
12 as we stand here today -- and now speaking for
13 myself -- is that I've got a lot of questions,
14 and I'm not entirely convinced that if I were
15 denied on the basis of the information that I
16 heard here that I would feel as if I was
17 treated fairly. Okay?

18 And I think it's -- it's sort of really common
19 sense, but -- and I'm going to try to give you
20 my reasons and our reasons, and I'm going to
21 ask several of our folks to come up because,
22 you see, right now what we have here is we have
23 a crew of four people that have written the
24 report -- the reports that you have before you,
25 and it was very much a collaborative effort.

1 I'm here before you to speak because I was sort
2 of the point man, carrying the flag. In fact,
3 I took the first run at writing the report that
4 you have, and then we went through a loop, a
5 iterative process, and everyone has very, very
6 strong feelings and brings to the table lots of
7 talent that's -- that complement each other.
8 But I'm going to sort of get the ball started
9 and then I may pass the baton to some of the
10 other folks who have deeper insights into
11 certain issues.
12 So with that as an introduction, I'd like to go
13 to the next slide.
14 As you all know, the Rev. 0 came out quite some
15 time ago, April 16, 2004. This is sort of my
16 excuse table. Okay? This is my -- we started
17 work -- we got the green light on March 14th.
18 Okay? And we said John, hit it. We put
19 together our team and we started to read
20 everything, and -- and we try to digest as much
21 of this materials as we could, and then we --
22 what you see is a very intense schedule where
23 we had people mak-- performing interviews of
24 workers. We're reading, we have people getting
25 their Q clearance in this time period. As soon

1 as the Q clearances come through, we have
2 people that went, along with other members of
3 the Board, to read as much material as they
4 could in about a two-day period.
5 During this process we're holding conference
6 calls with the Board and representatives of
7 NIOSH and gathering information, so we're on
8 the sprint, and then on April 18th we deliver
9 the report you have. And then of course -- and
10 the interesting thing about this whole process
11 is, I really didn't have a chance to talk to
12 Joe Fitzgerald and Kathy DeMers until the very
13 end of the process because they were locked
14 away from us. And in fact, the report --
15 relatively brief report that you received,
16 about the 20-page report which represents our
17 recently-cleared document, it wasn't until
18 Thursday of last week that Joe and I finally
19 had a chance to talk to each other. And all
20 sorts of lights start going off, we start to
21 realize things and learn things. So we're in a
22 very intense process and today I'm before you
23 to try to communicate places where we feel
24 NIOSH's case is really tight and they did -- in
25 other words, I'm convinced, as best I could be

1 convinced, given what we've went through -- and
2 other areas where I'm not so convinced, or
3 perhaps we're not so convinced.

4 And first and foremost, normally we would take
5 at least two months to go through -- to get to
6 the point where here -- or maybe even three,
7 given that we're dealing with classified
8 documents. The product you have right now in
9 front of you is what we normally would have
10 called a preliminary working draft for the
11 purpose of delivering to NIOSH for factual
12 accuracy review. Then normally what we would
13 have done is have a -- an open meeting that
14 would have been recorded where we all had a
15 chance to ask each other questions, discuss
16 some of the issues. So really what's happening
17 now is we really have accelerated the process.
18 And in fact I'm very anxious to get feedback on
19 some of the factual accuracy issues that
20 perhaps we missed, or places where we might
21 have got these right. But right now I'm going
22 to communicate to you where we are right now at
23 this point in time, given that we really
24 haven't read every document we wanted to read
25 and we did not have full and unencumbered

1 access to information. There's still a long
2 list of documents we would like to look at.
3 There's a -- we've learned that there are a lot
4 of records out there over and above the records
5 that were looked at by -- by NIOSH that would
6 be a pretty good idea for us to look at. There
7 are a lot more people we want to talk to.
8 I haven't seen the results of the interview
9 record. I don't know if you're aware of this,
10 but when we had our team of people interview
11 many of the workers, they took notes. And
12 normally I would have -- we would have access
13 to all those notes. But what happened was
14 those notes were confiscated and had to go
15 through a clearance process, so -- which is --
16 so we don't -- we are just now getting access
17 to that information. So what we are -- we're
18 sort of stepping in the middle of a process and
19 what I'm going to give you now is a -- a
20 picture of what we see. I'm trying to make it
21 as clear as we can, and in some places we're
22 going to be right or strong and in some places
23 we're going to be weak, but it's -- my intent
24 is to be as helpful as I can to understand what
25 do we have here. Next slide, please.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

All right. When you read the Rev. -- by the way, I did not read Rev. 0, so I didn't have the benefit of Rev. 0. We hit the street running. On the 17th we jumped right on Rev. 1. Okay.

What we -- what I've done -- done here is simply say well, this is the report. The report basically is divided into these different sections, and what I -- what I'm going to do is give you a first -- initial impression, after one month's worth of work, where -- where I think each section lies. And the first chapter that's of -- you know, that's important to talk about is the occupationally-related medical X-rays.

Well, it turns out that evaluation was your standard section. We've seen that section before. We've seen that approach before and the way in which they did their -- they do their dose reconstructions. And like many of our other reviews of that section, the major concern we have with that section is it leaves out the possibility of fluoroscopic examinations whereby the -- so I would say if

1 there's any significant concern that we have,
2 observation with regard to the first chapter on
3 occupationally-related medical X-rays is that
4 it treat-- it does the posterior/anterior dose
5 reconstruction for chest X-rays, but it --
6 given the time periods we're talking about, we
7 were surprised that there was no discussion of
8 fluoroscopic examinations, which as you know,
9 per examination it gives a much higher dose
10 than a typical chest X-ray. But that's our
11 first impression of first item.
12 Now we're going to talk about the occupational
13 environmental doses. When you think about the
14 operations at this facility's -- the way it --
15 the little -- the model you build in your head
16 about -- you know, as you're reading and you
17 start almost trying to visualize, what I
18 visualize is that there were people who are
19 what we call Line 1 workers. We're going to
20 put them aside for a minute. I'm going to get
21 to them because that's where I think the real
22 issues are, but there are all these other
23 people that were working at the site, working
24 outdoors, doing a whole array of things where
25 they were exposed to both external exposures

1 and potential internal exposures. And that's
2 the chapter that they call occupational
3 environmental dose.

4 Within that category it's convenient to think
5 of two different kinds of groups of workers.
6 This is how I've done it for myself. That
7 group of workers that were really -- had very
8 low potential to experience very much exposure
9 and -- because they did not -- were not up
10 close and personal and visiting on a periodic
11 basis these storage areas, I think they call
12 them igloos, because here's where perhaps
13 hundreds of these pits were stored. If you go
14 inside one of these pits, that's -- they're
15 calling that an environmental dose. So I find
16 that -- if there's any place where there is a
17 problem with regard to wow, somebody could have
18 gotten some pretty high doses, it -- in the
19 chapter called occupational environmental dose,
20 it's what I call -- you see the -- notice
21 underneath that heading, the second bullet
22 heading, I have a -- I bolded "External
23 exposures, non-Line 1 workers". I bolded that
24 because within that chapter, that's the place
25 that drew my attention and drew all of our

1 attention especially.

2 Now we've heard a lot of discussion about

3 tritium exposures, internal exposures to

4 tritium. And the way in which the tritium

5 exposures may have occurred for the

6 occupational environmental doses is people are

7 working outdoors and these various facilities -

8 - these Gravel Gerties, they were handling

9 tritium and it was being vented, so it's going

10 up into the atmosphere and being dispersed, and

11 then there might -- there are people outside

12 that could be exposed and inhale the tritium.

13 Well, there's no doubt in my mind that the way

14 in which they modeled that -- those exposures

15 were grossly conservative. In other words,

16 they didn't underestimate those tritium

17 exposures, I'm positive of that, as long as the

18 source term that they used, the number of

19 curies per year going out, was -- were

20 reasonable. We did not check to see if in fact

21 the number of curies per year being vented out

22 of these units into the atmosphere was in fact

23 a reasonable upper bound. But one thing for

24 sure, the way in which they modeled the release

25 of the material, its dispersion in the

1 environment and then exposure of a receptor,
2 was extremely conservative, by perhaps two
3 orders of magnitude. So I'm not worried about
4 that tritium exposure. I'm -- I'm convinced.
5 If I was -- if I worked there and someone told
6 me that well, the only exposure, you know, that
7 I knew I was outdoors and the only time I got
8 exposed was to this tritium, well, I got to
9 tell you, I would buy it. I'm saying there's
10 no -- I don't have a problem.
11 Let's move on to the occupation-- no, no, I'm
12 sorry, we'll stay with the slide for a while
13 'cause I'm going to set the stage 'cause it'll
14 eventually -- going to zero in on where the
15 action is.
16 DU exposure. Another thing that went on
17 outside is they -- there was a -- they burned -
18 - a burning pits. They burned these explosives
19 which has commingled with them some depleted
20 uranium. They ran -- they ran some models and
21 -- and from what -- what I read, what I saw
22 there, the way in which they treated that
23 problem was -- was reasonable, science --
24 scientifically sound and claimant-favorable.
25 In other words, given that the quantity -- see,

1 what they did is said all of the uranium that
2 was being commingled with the explosives
3 outdoors, when that was burning, all of the
4 uranium became airborne, vaporized, became
5 airborne and it was a -- they -- in a very,
6 very fine form that was highly respirable, and
7 then they did a atmospheric dispersion
8 calculation. They said let -- to calculate
9 what the dose would be to this guy outside who
10 might be exposed to this airborne plume. I
11 have to tell you, I'm okay with that. Okay? I
12 -- I find that approach to what they did
13 reasonable, scientifically sound and claimant-
14 favorable.

15 We had a couple of minor comments. For
16 example, well, we noticed that they used a
17 certain particle size distribution that could
18 have been a little bit more claimant-favorable,
19 but you know, given the fact that they did not
20 take credit for -- for example, when you have a
21 burning pit -- not pit, but a burning area, you
22 get plume rise. Okay? They didn't take that
23 into consideration. Plume rise from the heat
24 will increase dispersion. So I walk away from
25 that -- now remember, we spent one month. We

1 had to say what are we going to look at closely
2 and what are we going to say well, this looks
3 okay. I would say that this exposure to DU
4 from burning sites, probably okay. Move on.
5 Ingestion of DU in drinking water. Notice
6 we're still under occupational environmental.
7 Well, one of the things that they addressed in
8 the report was that well, listen, you've got
9 all this uranium that's being burned with
10 explosive all over the ground everywhere --
11 garage areas -- and it's raining and there's
12 runoff, and the runoff is carrying the -- the --
13 -- is running off to a nearby drinking water
14 source called Mathis Lake and this -- and they
15 say well, listen, is it possible that there's
16 some uranium finding its way to Mathis Lake at
17 a concentration that's unacceptable. They took
18 a number of samples over the years from Mathis
19 Lake and they -- they -- they measured the
20 amount of uranium in that water and they found
21 out that the concentrations that they've been
22 looking at in the drinking water from Mathis
23 Lake is really no different than it is in
24 background levels anywhere in the United
25 States. I walked away from that. I accept

1 that. I said I'd buy that. There really was
2 not mu-- if there's a problem with this site,
3 it's not that. Okay? Let's keep going.
4 All right. Now we're going to go to the next -
5 - the last item under occupational
6 environmental, external exposures, non-Line 1
7 workers. Bing, here's our first problem area.
8 Now I want you to visualize this.
9 You've got this building -- large building, and
10 I don't -- I don't know exactly what it looks
11 like, but apparently they stored hundreds of --
12 of pits, nuclear warheads, inside the building.
13 Okay? And apparently there were film badges
14 that were hung inside the -- this building and
15 collected data. Every two weeks they took the
16 film badge out and they read it out to see how
17 much dose over that two -- two-week period each
18 film badge experienced. And the data show that
19 the doses or the exposures of the film -- those
20 film badges -- there were a lot of them -- ran
21 from about 100 millirem over a two-week period,
22 two week being continuous two week, up to over
23 two rem over that two-week period.
24 Now not much is said about that in the report,
25 and here -- now -- now I say to myself, if I

1 were a security guard and I worked at that site
2 -- now I don't know if this went on -- and I
3 was inside there with my gun, securing that for
4 eight hours a day -- I don't know if this
5 happened, far as I can tell, the report is
6 silent on this particular issue -- but all of a
7 sudden, what we're saying is oh, so in theory I
8 could have gotten two -- over a two-week
9 period, I -- remember that's two contin--
10 that's two weeks continuous or -- which --
11 whatever number of hours that is, but if I'm a
12 worker, I'm there eight hours a day. So in
13 other words, some fraction of -- of two rem.
14 Okay? I don't know what the numbers go --
15 Hans, do you know off-hand what we're talking
16 about? If we're -- instead of being -- what --
17 instead of being a full two weeks it'd be there
18 about one-third the time?

19 **DR. BEHLING:** (Off microphone) It's 0.2374.

20 **DR. MAURO:** About .23--

21 **DR. BEHLING:** (Off microphone) (Unintelligible)

22 **DR. MAURO:** -- point -- 230 millirem.

23 **DR. BEHLING:** (Off microphone) About
24 (unintelligible).

25 **DR. MAURO:** Okay, about a quarter of that dose.

1 Okay. Now -- now, so he's in -- let's say he's
2 in there, okay? And he gets that photon dose
3 from the -- what's coming off the -- these
4 pits. But then you have to remember -- now he
5 -- we're -- those film badges are missing the
6 low energy photons. We've got to multiply that
7 by something like 2.2 because we're missing
8 that americium component, so the dose all of a
9 sudden goes from 200 millirem to maybe 400, 500
10 millirem in that two -- in that two-week
11 period.

12 We're not done. We're saying but wait a
13 minute, he's also getting hit by neutron
14 exposures, and we know that the -- that the
15 neutron to photon ratio that they used is .79 -
16 - by the way, I buy that. I mean I'm -- as a
17 health physicist, I looked at what they did.
18 We modeled everything like they did and we came
19 up with neutron to photon ratios ourselves from
20 this generic pit. We looked at what -- the
21 data they had and as far as I'm concerned, they
22 picked a good number there. That .79 is pretty
23 good.

24 But what this means, though, is beside that 500
25 or so millirem per two-week period, this

1 person's also getting -- you multiply that by
2 .79 and so you're getting another 300 millirem
3 on top of that. And then you've got to
4 multiply that neutron dose by 1.9 to convert it
5 to, you know, effecti-- you know, the -- the
6 quality factor, you know. In other words, this
7 -- this -- you know, a rad of gamma then to a
8 rem of neutron, so you multiply by what -- this
9 1.9 factor.

10 Bottom line is this. Now I don't know if this
11 happened there or not, but again, think of it
12 like this. I'm -- if I was a worker and I knew
13 that I worked there and I was a security guard
14 and I spent a lot of time inside that building,
15 what do you get -- Hans, you ran the numbers.
16 What -- what kind of doses would this guy get
17 at the end of a year of work?

18 **DR. BEHLING:** (Off microphone) 27,000 to 54,000
19 millirem --

20 **DR. MAURO:** Okay.

21 **DR. BEHLING:** -- (unintelligible).

22 **DR. MAURO:** Right, which is much bigger than
23 any number that's anywhere in the report. Now
24 I don't know if it's true. Now I -- you know -
25 - you know, we'll talk -- I'll talk to Jim.

1 Jim, this the first time you're hearing this
2 and -- at NIOSH. Now I don't know, maybe these
3 guys didn't stay inside these -- didn't go
4 inside -- maybe they stayed outside. There was
5 a locked door and they just stayed outside the
6 locked door. That will be -- it's a different
7 story, but -- so my first concern is that. I
8 said -- bam, I think -- this one is the one I'm
9 nervous about because thi-- now we're talking
10 about doses that are substantial -- if in fact
11 that scenario that I just invented actually
12 occurred. Or even if it occurred only
13 partially, where a person maybe didn't spend
14 eight hours a day inside, but maybe three hours
15 a day. We're talking about big doses now.
16 I'm going to leave that section on occupational
17 environmental doses now, and we're going to
18 move into internal exposures of Line 1 workers,
19 the internal exposure again. My only criticism
20 of this part -- now -- now we're at -- see, now
21 we're Line 1 workers. We're no longer outside.
22 We're inside this Gravel Gertie and we're doing
23 our thing inside the Gravel Gertie with the
24 pits. Okay? And -- and a person could
25 theoretically -- the question is could he be

1 exposed. Now one of the things he could have
2 been exposed to is tritium -- remember we had
3 the tritium going out the vent. Well, he's in
4 the building now handling the containers that -
5 - where they're opening the bottles, whatever
6 these thing -- these JP containers, and there
7 could be some airborne tritium there where he's
8 working.

9 We looked at the assumptions that were made to
10 predict what the exposures might have been to
11 the Line 1 workers inside that were handling
12 the tritium. And given that their -- the
13 characterization that NIOSH presented of the
14 quantity of tritium -- in other words, that
15 were inside the headspace of these containers
16 was in fact 90 microcuries per cubic meter and
17 the number -- and they opened two of these a
18 day, I believe. What they assumed is all of
19 that activity becomes airborne in this -- the -
20 - this Gravel Gertie over -- and a year's
21 worth. It's never vented. It never leaves --
22 never leaves the building, and it just keeps
23 accumulating over the course of a year and it
24 stays there.

25 Well, let me tell you something. You can't get

1 more conservative than that. I mean to the
2 point where it's unrealistic. That does not
3 happen, but that's the assumption they use, so
4 they bound -- they bound that tritium exposure
5 indoors to the Line 1 workers, as far as I'm
6 concerned right at the -- right at the -- you
7 really can't be higher than that, so I'm okay
8 with that one. In other words, I walk away
9 saying they're really putting upper bound --
10 given that -- we have to take on face value
11 that they opened two of these JP containers a
12 day, given that the JP container headspace
13 contained no more than 90 microcuries per cubic
14 meter, and given the volume of the headspace,
15 which is small. Given that, the assumpt-- the
16 model they used to predict what the exposures
17 might be was certainly conservative. Okay. So
18 I'm okay with that.

19 We move on to DU and other radionuclides. Here
20 -- internal exposure, Line 1 workers. Picture
21 the workers -- they're doing stuff with this
22 pit. I can't even imagine what I -- that --
23 the action doing that kind of work, but -- and
24 -- and -- the question is, is it possible for
25 there to be some internal exposure. What we're

1 told in the report, Rev. 1, that they took some
2 wipe samples -- okay? -- and very rarely did
3 they ever really see anything on the pits.
4 There was not much contamination of any
5 plutonium or -- or uranium or deple-- you know,
6 so the amount of -- so the argument is that
7 well, there was very little likelihood of
8 internal exposure. I -- I would have liked to
9 have seen the results of the wipe samples. In
10 other words, the box of data that was mentioned
11 earlier by Tim, apparently there's a lot of
12 data in there on what swipe samples -- I'd like
13 to know what samples they took, what was the
14 lower limit of detection, what they were
15 looking for, so I could put an upper bound on
16 what might have been on the outside surfaces of
17 these naked and not naked pits so that I could
18 at least get an upper bound and convince
19 myself. But I have to say my intuition tells
20 me it's probably right. But it's hard to say
21 something here -- as a health physicist, you
22 know, we're -- with limited time and limited
23 data, I -- I look at the arguments being made.
24 I would like to look at that data, though, and
25 do some calculations and convince -- you know,

1 based on the swipe samples what do they see,
2 how many do they take, how many did they see
3 detectable levels, what their lower limit of
4 detection was, what were they looking for, and
5 put this one to bed. But right now I have a
6 question mark there.

7 Radon, I have a real problem with the way they
8 approached radon, and I think Jim would agree.
9 (Unintelligible) agrees. You know, they
10 actually came up with a -- (unintelligible)
11 didn't do a radon concentration in the report
12 that was something like 1.3 picocuries per
13 liter. That's what I've got in my basement. I
14 have a standard, wood frame house in New
15 Jersey, which is a fairly high radon area. I
16 can't imagine with it now being in a structure
17 that it sounds like it was underground or
18 partially underground or largely underground.
19 The radon levels could easily -- I mean I'm
20 very familiar with radon levels throughout the
21 United States, I've been looking at it for
22 years -- could easily have been 100 times
23 higher than that. So I'm not at all happy with
24 the radon part. Okay? I'd want to do a lot
25 more homework on indoor radon. And we're

1 talking about some substantial doses to the
2 lungs.
3 Now, by the way, that has nothing to do with
4 the op-- what they were doing. It's just that
5 they -- it's a NORM, naturally occurring
6 radioactive material, that they happened to put
7 themselves into a situation in a structure
8 that, because of the very location and nature
9 of the structure, there's a real good
10 possibility that there were elevated levels of
11 naturally occurring radon. It wasn't because
12 of any radium 226 that we're handling. It's
13 just -- the radium in the soil is generating
14 radon, and especially if they vented this -- in
15 other words, if this Gravel Gertie had a vent,
16 was venting air out, what you do is you create
17 a delta P between the indoor and the outdoor
18 and that just sucks the radon right in. That's
19 what happens in anybody's house. And if it
20 happens to be you're in a naturally high radon
21 area, you could have a pretty high
22 concentration of indoor radon in a situation
23 like this, another item that I'd be interested
24 in looking at.
25 But now we're going to get to the real -- the

1 next bullet, external exposures of the on-line
2 radiation workers. Here's where
3 (unintelligible) -- where the real issues are,
4 as far as I'm concerned. By the way, the last
5 one, shallow doses, we all know that's on hold
6 so we're not going to even talk about that.
7 So let's go to the next slide. Now, when all
8 is said and done -- when all is said and done,
9 visualize you're a worker. Okay? You worked
10 any -- any one of those years. What the
11 guideline says -- what the -- what the -- the
12 TBD says -- we're going to use this -- if we
13 don't have data for you -- in other words, we
14 don't have a full year's worth of film badge
15 data, we're going to go in, try to say -- and
16 if we don't have it, we're going to use this
17 table as a default surrogate for you. Okay? I
18 have to say it's a very interesting approach,
19 some of which is -- I'm not too comfortable
20 with, and let me explain why.
21 Let's start off with the left-hand column.
22 You'll notice that the total number of
23 monitored workers, 1962 -- you can start seeing
24 -- it's 29, 41, 36 -- let's talk about 1962 for
25 a minute. In effect what's -- they're --

1 what's being said is -- well, no, let's --
2 let's go to '63, I'm -- we're going to get to
3 '62 in a minute. Let's go to '63. It's my
4 understanding of reading the TBD that from 1963
5 onward they're going to use the actual data,
6 film badge data that people had, and if the
7 person didn't have any measurements -- and
8 apparently 95 percent of them did not have any
9 measurements -- so in other words, what we have
10 is -- there were 41 people in 1963 that had
11 measurements. Whether or not they were full --
12 a year's worth -- in other words, that have a
13 measurement taken every two weeks for the
14 entire year so I can reconstruct his dose, but
15 -- but the odds are most likely the guy that's
16 going to show up who was exposed in 1963 --
17 well, only five percent were measured at all,
18 so what we're saying is most of the time, for
19 someone exposed in '63, we're going to have to
20 do something as a surrogate, to fill in for
21 this guy. And the approach that they decided
22 to use was to say okay, we're going to go with
23 two -- the number is 2.9 rem. Okay? As being
24 the -- so we're going to fill in for that year.
25 So if we had no data on that guy -- and this is

1 my understanding of the report -- we're going
2 to say he received that year 2.9 rem.
3 Am I -- do I feel comfortable about that? That
4 is if I was that person. The -- and by the
5 way, that 2.9 rem reflects the following: They
6 took -- notice that there were 295
7 measurements. You see -- if you'll follow
8 across on the 1963 row, there were 295 film
9 badges where they took out the zeroes, so these
10 are the non-zero badges. And then they plotted
11 it on a -- on a lognormal -- (unintelligible)
12 paper and they got a straight line, and -- and
13 they come up with a geometric mean, a geometric
14 standard deviation, and what they're going to
15 assume is that the exposure I got that year was
16 the geometric mean of that distribution. Well,
17 that means that I have a 50 percent chance of
18 being less than that and a 50 percent chance of
19 being higher than that. Don't like that. I
20 don't feel as if you've given me the benefit of
21 the doubt.

22 I would have much preferred two things. One, I
23 would have preferred if I was sure that the 41
24 measurements that were -- I'm sorry, the total
25 number of people, the 41 people -- that those

1 41 people represented me. In other words,
2 those were all the guys that worked with --
3 that stood right next to me doing the same
4 exact thing I did, maybe on Line 1, working
5 with one or two pits or whatever they did, that
6 those 41 people were a good surrogate for me.
7 I don't know if they were or not.
8 Now for the first time Tim presented the bar
9 chart that -- and Mark, you had a lot of
10 questions about -- not the bar, the pie chart,
11 very important chart because you see, if we
12 have really good, rock solid information on the
13 different categories of workers and we know we
14 have a good databa-- even if it's only a
15 partial -- that is, let's say only some of the
16 workers, but we have a good cross-section that
17 we could use as being a surrogate. So I would
18 say to you I would be -- I would accept -- if I
19 was a 1963 guy and you told me yeah, we've got
20 -- we've got 41 people that came out of -- they
21 all did the same job you did, and we come up
22 with -- and a geometric mean of 2927 of the
23 dose to those 41 people, I still wouldn't be
24 happy because I wouldn't want you to use 2927.
25 I would want you to use 95 percentile value.

1 Then I would say all right, you gave me the
2 benefit of the doubt.

3 So I have two problems with the approach that
4 NIOSH has adopted. One is the presumption that
5 those 41 (unintelligible) of people represent
6 me or -- you know, I'm -- they are surrogates
7 for me. I don't know that. If they are,
8 great. I'm ha-- then I'm halfway home.
9 My second problem is, given that they are a
10 good surrogate for me, then I don't want you to
11 use the geometric mean and standard deviation
12 to represent my exposure that year. I want you
13 to use the 95 percentile (unintelligible).
14 This is me and this is what I would want to
15 see.

16 So -- so in effect, my problem with the -- with
17 the post-'62 time period is those two issues.
18 One, we have to be confident that when you're
19 doing a real person that you have no data for
20 that when you decide to pick a surrogate that
21 you pick a surrogate that represents him. And
22 not only that, once you have that, that you
23 have enough data about him and the people that
24 were like him, then I would want you to pick
25 off the 95 percentile. Then I'd be okay.

1 Right now I don't know if that's -- I don't
2 think so.
3 You know, maybe in the later years, you know,
4 to get -- another way to look at it is well,
5 wait a minute, hold it, let's go to 1972.
6 We've got 312 people were monitored. Well, you
7 know, out of those 312, it might be possible to
8 sort them out into the different work cate--
9 worker categories. And if it turns out the
10 kinds of things they did in 1972 were more or
11 less the same kind of things they did in 1963,
12 well -- well, maybe we could build a surrogate
13 from the 1972 data to serve as a representative
14 of the early data, but I don't know that 'cause
15 I don't know if the things they were doing in
16 1972 bore any resemblance to what they were
17 doing in 1963. There's no way to tell from
18 reading that report. Okay? So -- so my -- so
19 -- so if -- if NIOSH said well, we're going to
20 use later data to construct -- to do -- to
21 reconstruct earlier data because we have a lot
22 more data, I would say great, but you've got to
23 make a case that -- that the later data is in
24 fact a good surrogate for the earlier years.
25 Now -- so that -- that's my concern with the

1 post-'62 dataset and how it's being offered in
2 the Rev. 1 TBD.

3 Let's go to the pre, and things get a little
4 bit more interesting and a little bit more
5 difficult to appreciate. What we're saying
6 here is if it's pre-- if it's 19-- well, if
7 it's 1962 or earlier, it's a given. This is
8 your Hp(10) -- see the list of numbers starting
9 from 1949 right up to 1962? That's the dose --
10 the geometric mean of a dose, Hp(10) dose, that
11 we're to assume you got. All right? A pretty
12 big dose. And on face value, as a health
13 physicist, I said well, one of two things. One
14 of two things. Either there was some very
15 strange things going on be-- from going from
16 here to here that they did a lot -- and I have
17 no idea, I'm not -- you know, what -- what
18 happened, that mean -- if that's a realistic
19 treatment of the problem, my God, what was
20 going on in 1949 to 1962 that was that much
21 different, a factor of ten different than after
22 that. So right after that, that makes me
23 wonder if -- make -- you can argue well, that's
24 proof that it was very conservative what they
25 did, the generic pit you would argue is very

1 conservative. But I have to say I'm a little
2 more skeptical of that, and now we're going to
3 talk about the generic pit for a minute.
4 My understanding is -- is the following: That
5 NIOSH looked at all of the pits, and from that
6 they constructed a generic pit that is --
7 doesn't represent any real pit, but it's a pit
8 that would deliver the higher -- a very high
9 dose rate at one meter away. Joe Fitzgerald
10 and Kathy DeMers, our two Q-cleared people,
11 went in, spent two days, and one thing they
12 walked away with. Without a doubt in their
13 mind, that's a conservative pit. In other
14 words, it turns out the 33 millirem per hour,
15 which the generic pit represents, the naked
16 pit, that dose rate's -- as far as our -- Joe
17 and Kathy are concerned, they buy it. They --
18 they are convinced that that is an upper bound
19 representation of what the dose rate might be.
20 But what they're not comfortable with is the
21 work factor. Okay? In effect, the work factor
22 is a way to adjust down. In other words, they
23 multiply that dose rate, that 33.3 millirem per
24 hour by .153 and say -- 'cause that's like your
25 -- the -- NIOSH explains it well, we -- we

1 really don't believe these people were exposed
2 to 33.3 millirem per hour eight hours a day,
3 2,000 -- 2,000 hours a year. It was something
4 less. And they went through this
5 (unintelligible) era description, which I don't
6 understand, so we have to take that on face
7 value that there's some secret stuff there that
8 we don't know about. But -- but in the end,
9 what it really means is effectively what
10 they're telling us is that it's -- for all
11 intents and purposes, what this means is that -
12 - that the person that's exposed effectively
13 worked one hour or so a day one meter away from
14 this generic pit. We -- we are ready to get
15 behind and say that generic pit's a good pit,
16 for two reasons. One, Joe looked at it and,
17 based on the design, he says that bounds it.
18 We ran -- given that design, we ran MCNP and we
19 came very close to that. We actually came up
20 with 45 millirem per hour instead of 33, but
21 given the uncertainty, we -- so we're convinced
22 that that's a good number, but I have to say we
23 are not prepared to get behind the work factor.
24 We don't know if that's a good number or not.
25 So -- so that's one of our first and more

1 important concerns.

2 Also, a lot of our concern is that it seems
3 like there's a lot more data out there, and --
4 and they -- and they -- and they went into this
5 model. From my understanding of the
6 regulations is you exhaust your data as best
7 you can before you go to models. It seems like
8 that they leap to models pretty quickly. I
9 would have spent a little bit more time looking
10 at the data, so that's like one of our
11 observations.

12 But anyway, so you -- in the bottom line, this
13 is your handy-dandy look-up table on how to do
14 dose reconstruction, and a -- our two concerns,
15 this -- the key points we're making is for
16 post-'62 I'm a little concerned about whether
17 or not you can actually come up with surrogate
18 data because of the limited number of
19 measurements. And I'm a little bit concerned
20 that they used the geometric mean as opposed to
21 some higher end value for the distribution to
22 reconstruct my dose.

23 For the pre time period, I'm concerned that I
24 don't understand that work factor and I can't
25 get behind it and know for sure. Although I've

1 got to tell you, those are pretty big doses,
2 you know. So you've got to say -- you know,
3 you have to accept that.

4 Let's go -- last slide -- next slide. All
5 right -- and that'll get to the bottom line of
6 what we found out. One, the generic pit is
7 likely to bound external doses to Line 1
8 workers. We're good.

9 Oh, by the way, this is -- one of the points
10 that we're -- that's in here that Joe pointed
11 out and -- basically -- in effect what this
12 says, number two, is that there's actually
13 newer data -- remember I talked about this
14 neutron to photon ratio where they got that
15 ratio from the Pantex, well, apparently there
16 is more data out there that Joe is aware of --
17 became aware of, and apparently -- now I don't
18 know if anyone has looked at that data yet, so
19 one of our -- one of -- and Joe, correct me if
20 I'm wrong -- right now I'm prepared to buy in
21 on the .79 neutron to photon ratio, but
22 apparently there's more data out there that's
23 worth taking a look at which would help to
24 further convince us that that's a good neutron
25 to photon ratio.

1 Number three, this is the work factor issue.
2 We cannot verify that as claimant-favorable.
3 We were -- we just did not have enough time to
4 dig into it and understand it fully, that in
5 fact it is reasonable, if not somewhat
6 conservative term in that equation.

7 Number four, the exposures associated -- and
8 this is what I mentioned earlier -- with the --
9 that might have occurred associated with the
10 pit storage areas, we're -- we're -- we're not
11 su-- we think that that might be an important
12 source of exposure that has not been properly
13 explored.

14 We talked about the adequacy of the post-'62
15 film badge data. You know, very little -- very
16 few measurements were made '62 to '67. Can you
17 do very much with that by way of reconstructing
18 doses to people who don't have any data, we're
19 concerned with that.

20 Another general concern is that excessive use
21 of models when apparently, from our interviews,
22 there's a lot more data out there. Based on
23 the interviews with -- with workers, apparent--
24 and some of the work we've done so far, sounds
25 like there's more data that -- especially like

1 these swipe samples, that could tell us some
2 more -- that we need to look at and -- and the
3 way we look at it, the way we interpret the
4 regs is that you're supposed to go -- your
5 first priority is to the real data before you
6 jump to models.

7 Number seven, this is a ve-- this is almost a
8 philosophical question. Now this is what came
9 out in the letter that was sent out on Saturday
10 to you all. I don't even know if you had a
11 chance to look at it, but it's a very
12 interesting -- almost a policy question. What
13 -- what's happened here is for pre-'63 a model
14 was built, a very conservative model, by the
15 way. We don't know whether that model is
16 scientifically valid. We believe it's
17 conservative, but is it a reasonable upper
18 bound representation of what transpired pre-
19 '62. So the question is, is that -- is that
20 appropriate. You know, when you read the regs,
21 when you go to a surrogate approach, when you
22 go to a model, it's my understanding that you
23 just can't pick any -- a very bounding design,
24 upper bound, 'cause you could always make it
25 worse. And -- and -- and then I was thinking

1 about why is that a problem? Well, I picture
2 two people, one -- let's say we have this
3 bounding pit, very, very conservative and is --
4 and is based on -- let's say let's make sure
5 it's conservative, and then based on that pit I
6 get compensated. Okay? And the guy that's
7 next to me, though, he doesn't. And he says
8 well, listen, well, why didn't you make it a
9 little more conservative? If you made it a
10 little bit more conservative you'd have covered
11 me, too. So it seems to me, and this is an
12 interesting thought -- NIOSH sort of has an
13 obligation to, when they build a generic
14 surrogate to deal with a situation -- this case
15 happens to be the -- the classification issue,
16 they had to do that because of classified --
17 but I could see the same situation arising with
18 -- let's say falsification of data. Let's say
19 oh, we can't use that data, it's been
20 falsified. Well, you know what we'll do, we'll
21 build a surrogate (unintelligible), you know,
22 that we're sure is bounding. Well, you know,
23 when you start to do that, how conservative do
24 you get? It seems to me you have an obligation
25 to make it conservative, but it has to have

1 time looking at the classified information in
2 Germantown, Maryland for a couple of days. And
3 we also had the extra advantage of having
4 basically worker interviews that were conducted
5 rather extensively by my colleague, Kathy
6 DeMers, who I'm sure a lot of the workers
7 certainly know. And we certainly have a
8 perspective that's probably overlaps but is
9 decidedly different than the one John is
10 referring to, and that was done, you know,
11 rather purposefully given the time frame that
12 we have. And I just want to really accentuate
13 some of the issues that John certainly outlined
14 here.

15 You know, we went into the review certainly as
16 a first priority to -- to validate the
17 technical adequacy of the models that were
18 being presented. And given the time frame,
19 that was probably our first order of business.
20 And I won't go into too much detail, but just
21 to say it was a rather exhaustive review
22 because certainly there was a lot of questions
23 and a lot of concerns over the parameters of
24 the bare pit represented and we certainly
25 wanted to spend time doing that. And the

1 report that documents this portion of the
2 review is available. It's out there. It's
3 about 20 pages long, the actual review portion
4 is about ten or 11 pages. That was
5 intentionally kept short to clear the
6 Department of Energy reviewers, classification
7 reviewers. But you know, we, again, very
8 purposefully wanted to nail this thing. And we
9 looked at the parameters. Okay? We looked at
10 mass. We looked at the radioactive components,
11 the pit geometry, looked at the cladding issue,
12 the isotopic composition, impurities. You
13 know, we asked all the questions I think a lot
14 of the workers certainly had and a lot of the
15 questions that we, as a review group, certainly
16 had. So, you know, certainly we spent a great
17 deal of time -- in the day and a half that we
18 had, a good portion of the time trying to
19 validate that the models themselves were
20 conservative and ultimately upper bound.
21 But in doing so, and I think the report's
22 clear, I think we felt that in going through
23 that rather detailed analysis that in fact we
24 felt it represented a conservative model, we
25 were troubled in a sense that this is the first

1 opportunity that we have had to get into the --
2 I guess the classification issue, the notion
3 that one will have to deal with classified data
4 as part of the process that all of us are
5 working with. And I'm very familiar with
6 classified information having spent a great
7 deal of time in Department of Energy. But in
8 this particular context it's particularly
9 troublesome because in a sense it represents
10 this extra scientific -- a factor X, if you may
11 -- that one has to accommodate. And in doing
12 so -- and I think this was raised a little
13 earlier -- it's unavoidable. And first of all,
14 it's -- let me just first say it's very
15 legitimate, very important that this be done.
16 And I think there was a great deal of care
17 taken in doing this. But in doing so there's
18 uncertainties introduced. There's certain
19 uncertainties introduced that have to be
20 accommodated, and this is something that puts
21 the process into sort of unknown territory, in
22 a way. It's not something that is defined in
23 the Act, not clearly addressed by the
24 procedures. And so when we're looking at this
25 and trying to figure out, you know, were these

1 best estimates and trying to answer our charge
2 to the Board, it was pretty clear this was
3 something outside of that. But yet
4 accommodations -- and I would say significant
5 accommodations were being made which provided
6 an influence, a perturbation on the final
7 answers, on the models that I think raises what
8 I would say some pretty significant policy
9 questions about how that plays out in the final
10 answer. And I -- I want to be rather opaque
11 about this because to go into any further
12 detail would be -- would be probably kind of
13 dangerous in the sense it'd be hard not to
14 inadvertently trip into it, but we did identify
15 that issue as, if you may, a sidebar policy
16 question that there were certainly
17 uncertainties that -- that could not be
18 articulated and yet were very important to the
19 final answers, the ones that you in fact and we
20 in fact have looked at in terms of these
21 models.

22 So yes, they do represent upper bounds -- we --
23 we thought, and I think certainly the Board
24 members who were with us can corroborate, as
25 they -- they may, that they -- that they were

1 technically valid, but yet we have this big
2 issue.
3 We went further than that. We had certainly
4 the benefit of extensive worker interviews, and
5 I'm sorry Kathy couldn't be here, my colleague,
6 but we spent a great deal of time with the
7 workers over the very limited time that we had.
8 We only had a few weeks, but I think she
9 probably covered -- I heard this from some of
10 the workers -- more ground than one could
11 imagine. And in that process we were troubled
12 again, and I -- again, this is not a long time
13 to go through the paces, but we were troubled
14 because in looking at things like the work
15 factor we could not marry up what we were
16 hearing from the workers -- and these are --
17 more than one workers, this is corroborated
18 across five or six or seven or eight workers,
19 so this is a pretty significant sampling of the
20 people that had first-hand knowledge of the
21 operations. And we found this for the other
22 reviews, as well. But we could not
23 corroborate, couldn't marry up their experience
24 with a number of these parameters, and I think
25 fundamentally the work factor that we had the

1 most trouble with. But when we talk to the
2 workers, we hear that, you know, it was
3 commonplace to in fact be in proximity with
4 one, two, (speaker moves away from microphone)
5 in some cases with some of the people that were
6 familiar with the (unintelligible), multiple
7 arrays of pits, (speaker returns to microphone)
8 yet the work factor focuses on one pit at a
9 time. Okay? Felt that was not an
10 insignificant inconsistency, one that -- you
11 know, we don't have anything else to go by.
12 There's no (unintelligible) of procedures.
13 There's -- you know, procedures could not be
14 located. There's nothing hard. What we really
15 do have is the body of the worker (speaker
16 moves away from microphone), remembrances,
17 recollections, and the actual experience
18 (unintelligible), and that did not match up.
19 (Speaker returns to microphone) We had this
20 experience about the proximity, the distance to
21 the pit, and we very pointedly asked them
22 (speaker moves away from microphone) well,
23 what's the -- what's the handling of a pit,
24 what happened day in and day out, same
25 questions I would certainly expect NIOSH to ask

1 and we sort of expected answers that would
2 (unintelligible) with what we were seeing as
3 work factors.

4 **DR. WADE:** Stay close to the microphone.

5 **MR. FITZGERALD:** Oh, I'm sorry.

6 And what we were hearing was, you know, no, it
7 -- a lot of direct contact. Certainly an hour
8 a day -- I had a couple of workers almost laugh
9 in my face about the notion that it was limited
10 to such a small fraction. And looking at
11 Pantex experience where in fact one had to go
12 to lead aprons because the exposure got to be
13 considerable at the trunk level, you know, it
14 sort of struck me that yes, there was some
15 resonance in the fact that, you know, this
16 question of the parameter of proximity, the
17 duration of time, the numbers of pits -- these
18 are all very important questions. These are
19 very influential issues.

20 Now it was one question to say, you know, the
21 bare pit is conservative. You know, that was
22 sort of the primary we went into looking at.
23 And I have to say it was well thought out. A
24 lot of homework was done with DOE and, you
25 know, it was a creative solution to it. But

1 when we went further and got into the work
2 factor, you had to go to the workers for that.
3 There just isn't anything harder, nothing
4 that's probably more important, and we really
5 couldn't marry that up very well. So again,
6 that -- that issue was certainly one -- and I
7 want to emphasize, it was a very important
8 issue, and one that we sought to substantiate
9 (sic) further with the additional workers that
10 we talked to and we could not substantiate
11 those parameters that are associated with the
12 work factor with any of the workers. Okay?
13 And that -- that really I think was a
14 troublesome issue.
15 And certainly going further than that, you
16 know, we saw the area monitor data for the
17 storage areas. And some of you may be familiar
18 with the experience at Pantex when the Cold War
19 ended and you start piling up pits in the
20 storage areas at Pantex. One of the biggest
21 issues is increasing ambient level of exposure
22 that was taking place in the igloos and the
23 storage areas and what to do about that. That
24 was sort of in the late -- early '90's that
25 that issue had come up. And so it's a very

1 significant issue in terms of -- of -- of both
2 understanding and also reflecting what the high
3 level of exposure means. And I think in the
4 case of IAAP we didn't get any disagreement
5 that there was a likelihood that in fact this
6 multiple array of pits being stored in various
7 locations did represent a substantial source of
8 exposure if in fact the worker -- in this case
9 a guard, unmonitored guard -- was in the wrong
10 location. Okay? And I think it was pretty
11 clear that, depending on the time of year, that
12 worker might very well be indoors than
13 outdoors, or be closer to the multiple pits
14 than the area monitor itself, which is
15 positioned on a wall in the storage area is.
16 Now recognize that the -- this -- the area
17 monitor is our best measure of what the
18 radiation field was in that storage area, and
19 we're getting fairly high measurements. I
20 guess it was something like as much as 18 rem a
21 year, which is a couple millirem an hour, but
22 if you're a security guard and you're
23 positioned at a location in fact closer to that
24 array than that area monitor, in fact your
25 exposure may be very well higher. So we're

1 seeing these degrees of uncertainty that
2 frankly we could not find a way to explain it
3 out. Okay? So we're really -- given the time
4 we had, it wasn't very long, we wanted to test
5 these -- these postulates, the assumptions, to
6 see if we could in fact either substantuate
7 (sic) or unsubstantuate (sic). Some we did and
8 some we did not. I have to tell you, we did
9 not substantuate the work factor.

10 Other issues that gave us pause -- and again,
11 it's not a question that you can't come up with
12 a solid upper bound model. I think, again,
13 that was pretty clear that that was not only
14 possible, had been accomplished. But what we
15 really had problems with was the question of
16 data. This was raised earlier, that there was
17 a -- you know, unlike some sites -- we went
18 through Bethlehem Steel. This site we know
19 there was a spectrum of records that were
20 available in 1974 that apparently, you know, at
21 that point had been either burned -- in some
22 cases, if they were operational data, which is
23 I think standard procedure -- but most of them
24 were boxed up and actually shipped to Pantex.
25 Okay? That much we know. And in that -- in

1 that shipment were some very important health
2 and safety procedures, there were swipe sample
3 data, we think bioassay data, what have you.
4 And clearly that data was not in fact used.
5 Right. In the course of this review we just
6 conducted we got some information from a worker
7 that actual neutron dose rates and neutron to
8 photon -- I'm sorry, neutron dose rates and
9 neutron spectral data had been collected back
10 in the early '70's from the production line at
11 Iowa by Battelle, the national lab, and that
12 data in fact was reported back and was
13 available. And this is written up in our
14 report, but I guess we had two reactions to
15 that revelation. This came from the workers
16 themselves. One, it sort of gave us a question
17 regarding how complete the document review was,
18 because clearly this had not been picked up.
19 And second, this has tremendous implications to
20 this point of conducting a realistic estimate
21 of neutron dose at Iowa.

22 A good reason why we're going to the model, the
23 neutron/photon dose rate model, is because
24 there certainly isn't a lot of confidence in
25 the NTA-based neutron dose information at Iowa.

1 But here's a case where the actual measurements
2 have been taken, report isn't available yet,
3 but clearly there's implication that maybe a
4 modeling isn't necessary. In fact, we actually
5 have fairly decent information that would be
6 available.

7 In general I think there's a number of issues,
8 and I guess the Chairman's beginning to signal
9 me that haven't been covered very well, but I
10 think our conclusion is that there's a large
11 field of information that hasn't been accessed,
12 that still needs to be looked at, both
13 classified, unclassified. There's neutron dose
14 rate measurements that needs to be reviewed,
15 included, made available. And certainly I
16 think the work factor represents a -- a
17 significant shortcoming, a gap in what
18 otherwise is a -- you know, a fairly complete
19 model, at least on the external side. And
20 without that gap being filled and frankly
21 addressed by comparing it to the worker
22 experience, I think it's a -- it's a -- it's a
23 substantial problem.

24 Is there any questions from the --

25 **DR. WADE:** No.

1 **BOARD DISCUSSION: IAAP TBD**

2 **DR. ZIEMER:** We are running very tight on time,
3 but maybe we have time for a few questions,
4 then we're going to take our break. We have a
5 public comment period starting at 4:15. We
6 want to have a break before that, so -- and we
7 of course will be returning to this topic -- we
8 have a full morning of discussion ahead of us,
9 but a few questions right now perhaps, either
10 for John or for Joe --

11 **MR. GRIFFON:** This is probably for John --

12 **MR. FITZGERALD:** Arjun, as well.

13 **DR. ZIEMER:** Mark?

14 **MR. GRIFFON:** Yeah, this is probably for John.
15 In the -- I'm noting on your -- your -- I think
16 it's two overheads before this or -- I'm not
17 sure where it is -- that one, that one right
18 there -- 1965 total monitored people is 35. I
19 know this is details, but I think there's
20 important details here. In the pie chart that
21 NIOSH presented, there's 40. Can someone
22 explain to me what the difference is? And it
23 says 40 workers from a single dosimeter cycle
24 in '65, so...

25 **MR. TAULBEE:** That's correct, the -- this is

1 Tim Taulbee with NIOSH. The data that John has
2 presented there in the total monitored came
3 from summary sheets that were filled out by the
4 site from their -- these were things that they
5 had to report to the AEC. The data that I used
6 is the actual dosimetry reports. They
7 monitored more people than what is indicated
8 there in that particular table.

9 **MR. GRIFFON:** And is the -- the data that you
10 used, Tim, is it consistent with the
11 spreadsheet that you provided to me?

12 **MR. TAULBEE:** That's correct.

13 **MR. GRIFFON:** All right, 'cause I tallied up
14 31, but we can -- I can talk about that later.
15 I'm assuming thi-- is this 40 all the people
16 that were monitored or greater than zero?

17 **MR. TAULBEE:** For like 1965 that was from one
18 dosimeter cycle, there were 40 names on that
19 particular cycle.

20 **MR. GRIFFON:** Forty names, so it could include
21 the zero data. Okay.

22 **MR. TAULBEE:** Yes.

23 **MR. GRIFFON:** I stand corrected. Okay.

24 **DR. MELIUS:** Yeah, that was my question. I
25 think it does include the zero. I was trying

1 to get at with the other.

2 **DR. ZIEMER:** Thank you. Richard?

3 **MR. ESPINOSA:** My question goes to both NIOSH
4 as well as SC&A.

5 **DR. ZIEMER:** You need to get closer to the mike
6 there.

7 **MR. ESPINOSA:** On the findings on number eight,
8 all potential relevant records, classified and
9 unclassified, hasn't been reviewed, and it kind
10 of goes to your document, the April 22nd, 2005,
11 page 12 of 20, the third paragraph, were
12 generated during the operation of IAAP and were
13 transferred to Pantex in 1974. A number of
14 these were identified, requested -- were not
15 identified, requested or reviewed. I'm just
16 kind of wondering what percentage was reviewed
17 or what's missing, was a percentage omission
18 and if there's anything at Pantex still that's
19 been identified today that hasn't been reviewed
20 -- as far as the mis-boxed at Pantex.

21 **MR. FITZGERALD:** Well, I think that was a
22 question that -- I think it was Tim that had
23 answered that before, and we had a lot of
24 discussions about to what extent the Pantex
25 database had been accessed and actually walked

1 through and catalogued and inventoried in terms
2 of what was there and to what extent it was
3 relevant to the overall review. My concern
4 there is -- and we put this in the report --
5 that we understand that boxes were mislabeled,
6 some of the records are mis-boxed at Pantex so
7 that the -- that the categories and the
8 information that perhaps NIOSH might be using
9 as a guide may not actually jibe with what the
10 records actually are. Some of the missing
11 records which are essential to coming up with a
12 conclusion on a number of these issues, such as
13 bioassay data, which would give us a handle on
14 internal; some of this swipe information that
15 isn't available which is essential to, you
16 know, confirming this notion of no internal
17 dose; and certainly some of the other issues we
18 feel might very well be in a lot of those
19 records and -- just beyond us how that has not
20 been inventoried and we do not have a good feel
21 of what some of those records are. Now some of
22 them are clearly operational records and
23 probably will turn out not to be particularly
24 useful, relevant, whatever. But certainly some
25 of these other records in terms of the safety

1 information, terms of the radiological
2 information, we think will be very essential
3 and there's just no clear idea of what's there.
4 I think it's a -- it's a plane ticket to
5 Amarillo, it's a walk-through for a couple of
6 days and you -- and you're going to have a
7 pretty good handle on what you're dealing with,
8 but it has to be done.

9 **DR. ZIEMER:** Thank you. Does that answer your
10 question, Rich?

11 **MR. ESPINOSA:** Yes.

12 **DR. ZIEMER:** I think Mark has another one here.
13 Oh, Jim, yes. Go ahead.

14 **DR. MELIUS:** Well, my -- actually this will be
15 brief and it refers to this slide that's up on
16 the -- up there now. Your finding number four
17 in your report basically says -- talks about
18 the statistical significance or
19 representativeness of the data that's presented
20 up here, and you use 196-- for 1963 to '67.
21 I'm just curious why and what basis '67 is the
22 cutoff. I mean I can see from here, but is
23 there some other analysis that would say that,
24 you know, that that is -- data got -- suddenly
25 got so much better or so much more

1 representative in -- in -- starting in '68?
2 **MR. FITZGERALD:** No, and I think NIOSH would
3 need to answer this more, but I think we were
4 looking at the numbers of workers, and it's
5 pretty clear the badges began to rise and that
6 -- that was certainly obvious. But the numbers
7 of workers, in terms of the workers involved,
8 that number didn't appreciably change much at
9 all for the few years beyond that point. And
10 in looking at the records and interviewing the
11 workers, it just wasn't clear to us what the
12 break point was at that point. The --
13 certainly the TBD speaks to continuous
14 monitoring, but I think what we could glean
15 from the data is that the continuous monitoring
16 was the -- in fact those workers being
17 monitored more often and the badges being
18 presented more often, didn't represent a
19 wholesale expansion of monitored workers. So -
20 - you know, and there's just not an elbow on
21 this thing. It certainly was a gradual rise,
22 but we didn't see substantial difference in era
23 three.
24 Now that's strictly going by the data, and I
25 think the data's all you have at this point.

1 **DR. MAKHIJANI:** (Off microphone)
2 (Unintelligible) answer a little bit.
3 I'm Arjun Makhijani. These -- the break point
4 in '67 is actually more -- more significant if
5 you remember that the statistical analysis of
6 uncertainties really has to be done by job
7 category. So you cannot -- the -- NIOSH's
8 charts in Appendix F where they plot all the
9 non-zero data get at part of the problem by
10 omitting the zeroes and not wearing the badges,
11 only a part of that, but really in order to go
12 from here to the individual worker, you do have
13 to know which of these badges are
14 representative of that work type. This is very
15 transparent in the Mallinckrodt thing -- site
16 profile which we're going to discuss later on,
17 but there's no comparable data here. There's a
18 little bit presented by Dr. Taulbee in his
19 presentation, but it's evident that there are a
20 number of categories and if you -- if these
21 include zero data, you only got a couple of
22 dozen total non-zero film badges, if that in
23 some of these years. In some it may be a few.
24 And by the time you get down to individual work
25 categories, you may not have very much for a

1 statistical analysis. So '67 is not a bad
2 breaking point, although we don't know how many
3 zeroes we have there.

4 **DR. ZIEMER:** Thank you. Mark Griffon.

5 **MR. GRIFFON:** Yeah, I -- I just wanted to --
6 and I'm -- I'm going to ask Tim this question,
7 probably. I wanted to know what you might be
8 able to say -- 'cause I was going to offer
9 something, but I don't want to put my foot in
10 it -- on the -- on the era dose, 'cause I think
11 that's an important factor in calculating the
12 work factor, the denominator. Can you say
13 anything more about it that might describe --
14 well, I'm going to -- I'll leave it at that.
15 Can you say anything more to shed some light on
16 what that value is?

17 **MR. TAULBEE:** Unfortunately, no, we can't. But
18 you know, as we did discuss in Germantown,
19 there are some -- some reasons and some things
20 that are going on with that era dose rate.

21 **MR. GRIFFON:** Okay. All right.

22 **DR. ZIEMER:** Roy DeHart.

23 **DR. DEHART:** Both groups referred to classified
24 data and were unable to explain properly in
25 answering some questions. My question is, if a

1 dose reconstruction was done, I happen to be
2 the claimant, could I do a reconstruction
3 without access to classified data?

4 **DR. ZIEMER:** Tim, can you --

5 **MR. TAULBEE:** Yes.

6 **DR. ZIEMER:** -- respond?

7 **MR. TAULBEE:** All of the data of what we use to
8 do the dose reconstruction would be in the
9 Technical Basis Document. How we developed the
10 work factor is unfortunately not fully detailed
11 in the site profile or in the Technical Basis
12 Document. But all the numbers, everything that
13 would be crunched, everything that would be
14 used to develop the dose reconstruction is in
15 the Technical Basis Document. It's the how we
16 got to some of those numbers that's not.

17 **MR. FITZGERALD:** Was your question whether you
18 could do the dose reconstruction with the
19 actual data, not the model?

20 **DR. DEHART:** Correct.

21 **MR. FITZGERALD:** Yeah, that's what -- I thought
22 so, and I guess, Tim, if you did not use the
23 model, could you in fact use the -- or actual
24 exposure data to do a dose reconstruction or
25 would you have to bump into classified

1 information?

2 **MR. TAULBEE:** If we were to do a -- basically
3 if you were to discard the generic pit, the
4 access of the data that we have to go back to
5 the actual source term materials and
6 reconstruct the doses, we do have access to
7 that. But all you would end up with is an
8 annual dose and therefore there would be -- we
9 wouldn't be able to describe to you at all how
10 we got to that dose. Does that answer your
11 question?

12 **DR. DEHART:** Yes, it does. Thank you.

13 **DR. ZIEMER:** Mark, did you have an additional
14 question?

15 **MR. GRIFFON:** You know, just to follow up on
16 that same line of questioning, is there any --
17 and I -- this came up on our conference call.
18 Is there any reason -- I guess other than
19 overestimation techniques -- any reason for
20 this drop-off in '62 to '63 dose estimates?

21 **MR. TAULBEE:** The only reason there is the
22 change between using a source model -- source
23 term type of model in which we compound the
24 uncertainty and compound claimant-favorable
25 assumptions versus when we actually had routine

1 monitoring data with no data gaps.

2 **DR. MAKHIJANI:** Could I -- could I say
3 something --

4 **DR. ZIEMER:** Yes, please.

5 **DR. MAKHIJANI:** -- about the no data gaps, Dr.
6 Ziemer? One of the concerns that we had that
7 is listed in Attachment 6, both in item one and
8 item 23, is that there are actually data --
9 there are probably some data gaps in the non-
10 zero doses in the film badge dose records from
11 '63 onwards because workers have testified that
12 they didn't always wear their film badges. And
13 that would likely also apply to at least some
14 of the non-zero film badges. So there are
15 missed doses in the non-zero film badges that
16 are not accounted for in NIOSH's model post-'63
17 and pre-'63 'cause it enters into the work
18 factor. So we've got a very significant issue
19 because there's no real way to do a claimant-
20 favorable analysis with -- with all the data
21 that we have because we're missing a piece of
22 the data and we don't know for how much
23 proportion of the time each class of workers
24 was not wearing their badges. That's a very,
25 very significant data gap that needs to be

1 filled, and we do not know whether the data is
2 out there to fill it, whether the information
3 can be recovered from workers or otherwise.

4 **DR. ZIEMER:** Thank you. We're going to take a
5 recess for 15 minutes, after which we will
6 begin our public comment period. We -- the
7 Board will return to the broad discussions of
8 issues of the Iowa Ammunition Plant Technical
9 Basis Document and our related reports again
10 tomorrow morning, as well. So let's take a
11 recess. Please come back promptly at 4:15.
12 (Whereupon, a recess was taken from 4:00 p.m.
13 to 4:20 p.m.)

14 **PUBLIC COMMENT**

15 **DR. ZIEMER:** Let us reassemble and we will
16 begin our public comment session.

17 (Pause)

18 The Board would like to particularly focus this
19 afternoon on commenters from IAAP, and so we're
20 going to give those commenters preference in
21 the comment period in terms of the sequence of
22 comments. If in -- if, before we run out of
23 time, we run out of IAAP commenters, we will
24 then open it up to commenters from other
25 facilities. But for example, there will be an

1 opportunity tomorrow, particularly, for
2 Mallinckrodt individuals -- although we're not
3 going to exclude them necessarily today, but we
4 want to focus and give priority to the Iowa
5 commenters first. So -- and I do have separate
6 lists here, so I'm going to begin with my Iowa
7 list and I'll just take them in the order that
8 they signed up.

9 First we have James Shelton. James, if you
10 would approach the mike there in the middle.
11 And if I don't pronounce someone's name
12 correctly, please give us the correct
13 pronunciation. Thank you. That mike may need
14 to be turned on. We're not hearing you.

15 (Pause)

16 There may be -- make -- there's a power switch
17 -- here we go, is it going?

18 **MR. SHELTON:** Can you hear me now?

19 **DR. ZIEMER:** Yes, there you go. Good. Thank
20 you.

21 **MR. SHELTON:** Okay, very good. My name is Jim
22 Shelton and I worked at the Army Ammunition
23 Plant from the first working day of 1953 to
24 July of 1992. And during that time I was part
25 of the AEC operations from 1956 to 1975 --

1 excuse me, I have emphysema -- which involved a
2 production operator and supervisor on Line 1,
3 and I worked in all areas. And also as a
4 security guard and security supervisor, and was
5 in these areas sometimes for eight hours a day.
6 And I received a questionnaire for site expert
7 interviews. I'm not an expert. I just worked
8 there. And here's one of the most important
9 answers I feel is of concern.

10 During the time -- during the times that I and
11 others were assigned to areas that work was
12 performed assembling or disassembling the
13 weapons, this would be for the duration of the
14 8-hour shift each day. Work was performed on
15 or within one meter of the pit, uranium,
16 plutonium, radioactive material, and this was
17 during the major part of the shift.

18 I was never issued or a film badge, a ring,
19 wrist or dose meter or a pocket ionization
20 chamber at any time. I don't even know what a
21 dose meter looks like. And I never
22 participated in any time-keeping where safety
23 department kept track of time that I or others
24 spent in a area recording the time and the dose
25 rates, never told or shown what level of

1 radiation exposure that I received. Never had
2 a chance to review my radiation history. I was
3 not aware of radiation protection outside of
4 the radiation monitors which went off every so
5 often and we had to get out of the building.
6 And safety would say -- come down, check it and
7 we would go back in.

8 And best of my knowledge, urine and blood
9 samples were never taken until after the
10 1970's, and these were samples that were taken
11 during our annual physicals. The urine samples
12 were for drug tests to see if we'd taken any
13 drugs or not, and the blood samples were for
14 our cholesterol and our good well-being, et
15 cetera, not for radiation.

16 I never had a whole body count or a lung count
17 to detect the amount of radiation dosage. I
18 never used any type of instrument to detect
19 radiation before leaving the plant at any time
20 during the shift. As far as I know, no one
21 else did, either, when they left.

22 Production people -- personnel were never
23 allowed to eat in the buildings containing
24 explosive, hazardous materials or in areas
25 containing radioactive material. Smoking was

1 permitted in designated areas only. Security
2 guards assigned to areas where the buddy system
3 was in place. This is where two guards have to
4 be together. They carry two keys. One carried
5 a key -- one carried a key and they locked
6 themselves in the areas and they were allowed
7 to eat their lunch in the buildings that
8 contained radioactive material as they were not
9 allowed to leave their tour until relieved by
10 the oncoming shift guards for the oncoming
11 production shift. They were within one meter
12 of the radioactive material quite often each
13 day, each shift. Also the guards wore their
14 uniforms home each day. We never had lockers
15 or showers until the late '70's. That's when
16 we got a new building.

17 Mrs. DeMers had called me a couple of weeks ago
18 and she was asking me about the amount of time
19 that personnel spent working on this material,
20 and she said that NIOSH was under the
21 impression that personnel worked one hour a
22 day, which would amount to 365 hours in a
23 year. This is not true, and somebody led them
24 on somewhere. Okay -- okay, I'm not sure where
25 they got their information from. We normally

1 worked on or within one meter of the
2 radioactive material most of the 8-hour shift,
3 including ten-minute breaks and a 30-minute
4 lunch break. And when a push was on, we could
5 work ten to 12 hours a day, seven days a week,
6 and we could be on this for seven -- for
7 several months at a time.

8 And the guard department -- get back to the
9 guard department -- they was never issued any
10 other special type of equipment that when they
11 went into these areas, and they was in these
12 areas for 8-hour period of a time and the buddy
13 system, each one checked on the other one,
14 checked all the material that was in there to
15 be sure there was no tampering. And this was
16 checked every few minutes. And this also
17 included the yard C -- C, where the material
18 was located, where they stored it. And guards
19 was I believe more acceptive (sic) to this
20 material than anybody else. Thank you for
21 listening to me.

22 **DR. ZIEMER:** Okay. Thank you for your
23 comments.

24 Next we'll hear from Laurence Fuortes. Dr.
25 Fuortes?

1 **DR. FUORTES:** Thank you. I just want to make
2 some comments regarding Mr. Taul-- Dr.
3 Taulbee's presentation. First I'd like to kind
4 of apologize to Larry and the NIOSH people for
5 getting emotional during that presentation, but
6 I think that's evidence that we all take our
7 work rather seriously and the products rather
8 seriously, and we have to take ownership for --
9 for those things we -- we produce.
10 Tim made some statements that I really had to
11 react to -- maybe not as emotionally as I did,
12 but some of those statements were things like
13 I'm confident that these were the workers who
14 were most highly exposed. I don't know, I -- I
15 come from this from a very different
16 standpoint. I had a -- I had a meeting of --
17 excuse me, a conference call between my staff
18 and Larry's staff a week or so ago and we tried
19 to go over these same sorts of assumptions that
20 we make and where we're coming from. Coming
21 from the same problem from a different set of
22 assumptions, obviously.
23 But my set of assumptions is that we don't
24 know. I mean I try to teach my students when I
25 teach science that ignorance is the first step

1 towards enlightenment, and you don't come to
2 the process of discovery of truth from a set of
3 assumptions. We talked about this grand
4 illusion when we talked about the optical
5 illusion of the arch. It's still the same
6 issue.

7 I don't understand how a scientist could look
8 at this and say I know that these are the most
9 highly exposed workers when they're told there
10 were quite a few workers who were not exposed -
11 - or excuse me, were not badged. And we have
12 histories from workers that what, 140 workers
13 were working in the bays themselves, but we
14 have in these years only 15 on the pie chart
15 you showed, 15 workers labeled as production
16 workers and we don't know where they worked.
17 We just know they were production workers, and
18 of the 800 or so production workers at a period
19 of time, that's what the major title was in the
20 bays. So 15 out of 140 people who worked in
21 the bays badged.

22 The guards who were working in the Y yards with
23 the highest area exposures never badged. The
24 workers who were doing disassembly, by their
25 own history, never badged. The workers who

1 were receiving the pits from the igloos,
2 shipping them back and forth, never badged to
3 our knowledge. So I'd say there is a certain
4 degree of uncertainty in my reading of workers'
5 histories, which I don't recognize in the Rev.
6 0 or Rev. 1. I certainly don't recognize it in
7 the statements made by the scientists who say
8 I'm confident that this is the highest exposed
9 work force.

10 Just a moment ago Dr. Taulbee said the reason
11 that we have a different criteria post-'62 than
12 pre-'62 is because this is the period in which
13 we have no data gaps. I don't come from this
14 situation of worker histories and observation
15 of the badge data with that same assumption.
16 That's -- that's an a priori assumption made by
17 the scientists that will certainly affect their
18 interpretations of the data they see.

19 Another observation is the confidence with use
20 of surrogate data. At a certain point I was --
21 smoke was coming out of my ears, I'm told, when
22 one of you asked about the confidence of use of
23 Pantex data for radon exposures. And the
24 explanation was well, the Pantex data is more
25 claimant-friendly. It's more claimant-friendly

1 because the Iowa data was much lower. Whereas
2 we had discussed the fact that the Iowa data is
3 not only from 30 years later, but it's from a
4 totally different work setting. It's from
5 above-ground wooden barracks. That's -- those
6 are ambient levels from Iowa whereas as the
7 SC&A folks told us, you know, John Mauro said
8 you could have ten-fold -- you could have
9 hundreds of picocuries in underground areas and
10 in -- in these high -- high geologic strata for
11 radon.

12 So actually those are the only observations I
13 wanted to try to point out to you, that there
14 have been some -- some statements made as if
15 they are fact, very strongly, which I would
16 have to try to -- to introduce some element of
17 doubt in -- in your minds. Thank you.

18 **DR. ZIEMER:** Okay. Thank you for those
19 comments, and next --

20 **DR. WADE:** He has another comment.

21 **DR. ZIEMER:** Oh, I'm sorry. Yes, continue.

22 **DR. FUORTES:** I was told I was supposed to get
23 up here and make one statement and I made three
24 unrelated statements -- had to do with the
25 chart, I'm sorry, that -- that was shown and --

1 and the job titles. I'm trying to work through
2 this with the SC&A consultants. The job titles
3 that we have for this facility are only based
4 on termination records, and they are not
5 exclusive job titles. So when you see that pie
6 chart, please understand that people could have
7 had multiple job titles. Radiation technicians
8 typically have only one job title and task.
9 However, all of the other job titles we are
10 truly unable to attribute exposures to the job
11 titles. It's a very complicated problem based
12 on our -- our lack of -- our lack of those
13 sorts of personnel data and IH data, sorry.

14 **DR. ZIEMER:** Yeah, I think we all understand
15 that. In fact, a good point because probably
16 none of us here have had the same job title all
17 of our life, you know. We -- we do change.

18 **MR. GRIFFON:** Can I just ask one --

19 **DR. ZIEMER:** A comment here from our --

20 **MR. GRIFFON:** -- follow-up --

21 **DR. ZIEMER:** -- or a question here for --

22 **MR. GRIFFON:** Lars (sic), one -- one follow-up
23 question, if I could, on that. The pie chart
24 was presented as a -- as departments. Is that
25 what the data was? Was it job title

1 information or department information?

2 **DR. FUORTES:** They are actually job titles at
3 termination. We don't have department data.
4 The only department data we have are area data
5 from the storage yards. Otherwise we have
6 individual badge numbers.

7 **MR. GRIFFON:** Okay. So I'm -- I'm confused. I
8 might need a clarification, not now, but --

9 **DR. FUORTES:** Well, there may be difference in
10 perspective how to look at these data, but the
11 data that we have were the Landauer badge data,
12 and we matched those codes to individual pers--
13 personal identifiers and those to the job codes
14 at termination.

15 **DR. ZIEMER:** Okay, thank you. E.D. Webb.

16 **MR. WEBB:** I'm going to try and explain a few
17 things to you people. Before I start, I want
18 to tell you, you have my condolences. They
19 expect you to make an honest decision that
20 affects a lot of people, out of a bunch of
21 hearsay. They don't give you the facts. They
22 haven't give you the chance to get the facts.
23 I heard a man stand there and talk about dummy
24 pits a while ago. He never saw a unit. He
25 never saw a unit in construction. They never

1 saw a standard operating procedure outline for
2 how to build these units. I worked 25 years at
3 that installation and I get a little bit
4 perturbed at some of the information that's
5 been thrown at you people.
6 They claim -- engineering claims we couldn't
7 get too close to an item that could possibly be
8 putting out radiation. They don't know what
9 they're talking about. When that pit is
10 brought into production, it's brought into
11 production. When it's immersed in cast
12 explosives that had been properly machined,
13 that's a stage of construction. The further
14 that item goes, the more sophisticated it
15 becomes and the more critical becomes the
16 inspection of the construction stages. Those
17 stages are inspected by two people, production
18 inspection and AEC inspectors.
19 Another thing they have told you that we could
20 not be exposed for over one hour. One item
21 would make that a lie if they knew what they
22 were talking about, the assembly of a Mark-34.
23 That has to be dry run. It has to be shimmed
24 to make sure that you've got the proper glue
25 gaps. Then it has to be disassembled, every

1 piece -- including the shims -- laid in
2 progression on a mortician's cart so that they
3 go back in the order they were taken off, and
4 it's -- after it's assembled around the center
5 piece, then you go around there with a rubber
6 glove and you feel for a step. This thing's
7 put together in a cage. There are long brass
8 rods about an inch and 3/8ths in diameter with
9 tension clamps to hold that HE in close to that
10 center piece and to put them in there at
11 different degrees of tension so that you have
12 no step. When the glue gaps are cleaned off,
13 they get gone over with an eyepiece with a
14 micrometric reading in the bottom of it and --
15 and it's got to be in spec or it's no good.
16 The people that are talking about these dummy
17 pits, I would suggest to get ahold of an SOP,
18 probably from -- from Amarillo, and go through
19 it and get some facts. I wish there was some
20 way you people could have stopped at Fort
21 Madison or Burlington and talked to more of the
22 veteran people that worked there. There's a
23 lot of them couldn't come up here because
24 they're physically unable. And because of the
25 expense of their ailments, they can't afford

1 the cost of coming up here, and you're hurting
2 yourselves if you don't give them every
3 opportunity to tell you what went on.

4 But don't listen to these people that's getting
5 this out of the air. That's a pipe dream.

6 Anybody sitting here that worked in Division D
7 can tell you after listening to that man, he
8 never saw a unit constructed. I doubt that he
9 ever saw a unit completed. And thank you for
10 your time.

11 **DR. ZIEMER:** And thank you, Mr. Webb, for those
12 comments.

13 Next we'll hear from Jane Stronger.

14 **MS. STONGER:** That's Stonger.

15 **DR. ZIEMER:** I'm sorry, did we -- is that
16 wrong?

17 **MS. STONGER:** It's stronger without the first
18 R, Stonger.

19 **DR. ZIEMER:** Okay. Yes, I see that now.

20 **MS. STONGER:** I'm different from a few of these
21 people because I wasn't working there back in
22 the '60's. But I'm the youngest of ten kids
23 with a father that worked there and died. Many
24 of my friends has parents that has cancer or
25 died of cancer, and one question for NIOSH,

1 back in March of 2004 they sent us a letter
2 stating that they was ready for the dose
3 reconstruction. They had all the information,
4 they was ready for a go. Then in January of
5 '05 they sent us another letter stating that
6 oh, we don't have enough information after
7 reviewing your file. So... thank you...

8 **DR. ZIEMER:** Thank you, Jane, for your comments
9 and -- there are NIOSH people here today if
10 there are additional questions on that case
11 that perhaps can help.

12 Debbie Detherage? Debbie?

13 **UNIDENTIFIED:** (Off microphone) That's my --
14 one of my sisters.

15 **DR. ZIEMER:** Okay, thank you. Anita Loving?

16 **MS. LOVING:** First off I want to say that I'm
17 not a public speaker, so I apologize. I'm not
18 used to this. But my father and my mother both
19 were employees of Iowa Army Ammunition Plant,
20 my mother from 1952/1953 until two months till
21 -- before I was born in 1959. My father worked
22 from 1958 until they closed the line in 1974.
23 However, after talking to one of his coworkers'
24 wives, I discovered that he actually started in
25 1949.

1 But what I wanted to draw attention to, I don't
2 know how many of you saw, but there was an
3 article in yesterday's *Des Moines Register* in
4 the opinion section, and that all started from
5 an e-mail I received from Paula Graham telling
6 me of your telephone conversa-- or telephone
7 conference that was to be held. She -- she e-
8 mailed me -- it was April 10th, and I wrote
9 back a response to Paula that said (reading)
10 Hello, Paula, thank you for your e-mail. I
11 want to help with this fight all that I can.
12 My father, Wendell D. Pirtle, passed away last
13 Sunday night, April 3rd. Monday, March 23rd --
14 or March 28th, excuse me, we were told that his
15 cancer had come back and there was a large mass
16 in his pelvis area and it had spread to his
17 lung. He didn't even last a week. I had
18 prayed so much that this compensation would go
19 through in time that he could get to see some
20 good from it. I am so extremely angry right
21 now that I want to put that anger into some
22 good use and get these people to wake up and
23 realize how many lives have been ruined by
24 their exposures. I have to go back to work
25 tomorrow after being off a week for my dad's

1 funeral and preparations. I don't know how
2 much of the phone conference I can listen to,
3 but you can bet your boots I will go to the
4 Cedar Rapids meeting.

5 And then the e-mail continued on with a few
6 personal things (unintelligible), but this past
7 Monday night I received a phone call when I got
8 home from an Andy Dominick who is a reporter
9 for the *Des Moines Register*, and we e-mailed
10 and talked on the phone several times during
11 the week, but she asked me to write a letter of
12 the things -- what I would like to tell the
13 Board, and that appeared in yesterday's paper.
14 There's a copy up there to see and I would be
15 more than happy to bring photocopies tomorrow
16 for anyone that wants to see it. But this is
17 what the -- what the letter said.

18 (Reading) Members of the Board, I was asked
19 what message I would like to convey to you, and
20 the first thing that comes to my mind is the
21 sacrifice of health and life by hundreds of
22 unsuspecting workers at IAAP. They were
23 serving their government and country, all the
24 time trusting their government and country to
25 keep them safe during their employment. They

1 were deceived. While they did make an adequate
2 wage, by no way -- by no means did they receive
3 the amount of compensation it would take for a
4 person to knowingly destroy their health, day
5 in and day out.

6 My father was a very proud American, and he
7 served his country well both in the Air Force
8 during World War II and then at IAAP. I feel
9 the government has done him and all the other
10 former workers a great injustice. I am so
11 angered by the time and the money spent on
12 trying to recreate radiation exposure, a task
13 probably impossible. You are spending millions
14 of dollars when that money could be spent
15 paying the claims to those who truly deserve
16 the compensation.

17 My father will not ever get to see the
18 compensation he deserved because he died last
19 week from cancer, cancer caused by exposure to
20 hazardous materials during his career at IAAP.
21 His illness dramatically affected his quality
22 and length of his life. After having surgery
23 for colon cancer, he never again felt
24 comfortable going out in public. He withdrew
25 from society. Due to lung problems he was no

1 longer able to take his one-mile daily walks.
2 I beg you all to consider granting this Special
3 Exposure exemption Cohort to all the former
4 workers with the 22 cancers, and to do so
5 before it is too late for the remaining ones to
6 see the benefit of it, as is the case for my
7 dad. Before my father died he made me promise
8 I would not give up the fight for the
9 compensation he felt he was entitled to. I of
10 course do not feel that the \$150,000
11 compensation in any way whatsoever compensates
12 for the loss of my father's health and
13 certainly not his life.
14 When I think of the average compensation 9/11
15 victims received, settlements in the millions
16 for their casualties, it really angers me and
17 saddens me. These 9/11 victims, while -- while
18 these 9/11 individuals, while were victims
19 also, were not blatantly neglected and deceived
20 by their own employer, United States
21 government.
22 Some have said that dose reconstruction can be
23 done using the records obtained from Pantex. I
24 know my dad always told me that there was no
25 comparison between Pantex and IAAP. Pantex

1 housekeeping on how the materials were handled
2 was far superior to IAAP methods. It's not
3 comparing apples to apples.

4 As for records of national security, if they're
5 being based on tests of classified documents, I
6 darned well want to be able to go over and have
7 copies of this information. They cannot use
8 evidence without making it public to families
9 and the workers involved.

10 Please stop the deception and do what is right.
11 Respectfully submitted, Anita A. Pirtle Loving,
12 daughter of Wendell D. and Mary Frances Pirtle,
13 both former employees of Line 1, IAAP, and both
14 died from one of the 22 cancers.

15 Then I have just a few questions that I wanted
16 to point out. First off, you know, all the
17 research numbers and all are really impressive,
18 but it comes down to this. You're dealing with
19 human lives and the families involved, not the
20 numbers.

21 And second off, members of the Board and NIOSH,
22 I ask you to stand and look me in the eye, and
23 all the other workers, and tell me that you
24 would do any one of those jobs on the line of
25 IAAP for as long as those workers did, and you

1 could honestly tell me that you would do it and
2 -- and accept the radiation exposure that they
3 did, tell me honestly that you would do it.
4 And number three, there was an article in the
5 paper here back in November in the *Hawkeye* that
6 said since the law was enacted in October,
7 2003, the Energy Department has expended \$95
8 million on administrative costs, but has
9 rendered determinations by physicians panels on
10 fewer than eight percent of its claims by
11 October, 2004, and has only secured payments
12 for a mere 31 workers as of August, 2004. Now
13 just looking at this today, I can see where a
14 lot of that money's going, and it's not going
15 to the ones that deserve it.
16 Then I wanted to ask how the Special exemption
17 -- or Special Exposure Cohort would affect my
18 mother's employment, because the way I read it,
19 it was to anybody after 1962, while my mother
20 worked from 1952 or early '53 until 1959. And
21 to file a claim I had a heck of a time getting
22 records. They had no proof she ever worked for
23 them. She was on the government side and I
24 finally tracked down a coworker and friend of
25 hers in Davenport who had to fill out a special

1 form stating that my mother did work with her.
2 Second of all, they keep as-- they keep saying
3 that there's no physical evidence of the -- of
4 the radioactive fissile material before 1955.
5 I want to reference a letter that a coworker of
6 my father received from -- his name was James
7 (unintelligible) and the letter was dated
8 December 28th, 1962, and there were nine --
9 nine individuals that trans-- that tran-- that
10 traveled to -- it says here (reading) Work
11 involved necessary training at Sandia
12 Corporation to become proficient in assembling
13 and testing of material produced by the
14 contractor for the United States Atomic Energy
15 Commission. Those were nine inspectors and my
16 dad was one of those and her husband -- her
17 late husband was also one of those, and they --
18 they -- they trained in September of 1949 on
19 how to assemble and disassemble and the
20 testing, and then they came back and started at
21 IAAP. So that was in 1949, so I don't know why
22 they would have been trained and -- and -- to
23 be proficient in this in 1949 when it didn't --
24 if they say it didn't start till 1955. That
25 doesn't add up to me at all.

1 I guess the biggest thing I want to say is that
2 you really need to think about who it's
3 affecting and not the numbers. I mean it's --
4 it's affected a lot of lives. I'm an only
5 child and I was extremely close to my parents,
6 and my mom's been gone ten and a half years and
7 my dad three weeks. And as far as the dose
8 reconstruction goes, I don't buy this and I'm
9 not okay with this, and when I told my last I
10 love you to my dad that night and he kept
11 telling me don't give up on the fight, that's
12 what I'm doing.

13 **DR. ZIEMER:** Anita, thank you for your remarks
14 at a very difficult time in your life, I'm
15 sure.

16 Incidentally, there's a -- Board members,
17 there's a copy of the newspaper that Anita
18 referred to here on this table, so if you want
19 to peruse it after the session here, you can do
20 that. I think Anita wants to take it back with
21 her, but you can have a chance to take a look
22 at it.

23 Next we have Gary Greene -- Gary?

24 **MR. GREENE:** Just an initial comment -- for
25 someone that didn't -- doesn't do a very good

1 job of public speaking, I thought she did a
2 nice job explaining that.
3 I'm here also on behalf of my parents. My
4 mother and father both started working at the
5 ordnance plant in World -- during World War II
6 in the early 1940's. They subsequently met,
7 married, and I guess I'm the result of that
8 marriage. In 1951 my mother went to Line 1
9 till 1954, and at that particular point in
10 time, within two years -- I guess it was three
11 years, 1957, she contracted -- of cancer,
12 rapidly-growing brain cancer, operated, removed
13 an extremely large tumor out of her right brain
14 and she died in 1961. I was 13 years old.
15 So during that time period, as I was growing up
16 during that period, when both my parents would
17 come home -- I don't remember much out of those
18 first 13 years. I do remember one comment that
19 was made one time -- because all of this was
20 classified at that particular time, extremely
21 top secret. My father ended up staying at the
22 ordnance plant till 1974, didn't talk about
23 those days at all. But I do remember one
24 comment they made, the two ladies that worked
25 on Line 1, was boy, our watches are really

1 going to glow tonight. I never really
2 understood what that meant until about four
3 years ago in 2001 when this started.
4 So during that time period then there's some
5 things that have happened since 2001 and I
6 guess today when our two senators were here --
7 or at least their comments were here, if
8 nothing else, and I unfortunately couldn't be
9 here, it's -- it's odd that we have two
10 senators from the opposite side of the aisle in
11 Washington, D.C. coming up with the same
12 scenario of really pushing this Board into
13 taking a look at quick action, early action,
14 and what you're going to take a look at
15 tomorrow is the petition, to take a look at all
16 of these workers that are here today. Some of
17 them are still with us, and thank God they are.
18 Some we lost just three weeks ago. My parents
19 have been gone -- my mother's been gone 45
20 years, my father's been gone since I was 33.
21 He died in 1981.
22 Now this is hard for all the people sitting
23 here, and I understand the scientists' point of
24 view. My background is science. I'm -- was a
25 high school chemistry and biology teacher, so I

1 kind of understand where these things are
2 going. However, now in private business I'm
3 doing some other things, and when we go to a
4 state university, for example, to get
5 information leading towards research, we -- we
6 always go in for unbiased opinions when we do
7 that, and that's how we present that to
8 companies that we work for. But you know we
9 pay those people to do the work. Do you
10 suppose they would give you other -- results
11 other than what they're looking for? Please
12 bear that in mind, and I hope that our
13 government's not doing that. I do not like the
14 term "cover-up," but I do know in the '50's and
15 '60's this was high profile, top secret, very,
16 very classified information. We were at war in
17 the 1940's, the 1950's and early part of the
18 1960's. So I appreciate your time and I thank
19 you.

20 **DR. ZIEMER:** Thank you. Thank you, Gary. I do
21 not have any more names on the Iowa list, but I
22 want to open the mikes if there are other
23 comments from Iowa folks. Yes, please, sir.

24 **MR. IVERSON:** My name is Si Iverson. I worked
25 at the -- on Line 1 from 1952 to 1954, came

1 back in 1957 and worked till 1975. You just
2 heard what Ed Webb said about dry running the
3 HE with the pits. This is true 'cause I did
4 the same thing at one time.
5 Also I want to get into the idea of pits, also.
6 I received them and -- in this one area and
7 they were -- when I was there we had -- had two
8 inspectors that done the job, but what I had to
9 do, safety would come down every morning and --
10 and select ten pits and say open them. So I
11 would open these ten pits, take the tops off of
12 them, and they would swipe them, and then --
13 they was two to a cart. Okay? Then I'd take
14 these two to the inspectors and they'd lift one
15 of them into a scale and close a door -- it's a
16 glass door. Okay. Then they'd take some kind
17 of measurements and weighed them. I don't know
18 what they did for sure 'cause I didn't care,
19 but there was two of them and one guy was
20 taking measurements. When they get done with
21 it, then they put it back on the cart and start
22 over again with the other pit and so the same
23 thing. This is done day in and day out. And
24 when they got done with those two pits, I
25 wheeled another two in; and sometimes I would

1 cap them right away, sometimes I would not.
2 When I got down to only about two pits left,
3 I'd open ten more. That's what -- and day in
4 and day out. And the radiation badges was
5 behind me, way up behind me and I was down here
6 handling the pits, all the time, day in and day
7 out.

8 Lunch break, yeah. No other breaks because we
9 couldn't go nowhere. Once in a while I may
10 walk up to the (unintelligible) or something
11 like that, but generally -- once in a while my
12 foreman called me into another area. But this
13 -- I've tried to be quiet, but I'm to the point
14 where I think something should be done. I've
15 seen too many people go for various reasons,
16 and I try not to make this a personal issue. I
17 firmly believe in this program and I firmly
18 believe that it should be resolved. How? I
19 hope in our favor. I've seen too many get up -
20 - people get up here that are, you know, all
21 broken up. I mean I never lost my parents
22 because of this. My dad did work out there,
23 but he was never -- well, he might have been
24 'cause he was on Line 1 rare occasions. But
25 something's got to be done. We keep hearing

1 all the money that's being spent. I mean I
2 just hope -- it's got to end. I mean these
3 people are -- I've lost too many friends, too
4 many people I went to church with, too many
5 people that I sat down in the nearest bar and
6 drank with, and all things like that. It's got
7 to come to an end, folks. We've got to do
8 something. There's nothing left to do. I mean
9 that's about all I got to say, but doggone it,
10 let's do something, and let's hope it's the
11 right thing. I thank you very much.

12 **DR. ZIEMER:** Thank you. Yes, another hand
13 here. Just a moment. Ms. -- oh, Ms. Graham?
14 No.

15 **MS. YERRINGTON:** I'm Lasca Yerrington and I'm
16 like Mr. Harkin this morning. Here's this
17 chart about unmonitored workers, and it wasn't
18 put in the new revised version of the site
19 profile. We have both that were sent to us,
20 and this was left out where they had like 1,030
21 not monitored and total monitored was 29, which
22 meant that 97 percent were not monitored. And
23 this is from 1962 through 1973, and the least
24 percentage not monitored was 72 percent. And
25 that is -- that is bad. And I couldn't

1 understand why this was left out of the new
2 site profile.

3 And another thing, I want to say this to start
4 with. Prior to the onset of the IAAP in 1941,
5 this area did not have or use the household and
6 farm chemicals that we use today. On farms we
7 used manure and lime. Our housecleaning
8 supplies were soap, soda, vinegar and good old
9 muscle power. So where were these workers
10 exposed to the radioactive materials,
11 explosives, solvents, other chemicals and
12 metals? It evidently was during their work
13 days at the IAAP. We never heard of cancer
14 prior to IAAP except one woman in the last few
15 weeks before she died, and she lived on the
16 highway -- close to the highway -- they
17 diverted traffic several blocks away so she
18 would not be disturbed. This diversion of
19 traffic was done by the Iowa Highway Commission
20 because it was so rare, cancer was so rare.
21 That's how rare it was for us to have cancer
22 around.

23 Another thing I'd like to say, my sister and I
24 -- Paula -- we received the report from ATSDR,
25 and we found some incorrect information in

1 that, because we had seen at one of our
2 meetings with the DOE and the DOD -- 'cause
3 we're on the DOD advisory board -- we had seen
4 a slide that showed machinists working in their
5 -- their clothing. And in the ATSDR it said
6 nobody worked in their clothing. So we had
7 them -- from the Iowa City -- we tried to send
8 it, or Paula did, through her computer which
9 she couldn't get it to go right so she called
10 up to Iowa City, and I don't know whether it
11 was Howard or -- I think it was Howard sent it
12 down to them. So we talked to them and asked
13 them if they would change this in the ATSDR.
14 And Catherine Hanks said no, that she would put
15 it in a file drawer and if anyone ever asked
16 about it, she would dig it up. And my sister
17 said to her, you could print a paper correcting
18 this and could send it out to everyone that had
19 the ATSDR report, and she said no, she couldn't
20 do it.
21 But it showed them working not only in their
22 street clothing, but it showed them work-- with
23 their lunch boxes open, their thermos bottle's
24 there, and they were probably machining
25 beryllium alloy.

1 The last thing I want to bring up is this. I
2 received a letter from NIOSH April 20th
3 concerning individual dose reconstruction, and
4 to be at the Crowne Plaza Five Seasons Hotel.
5 This was for my husband and mother, who both
6 died of cancer. I want to read that paragraph
7 to you. It says (reading) On Sunday, April
8 24th, 2005, NIOSH will be at the hotel
9 mentioned above to discuss individual dose
10 reconstruction status information between 3:00
11 o'clock and 7:00 o'clock p.m. If you have
12 questions about the status of your claim and
13 are unable to attend on Sunday, NIOSH will also
14 be available during the Board meeting.
15 Appointments are accepted but not required.
16 I came here believing NIOSH had some important
17 information to give to me. I even thought they
18 were going to say my husband's dose
19 reconstruction was finished and they were
20 getting ready to compensate people to show that
21 dose reconstruction really worked. I really
22 thought that since my sister and I were
23 activists concerning the IAAP that they were
24 going to pay the claims so that we would not be
25 so vocal and go on being activists.

1 In talking with NIOSH -- with a NIOSH
2 representative, we found out no more than we --
3 than we learned from the reports we received
4 from -- every few -- every -- every quarter for
5 the last two years. Nothing there changed. I
6 understand that Denise Brock's mother was the
7 first to be compensated at Mallinckrodt.
8 Denise is a vocal advocate for the Mallinckrodt
9 workers. We need a Special Exposure Cohort for
10 all these people, like Anita Loving and the
11 others that have spoken up. Ed Webb, he's on -
12 - he's on oxygen so much of the time, and he
13 came here and I -- as I was sitting here
14 watching Ed Webb speak, I thought is he going
15 to fall over for lack of oxygen, because I saw
16 his body jerking back and forward that he's
17 tried to breathe. We need something done.
18 I do want to thank you all for being here, and
19 taking all this into consideration. Thank you
20 very much.

21 **DR. ZIEMER:** Thank you. Are there any others
22 from the Iowa group that wish to address the
23 assembly? Yes, Paula?

24 **MS. GRAHAM:** I'll try and keep this brief
25 'cause I have a tendency to talk a lot. That's

1 because I was a teacher of eighth-graders, you
2 have to talk a lot.

3 Anyway, I -- there's a lot I could talk about -
4 -

5 **DR. ZIEMER:** For the record, give us your name,
6 then --

7 **MS. GRAHAM:** Paula Graham.

8 **DR. ZIEMER:** Paula Graham.

9 **MS. GRAHAM:** Yeah, Paula Graham. And my sister
10 and I, Lasca, have been doing a lot of research
11 in the basement of the Lee County Health
12 Department in Fort Madison, Iowa. And we've
13 been researching the work plan for supplemental
14 remedial investigation for Line 1, including
15 the historical site assessment for the Iowa
16 Army Ammunition Plant at Middletown, Iowa. And
17 those records are very dusty, and they say
18 we're the only people been there ever to look
19 at them. And we found some interesting things.
20 One thing we found was that in the 1960's, so
21 this reference says, there was an airplane
22 crash and an atomic bomb dropped out of the --
23 involved in the crash, and it was brought to
24 the Line 1 to be disassembled.
25 Well, I got on the phone and I called Dr.

1 Fuortes and I said Dr. Fuortes, did you ever
2 hear about this? He said yes, a worker told
3 him about it. But the story was -- the true
4 story was that this plane was taking off and
5 the atom bomb dropped out of the plane, skidded
6 along the cement runway, was damaged. A fire
7 occurred and they say the bomb was brought in
8 all blackened to be disassembled. I'm
9 surprised that this was never in the site
10 profile. My question is, were they exposed to
11 radiation? They had this damaged atomic bomb
12 that was blackened, damaged, a fire that
13 occurred. And then I wondered how many more
14 were brought in there through the years. So
15 that's just something that -- that -- that I
16 wanted to point out here, and I have a few
17 other things to point out, but I can't point
18 out all the things that I've found, it'd take
19 too long.

20 You want him to talk? It's okay.

21 **DR. ZIEMER:** No, you -- are you finished or --

22 **MS. GRAHAM:** No, I'm not.

23 **DR. ZIEMER:** No, you finish first and then
24 we'll --

25 **MS. GRAHAM:** I want to talk a little --

1 **UNIDENTIFIED:** (Off microphone)

2 (Unintelligible)

3 **DR. ZIEMER:** Well, you'll need to approach the
4 mike, but let's let her finish and then you can
5 address the question, yeah.

6 **MS. GRAHAM:** I want to talk about safety.

7 **DR. ZIEMER:** Yes.

8 **MS. GRAHAM:** And I did not work on the atomic
9 energy line, but I was security cleared to go
10 there and decided not to go. My sister did and
11 of course you know she died in 1956 after
12 working there. But I can really testify to --
13 to safety on the Army side. I worked there
14 during the Korean War and the Viet Nam War, and
15 so you know, same contractor, Mason Hanger,
16 Silas Mason Company, ran both sides. Of course
17 the AEC was involved in the -- in the atomic
18 side. During a period of I think from about
19 1951 to in the '80's sometime, there were
20 numerous people killed in explosions and
21 injured in accidents. And I remember one story
22 -- one situation, wasn't a story. I was
23 working on Line 9 in about '67 or 8 and we had
24 a big explosion of a building that wasn't right
25 on Line 9, but I think it was a storage

1 building, and we had had -- we'd all had a
2 potluck dinner 'cause the women about once a
3 month would bring in dishes and here was this
4 young safety man and he had a tour of duty in
5 Viet Nam and survived that. And he just
6 enjoyed that meal, I tell you, how young men in
7 their latter twenties can eat a lot of food.
8 He just kept going back and going back and I
9 thought boy, he's really enjoying that meal.
10 Well, then they left, several of them left to
11 go down to the storage building and we went
12 back to our work, and I was inspecting. The
13 production supervisor came in, she said Pa-- he
14 said Paula, did you hear that explosion? I
15 said yeah, where was it? And he told me, he
16 said they've sent for the -- for the plastic
17 bags, body bags to pick up the pieces, and that
18 safety man was killed, so even a safety man was
19 killed in an accident.

20 And there were -- during the Viet -- the Korean
21 War I carried powder out of a powder house --
22 they call them rest houses where the powder
23 dries. And it was so hot inside that building,
24 when I would go outside -- it was winter -- I
25 would get a headache from the difference in the

1 temperature. And I told my mom and dad it
2 wouldn't surprise me but what that powder house
3 will blow. It did that next shift and killed a
4 girl.

5 And there were accidents, a lot of accidents
6 happened. But one big story during the Viet
7 Nam War -- now to me, when you're having safety
8 inspection, it's surprise inspection. The
9 workers don't know about it. And so we were
10 told one day that there was going to be an
11 inspection, safety inspection. And all the
12 bays in the buildings had load limits for how
13 many people worked in the bay for safety's
14 sake. You didn't want to lose too many people
15 if there was a big explosion. And the bays a
16 lot of times were overloaded with workers, more
17 workers than were supposed to be in there. And
18 so there was a phone outside in the ramps that
19 joined buildings, and the phone rang and a
20 production worker went out there and answered
21 it, and he said they're coming down from
22 another building -- whatever building it was.
23 Well, he gave everybody -- if there were five
24 extra people there, he gave them push brooms,
25 sent them out on the ramps, be sweeping the

1 ramps when the inspectors came so the bays
2 would not be overloaded. When -- when the
3 inspectors moved on, you put down the brooms,
4 you came back in. So that's some ideas of
5 safety when you were there.

6 And they lacked a lot of the equipment -- the
7 things that we needed, like -- we called them
8 powder coats 'cause they were all made of
9 cotton. I remember one winter they never had
10 enough coats for us. I worked out on a loading
11 ramp and I was inspector, and they gave me
12 permission to wear my own coat, and whenever I
13 had to touch anything I'd take it off and lay
14 it down, and that was a cold operation.

15 One other thing here, in this -- this
16 historical site assessment -- and by the way,
17 this was done by TM and Associates for the U.
18 S. Army Corps of Engineers, Omaha District.

19 And this is 1972, and of course they moved out
20 in 1974 or 5, and this is an interoffice memo,
21 annual review of all radiation safety operating
22 procedures administration building, and this is
23 what it says. This memorandum was to J. E.
24 Shannon from the Division Manager of
25 Manufacturing B, request that a system

1 implemented to comply with the annual review
2 requirements of (unintelligible) all these
3 numbers, be followed, that this annual review
4 should be followed. This system -- this
5 requires that Manufacturing B engineering and
6 safety jointly determine which procedures are
7 to be considered radiation operating
8 procedures. Why are they waiting so long to
9 decide what is a radium operating procedure.
10 And there were just other things, too. And
11 then -- on the safety, then I'll sit down --
12 March, 1972, this was production survey, Mason
13 and Hanger, Silas Mason Company and so forth,
14 and under the heading of findings and
15 recommendations, operating procedures
16 pertaining to radiation safety are not reviewed
17 annually. They're saying they were not
18 reviewing these operating procedures pertaining
19 to radiation safety annually, as they're
20 supposed to.

21 The second -- the contractor does not have a
22 formal procedure to assure through analyses
23 adequate quality of bottled or line-supplied
24 breathing air. And they went on to say -- I'm
25 short of breath, too -- a formal procedure to

1 assure breathing air quality should be prepared
2 and published. This procedure for air quality
3 should also include an assurance that breathing
4 air line couplings are not compatible with
5 other gas line outlets throughout the whole
6 plant. So there wouldn't be a mix-up and
7 somebody would get gas.

8 All right, the third thing, automatic
9 conductivity measurement and control systems
10 have not been provided for all cooling towers
11 to control loss of chromate with the subsequent
12 to effluent watercourses with chromate ions.
13 Of course that would go into the atmosphere,
14 too.

15 Next, the medical department has not conducted
16 biological calibration tests on the audiometer.
17 Under headings and discussions, it says the
18 contractor has no formal mechanism to assure
19 annual review of the radiation safety
20 procedures as required by Albuquerque
21 Operations Office. A statement requiring
22 annual review should be added to the component
23 parts manual or similar document.

24 These are just a few of the things that we
25 found -- there were many, many more -- that I

1 think -- I don't know if NIOSH has reviewed
2 this, but it sure has a lot of pertinent
3 information in it, and I would urge them to.
4 And another place I would urge them to look is
5 the Rock Island Arsenal at Rock Island,
6 Illinois. They have an archive there, and they
7 sent me things, you know, that I have sent on
8 to other people.

9 And I want to thank you people for listening to
10 us, and I guess what I want to say is, like
11 these other people, that I urge you -- you
12 passed a Spe-- you approved a Special Exposure
13 Cohort on the 9th of February this year, and I
14 urge you to stand by that. Enough time has
15 went by, enough lives have been lost, people
16 are suffering and dying, and I don't know, we
17 could probably go on forever and maybe never
18 come up with the ideal dose reconstruction that
19 people seem to want, all but the workers and
20 ones that are suffering. And I do thank
21 everybody for all the hard work they have done.
22 I just wish I had more time to present more of
23 this information that I've found. Thank you.

24 **DR. ZIEMER:** Thank you, Paula, very much. Mr.
25 Webb perhaps has a response or some comments on

1 the issue of the weapon accident that Paula
2 referred to, I think.

3 **MR. WEBB:** She mentioned a unit come out of the
4 bomb bay doors and skipped down the runway
5 exposed to fire. That was a Mark-25. My buddy
6 and I took that apart. There was no danger of
7 radiation. It was a sealed pit. The tube was
8 bent. It wasn't kinked or flattened. There
9 were two of those units. The first one, the
10 shift supervisor come to my buddy and I because
11 we worked together in a lot of different stages
12 of different units in a press operation, and he
13 said if you guys'll take this apart for me,
14 when you're done, you're done. So we decided
15 we'd go down and do it. Then, he wasn't a too
16 highly respected or thought-of supervisor. We
17 did it. We finished it about 9:30, 20 minutes
18 to 10:00, started out of the ramp. The
19 inspectors had checked it. It was all right.
20 We put it in the good case and ready to go
21 again. We got to the ramp junction and there
22 he sat. He said where are you guys going? We
23 told him well, you said when we was done, we
24 was done and we thought we'd slip over to the
25 equipment room and see if we could con them out

1 of a cup of coffee. He said ain't no way you
2 can leave the area. So what are you going to
3 do? We went back to work.

4 Wasn't a week till the second one showed up.
5 He didn't have any luck begging that time. He
6 took a young fella by the name of Todd from
7 West Point, Iowa, production foreman, down
8 there with him at the start of the second shift
9 and had a guard standing outside of the cell.
10 The rest of us went home at midnight. He's
11 still down there tinkering with it, but there
12 were two, not one.

13 **DR. ZIEMER:** Thank you.

14 **MR. WEBB:** And they were Mark-25s.

15 **DR. ZIEMER:** Thank you.

16 **MR. WEBB:** (Off microphone) And
17 (unintelligible) no radiation damage from the
18 first one.

19 **DR. ZIEMER:** Very good. Appreciate that added
20 information.

21 I have a couple more who have signed up to
22 speak. Dan McKeel from Washington University.
23 Dan --

24 **DR. MCKEEL:** (Off microphone) (Unintelligible)

25 **DR. ZIEMER:** You can defer till the session

1 tomorrow.

2 Richard Miller from GAP. Richard?

3 **MR. MILLER:** Thank you --

4 **DR. ZIEMER:** GAP being Government
5 Accountability Project.

6 **MR. MILLER:** Which means I don't work for the
7 government.

8 **DR. ZIEMER:** Oh.

9 **MR. MILLER:** Good day, my name is Richard
10 Miller. I would like to offer briefly five
11 points and set of questions.

12 One, Si Iverson's comment, to me, had some
13 significance given the number of years that he
14 worked at the Iowa Army Ammunition Plant
15 because what he raised in terms of the
16 discussion of taking say ten weapons at a time
17 and working through them in a systematic
18 fashion seems to cast some doubt on the .153
19 work factor that's been proffered. And again,
20 because we don't have access on the outside to
21 know exactly how they got at that .153, at
22 least his statement -- and I would add that Mr.
23 Iverson is not a claimant under this program.
24 He stands in no particular personal way to
25 benefit from that statement. He works on the

1 former worker medical screening program, I
2 think -- is that right? -- and -- but he has no
3 way, shape or form -- and I just think it's
4 useful if some weight can be given to worker
5 testimony from Mr. Webb or from Mr. Iverson on
6 that. But I do think that's a very significant
7 point about -- and again, it's a pre-'63 point,
8 but it's a significant point.

9 I think the second thing that struck me of the
10 statements today was Dr. Fuortes's statement
11 that the job codes were from termination
12 reports. Now it's not just all -- that we have
13 lots of different jobs in our lives, but the
14 assumption that was proffered by NIOSH, both in
15 the SEC evaluation supplement as well as in the
16 presentation today, is that there was a very
17 high confidence level that they have a
18 representative dataset for those years, by job.
19 And if -- and one of the things that's
20 interesting about and the Landauer badge
21 reviews as it's been -- and I had the
22 opportunity actually to go look at some of
23 these binders at U. Iowa, was that people
24 worked in multiple jobs, so that you -- your --
25 what you were terminated from isn't necessarily

1 all of what you did during the course of your
2 employment. It is only a snapshot on that day.
3 Thus the question arises, given the way in
4 which the photon dose badges are used,
5 particularly for the post-'63 period, they
6 leverage a great deal of this site profile.
7 They leverage the work factor and a year of
8 dose calculations going backwards prior to '63,
9 as well as serving as a geometric mean going
10 forward and the basis upon which you then
11 multiply for neutron and -- and -- and the
12 subsequent correction factors -- or quality
13 factors. What -- the question that comes to my
14 mind, it's sort of like building a house, you
15 know. If you -- if -- you've got a thin reed
16 here and you're really not sure how flimsy or
17 how sturdy that particular support is going to
18 be for your argument, and here it seems that
19 there's some substantial doubt cast on how
20 robust that dataset is in the '60's upon which
21 to base so many multiplying and -- multiplying
22 factors in both directions, back and forward.
23 And I -- I guess that if -- at this point,
24 where do you -- where is the comfort level --
25 where is the -- where is the weight of evidence

1 that says that one has a high confidence level
2 if it's all based on termination reports.
3 Now one of the things that was raised by the
4 Sanford Cohen report was they asked a question
5 to NIOSH in a list of -- I think it's an
6 attachment to the -- to the letters that were
7 submitted to the Board. They asked
8 (unintelligible) how many records and can you
9 get an inventory of what's down at Pantex. But
10 what I've been struck by is another question,
11 which is what was shipped from Iowa to Pantex
12 in 1974 and is there an inventory of what was
13 shipped, because that's the first question that
14 has to be asked. Then the second question is
15 what have they found now some 30 years later
16 down at Pantex -- right, Bob? What is the
17 ratio of this. And I'm going to get to -- to -
18 - to an indicator in a second.
19 If -- if -- if -- even if they reviewed five or
20 ten or 15 or 20 percent -- and not that I'm
21 confusing quantity and quality here, but if
22 you're only looking at a small percentage of
23 what's there, and you don't even know if that
24 percentage is representative of what was
25 originally shipped or whether it's, as -- as

1 was indicated, potentially mis-boxed or lost,
2 what was the universe that one was going to
3 start with that you don't have today? In other
4 words, could somebody please explain on the
5 record sort of the math, what was inventoried
6 and shipped that are health physics records or
7 related production records that are necessary
8 for this work to do dose reconstruction, and
9 how many can be even identified in the
10 inventory at Pantex today.

11 One of the reasons it's puzzling is that in
12 reading through the site profile, there are
13 only 15 incident reports that were spotted.
14 And for those of us who've been around heavy
15 industrial production operations, 15 incidents
16 in a 25-year period is a pretty remarkable
17 accomplishment. And I'm unpersuaded, again,
18 that we've found the boxes of the incidents or
19 we've found -- that there's something missing
20 here. And it may not -- maybe it made it from
21 Iowa to Pantex, but it sure hasn't made it into
22 this analytical process.

23 The other thing that was interesting was that
24 in the course of the Sanford Cohen report they
25 had an attachment which indicated that lo and

1 behold, beyond the early NTA film measurements
2 which were deemed generally unreliable for
3 neutron, Battelle came in in the '70's and did
4 some neutron monitoring. Well, where are the
5 Battelle neutron monitoring results and are
6 those classified, as well? There's an e-mail
7 attachment to the back of the Sanford Cohen
8 letter, and I for one would like to know will
9 those neutron measurements ever be made public
10 or are we simply going to get someone's
11 recollection of what they were when they were
12 taken some 30 years ago. But this is
13 indicative that if Sanford Cohen just got in
14 there and in four weeks started digging and
15 found neutron dose badge data collected by a
16 Battelle person -- and Battelle was brought in
17 to work on this site profile -- it begs the
18 question does the right hand know what the
19 right (sic) hand's doing?

20 The other question that is sort of a policy
21 question about this is the statute prescribes
22 up front that -- in the purposes section of the
23 Act, that the goal is to provide timely,
24 uniform and adequate -- and I never know what
25 the word "uniform" meant, you know. I just --

1 and when I -- I mean how many times have I read
2 this law and I never figured out what uniform
3 meant, and today it dawned on me. When we saw
4 the representation in the chart that was
5 presented by both Sanford Cohen -- that the '49
6 to '62 time period had roughly 25, 26 rem of
7 exposure per year for external penetrating
8 dose, but from '63 to '74 it was somewhere
9 between one and a half to three rem, round
10 numbers, and you saw a ten-fold reduction in
11 dose between '62 and '63, but we also
12 understand there was no change of work
13 practices between '62 and '63, can one consider
14 this to be uniform? Is this a uniform approach
15 and is it going to provide a uniform result?
16 Well, obviously not. Then the question is, at
17 what point and where and how did the equities
18 about how similar situated workers are treated
19 under the Act are addressed through this
20 document, that's -- beyond the provocative
21 questions that were raised by Sanford Cohen
22 about whether you're dealing with extra-
23 scientific questions in terms of introducing
24 uncertainty, which I think is a very
25 provocative point, this also raises the

1 question does this meet the statutory test of
2 uniformity.

3 The last question I would like to just put on
4 the record and hopefully someone can answer
5 this before this Board meeting is over, we see
6 in the presentation that is going to be made
7 tomorrow by Dr. (sic) Elliott on the Special
8 Exposure Cohort, two slides dealing with the
9 Justice Department Office of Legal Counsel
10 proposing what would be a very interesting
11 perspective that the Secretary of Health and
12 Human Services cannot grant a Special Exposure
13 Cohort predicated on information which is
14 classified. And we've seen a change in policy
15 at this meeting for the very first time.

16 Transparency has always been held out as a
17 hallmark of this program, but in Dr. (sic)
18 Taulbee's presentation today we saw for the
19 very first time hedging on that. Now
20 transparency's a desirable but not a necessary
21 goal of the program.

22 I don't know whether the Board has deliberated
23 on this question about whether it's necessary
24 or whether it's merely desirable, but I would
25 just like to draw your attention to that,

1 because this, to me, is a huge undebated shift
2 in policy in this program, and I am not aware
3 that Congress has weighed in in any way, shape
4 or form. I have not seen any policy papers on
5 this. And most remarkably that Dr. (sic)
6 Taulbee's paper encompassed that -- that
7 particular statement, that it is a desirable
8 but not necessary goal of the program, in
9 presenting his rationale for the -- for the
10 site profile today. I would like to know who
11 requested that Justice Department opinion. I'd
12 like to know the name of the person. I'd like
13 to have it on the record. I think it should be
14 on the record for this particular meeting. I
15 would like to know which agency and what
16 position and who authorized them to do so. I
17 would like to know what meetings were held in -
18 - with which agencies that developed this
19 policy. Was -- did this originate in the
20 Department of Health and Human Services? Did
21 this question arise in the Department of Labor?
22 Did this Depart-- did this arise in the Office
23 of Management and Budget? Did the Justice
24 Department just wake up one day and scratch
25 their head and say let's look at the Energy

1 employee comp program -- gosh, I hear there's a
2 meeting in Cedar Rapids; we've got to get there
3 and drop some kind of new information and
4 perspective.

5 I haven't seen in the Executive Order any role
6 for the Justice Department playing in NIOSH's
7 program. They're to administer the RECA
8 program, not this program. I haven't seen the
9 Justice Department mentioned in any authorizing
10 legislation that suggested that they should be
11 setting your policy or that of the Department
12 of Health and Human Services.

13 Now I'm not questioning the authority of the
14 office of legal counsel. Did this opinion come
15 from the White House? Was there -- were there
16 meetings at the White House which discussed
17 this? If there were meetings at the White
18 House, who were at those meetings? When were
19 those meetings held? And if there's an opinion
20 with respect to the office of legal counsel,
21 has it been made available to this particular
22 Board and this particular body? What is the
23 legal basis for concluding that due process is
24 not necessary in order for claimants to be able
25 to have their rights fulfilled under the Act?

1 I'm unaware of any such proscription, and so I
2 guess I would like, as part of the Special
3 Exposure presentation, if NIOSH or if the
4 Department of Labor or if the Department of
5 Justice is here or they can be brought in,
6 could somebody please explain how this
7 descended from outer space into this process?
8 Thank you.

9 **DR. ZIEMER:** Thank you very much, Richard. And
10 let me tell you that I don't know if -- I
11 personally had no knowledge of whether or not
12 anyone in this meeting has the answers to those
13 questions. I honestly do not. The Board
14 learned of this as we came to the meeting about
15 this particular -- I don't know if it's a
16 ruling, decision or just a -- somebody's
17 opinion. I have no knowledge -- may be we will
18 learn more about it, but I do want to point out
19 that deliberations on the Iowa information, the
20 report of our contractor and the report from
21 NIOSH will continue in the morning, so many of
22 the questions that you raised perhaps will be
23 answered in that context as we look further
24 into the reports of both our contractor and
25 NIOSH.

1 This last question, I'm as curious as you are.

2 **MR. MILLER:** Well, at a very minimum I -- I
3 hope that at least Dr. (sic) Elliott can tell
4 us where he got the bullet points to put in his
5 view graphs for tomorrow, and maybe that can
6 begin the investigative trail back to its
7 origin.

8 **DR. ZIEMER:** Well, certainly -- Larry can
9 certainly share that part with you tomorrow, or
10 with the group. Yes, a question or comment
11 here? Paula?

12 **MS. GRAHAM:** It's just something I forgot I
13 wanted to mention.

14 **DR. ZIEMER:** Paula Graham, for the record.

15 **MS. GRAHAM:** Paula Graham. Okay. It seems to
16 me that -- you know, we fill out these
17 petitions, the workers do, for Special Exposure
18 Cohort and then they go to NIOSH. And then
19 NIOSH I think looks them over -- if I've got
20 this procedure wrong, tell me -- they look it
21 over and then they decide send it to you, to
22 the Board for -- for --

23 **DR. ZIEMER:** NIOSH does an evaluation of the
24 petition --

25 **MS. GRAHAM:** Then sends it to you.

1 **DR. ZIEMER:** -- and we review their evaluation,
2 yes.

3 **MS. GRAHAM:** Okay, so I've got that pretty much
4 --

5 **DR. ZIEMER:** Right.

6 **MS. GRAHAM:** -- down pat. It seems to me that
7 to avoid something like this in the future, I
8 don't know whose job it would be, whether it
9 would be Congress or the Board or who, it might
10 be Congress, that we need to get some rules
11 down, that once a Special Exposure Cohort is
12 sent to the Board neither side brings any more
13 in. You decide what we've got. So each side
14 should be prepared. The one -- what is --
15 fills out the -- the workers that fill out the
16 Special Exposure Cohort that goes to NIOSH, and
17 NIOSH be prepared, too, and then that's it, you
18 know. You people decide and that would be it.
19 It would avoid a lot of confusion and
20 everything, and it would save money.

21 **DR. ZIEMER:** Yeah.

22 **MS. GRAHAM:** Thank you a lot.

23 **DR. ZIEMER:** Thank you, Paula. Keep in mind
24 that actually this Board does not make the
25 decision. We make a recommendation that goes

1 to the Secretary of Health and Human Services.
2 NIOSH also makes a recommendation that goes to
3 them. There are some time constraints in the
4 process in terms of NIOSH reacting to a
5 petition and in terms of the Secretary of
6 Health and Human Services reacting to a
7 recommendation from this Board. So there are
8 some constraints in there on time, as well.

9 **MS. GRAHAM:** (Off microphone) (Unintelligible)
10 recommend.

11 **DR. ZIEMER:** Thank you.

12 **MS. GRAHAM:** (Off microphone) (Unintelligible)
13 agree.

14 **DR. ZIEMER:** Is there further comments? Yes,
15 sir, in the back.

16 **MR. MOORE:** My name is Ron Moore. I worked
17 security, Line 1 at the Burlington facility.
18 You've got a lot of questions about film
19 badges, so I thought I'd give you a little
20 history about film badges.

21 **DR. ZIEMER:** Thank you.

22 **MR. MOORE:** The first people that died in the
23 Cold War were experimental troops put in Nevada
24 and at Bikini Test Atoll. They were U.S.
25 troops. They set off nuclear blasts. They

1 died by the hundreds. The doctors studied them
2 for years till they were all dead or mostly
3 dead. Joe Kelley started the Atomic Energy
4 Association in Burlington, Iowa, which is a
5 funny place 'cause this is where most of this
6 mess started with, Burlington, Iowa.
7 Well, those people died and that didn't seem to
8 bother them 'cause they didn't have film badges
9 and radiation still killed them.
10 Then we go to the first nuclear reactor
11 accident in the United States in Idaho. The
12 three men that was running the reactor were
13 killed. The seven firemen that went in to find
14 their bodies died approximately four years
15 later, or three. They didn't have film badges,
16 but they died of radiation.
17 Now we go to Philadelphia where the five
18 doctors were asked by the Atomic Energy
19 Commission to find 300 people they could
20 experiment on with radiation, and they did.
21 Now they didn't give these people film badges,
22 but they experimented on them with radiation
23 and they all died, too. They're in a book.
24 Now if you go to every AEC facility in this
25 organization, I'm talking Los Alamos, I'm

1 talking Sandia, I'm talking Rocky Flats, I'm
2 talking everything you can think of, they have
3 a problem because not only are the people in
4 those plants dying -- that had film badges --
5 the people outside the plants are dying, too,
6 that never worked there and they didn't get
7 film badges.

8 Now this has been a consistent problem ever
9 since the AEC started and was formed. But the
10 old AEC philosophy was we're run by the old
11 boys' group, the Manhattan Project boys started
12 it, playing around, and if you weren't in the
13 clique, you didn't go up the ladder much, and
14 they controlled and run this thing for years.
15 And this is the problem you still have.

16 If you would have watched C-Span about a month
17 ago when the good ol' Berkeley people brought
18 in a new man to manage Los Alamos because
19 they've got critical problems all over the
20 place, and they had people running their labs
21 not to SOP and they were told they would have
22 to run to SOP, they said to hell with you; this
23 is my lab, I'm a doctor, I'm a scientist, I'll
24 run it the way I want, so they fired him.
25 Well, he didn't lose a paycheck because the

1 good ol' boy club sent him right down to NASA.
2 And this is the way the good ol' boy club
3 works.

4 Now the way it worked at the Iowa Army
5 Ammunition Plant was, you don't make waves, you
6 don't talk, you keep your mouth shut, you do
7 not turn in incident reports, you hide things,
8 you falsify records and papers. This is
9 documented through people that worked there if
10 you would listen to them. This ol' boy club
11 has operated for years. People are still dying
12 and they will continue to die. They are not
13 addressing the problems that got out of these
14 plants to the other general population. We
15 need film badges for them people. That's how
16 you find out, isn't it?

17 Apparently film badges is not the answer 'cause
18 people are dying from radiation that had film
19 badges, and people are dying from radiation
20 that didn't have them. This is the system.
21 This is the way this organization works. This
22 is your government at its best.

23 When we signed the National Secrecy Act we sold
24 ourselves into slavery for life. There are
25 things we still cannot tell you, things that we

1 will take to our graves. We cannot tell
2 anybody, even our senators, with fear of either
3 your choice of Leavenworth for life or a firing
4 squad. Take your pick, guy.
5 They've hid stuff, they've lied, they've
6 cheated, they've done things for years and
7 covered this up. It's a damned disgrace and it
8 should be abolished. When you have -- the
9 Department of Energy can go to any facility,
10 and those people get sued, they pay their
11 lawyer bills. Not the company in charge of
12 that facility, the government. That's a
13 disgrace, absolute disgrace.
14 If you ever read the book that they wrote about
15 Rocky Flats and what they done, and the United
16 States government actually shut down a Federal
17 grand jury that was going to prosecute them.
18 Rocky Flats is the only AEC facility that was
19 ever raided by the FBI. There has never been
20 one since. There never will be another one
21 because of that. *The Ambushed Grand Jury* is
22 the name of that book. It will also show you
23 how much of that exposure got out, how much it
24 spread all over Denver, Colorado. How come
25 people have so much thyroid cancer out there

1 and types of melanomas? They burnt plutonium
2 and uranium in a smokestack that blew all over
3 hell.

4 Now gentlemen, film badges and this stuff is
5 not going to solve the problem. We're still
6 going to die until you break up the ol' boys'
7 club. And like we used to say on Line 1 when
8 engineering used to come down and tink (sic)
9 with a unit, there's an old expression that was
10 said -- sometimes they're educated beyond their
11 intelligence. Thank you.

12 **DR. ZIEMER:** Okay. Thank you for those
13 provocative remarks. Any other commenters? If
14 not, let me thank you all for being here with
15 us today. We will continue tomorrow morning
16 with discussions on the Iowa facility and then
17 in the afternoon move on, hopefully, to the
18 Mallinckrodt facility. We are recessed till
19 tomorrow morning.

20 (Whereupon, at 5:40 p.m. the meeting was
21 adjourned until Tuesday, April 26, 2005, at
22 8:00 a.m.)

C E R T I F I C A T E O F C O U R T R E P O R T E R**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of April 25, 2005; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 6th day of March, 2005.

STEVEN RAY GREEN, CCR

CERTIFIED MERIT COURT REPORTER**CERTIFICATE NUMBER: A-2102**