

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes

MEETING 46

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

DAY ONE

MAY 2, 2007

The verbatim transcript of the 46th
Meeting of the Advisory Board on Radiation and
Worker Health held at The Westin Westminster,
Westminster, Colorado on May 2, 2007.

*STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING
404/733-6070*

C O N T E N T S

May 2, 2007

WELCOME AND OPENING COMMENTS	10
DR. PAUL ZIEMER, CHAIR	
DR. LEWIS WADE, DESIGNATED FEDERAL OFFICIAL	
NIOSH PROGRAM UPDATE	13
MR. LARRY ELLIOTT, NIOSH	
DOL PROGRAM UPDATE	39
MR. JEFF KOTSCH, DOL	
DOE PROGRAM UPDATE	54
MS. ELIZABETH WHITE, DOE	
SELECTION OF 8 TH ROUND OF DR'S	68
DR. PAUL ZIEMER, CHAIR	
WORKING GROUP UPDATE	99
	119
WORKING GROUP CHAIRS	
ADDRESS FROM SENATOR OBAMA	115
SENATOR OBAMA	
PUBLIC COMMENT	135
DR. PAUL ZIEMER, CHAIR	
COURT REPORTER'S CERTIFICATE	294

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

BEACH, Josie
Nuclear Chemical Operator
Hanford Reservation
Richland, Washington

1 CLAWSON, Bradley
2 Senior Operator, Nuclear Fuel Handling
3 Idaho National Engineering & Environmental Laboratory

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

1 LOCKEY, James, M.D.
2 Professor, Department of Environmental Health
3 College of Medicine, University of Cincinnati

4 MELIUS, James Malcom, M.D., Ph.D.
5 Director
6 New York State Laborers' Health and Safety Trust Fund
7 Albany, New York

 MUNN, Wanda I.
 Senior Nuclear Engineer (Retired)
 Richland, Washington

 PRESLEY, Robert W.
 Special Projects Engineer
 BWXT Y12 National Security Complex
 Clinton, Tennessee

 ROESSLER, Genevieve S., Ph.D.
 Professor Emeritus
 University of Florida
 Elysian, Minnesota

 SCHOFIELD, Phillip
 Los Alamos Project on Worker Safety
 Los Alamos, New Mexico

SIGNED-IN AUDIENCE PARTICIPANTS

ABILA, EDMUND, MET OP.
ABILA, JUAN, RF WORKERS
ADKINS, LEONILA, SPOUSE
ALBERG, JEANETTE, SEN. ALLARD
ALLEN, WILLIAM, ROCKY FLATS
BARKER, CARL, RF RETIREE
BARKER, KAY, ANWAG
BARNETT, WILLIAM, RFES
BARRIE, GEORGE, ANWAG
BARRIE, TERRIE, ANWAG
BLAKESLEE, JACK, ROCKY FLATS
BLAKESLEE, JOANNE, ROCKY FLATS
BOLLER, CAROLYN, CONG. MARK UDALL
BRADSHAW, CHARLENE A., RADIATION ORG.
BREYER, LAURIE, NIOSH
BRITTON, CAKER J., WIFE
BRITTON, LESSIE S., RFETS
BROCK, DENISE, NIOSH
BROEHM, JASON, CDC
BUCHANAN, DENNIS, RCT
BUFFO, RON, SON RF WORKER
BUTZ, JR., REINHOLT, S.O.E.
CALDWELL, NANCY, RF WORKER
CARLSON, AUDREY, SPOUSE
CARLSON, ROBERT I., RADIATION
CARRELL, DENNIS
CHANG, CHIA-CHIA, HHS
CLARK, DEBBY, RFETS
DEFORGE, CLIFF, RF RETIRED
DELFORGE, DOUGLAS, ROCKY FLATS
DIENST, JOHN, ROCKY FLATS
DIGIACONO, RON, SELF
DOBROVOLY, MICHELLE, ENGINEERING
DURAN, BEA, DOE
DURAN, SAM J., RF
ELLIS, ANN, ROCKY FLATS
ELMLINGER, RON, PCM
EVASKOVICH, ANDREW K., ICUA LOCAL 69
FINLEY, B., DPOST
FITZGERALD, JOE, SC&A

FOX, CAREY, RFETS
FOX, MARY, RFETS
GADSON, CURZIE, ROCKY FLATS
GAFFNEY, RICHARD S., RFETS
GAHOR, PATRICIA A., RFETS
GARRIMONE, YVONNE, DAUGHTER OF DECEASED
GOMEZ, DELMA G., RFETS
GOMEZ, ERNA, ROCKY FLATS
GONZALEZ, JUAN, ROCKY FLATS
GONZALEZ, NORMA, ROCKY FLATS
HANKET, JOE, ROCKY FLATS RETIRED
HANKINS, LARRY, RETIRED UNION
HARLAN, RONALD A., ROCKY FLATS RETIREE
HARLAN, S., SPOUSE
HARRISON, CONSTANCE, NIOSH
HARTMAN, ALAN, RF RETIREE
HEWITT, JAMES, ROCKY FLATS
HEWITT-BALLOU, CHERYL, DAUGHTER
HILLER, DAVID, SEN. KEN SALAZAR
HINNEFELD, STU, NIOSH
HOFFMAN, GAYLE, RF WORKER
HOLGERSON, ERIC, ROCKY FLATS
HOWELL, EMILY, HHS
HOMOKI-TITUS, LIZ, HHS
HUEBNER, LIZ, ROCKY FLATS
IMSE, ANN, ROCKY MOUNTAIN NEWS
JACQUEZ-ORTIZ, MICHELE, CONG. UDALL
JENSEN, DIANE, ROCKY FLATS
JOHN, PADIA, ROCKY FLATS
KESSLER, MIKE, 5280
KLOTZ, JIM, RF
KOHLER, ROMAN, R.F. HOMESTEADERS
KOTSCH, JEFF, DOL
KRULL, WARREN S., SAIC
KUBIAK, MICHAEL S., ORAU/MJW
LAHTI, ROSE, ROCKY FLATS
LEVALLEN, BETTY
LEVEN, TIM, PCM
LINK, RICHARD A., ROCKY FLATS
LOGAN, MICHAEL D., ROCKY FLATS
LUCERO, GENNY, RADIATION ORG.
MAKHIJANI, ARJUN, SC&A
MARQUEZ, MARK W., STEELE WORK

MARSCHALL, HANNA, RF WORKER
MARTINEZ, LADONNA, RFLATS SPOUSE
MARTINEZ, MATTHEW A., RFETS
MAURO, JOHN, SC&A
MAYES, CALVIN, RF WORKER
MCALLISTER, ROBERT J., ROCKY FLATS
MCCARTHY, BILLY, RETIRED
MCCARTHY, DIANN, SPOUSE
MCCLURE, ROB, KCNC-TV
MCDONALD, SEAN, DENVER POST
MCFEE, MATTHEW, ORAUT
MCKEEL, DAN, SINEW
MEANEY, CHERYL, RFW & SPOUSE
MEZT, RICHARD, ROCKY FLATS
MILLER, DUANE R., ROCKY FLATS
MILLER, HYMAN, ROCKY FLATS
MILLETTE, RON, RF WORKER
MILNE, CHARLES, MSU
MILNE, LINDA, ROCKY FLATS
MOBLEY, JERRY, ROCKY FLATS
MOBLEY, WALTER M., CONSTRUCTION
MOSELEY, HENRY, RF
NEWBY, KEVIN, RF EMPLOYEE
NEWBY SHERRY, SPOUSE
NORMAN, JOAN, ROCKY FLATS
OLDS, RICHARD, RF WORKER
PADILLA, JUDY, FORMER RFP WORKER
PALIZZI, TOM, ROCKY FLATS
PAZIER, LARRY, HUSBAND OF RF
RAMOS, LARRY, RF RETIRED
ROMERO, DENNIS R., RF WORKER
ROSE, WILMA, SELF
RUIZ, HARRIET
RUPP, MARYANN, SPOUSE OF DECEASED WORKER
RUTHERFORD, LAVON, NIOSH
SABEC, DONALD, ROCKY FLATS
SCHULTZ, JEFFREY W., ENGINEERING
SCHULTZ, LAURA, RF CLAIMANTS
SHEPARD, SALLY, SELF
SHORT, DEBORAH, RF
SINGER, GARY L., ROCKY FLATS
SPENCER, BETTY, RF SPOUSE
STEELE, MARYJO, ROCKY FLATS

STEPHAN, ROBERT, SENATOR OBAMA
STICKERMAN, CHAD, RF ENGINEER
THIELEN, JEREMY, RFETS
THIELEN, JOHNATHAN, RFETS
THIELEN, TODD, RFETS
THIELEN, VAL, RFETS
THOMAS, RHONDA, ROCKY FLATS WORKER
TINKLE, ANN, ROCKY FLATS
TINKLE, DALE, ROCKY FLATS
ULSH, BRANT, NIOSH
VAUGHN, JIM
VAUGHN, LINDA
VIGIL, DENNIS E., ROCKY FLATS
WEAVER, JACK, RETIRED RF WORKER
WHITE, LIBBY, DOE
WILLIAMS, RHONDA, FOR PARENT
YEATER, JADYA, ROCKY FLATS
ZIEMER, MARILYN

1 I should point out for the record that Mark
2 Griffon will be joining us later this
3 afternoon. He is out -- out, he's away
4 momentarily, will be rejoining us in a little
5 bit. Dr. Melius will be joining us tomorrow,
6 is not able to be here this afternoon. And --

7 **DR. WADE:** Dr. Poston.

8 **DR. ZIEMER:** -- Dr. Poston will not be with us.
9 But I'll call on our Designated Federal
10 Official, Dr. Lewis Wade, to declare that -- I
11 think, that we have a quorum and make other
12 comments.

13 **DR. WADE:** We indeed have a quorum and a noble
14 quorum it is, as well. As always I begin by
15 thanking you for your service, members of the
16 Board. It's -- it's hard work. I could seven
17 SEC petitions on the agenda for this meeting.
18 We knew we were coming into a phase when SEC
19 petitions would be a big part of your work and
20 I think this marks the -- the beginning of that
21 period.

22 I bring you warm regards from Secretary Leavitt
23 and Director Gerberding of CDC, and
24 particularly from John Howard, NIOSH Director.
25 They are all well aware of your efforts and add

1 their thanks to mine for your activities.
2 Nothing more to say than that, Paul. Thank you
3 again, and we need to begin.

4 **DR. ZIEMER:** I would add one additional
5 comment, and that is really to thank the Board
6 members who, working in various workgroups
7 since our last meeting -- and I guess I'd have
8 to go back to our own web site and count the
9 number of meetings that we've had since the
10 last full Board meeting, but I can tell you
11 that these Board members have been extremely
12 busy over the past couple of months meeting,
13 and almost all of them are in two or more
14 working groups that have been very active,
15 addressing a variety of issues including dose
16 reconstruction issues, including site profile
17 issues, including SEC issues. So I -- I thank
18 all the Board members for the extensive effort
19 and time that they have put in in addressing
20 those important areas.

21 Now we're going to move to our regular agenda.
22 I do want to point out just for the record that
23 there is one time-certain item on our agenda
24 today. At 4:05 there will be a phone call from
25 Senator Obama of Illinois. I think by phone

1 call it will not quite have the level of
2 excitement as the personal appearance did when
3 we met in Naperville, but that is a time-
4 certain, so at that point in the agenda we will
5 interrupt whatever we are doing so that we can
6 hear remarks from the Senator.

7 **DR. WADE:** Stephan.

8 **DR. ZIEMER:** And Robert Stephan is just joining
9 us here -- welcome -- and I was just pointing
10 out that the -- the Senator would be calling
11 later this afternoon.

NIOSH PROGRAM UPDATE
MR. LARRY ELLIOTT, NIOSH

12 Now we will have a program update from NIOSH,
13 and Larry Elliott will present that. Larry,
14 welcome.

15 **MR. ELLIOTT:** Thank you, Dr. Ziemer, members of
16 the Board, ladies and gentlemen, members of the
17 audience. It's a pleasure to be with you all
18 again here in beautiful Colorado.

19 My program status report will be very -- same
20 as you've seen in previous meetings. We will,
21 however, add some new things that I hope will
22 benefit the Board in planning your -- your work
23 for the future meetings.

24 At your last teleconference meeting I made note

1 for you that the dose reconstruction program at
2 NIOSH and the SEC petition processing program
3 at NIOSH for this fiscal year, FY '07, we were
4 -- we found ourselves in resource-limited
5 straits, and I made comment as to why that --
6 that environment exists for us in this fiscal
7 year. We had lost nine percent of our budget
8 for the last three years to what is called a
9 CDC indirect rate that is assessed to our
10 budget. The Congress had -- and -- and OMB, in
11 the appropriations cycles, had advised that CDC
12 should not take that nine percent and had
13 excluded the nine percent from our FY '06 and
14 FY '07 budget. And yet we were -- we saw nine
15 percent removed, so a total of 18 percent for
16 each year for three years was lost to us, and
17 now we are really feeling the effects of that.
18 I would note at this point in time for you, for
19 the remainder of this fiscal year, things are
20 going to get very difficult. What do I mean by
21 that? We will see a scale-down in our
22 contracting support across the board.
23 The Battelle contract that some of you are
24 aware of will end at the end of this month, at
25 the end of May. It will not be renewed. There

1 is no more money to put into that contract and
2 so Battelle and their efforts will conclude at
3 the end of May.

4 The ORAU contract, which is due to expire
5 September 11th of this year, we will only see
6 enough money for that contract, the ORAU
7 technical support, to maintain the capacity
8 that we've enjoyed of late going through this
9 month of May, and then they'll start scaling
10 down in June and virtually stop work in July.
11 So our efforts to support your Board work will
12 diminish dramatically as we approach your July
13 meeting. We will not see new funds come to us
14 until the next fiscal year, FY '08. So I would
15 just like to make note for that -- for you on
16 that point, and if there are any questions,
17 I'll be happy to answer them at the end of my -
18 - my comments. But I think it's important for
19 the Board to realize and understand what's
20 going on budget-wise. The Board's budget of
21 \$4.5 million was requested each year and has
22 been -- is in place, and you have carryover
23 money from the prior years, so you should --
24 you know, Dr. Wade perhaps knows more about
25 your individual Board budget. But as we put

1 forward a budget request, we include the
2 Board's budget and it has not diminished.

3 **DR. WADE:** Possibly I could add some to Larry's
4 comment. Yes, the money for the Board and its
5 contractor are in place. It -- you could well
6 see, though, the -- some of the pipelines that
7 feed into your deliberations slowing, and that
8 might slow the activities of workgroups and it
9 -- it might slow the demands that are placed on
10 your contractor, for example, if -- if we're
11 not able to engage in sort of the six-step
12 process with the timeliness we would like. But
13 the impacts upon the Board and the -- and its
14 contractor would be derivative effects, but you
15 need to be mindful of them and, you know, we'll
16 see how it goes.

17 **MR. ELLIOTT:** As of April 25th of this year the
18 Department of Labor has forwarded 23,871 cases
19 -- claims, individual claims -- to NIOSH for
20 dose reconstruction. We have completed 83
21 percent of those claims, or 19,834, and those
22 have been returned to the Department of Labor.
23 As you can see here in this subset of bullets,
24 there have been 17,800-some-odd claims returned
25 to DOL with a dose reconstruction report.

1 There've been 599 claims that have been pulled
2 from us by the Department of Labor -- again,
3 for various reasons; an ineligible claim that
4 was improperly inadvertently sent to us, they
5 pulled them back. That ma-- that's the main
6 reason.

7 There are 1,391 claims at DOL right now being
8 evaluated for eligibility across the classes
9 that have been added to the Special Exposure
10 Cohort. This leaves about 16 percent of our
11 case load at NIOSH for dose reconstruction or
12 SEC claim processing. That equates to 3,813
13 claims.

14 We have currently, as of April 25th, 224 --
15 about one percent of our cases are
16 administratively closed in dose reconstruction.
17 This means that we are awaiting other --
18 additional information from the claimant or the
19 signage of their OCAS-1 indicating they have no
20 more information to provide us. And so we ha--
21 we see 224 of those standing right now.

22 In 2006 we reopened 57 claims and provided
23 additional work on a reconstruction or we got
24 the OCAS-1 and there was no more work to be
25 done and we forwarded those 57 on to DOL for a

1 decision.

2 Here's a new little graphic for you. It's a
3 pie chart, as you can tell, and it just shows
4 the -- the distribution of the claims by these
5 categories -- the cases complete, the cases
6 pulled, those pulled for SEC consideration,
7 those that have been administratively closed,
8 those that are active, and those cases that are
9 pending for various reasons -- various
10 technical reasons, various demographic reasons
11 relative to the claim. Maybe additional
12 employment is being validated by DOL, maybe
13 another cancer's being validated by DOL, or
14 maybe there's a technical obstacle that we're
15 working on to remove and resolve so that we can
16 move the claim forward. Those are the pended
17 cases.

18 Again as of April 25th we've sent back to DOL
19 17,844 dose reconstructions for decision, and
20 you can see the breakout as to whether or not
21 they were found by DOL to be compensable.

22 Twenty-eight percent of those, or 4,934, were
23 greater than 50 percent and were found to be
24 compensable. 12,910, or 72 percent of the
25 cases that we have reconstructed, were found to

1 be less than 50 percent in their probability of
2 causation and were denied.

3 Another new graphic that we're sharing with the
4 Board this -- this time is this distribution of
5 probability of causation for all of the claims
6 we have completed dose reconstructions for.

7 There's a difference in numbers on this slide
8 than the one you just saw. That's because the
9 OCAS-1 claims that we're awaiting conclusion on
10 are counted in this set of numbers. So we've
11 broken out this distribution in deciles, zero
12 to ten percent, 11 to 20, that was up to
13 greater than 50 percent. And you can see here
14 how the distribution looks if we look at it in
15 a -- in the probability of causation for all
16 those claims that have been completed to date.
17 Of the cases that are remaining at NIOSH for
18 dose reconstruction, we can break those down a
19 little further and we show that 662 cases are
20 currently assigned to a health physicist and
21 are in dose reconstruction. There are 779
22 other draft dose reconstruction reports that
23 are currently in the hands of the claimants at
24 this point in time, and we're waiting the
25 return of that OCAS-1. There are 2,372 claims

1 that have not yet been assigned to a health
2 physicist and are waiting some development work
3 before they can be so assigned.

4 We make special note of those older claims that
5 are in our case load, and here we show you that
6 of the 3,813, 42 percent or 1,586 are one year
7 or older in age.

8 We continue to pursue with great strength and
9 vigor our efforts on completing the first block
10 of 5,000 claims. These are our oldest claims.
11 And you can look at the bottom line here, the
12 claims awaiting dose reconstruction in this
13 first 5,000 are 66. The other numbers that you
14 see here -- final dose reconstructions sent
15 back to DOL in that first 5,000 are -- equate
16 to 4,358. There are 55 administratively closed
17 cases in this first 5,000. There were 246
18 claims pulled back from us by Department of
19 Labor. There are 172 claims in the first 5,000
20 that are being considered or have already been
21 considered and found to be eligible for a class
22 in the Special Exposure Cohort. There are 24
23 dose reconstruction reports with claimants
24 right now in this first 5,000 and we're
25 awaiting their OCAS-1. And the DOL has

1 returned 79 cases out of the first 5,000 to us
2 for additional work, mean-- it may be, again,
3 work on -- because an additional cancer or
4 additional employment has been found, or
5 there's a technical aspect that have we (sic)
6 been called to provide additional work in and
7 consideration on.

8 A different type of graphic here to show you
9 the full case load from -- split out in 1,000
10 increments of claims to show you where in that
11 1,000 increment the claims stand. The -- this
12 -- I don't know what color that shows to you,
13 it looks a little red or fuschia to me, and
14 that's the cases that are pending. That would
15 be this line through here. The yellow
16 represents those SEC cases in that 1,000 set of
17 claims, and the green are administratively
18 closed claims in each block. The active cases
19 within each block are shown in gray, and then -
20 - this may be orange, I hope, or --

21 **UNIDENTIFIED:** That's red.

22 **MR. ELLIOTT:** Red, maybe that's red. And for
23 those of you who are color blind, we apologize.
24 One of my staff is color blind and I'm sure
25 he's seeing purple, maybe, here, but -- we

1 tested this with color blind people and they
2 said they could distinguish between the colors,
3 they just couldn't tell you what color some of
4 them were, so -- but at any rate, that's red,
5 that's cases pulled. And then cases completed
6 are in the blue.

7 Here we show by quarter the number of cases
8 that have been received from DOL in blue, and
9 this was our backlog; and the number of draft
10 DR reports in green that have been sent to the
11 claimants, and then in red we show the final
12 dose reconstruction reports that have been
13 provided to the Department of Labor. I call
14 your atten-- again, this is by quarter, broken
15 out by fiscal year quarter so you can see how
16 the trends look. You can see a slight trend
17 here on DOL submittals to us, it seems to have
18 been going up since the last quarter in -- in
19 FY '06.

20 Talk a bit about reworks in this particular
21 slide. We received from the Department of
22 Labor 2,197 claims total that they've asked us
23 to do some level of rework on -- again, it can
24 be a variety of reasons, technical or demo--
25 claim demographic reason as to why we're being

1 asked to do a rework. We've returned to the
2 Department of Labor 1,810 of these claims. And
3 you can see those we've received in red, by
4 quarter, and those we've returned, in blue, by
5 quarter. Again, this was as of March 31st. We
6 broke it at the quarter -- fiscal quarter time
7 frame.

8 As you know, when we receive a claim from the
9 Department of Labor we immediately turn to the
10 Department of Energy and we ask them for all
11 available exposure monitoring information
12 relevant to that particular claim. Right now,
13 out of all of the claims that we have, we have
14 667 outstanding requests; 44 of those
15 outstanding requests are greater than 60 days.
16 As I've mentioned to you before, we follow up
17 with DOE on a 30-day basis on where these
18 individual requests stand, and we seek some
19 level of response on how soon or how long or
20 whether or not they feel they are going to find
21 any information, or whether there's some unique
22 set of circumstances around the claim that
23 present problems that we need to be aware of.
24 I can tell you that there is -- of these 44
25 that are greater than 60 days old in age at the

1 DOE, we don't see any particular trend or any
2 problem. They all have individual
3 circumstances around them. The highest number
4 of claims that -- for a given site that they're
5 waiting -- we're waiting on information is from
6 the Oak Ridge facilities, all four -- all four
7 or five facilities down there grouped together
8 to total I think about 20 -- 23, some -- some-
9 odd claims out of that 44.

10 We're also -- it doesn't show on this slide,
11 but we're also in very close coordination and -
12 - and work with Department of Energy on several
13 coworker datasets that we really need for
14 certain sites -- like Sandia, Los Alamos to
15 name a couple. I don't -- they all don't come
16 to my mind right now, but we are working with
17 DOE to -- to pursue collection of those
18 coworker datasets.

19 Talk a minute in two slides here about the
20 Battelle activities which I mentioned are
21 coming to close at the end of May, this month.
22 Two Technical Basis Documents have been
23 approved; one that describes the processing of
24 uranium metal in the Atomic Weapons Employer
25 facilities where there were similar operations

1 or aspects performed on that particular
2 radionuclide, and also a Technical Basis
3 Document on uranium refining processes. There
4 -- associated with this are to be 16 site-
5 specific appendices that will accompany these
6 TBDs and allow us and allow the dose
7 reconstructors to focus specifically on a given
8 facility and understand from the appendix for
9 that facility what other types of dose
10 components need to be reconstructed.
11 If you recall when we awarded this particular
12 contract to Battelle we did so because we had a
13 block of claims that were essentially not
14 receiving adequate attention. These were
15 Atomic Weapons Employer claims, a lot of claims
16 for -- a small number of claims per site for a
17 lot of sites; 1,400 claims across 256 covered
18 facilities, which represents 15 percent of the
19 claims -- of our population at that time and 85
20 percent of the covered facilities that we --
21 that we were addressing. To date we've gotten
22 395 dose reconstructions that have been
23 submitted for technical review, and we have
24 turned over 308 dose reconstructions to
25 claimants so that we can move those on. We're

1 starting to see the fruits of this labor from
2 Battelle now.

3 As of April 25th of this year we've had 88
4 petitions that we have received. And if you
5 try to add these numbers up below that, it
6 won't come up to 88 because before our rule was
7 passed we had five letters which weren't
8 petitions but we have counted them as letters
9 of interest or petitioning. And so we included
10 that in this number, 88. Thirty-nine of that
11 88 petitions have been qualified for
12 evaluation, and 17 classes have been added to
13 date from those 39 petitions. Eight petitions
14 are currently under the development for
15 qualification to evaluate; 36 petitions did not
16 qualify. There have been 1,391 claims that --
17 that repre-- are represented in those 17
18 classes that have -- we have added. Four sites
19 have been added under the 83.14 process that --
20 that -- these four sites have been identified
21 to be added under the 83.14 process. They
22 include Y-12; Kellex Pierpoint, a Battelle
23 site; MIT, Massachusetts Institute of
24 Technology, also a Battelle site; and Lawrence
25 Livermore National Lab.

1 The Y-12 piece, let me speak just a moment
2 about that. That is an 83.14 effort that we're
3 taking under way, not based upon a -- a
4 identification that we can't reconstruct dose
5 for a claimant, but as an identification of the
6 previous class that was added and the language
7 interpretation that that definition has been
8 given by the Department of Labor. So we're
9 going to provide them in this -- this 83.14 for
10 Y-12, a clear understanding of what dose can
11 and what dose cannot be reconstructed. If you
12 recall, in our first attempt at -- at
13 specifying that class at Y-12 and what dose
14 could be reconstructed or could not be
15 reconstructed, we said "other radioactive
16 materials on site," and that's created some
17 problems in how DOL's handling that particular
18 class so we're going to correct that, we hope.
19 There are, as I mentioned, 1,391 claims at DOL
20 for class member eligibility determination and
21 final adjudication, and I won't read through
22 this, but these 17 classes are shown here on
23 these next two slides, and the number of claims
24 represented for each class.
25 We've talked to you before about Program

1 Evaluation Reports. This is where we've
2 identified a change in our procedures or our
3 methodology in dose reconstruction, or some
4 change in applying our cancer risk models. And
5 in that case, we need to go back -- according
6 to our regulation -- and evaluate all
7 previously-completed dose reconstructions that
8 have been found to be non-compensable by the
9 Department of Labor. That constitutes a
10 program evaluation review and a subsequent
11 report. And the reports that we've done in
12 program evaluation review are listed on these
13 slides. We've -- we've completed a Hanford
14 bias factor, this -- these are all located on
15 our web site. You can check them out. We've
16 completed a -- the -- a misinterpretation of
17 the dosimetry records for Savannah River Site
18 dose reconstructions. We've completed a -- an
19 error that was committed in the use of a
20 surrogate organ assignment for Savannah River
21 X-ray dose reconstructions. We've completed a
22 photofluorography modification for the Pinellas
23 Plant. We've completed an external dosimetry
24 target organ for prostate cancer.
25 We've completed an evaluation of the effect of

1 the Revision 2 of the Bethlehem Steel site
2 profile. And I might mention a little detail
3 on this one since it will be taken up in your
4 discussion at this meeting. This particular
5 evaluation report, you can see it on our web
6 site, we -- we've explained it to the
7 petitioners and to the New York delegation
8 staffers. There were seven claims that were
9 previously -- be-- because of the changes that
10 were made to the site profile as a result of
11 our review, the Board's deliberations and --
12 and advice to us, these changes have resulted
13 in seven individual claims that were previously
14 compensable now being shown to have a POC of
15 less than 50 percent. Department of Labor will
16 decide what they do with those. There were
17 three claims that are -- were reconstructed
18 with the new changes from the site profile
19 revision that would go over 50 percent now, and
20 DOL will decide what they're going to do with
21 those. We've advised them on those particular
22 claims.

23 We've also completed a Program Evaluation
24 Report on the target organ for lymphoma. We've
25 presented this to the Advisory Board in your

1 previous meetings; I think you're aware of this
2 one.

3 We've also completed the mod-- a -- an
4 evaluation of the change in the NIOSH IREP lung
5 cancer, another one that we've presented to
6 you.

7 And finally, we've completed the -- an
8 evaluation of the effect of the Rocky Flats
9 Neutron Dose Reconstruction Project data and --
10 and looking at claims that were previously
11 worked under reconstruction and found to be
12 non-compensable. I think, just to summarize,
13 since this was also on your -- your agenda for
14 discussion, Rocky Flats, for this meeting, if
15 you look into that program evaluation review I
16 think you'll see that there were 88 claims
17 found that, once the change was applied, it
18 still didn't change the outcome of the -- of
19 the claim. It was still found to be non-
20 compensable.

21 Some of our program evaluation reviews are
22 large efforts, and we have decided that it
23 makes a lot of sense for us to put together a
24 plan on how to go about doing the evaluation
25 review, so we call these Program Evaluation

1 Plans, or PEPs. And a PEP is simply a
2 description of the affected claimants, claimant
3 population and the technical approach that --
4 that's used to evaluate those cases against the
5 -- the change. Now I would make note here for
6 you that not all program evaluation reviews are
7 going to require a plan. Some can be done just
8 straightforward. Others that are huge and
9 require intensive amount of effort and
10 resources will require a plan.
11 Currently we have six plans issued, and they're
12 listed here. We're looking at the adoption of
13 the revised risk model for lung cancer and what
14 change that has made on some non-compensable
15 claims. We're looking at the lymphoma target
16 organ selection. Another one, the evaluation
17 of insoluble plutonium compounds. The fourth
18 one is an evaluation of the impact of changes
19 to the isotopic ratios used in the Paducah
20 Technical Basis Document. We're also now
21 looking at a number five, the impact of the
22 construction workers' T-- Technical Information
23 Bulletin. And then number six that's currently
24 a plan underway, we're looking at the
25 incomplete internal dosimetry records that we

1 received from INEEL, Argonne National Lab East
2 and West.

3 There are many program evaluation reviews that
4 we have on our schedule ahead of us. These are
5 just the ones that I can report to you today
6 that are either completed or a plan that is on
7 our web site showing the work that we're doing.
8 As you know, we have revised the conflict of
9 interest policy. It has now been fully
10 implemented. The policy was approved on
11 October 17th in 2006. You can find it posted
12 on our web site. The NIOSH employee disclosure
13 statements are located at this URL on our web
14 site, and a link under related links on our web
15 site can be found for the contractors
16 associated with this program and they'll take
17 you to their web sites and you can see their
18 disclosure statements.

19 There is a -- I know that ORAU is doing an
20 internal assessment of -- of the implementation
21 of this policy and their whole disclosure
22 statements. That's coming up soon. I know that
23 the conflict of -- conflict or bias officer at
24 NIOSH is also taking -- starting to take a look
25 at all of the assembled disclosures and trying

1 to decide, I think, himself how to go about
2 assessing this implementation. Hope to have
3 more to report on -- on those efforts at your
4 next meeting.

5 Our Special Exposure Cohort ombudsman and
6 counselor are scheduling outreach meetings.
7 Denise Brock and Laurie Ishak Breyer have
8 started to organize these meetings. They've
9 got the first one set up for May 23rd and 24th
10 in Idaho Falls for the INEEL site, and they're
11 looking at Los Angeles area in mid to late
12 June. Again, the purpose of these meetings is
13 to discuss, with SEC -- potential SEC
14 petitioners, the process and guide them through
15 that process and to give them a better
16 understanding of what it will take for them to
17 be successful.

18 These meeting locations are determined
19 essentially on -- based upon requests for such.
20 So if you know folks who would like to have
21 such a meeting, please contact Denise Brock or
22 Laurie Ishak Breyer.

23 I give you some new slides here. You've seen
24 one of these for the whole set of cases that
25 have been reconstructed. But since you're

1 talking about certain facilities at your
2 meeting, I thought it might be helpful for you
3 to see these distributions of probability of
4 causation for claims completed.

5 This one is of Rocky Flats, and there have been
6 1,210 claims received from the Department of
7 Labor that have Rocky Flats employment; 123 of
8 those claims are active right now; 21 of those
9 1,210 have been pulled back from us by the
10 Department of Labor. We have completed 1,066
11 dose reconstructions for the Rocky Flats
12 claimant population. We're 94 percent done
13 through that -- that claimant population with
14 our dose reconstruction efforts. We see here
15 that 66 percent of those dose reconstructed
16 claims have been found by the Department of
17 Labor to be non-compensable, and 30 percent or
18 345 have been found to be compensable.

19 Let's move on and look at Bethlehem Steel.
20 You're going to see a different shape of curve
21 in each one of these. This -- this Bethlehem
22 Steel represents, as you know, an exposure
23 model. Whereas Rocky Flats, there's a variety
24 of dose, a variety of -- of dose reconstruction
25 scenarios it has to go through for each claim,

1 whereas at Bethlehem Steel it is an exposure
2 model. And so you can see here that we're 97
3 percent done with the 740 claims that we have
4 for Bethlehem Steel; 42 remain active, three
5 have been pulled from us by the Department of
6 Labor, 695 dose reconstructions completed.
7 Fifty-five percent of these completed dose
8 reconstructions are non-compensable, 45 percent
9 have been found to be compensable.
10 We'll move on to the Los Alamos National Lab,
11 and in this similar slide you'll see a
12 different curve -- 848 claims have been
13 received from Department of Labor; 145 remain
14 active. There have been 236 claims pulled from
15 this -- this group of claims, and 467 dose
16 reconstructions have been completed, or 60
17 percent of the LANL claim population completed.
18 Of those, we see 79 percent less than 50
19 percent or non-compensable, and 21 percent have
20 been found to be compensable.
21 The distribution of POCs for Chapman Valve is
22 shown in this next slide -- 127 claims have
23 been received; 52 remain active, one has been
24 pulled. Seventy-four dose reconstructions
25 completed, which represents 76 percent of the

1 cases done; 64 percent of these are non-
2 compensable and 36 percent are compensable.
3 W. R. Grace, we have had 62 claims from W. R.
4 Grace; 33 remain active, four have been pulled.
5 Twenty-five DRs have been completed. We're 43
6 percent done on this particular site. Seven of
7 these 27 have been found to be non-compensable,
8 or 26 percent; and 74 percent, or 20, have been
9 found to be compensable.

10 Sandia National Lab at Livermore, we've had 79
11 claims; there are 40 active, five have been
12 pulled. Thirty-four DRs have been completed,
13 and I'm sorry, I didn't break down the numbers
14 for that slide. I just didn't get ri-- didn't
15 get to it on the plane, evidently.

16 I don't have a chart similar for -- as this for
17 the other site that you'll be talking about,
18 and that's Dow Chemical. There've been two out
19 of 118 claims reconstructed, both of which were
20 shown to be compensable. So as we get into
21 that site we will -- as we -- as we reconstruct
22 non-presumptive claims, if that's the way it
23 goes, we'll develop one of these charts for
24 that site.

25 That's the end of my slides for today. I'd be

1 happy to answer any questions you might have.

2 **DR. ZIEMER:** Larry, let me begin the
3 questioning by asking you a question relating
4 to the budget issue that you raised. As far as
5 immediate impact on NIOSH, does the budgetary
6 problem mainly impact on the work rate, or do
7 you anticipate layoffs as well -- staff
8 reductions or -- or both or --

9 **MR. ELLIOTT:** Well, cer-- certainly the
10 technical --

11 **DR. ZIEMER:** I'm just talking about the NIOSH
12 piece now.

13 **MR. ELLIOTT:** Okay. Okay, the NIO-- the contr-
14 -

15 **DR. ZIEMER:** I'm not talking about contractors.

16 **MR. ELLIOTT:** -- contractors are going to feel
17 this -- feel the brunt of this. The NIOSH
18 staff we don't envision seeing a layoff. We --
19 we maintain our personnel support budget to --
20 to maintain as much work as we possibly can
21 with that core staff, so they will still be in
22 the traces working.

23 **DR. ZIEMER:** Other questions at this time?

24 (No responses)

25 Okay, thank you very -- oh, yes.

1 **MR. STEPHAN:** Thank you, Dr. Ziemer. Robert
2 Stephan -- last name is S-t-e-p-h-a-n. Larry,
3 can you tell us about the Battelle contract
4 along Dr. Ziemer's question in terms of the
5 budget impact? If Battelle's contract is
6 finishing up and the budget is going to --
7 reduction's going to affect the contractors,
8 it's going to affect Battelle. Are there
9 things that are not going to be getting done by
10 Battelle that would be if they had -- if you
11 had that nine percent -- or 18 percent, I guess
12 -- and if they are, what -- can you describe
13 what they would be?

14 **MR. ELLIOTT:** Sure, sure. The -- Battelle's
15 contract ends the end of this month, May.
16 There is no money to put into that contract to
17 continue them and they will not have any money
18 left at the end of May. They will essentially
19 go away. The remaining work will be dose
20 reconstructions on those sites. There are some
21 AWE sites in that list that are probably going
22 to go 83.14 and those require what we call
23 professional judgment documents developed.
24 What -- if they don't have those dose
25 reconstructions done, the professional judgment

1 documents done for the 83.14s -- and a third
2 component would be any of these appendices, of
3 the 16 appendices, that are not completed --
4 that work will be shifted over to either
5 another contractor or my staff, the OCAS staff.

6 **DR. ZIEMER:** Board members, any other
7 questions?

8 (No responses)

DOL PROGRAM UPDATE

MR. JEFF KOTSCH, DOL

9 Okay. Thank you very much, Larry. Next we'll
10 have a program update from Department of Labor.
11 Jeff Kotsch is here with us today. Jeff -- oh,
12 is Jeff -- yes, here he is.

13 **MR. KOTSCH:** (Off microphone) (Unintelligible)

14 (Pause)

15 **MR. KOTSCH:** Good afternoon. If you haven't
16 had enough numbers, we'll -- we'll do some
17 more.

18 The program is divided into two parts. The
19 Part B program -- oops -- the Part B program
20 became effective in July of 2001st and that's
21 basically the program that NIOSH dose
22 reconstructions deal with. It's the portion of
23 the program that deals with cancers, chronic
24 beryllium disease, beryllium sensitivity,

1 silicosis and the RECA claims for the -- for
2 the uranium miners, millers and ore
3 transporters.

4 Of that, we've had 57,087 cases, and that
5 corresponds to 82,183 claims. For those who
6 haven't heard this before, there's always more
7 claims than cases because in the -- for the
8 cases that have survivors, there may be more
9 than one of those, so there will always be more
10 survi-- claims than cases. Of that number,
11 36,938 are cancer cases and 23,864 of these
12 have been referred to NIOSH. Now I think we're
13 getting better, but we still can't get all our
14 numbers to -- to match up betw-- we have this
15 every time, and I -- I give this caveat, or
16 whoever presents, every time. Our numbers are
17 a snapshot as of April 25th, but it's just the
18 -- it is idiosyncracies of our -- I think of
19 our -- the way we just account for these cases
20 between our two systems, but I think we
21 actually get -- get better. I know we share
22 some of the numbers between ourselves and we
23 try to synchronize them as much as we can.
24 The other portion of the program is the Part E
25 program, that's the old Part D program that

1 came over from DOE. The Act was amended in
2 October, 2004 to give Department of Labor this
3 portion of the program, which is the toxic --
4 toxic exposure portion of the program. That
5 became effective in June, 2005, with the
6 transfer of 25,742 cases from the Department of
7 Energy. Currently there are 46,186 cases and
8 the corresponding 63,040 claims that are
9 associated with that number.

10 To date the Department has issued \$2.5 billion
11 dollars in total compensation, \$1.9 billion of
12 that is in Part B compensation and of that,
13 \$1.4 billion is cancer claims, \$229 million for
14 RECA, and the remainder would be the -- you
15 know, the -- the chronic beryllium, the
16 silicosis-type cases. \$636 million are Part E
17 awards and 142 are for the medical benefits
18 that are associated with those claims.

19 There were 29,305 program payees as of April
20 25th, and 23,951 of them were Part B payees.
21 Just looking at the pie chart, the cancer cases
22 account for 35 percent, RECA 16 percent, other
23 Part B -- again, the chronic berylliums and
24 silicosis -- are 21 percent, and Part E claims
25 are 18 percent of that total.

1 This chart is probably better read from the
2 bottom up. We have a total of 36,938 cases
3 having 56,187 claims. The way the process
4 works is the claims come in, they're -- they're
5 -- they're developed for medical conditions,
6 they're developed for employment, survivorship,
7 things like that. So starting at the bottom,
8 we have 2,966 cases that basically are in the
9 pipeline, the front end of the process.
10 They're in for DOL initial action, the
11 development of the case. Then they get passed
12 on to NIOSH for dose reconstruction and we have
13 4,514 cases in that category. Then next, after
14 the cases are -- or after the dose
15 reconstructions are returned by NIOSH, our
16 district offices, our four district offices
17 write up recommended decisions based on those,
18 so we have 2,282 cases with recommended
19 decisions, but they're not final yet.
20 That process is left to our Final Adjudication
21 Branches to -- to do. After the recommended
22 decision is given to the claimant, they have
23 the opportunity to either waive objection to it
24 or to object to it, ask for a review of the
25 written record and/or -- or a -- an oral

1 hearing. FAB reviews that information and
2 renders a final decision. For -- as of April
3 25th we have 27,710 cases with final decisions.
4 This chart is just a breakdown of the final
5 decisions -- 10,073 have been approved, 17,097
6 have been denied. The bars to the right on the
7 -- on the right side are the general
8 distribution of the -- the general categories
9 of why the cases were denied. The yellow bar
10 is the non-covered employments. Those are
11 2,841. The green bar, the 10,434, the ones --
12 the dose reconstructions with POCs less than 50
13 percent. The light blue is 2,391, insufficient
14 medical evidence to support the cancer claim;
15 1,129 non-covered conditions, which in the
16 early days of Part B were conditions -- it
17 could be anything other than a cancer,
18 respiratory-type conditions, cardiopulmonary
19 type things -- things that now basically, for
20 the most part, are covered under the Part E
21 side of the program. And 302 ineligible
22 survivors -- cases.

23 Quick overview of the referral status for --
24 for -- to NIOSH. We've had 23,864 referrals,
25 18,114 have been returned from NIOSH. We've

1 withdrawn 1,420 for -- for reasons primarily --
2 in the early days, because there were elements
3 of the case we couldn't support. More recently
4 they've been withdrawn because new classes of
5 SECs have been identified and we withdraw the
6 case to review those to see whether we can just
7 go forward with the SEC award. 16,694 dose
8 reconstructions have been -- have been
9 provided, 757 reworks were needed. This number
10 is total -- totally unsynchronized with the
11 NIOSH numbers, and I -- I know that the
12 number's somewhere in between there, but I
13 don't know exactly why -- why those numbers
14 don't agree. And we have 4,267 initial
15 referrals at NIOSH.

16 The dose reconstruction case status shows
17 17,351 with dose reconstructions. Those are
18 dose reconstructions and reworks, in our
19 accounting system. We've had 14,768 final
20 decisions; 1,912 recommended but no finals; and
21 671 pending a recommended decision. That is,
22 we have a dose reconstruction back, the
23 district office is -- is -- are working on the
24 recommended decisions. So that's 85 percent
25 are in final decision status, 11 percent

1 recommended but no finals, and four percent
2 pending action.

3 Related to the new SEC classes, we've withdrawn
4 1,183 for SEC review. Again, if they meet the
5 -- the criteria for the class, they go forward
6 as an award. If they don't either meet the --
7 for -- for whatever reason, primarily it would
8 probably be the 250-day requirement at this
9 stage -- they'd go back to NIOSH for a -- to
10 continue the dose reconstruction. 843 final
11 decisions have come out of this, 784 of those
12 are approvals, 59 are denials. We've had -- we
13 have 124 recommended but no final decisions,
14 and 132 are pending the re-- the initial review
15 back at DOL.

16 So related to NIOSH compensation for -- for --
17 I'm sorry, for NIOSH cases for dose
18 reconstructions, \$729 million have been paid in
19 compensation. That's for 4,882 cases. That
20 breaks down as \$632 million for dose
21 reconstructed cases, which would have been
22 4,232 on our accounting system; and \$97 million
23 for the additional SEC classes, or 650 cases.
24 The next couple of slides were developed just
25 to give you a feel for sites that will be

1 discussed at this meeting and the activities
2 that have -- that are related to those sites.
3 Rocky Flats total cases, both Part B and Part
4 E, we've seen -- or we have 5,149 cases; 1,043
5 of those have NIOSH dose reconstructions.
6 Final decisions under Part B are 2,070; Part B
7 approvals, 684; and there are 656 Part E
8 approvals. Total compensation is -- as of
9 April 24th -- \$95 million.

10 The Los Alamos National Lab -- I'm not going to
11 go through all of these, but 4,256 cases, 468
12 dose reconstructions. We've had 221 Part B
13 approvals, 233 Part E approvals, for a total of
14 \$33 million.

15 Bethlehem Steel has 1,338 cases. NIOSH did 696
16 dose reconstructions; 285 Part B approvals for
17 \$41 million. The Part E doesn't apply to -- it
18 only applies to DOE facilities; it does not
19 apply to -- by statute, does not apply to AWE
20 or the atomic worker (sic) employee -- employee
21 facilities.

22 Sandia National Lab, this would be Livermore,
23 924 cases, both Part B and E; 114 dose
24 reconstructions, 29 Part B approvals, 27 Part E
25 approvals and \$5 million in compensation.

1 W. R. Grace, there were 64 cases, 15 dose
2 reconstructions, 13 Part B approvals and --
3 which translates to about a million -- a
4 million dollars for the Part B only.

5 Dow Chemical Madison, 277 cases, two dose
6 reconstructions, two -- two Part B approvals
7 and that's a hundred -- I'm sorry, that's
8 \$300,000 in compensation.

9 We had Y-12 here. I think when the slides were
10 developed we were -- we thought it might be on
11 the agenda. It's not, so we'll just -- we'll
12 skip over that one.

13 Chapman Valve, 215 cases, Part B and E -- I'm
14 sorry, Part B; 73 dose reconstructions, 34 Part
15 B approvals, \$5 million in compensation.

16 I put this slide in to remind me that we had
17 promised -- Mark's not here, but we had
18 promised, when I was on a call for the working
19 group for Chapman Valve, to provide a status
20 update. NIOSH had send DOL and DOE a letter
21 saying that they had received information or
22 gotten information from employee -- worker
23 interviews indicating the potential presence of
24 enriched uranium at the Chapman Valve site
25 prior to the covered period, which is '48

1 through '49. The status of that is -- is that
2 DOE -- or DOL is -- when I left, anyway, the
3 letter back to NIOSH was in the final signature
4 phase, basically asking NIOSH to provide all
5 the available documentation and information so
6 that we could go through the formal review
7 process. DOL and DOE designate and determine
8 the -- and DOL determines the covered periods
9 for facilities, so we need that information.
10 It's not me, it's other people in our
11 organization that -- to look through that
12 information and weigh the -- weigh the evidence
13 to determine whether the covered period should
14 be expanded.

15 The other issue that I was asked to bring up
16 was that Larry had mentioned the PEP for
17 evaluation of insoluble plutonium compounds.
18 This recently went up on the NIOSH web site, I
19 think within the last couple weeks, and any
20 time things go up on the NIO-- NIOSH web site,
21 we -- claimants that are observant and appear
22 to read these things daily and start asking us
23 questions, but aside from that -- but that's
24 the -- the general nature of the beast, with
25 all the -- all the things that go up on either

1 of our web sites. But as an example for this
2 one, in response to the PEP that was issued,
3 that PEP defined 38 sites as potentially
4 affected by the -- what we call super S or the
5 insoluble plutonium compound issue. DOL did a
6 -- pinged our computer system and determined
7 that there were about 1,000 cases -- it's less
8 than that, but there were about 1,000 cases
9 that were in the process, had not yet reached
10 the final decision, and the decision was made
11 that all those cases will be remanded --
12 returned to NIOSH for reworks because we can't
13 proceed with adjudication in instances where
14 something has been identified that would affect
15 the final outcome. In this case it's the
16 determination by NIOSH that there is some
17 impact of -- or -- or could be some potential
18 impact of a change in that situation.
19 We also identified another 7,000 claims among
20 those 38 facilities that are potentially
21 affected that were denied previously, and those
22 cases we will -- and I'll just read, those ca--
23 for those cases that were final decision
24 denials for those 38 sites, the Department of
25 Labor will work with NIOSH to get each

1 potentially-affected case evaluated by NIOSH
2 for its impact. DOL will do this in a manner
3 that is least burdensome to the claimants, is
4 most efficient for the Department of Labor and
5 NIOSH. This is a situation we found. We've
6 been working with other -- on other PERs and
7 PEPs. Recently we just -- NIOSH completed
8 giving us the lymphoma -- cases that were
9 affected by the lymphoma change, the target
10 organ risk models, and we are in the process of
11 completing -- what we have to do then is
12 develop a bulletin so we can implement in the
13 field the impact of that change in that case.
14 I forget the numbers, but there were a
15 significant number of them that became
16 compensable, so we're in the process of then we
17 would then have to remand those -- send them
18 back for reworks so they can be -- basically a
19 -- you know, given compensation, but we have to
20 go through the process of -- you know, the
21 logistics of doing those things.
22 I think Larry mentioned Bethlehem Steel. There
23 were five that -- there were eight affected by
24 that change. Five went from compensable to
25 non-compensable, which are technically

1 overpayments. I think the Department has a --
2 I don't know how we're going to -- hasn't
3 actually determined how we're going to handle
4 those yet, but also three were -- went from
5 non-compensable to compensable, and they're in
6 the process of being submitted for rework so
7 they can have a rework done and a dose
8 reconstruction formally done and then com--
9 compensation will be paid. But that's how --
10 that's what happens with all those PER/PEP type
11 things -- things like the prostate cancer
12 change had no effect ultimately so we just
13 required documentation to put in each case file
14 that was affec-- that was evaluated so that the
15 case files were consistent and -- and then
16 stood -- you know, stood as far as historical
17 record, the fact that things were evaluated and
18 reviewed and potentially could have been
19 affected but evaluations determined that they
20 were not.
21 Anyway, that's the shape of things to come, and
22 unfortunately the -- I mean a -- I guess a
23 source of -- of recurring work for -- for both
24 NIOSH and DOL as we cycle some of these cases.
25 That should have been questions. Any

1 questions?

2 **DR. ZIEMER:** Board members, any questions for
3 Jeff?

4 (No responses)

5 **MR. STEPHAN:** Dr. (sic) Kotsch, can you help me
6 understand page 4, your top slide there,
7 talking about total amount of money paid out on
8 SECs, the \$97 million on added SEC cases? So
9 we're talking here about SECs that have been
10 passed, but not including the original SECs in
11 the original legislation.

12 **MR. KOTSCH:** No, it doesn-- yeah, it doesn't
13 include those.

14 **MR. STEPHAN:** Okay. So since then, the ones
15 that have been passed, \$97 million.

16 **MR. KOTSCH:** I'm sorry, I'm sorry, it does
17 incl-- where -- am I at the fourth slide?

18 **MR. STEPHAN:** It's page 4, the -- the top slide
19 there, titled "NIOSH CASE RELATED
20 COMPENSATION," so it's the -- about fifth
21 bullet point down there on the bottom.

22 **DR. ZIEMER:** It's labeled as "added SECs," I --

23 **MR. STEPHAN:** Cer-- certainly that doesn't
24 include the original ones.

25 **MR. KOTSCH:** No, I -- if -- I'm not finding it,

1 but if it's the added ones, that's -- I know
2 we've had -- yeah, it's -- I'm sorry, yeah,
3 it's just for the added SEC cases.

4 **MR. STEPHAN:** Okay. Okay. We -- we just want
5 to make the point that, you know, there's been
6 a lot of concern expressed about the --
7 particularly with the Department of Labor -- by
8 the Department of Labor about the runaway costs
9 potentially of the SECs. And so -- certainly,
10 you know, we have several SECs before the Board
11 that are, quite frankly, expensive. But you
12 know -- and \$97 million is a lot of money, no
13 matter how you look at it, but comparatively,
14 it's -- it's not all that much when we look at
15 the concern that has been expressed about, you
16 know, the cost of SECs by the Department of
17 Labor, so I just want to point out that, you
18 know, there -- there seems to be some dis--
19 some discrepancy between the -- just
20 anecdotally, I'm adding -- some discrepancy
21 between the concern expressed and actual amount
22 that's been paid to date. I understand we have
23 several before the Board now, but -- so I just
24 wanted to add that.

25 **MR. KOTSCH:** Oh, okay.

1 **MR. STEPHAN:** So thank you.

2 **DR. ZIEMER:** Okay. Thank you, Jeff. Did you
3 have a comment?

DOE PROGRAM UPDATE

MS. ELIZABETH WHITE, DOE

4 We'll also have an update from Department of
5 Energy, and Libby White is with us today
6 representing the Department. Libby, we're
7 pleased to have you back with us today.
8 Welcome.

9 **MS. WHITE:** Thank you very much. Can everyone
10 hear me okay?

11 **DR. ZIEMER:** Now -- now you're on.

12 **MS. WHITE:** Okay.

13 **DR. WADE:** Get close.

14 **MS. WHITE:** I am here today and speaking really
15 on behalf of Glenn Podonsky*, who was our chief
16 health, safety and security officer, and
17 unfortunately could not be here this afternoon
18 due to a hearing that he has on the Hill. So
19 he sends his regards and his regrets.

20 I have no overheads, but I do have two fact
21 sheets which are in the back of the room on the
22 table and also should be in the Board members'
23 materials. One is on the Los Alamos Medical
24 Center and one is on the Mound records issue.

1 Glenn wanted me to mention that in his position
2 as chief health, safety and security officer
3 for DOE, one of his highest priorities is
4 ensuring that the Department provides thorough
5 and timely records, research and retrieval
6 activities in support of this program. DOE is
7 now, as I think you all know, in purely a
8 support role, and we want to ensure that --
9 that we're as responsive as we can be, and that
10 will include being more timely with those 44
11 outstanding requests that we have from NIOSH
12 that are over 60 days old.

13 This program continues to be an extremely
14 important activity, not only within the HSS
15 organization -- that's Glenn's organization --
16 but within the entire DOE complex. To this
17 end, management and staff throughout our
18 organization are engaged in -- in activities
19 related to this support work that DOE does, and
20 I'll just mention a few.

21 Glenn and Pat Worthington, who is my
22 supervisor, have worked with our budget
23 organization to secure significant increase in
24 funding for fiscal year 2007 over what we
25 thought we'd have. We were really in danger,

1 because there's a year-long continuing
2 resolution, but they were able to find more
3 funding. We really desperately needed this to
4 ensure that we can continue responding to both
5 the individual claims requests and large-scale
6 claims requests in a timely manner.

7 The office of classification at DOE has led an
8 effort with our program offices and the DOE
9 sites to resolve some issues regarding the
10 transmission of official use -- official use
11 only information that's needed for both the --
12 the DOL site exposure matrix projects and also
13 other projects. And we also continue to work
14 to assure that classified documents that are
15 requested by the Advisory Board, SC&A,
16 Congressional delegations, NIOSH and the public
17 can be reviewed both in their classified form
18 by individuals with clearances and in their
19 redacted form by individuals without.

20 In fact, just last week there was a review set
21 up in Glenn's office of a document --
22 classified document from Los Alamos on non-
23 destructive testing of uranium. And it was
24 thought that this -- this document might
25 provide insights on dose reconstruction for

1 employees of Granite City Steel. We -- we had
2 a member from the Board, SC&A and also NIOSH at
3 this review. And then it's my understanding
4 that Senator Obama's office will be sending an
5 individual this Friday to review the document.
6 We are working -- Larry mentioned the coworker
7 data and the information that DOE is -- is --
8 rather NIOSH is waiting on from DOE, and we're
9 working with our general counsel's office to
10 make sure that the sites understand that they
11 can submit this identified information and that
12 they need to do so in a timely manner. I
13 understand it's Los Alamos that we -- we really
14 sort of need to still get you some information
15 on, but I think the other sites are -- are
16 doing okay.

17 Regarding the one fact sheet that I mentioned,
18 the Los Alamos Medical Center, we continue to
19 work with the New Mexico Congressional
20 delegation, with the Los Alamos Lab,
21 organizations within DOE and then the private
22 hospital -- which is the Los Alamos Medical
23 Center -- to plan for DOE to take possession of
24 records that are currently owned by the
25 hospital but were once owned by the Atomic

1 Energy Commission. We believe these records
2 may be useful to LANL rec-- LANL workers who
3 are filing claims under EEOICPA.

4 In terms of specifics of progress, we do have a
5 tentative plan in place. There are a couple of
6 things we're -- the Department is working on,
7 and thanks to Michele, who's in the back of the
8 room, we -- we're addressing some of the
9 issues. She submitted a letter on behalf of
10 Congressman Udall to DOE, which is with our
11 general counsel's office, regarding questions
12 about scope of this review. And so we are
13 working -- I hope that the general counsel's
14 office will get something back to the New
15 Mexico Congressional delegation within the next
16 week or two.

17 What we are in agreement about is that the pre-
18 '64 records -- pre-1964 records which were once
19 owned by the Atomic Energy Commission, those
20 definitely can be repossessed by DOE. We are
21 also fairly certain that records that were
22 created when Los Alamos has referred people to
23 this medical center over the years, that we can
24 obtain copies of those test results if we don't
25 already have them. In some cases we did sort

1 of a mini-review of -- of worker records and
2 found that in some cases we've got the complete
3 file; in other cases we do not. And so we're
4 going to work on trying to get copies of those,
5 as well.

6 But there are some other questions that -- that
7 Michele and others had had which we're working
8 on -- on responding to.

9 Also we are worried because they're
10 anticipating a Hantavirus outbreak in New
11 Mexico, so we're working with a Hantavirus
12 expert from University of New Mexico to make
13 sure that the protocol that we have for
14 decontamination is truly appropriate, given the
15 fact that this outbreak is expected to -- to
16 occur.

17 We're working on a radiation sampling plan, and
18 we are -- we're using plans that have been used
19 throughout the complex in the past, and should
20 have that pulled together shortly.

21 Another -- the other issue that I had mentioned
22 was the Mound records issue, and that is
23 records buried at Los Alamos that were -- Mound
24 records buried at Los Alamos. The fact sheet
25 in the back goes -- summarizes all the detail,

1 sort of the history and where we are today, and
2 I'll just mention a few key things that Glenn
3 wanted me to bring up today.

4 And that is that both Glenn and Pat, my
5 supervisor, are very concerned about this
6 issue. They certainly want to ensure that
7 workers do not lose the ability to obtain
8 deserved compensation due to inacces--
9 inaccessibility of records to support their
10 claims. Unfortunately there's no detailed
11 index of the records that were buried, and so
12 we -- we won't know with 100 percent certainty
13 whether there are any critical records in that
14 collection for which copies are not also
15 accessible from Mound or other locations in the
16 DOE complex.

17 What we do know, however, is that there is
18 already a significant amount of information
19 available to NIOSH within the DOE system. And
20 NIOSH has indicated that it believes it has the
21 information it needs from these DOE records
22 collections to complete dose reconstructions
23 for the Mound employees.

24 So where do we find ourselves at this point?
25 Glenn is reassessing the situation. He hopes

1 to make a determination within the next month
2 or so on how to proceed based on the
3 information and input that we are receiving and
4 that we have received to date. We've shared
5 this fact sheet that I mentioned with the Board
6 and, you know, we are open to continued input
7 from -- that -- that any of you may have -- or
8 questions, certainly.

9 The other thing we're doing is we're working to
10 actively ensure that -- that this doesn't recur
11 in the future, situations such as this. We've
12 begun coordinating more closely with the DOE
13 chief information officer, with the records
14 officers and EEOICPA implementers throughout
15 the complex. And these are individuals who
16 regularly assess current records disposition
17 authorities and modify them as needed to assure
18 that -- that appropriate records are preserved.
19 So we want to make sure that we're more
20 actively involved in this process.

21 We're also soon going to issue a memorandum
22 that reminds individuals of the 1990
23 epidemiologic moratorium and the fact that it's
24 still in effect. The moratorium was expanded
25 in 2003 to include additional categories of

1 records that were potentially useful for
2 EEOICPA, and we want to just make sure that --
3 that individuals throughout the complex are
4 reminded of this.

5 And as we're doing currently, we will continue
6 to assist DOL, NIOSH, the Advisory Board, SC&A
7 by providing copies of all existing records and
8 information needed to support the adjudication
9 of claims and the large-scale records retrieval
10 activities.

11 In closing I want to reiterate DOE's commitment
12 to this program and the workers served by this
13 program. We certainly look forward to our
14 continued work together, and I'd be happy to
15 take any questions that you have on any of the
16 specific items that I -- that I mentioned.

17 **DR. ZIEMER:** Thank you, Libby. Let me ask a
18 question pertaining to the Los Alamos records.
19 You indicate under "next steps" a number of
20 what are called anticipated roles. Is there a
21 formal memorandum of understanding in place
22 that delineates specifically these various
23 roles; have the parties agreed to them or is
24 this still sort of in the planning stages?

25 **MS. WHITE:** Specific memorandum of

1 understanding between -- sorry.

2 **DR. ZIEMER:** Well, there's a number of agencies
3 that have anticipated roles. I'm basically
4 asking have they all agreed to those roles, or
5 is this still in the planning stages. For
6 example, do we know that the Medical Center of
7 -- Los Alamos Medical Center is not going to
8 destroy any records before this gets into
9 place?

10 **MS. WHITE:** They have agreed that they won't
11 destroy any of these records before this --

12 **DR. ZIEMER:** They've agreed --

13 **MS. WHITE:** -- is in place.

14 **DR. ZIEMER:** So there's some kind of an
15 agreement in writing that --

16 **MS. WHITE:** We do -- we do have a memorandum of
17 understanding in draft between the --

18 **DR. ZIEMER:** Okay.

19 **MS. WHITE:** -- Medical Center and DOE.

20 **DR. ZIEMER:** Okay, that's a start.

21 **MS. WHITE:** Which -- which is a start. We
22 haven't finalized it yet because there's one
23 question that we still have, and that is
24 whether the actual review of the records will
25 be done at the Medical Center -- once the

1 decontamination takes place -- the Medical
2 Center had offered that we do the review at
3 part of their facility that's not currently
4 being used. There's some concern that if
5 there's a Hantavirus outbreak --

6 **DR. ZIEMER:** Right.

7 **MS. WHITE:** -- maybe we should be a little
8 more cautious and do this review elsewhere, but
9 we haven't come up with a location, but there
10 is a draft memorandum of understanding in
11 place.

12 **DR. ZIEMER:** Thank you.

13 **MS. WHITE:** And we'll make sure that's
14 finalized before we proceed.

15 **DR. ZIEMER:** Yes, Phillip.

16 **MR. SCHOFIELD:** (Off microphone)

17 (Unintelligible) question for you. What about
18 the individuals who are claimants or potential
19 claimants filing for their records -- medical
20 records that are probably in that trailer? Say
21 I want to file for my medical records that are
22 there --

23 **DR. WADE:** Real close, Phillip, real close to -
24 -

25 **MR. SCHOFIELD:** -- (on microphone) how is DOE

1 going to handle this?

2 **MS. WHITE:** What -- do you mean once this
3 review is complete?

4 **MR. SCHOFIELD:** Yes, or in meantime, can a
5 person get a hold put on those records so they
6 cannot be destroyed because they want to use
7 them for their -- potentially look at them for
8 a potential claim?

9 **MS. WHITE:** In the short term, before the
10 decontamination and review takes place, I'm not
11 quite sure how that would be handled because
12 the records -- I -- I just don't know how --
13 how the Lab is currently handling that. I'd
14 have to -- I'd have to check into that. But
15 they're under the ownership of the Medical
16 Center currently, so presumably the Medical
17 Center would be responsible for -- I don't
18 know, for trying to look for those records.
19 After, though, the decontamination takes place,
20 then DOE takes possession of the records.
21 Again, they will go to the Denver Federal
22 Records Center and we will have an index of
23 every individual whose records are included in
24 that center and be able to access the records
25 at that time. Either -- if an individual

1 directly requests -- requests the records or
2 the claim is sent -- and a request sent by
3 Department of Labor to Los Alamos, and that
4 request is made to -- to pull all the related
5 records that exist. Does that answer your
6 question?

7 **DR. ZIEMER:** Thank you. Josie?

8 **MS. BEACH:** Was there any determination made on
9 the Mound records? Are we going to uncover
10 those, unbury them, or have we decided not to
11 pursue that?

12 **MS. WHITE:** Sorry not to have been clearer
13 about that. That determination has not yet
14 been made. We are -- Glenn is looking at the
15 information that we've received to date, and
16 over the next month we'll make a determination
17 as to how to proceed.

18 **MS. BEACH:** Thank you.

19 **MS. JACQUEZ-ORTIZ:** Chairman Ziemer and members
20 of the Board, Michele Jacquez-Ortiz with
21 Congressman Tom Udall's office -- thank you,
22 Mr. Elliott -- just want to touch on -- first
23 of all, Libby, thank you very, very much for
24 your ongoing advocacy and persistence in
25 dealing with this complex and difficult issue.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24

SELECTION OF 8TH ROUND OF DR'S
DR. PAUL ZIEMER, CHAIR

DR. ZIEMER: The next item on our agenda is a report and recommendations from our subcommittee on dose reconstructions. That subcommittee met this morning in formal session. The chairman is Mark Griffon. Mark, we'll give you the floor for both recommendations and other comments.

MR. GRIFFON: Yeah, many of you were here this morning. We had a subcommittee meeting and we -- we brought -- we were able to pass two motions in the subcommittee unanimously, and we -- we bring them to the Board for the Board's full consideration. They're both before you. The one is regarding DR guidelines, and we discussed these at the past meeting. And these are these -- DR guidelines are instructions that are used as -- as sort of templates or -- or -- I -- I guess templates is the best word, to assist the dose reconstructor in how to approach a certain case and they're -- for most of the large DOE sites, they -- they are available, sometimes several of them, addressing external and internal dose, for

1 instance, for many of the sites they -- they --
2 they don't seem to be available, they don't use
3 that approach. But we felt like, from a case
4 review standpoint, these would be very
5 beneficial for our review process to have these
6 available for the cases that we're going to --
7 that SC&A is reviewing and that the Board is
8 reviewing. So this -- this motion is -- is
9 made to sort of address that going forward, and
10 also for at least all the current outstanding
11 cases that we have in the hopper. That would
12 be anything from the fourth set of cases
13 onward. And I -- you want to -- should we read
14 the record for the motion or --

15 **DR. ZIEMER:** I think we need to read it into
16 the record. I don't know if we have copies
17 available for the general public yet. The
18 Board has copies.

19 **DR. WADE:** And they're on the table.

20 **DR. ZIEMER:** There are copies on the table. I
21 simply suggest, Board members, take your pen
22 out and write a date at the top of your paper
23 because a year from now you're not going to
24 remember --

25 **MR. GRIFFON:** Right.

1 **DR. ZIEMER:** -- when this piece of paper first
2 showed up in your files, so -- but I'll ask
3 Mark to read the motion into the record and
4 then we'll open it for discussion.

5 **MR. GRIFFON:** Okay, the -- the motion reads as
6 (reading) NIOSH should make DR guides,
7 parentheses, guidelines, instructions or
8 similar documents, close parentheses, available
9 to the Board for all future cases, parentheses,
10 included as part of the analysis record, close
11 parentheses. Additionally NIOSH should make
12 appropriate versions of DR guides, parentheses,
13 guidelines, instructions or similar documents,
14 close parentheses, where possible available to
15 the Board for all cases currently under review
16 by the Board.

17 **DR. ZIEMER:** That is a recommendation from the
18 subcommittee. It does not require a second
19 since it comes as a formal recommendation from
20 a committee. And it is on the floor for
21 discussion and action.

22 **DR. WADE:** Wanda.

23 **DR. ZIEMER:** Wanda Munn.

24 **MS. MUNN:** We do need to assure that, on the
25 permanent record, "DR" is spelled out as "dose

1 reconstruction."

2 **MR. GRIFFON:** Thank you, yeah.

3 **DR. ZIEMER:** So we'll take that as a friendly
4 amendment, the first sentence will read "dose
5 reconstruction guides." And I'd like to ask
6 perhaps Stu Hinnefeld or --

7 **MR. GRIFFON:** He's the...

8 **DR. WADE:** Here comes Stu.

9 **DR. ZIEMER:** -- I was going to say or -- or
10 someone else from NIOSH, in terms of
11 implementing this, are there any -- other than
12 the fact that your budget squeeze is on, any --
13 any impediments to implementing this?

14 **MR. HINNEFELD:** Well, it'll -- I have to --
15 it'll have to -- contact our contractor to
16 really -- in fact, that's what I was doing was
17 sending an e-mail to the contractors to see,
18 you know, what does this sound like in terms of
19 implementation. You know, what's this going to
20 do and is this going to be particularly
21 difficult because these guides are -- you know,
22 they're contractor-prepared, they're
23 instructions to the contractor employees. And
24 so I don't really know, sitting here today, you
25 know, the difficulty. It doesn't sound as if

1 it would be particularly onerous. I mean if
2 there was a particular instruction that the
3 dose reconstructor is following -- I mean it
4 must be out there in some format, and since we
5 aren't going to be too worried about the format
6 of this -- it can be a Word file or an e-mail
7 message or whatever that would probably be put
8 in the DR development folder. So it doesn't
9 sound to me, on the face of it, to be that
10 difficult, but I don't know that I can speak
11 definitively along that...

12 **DR. ZIEMER:** Okay. Okay, other comments or
13 questions --

14 **MR. GRIFFON:** We --

15 **DR. ZIEMER:** -- on the --

16 **MR. GRIFFON:** We also just -- we did consider
17 that this morning, Paul, and the -- the second
18 sentence we added in that phrase "where
19 possible" for the cases going backwards, just -
20 - just because of that because some of these
21 cases we've reviewed were probably done in the
22 early periods of the NIOSH program and they may
23 not be able to find the correct version or --

24 **DR. ZIEMER:** Right.

25 **MR. GRIFFON:** -- whatever, so --

1 **DR. ZIEMER:** Understood.

2 **MR. GRIFFON:** -- we understand that, as well.

3 **DR. ZIEMER:** Okay. Board members, are you
4 ready to vote on this motion? It appears we're
5 ready to vote.

6 All in favor, say aye?

7 (Affirmative responses)

8 Those opposed, no?

9 (No responses)

10 Any abstentions?

11 (No responses)

12 Then the motion carries.

13 **DR. WADE:** For the record, unanimously.

14 **DR. ZIEMER:** Proceed.

15 **MR. GRIFFON:** Okay. The second motion that --
16 that we came up with from the subcommittee is
17 regarding the blind reviews, and basically we --
18 -- in the original scope of work we did task
19 SC&A with doing some blind reviews. We thought
20 that we needed a -- a little more defined
21 instruction on how to proceed on that, the
22 purpose of the blind review as well as the
23 mechanics of how we're going to do the blind
24 reviews. And we -- we -- we've yet to select
25 any cases -- today when we looked at the 8th

1 set, we did not yet select any blind review
2 cases -- but we at least outlined an -- an
3 approach in this motion of how to proceed. And
4 I think that's -- I guess I can read this for
5 the record, as well?

6 **DR. ZIEMER:** Please read the motion, then we'll
7 discuss it.

8 **MR. GRIFFON:** Okay. (Reading) The purpose of
9 the blind review is to determine if required
10 assumptions, application of tools,
11 interpretation of data and treatment of data
12 yield consistent and scientifically-defensible
13 results for the dose to the organ of interest.
14 The Board will select cases for the blind
15 review. NIOSH will provide the Board and SC&A
16 case information on a CD for review. The Board
17 and SC&A will not ac-- will not access the
18 NOCTS database or any other claimant databases
19 for such review.

20 The blind review will be conducted using
21 available tools developed by NIOSH/ORAU but
22 without any case-specific analytical files.
23 These blind reviews will be focused on best
24 estimate cases, to the extent possible.

25 **DR. ZIEMER:** Again, this motion comes from the

1 committee and does not require a second. It is
2 open for discussion. I'd like to ask a
3 question. Mark, where -- it says the Board and
4 SC&A will not have access to the claimant
5 database. They will have information -- well,
6 what -- what information will they have in
7 terms of -- they certainly have to know the
8 time since exposure, there's -- there's certain
9 pieces they --

10 **MR. GRIFFON:** Yeah, they'll be provided certain
11 claimant files, but they won't be -- usually in
12 a DR file that's on the NOCTS or -- or the R
13 drive on the da-- on the server, they have the
14 -- all the DR development tools, including the
15 IREP input files which would give all the
16 specific doses by year, IMBA runs that they've
17 done, all those analytical tools. They'll also
18 have the -- the workbooks that they use to
19 calculate various types of doses and for this
20 analysis I think we'd say that on a CD, SC&A
21 would get that workbook, but it would be a
22 blank workbook. It wouldn't have anything in
23 it. So then it's up to them to -- you know,
24 how to use the workbook.

25 **DR. ZIEMER:** Right. It would have the basic --

1 **MR. GRIFFON:** Right.

2 **DR. ZIEMER:** -- information on the claim, what
3 the nature of the claim --

4 **MR. GRIFFON:** So they're getting the raw data
5 and the tools, but none of the -- none of the -
6 - the -- how to fit the raw data into the tools
7 or how -- what assumptions to make in fitting
8 those things together. That's basically my
9 understanding.

10 Stu or John, if you want to clarify that, I
11 don't know.

12 **DR. ZIEMER:** Stu.

13 **MR. HINNEFELD:** What I envisioned would be that
14 whatever was in the claimant file at the time
15 the dose reconstruction was prepared -- you
16 know, before the actual dose reconstruction is
17 done --

18 **DR. ZIEMER:** Whatever a constructor would start
19 with.

20 **MR. HINNEFELD:** Right, whatever the dose
21 reconstructor would have had available when
22 they did the dose reconstruction would be
23 copied onto the CD, so that would include any
24 response from DOL, any correspondence from DO--
25 let's see, well, response from DOE, any kind of

1 referral information or -- or amended
2 information from DOL, any correspondence --

3 **MR. GRIFFON:** With the claimant, correspondence
4 -- yeah.

5 **MR. HINNEFELD:** Yeah, including -- I mean we
6 can put everything in there just by date, you
7 know, up until the date. The claimant
8 interview of course would be in there.

9 **MR. GRIFFON:** Right.

10 **MR. HINNEFELD:** So -- just whatever the --
11 whatever would be available to the dose
12 reconstructor when he did it.

13 **DR. ZIEMER:** And I think, in fact, what we'll
14 have to do is -- is try a number of these and
15 determine whether or not we think we're really
16 doing a blind reconstruction, and we'll know
17 that fairly fast, but --

18 **MR. GRIFFON:** Yeah.

19 **DR. ZIEMER:** -- this sounds like the right
20 approach.

21 SC&A, did you have any input on this at that
22 point? You understand what we're talking about
23 here, too?

24 **DR. MAURO:** Yes, I do, I -- I'll just have one
25 observation and I'll certainly ask Kathy

1 Behling if she has any other comment, too,
2 since she's very close to this, but when you
3 say that the tools will be provided, typically
4 when a dose reconstruction is done by NIOSH and
5 a -- and a workbook is used or a -- normally
6 that workbook is available for that particular
7 case. What I'm hearing is -- and it's usually
8 populated --

9 **MR. GRIFFON:** Right, I'm saying not populated
10 (unintelligible).

11 **DR. MAURO:** And so -- so what we would have is
12 a workbook that would -- that was -- so the
13 only information that goes above and beyond
14 what I would say DOE would provide would be
15 information that yes, in fact NIOSH did use a
16 workbook in this particular case and this is
17 the workbook that was used, but it would not be
18 populated.

19 **MS. MUNN:** Yeah.

20 **MR. GRIFFON:** Right.

21 **DR. MAURO:** Okay.

22 **MR. GRIFFON:** That's my understanding, yeah.

23 **DR. ZIEMER:** Well, I -- I would even ask
24 whether you want to tell them that or have -- I
25 mean where does the dose reconstructor start?

1 Who -- who decides what workbook to use to
2 start with? May-- maybe you want to -- maybe
3 you want the --

4 **MR. HINNEFELD:** It might be more blind if we --
5 if the library of available tools was made --

6 **DR. ZIEMER:** Here's the --

7 **MR. HINNEFELD:** -- available to SC&A.

8 **DR. ZIEMER:** -- tools; you -- you decide what -
9 - I mean that -- isn't that what happens for
10 the --

11 **MR. HINNEFELD:** That's what happens with the
12 dose reconstructor.

13 **DR. ZIEMER:** Yeah, somebody doesn't hand him
14 the workbook and say this is the one to use.

15 **MR. HINNEFELD:** Right.

16 **MR. GRIFFON:** Okay.

17 **DR. ZIEMER:** Right, so can we do it with that
18 understanding? It seems to me he's got to
19 start from the same place --

20 **MR. GRIFFON:** I think -- I think so, as long as
21 the -- the library of tools is -- is readily
22 available -- findable, I should say. I'm not
23 sure those are always --

24 **DR. ZIEMER:** Well, yeah, we don't -- we don't
25 want them to spend their whole time trying to

1 figure out where the tools are.

2 **MR. GRIFFON:** Right, right, right.

3 **DR. ZIEMER:** You know, here's where the first
4 clue is, and --

5 **MR. GRIFFON:** Yeah.

6 **MR. HINNEFELD:** I think that maybe when I get a
7 better idea of what exactly the library looks
8 like and where it is, we can develop a place,
9 make sure it's well understood what -- the
10 tools are available and where they are.

11 **MR. GRIFFON:** And I -- and I agree, Paul, that
12 I think we need to do a couple of these, the
13 first round, and just see if we really are
14 getting what we think we're getting --

15 **DR. ZIEMER:** Yeah.

16 **MR. GRIFFON:** -- you know --

17 **DR. ZIEMER:** Yeah.

18 **MR. GRIFFON:** -- so...

19 **DR. ZIEMER:** Okay, so we'll -- we'll take that
20 as sort of the sense of the motion as we
21 proceed.

22 **MR. GRIFFON:** Yeah.

23 **DR. ZIEMER:** Other comments or questions?

24 (No responses)

25 Okay, I think we're ready to vote then. All

1 who are in favor of this motion, say aye?

2 (Affirmative responses)

3 And those opposed, say no?

4 (No responses)

5 And any abstentions?

6 (No responses)

7 Okay, ayes above the noes, as they say.

8 **DR. WADE:** Unanimously.

9 **DR. ZIEMER:** Uh-huh.

10 **MR. GRIFFON:** I think the -- the next items, I
11 -- in the subcommittee I -- I did give an
12 update on the status of our reviews. And just
13 for everyone's purposes, we -- we had a
14 subcommittee meeting in Cincinnati in between
15 the last meetings and we did make progress on
16 the fourth set of reviews and the fifth set of
17 cases, which would be up through 100 cases.
18 And we haven't closed them out completely so
19 we're still in the resolution phase for both of
20 those matrices, but we have -- the fourth set,
21 we have some very -- we -- we have some cases
22 where NIOSH has agreed to -- to come back to
23 the subcommittee with some detailed written
24 responses. These are questions that couldn't
25 sort of -- couldn't be quickly answered in a

1 matrix spot on -- on the matrix and we need a
2 little more detailed backup analysis to support
3 their argument in the matrix. So we're hashing
4 through those.

5 And in the fifth set, at the last meeting we
6 took our first run-through of the matrix and we
7 had some first discussions after NIOSH's
8 response. We had SC&A's findings and NIOSH's
9 response, and then we took a first crack at a
10 resolution. I've -- I've edited that matrix
11 and -- in draft form, certainly. It still has
12 some question marks from my own notes, but I
13 will circulate that, but those two items are
14 still outstanding and the -- and I imagine
15 we'll just proceed in the subcommittee. We're
16 working through those matrices. I hope to
17 close both those, the fourth and fifth set, out
18 by the next subcommittee meeting, which I -- I
19 plan to schedule in between the next -- this
20 meeting and the next Board meeting, so I think
21 that works well for going through the details
22 is to have the subcommittee meeting in
23 Cincinnati to work through that matrix level
24 sort of information, so I think we'll plan to
25 do that again.

1 **DR. ZIEMER:** Let me ask a question also at this
2 point. On set seven, during our last phone
3 meeting, we were trying to get the teams
4 identified for that. I want to make sure all
5 the Board members now have got the team
6 assignments which Lew and I actually did with
7 Kathy's help after the meeting since we had
8 some issues on how the cases were numbered. Is
9 there anyone that did not get the final set of
10 assignments? Apparently --

11 **MR. GRIFFON:** Stu didn't.

12 **MR. HINNEFELD:** I don't think I got them.

13 **DR. ZIEMER:** Well, we're -- we're trying to
14 keep this from you, Stu.

15 **MR. HINNEFELD:** It would make my life simpler.

16 **DR. ZIEMER:** Last -- last (unintelligible).

17 **MR. HINNEFELD:** It would make my life simpler,
18 but to burn the CDs to get the case files to
19 those -- to the Board members --

20 **DR. ZIEMER:** Right, I -- I will hand you some
21 of the copies here today yet. Yeah, thank you.

22 **MR. GRIFFON:** Okay.

23 **DR. ZIEMER:** Okay, proceed.

24 **MR. GRIFFON:** And then I guess that brings us
25 to the eighth set, and this morning in the

1 subcommittee NIOSH, Stu's group, generated two
2 lists again, similar to what we did last time.
3 We have a -- and I assume everybody has copies
4 of these.

5 **DR. WADE:** Yes.

6 **MR. GRIFFON:** One of the spreadsheets says full
7 internal and external, and the other one is
8 titled "Random Selections," and we took a first
9 crack at the subcommittee level of going
10 through and selecting cases. I think we came
11 up with 43, is that --

12 **DR. WADE:** Forty-three.

13 **MR. GRIFFON:** -- 43 cases. Our goal is -- now
14 this is the -- we -- we're proposing this two-
15 tiered approach again where we have 43 cases
16 here. If we agree on these at the Board level,
17 then we'll ask NIOSH to go back and give us
18 that more detailed information, which included
19 like information on the DR approach. If you
20 recall, we asked the -- that -- that more
21 detailed information. After we get that back,
22 my -- my goal would be -- assuming we have
23 another Advisory Board phone scheduled, then we
24 can make a final determination on that phone
25 call meeting with the full Board selecting the

1 final. And our goal is to get 32 cases out of
2 these 43 for the full eighth set.

3 **DR. WADE:** The phone call is scheduled for June
4 12th.

5 **MR. GRIFFON:** June 12th, so in -- from now till
6 before June 12th, NIOSH will be able to give us
7 a more detailed matrix with the other
8 information, and then we can select our 32 from
9 these 43, assuming that these are accepted by
10 the full Board. So I would say if we can
11 indicate which ones we pre-selected, everyone
12 on the Board might want tonight to look them
13 over like we did last -- at last meeting and
14 then we can maybe vote on them tomorrow or
15 whatever, you know.

16 **DR. WADE:** Uh-huh.

17 **MR. GRIFFON:** You want -- Lew, do you have the
18 numbers?

19 **DR. ZIEMER:** That would be good. Do you want
20 to go through and give us the -- the --

21 **DR. WADE:** I'm going to start with the full
22 internal and external, and I'm going to only
23 read you the last three numbers in the
24 selection ID. That's to save you time.

25 **DR. ZIEMER:** These are all in reverse order.

1 **DR. WADE:** Yeah, they're --

2 **MR. GRIFFON:** They're -- they're not in
3 numerical order, so it takes --

4 **DR. ZIEMER:** Well --

5 **MR. GRIFFON:** -- it's a little harder to follow
6 when they're not --

7 **DR. WADE:** They're in some reverse order,
8 sometimes they get a little bit out of order
9 but that's part of life.

10 So on page one --

11 **MR. GRIFFON:** Yeah.

12 **DR. WADE:** -- of full internal and external --

13 **MS. MUNN:** Dr. Wade, before you continue, for
14 the rest of the Board that was not privy to our
15 conversation this morning, it might be helpful
16 for them to understand what our rationale was
17 as we were going through these. We -- would
18 you like to give that --

19 **MR. GRIFFON:** You can go ahead, Wanda. You're
20 right. I'm sorry.

21 **MS. MUNN:** Because of the statistical
22 information that we had received from our
23 contractor just last week --

24 **DR. WADE:** And that's all in front of you --
25 hard copy in front of you at your workplace.

1 **MS. MUNN:** Yes -- they had made it very clear
2 to us that we were off of our goal a little bit
3 on some of the initial percentages that we set
4 out to achieve. Whether those are going to
5 hold to be accurate and what we want to
6 continue to do in the long run is questionable,
7 but for the time being, because there were
8 shortages in some of these arenas, very
9 particularly we -- there was a shortage in our
10 review of POCs between 45 percent and 50
11 percent. We hadn't done quite enough of those.
12 Nor was there an adequate number for work
13 periods that began in the '60s, '70s, and '80s.
14 So as we were going through these, we were
15 looking primarily at those two items rather
16 than at sites or at type of cancer, which we've
17 reviewed in the past.

18 **DR. ZIEMER:** Thank you.

19 **MR. GRIFFON:** Thank -- thank you, Wanda. I
20 forgot to...

21 **DR. WADE:** And also along the -- on the altar
22 of stage-setting, we have 60 reviews a year.
23 This year we decided to do them in two bites,
24 so we did 28, now we're looking at 32. The
25 blind reviews are over and above those 60, and

1 Mark will talk more about those later.

2 So now I'm going to try and read you 43, from
3 which 32 need to be drawn. And again, starting
4 with full internal and external, on page one,
5 starting at the top, 295. Next, 289 -- if you
6 get bingo, just yell it out -- 260 --

7 **MS. MUNN:** What's the page?

8 **DR. WADE:** -- 257, 254, 249, 240, 239 --

9 **MS. MUNN:** Next page.

10 **DR. WADE:** -- 236, 227, 226, 224.

11 **MS. MUNN:** Next page.

12 **DR. WADE:** On to the next page, 210, 209, 195,
13 187. On to page 5, 172. On the bottom of the
14 page there are three, 157, 156, 155. On page 6
15 just one, 153. On page 7, 120, 101. On page 8
16 just one, 083. On page 9 just one, 045. None
17 on page 10.

18 We'll then move on to the matrix headed "Random
19 Selections," on page 1, 690, 684, 678. On to
20 page 2, 666, 661, 649, 644. On to page 3, 632,
21 627, 623 and 613. On to page 4 there's just
22 one, 588. On to page 5 there's just one --

23 **DR. ZIEMER:** 588 is -- oh, no, that's one of
24 those. Okay.

25 **DR. WADE:** Yeah, some -- sometimes they're out

1 of order. On page 5 there's just one, 562. On
2 page 6, 551, 545, 528 and 525. And the last,
3 hopefully, of the 43, on the last page is 514.

4 **MR. GRIFFON:** Okay.

5 **DR. ZIEMER:** Okay, therefore from this group
6 then, this is 43 total. Correct?

7 **DR. WADE:** I believe.

8 **DR. ZIEMER:** And we -- we'll need to select 32
9 and the suggestion Mark has made is to do this
10 during our working session later in the week,
11 after you've had a chance to look at these in
12 more detail.

13 **DR. WADE:** Mark --

14 **MR. GRIFFON:** Yeah, we want -- we want to know
15 if these 43 are acceptable to then give to
16 NIOSH to get more information. Then we'll --

17 **DR. ZIEMER:** And then you would --

18 **MR. GRIFFON:** Then we'll go --

19 **DR. ZIEMER:** -- select the 32.

20 **MR. GRIFFON:** -- to the next step, right.

21 **DR. ZIEMER:** Okay. So basically we'll be
22 looking for perhaps two things. One is
23 anything that you don't -- any of these that
24 you don't think should be on the list, and do -
25 - you want others that someone may wish to make

1 a case for adding to the list. So this --
2 this'll come as a recommendation for feeding
3 back to NIOSH for that additional information.

4 **MR. GRIFFON:** Right.

5 **DR. ZIEMER:** And we can take action on that
6 then later. Any questions or comments?

7 **DR. WADE:** And the expectation is that once
8 NIOSH brings that information back, then on the
9 Board call on the 6th of -- the 12th of June,
10 we'll finalize those 32 and then SC&A will have
11 their 60 for the year. And then, Mark, you'll
12 be requesting information --

13 **MR. GRIFFON:** Yeah --

14 **DR. WADE:** -- on blind reviews.

15 **MR. GRIFFON:** Yeah, we were going to -- Stu
16 actually recommended this so I want to make
17 sure I get it right, but the notion would be
18 then after we select those cases out of this --
19 these available best estimate cases, they could
20 give us another matrix of best estimate cases,
21 but this time give us ranges of POCs so we
22 don't have an exact POC number output, and then
23 we can use those to select the blind cases, I
24 think -- is that -- that's sort of the sense,
25 Stu?

1 **MR. HINNEFELD:** We can do it however -- however
2 you want.

3 **MR. GRIFFON:** Yeah.

4 **MR. HINNEFELD:** But what I -- what I thought
5 I'd suggested was we could -- since you're
6 interested in cases that are essentially close
7 to the cut point, is to generate the list of
8 all the 40 to 50 percent cases that have not
9 already been selected from the full internal
10 and external list, and then remove the POC from
11 the table --

12 **MR. GRIFFON:** Yeah.

13 **MR. HINNEFELD:** -- and then make that entire
14 table available for the blind selection.

15 **MR. GRIFFON:** That's fine, too. Yeah, either
16 ranges or just -- just all the 40 to 50, that
17 would just --

18 **MR. HINNEFELD:** I could -- I could put --

19 **MR. GRIFFON:** -- make it even simpler, you
20 know.

21 **MR. HINNEFELD:** Yeah, we could put in there
22 just what decile -- you know, like ten to 20 or
23 20 or 30 --

24 **MR. GRIFFON:** That's what I was thinking.

25 **MR. HINNEFELD:** -- we can do that, as well.

1 **DR. ZIEMER:** For the blind reviews?

2 **MR. HINNEFELD:** Right.

3 **MR. GRIFFON:** Yeah.

4 **DR. ZIEMER:** Well, I'm going to -- I'm going to
5 raise a question as to whether you even want --
6 that's a clue.

7 **MR. GRIFFON:** Well -- well --

8 **MR. HINNEFELD:** That does --

9 **DR. ZIEMER:** That's a peek through the
10 blindfold.

11 **MR. HINNEFELD:** Yeah.

12 **DR. ZIEMER:** If it's full blind review, the you
13 don't want --

14 **MR. HINNEFELD:** Okay.

15 **DR. ZIEMER:** -- you don't want the contrac--

16 **MR. GRIFFON:** We got around to how do we select
17 cases then, that's the question, but -- go
18 ahead, Larry.

19 **DR. ZIEMER:** You know, I -- I guess I would
20 argue as a starter, you might want to do it
21 completely at random and then -- I don't know,
22 but as soon as you put a constraint like okay,
23 here's -- here's the ten to -- what will
24 happen, the contractor knows that in advance
25 and if they don't get that answer, guess what

1 happens -- well, maybe not.

2 **DR. WADE:** Maybe if they do get that answer,
3 that's (unintelligible).

4 **MR. GRIFFON:** Yeah.

5 **DR. ZIEMER:** Well --

6 **MR. ELLIOTT:** I suggest -- I suggest that you
7 take it beyond 50 percent. Don't stop at 50
8 percent, because we're talking about best
9 estimates --

10 **MR. GRIFFON:** Right.

11 **MR. ELLIOTT:** -- and if you go to 52 or 53 or
12 55 POC, let's say you take ten -- a ten-point
13 spread, 45 to 55, when we put everything on the
14 plate for you there, that gives you a broader
15 spread, but we can do it however you want. But
16 I wouldn't stop at just 50 because if you're
17 looking at how -- how well we've done our job -
18 -

19 **MR. GRIFFON:** Right.

20 **MR. ELLIOTT:** -- why not look at the 51s as
21 well.

22 **DR. WADE:** Or all best estimates.

23 **MR. ELLIOTT:** Or all best estimates.

24 **MR. GRIFFON:** That -- that's what I was getting
25 down to was all best estimates, and maybe just

1 leave the POC out of it completely.

2 **MR. HINNEFELD:** That's okay with us.

3 **DR. ZIEMER:** Well, even knowing all best
4 estimates gives another clue. I -- here --
5 here's another idea -- to think about; we don't
6 have to decide this today -- but suppose we say
7 okay, Stu, give us like -- what -- what number
8 are we talking about, total number?

9 **DR. WADE:** Six.

10 **MR. GRIFFON:** Six.

11 **DR. ZIEMER:** Si--

12 **MR. GRIFFON:** Maybe for the first go-round,
13 probably two or three, I think.

14 **MR. HINNEFELD:** That they would actually
15 review, but what about selection pool?

16 **DR. ZIEMER:** I'm going to use the number ten.
17 Give us -- give us seven best estimates and
18 three that are something else, but don't tell
19 us which are which --

20 **MR. HINNEFELD:** Uh-huh.

21 **DR. ZIEMER:** -- and so the contractor has --
22 they may know that most of them are best
23 estimates, but wouldn't know which ones they
24 were, so that --

25 **MR. GRIFFON:** Then you're --

1 **DR. ZIEMER:** -- they've got to --

2 **MR. GRIFFON:** -- then you've got NIOSH picking
3 the cases?

4 **DR. WADE:** Or randomly selecting, I guess.

5 **MR. GRIFFON:** Or randomly selecting, based on
6 those fields, best estimate or --

7 **DR. ZIEMER:** Well, you can instruct Stu on --

8 **MR. GRIFFON:** Yeah.

9 **DR. ZIEMER:** -- on something -- I'm just trying
10 to figure out a way --

11 **MR. GRIFFON:** I know.

12 **DR. ZIEMER:** -- to make it a truly blind thing.
13 If it's truly blind, we don't even know what
14 the -- what the range is.

15 **MR. HINNEFELD:** Right, wouldn't know what the
16 range was.

17 **DR. ZIEMER:** But we could instruct -- give us a
18 certain percent of these and a certain percent
19 of those, but mix it together.

20 **MR. HINNEFELD:** Uh-huh.

21 **DR. ZIEMER:** I don't know. Think about it.

22 **MR. GRIFFON:** Yeah, I don't even know how easy
23 that is for NIOSH to select, 'cause as we've
24 seen, sometimes when it says best estimate on -
25 - in that one field, it can mean different

1 things, you know --

2 **MR. HINNEFELD:** It can, it can mean dose model
3 and it can mean other --

4 **MR. GRIFFON:** Right, right.

5 **MR. HINNEFELD:** -- things as well, so...

6 **MR. GRIFFON:** And we -- we also wanted to get -
7 - it is difficult, yeah.

8 **MR. HINNEFELD:** Well, if we make it -- if we
9 make it truly blind, then the reviewing -- SC&A
10 would have -- you know, this is what the dose
11 reconstructor faced when they did, you know,
12 the dose reconstruction --

13 **DR. ZIEMER:** Yeah.

14 **MR. HINNEFELD:** -- not knowing what decision
15 they made, not knowing if they decided to do an
16 overestimating approach for efficiency. I
17 don't know if you want them to --

18 **DR. ZIEMER:** It would --

19 **MR. HINNEFELD:** -- have that in their
20 repertoire.

21 **DR. ZIEMER:** -- it would just seem to me you
22 would want them to go through the whole
23 process, to decide which it is, to -- you know,
24 what -- what do I do with -- think about that.

25 **MR. HINNEFELD:** Okay, whatever (unintelligible)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

--

MR. GRIFFON: I -- I -- I don't think we have to select the cases today, but that -- it -- it -- it -- yeah.

DR. ZIEMER: No, we don't, I just want to -- you know, if it's blind but you're peeking around the corner, then that's not quite blind. Okay.

DR. WADE: If we look forward then, we have a call on the 12th --

MR. GRIFFON: Uh-huh.

DR. WADE: -- and then we have a face-to-face Board meeting in July. I mean this issue could be discussed again on the 12th and moving toward selection of the blind cases at the July meeting. Is that acceptable?

MR. GRIFFON: Yeah, I think that's --

DR. WADE: Is that acceptable, John?

DR. MAURO: (Off microphone) (Unintelligible)

DR. ZIEMER: Okay.

MR. GRIFFON: We wanted to push the ball forward. I know there's some -- some things to work out, but we'll get there.

DR. WADE: It's a good discussion.

MR. GRIFFON: Yeah.

1 **DR. WADE:** It's a discussion that needs to be
2 had.

3 **MR. GRIFFON:** Yeah.

4 **MS. MUNN:** Do a Monte Carlo selection.

5 **DR. ZIEMER:** Okay, any other -- anything else
6 from the subcommittee, Mark?

7 **MR. GRIFFON:** I think that's it. Other
8 subcommittee members have anything else to add?

9 **MS. MUNN:** No.

10 **MR. GRIFFON:** I think that covers it.

11 **DR. ZIEMER:** We're going to go ahead and take
12 our break here in a minute. Do we have any
13 other housekeeping items we need to --

14 **DR. WADE:** I don't --

15 **DR. ZIEMER:** -- address?

16 **DR. WADE:** -- think so. I mean I think it
17 might take a little bit longer for the
18 workgroup reports so I'm glad we have some
19 time, and we do have Senator Obama at 4:05, so
20 I think (unintelligible) --

21 **DR. ZIEMER:** Let's come back promptly at 3:45
22 so we can get underway and be -- be into our
23 work at least before the phone call.

24 **MR. PRESLEY:** (Off microphone) (Unintelligible)

25 **DR. ZIEMER:** Well, we're scheduled to resume at

1 3:45.

2 **DR. WADE:** Be ready to work at 3:45, in your
3 chairs ready to work.

4 **MR. GRIFFON:** All right.

5 (Whereupon, a recess was taken from 3:15 p.m.
6 to 3:45 p.m.)

7 **DR. WADE:** Back in session.

WORKING GROUP UPDATES

WORKING GROUP CHAIRS

8 **DR. ZIEMER:** Our -- our session this afternoon
9 is going to involve some updates from our
10 various working groups. Some of the working
11 groups are going to be involved in reports
12 relating to SEC petitions later in the meeting,
13 so those will come up as they occur on the
14 agenda later. For example, the Rocky Flats
15 working group and others. So we'll confine
16 this to the working groups that aren't part of
17 those other action items later. Lew, do you
18 have the list there of --

19 **DR. WADE:** I do.

20 **DR. ZIEMER:** -- working groups? And may--
21 maybe we could start with Dr. Lockey's group,
22 even though it's not necessarily first on your
23 list, but he has a definite report for us.

24 **DR. WADE:** Okay. This is the workgroup to

1 review SEC petitions that did not qualify,
2 chaired by Dr. Lockey, members Roessler,
3 Melius, Clawson and Munn.

4 **DR. LOCKEY:** Thanks. Our working group met on
5 November 9th and again on March 28th. The last
6 meeting was in Cincinnati and we have
7 summarized our findings and our
8 recommendations. It was sent out to the
9 working group as a final summary a number of
10 times. We refinalized it again last week and
11 it was sent out and accepted by the working
12 group.

13 Generally what we found in relationship to this
14 was that NIOSH seemed to be -- was doing a good
15 job in relationship to this particular subject.
16 Our recommendations were -- a number of
17 recommendations were to make it more user-
18 friendly. Other words, make it more accessible
19 to the population that we're trying to serve,
20 make the language more user-friendly, et
21 cetera.

22 What I can do, if you'd like, is review each of
23 these points in detail, or summarize each of
24 the points if you'd like. Chair, I'll leave
25 that up to you.

1 **DR. ZIEMER:** Before you do that, let me make
2 sure -- Board members, do you all have a copy
3 of the -- hard copy of Dr. Lockey's report?
4 And this is on the table in the back for
5 members of the public. There's a number of
6 specific recommendations. I think most of the
7 Board members had an earlier version of this --

8 **DR. WADE:** That's correct.

9 **DR. ZIEMER:** -- also, so I -- I ask you, Board
10 members, do you want Dr. Lockey to go over
11 these specifically in detail? Basically this
12 comes as a recommendation from a workgroup. It
13 constitutes a motion before us --

14 **DR. WADE:** That's correct.

15 **DR. ZIEMER:** -- for approval and so I'm going
16 to interpret it as that. And then if you wish
17 to either hear all the individual
18 recommendations, or to ask questions about
19 specific points, we can do it that way. I'm
20 inclined -- I'm inclined to not have you
21 reiterate every point since the Board members
22 have had this in advance and have had
23 opportunity to look at it, but we -- we can
24 certainly do that if -- if the assembly so
25 wishes. We'll make sure everybody's got a

1 copy.

2 I believe we had an earlier version of this --
3 perhaps at our last meeting.

4 **DR. LOCKEY:** Does an-- does any member of the
5 Board have any questions about our points and
6 recommendations? In our last meeting we had
7 the ombudsman participate, Laurie as well as --

8 **DR. WADE:** Denise.

9 **DR. LOCKEY:** -- Ms. Brock, and that was very
10 helpful in finalizing this and adding some
11 additional points to our recommendations. We
12 found particularly that Laurie and Denise
13 concurred with our recommendations, and through
14 their input we added a few additional ones at
15 our last meeting.

16 **DR. ZIEMER:** Okay. I'm looking around to see
17 if -- if the sort of lack of comments means
18 everybody is satisfied with the report or
19 they're so stunned with your recommendations
20 they're unable to react.

21 **DR. WADE:** No, it's the former. It's the
22 former.

23 **DR. ZIEMER:** Many of the -- many of these
24 points are simply statements. For example,
25 phone consultation by NIOSH personnel,

1 consultations were comprehensive, informative
2 and well-documented and so on. They are not
3 requiring action, they are simply observations.
4 Others are recommending certain things to make
5 the process more user-friendly.

6 It's my impression that many of these have
7 already been incorporated into the -- the
8 process by NIOSH. Is that correct?

9 **DR. LOCKEY:** That's my impression, too. Larry
10 is --

11 **DR. WADE:** Maybe LaVon can come up. LaVon, can
12 you join us?

13 **DR. ZIEMER:** Is there -- are there any
14 recommendations here, LaVon, that are so
15 difficult that you just aren't going to be able
16 to do them?

17 **MR. RUTHERFORD:** Make sure this is on -- no,
18 none of them. In fact, we -- we were very much
19 in agreement with the working group
20 recommendations, and we are implementing those
21 now.

22 **DR. ZIEMER:** Thank you. If -- if there are no
23 other comments, then the Chair is inclined to
24 ask the Board to endorse the working group's
25 recommendations here by an affirmative vote.

1 All in favor of this report, please say aye?

2 (Affirmative responses)

3 Are there any opposed, no?

4 (No responses)

5 Any abstentions?

6 (No responses)

7 Then the Board endorses this report. We thank
8 the working group. In -- in essence, this
9 completes the work of that working group. We
10 hate to see working groups fade away, but --

11 **MS. MUNN:** No, we don't.

12 **DR. ZIEMER:** -- Dr. Lockey, I declare that the
13 work of your working group is done and you need
14 not meet further, at least under this guise.

15 **DR. LOCKEY:** We appreciate that. Thank you.

16 **DR. WADE:** Hear, hear.

17 **MS. MUNN:** As agreed, hear, hear. Yes. We are
18 officially disbanded. Good night.

19 **DR. ZIEMER:** Okay, let's proceed down the list.
20 Lew, could you just --

21 **DR. WADE:** All right, I will --

22 **DR. ZIEMER:** -- go through the roster there?

23 **DR. WADE:** -- skip the subcommittee on dose
24 reconstruction as we've heard their report
25 earlier. Next is the workgroup on the Nevada

1 Test Site site profile chaired by Presley;
2 Munn, Clawson and Roessler.

3 **MR. PRESLEY:** We have met twice, once in person
4 and then as a -- on a conference call, since
5 the last Board meeting. What we are in the
6 process of doing -- we're going to group some
7 of the 25 issues into subgroups. I guess two
8 of the big things that has gone on -- SC&A has
9 agreed with NIOSH's presentation on the
10 resuspension model -- with a few modifications,
11 and I don't think there's anything on there
12 that we can't live with -- so that will be
13 done.

14 The other ongoing problem that we had was with
15 monitoring -- people not wearing their badges.
16 And as I understand it, this is going to be a
17 site-wide problem or a complex-wide problem and
18 that each case is going to be dealt with
19 individual, as a case-by-case-based issue.
20 And the last thing that we have ongoing is
21 interviews. We have had a -- five to eight
22 interviews done sometime back from -- NIOSH
23 interviewed some people and we're having a
24 problem kind of getting those passed on to SC&A
25 and then back to us and giving SC&A time to

1 comment those interviews, so we're waiting on
2 those interviews -- comments from SC&A, and
3 then we will be ready to hopefully come with
4 some type of a recommendation to the Board.
5 Any of the Board members or working group
6 members have any comments on this?

7 **MS. MUNN:** I have one question, whether we have
8 a feel for when our next meeting can occur once
9 we've cleared the air on these latest
10 interviews?

11 **MR. PRESLEY:** If we can find Arjun and find out
12 where he stands on the -- on that, then we can
13 come up with a date for an interview (sic).
14 We'll try to do that this -- this -- in the
15 next two days.

16 **MS. MUNN:** He's in the building. Maybe we can
17 put that in our -- our --

18 **MR. PRESLEY:** Some -- we can -- we can find out
19 when we get that done.

20 **MS. MUNN:** -- housekeeping issues on Friday.

21 **MR. PRESLEY:** And then we can come up with our
22 next meeting. Anybody have any questions?
23 Mark.

24 **MR. GRIFFON:** Just one on that -- the second
25 item, I think you mentioned the --

1 **MR. PRESLEY:** Badging.

2 **MR. GRIFFON:** -- policy of badging, yeah, and -
3 - and I think -- I think you're right, there is
4 a site-wide approach being developed. You
5 mentioned that it was going to be handled case-
6 by-case basis, though? I'm not -- not sure I
7 understand what that means or --

8 **MR. PRESLEY:** Jim.

9 **MR. GRIFFON:** -- Jim can follow--

10 **DR. NETON:** I think -- testing. I think we --
11 we are addressing this as a complex-wide or, as
12 you'll see on Friday, we're calling them global
13 issues now. But you know, we're still in the
14 process of doing that. It would be applied on
15 a site-by-site basis once the -- once the
16 technical position has been fleshed out.

17 **MR. GRIFFON:** A site-by-site?

18 **DR. NETON:** Yeah, site-by-site, not case-by-
19 case.

20 **MR. GRIFFON:** Okay, not a case-by-ca-- okay.

21 **MR. PRESLEY:** I'm sorry.

22 **MR. GRIFFON:** That clarifies, thank you. I'm
23 sorry.

24 **DR. ZIEMER:** Thank you.

25 **DR. WADE:** Go ahead.

1 **MR. PRESLEY:** That's all I have, Lew.

2 **DR. WADE:** Oh.

3 **DR. ZIEMER:** Next.

4 **DR. WADE:** Workgroup on the Savannah River Site
5 site profile chaired by Mike Gibson; members
6 Clawson, Griffon, Lockey.

7 **MR. GIBSON:** We haven't had any other meetings
8 yet. We were still waiting around for the
9 notes that were taken during the classified
10 records review to be finished, looking --
11 Savannah River Site, the classifier to look
12 over them and get them back to the -- the
13 authors of those notes. I understand that -- I
14 believe they've been sent back to NIOSH rather
15 than to the different subcommittee members or
16 working group members, and so we're looking
17 into that. And once we can get the notes back
18 together we plan on getting together and trying
19 to update the matrix and we should have a
20 little bit more for the Board at the next
21 meeting.

22 **DR. ZIEMER:** Thank you. Questions for this
23 workgroup?

24 **MR. GRIFFON:** I -- I can actually just -- just
25 to add on, what -- we did go down to Savannah

1 River for -- to review, which -- a database
2 which I guess could have been or is considered
3 classified right now, and I -- and I haven't
4 gotten these notes back to Mike yet, but we did
5 have a series of actions in addition to -- we -
6 - we took some notes which had to be reviewed,
7 certainly, and Mike's correct on that. But we
8 did have a series of actions to sort of move
9 along on -- on clarifying -- it -- it was
10 apparent that the database we were looking at
11 was not the database we thought we were going
12 down there to see, so we have documentation
13 that doesn't seem to be consistent with the
14 actual physical database that we were looking
15 at, so we're trying to sort out, you know,
16 exactly what databases -- sort of the universe
17 of databases that exist and make sure we can
18 find the -- the -- the one of most interest, so
19 it wasn't quite -- it -- you know, it wasn't a
20 complete successful trip, but I -- you know,
21 we're -- we're -- we've got a path forward for
22 sorting out that concern over the database and
23 I'll -- I'll get those notes to you, Mike. I'm
24 a little tardy on that.

25 **DR. ZIEMER:** Well, I -- I'd like to ask either

1 Mike or Mark, is this going to be an ongoing
2 problem with the Savannah River Site? Are
3 there going to be other sets of data that are
4 going to require this kind of classified
5 review? The classified review process seems to
6 take long, simply logistically, and then the
7 issue of figuring out what can be shared with
8 the workgroup and so on. What -- what do you
9 see down the road? Is this going to be a
10 continuing issue there or is this a one-time
11 thing?

12 **MR. GIBSON:** I'd -- I'd probably defer to some
13 of the members that have the clearance that
14 have seen the database.

15 **MR. GRIFFON:** Yeah. I -- I mean I think we
16 might need another trip down there, but my --
17 my sense, and I think -- I don't know if -- Sam
18 Glover's not here from NIOSH, I don't think,
19 but you know, my sense is it was sort of a --
20 it -- the database we were looking at was --
21 was termed classified for precautionary
22 purposes and -- but I really think that we did
23 do some queries to sort of ascertain what we
24 were interested in and -- and narrow down the
25 request, and then I think that requested

1 information can be declassified fairly easily.
2 I -- I actually don't think most of the stuff
3 we're interested in even is classified, but --
4 so that was -- that was our goal was while we
5 were down there to try to do some searches on
6 this database, even though it wasn't the one we
7 were looking for, see if there was anything
8 there of interest and try to keep the search
9 narrow enough so that anything we wanted to
10 request we could have redacted fairly easily
11 and -- and simply and not be a massive volume
12 of -- of -- of -- you know, of records. So I -
13 - the answer is I think maybe a limited amount
14 of additional classified review, maybe one more
15 trip down there to -- to do a final figure-out
16 on which databases we're looking at, and then I
17 think we'll have what we need and it'll be
18 declassified.

19 **DR. ZIEMER:** Thank you. Pick up one more.

20 **DR. WADE:** Okay, now we're going to skip to --
21 we have the workgroup on Rocky Flats site
22 profile and SEC petition. We'll be hearing
23 from that workgroup tomorrow. Then the
24 workgroup on Chapman Valve SEC chaired by Dr.
25 Poston, we'll hear from that workgroup

1 tomorrow, but Gen Roessler will be presenting
2 as Dr. Poston's not with us.

3 Then we have the workgroup on SEC issues,
4 including the 250-day issue and a preliminary
5 review of 83.14 SEC petitions. That's chaired
6 by Melius; members Ziemer, Roessler, Griffon.
7 Melius is not with us now. We can either wait
8 his report until he's with us or, if you would
9 like to, Dr. Ziemer...

10 **DR. ZIEMER:** Well, the workgroup has not met
11 since our last meeting, so I have -- I have
12 nothing to report. Dr. Melius may have some
13 additional comments, and we might want to hear
14 from him tomorrow as well.

15 **DR. WADE:** Okay. Similarly, the workgroup on
16 the Hanford site profile chaired by Melius;
17 members Clawson, Ziemer, Poston, I assume we'll
18 hear from Dr. Melius either tomorrow or during
19 the Board working time. And also Schofield is
20 a member of that, I'm sorry.

21 Then we have the workgroup on conflict of
22 interest policy for the Board chaired by Dr.
23 Lockey, who's looking for work now, along with
24 Melius, Ziemer and Presley. Dr. Lockey, what
25 are you going to do for us now?

1 **DR. LOCKEY:** I appreciate that. We have a
2 meeting scheduled I think --

3 **DR. ZIEMER:** Next week.

4 **DR. LOCKEY:** -- next week. I was going to look
5 at the date and I didn't have it with me.

6 **DR. ZIEMER:** It's --

7 **DR. LOCKEY:** We have a meeting scheduled --

8 **DR. ZIEMER:** -- May 11th.

9 **DR. LOCKEY:** -- May 11th. That's our first
10 meeting, and all the information has been
11 already sent out in a working folder for the
12 working group members to review prior to the
13 meeting.

14 **DR. WADE:** We have three minutes before our
15 speaker joins us. I -- I'd like to raise a
16 question that will come up later, and possibly
17 now is the time to put it on the list of this
18 workgroup. The Board has its operating
19 procedures for how to deal with members who
20 have conflicts, and we -- we all know what they
21 are. The Board has not dealt with the issue as
22 to whether or not a conflicted member can be on
23 a workgroup that relates to that site. We have
24 one case where we have a conflicted member on a
25 workgroup. The Board has no policy on that.

1 Since workgroups don't make motions, they don't
2 vote, there's no need for exclusion. But I
3 think that might be something to have this
4 workgroup look at.

5 **DR. ZIEMER:** Sure.

6 **DR. LOCKEY:** No, I would agree with that.

7 **DR. WADE:** Okay. So I think it would be wise
8 to --

9 **DR. ZIEMER:** Add that to the agenda.

10 **DR. WADE:** -- to put that issue on your -- on
11 your list.

12 Next we have the workgroup on procedures review
13 chaired by Ms. Munn; members Gibson, Griffon,
14 Ziemer, Presley as an alternate.

15 **MS. MUNN:** The procedures review group has not
16 yet met. We have been postponing our first
17 meeting until some of our larger projects that
18 the working groups were involved in were --
19 would be at a point where they wouldn't be
20 taking quite so much time. It's my expectation
21 to pull that group together for the first time
22 if not this month, then certainly early in
23 June. So we have -- have before us a list of
24 material which the contractor has already
25 completed review for, and we'll have plenty of

1 meat for our plate at that time. So we will be
2 perhaps looking at a good date on Friday when
3 we do our housekeeping issues.

4 **DR. WADE:** You might want to ask if the
5 Senator's with us.

6 **DR. ZIEMER:** My watch shows that we are at five
7 after 4:00. I wonder if Senator Obama's office
8 is on the line yet.

9 (No responses)

10 Apparently not.

11 **UNIDENTIFIED:** (Unintelligible)

ADDRESS FROM SENATOR OBAMA

SENATOR OBAMA

12 **DR. ZIEMER:** Hello?

13 (NOTE: This telephone connection was somewhat
14 muffled and, although great effort was made by
15 the reporter to capture every word, accuracy
16 required some portions to be deemed
17 unintelligible rather than guess at the
18 Senator's words.)

19 **SENATOR OBAMA:** Hi, this is Senator Barack
20 Obama.

21 **DR. ZIEMER:** Oh, thank you for being with us.
22 We appreciate your taking the time to comment
23 again to the Board, so the floor is yours,
24 Senator. Thank you very much.

1 **SENATOR OBAMA:** Well, thank you so much. First
2 of all, we thank you for the opportunity to
3 speak to you today. I also enjoyed meeting
4 with the Board last September in Naperville.
5 At that meeting you may recall that I expressed
6 my support for the Dow Chemical workers in
7 Madison, Illinois, many of whom I've met with
8 personally. My office, together with
9 Congressman Shimkus and other members of the
10 Illinois delegation and Southern Illinois
11 Nuclear Workers group, has invested hundreds of
12 hours investigating what went on at the Dow
13 plant. I know NIOSH has, as well, and I think
14 we can all agree it was a dirty, dangerous
15 place to work. This is why I want to commend
16 NIOSH for recommending to the Board that we
17 felt the workers should be compensated, and I
18 urge the Board to approve the Dow SEC petition
19 before you without delay. The workers have
20 waited long enough. The evidence is clearly
21 (unintelligible). Now we need to do the right
22 thing and give these workers the small measure
23 of justice our country owes them for their
24 service.
25 These men and women responded to the call to

1 duty during the Cold War. They sacrificed
2 their health to defend us, and they've spent
3 decades without recognition of their sacrifice,
4 decades without compensation to help pay for
5 their treatment. All of you have the
6 opportunity to (unintelligible) ease the burden
7 on these workers and families and acknowledge
8 the (unintelligible) and dangerous work
9 (unintelligible).

10 (Unintelligible) urge the Board to look closely
11 at extending coverage -- extend the coverage
12 period from 1957 through 1960 to 1957 through
13 1998. This extension will allow for the
14 coverage of at least 23 more workers who were
15 exposed to residual contamination that were not
16 (unintelligible) covered under the Dow SEC
17 petition you will vote on tomorrow. I hope you
18 will consider (unintelligible).

19 My staff will provide a more detailed
20 explanation tomorrow for the extended coverage
21 period. Also I understand that the Department
22 of Energy has not produced one single document
23 which establishes why the covered facility
24 description is drawn the way it is. It would
25 be unfortunate if you failed to compensate

1 these additional workers simply because you've
2 heard only (unintelligible) assertions and not
3 the testimony of these workers, the very people
4 who know more about -- more than anyone else
5 about what actually happened at Dow Chemical --
6 Dow Madison. And that troubles me, and I will
7 simply urge the Board to (unintelligible)
8 compensation program in the first place.

9 In closing let me briefly touch on an issue
10 that I also addressed last December in
11 Naperville. That is the issue of timeliness of
12 this (unintelligible). I appreciate your
13 willingness to put this (unintelligible) on
14 your agenda for this week, but I also hope that
15 you consider implementing changes that will
16 provide closure to (unintelligible) workers and
17 their families as quickly as possible. I think
18 that we as a nation owe them (unintelligible).
19 With that, thank you very much for taking the
20 time to listen to me, and I wish you well in
21 your continued work. Bye-bye.

22 **DR. ZIEMER:** Thank you very much, Senator.
23 Again, we're -- we're pleased that you took
24 time to address the Board today and we will be,
25 as you know, working on this issue tomorrow and

1 we'll be in close touch with your staff as well
2 in that process.

3 **SENATOR OBAMA:** Thank you so much. Okay, talk
4 to you soon.

5 **DR. ZIEMER:** Thank you. Now we'll return to
6 our -- oh --

7 **DR. WADE:** Robert, did you want to say --

8 **DR. ZIEMER:** -- Robert, additional comments?

9 **DR. WADE:** It's not necessary.

10 **MR. STEPHAN:** (Off microphone) (Unintelligible)

11 **DR. ZIEMER:** Yeah, we'll catch you tomorrow.

12 **MR. STEPHAN:** Thank you.

13 **WORKING GROUP UPDATES**

14 **DR. ZIEMER:** Thank you. We'll return now to
15 our agenda item, which is the workgroup reports
16 and updates. Let's continue.

17 **DR. WADE:** Workgroup on the Blockson Chemical
18 SEC, chair Munn; members Roessler, Melius,
19 Gibson.

20 **MS. MUNN:** The Board will recall that the site
21 profile was withdrawn for revision, and at that
22 time we had anticipated that revision would be
23 forthcoming fairly promptly. To this date it
24 has not been. The working group cannot
25 continue until we have that document in hand so

1 that SC&A can review it. I sincerely hope that
2 the budget problems that we're having are not
3 going to in any way affect the completion of
4 this particular document since it seems to me
5 to be -- we've reached the point where time is
6 of the essence.

7 **DR. WADE:** I think you were talking of a
8 petition evaluation report --

9 **DR. ZIEMER:** Dr. Neton --

10 **DR. NETON:** I could shed some light on the
11 status of the revision to the site profile that
12 the working group is waiting for. It is in
13 draft form. We have -- I've reviewed it
14 internally and we expect it to be ready for
15 release fairly shortly, within a matter of a
16 week or so.

17 **MS. MUNN:** Good.

18 **DR. NETON:** So it's very close to being
19 finalized.

20 **DR. ZIEMER:** Thank you, Jim.

21 **MS. MUNN:** Thank you. We will convene a
22 meeting of the working group as soon as that
23 document is in hand, and SC&A has promised a
24 very rapid turnaround of their review.

25 **DR. WADE:** For the record, we're speaking about

1 the Blockson Chemical SEC petition, but you're
2 -- you need that site --

3 **MS. MUNN:** Site profile, yes.

4 **DR. WADE:** -- profile to do your work. Okay,
5 thank you.

6 Next we have the workgroup on Fernald site
7 profile and SEC chaired by Clawson; members
8 Griffon, Ziemer, Presley and Schofield.

9 **MR. CLAWSON:** One of the things we'd like to
10 bring up now, and I think maybe I could refer
11 this to John, because what -- what we're in the
12 --

13 **DR. WADE:** Microphone, please.

14 **MR. CLAWSON:** -- what we're in the process of
15 right now is, since we've made this an SEC
16 petition, SC&A's got to go through and they're
17 creating a whole new matrix dealing with those
18 issues. NIOSH has not yet been able to review
19 that at this time. As soon as we do, then
20 we'll convene. Is that fair to say, John?

21 **DR. MAURO:** Hans Behling is our lead on the
22 full-blown SEC review for Fernald. He is --
23 last I spoke to him, he's in the home stretch.
24 Soon as that document is drafted, it will be
25 made available as our standard work products

1 are made available. Of course it has to go
2 through, in this case, the PA process. But you
3 will receive it at the sa-- at the same time,
4 according to our procedures. Part of that work
5 product will have an attachment to it which
6 will have a new matrix specifically geared
7 toward the -- the SEC review that's going on
8 right now.

9 **DR. WADE:** Thank you. Next, the workgroup on
10 the LANL site profile and SEC chaired by
11 Griffon; members Beach, Presley, Munn and
12 Poston.

13 **MR. GRIFFON:** Yeah, we -- we've yet to convene
14 -- I have yet to convene this workgroup and --
15 but it's -- it's going to be a high priority,
16 pending tomorrow's activities. Rocky Flats
17 occupied a lot of time for a lot -- for several
18 of us, so -- but LANL will be high on my
19 priorities after that. I expect a meeting May
20 to June -- a first meeting maybe. I think we
21 need to -- we do have -- we do have at least a
22 preliminary review from SC&A, I believe, so I
23 don't know if -- I -- I'm looking to John to
24 know where -- what the status of your review of
25 the site profile is for LANL. I know we

1 Gibson. Gen?

2 **DR. ROESSLER:** Thank you, Lew. Before I start
3 on my brief report, I'd like to find out if
4 Antoinette Poncinore* is on the line.

5 **MS. PONCINORE:** Yes, I am.

6 **DR. ROESSLER:** Okay, I'm glad -- glad you could
7 make it, and did I -- would you pronounce your
8 last name?

9 **MS. PONCINORE:** (Unintelligible)

10 **DR. ROESSLER:** Okay, my name is Genevieve, but
11 that doesn't mean I can pronounce French very
12 well.

13 Antoinette is with Linde Ceramics SEC Action
14 Group, and she has been corresponding with us
15 by e-mail. We're keeping her up to date on our
16 meetings and on her actions.

17 Our working group met in Cincinnati, or at the
18 Cincinnati Airport, on March 26th. We had I
19 think a productive meeting with Steve Ostrow
20 representing SC&A; Chris Crawford, NIOSH; and
21 then other ORAU people working on the project
22 on the telephone. We discussed items in the
23 matrix. I think the biggest item that we
24 discussed is that there have been 700 newly-
25 found bioassays, and NIOSH will work with ORAU

1 on this to develop a new exposure model. This
2 model will supersede the use of air
3 concentration data for internal dose
4 estimation.

5 The fact that this came up resolved maybe 50
6 percent of the items that were in the matrix.
7 Another item that NIOSH and ORAU are going to
8 look at is the use of a geometric mean of a
9 distribution versus the 95 -- 95th percentile
10 values.

11 And then there are a number of other things
12 that need to be looked at and resolved.

13 There's quite a bit of work here for ORAU to
14 do. I understand that ORAU is assigning their
15 resources as available to work on the -- this
16 bioassay information and other issues.

17 The working group has been told that we should
18 get a response to this from ORAU/NIOSH by June
19 29th, or at least ORAU will have it to NIOSH by
20 June 29th, and then it'll come to the working
21 group. We're committed then to have a working
22 group meeting as soon as possible after that.

23 I will have to -- I looked at the schedule.

24 I'll be at a Health Physics meeting in early

25 July. We have our next Board meeting July 17th

1 through the 19th, so I'm not sure that we'll be
2 able to hold a working group meeting after we
3 get the information from ORAU and before the
4 Board meeting. We'll try, if -- if we can do
5 that.

6 So I think that brings you up to date then on
7 the Linde workgroup progress.

8 **DR. ZIEMER:** Good, thank you. It sounds like
9 the Linde group has made some good progress
10 since our last meeting. We appreciate that.

11 **DR. ROESSLER:** Yes, with the help of NIOSH and
12 ORAU, and SC&A, too. We've had a good working
13 group.

14 **DR. ZIEMER:** Okay, questions, Board members?

15 (No responses)

16 Okay, then let's proceed.

17 **DR. WADE:** And then last, the workgroup on
18 worker outreach chaired by Mike Gibson; members
19 Beach, Schofield, Munn.

20 **MR. GIBSON:** We've not -- I have not had the
21 time to schedule a meeting for this working
22 group. I've -- just based on the other
23 workgroups we got going, but it's in the
24 pipeline.

25 **DR. ZIEMER:** Remind me, though. On this one,

1 Mike, was your group going to be reviewing the
2 existing outreach program or -- I'm trying to
3 recall what sort of the charter of this one
4 was.

5 **MR. GIBSON:** That was to be part of it.

6 **DR. ZIEMER:** It was pretty open-ended, but --

7 **MR. GIBSON:** Right, that -- that was to be part
8 of it. It was also to include how workers have
9 input into the process of -- of site profiles
10 and to what extent they've been involved in
11 having their -- their knowledge put in the
12 process.

13 **DR. ZIEMER:** Right, and -- and to what extent
14 has the input from the workers impacted both
15 the dose reconstruction process and the site
16 profile descriptions and so on. I guess it was
17 pretty comprehensive from that point of view.

18 **MR. GIBSON:** Correct.

19 **DR. ZIEMER:** I -- I think that task probably is
20 more difficult than it sounds at the surface.
21 That is, assessing not only what's been done
22 but what difference has it made.

23 **MR. GIBSON:** Right.

24 **DR. ZIEMER:** I suspect it's going to be
25 important for this group to get together pretty

1 quickly and maybe set forth a process by -- I -
2 - I think -- I think this is a -- this is a
3 tough one. Our other -- our other workgroups -
4 - we sort of know what to do 'cause we've done
5 it before. We know how to review a site
6 profile. But how are you going to go about
7 doing the assessment, and I sort of want to
8 challenge the -- who's on that workgroup?
9 Okay, Josie and --

10 **DR. WADE:** Beach, Schofield and Munn.

11 **DR. ZIEMER:** -- Schofield -- okay, Munn. I --
12 I think -- I think that's a real challenge for
13 you to come up with a method for assessing not
14 only what's being done, but what difference
15 does it make; is it having an impact on -- on
16 how things are -- are done, how decisions are
17 made, how we evaluate SECs and site profiles
18 and dose reconstructions; are -- are we
19 utilizing to the -- to an optimum -- in an
20 optimum way the input from our workers. I know
21 there's been a lot of input. We have it on the
22 individual cases. We have it at -- when we go
23 to meetings. There's a lot of information
24 collected, but how well are we utilizing it, so
25 that's -- that's my challenge to you.

1 **MR. GRIFFON:** Yeah, I -- I wonder just -- I
2 know in the site profile documents, the various
3 revisions, a lot of times at the front of it
4 you'll -- you'll see, you know, a -- a revision
5 and -- and it was modified based on comments
6 from so-and-so and the essence of the revision
7 was -- and they describe it a little bit. I
8 wonder if the worker outreach meetings are --
9 are ever sort of targeted in those. I mean
10 that might be one thing maybe to look at. I
11 don't even know if those have been used in that
12 way, if -- if -- in other words, if a site
13 profile Rev. 0 was out and you had a worker
14 outreach meeting, and then Rev. 1 actually
15 considered some of the stuff said in the worker
16 outreach meeting and was modified based on
17 that, would that be accounted for in that sort
18 of cover page where you -- where you note why a
19 revision was made, so...

20 **DR. ZIEMER:** Okay, Brad and then Josie and then
21 Phil.

22 **MR. CLAWSON:** If I understand right, one of the
23 things that this workgroup was set up for was
24 many times as petitioners and so forth they
25 felt like that their comments were not making

1 it into the site database. And if -- if I'm
2 not mistaken, part of this -- it's like when
3 Wanda went to the worker outreach up there --
4 to be able to actually track to make sure that
5 this is getting -- the information is getting
6 put into the database, the technical database
7 of -- and that it's being used.

8 **DR. ZIEMER:** Josie?

9 **MS. BEACH:** And I guess one of my questions I
10 asked at the last meeting was where would I go
11 to find documentation on exactly what Mark was
12 saying, how worker outreach is used. Where
13 would I find it if I wanted to review
14 procedures or -- 'cause I don't know at this
15 point, so you raised a good question.

16 **MR. GRIFFON:** Well, the -- I mean I -- I think
17 -- someone from NIOSH can probably pinpoint to
18 you where on the NIOSH web site there -- there
19 are -- all the worker outreach meeting minutes
20 are there -- correct, Larry?

21 **MR. ELLIOTT:** (Off microphone) (Unintelligible)

22 **MR. GRIFFON:** It's just a matter of finding the
23 right subfolder, but Stu can --

24 **MR. HINNEFELD:** Well, I might suggest that I
25 believe we have a database of worker outreach

1 comments and resolutions, which would be a
2 place to start.

3 **DR. ZIEMER:** Yeah.

4 **MR. HINNEFELD:** I mean that, coupled with the
5 minutes from those meetings, you can see from
6 the minutes has really an attempt been made to
7 capture the -- the comments from -- from those
8 meetings and is there a satisfactory resolution
9 of those comments systematically. And I
10 believe there's a database that would -- that
11 contains that.

12 **DR. ZIEMER:** Okay, Phil.

13 **MR. SCHOFIELD:** One thing I've been doing is
14 trying to let people know that I'm available to
15 them. I've gone to several different meetings,
16 met with different groups about how -- what the
17 Board actually does and about -- that their
18 input is important and about how the SEC
19 process is actually carried out. So in that
20 respect, by having the Board -- let them -- a
21 lot of people don't realize that they can have
22 input to the Board or to NIOSH, so I -- I've
23 kind of tried to establish -- to let people
24 know that I'm free to call, e-mail -- I have
25 this advantage of not being a working person

1 anymore.

2 **DR. ZIEMER:** Okay, thank you. Well, my -- my
3 challenge then to the workgroup is to get going
4 on a brain-- I think you're going to have to do
5 some brainstorming and say --

6 **MS. MUNN:** Oh, yeah.

7 **DR. ZIEMER:** -- just how are we going to go
8 about this task, 'cause that's got to be the
9 first step. But I think it's a -- a
10 challenging thing. We kind of know intuitively
11 what we're after, but I think you need to set
12 forth a kind of road map, so Mike, that'll be
13 in your hands to I think get this group
14 underway and -- and you have a kind of
15 different challenge than the other workgroups,
16 but there's a lot of information there you can
17 look at and make at least an early assessment
18 of -- of whether it's been effective. And --
19 and once you do that, then you'll be in a
20 position to -- to make some good
21 recommendations on what else can be done to
22 assure not only that we get the input, but that
23 we have some good solid ways of putting it to
24 use and -- and feeding into the system, so I
25 simply challenge you to -- to do that, and keep

1 us posted as you go along. I think that will
2 be very useful.

3 **DR. WADE:** I think the good news is that under
4 Mike's leadership this workgroup has passion
5 for the issue and -- and I think that will go a
6 long way towards making this a very productive
7 workgroup.

8 **DR. ZIEMER:** Okay, Lew, I think that completes
9 our reports --

10 **DR. WADE:** Right.

11 **DR. ZIEMER:** -- from the working groups except
12 for those that we will hear from tomorrow in
13 connection with the various SEC petitions.
14 We're going to have a public comment session
15 beginning at 5:00 o'clock. We're going to take
16 a little break before that just to allow you
17 all to catch your breath and --

18 **DR. WADE:** We might could use a couple of
19 (unintelligible).

20 **DR. ZIEMER:** -- we'll have -- yeah, we'll give
21 you a couple of minutes here, Lew, and I'll
22 need to get the list of individuals that are
23 going to speak.

24 I do want to point out, although the -- the
25 agenda says that it's 5:00 to 6:00 o'clock, I

1 have assured members of the public who've
2 expressed concern to me that that perhaps is
3 not a lo-- enough time, particularly for some
4 of the Rocky Flats folks who may wish to speak,
5 that we're not bound by that time frame. I'm
6 quite willing to go beyond that to allow all
7 those who wish to speak this evening.

8 Now keep in mind also that tomorrow during the
9 SEC petitions session there will be additional
10 opportunities for the petitioners to officially
11 make presentations, as well as individuals that
12 they may designate to provide supporting
13 statements. But we do want to be flexible
14 tonight and allow as many to speak as they are
15 able to, so -- Lew, some additional comments --

16 **DR. WADE:** I just --

17 **DR. ZIEMER:** -- before we take a break?

18 **DR. WADE:** Just in the three minutes left, to
19 tee up an issue possibly for you to talk about
20 on Friday during your work time. There has
21 been a proliferation of workgroup meetings, and
22 -- and with that, the demand on having
23 transcripts available in a timely way has
24 grown. What we've tried to do is a common-
25 sense approach to -- to meet everyone's needs

1 as best we can. And if there -- if a workgroup
2 feels that it needs its transcript very quickly
3 and therefore they would move ahead in the
4 queue of some other workgroups or a Board
5 meeting that has taken place, then we -- we've
6 done that. I don't know if the Board wants to
7 develop more rigid rules about that. Right now
8 I think the court reporter is doing a marvelous
9 job and we're trying to use common sense to
10 make these materials available. Sometimes that
11 means that a meeting that happened in May will
12 not have its transcript available as quickly as
13 one that happened in July, and it's just
14 because we're making assessments as to the
15 importance of those materials. So something for
16 you to think about and talk about during your
17 work time.

18 **DR. ZIEMER:** Thank you very much. We're going
19 to recess then until 5:00 o'clock, at which
20 time we'll begin the public comment session.
21 (Whereupon, a recess was taken from 4:35 p.m.
22 to 5:00 p.m.)

PUBLIC COMMENT

DR. PAUL ZIEMER, CHAIR

24 **DR. ZIEMER:** We're going to start in just a
25 couple of minutes. There's still others

1 **MS. ALBERG:** Jeanette Alberg with Senator
2 Allard's office. Thank you.

3 **DR. ZIEMER:** And...

4 **MR. (UNINTELLIGIBLE):** My name's Greg
5 (Unintelligible) with Congresswoman Marilyn
6 Musgrave's office.

7 **DR. ZIEMER:** Thank you. Any others? And we
8 thank them for being with us tonight, as well.
9 I'm Paul Ziemer. I serve as Chair of this
10 Advisory Board and I want to remind you all
11 that this is an advisory board. We are -- we
12 are not part of the government. We are
13 independent individuals that have been
14 appointed to this task. We are not the ones
15 that make the decisions on dose reconstruction
16 compensation. We are advisory for the program.
17 One of the things we do is we do give advice,
18 for example, on whether or not there should be
19 addition to the so-called Special Exposure
20 Cohort, but we do not make that determination.
21 We are one of the groups that give advice to
22 the Secretary of Health and Human Services.
23 So your input to us helps us in giving advice.
24 We're not the guys that make all the decisions.
25 Sometimes we're glad we're not; sometimes we

1 wish we could, but we do have the opportunity
2 to provide input to the program, particularly
3 the dose reconstruction program and the Special
4 Exposure Cohort portion of the program that's
5 administered through Health and Human Services
6 by the National Institutes for Occupational
7 Safety and Health.

8 But the individuals that you see before you
9 here are individuals who are not connected with
10 those agencies. We do not work for them.

11 We've been appointed separately by the
12 President of the United States to serve in this
13 capacity.

14 The Board recently established a time limit for
15 public comments, a ten-minute per person time
16 limit. Now that's -- that's sort of an upper
17 limit. It's not a goal to be achieved,
18 necessarily. I have over 30 individuals who
19 have indicated that they would like to speak
20 this evening, so you can do the math. And
21 although our agenda says that we are meeting
22 from 5:00 to 6:00, we are quite willing to stay
23 here much longer, if needed. But if we stay
24 here, we want you to stay here, too. So we ask
25 that those who are speaking -- that you be

1 cognizant that there are others.
2 I'm -- I'm usually not a very nasty guy, but
3 I'm going to try to be nasty in the sense that
4 I've asked Lew Wade -- Lew is a Designated
5 Federal Official. And although the rest of
6 these are Board members, appointed Board
7 members, Lew is the Designated Federal
8 Official. He does work for the government, and
9 all of these boards are required to have one of
10 those government guys around. But I have to
11 put him to work and make him earn his money, so
12 he's going to help me keep track of the time
13 tonight. And when Lew nudges me and says ten
14 minutes are up, I'm going to try to stop you if
15 you're still talking. I hope I can be somewhat
16 successful without hurting your feelings, but -
17 - in fact, if you have 20 minutes worth, we're
18 willing to give you the other ten at the end of
19 the line, so you know, you can do half and half
20 -- if anyone is still around to hear you at
21 that time.

22 But nonetheless, be cognizant of other
23 individuals who may wish to address the Board.
24 In general, we looked at this as -- as it's
25 called, a comment session, simply for you to

1 make your comments. Some of you have provided
2 written material for the record. Everything
3 that -- all of these comments are transcribed
4 by our court reporter. They will go on our web
5 site. Everything is -- is open to the public.
6 This Board does not do anything in private, so
7 any comments you make will be on the web site
8 very soon for all the world to see, as well as
9 your written comments.

10 So I'm just going to go through the list in the
11 order given. You can come here and use the
12 mike, and if you need any assistance, let us
13 know. We do already have handout materials
14 from some of you. If others have materials for
15 the Board members, you can make them available
16 at that time.

17 So we'll begin with Kay Barker, who's a Rocky
18 Flats claimant. Kay, you can kick us off this
19 evening with your comments. Welcome.

20 **DR. WADE:** I'll point out that there are chairs
21 up here, too, if people need to sit. We have
22 some chairs up here.

23 **DR. ZIEMER:** Additional chairs in the front.
24 We're -- we're running out of space. I don't
25 know, the fire marshal's probably cringing

1 somewhere, but -- and maybe -- maybe NIOSH is,
2 too -- or the OSHA people, but anyway, we're --
3 we're packed in here, but there is room -- if
4 you're standing and want to sit, there are
5 seats back...

6 **MS. BARKER:** Thank you, Dr. Ziemer and members
7 of the Board. Thank you -- thank you for
8 allowing me these few minutes to speak. I'd
9 like to address the one Board member who hates
10 to hear from the same claimants offering the
11 same comments Board meeting after Board
12 meeting. If you would listen and try to
13 understand what we are saying rather than
14 shutting us off, we wouldn't have to continue
15 saying the same things over and over again.
16 You think we like having to repeat ourselves
17 all these times? No. But until you accept and
18 understand we are telling you the truth and
19 that we have proof, we'll have to continue.
20 My repeat comment is that there is a conflict
21 of interest here in allowing NIOSH to go
22 forward with the dose reconstruction project
23 per the ORAU OTIB-0058 effective January 8th of
24 2007 that was released on March 30th, 2007. As
25 I told you in September of 2006, the NDRP was

1 written by Roger Falk, co-authored by J. M.
2 Aldridge and Nancy M. Daugherty, all of whom
3 once worked for Rocky Flats and have a major
4 conflict of interest on anything that has to do
5 with Rocky Flats.

6 Approximately 2003 NIOSH developed a COI policy
7 which stated that no person who worked at the
8 site would be involved in performing dose
9 reconstruction or authoring technical documents
10 used in the dose reconstruction, yet you have
11 Roger Falk, Jim Aldridge and Nancy Daugherty,
12 who did just what NIOSH said they wouldn't
13 allow.

14 I understand that it is NIOSH's policy not to
15 have health physicists who have testified
16 against employees in a Workers Compensation
17 claim participate in site profiles where the
18 claim originated. Well, I would like to bring
19 to your attention that Roger Falk was an expert
20 witness for Rockwell International and
21 Travelers Insurance against George Barrie's
22 Worker Compensation claim in 1996, which is
23 another conflict of interest that NIOSH said it
24 wouldn't allow.

25 In any science field this would be considered a

1 conflict of interest. How many of these
2 conflicts do the Rocky Flats claimants have to
3 accept that are SEC issues that NIOSH said they
4 would never follow? The NDRP is not only a
5 conflict of interest, it is not accurate.
6 NIOSH never had the NDRP independently reviewed
7 before accepting and using it for dose
8 reconstruction. Dosimetry (sic) records are not
9 complete nor present for 1997. Now isn't that
10 the definition of an SEC petition?
11 The NDRP, under 2.0, Application and
12 Limitations, states except for the application
13 of the NDRP ratios as described in section
14 4.1.6, the methods described in this TIB apply
15 only to workers at Rocky Flats Plant plutonium
16 facilities during the period of 1952 to 1970.
17 There are three important caveats (sic) or
18 limitations. The final NDRP neutron dose for
19 1997 may not be accurate. Recorded dosimeter
20 data was not always complete. The gamma dose
21 information for 1997 may not be present. The
22 information on gamma dose was collected only
23 when applicable to the NDRP effort.
24 If the original NDRP lists these caveats (sic),
25 how can NIOSH assume they can use it for dose

1 reconstruction?

2 I gave each one of you a copy of my late
3 husband's NDRP showing that he has doses for
4 two years before he even started working at
5 Rocky Flats, which in itself makes the NDRP
6 inaccurate. Not only does his report show the
7 two years before, but of the 316 incidences, 15
8 of those exposures were for years he wasn't at
9 Rocky Flats. How can Lawrence's NDRP be
10 accurate, or anybody else's as well? I'm still
11 waiting for an answer as to why my late
12 husband's - Lawrence Barker -- NDRP is so
13 inaccurate.

14 The second area I wish to address tonight is
15 your allowing NIOSH to have answers for all the
16 zeroes in the claimant files, claiming they are
17 applying claimant-friendly dose. In Lawrence's
18 dose reconstruction NIOSH has listed, under
19 external dose, 143 dosimeter cycles recording
20 zeroes for a 30-250 keV photons. They also
21 listed his missed neutrons as having 163
22 dosimeter cycles of do-- zeroes, yet NIOSH
23 feels they can give him accurate, claimant-
24 friendly dose for these missed cycles when they
25 don't even know where he was working during a

1 missed cycle as his work required him to be in
2 the plant all the time and not just sitting at
3 the desk that was in another location.
4 Lawrence worked in the hot -- following hot
5 buildings: 991, 771, 776, 777, 778 and 444.
6 You don't even know why the cycle was missed.
7 According to Brian with NIOSH, who stated --
8 during my final interview before NIOSH rendered
9 its first decision to DOL in November of 2004 -
10 - that Lawrence's file seemed to have a lot of
11 missing data. I would agree with this,
12 considering he has a total of 306 dosimeter
13 cycles reporting zeroes.
14 In SC&A's report on the completeness of records
15 there is a chart on page 4 and 5 of the report
16 which I've enclosed in the packet you have been
17 given. As you know, they found that for 1969
18 and 1970 approximately 36 percent of the
19 records are missing. However, this is also
20 noted in the report. From 1977 onward to 1989,
21 the percentages of missing data are equal to or
22 greater than the ones for '69 and '70. 1981
23 has a whopping 63 percent missing. SC&A has
24 not investigated the reasons for so much
25 missing data. You cannot reconstruct dose with

1 reasonable accuracy without reliable data.
2 On Friday, September 1, 2006 I e-mailed Mr.
3 David Sundin of NIOSH a FOIA request asking for
4 a search of the logbooks in NIOSH's possession
5 for a copy of each entry, including badge
6 destruction, contamination incidents, trip to
7 lung counter, references to contaminated scrub-
8 downs and any other entries the logbooks might
9 show. On that same date at 10:56 a.m. Mr.
10 Sundin replied, stating we will respond to your
11 request when we obtain images of the logbooks,
12 which I am told will be very soon. I am still
13 waiting for this information and today is May
14 2nd, 2007. I'm wondering how much longer I'm
15 going to be waiting for this information.
16 My third and final comment is that, without
17 good reason, you accept the credibility of
18 NIOSH/ORAU, but yet you refuse to accept the
19 credibility of the very people who worked at
20 Rocky Flats. They know what they did, where
21 they worked, what chemicals, toxins, solvents
22 and metals they worked with or around. I know
23 all of them would be more than happy to tell
24 you about some of their frightening experiences
25 and what it was like to work at Rocky Flats.

1 Yet you refuse to accept their word, but would
2 rather take the word of somebody who never set
3 foot on Rocky Flats soil.

4 I hope you will give the Rocky Flats workers
5 your full attention and be open to what they
6 have to tell you. I hope you will really
7 listen and take the witness seriously. If you
8 do, I believe you will understand why you
9 should vote in favor of the Rocky Flats SEC
10 petition.

11 In closing I want to remind you that the NDRP
12 is a conflict of interest, as well as a
13 conflict of NIOSH's own rules, which makes it
14 an SEC petition issue and a positive vote for
15 the SEC petition. Also you can't reconstruct
16 dose with reasonable accuracy without reliable
17 data. This makes it an SEC petition as well.
18 Thank you.

19 **DR. ZIEMER:** Thank you very much, Kay. Next
20 we'll hear from Dr. Charles Milne, representing
21 a claimant. Dr. Milne.

22 **DR. MILNE:** Thank you. I'm glad to be here. I
23 got my PhD in entomology from Ohio State
24 University. My master's is in genetics from
25 the University of Washington in Seattle. I

1 worked with [Name Redacted], Nobel laureate,
2 and he is now the current head of the Fred
3 Hutchinson Cancer Institute. I teach biology
4 at Mountain State University in West Virginia,
5 so I'm a long way from home. I teach human
6 genetics and genetics, as well as some other
7 biology courses, and one of the topics I do
8 cover is the relationship between cancer and
9 genetics.

10 I'm the son-in-law of Allen Lahti, who was a
11 contract worker at Rocky Flats from 1963 to
12 1991. He died of male breast cancer in 2005.
13 His [Identifying Information Redacted] and I
14 attended his first hearing because he was
15 denied compensation because of a calculated
16 probability of causation of 36.36 percent. He
17 did have exposure to radiation. It was
18 documented in the few radiation records that
19 they have, dosimetry readings.

20 There's a number of other known risk factors
21 for male breast cancer. He didn't have any of
22 those, but he had exposure to radiation. The
23 incidence of male breast cancer in the white
24 American population is eight in a million
25 males. And if you take the -- I don't know how

1 many people actually worked at Rocky Flats. If
2 we just assumed 20,000 workers at Rocky Flats,
3 half of them male and only eight in a million
4 get male breast cancer, that would be only an
5 eight percent chance that a male at Rocky Flats
6 would contract male breast cancer. You'd have
7 to have 12 Rocky Flats facilities spread across
8 this country to reach the probability of having
9 one person die from male breast cancer. That's
10 how rare breast cancer is.

11 Now I'm a scientist and I've been looking at
12 the dose reconstruction, the assumptions, the
13 models, and I -- I'm not an epidemiologist, but
14 I have the ability to look at these kinds of
15 things and to study them and to make some
16 comments.

17 The reason we're here today is because the
18 government wrongly assumed that there was no
19 threshold for exposure to radiation. There has
20 been no proof that there is a threshold. A
21 threshold would mean there's a level below
22 which you can be exposed to a certain amount of
23 radiation and not have a detrimental effect of
24 some -- of some kind. Government assumed there
25 was a threshold. There's no proof that there

1 is a threshold. In fact, a threshold would be
2 very difficult to measure because you'd have to
3 expose a large number of individuals to
4 radiation and then follow them to find out what
5 fraction of them might have contracted cancer.
6 That experiment would actually be fairly
7 unethical to run on humans. If we did it on
8 lab rats, you may be able to get enough rats to
9 do it and to run it, but it would be
10 questionable as to whether you could take that
11 and apply it to humans being exposed to
12 radiation.

13 But I would argue that actually the U.S.
14 government's actually done the experiment at
15 Rocky Flats of taking a large number of
16 individual humans and exposing them to
17 radiation. I'm not an epidemiologist. I've
18 not looked at the known cancer rates among the
19 U.S. population and among workers at Rocky
20 Flats. Is it higher than the normal population
21 or is it the same? I don't know. But if it's
22 higher, that would indicate that the experiment
23 has been successful in showing that there
24 probably isn't a threshold for radiation
25 exposure.

1 Now I want to address dose reconstruction, the
2 whole process. Missing doses -- the previous
3 lady addressed missing doses. Apparently they
4 exist. They exist for Allen Lahti's exposure
5 record, and they just assumed -- as far as I
6 can understand, assumed claimant-favorable
7 averages that were among individuals at a
8 facility. But that ignores the fact that
9 individuals at the facility -- I never worked
10 at Rocky Flats; I'm a university professor --
11 but those that worked at the facility did
12 different jobs and they had different
13 exposures. That ignores that entire fact and
14 making assumptions like that is -- is really
15 unwarranted.

16 Let's look at the models of how we are able to
17 arrive at -- after dose reconstruction to be
18 able to say an individual had enough radiation
19 exposure to say there's at least a 50 percent
20 probability that it was caused by the -- by the
21 radiation exposure. To do that you must
22 develop what's called a -- a dose response
23 curve, and it's a curve for a cancer that
24 represents how much dose and the chances are of
25 causing that cancer in a population of

1 individuals. And then when you determine how
2 much dose an individual had, if you can do it
3 accurately, then you just -- you'd use the
4 curve and determine the probability of -- of
5 causation from that curve.

6 The dose response curves are arrived at by
7 looking at a cohort of individuals that
8 survived the atom bomb blast in Nagasaki and
9 Hiroshima. And first of all, their doses --
10 they weren't wearing badges, but their doses
11 were estimated based on the distance from
12 ground zero. But again, that's an estimate
13 based on how far they think they were from
14 where it hit. That's not that accurate.
15 They also are -- have a different genetic
16 makeup than do the U.S. white male population.
17 I refer to white males because -- not because
18 I'm one, but because my father-in-law was one,
19 and the cancer rates in different populations --
20 - such as Japanese-Americans, Filipinos, white
21 Americans -- are going to be different for
22 different cancers. And that's not taken into
23 account in this procedure.

24 The radiation that was received through those
25 atomic bombs was probably different than the

1 radiation that was received by different
2 individuals at Rocky Flats, and we're trying to
3 compare apples and oranges here.

4 The NCI/CDC working group to revise the 1985
5 NIH RadioEpidemiological Tables wrote that,
6 quote, "The choice of the transfer model
7 involves considerable uncertainty.

8 Transferring information about the Japanese
9 cohort to American workers involves
10 considerable uncertainty.

11 And also it's possible that the workers that
12 were -- that survived the atomic bomb might be
13 healthier than the average American that was
14 exposed and working at Rocky Flats. We're
15 taking average Americans and those that
16 survived. They may have been healthier and
17 that's the reason they actually survived.

18 After locating this group of individuals that
19 survived the atom bomb blast, they were
20 followed for a period and determined basically
21 the rates of occurrence of various cancers.

22 The dose response curves that were developed
23 were for a massive, acute dose of radiation.

24 My father-in-law, and other individuals that
25 worked at Rocky Flats, most of them had chronic

1 exposure, low levels of exposure over a long
2 period of time. We're trying to compare
3 massive exposure to chronic exposure. There's
4 no evidence that acute and chronic exposure to
5 radiation are equivalent, or that dose response
6 curves for cancers developed from acute
7 exposure cohorts are appropriate for chronic
8 radiation exposure. You need proper dose
9 response curves for chronic exposure to be able
10 to really calculate any accurate probability of
11 causation.

12 Probability of causation calculations are based
13 on a large number of assumptions. And for a
14 scientist, the more things you assume, the less
15 certain your result becomes. And there's a
16 large number of assumptions in the calculation
17 of reconstructing the dose -- I don't care if
18 it is claimant favorable; we're talking about
19 assumptions here. The calculation for the
20 probability of causation for a cancer involves
21 numerous assumptions for dose, and assumptions
22 in the model which render the calculated PC
23 value one with great uncertainty.

24 Also there's a whole principle of anytime you
25 measure anything in science, it has an error

1 that's associated with it. I don't care if
2 it's weighing a lab rat, it's going to have a
3 certain amount of error associated with it.
4 The more error you have in calculating an end
5 result, the more error that end result has
6 associated with it.

7 I took my -- the matrix of exposure, went to
8 the IREP -- the NCI web site, put it in and
9 calculated my own probability of causation
10 based on the values that was provided from the
11 dose reconstruction. His matrix had over 1,000
12 input variables, each with an associated error,
13 and there are numerous internal values. The
14 probability of causation that was calculated --
15 36.66 percent in mine, 36.36 -- has a huge
16 error associated with it. You have to
17 understand that 36.36 is being used to deny my
18 mother-in-law's claim, and yet it has a
19 tremendous error. There's no confidence
20 interval given on this value. Is it 36 percent
21 plus or minus two, or 36 percent plus or minus
22 40? That is a serious shortcoming in the
23 calculations.

24 There are also -- somehow, I'm not sure how,
25 but there's uncertainty distributions involved

1 in calculating the probability of causation.
2 And those are also part of the uncertainty,
3 assumptions and errors that goes into
4 calculating probability of causation.
5 It's -- with -- with the numerous assumptions
6 made, compounded errors and uncertainties that
7 are used, the calculated PC value has little
8 confidence, in my mind, as a scientist. I'm
9 not trying to disdain those scientists that
10 came up with the science behind it, but you
11 have to understand that every value that's
12 calculated has a certain amount of confidence
13 associated with it. It just doesn't convey any
14 confidence to me as a scientist.
15 I have two quotes to read. I'd like to read
16 two quotes. One is from the 1985 Oversight
17 Committee report by the National Academy of
18 Sciences, National Research Council, 1984.
19 They held that the ratio called the probability
20 of causation applies to populations and not
21 individuals, and cannot be interpreted as a
22 probability that a given cancer was caused by a
23 given radiation exposure. You cannot --
24 according to these individuals that developed
25 the probability of causation, you can't use it

1 to determine if an individual's cancer was
2 caused by it.

3 Here's another one. The NCI/CDC working group
4 to revise the 1985 NIH RadioEpidemiological
5 Tables wrote that the PC is not intended to
6 represent the probability that a particular
7 individual's cancer was caused by his or her
8 radiation exposure, but rather the fraction of
9 cases of a particular kind of cancer in a
10 populations (sic).

11 The PC calculations were never intended to be
12 used this way. It is scientifically
13 inappropriate to use the PC calculations to
14 calculate and to deny the claims of
15 individuals. I'm addressing this to the whole
16 approach that NIOSH uses. It's scientifically
17 invalid. And of course Special Exposure Cohort
18 -- these apply also. Thank you.

19 **DR. ZIEMER:** Thank you very much. Next we'll
20 hear from Richard Olds, a claimant. Richard.

21 **MR. OLDS:** Thank you. My name is Richard Olds.
22 I'm the owner of NIOSH [Identifying Information
23 Redacted]. Basically I'm probably rehashing
24 things that you've already heard. I started
25 work at Rocky Flats in 1984. I worked as a

1 security guard. I talked with the Department
2 of Labor and everything else about the numbers
3 that NIOSH came up with. I told them I had no
4 argument with that. I said they had their
5 numbers, where they got them, what they -- how
6 they used them. They knew what they were
7 doing. The only part about it was that it
8 didn't take into effect all of the other areas
9 of exposure that we dealt with, that we didn't
10 have dosimetry badges. We were in offices, we
11 were in hallways. We were in cafeterias. We
12 were in break rooms that were right next to
13 contaminated areas. We picked up background
14 radiation that you people wouldn't want. We --
15 the exposures, even to myself, I wanted to tell
16 somebody about -- I sat in a hallway as a
17 security guard, with a conveyor belt running
18 over my head that took contaminated parts from
19 one building to the next building. My job was
20 to get off -- get up off my chair and turn the
21 alarm off, so I -- obviously I was exposed.
22 Another job that I had was sitting in a
23 hallway, supposedly a cold hallway, which meant
24 there was no radiation in that area. We didn't
25 wear dosimetry badges. I leaned on a wall for

1 about three and a half to four years. Then
2 somebody decided to check the wall and found
3 out it was low level contamination from the
4 americium that was behind the wall. So, I get
5 the cancer.

6 Right now I'm sitting on basically a -- my
7 claim has been deferred. Eventually it may be
8 heard. Probably some of that depends on your --
9 -- ladies' and gentlemen's -- decision to
10 forward their recommendations.

11 The other numbers -- if they're missing data
12 and the other information that's necessary,
13 that's not even in their info-- in their data
14 or other exposures, I can't see how you can use
15 their information. Thank you, I'm -- take up
16 your time.

17 **DR. ZIEMER:** And thank you, Richard. Then next
18 we have Terrie Barrie. Terrie.

19 **MS. BARRIE:** Good evening, Dr. Ziemer and
20 members of the Board, and thank you once again
21 for listening to our public comments that we
22 feel that -- must be -- keep on going.

23 Tomorrow you will be tasked with deciding the
24 Rocky Flats SEC petition. There are so many
25 issues that need to be addressed -- tenth-hour

1 discovery of documents, NIOSH is adopting NDRP
2 without independently verifying that the data
3 is valid, not accepting affidavits as the truth
4 from the workers.

5 But I'm going to focus basically on just one
6 issue tonight, and that issue is I get very
7 upset and disturbed when I hear that an issue
8 discussed among the working group is not an SEC
9 issue but is rather a site profile or TBD
10 issue. An excellent example of this is the
11 thorium issue. Now I have a whole lot of
12 issues going on in here.

13 SC&A's report, as far as I know, says that this
14 is an SEC issue. The reason for this decision
15 is that NIOSH stands by using the NUREG-1400 as
16 the model to reconstruct dose for thorium
17 workers. NIOSH objected to the status as a SEC
18 issue, and there was quite a lively debate on
19 April 19th working group meeting. NIOSH and
20 some Board members thought that this issue was
21 resolved and that it would be designated a
22 technical bulletin issue.

23 I wonder if you realize what it means to have
24 an item classified as a TBD issue. Once the
25 scientific debate is over and NIOSH and SC&A

1 come to an agreement, with the Board's
2 approval, claims will need to be reopened. I'm
3 aware of two such revisions, the NDRP and the
4 target organ for the lymphoma procedure. These
5 revisions were finalized at two separate times,
6 the NDRP I believe in 2005 and the target organ
7 for lymphoma this year.

8 Theoretically, a claimant who worked in the
9 early years who has lymphoma, has had his dose
10 reconstructed three times already -- once by
11 submitting the original claim, once again --
12 once to have the NDRP applied, and lastly to
13 have the target organ procedure applied.
14 Hanging out there of course is the concern of
15 the OMB pass-back memo, the memo that wanted to
16 control the cost and growth of benefits for
17 this program. Has any federal official
18 considered controlling the growth in
19 administering this program? Do you realize how
20 many times the claims will need to be reopened
21 each time NIOSH revises a procedure? For the
22 high-fired oxide calculations that was agreed
23 upon, if the thorium issue is ever resolved,
24 when someone finally realizes the Building 881
25 did have a foundry in it. I have, by the way,

1 a copy of a DOE document about this.

2 It sounds to me and a lot of other claimants

3 now because it -- there's a pretty nice

4 bureaucratic empire that has been set up.

5 According to the *Rocky Mountain News* article

6 last Saturday, approximately \$4 million per

7 month goes to ORAU to reconstruct dose. Yes,

8 let's make most of these issues TBD issues that

9 have nothing to do with the SEC petition.

10 Let's have prolonged scientific debate on which

11 methods are the best to use to reconstruct

12 dose. And yes, let's be very, very thorough.

13 God forbid one person who worked 250 days at

14 Rocky Flats is allowed to receive compensation

15 that may not deserve it.

16 And while this debate goes on, workers die.

17 This program was not set up to give job

18 security to dose reconstructors and the

19 administrative personnel. It was set up to

20 compensate the workers. If any document used

21 in dose reconstruction is in error today, and

22 there are, then NIOSH cannot reconstruct dose

23 with reasonable accuracy. That is true now, as

24 well as when the petition was first filed.

25 Please, vote yes tomorrow to compensate all the

1 workers who have one of the 22 cancers from the
2 Rocky Flats facility, and make them an SEC
3 cohort. Thank you.

4 **DR. ZIEMER:** Thank you very much, Terrie. I'm
5 hesitating here because I don't want to mess
6 this name up too much. I think the last name
7 is Padilla --

8 **UNIDENTIFIED:** (From the audience and off
9 microphone) Judy Padilla.

10 **UNIDENTIFIED:** (From the audience and off
11 microphone) Judy Padilla.

12 **DR. ZIEMER:** Okay, you guys know who it -- who
13 it is, okay. And yes, that -- that -- I got to
14 work on my -- maybe my Spanish pronunciation,
15 Padilla -- Padilla. I stand corrected -- it's
16 P-a-d-i-l-l-a, for the court reporter, who
17 probably is worse than me in Spanish. No?
18 Okay. Thank you.

19 **MS. PADILLA:** Hi, I'm Judy Padilla. I worked
20 out at Rocky Flats for 22 years. I saw this
21 written on a wall during the demolition of
22 Building 771, considered the most dangerous
23 building in America. We walked with the dust
24 of plutonium, which cannot be shaken away. It
25 lives deep within us for we've breathed it

1 every day.

2 I think that I'm one of the fortunate ones. My
3 cancer was diagnosed early, and so far I'm a
4 survivor. But with a lot of people, by the
5 time their cancer is diagnosed, there's nothing
6 they can do because it's terminal.

7 As a nuclear worker at Rocky Flats Plant, I was
8 a Cold War veteran. I feel that I sacrificed
9 my health, even my life -- like the soldiers in
10 Iraq are doing -- and we got no acknowledgement
11 from our government, no thank you. We don't
12 even get the courtesy of a flag on our coffin
13 when we die.

14 I would like the advisory panel to know my
15 story. In 1983 I came to Rocky Flats as a
16 metallurgical operator in Building 707, the
17 foundry. The first six years I handled
18 thousands of grams of weapons-grade plutonium
19 on a daily basis. My specific task was to put
20 pure plutonium buttons in tantalum crucible and
21 place the loaded crucible in the melt coil of a
22 Stokes* furnace. After the temperature of the
23 furnace reached the classified degrees, the
24 molten plutonium metal was poured into a
25 graphite mold to cool. The plutonium ingot was

1 then broken out of the classified-shape mold
2 and transferred via a chainveyor into a storage
3 vault, or to the rolling mill for processing.
4 These operations were performed in an inert
5 gas, oxygen-free atmosphere glovebox. Glovebox
6 work consisted of placing your hands and arms
7 into lead-lined gloves fixed onto a box so that
8 you can manipulate the radioactive material
9 safely. Your face and chest are pressed
10 against the window inside of the box so that
11 you can see what you're doing.
12 Due to the fissile nature of weapons-grade
13 plutonium, high gamma and neutron exposures
14 were created. We were expected to turnover
15 each furnace at least three to four times per
16 shift, three shifts a day. These were
17 production days, and we had a tight schedule to
18 maintain. The interior of the furnaces were
19 regularly cleaned of splashed metal particles
20 and oxides with carbon tetrachloride and
21 perchloroethylene chloride, perc, known
22 carcinogens.
23 Two coworkers, [Name Redacted] and [Name
24 Redacted], died from brain stem tumors. My
25 foreman, [Name Redacted] had breast cancer --

1 very rare in men. He has also passed away. My
2 cancer was diagnosed in June, 1998. I had
3 worked there for 15 years. I had a radical
4 mastectomy, which is an amputation, of the
5 right breast and I had aggressive chemotherapy.
6 I returned to work in eight months, March of
7 1999.

8 You may wonder why I would go back to work
9 there if I thought my job had caused this
10 cancer. Well, my husband [Name Redacted] and I
11 had three children in college, so I went back
12 to Rocky Flats Plant and I stayed there till
13 they demolished the whole plant in 2005. I
14 received genetic testing twice for the BACR4
15 gene, with negative results. My oncologist,
16 stated that [Name Redacted] my ductal
17 carcinoma in situ was most probably linked to
18 my radiation exposure.

19 It is well known that Rocky Flats Plant records
20 were notoriously sloppy, and the results of our
21 dosimetry badge analysis were frequently
22 returned stamped no data available. The RCT
23 training manual states, on page 1.08 through
24 .09 in the biological effects section, and I
25 quote, cancer is a non-threshold disease.

1 Which means stochastic effects, those in which
2 the probability of the effects occurring,
3 increases with dose, without a limit or
4 threshold. Any dose, therefore, no matter how
5 small, has a certain probability of causing the
6 effect. Carcinogenic cancer inheritable
7 effects are examples of stochastic effects.
8 Cancer may be shown to exert an almost
9 universal carcinogenic action, resulting in
10 tumors in a great variety of organs and
11 tissues. The main sites of solid tumors are
12 the breasts in women, thyroid, lung, and some
13 digestive organs. These tumors have long
14 latent periods, approximately ten to 30 years,
15 and occur in larger numbers than leukemia.
16 Leukemia has a much shorter latent period, and
17 I close quotes.
18 But I'm singing to the choir here. You are all
19 scientists and doctors, so you know these facts
20 to be true. If -- if not, why would they be
21 taught to all radiation control technicians as
22 part of their DOE training?
23 Realizing these facts to be true, I applied for
24 the compensation for nuclear workers in August
25 of 2001. Imagine my surprise when a mere four

1 and a half years later my claim was denied. My
2 dose reconstruction was determined to be 43.19
3 percent, 15 years worth of exposure. What kind
4 of bogus statement is "as likely as not"? How
5 can there be a 50 percent limit on a non-
6 threshold disease?

7 I appealed this decision, but was told that
8 NIOSH has the final say in these matters,
9 another denial. I have read that dose
10 reconstruction is an inexact science. It is
11 also hugely expensive, and NIOSH takes many,
12 many shortcuts, with only 80-- 88 quali-- semi-
13 qualified employees. How can this
14 scientifically-invalid equation stand up to
15 scientific scrutiny? Ask yourself, is it
16 really worth it?

17 Put yourself in our shoes for one moment. Is
18 it worth mere money to be cancer-free or pain-
19 free? How much is it worth to be able to see
20 your children grow, to graduate or get married?
21 Boy, what some of us would give to be in your
22 shoes. You have your health and you have all
23 that power. Our lives and peace of mind rest
24 in your hands. We -- we're like the men on
25 death row waiting for the governor's phone

1 call.

2 I believe in my heart that people are basically
3 good. And given the chance, they want to do
4 the right thing. But I have a few questions
5 for you. Is there any truth to the newspaper
6 article of February 18th, 2006 in the *Rocky*
7 *Mountain News* that the Bush administration has
8 proposed a 44 percent reduction, \$686 million,
9 from the program for the sick nuclear workers?
10 Can you honestly say that that's fair?
11 And just who were the lawyers that got \$350
12 million for the property owners downwind of
13 Rocky Flats Plant? Are we less than property?
14 And who will be the one with the integrity to
15 step up to the plate, the one with true honor,
16 who loves his fellow man as much as himself,
17 the real American? America is watching and
18 waiting and wanting a hero. Is it you? Will
19 you give yourself an honest act of courage?
20 Will you take the -- or will you just take the
21 coward's path? Is the American spirit still
22 alive, or have we been corrupted beyond all
23 hope? This is a priceless opportunity for a
24 selfless act. What goes around comes back to
25 you. We Cold War veterans did the right thing

1 for America. Now it's your turn -- all of you,
2 it's your turn.

3 In conclusion I would like to say that I feel
4 my government has stooped to a new low to prey
5 on cancer victims, to promise compensation,
6 delay for five years, and then to deny claims
7 based on trumped-up estimations. It's not only
8 cruel, but it's also criminal.

9 The Reverend Martin Luther King once stated
10 everything that Hitler did was legal, but it
11 was still wrong.

12 Your conscience will tell you the truth.

13 You'll be able to look at that person in the
14 mirror with clean, clear vision. And when
15 accounting for your life you can credit
16 yourself with a pure act of genuine generosity
17 and kindness, a real American. Let us live so
18 that when it's over we can all look each other
19 in the eye and know we have acted honorably.

20 Judy Padilla, nuclear worker, Cold War veteran,
21 cancer survivor and American citizen. Thank
22 you.

23 **DR. ZIEMER:** Thank you, Judy, and very well
24 said, with great passion.

25 And now we'll hear from Robert Carlson.

1 Robert's a claimant. Robert, welcome.

2 **MR. CARLSON:** Ladies and gentlemen, in 1961 --
3 my name is Robert I. Carlson. In 1961 when I
4 came out to Colorado, I quit drinking and quit
5 smoking, so that has no effect on the cancer I
6 had. I worked at Rocky Flats for 27 years. I
7 worked as a janitor, assistant chemical
8 operator, monitor and experimental operator. I
9 worked in every building they had out there.
10 When I first put my application in for a job at
11 Rocky Flats, I had to pass a test consisting of
12 math, chemistry, physics and mechanical
13 aptitude. If you passed this test, you had to
14 get a Q clearance, that was the top secret
15 clearance in the country. If that -- if you
16 had any kind of a act against any law in the
17 country, you would not be hired. At a place in
18 Michigan where I worked I -- the government
19 checked everyone that I worked with back there.
20 There was about 28 people. So the people at
21 Rocky Flats were the top of the working class.
22 They did not lie, they did not steal. They --
23 even today they do not lie or steal. What they
24 tell you is the truth.
25 What we have in our body is like a stick of

1 dynamite, and each one of us seems like it's
2 going to explode at any time. This dynamite is
3 plutonium.

4 In a square mile -- in -- in a -- in a square
5 mile, in each square inch there is a 149
6 trillion, 956 billion, 796 million, 500
7 thousand, 357 atoms if one gram of material was
8 spread evenly over this square mile.

9 [Name Redacted] and [Name Redacted], head of
10 health safety and environment, trained the
11 monitors and said it was far worse to have
12 internal contamination than external
13 contamination.

14 I have 50 disintegrations of plutonium per
15 second in my body and five disintegrations of
16 americium in my body. That is 3,300
17 disintegrations per minute. That is 188,000
18 disintegrations per hour. Disintegrations
19 means that an alpha particle is given off, so
20 in an hour 198 (sic) alpha particles are given
21 off in your body. An alpha particle is an ion.
22 It extracts two electrons from a body cell and
23 kills that cell. Killing body cells cause
24 cancer, according to four cancer doctors on
25 Charlie Rose last week.

1 Working at Rocky Flats for 27 years as a
2 monitor for more than 17 years, I was exposed
3 to many accident, fires and alarms. Every time
4 plutonium was in a building, accidents
5 happened. Reversal of fans, gloves stood out
6 straight, no vacuum on a dry box, more
7 contamination. I was there. Glovebox burned
8 off and fell on the floor contaminating room
9 149. I was there. Holes in dry box gloves
10 contaminated yourself. I was there. Changing
11 filters on the incinerator all upstairs of 771
12 building got contaminated. I was there. Nash
13 pumps leaked and caused contamination. I was
14 there. Snake pit or the infinity room where
15 Nash pumps leaked was highly contaminated. I
16 was there. Floors in 771 building were
17 contaminated and I threw a lot of booties away
18 when I was a monitor when they were over 20,000
19 counts per minute. SAAM alarms went off
20 frequently in 771 building, indicating
21 plutonium was in the air. 776 building, trying
22 to take tape off the underside of a dry box
23 contaminated a large area of 776 building,
24 including three workers and myself. They had
25 insulation on a dry box in 776 building, and

1 they were trying to remove the insulation, but
2 it was foam. And every time you touched that
3 foam, the SAAM alarms went off. I was there.
4 776 fire contaminated all of 776 building and
5 could have contaminated Denver if it wasn't for
6 the fire department, the monitors, guards and
7 helper -- helpers. I was there. Drums outside
8 the helicopter pad leaked plutonium and oil in
9 the ground. I was there. The evaporative
10 ponds outside had plutonium in them and --
11 because I checked a bulldozer that was -- had
12 10,000 counts on the tracks from mixing this
13 sludge in this pond. This was outside now. It
14 was like a big egg beater. Someone missed the
15 stainless steel cans that was brought over to
16 the monitor station at 776 being to smeared out
17 (sic). It was highly contaminated and it
18 contaminated me and the person I was training,
19 along with our desk and monitoring equipment.
20 More internal contamination.
21 I was there and got contaminated 100,000 counts
22 per minute on my head and face in 71 -- 771
23 building, and breathed some plutonium. I was
24 taking drums to 80 building. It was named
25 something else later on. And my film badge was

1 overexposed and health physics told me not to
2 go back in the 80 building, but the supervisors
3 made me an exception because I knew where
4 everything was in 80 building. I went back
5 into 80 building, even though health physics
6 tell me not to go back in the building.
7 If you got contaminated, you washed off what
8 you could in the building you worked in. You
9 couldn't get the rest off, you were sent to
10 medical where they washed the rest of it off
11 with Clorox. I was there. The original amount
12 was not noted because the -- it could be
13 infinity. Only the contamination you couldn't
14 get off in the building where you worked in was
15 recorded.
16 They were checking the film badges by the color
17 of the film for gamma, and had to actually
18 count the tracks for neutrons on the film. How
19 accurate was this? I was one of the first
20 people to check out the new TLDs for accuracy.
21 I followed the worker around all day, testing
22 him for radiation, comparing it to the TLDs.
23 I was there and did everything that was
24 required of me. When I first worked at Rocky
25 Flats they had Frieden calculators that were

1 mechanical. I ran a computer program later on
2 in 865 that the results were very critical in
3 every unit that left Rocky Flats.

4 I had to stop at a place that Rocky Flats had
5 that had in Broomfield and was amazed by what I
6 saw. There were items that had purple tags on
7 them that were contaminated. How did they get
8 to Bloomfield? Purple tags meant that they
9 could not get out of the building. How did
10 they get out of the plant site?

11 Every chemical that they had at Rocky Flats I
12 was exposed to. You can look at the list I
13 have.

14 When wearing respirators for any length of
15 time, you could dump liquid out of the
16 respirator. If you were in an area where
17 plutonium was in the air and a SAAM alarm was
18 ten feet away, you could inhale some plutonium
19 before the SAAM alarm went off. If you coughed
20 wearing a respirator, you swallowed what you
21 coughed because you couldn't take your
22 respirator off. This is how plutonium got
23 throughout your whole body. Thank you.

24 **DR. ZIEMER:** Thank you. And Bob, do you have a
25 -- could you provide our court reporter with a

1 copy of your remarks?

2 **MR. CARLSON:** Sure.

3 **DR. ZIEMER:** That would be helpful. Thank you.
4 The next person will be Laura Schultz.

5 (Pause)

6 Would you like to use a chair there, Laura, or
7 -- you're okay? Okay.

8 **MS. SCHULTZ:** It's -- I have something quick to
9 tell you. My name is Laura Schultz. I worked
10 the majority of my working life at Rocky Flats
11 Plant. I started in the process engineering
12 and design, and later become a technical
13 support for Building 771. I spent a lot of
14 time in the process buildings. I found out
15 that my designs would be successful if I did
16 extensive field work and met the users, the
17 people that installed the equipment specified
18 in the designs.

19 While my records may say that I was an
20 engineer, I was really a 771 resident. I had
21 numerous medical problems. I've had cancers
22 that are li-- covered listed. I applied for
23 compensation under this program in May of 2003.
24 I have been denied.

25 It is not normal for a woman my age, I'm 49

1 years old, to have all the medical problems.
2 The NIOSH model apparently says that my
3 radiation and chemical exposure had nothing to
4 do with my current condition. I got 39 percent
5 -- 39.9 causation. Do you believe that they
6 are current -- the current model is biological
7 -- system, a human body was -- with bad missing
8 data. I certainly do not.
9 NIOSH has gathered a wonderful group of
10 mathematicians and scientists together to model
11 an extremely complex set of daily exposures to
12 both radiation chemicals. Listening to them on
13 the teleconference yesterday you can tell that
14 they really enjoy technical challenge and their
15 work, and each other. They seem to really like
16 their jobs. Unfortunately, they never set foot
17 on Rocky Flats Plant site. They can only guess
18 at what it's like. What they didn't seem to
19 realize is that there are human beings
20 associated with these calculations.
21 We have been more than patient and
22 understanding. Two years for dose
23 reconstruction? Sure, why not? By now, years
24 later, we see that DOL has a plan to deny our
25 benefits because of the high cost of paying

1 claims to so many people from Rocky Flats. We
2 waited many years assuming that you would not
3 (sic) deal with us fairly. We are now
4 approaching the point we cannot believe
5 anything that you say.
6 We come from a very secret, private community.
7 We are the invisible fighters of the Cold War.
8 When something in the plant was broken, we
9 fixed it. When there was a fire, we put it
10 out. When there was a spill, we cleaned it up.
11 Our weapons were needed to defend our country.
12 Do you believe that our plant was 100 percent
13 cleaned after a spill or a fire? Our health
14 was affected by the past and present events.
15 We were trained to do our jobs safely. We were
16 given equipment to protect us from the hazards
17 of the workplace. We were surrounded by
18 support personnel whose sole job was to monitor
19 our safety. We were told that we were safe. I
20 guess they were sadly wrong.
21 Years ago I never would tell anybody about the
22 working and the operations of the plant. We
23 were all part of a working -- a very difficult
24 and dangerous job. If something went wrong, we
25 considered it to be our business on the plant

1 site, and we fixed it. Why would we involve
2 our neighbors or the press, or who would co--
3 who were against us?

4 Today the table is turned. My friends and
5 family are getting sick and are denying -- are
6 dying at an alarming rate. My own government
7 has offered me compensation for unknowingly
8 giving me cancer, but is turning to weasel out
9 all their promises. They have gathered a group
10 of high-dollar scientists to prove that the DOE
11 is innocent and that our cancers are just a big
12 coincidence. They have us beat.

13 They have people who speak in babble, a
14 language that only the people in their fields,
15 the years of experience could ever understand.
16 I believe they are wrong. Unfortunately, it
17 would take a lifetime for me to come to up a
18 speed (sic) in their field to try to show them
19 that their calculations are wrong.

20 The claimants do not have an unlimited amount
21 of time and budget like NIOSH does. When NIOSH
22 is informed they have a problem with the
23 neutron dose recalculation, the answer is
24 simply make the claimants wait another six
25 months and give us more guys and money and

1 we'll work out the problem.

2 Well, claimants are faced with a problem. DOE
3 is not our friend. NIOSH is certainly not our
4 friend. Our plant has been flattened. Our
5 friends are res-- and our colleagues are sick
6 and dying. What do we do next?

7 Our senators and congressmen say they're trying
8 to help us. The press is very interested and
9 compassionate about our dilemma. I think I
10 have no choice but to start telling the really
11 embarrassing stories about the plant that the
12 public really never needed to know. It's time
13 to seek legal help and counsel class action
14 suits against the government and operating
15 contractor. If we had been dealt with fairly,
16 this probably -- subject would have never come
17 (sic) up. The public has a right to know how
18 many people from that plant has been sick and
19 are dying across this country. Well, let them
20 decide who is at fault. Thank you very much.

21 **DR. ZIEMER:** And thank you, Laura, for taking
22 the effort to be with us today.

23 **MS. SCHULTZ:** Thank you, Dr. Ziemer.

24 **DR. ZIEMER:** Jeff Schultz -- Jeff, you also
25 have -- oh, okay.

1 Kevin Newby, and I think I have some written
2 comments also. Kevin, I'll distribute these.

3 **MR. NEWBY:** I want to start by thanking the --
4 you for giving me the opportunity to share this
5 story. My name is Kevin Newby and I worked at
6 Rocky Flats for 22 years. I was 25 years old
7 and very healthy when I started working at
8 Rocky Flats. I had various jobs throughout my
9 22 years with the Flats. I worked in buildings
10 883, 865, 444, and in gloveboxes in 707, and
11 also at the warehouse.

12 On January 21st, 1994 and April 20th, 1994 and
13 March 6th of 2001 I had positive blood tests
14 showing beryllium ac-- sensitivity. This
15 entitled me to enter into the beryllium
16 program. At that time I had no idea the price
17 I would pay for working in this environment.

18 In June of 2002, on a routine visit to my
19 beryllium doctor in Philadelphia, I had a CAT
20 scan that concerned my doctor, nothing serious.
21 He did a blood test the day of my procedure
22 that came up negative, which meant I was not
23 showing beryllium sensitivity in my blood. But
24 the doctor thought it was a good idea to do a
25 lung biopsy, as long as I was okay with it.

1 The procedure is called a bronchostomy (sic).
2 This is only true way to prove chronic
3 beryllium disease. When they do the blood
4 work, they have both false negative and false
5 positive readings. This is the only way to
6 diagnose beryllium sensitivity, even though the
7 test is flawed and false readings, they have
8 not come up with a better way to do this. The
9 bronchostomy (sic) or lung biopsy did show
10 lymptocycius (sic) in my BAL cells. The
11 conclusion is I have chronic beryllium disease.
12 Remember the day of this procedure I had a
13 negative blood test.
14 When I got back from Philly I filled out the
15 paperwork and a claim under Section B. This
16 was in 2002. And of course I was denied. They
17 did not feel disease was far enough along to
18 entitle me to compensation under Subsection E
19 (sic). My problem was I was still alive.
20 In 2004 I resubmitted my claim and all the same
21 information and I was approved.
22 In summary, I -- had my doctor not offered the
23 lung biopsy, I never would have been found out
24 that I had chronic beryllium disease. There's
25 only a certain stage that they can do the lung

1 biopsy. This is not a standard procedure.
2 Remember, the blood test for beryllium
3 sensitivity is flawed with false negatives and
4 false positives.
5 Had I not had the fortune to persevere, I still
6 would be sitting there thinking I was denied.
7 We worked in a adverse situation. If you, like
8 me, were exposed to metal poisonings, you need
9 to know. This does not just affect you. This
10 affects your entire family and down the road
11 when they take care of you and you can come
12 incapacitated. Being in the program has opened
13 many doors that would otherwise have been
14 closed. The average doctor does not understand
15 metal poisoning. You need a specialist, and
16 they're expensive.
17 I'm not advocating the system is set against
18 you. All I'm saying is that most health care
19 situations you need to be your own etiquette
20 (sic). Get informed, don't settle for no.
21 The moral to this story is persevere. I felt
22 it was my moral obligation to share this story
23 with you. Please do not give up hope. If I
24 can help anyone with their paperwork, please
25 let -- feel free to call me. Thank you.

1 **DR. ZIEMER:** Thank you, Kevin. Next, Walter
2 Mobley. Walter Mobley.

3 **MR. MOBLEY:** Good evening, and thank you for
4 taking the time to listen to us. I began
5 working at Rocky Flats in February, 1991.
6 Before we had any training, my foreman took
7 myself and three carpenters down to Building
8 991. We were uncleared at that point. He took
9 us down a hallway and told us to build a
10 scaffold. We started building the scaffold.
11 He left. A yellow light started flashing and
12 an alarm went off. We continued building the
13 scaffold for another five, ten minutes before I
14 walked down the hall to find someone to ask
15 them what this yellow light meant. We were
16 told it was a faulty SAAM alarm, that there was
17 no problem. The SAAM alarm was the problem,
18 not that we had actual airborne radiation.
19 We didn't know what that meant at that point
20 anyway.

21 I did receive extensive training over the next
22 year, teaching me how safe Rocky Flats was.
23 And they convinced me that Rocky Flats was a
24 safe place to work.

25 A year and a half later, it was about August or

1 September, 1992. We were working in the
2 vaults. We were working in high radiation
3 areas. We were receiving dose greater than 100
4 millirem per hour. I, as a carpenter, did not
5 work in there a lot, but I did do some work.
6 The electricians in our group worked in there a
7 lot. They were getting close to their annual
8 dose limit. We came to work one morning. In
9 the pre-evolution briefing we were told all of
10 the dosimeter records have been lost. Your
11 dosimetry reading is zero. Go in and go to
12 work.

13 One of those electricians was [Name Redacted].
14 In 2004 [Name Redacted] was diagnosed with
15 stomach cancer, and he was dead in three
16 months.

17 I thought the electricians might have been
18 over-reacting a little bit. I was still new at
19 Rocky Flats. I'd been there for a year. They
20 were way below the -- the DOE annual dose, and
21 the Rocky Flats annual dose is half of that, so
22 I think they're just making a mountain out of a
23 mole hill. Well, I find that that's not true.
24 In 2001 I contracted non-Hodgkin's lymphoma. I
25 began doing a lot of research on my own. I

1 found that the Department of Energy, on their
2 web site, admits that they do not know what the
3 biological effects of a chronic low dose of
4 ionizing radiation will do.

5 I was more fortunate than [Name Redacted]. I
6 had a pain in my back. I had this pain for
7 five months before I went to the doctor. When
8 the doctor found out where I worked, he began
9 looking for cancer. He wasn't looking for
10 other medical problems; he began looking for
11 cancer. I don't believe that was a lucky
12 guess. I believe that was an educated
13 diagnosis. He found my cancer on the first
14 visit. Because of the early detection, I am in
15 remission right now. But I don't know when
16 it's going to come back.

17 All through my medical treatment the nurses and
18 the doctors that I talked to all agreed that
19 there was a good chance that I contracted
20 lymphoma because of where I worked, at Rocky
21 Flats.

22 I applied for compensation through the EEOICPA
23 in 2001, shortly after the program was
24 initiated. After five years I have become
25 fatigued with the bureaucratic process,

1 constantly asking for more information, asking
2 for phone interviews. After five years I was
3 denied. I appealed the denial.

4 On the notebook that we signed up on tonight it
5 asked if we had a written statement to submit.
6 I didn't know that was going to be on the form.
7 I feel like I have submitted my written
8 statements more than once.

9 Six months later, after my first appeal, I was
10 denied again. A year later I was denied again
11 under Part B. I believe that DOE, DOL, NIOSH,
12 Oak Ridge University -- I believe pretty much
13 all of them have probably spent considerably
14 more denying my claim than it would have cost
15 to pay my claim and let me enjoy my life.

16 Thank you.

17 **DR. ZIEMER:** Thank you, Walter. Next I have
18 Ron Buffo.

19 **MR. BUFFO:** Thank you for letting me speak
20 before you tonight. My name is Ron Buffo. I'm
21 here to speak on behalf of my father, William
22 Buffo, who worked at Rocky Flats from 1952
23 until 1987, one of the original guys who
24 started out there. He was a machinist. He was
25 a tool grinder for at least 23 of those years,

1 those first 23 years, and he worked in
2 buildings 44, 881, 776 and 460.
3 He has had prostate cancer. He has skin
4 cancer. At this point he's 75 years old. And
5 just to sort of reiterate some of the things
6 that some of the other people have been saying,
7 and I think it's very basic stuff -- I mean
8 this -- this isn't global warming. This is --
9 these are real things that we know are
10 happening to these real people.
11 My father was a machinist working with uranium
12 and working on a lathe where he was shaping
13 uranium. Uranium has a tendency to catch on
14 fire without proper ventilation, and when it
15 caught on fire he was breathing in the fumes,
16 of course, and I think certainly has shown the
17 effects of what's happened with that.
18 Along with that -- he was exposed to that on a
19 daily basis, but he was also exposed to a thing
20 called perchlorethylene, a cleaning solvent.
21 He cleaned machines every day when work was
22 done, with his bare hands and this cleaning
23 solvent. And we know that to be carcinogenic
24 in nature, as well. He also lost his hearing
25 because there wasn't adequate hearing

1 protection. So I mean there are just a variety
2 of things that -- that all of these -- these
3 wonderful people had to go through.
4 I will tell you this. A true patriot, like all
5 of these people. When I was growing up in
6 Lewisville, not too far from Rocky Flats, I
7 knew my father worked at Rocky Flats, but I'll
8 tell you what, I didn't know what he did until
9 about five years ago. He said no, that's --
10 that's -- I don't talk about those things, I
11 signed a security clearance. And I had no
12 idea. Kids at school would ask what does your
13 dad do? He's a machinist. Oh, yeah? I don't
14 know what he makes, but he's a machinist,
15 that's for sure. It was strange coming to my
16 house when I -- you know, I'd go down to the
17 bathroom and I saw all these little bottles
18 down by the toilet and I -- what the heck is
19 that stuff for? I had no idea. You know, the
20 fact of the matter is, very few of these people
21 in the early stages, and I'm sure for many,
22 many years, really had no idea what
23 radioactivity could do to them. I really
24 believe the safety training programs were
25 inadequate. These men and women were not told

1 what these kinds of things could do to them,
2 and today they are suffering because of that.
3 So I'm here on behalf not of just my father,
4 but -- but of all these people. You know, we
5 talk about the bureaucratic red tape that is --
6 that has been going on for years now. He made
7 a claim five years ago. Last fall he was
8 denied. We wrote a letter back to the
9 Department of Labor -- and I'm not kidding you,
10 we got a response back in one week on the
11 appeal -- denied. It took five years to get
12 that first one, but it took about a week to get
13 that second one. And when I -- I helped my
14 father sit down and write the letter, and what
15 we said was, you know, you need to look at
16 this. You're denying our claim. You say that
17 prostate cancer is not caused by his exposure
18 to radiation. We don't agree with that, and
19 that's why we are not going to sign this claim.
20 We consider our case to continue to be active
21 and we're going to see what happens here.
22 Two months later he got a phone call from a man
23 with the Department of Labor who said hey,
24 what's this letter all about? My father said
25 it's about my claim. And he says well, you

1 know, where you going to go with this? He goes
2 well, it's pretty obvious I can't go too far
3 with it, but he said I'm not signing it. And
4 that's the way that it's going to be. We are
5 going to stay with this and we're going to stay
6 the course on -- on fighting for what we think
7 is right, and these are from people who are
8 very patriotic. They have no huge beefs with
9 their patriotism and what they've done for this
10 country. These are the original Cold War
11 warriors, and -- and we have to honor them and
12 we have to show them that we are responsible
13 for the things that they were exposed to.
14 And I think -- when I look at all these
15 wonderful people here, I think we have to ask,
16 if not us, then who? And if not now, then
17 when? Thank you.

18 **DR. ZIEMER:** Okay. Thank you, Ron. Next I
19 have Charles -- Charles Milne -- didn't we have
20 a -- I think we already had Charles Milne,
21 somehow got on the list twice.

22 Dennis Romero -- is it Romero?

23 **MR. ROMERO:** Romero.

24 **DR. ZIEMER:** Correct.

25 **MR. ROMERO:** Yeah, I'm pretty short. My name's

1 Dennis Romero. I worked out at Rocky Flats as
2 -- four years as a building trades pipe fitter,
3 18 years as a steel worker. I've had three job
4 classifications out there, as a production
5 welder, chemical operator, radiological control
6 tech at the end.

7 My first job was 444 as a production welder.
8 Worked with beryllium, uranium, stainless,
9 titanium, machining it -- not machining, but
10 welding it, plating it, coatings. While
11 working in that building we would often have
12 air reversals because we'd have a power
13 (unintelligible). Instead of the air coming
14 out of the main vents, it'd be coming out of
15 the return air vents that were filthy. We'd
16 have dust everywhere. We'd get the evacuations
17 and evacuate the back area because they don't
18 know what's in the air.

19 We'd have fires, just like the gentleman
20 mentioned about uranium. They'd have uranium -
21 - 55-gallon drums where the machines would
22 throw the shavings in there. Occasionally
23 they'd throw a hot chip in there. When they
24 would machine this uranium it would glow red,
25 red under the liquid. That's how hot it was.

1 And they would throw a chip in there that's too
2 hot, it'd catch on fire and then we'd have a
3 fire in the back area and they would say if
4 you're not in immediate danger, stay where
5 you're at; if you are in danger, evacuate the
6 area. Be smoke in the air.

7 I worked in that building about five years as a
8 production welder and then went down -- 707 as
9 a production welder. Worked with plutonium,
10 beryllium, uranium assembling the pits that we
11 used for final product to ship off site.

12 Every month we'd have a thing we'd call IP,
13 that we'd meet a certain quota every month to
14 get parts out. If we didn't get the parts out
15 on time, management would say well, we're going
16 to lose our funding, maybe be layoffs, so we'd
17 have to work the overtime to meet our quota
18 every month.

19 At times our dosimetry badges would be peaking
20 out, and if they peaked out they would pull us
21 out of the area and then we couldn't meet our
22 product every month. So naturally management
23 would make a suggestion -- put your TLD in your
24 back pocket. Don't have it up on your chest
25 where it's getting the right exposure; put it

1 in your back pocket. Or there were times when
2 we'd leave them in our lockers because
3 management did not want to lose their funding,
4 did not want the trucks not to be able to come
5 in and DOE would be unhappy with their
6 progress. So we would do whatever we could to
7 meet IP every month, and that went on for years
8 out there until they finally shut us down.
9 When I was done being a production welder, I
10 went down to 771 as a chemical operator. Our
11 job down there was do (unintelligible)
12 inspections, decontaminate floors, gloveboxes,
13 tanks -- basically the cleanup people for the
14 building. That's our job is to clean up, decon
15 workers. We'd go in the back area, we'd have a
16 spill. Of course everybody knows 771 was
17 (unintelligible) with all kinds of chemicals --
18 hydrochloric acid, sulfuric acid, nitric acid,
19 numerous other chemicals been on my shirt right
20 here.
21 When we'd go back in the areas and decon the
22 floors 'cause there'd be a tank leak, spill.
23 Recontainments on the valves were leaking,
24 flanges were leaking, gloveboxes were leaking
25 because everything's been taken out of service,

1 wasn't maintained. It was set -- 'cause they
2 thought they were going to start back up, but
3 it never did happen so we'd have to go back
4 there and baby-sit the place.

5 We'd go back there in a full-face respirator,
6 particular air purifying filter, cleaning up
7 chemical spills. The only people in the
8 building that had chemical respirators were the
9 painters, because they did the epoxies.

10 Workers in the back area were doing decon
11 coverage, did not have chemical respirators.
12 We'd have a particulate and that was it.

13 Times we'd have SAAM alarms. 771's notorious
14 for having a lot of SAAM alarms. Problem with
15 771 during thunderstorms, we'd have a high
16 concentration of radon. The SAAMs would not be
17 able to distinguish between radon buildup or
18 plutonium particle, so it would go off and we'd
19 have to deal with that. We'd go out in the
20 hallway and wait for RCTs to come, see what the
21 problem was.

22 At that same time I had went across to be an
23 RCT so I'd learned a lot more. I went through
24 rad con training, radiological training, and
25 they -- what we'd do is we'd have SAAM papers

1 that were contaminated with Pu or radon. We
2 would let them sit for four hours. We'd count
3 them initially, wait for four hours, take the
4 people's names that were in the rooms at the
5 time the SAAM went off 'cause we didn't know if
6 they were positive or negative SAAM alarms.
7 We'd wait for four hours, wait for the decay,
8 see how much decay would happen on that sample.
9 If there wasn't enough decay, we'd give it
10 another four hours. There was times they would
11 wait up to maybe a day and a half to two days
12 to count that sample to see if enough decay
13 would drop out so we could blame it on radon,
14 because the room was posted and the workers
15 were having a hard time getting the work done
16 because working in a full-face is hard.
17 Management wasn't happy with that scenario,
18 they'd make us go back and do additional air
19 samples so we could de-post the room and get it
20 down to less than a tenth of a DAC. A DAC was
21 a Derived Air Concentration of plutonium in the
22 air. It had to be less than a tenth of a DAC.
23 One DAC equates to 2.5 millirem.
24 When we started doing D&D out there, we had
25 procedures -- even production had procedures.

1 Full-face respirators, 50 DAC; you exceed it,
2 you shut the job down till you increase your
3 engineering controls, your PPE controls -- keep
4 it down to less than 50 DAC because the
5 respirator's only certified up to 50 DAC.
6 Anything above that, they couldn't quantify how
7 much of it was getting in your respirator.
8 They needed to be, we'd go to PAPRs, PAPRs were
9 good for 1,000 DAC. We couldn't keep it down
10 below 1,000 DAC, supplied breathing air, in-
11 line supplied breathing air was used. That was
12 still 1,000 DAC protection factor.
13 When management couldn't control the back areas
14 properly when D&D happened because everything
15 was going on, piping's being cut, gloveboxes
16 being dropped off, the DAC started going out of
17 control. It would exceed 50 DAC. They just
18 changed the RWPs to warrant what they wanted to
19 get done, because our training told us anytime
20 you exceed protection factor respirator, a
21 certain amount was getting in the respirator.
22 When we exceeded 1,000 DAC on PAPRs, that
23 happened quite often -- they'd be 100,000,
24 200,000, maybe even up to 500,000 DAC on an air
25 sample they would be counting. We was told in

1 training that for every DAC that you exceeded -
2 - the protection factor 1,000, for every 1,000
3 that you exceeded at, one DAC was
4 (unintelligible) be in your respirator. So if
5 you're in a DAC atmosphere of 500,000, you tell
6 me how much DAC was probably -- how much
7 plutonium might have been inside your
8 respirator.

9 They would wear these respirators on 10, 12-
10 hour days. There was a job going on in 774
11 that guys were in DAC atmosphere about 100,000
12 DAC. They were cutting out these four large
13 tanks, using a plasma cutter. They used liquid
14 -- a fixative to spray on the linings of these
15 tanks, the gloveboxes, to try to keep the
16 airborne concentration from going higher than
17 that. The problem with when you're using
18 liquid, spraying in the atmosphere where using
19 a air-purified respirator, it's a paper filter.
20 That paper filter starts degrading when it gets
21 wet. And they would use liquid or water to try
22 to keep the concentration of the plutonium
23 down.

24 Workers would come out of the back area after a
25 12-hour day, take their filter cartridges off

1 their respirators, dump the respirator in a
2 bin, dump the cartridges. They would look in
3 their cartridges on the inside of that
4 cartridge where -- that's the closest part to
5 your face and a lot of times they'd be green.
6 That was the color of the fixative they were
7 using inside the tanks. So if that respirator
8 was filtering, how much of it was it really
9 filtering?

10 We would survey respirators on a daily basis so
11 we could send them back off to laundry. Wasn't
12 no -- no big deal to find 10,000, 500,000 on
13 the outside of the respirator. Was that person
14 given a PI factor worksheet to find out how
15 much of it they got inside their lungs? Was
16 any incident reports done?

17 Management, towards the end, starting not
18 documenting things because of a thing called
19 Price Anderson out there. Price Anderson was a
20 group that went around when companies could not
21 do radiological control practices safely, they
22 would fine them. People have skin
23 contamination, internal contamination, they
24 would get fines. Well, in order to not get
25 fines, you don't do the documentation, so you

1 didn't have the PI factor worksheets. You
2 didn't have the radiological deficiency
3 reports. You didn't have any logs to denote
4 that this stuff happened on the job.
5 There's so much more information that your
6 dosimetry cannot tell you because a lot of the
7 information wasn't done -- or it's scattered
8 all over the place, 'cause we did records. We
9 did DAC hour tracking whenever the DACs were
10 too high. But my question is to you people, of
11 all the records you got, do you have all of
12 them? I don't believe you do. Thank you.

13 **DR. ZIEMER:** Thank you. Thank you, Dennis.
14 Now we'll hear from Richard Olds -- Richard?

15 **MR. PRESLEY:** He's already spoken.

16 **DR. ZIEMER:** Maybe he -- yes, was --

17 **UNIDENTIFIED:** (Off microphone)

18 (Unintelligible)

19 **DR. ZIEMER:** Yeah, he's ended up on the list
20 twice, too. Sorry.

21 Let's see, then next I have Larry -- Larry
22 Pazier or Pazier -- P-a-z-i-e-r.

23 **MR. PAZIER:** That's close enough.

24 **DR. ZIEMER:** Close enough? You can give us the
25 correct pronunciation, Larry.

1 **MR. PAZIER:** It's Larry Pazier.

2 **DR. ZIEMER:** Pazier, thank you.

3 **MR. PAZIER:** My wife Cheryl was a Rocky Flats
4 employee, and I -- I'm not a Rocky Flats
5 person, and all I did was hear these things
6 second-hand, but I know that she was exposed at
7 least twice. Five years later after she was
8 exposed, she was diagnosed with colon cancer
9 and two months ago she passed away.
10 She was a vegetarian. No -- no cancers in the
11 family, went to the gym five or six days a
12 week, only exposed twice. And I hear the
13 probability and the statistics that some of the
14 people are saying, including a doctor, but what
15 does it really mean? One in a thousand? What
16 if you're the one? One in 100,000, what if
17 you're the one?
18 My -- my concern is really not for what's going
19 on here today. The money, sure, is going to
20 help the people out that are living, help them
21 with their doctor bills, et cetera. What I
22 would like to do is suggest and somehow get out
23 to the public that there needs to be more
24 testing done. It's my understanding that --
25 you know, that they had testers -- test

1 indicators that give you an idea if you've been
2 exposed. But when the people leave working for
3 a nuclear facility, are they getting PET scans
4 and CAT scans to test, if they have been
5 exposed, if they have cancer? If this could
6 have been done, it may have saved my wife.
7 The other thing I'd like to say is, you know,
8 to -- to just -- to get the word out to other
9 workers in nuclear facilities of the risks
10 they're taking. I don't believe that they
11 understand the total risk that they're working
12 under. Thank you.

13 **DR. ZIEMER:** Thank you. I have what I think is
14 Larry -- Ramos?

15 **UNIDENTIFIED:** (Off microphone) Rands?

16 **DR. ZIEMER:** Or Rand, maybe it's Rand -- Larry
17 Rand, yeah. Okay.

18 **MR. RANDS:** Hi. As Paul said, my name is Larry
19 Rands. I spent 20 years at Rocky Flats. I had
20 the opportunity last year to provide you with a
21 summary of my jobs on the site and my lung
22 cancer that was diagnosed in 2003. I donated a
23 lung to the cause, went through chemotherapy
24 after and I'll play with the side effect of the
25 chemotherapy the rest of my life.

1 It's my understanding that you folks are an
2 advisory board to tell health and safety or
3 someone to -- that's going to make a decision
4 on the outcome of the future of the workers of
5 Rocky Flats. And I thank you for that
6 opportunity to talk to you last year, and I'm
7 happy to be able to be here this year. I would
8 ask, and I implore you, to unite to advise the
9 people that are going to make the decision for
10 the efforts that are being expended and for
11 these people that have suffered and are
12 suffering, please help them. Thank you.

13 **DR. ZIEMER:** Thank you. Then Cheryl Meaney.

14 **MS. MEANEY:** Hello. My name is Cheryl Meaney
15 and I worked at Rocky Flats for 21 years. At
16 the present time I am not ill due to working at
17 Rocky Flats. [Identifying Information and Name
18 Redacted], also worked at Rocky Flats for 32
19 years as a security guard. He couldn't be here
20 this evening so he asked me to come and speak
21 for him.

22 In 2005 he was diagnosed with thyroid cancer.
23 As a result, he had surgery to remove his
24 thyroid that same year. His physician says
25 there are only ways to get thyroid cancer.

1 Heredity is the first reason, and the other is
2 radiation exposure. There isn't any known
3 thyroid cancer in my husband's family, so one
4 must assume that his cancer is the result of
5 radiation exposure at Rocky Flats.

6 He is missing quite a lot of his dose records
7 due to poor radiation record-keeping at Rocky
8 Flats. Records show he worked in Building 123
9 for the majority of the time, but that was only
10 his base building. He went to Building 123
11 every day to change into his uniform, get his
12 gun and have his morning meeting for the plan
13 of the day. His regular job duties consisted
14 of the following:

15 He walked routes throughout the entire complex,
16 including the radiation and contamination
17 areas. He was required to sit on the docks in
18 close proximity to all radioactive material as
19 it was loaded onto trucks for shipment. He was
20 required to watch people and guard material in
21 the various vaults. Even if the alarm sounded,
22 he had to stay to guard the vault he was
23 assigned to. Everyone else could evacuate. He
24 was part of the team that loaded trucks for
25 transport to other facilities. This material

1 was the completed product, so it was very
2 radioactive. He had to crawl on and around the
3 radioactive drums in order to secure them
4 properly. He also had to transport radioactive
5 material samples in his security vehicle right
6 in the seat beside him.

7 All of this was done without wearing a lead
8 apron or shielding of the samples.

9 He took great pride in the job he did to
10 protect our national security, and now hopes
11 his government will take care of him. We pray
12 that [Name Redacted] cancer does not reoccur.
13 But if it does, it would be helpful for him and
14 his family to have a little financial security
15 to help cover the medical bills as a result of
16 his radiation exposure in his work at Rocky
17 Flats.

18 Please vote yes and give all Cold War veterans
19 peace of mind. Thank you.

20 **DR. ZIEMER:** Thank you, Cheryl. Next, Juan
21 Abilu -- Abilu?

22 **MR. ABILA:** The last name's Abila, A-b-i-l-a.

23 **DR. ZIEMER:** A-b-i-l--

24 **MR. ABILA:** A.

25 **DR. ZIEMER:** --a.

1 **MR. ABILA:** Right. I really don't have much
2 more to say, other than what everybody else has
3 said. The only thing that I would like to ask
4 is why are we having to prove what, in most
5 cases, a DOE or Rocky Flats doctor has verified
6 or diagnosed us with? I think -- I think
7 everybody else has covered what I had to say
8 and I appreciate it and thank you.

9 **DR. ZIEMER:** Thank you. Okay, thank you, Juan.
10 And then Jack Weaver.

11 **MR. WEAVER:** Good evening. Thank you for
12 letting me speak. I also want to thank the
13 people that are here in the audience, my
14 brothers and sisters that worked with me at
15 Rocky Flats.

16 This is an emotional time for everybody that's
17 here, me included. I happen to be in fairly
18 well -- fairly good health, but I have some
19 relatives that worked at Rocky Flats for a
20 number of years that -- that are not in such
21 good health, so hopefully I'm here to represent
22 them.

23 I -- I started to work at Rocky Flats September
24 the 5th, 1961. I left there June 5th, 2002, so
25 you know I've been there a long time. I worked

1 in just about -- well, I did work in every
2 building on the plant site at one time or
3 another in some capacity. I worked 12 years as
4 a hourly individual and the rest of my time was
5 spent in various supervisory positions, all the
6 way up to a deputy AGM under EG&G, so I've been
7 the gamut from all the way at the bottom to all
8 the way to the top.

9 I also participated in -- in -- starting in
10 2001 on the oversight committee for the ORISE
11 dose reconstruction. I was asked to come and
12 participate in that, and after talks with Joe
13 Aldridge and his group, I decided I would do
14 that. And the main reason I participated in it
15 was because the people -- very intelligent,
16 very smart individuals -- didn't have a clue
17 about Rocky Flats, and my job was to try and
18 make them understand, teach them what we did,
19 how we did it, why we did it and what the
20 consequences of some of that stuff were.

21 Just like everybody said, I -- I understand
22 that there are missing pieces of information in
23 the -- in the dose and stuff. I think they did
24 the best they could with what they had, they
25 just didn't have everything, as -- as people

1 have said before.

2 The other issue that I have that -- that
3 doesn't seem to get across at these meetings is
4 that Rocky Flats was a chemical processing
5 facility to recover plutonium from scrap and to
6 produce the final product, pits. Okay? The
7 plutonium processing in these buildings was --
8 was a -- a -- primarily a nitric acid process,
9 although there were a lot of other chemicals.
10 And when we were doing the cleanup in -- in the
11 '90s, or preparing for the destruction of the
12 plant, one of the things that we did was a --
13 was a chemical inventory -- and at the time I
14 was working in 71 building; I spent 32 years in
15 71 building. And I have this document. I
16 provided it to the -- to the group last year
17 when we met. It's a 53-page document of excess
18 chemicals. It has 5,700 containers listed on
19 it of everything imaginable.
20 And with [Name Redacted] permission -- I was
21 working in the building with [Name Redacted].
22 She was doing part of the -- the inventory. We
23 were working on the inventory with [Name
24 Redacted] and a lot of other people, names that
25 you are familiar with. Exposure to these

1 chemical -- I mean there were things that --
2 that -- I'll give you a for instance. One of
3 the things that -- that people don't associate
4 too much wi-- or don't know about at Rocky
5 Flats from the outside is hydrogen peroxide.
6 Most people think of hydrogen peroxide to be
7 put on -- on a cut on a finger, color your hair
8 or something like that. We used hydrogen
9 peroxide in the plutonium processing to make
10 plutonium peroxide precipitate. We used 50
11 percent hydrogen peroxide. That's the same
12 stuff they use in rockets to fire them off, you
13 know? And after a couple of explosions, we
14 went to 35 percent because it wasn't quite as
15 volatile.

16 But we had numerous ex-- explosions. We had
17 fires. We had everything you can think of
18 under the sun. And as these people have
19 already stated, and I don't -- I don't think
20 you want to hear all my war stories 'cause you
21 ain't got enough time left in this week to hear
22 all the stories that I could tell you about
23 Rocky Flats and 71 and 371 and all those.

24 I just want to say that -- that Abe just made a
25 very good point. We worked under the AEC, IRTA

1 and DOE, and yet when it comes down to this
2 issue that we have here on the table today, the
3 burden of proof is on these people here to
4 provide something.

5 Now when I went to work at Rocky Flats you were
6 supposed to keep records, and I always thought
7 there should have been a place where all the
8 records that were kept -- everything from a
9 piece of paper that somebody scratched on, a
10 note or something, all the way up to plans,
11 procedures and everything -- should have been
12 kept in a place where they could be gotten to.
13 That never happened, so a lot of stuff got
14 lost. And all these exposures to -- to
15 radiation and the exposures to chemicals,
16 they're -- there are missing records for --
17 primarily with the chemicals, because there was
18 no -- there was no activities on the site until
19 1986 when we put in an HF monitor to monitor
20 hydrogenfluoride gas, there was nothing that
21 monitored releases to the atmosphere of
22 chemicals. So these people were exposed to
23 concentrated nitric acid, hydrochloric acid,
24 hydrofluoric acid, everything you can think of.
25 And to me, that's just as dangerous as the

1 plutonium.

2 So I'm not going to stand up here and spout a
3 bunch of war stories right now 'cause you don't
4 need to hear those tonight. I've taken up
5 enough of your time on that. I'd just like to
6 say that Rocky Flats provided a service to the
7 United States of America during the Cold War,
8 and we handled a lot of the most dangerous
9 chemical in the world, as the -- as it's been
10 called, plutonium. What we pushed out the door
11 was a product for the government to use as a
12 deterrent to keep the rest of the world away
13 from our doors. Some of those were used at
14 Nevada for tests. I recently read in the paper
15 where Nevada got their SEC. Those people
16 handled the final product, had very little
17 radiation connected with it. And when I go to
18 Nevada and talk to those people, and I have
19 many times, they're scared to death of anybody
20 from Rocky Flats 'cause they know that most of
21 the people at Rocky Flats were exposed. You
22 know? So they -- they don't understand why we
23 ever did what we did and why we would continue
24 to work at Rocky Flats when -- they thought
25 they had issues; they don't even begin to

1 compare to Rocky Flats.

2 So I'd just like to say please consider what
3 all of these wonderful people have told you
4 about their experiences at Rocky Flats. And as
5 I told the people last year when we met and I
6 gave them the documents, you've got my name and
7 address and phone number. If you want to hear
8 any story from the time I got there, 1961, to
9 the time I left in 2002, I'll be glad to sit
10 down with you and tell you any of it. I was
11 involved in the fires and the cleanup and all
12 that. I have an extremely large -- for most
13 people -- radiation exposure. But I'm just one
14 of hundreds of people that had large exposures
15 -- larger than what was allowed by the DOE
16 regs. Those -- those, to me, aren't being
17 considered.

18 The arbitrary number that's been set is -- is
19 another thing that's of great concern to me
20 because -- again I'm going to use [Name
21 Redacted] as a -- as a for instance because we
22 worked side by side. What affects me maybe not
23 affects her. What affects her maybe does not
24 affect me. Our genes are different, our
25 backgrounds are different, everything. So how

1 can you set an arbitrary number on somebody
2 who's had the problems that she's had?

3 I thank you for your time.

4 **DR. ZIEMER:** Thank you, Jack. I -- I want to
5 find out how many would like about a ten-minute
6 comfort break or -- we have quite a few folks
7 to go yet, but --

8 **UNIDENTIFIED:** (From the audience and off
9 microphone) (Unintelligible)

10 **DR. ZIEMER:** Shall we keep going? We'll keep
11 going, and individually if you feel like you
12 need to slip out -- Board members, too, just
13 don't stay out long -- but we'll keep going
14 then. Okay. I -- I don't want any of you to
15 feel like you -- if you really need to slip
16 out, please do that.

17 Hannah Marschall.

18 **MS. MARSCHALL:** Hi. I'm Hannah Marschall.
19 This is the first time I've been in front of a
20 board like this, so don't have any notes. I
21 worked at Rocky Flats from the early 1980s
22 until they -- Kaiser Hill declared physical
23 completion in 2005. I think all of us that
24 worked out there knew that we were working
25 around danger-- dangerous materials. However,

1 we trusted our government to keep us safe. And
2 I -- I just think it's incomprehensible, to me,
3 that our government now is making those of us
4 that are sick grovel for such a stippance (sic)
5 of money. There aren't that many of us left,
6 and it's not that much money. And it just
7 seems as though the government could take the
8 high road and admit that possibly they put us
9 in harm's way and those that -- of us that only
10 have a couple years left to live, that they
11 could approve our claims and allow us, our
12 spouses and our children to have whatever time
13 we have left to live it with dignity and with
14 some peace of mind.

15 **DR. ZIEMER:** Okay. Thank you, Hannah. Mary
16 Ann Rupp.

17 **MS. RUPP:** Hi. I also want to thank you for
18 the opportunity to address this Board.

19 **DR. ZIEMER:** Mary Ann, pull the mike down just
20 a tad. Thank you.

21 **MS. RUPP:** Thank you.

22 **UNIDENTIFIED:** Us short people got to stick
23 together.

24 **MS. RUPP:** I want to thank you for allowing me
25 to address the Board, as with everyone else. I

1 am here tonight on behalf of my husband, who
2 could not be here as he died 11 years ago at
3 the age of 49 from lung cancer. I've had a
4 hard time with this because when he was
5 diagnosed his diagnosis was -- the primary site
6 was lung. However, it metastasized to the
7 brain.

8 I'm here to put a face to his claim tonight,
9 because he was a vibrant man, a family man, a
10 patriotic man -- as with everybody else in this
11 room -- and he believed in what he was doing,
12 also.

13 He was diagnosed and he was considered terminal
14 as soon as we had his diagnosis. He was a man
15 who -- he -- he was active, and I -- as I said,
16 vibrant. He lost his ability for speech. He
17 wa-- suffered paralysis. We spent a lot of
18 time playing charades because he couldn't
19 communicate with the family like he wanted to
20 do.

21 I have here which is what many of these people
22 have heard from NIOSH and it's called findings
23 of fact. The evidence of record does not
24 establish that exposure to toxic substances
25 experienced at the DOE facility was a

1 significant factor in aggravating, contributing
2 to or causing the lung cancer of Martin C.
3 Rupp. Therefore, Mary Ann Rupp is not entitled
4 to the benefit because she did not establish
5 that he developed a covered illness through the
6 toxic substance at the Department of Energy
7 facility, pursuant to 42 USC 7385S-4. And I'm
8 sure many of you are familiar with this very
9 same letter.

10 This is my third appeal, and I'm not only
11 appealing on behalf of my family, but on behalf
12 of everyone in this room. You can do little to
13 help my husband now, but you can do a lot to
14 help the people that are left here.

15 I just basically wanted to tell you how I came
16 to this. Martin worked at a pipe fitter out at
17 Rocky Flats. He was also out there as a field
18 engineer and an iron worker. He was there from
19 1983 till approximately 1992. The first two
20 years that he was on site he had absolutely no
21 dosimetry monitoring. We've -- you know, we
22 received -- I, as the other lady did, talked to
23 David Sundin, requested all the dosimetry
24 records, and I received a partial list -- and I
25 do stress "partial". He was there for nine

1 years and the dosimetry records I have
2 consisted of approximately three pages, the
3 majority of which said zero because there was
4 no monitoring, as I said, for the first two
5 years.

6 What brought me to this was that Martin was
7 exposed while he was working on the plant site.
8 He was not in a building. He was working
9 outside of building 776, along with a coworker.
10 They unearthed some contaminated items there.
11 And I had not realized this had happened until
12 this whole program started and his fellow
13 worker, a [Name Redacted], who was the
14 [Identifying Information Redacted] for pipe
15 fitter Local 208 out of Denver, came to me and
16 he says I think you and [Name Redacted] who was
17 the wife of the other exposed worker, need to
18 put in a claim. And then he told me why.
19 And when I first started the whole process with
20 NIOSH, you know, I went through the interview.
21 I told them that I -- I had come to this for
22 this reason, that I'd found out of his
23 exposure, and it was never considered a valid
24 reason. In all the times that I spoke with
25 NIOSH, all the interviews, all the letters,

1 other meetings I've been to, I -- I always told
2 them that this was what was in the forefront.
3 This was why I was here. But they never once
4 investigated it, which to me is unbelievable.
5 And I'd like to read to you just basically what
6 I've sent to them, and hopefully, as I said,
7 it'll put a face to my claim and help put a
8 face to many of the other claims and that the -
9 - that you will consider Rocky Flats for the
10 SEC.

11 I am again objecting to the fact that my
12 husband was on site from July of 1983 to
13 September of 1992, as corroborated by the
14 District Office of NIOSH. Information obtained
15 from the Freedom of Information Act on partial
16 dosimetry records -- and I stress partial, as I
17 have supplemental badge reports that were not
18 listed on the dosimetry badge report in the
19 dosimetry and radiation monitoring. Those
20 records, which I have included, state that they
21 absolutely had no monitoring data for -- in
22 1983 or '84, and the first dosimetry readings
23 on Martin did not begin until September of
24 1985. The two -- the two full years without
25 dosimetry monitorings of any type.

1 I am also objecting to the lack of
2 investigation of an incident that initially
3 prompted me to file the claim in 2003. It
4 involved both my husband and another employee,
5 whose wife has also filed a claim on his behalf
6 as he is also deceased. They died
7 approximately a year from one another. [Name
8 Redacted] cancer was cancer of the brain, brain
9 was primary site; Martin's was lung that
10 metastasized to the brain.
11 The incident of exposure was witnessed by their
12 supervisor/coworker, who is also [Identifying
13 Information Redacted] of the pipe fitter Local
14 208 in Denver. No interview regarding the
15 incident was ever conducted. It appears to
16 have been totally disregarded by NIOSH
17 investigators.
18 During my telephone interview of March 3rd,
19 2006 in which I stated in section six,
20 radiation incidents, that yes, there had been
21 an incident of contamination; and in section
22 eight, identify coworker and other witnesses,
23 in which I identified the coworker and also his
24 former owner and operator of the company for
25 which he had worked. He was one of the

1 subcontractors who Martin worked with at Rocky
2 Flats for many years and had detailed
3 information on job sites and locations, which
4 specified buildings and specific duties.
5 According to the NIOSH report of dose
6 reconstruction under dose from radiological
7 incidents, the record of the telephone
8 interview was evaluated carefully, and while
9 the telephone interview was used to assist in
10 determining whether Mr. Rupp worked there,
11 there had been no mention of any incident of
12 exposure -- which was not true, I had mentioned
13 that several times. The events of the
14 contamination were mentioned several times
15 throughout the course of the process. The job
16 of NIOSH was to investigate any and all forms
17 of the -- throughout the course of the process,
18 phone interview and witnesses to look at all
19 the data, gather from all possible sources and
20 then determine its validity. Without adequate
21 investigation into this incident and without
22 interviewing the witnesses who could give
23 insight into the circumstances of exposure and
24 the background to Martin's activities while
25 employed at Rocky Flats site, I don't feel the

1 claim was given credence it deserved.

2 NIOSH has based its evaluation of potential
3 exposure on inadequate and incomplete
4 information supplied by Rockwell International,
5 a company that was allowed to plea bargain out
6 of their culpability into alleged environmental
7 crimes to the tune of \$18.5 million, to forever
8 seal from the public the information uncovered
9 by a grand jury in 1992.

10 I have attended several of the neighborhood
11 meetings that have been held by the Department
12 of Labor, and the same information rings true,
13 that Rockwell International has falsified
14 information regarding dosimetry readings of
15 former Rocky Flats workers. Over and over I
16 have listened to individuals tell their own
17 experience of -- of readings from wrist
18 dosimeters that were never assigned, and
19 reports that for many years they were required
20 to wear their dosimeters under lead aprons,
21 with no reading to cover their heads and
22 extremities.

23 Martin worked on the water main building in
24 771, the plutonium production building, which
25 has been labeled by the Bulletin of Atomic

1 Scientists in 2001 as the most dangerous
2 building in America. Microscopic particles of
3 plutonium were extremely toxic if inhaled.
4 Martin and his coworker were both exposed when
5 working outside of Building 776 while digging a
6 trench with a backhoe, and they unearthed
7 something hot -- a direct quote from my
8 witness. According to the EPA Superfund
9 record, USEPA Region 8, Congressional District
10 Number 2, EPA ID number 890010526, bore hole
11 data indicated that radioactive contamination
12 is generally contained in the top 12 inches of
13 native soil. That plutonium, uranium and
14 americurium (sic) contaminated soil in the
15 central and eastern portions of the site, with
16 the most contaminated areas being on the
17 eastern edge of the industrial area. That
18 alone should have strongly suggested that
19 further investigation of the incident of
20 contamination should have been conducted.
21 It is also stated that significant amounts of
22 plutonium were in liquid form contained within
23 the deteriorating piping systems, which is what
24 Martin did as a pipe fitter. He also worked on
25 process piping systems, water heaters, flumes,

1 exhaust fans, heat exchangers, steam
2 conversions, cooling towers, plenums, heating
3 and air conditioning.

4 I respectfully ask that -- that reconsideration
5 of my claim -- claim be seriously reconsidered
6 due to the lack of investigation into incident
7 of exposure and all the areas that Martin
8 worked in on plant site.

9 I am not confident in the fact that NIOSH has
10 estimated his exposure adequately without
11 investigating all the facts I have submitted.
12 I believe that many of the people in this room
13 have the same problem. I have dosimetry
14 readings that were scrawled on pieces of paper,
15 just handwritten, no scientific data, nothing
16 to back it up. And I believe that along with
17 my husband and everyone in this room, they
18 deserve the right to have everyone consider
19 this and take it out of the hands of NIOSH and
20 the Department of Labor, and please consider
21 their claims. Thank you.

22 **DR. ZIEMER:** Thank you, Mary Ann. Next we'll
23 hear from -- I think it's -- could it be Chet
24 Stickelman? I'm have a little hard time
25 reading the first name -- Stickelman?

1 (No responses)

2 Okay. Yvonne Garrimone -- Garrimone? Yvonne?

3 Okay.

4 **MS. GARRIMONE:** Hi. Yes, my name is Yvonne
5 Garrimone and I'm here to speak on behalf of my
6 [Identifying Information Redacted], who passed
7 away [Identifying Information Redacted].

8 He started at Rocky Flats in October of 1981.

9 There he was a NDT tech, and I only know these
10 things second-hand and just through talking
11 through it with his coworkers, speaking with
12 people from the steel workers' union and trying
13 to do research on my own through the incomplete
14 records that was provided to me and my mother
15 from the Rocky Flats Plant.

16 Every time -- he first -- when we first found
17 out he was ill, it was April, 2001. After an
18 extensive stay in the hospital in ICU and
19 trying to recover, he placed his claim for --
20 with -- with NIOSH. He -- we -- we actually
21 received his dose reconstruction I believe a
22 month after he had passed away and to which my
23 mother got a phone call asking her if she
24 wanted to stay with what [Identifying
25 Information Redacted] had gone on record as

1 what he believed, which we do believe, what he
2 was exposed to. And just having to go through
3 this fight and be denied time after time after
4 time is a slap in the face, not only to us, the
5 survivors, but to people who are living with
6 the illnesses and various diseases that they
7 got through their exposure at Rocky Flats doing
8 their job, doing what they thought was right to
9 protect, you know, not only their country, but
10 to protect their families and to provide for
11 them.

12 I know that not only did [Identifying
13 Information Redacted] -- was he diagnosed with
14 pancreatic cancer, but two other people in his
15 group, as well. He never once, through the
16 whole ordeal that he was put through,
17 complained. But the one thing that he did make
18 me promise and as well as [Identifying
19 Information Redacted] is that we would fight,
20 not only for him, but for everyone else that
21 has been put through this whole ugly, ugly
22 mess.

23 The only thing that I really want, more than
24 anything else -- not the money. It doesn't
25 matter. But for [Identifying Information

1 Redacted] to be able to see his ten-month-old
2 granddaughter, to see everything that he's
3 missing. When [Identifying Information
4 Redacted] died at the age of 47 from pancreatic
5 cancer, and I will tell you, that is the most
6 horrible way to watch somebody die.

7 [Identifying Information Redacted] was a very
8 active man, and that ugly disease took him away
9 from me, my mother, my sister, his grandson and
10 everybody else who loved him and knew him. And
11 I did not mean to get this emotional, but
12 please, for -- not just for me, but for
13 everyone else and anyone else who gets sick
14 from this place, pass the special cohort status
15 for these people so that we don't have to do
16 this fight and get slapped in the face every
17 single time. Thank you.

18 **DR. ZIEMER:** Thank you, Yvonne, and for being
19 brave enough to share that.

20 Don Saber.

21 **MR. SABEC:** Sabec?

22 **DR. ZIEMER:** Could be Sabec, S-a-b-- S-a-b-e-k,
23 is it?

24 **MR. SABEC:** C, c, c.

25 **DR. ZIEMER:** B-e-z.

1 **MR. SABEC:** S-a-b-e-c.

2 **DR. ZIEMER:** Okay, S-a-b-e-c, get it on the
3 record here correctly. Thank you.

4 **MR. SABEC:** My name's Don Sabec, as you well
5 know now. I started at Rocky Flats in April of
6 1961 and I retired the end of June of 2004.
7 What I want to talk to you about is these dose
8 recalculations. You know, it -- it took 33
9 years before I finally got a true dose
10 assessment. And July 28th of 1994 they
11 notified me that they did a dose reassessment
12 on me and had to add 30-- 36,108 millirem to my
13 exposure. And at the time I had a calculated
14 dose of 71,415, and when you add it all up I
15 ended up with 107,523 millirem.

16 But 23 years later is -- or 33 years later,
17 excuse me, is just a little too late on -- on
18 that. And during that calculation they
19 happened to add in two years that I missed
20 Rocky Flats -- I got to go to work for the
21 Department of Army for a couple of years -- and
22 they did give me a dose for that. And I
23 brought it to the attention in the meeting --
24 the summer meeting at Jefferson County Airport
25 that they added that two years that I wasn't

1 even at the Rocky Flats, and I don't know what
2 -- the numbers they come up with or how they
3 come up with it. And there was a gentleman
4 there from NIOSH that heard me make that
5 statement. Well, again, I was down at the
6 Marriott with -- with [Name Redacted] last --
7 in the -- in the -- I guess it was the fall
8 that we went in there, and said something about
9 it when I made a testimony again, and he got me
10 after I made my testimony and says Don, he
11 says, I -- I remember doing yours 'cause I
12 remember the two years that you said that you
13 had an exposure from Rocky Flats that you
14 weren't even there, he says, and I did a dose
15 recalculation on you. But he said I had to add
16 another eight rem to your exposure. And I said
17 well, that -- not too good. He said -- and I
18 thought he was going to mail me a -- a copy of
19 that -- that exposure value. I never received
20 anything from that, and I kept telling
21 everybody I'm pretty lucky, I haven't had any
22 symptoms at all from Rocky Flats. Until
23 October -- it was early October they found
24 cancer in my eye -- I don't remember the date.
25 Anyway, October 11th they removed it and I -- I

1 don't know, I go back tomorrow to see if it's
2 coming back again, but when I talked to the
3 Department of Labor when I -- I made a claim.
4 That's the first time I've ever done anything
5 like that, and I told them it wasn't malignant;
6 it's very hard to get malignant cancer in your
7 eye, they said well, if it's not malignant, we
8 don't even compensate you for it. But I did
9 have an interview over the telephone, thought
10 everything was -- they would contact me and
11 make -- have a hearing. That -- that didn't
12 happen. They -- they sent me another form to
13 fill out that they want to know my entire
14 history of the jobs I performed.
15 Well, in 44 years of work out there, I don't
16 know if anybody could remember the jobs -- all
17 the jobs they performed. I -- I was a chem op
18 for seven years. That's when the -- I probably
19 got my -- most of my neutron excess, but -- and
20 I really feel that this dose recalculation
21 thing is -- is just about like a dart board
22 effect. You -- you throw a dart, hit a number
23 and that's what you're going to get, because
24 there's so many incidents that we had that was
25 not reported -- spills, contamination. We'd

1 take them in -- in 771 we'd taken them in there
2 if they had their hands contaminated and their
3 face contaminated, we -- we'd wash them down in
4 the area in a decon room and there -- most of
5 the time there was never even a record made of
6 it. So I -- I don't know how you people can
7 make an intelligent decision on the exposures
8 of people at Rocky Flats, when -- when I can't
9 even get records -- I -- I had to really cry
10 the blues to get my own records. Rocky Flats -
11 - when I retired I requested a copy of them.
12 It was two and a half years before I even got
13 anything from them.
14 So I just want to say that the dose
15 reconstruction is -- is almost impossible for -
16 - for the lack of record keeping Rocky Flats
17 did because the number one game was production.
18 When you're in production, you know, it's damn
19 the torpedoes, full speed ahead. And -- and
20 the same -- same criteria, same mentality, was
21 the same way when we're in D&D. That's one of
22 the reasons I got out as early as I did 'cause
23 I felt very healthy and felt I could keep
24 working, but the way things were going, I
25 thought -- you know, somebody's going to

1 really get hurt -- which they didn't; they
2 lucked out.

3 Anyway, I appreciate you people coming down
4 here and looking at this and -- and hopefully
5 that you -- you can come up with something that
6 is going to compensate people for what they
7 really deserve. Thank you.

8 **DR. ZIEMER:** Thank you. Then Jack -- is it
9 Blakeslee -- Blakeslee, or --

10 **UNIDENTIFIED:** (From the audience and off
11 microphone) He left.

12 **DR. ZIEMER:** Oh, he left? Okay. How about
13 Dale Tinkle? Dale?

14 (No responses)

15 Michael Logan.

16 **MR. LOGAN:** I just want to thank you for
17 hearing us and all, and hopefully we can get
18 things squared away. But I started at Rocky
19 Flats in October of 1978, worked there until
20 June 19th of 2003, got laid off and took the
21 early retirement. In the meantime, in '94 I
22 left for ten months and then came back, take
23 care of some family business. And there's so
24 many stories you can hear, you know, starting
25 out out there.

1 For example, I started out as a janitor, then I
2 progressed to a service attendant, working in
3 the garage servicing the fleet vehicles. And
4 then I went to a metallurgical operator working
5 in the foundry with the plutonium and dealing
6 with all the castings and material with stuff
7 like that.

8 Some days we'd have SAAM alarm go off probably
9 ten, 15 times. The way they did the air flow
10 is that the air may be flowing towards you, the
11 SAAM alarm's behind you, and by the time it
12 goes off you've already got an uptake. A lot
13 of times if you request to go to body count, if
14 you're fortunate enough to let someone agree to
15 send you up there, it come back as background.
16 But yet if they do nasal smears or anything
17 like that, it comes out that you've got an
18 intake.

19 Far as the radiological records, I've been
20 fighting for three months now trying to get
21 mine and I keep getting the runaround. I
22 talked with a gal in Washington, D.C., her
23 name's [Name Redacted] at Rad Records, and she
24 keeps referring me to someone else, they refer
25 me to someone else, but I -- I keep getting the

1 runaround. I don't know what else to do.
2 A lot of the people here have very, very viable
3 complaints, issues over it that needs to be
4 addressed. You know, we hope everything will
5 come out okay and everything's done right. You
6 know, it's kind of like when I was brought up
7 as a kid, you know, you -- you're taught to do
8 right and do the right thing, but it doesn't
9 appear that it's either, one, it's the system
10 or the people handling the system.
11 Every time I get on the computer I just -- I
12 get real angry, looking at the different issues
13 with Rocky Flats. [Name Redacted] has
14 diagnosed me of having asbestiosis (sic).
15 National Jewish says it is inconclusive, but
16 all the symptoms are there as far as the
17 thickening of the pleural lining of the lungs,
18 which also has the same consistency as
19 berylliosis, which I've worked with that also.
20 Now [Name Redacted] also wrote an article on
21 the beryllium testing, the program, and gone
22 into great detail on how it works. But there
23 was another partner with them, another doctor,
24 and this kind of scares me to death, he was a
25 doctor of veterinarianian medicine. Now either,

1 one, he does have some knowledge of the
2 background of radiation or beryllium; or two,
3 were we guinea pigs? I mean I don't mean to
4 sound nasty, but there's a lot of
5 inconsistencies of them losing records, records
6 come back incomplete, or they're changing our
7 dose to zero when we've been in the area. So
8 what you're saying is by waving the magic pen,
9 we don't -- we automatically don't get any
10 radiation, we don't have no dose?
11 Right now I'm fighting with a tumor in my
12 spinal cord. I haven't had any comment back on
13 that from the Department of Labor. Far as the
14 asbestos of that, I've been denied the
15 financial. They say they would like to do the
16 medical surveillance on it, but I haven't seen
17 anything on paper.
18 I had to fill out some paperwork the Department
19 of Labor sent me far as have I ever filed a
20 suit against any labor department or workmen's
21 comp or do I have any claims pending, which I
22 don't. We FAXed it to them. I get a call
23 today, where -- where's the paperwork? Well,
24 you guys have -- it's been FAXed to you. I
25 have the paperwork that shows that you have it.

1 I hate to see it, it's kind of scary, but
2 either, one, they're hiding stuff, which I
3 would not like to believe; or two, somebody's
4 just not doing their job.

5 I don't think we're asking for every -- you
6 know, there's no way that DOE can come up and
7 just wave their magic wand and everything's
8 right. We want them to stand up and at least
9 make an honest effort. You know, at first,
10 when I was really scared and mad about the
11 tumor in my spinal cord, I thought that the
12 Department of Energy didn't care about us. I
13 thought we were just a piece of meat and a
14 number, but a piece of meat's a precious
15 commodity. I'm not sure, we were just doing
16 our job, what we were told to do. We were also
17 told that the radiation exposure that we got by
18 going to the dentist or having a couple of X-
19 rays a year -- you know, chest X-rays -- you
20 know, you get more radiation exposure there
21 than you did at Rocky Flats in a full year.

22 I'm still at the point now, there's only two
23 things they've told us: Lies, and more lies.
24 If I was to go out and get drunk and run over
25 somebody, I'm held accountable. But is our

1 government held accountable for what they do?
2 It's got to be a two-way street. I was brought
3 up to do things right and do the right thing,
4 and I've done my best to do that, working for
5 Rocky Flats doing what I felt was in the best
6 interests of my country. I cared. And a lot
7 of these people here, you -- you won't find a
8 more dedicated group of people. We're a honest
9 bunch of people, and more caring. Thank you.

10 **DR. ZIEMER:** Okay. Thank you, Michael. Cheryl
11 Hewitt-Ballou.

12 **MS. HEWITT-BALLOU:** Good evening. [Identifying
13 Information Redacted] is why I'm here. His name
14 is [Name Redacted] and he was diagnosed with
15 berylliosis chronic disease and asbestosis. He
16 was one of the first people that actually
17 helped build Rocky Flats in the late '50s and
18 going through the '60s and into the '70s. He's
19 been in every single building on the facility.
20 His job was working for the sheet metal workers
21 Local Number 9. He would crawl in and out of
22 ductwork that had been contaminated with
23 beryllium dust. He had it covering him. There
24 was no security. There was no OSHA, if you
25 will. There was nothing to let him know that

1 the dust that he carried home to his family was
2 actually radioactive dust, and that he had
3 inhaled it, he had also ingested it. He had it
4 all over his lunch pail.

5 As a child growing up and watching [Identifying
6 Information Redacted] come home from this
7 facility, I would of course greet him when he
8 came home with loves, kisses and hugs. He also
9 had a little trick that he did every day for
10 me. He'd always leave a little tidbit in his
11 lunchbox for me to eat. Well, I did this every
12 single day that he brought home his lunchbox.
13 This box was covered with dust. We had no clue
14 as to what the dust actually was until many
15 years later.

16 Now I am as mad as hell, and I don't want to
17 take this anymore -- if I may quote a famous
18 actor in a movie. He yelled out the window.
19 All of these people that are here, and the ones
20 that did not get the information that this
21 meeting was being held this evening due to lack
22 of correcting themselves and making sure that
23 you address the people the correct way with
24 notification of ample time to get them here to
25 this meeting. One newspaper article isn't

1 enough.

2 These people are sick and they're dying. I'm

3 sick and I'm dying. I went through a double

4 mastectomy at the age of 49 years of age due to

5 the beryllium poisoning that I have in my

6 system. I documented this beryllium poisoning

7 in my system when I was pregnant with my son

8 that is now 16 years of age, because I was so

9 concerned of it being transmitted. I realized

10 [Identifying Information Redacted] had brought

11 the dust home. I realized that we had contact

12 with it physically, by inhaling it and

13 ingesting it. I was so concerned I went to

14 National Jewish Hospital with [Information

15 Redacted] on a specific appointment, and I

16 asked the doctor specifically, is this

17 transferable to my child that I'm carrying.

18 And of course he could not answer me. But now

19 at this point of my life, at 51 years of age,

20 after going through a double mastectomy, I am

21 now looking at where it's involving my liver

22 and my kidneys and my lungs.

23 Now these beautiful, wonderful Americans stood

24 by the country and they did their job. They

25 were screwed. I'm sorry, I'm not very polite.

1 I like to put things black and white. They've
2 been screwed by the government by lack of
3 keeping records, by lack of truth, by lack of
4 supplying ample, complete records for them to
5 be able to go to doctors that should be
6 supplied by the government to take care of
7 them. They did nothing wrong but to do their
8 job.

9 We're not asking for any miracles because we
10 already know that we've been contaminated. We
11 already know what our outcome is. You're not
12 one of those people. You're being paid to sit
13 here and listen to the sob stories and then
14 you'll walk away and you'll dismiss it, just
15 like all the rest of these meetings have done.
16 All of these years we've talked, we've begged,
17 we've pleaded and we've asked nothing but to do
18 the right thing by these people, the Americans
19 that supported the country that we believe in.
20 I don't think that's too much to ask.

21 The families have been affected so much that
22 they've been basically put back on the shelf,
23 shut up, nothing to do about it, the government
24 will eventually get their act together. Well,
25 you know what? I don't believe that the

1 government's going to actually get their act
2 together. And the reason why? You haven't
3 done it yet. How many more years do you wait?
4 You'll wait long enough for every one of these
5 people and their family members to die, and
6 then you'll go oh, guess what? I guess we were
7 wrong. Thank you.

8 **DR. ZIEMER:** Thank you, Cheryl. Diane Jensen?
9 Is Diane with us?

10 **MS. JENSEN:** As you stated, I'm Diane Jensen.
11 I spent 22 years out at the Flats, and my first
12 eight years I spent as a chemical operator.
13 That meant hands-on processing with plutonium.
14 And as a chemical operator, we went through
15 progression period. That meant we learned how
16 to handle plutonium in a liquid form, a solid
17 form, a metal form. We bagged in, we bagged
18 out. We touched it hands-on every day, moving
19 it from one glovebox to the next.
20 The remaining years I spent in technical
21 support in a production building. I was always
22 within 50 feet of the production area.
23 In 2003 I was diagnosed with breast cancer.
24 I'm currently in -- was in remission. I now
25 have a growth on my thyroid.

1 I want to thank you very much for this venue to
2 tell you about our concerns, the inaccuracies
3 that I've found in struggling with this huge
4 system. I want to address my concerns to you
5 because you are the audience that can make the
6 decision. You are the decision-makers for our
7 future, so that we can quit fighting and get on
8 with our lives.

9 Special Exposure Cohort status is extremely
10 important to those of us who have been ill, but
11 I need to let you know that the system that's
12 in place is broken, how it is broken, and that
13 the administrators of the program cannot fix
14 it. They do not have the expertise, the
15 ability or the resolve to handle the issues.
16 The Department of Labor is currently tasked
17 with administering this program. They have no
18 knowledge of radiation. I spent some time with
19 a hearing officer for the FAB board. My report
20 from that meeting lists my exposure, measured
21 in grams. Now I was under the impression it's
22 millirem, rem -- again, they have no concept of
23 radiation.

24 The hearing officer is not the least bit
25 concerned that they don't understand radiation,

1 because NIOSH is the determining factor. They
2 are only in place to make sure that the NIOSH
3 determination is enforced. And they hide
4 behind that law. It is on-- and it is the only
5 tool they have to make their determination
6 because NIOSH is the rule that determines least
7 as likely or not. It is not their job to
8 understand, but only to implement. They have
9 no idea of the relevancy of radiation dose.
10 And to make it more frustrating, you cannot
11 question the methodology. You cannot question
12 the numbers they use, because only NIOSH can
13 handle that. They can send questions back to
14 NIOSH, but they can't address concerns, and
15 they forbid you from questioning the
16 methodology because NIOSH is the governing
17 body.

18 Well, I have many questions, and they have a
19 common theme for many of the people here. I
20 have missing doses. I have zero readings, and
21 I have inaccurate readings.

22 NIOSH also makes assumptions about the readings
23 they have, and -- for example, they assume that
24 if you have a zero reading, or if you have a
25 missing dose, that the dose was too low to

1 calculate, so they apply a small value to your
2 dose to say this accounts for the missing dose.
3 Well, they had it wrong. The assumption is
4 wrong. They are adding a small value, when in
5 actuality the dose that is missing is high.
6 Many doses that I have missing in reality came
7 back as no data available from times that I
8 spent inside vaults, times that I've spent
9 looking for cans or buttons that we had to find
10 during inventory, so you spent hands-on time in
11 a room that has 400 millirem for exposure. And
12 your dose comes back zero or no data available?
13 I'm sorry, that's wrong.
14 This statement also translates into a statement
15 they put on your dose reconstruction that says
16 everything applied is claimant favorable, so
17 this small factor that they added for a dose
18 that was too high to calculate was used to say
19 it is claimant favorably (sic) because they
20 added something for that zero.
21 These statements are also like a narcotic to
22 the claims administrators. Though they have no
23 knowledge of the questions about radiation,
24 they falsely believe that the system is built
25 to compensate the employees with a foreseeable

1 air factor, and that it's been applied.
2 They're confident this mechanism's in place.
3 I also have concerns about the inaccurate
4 reading due to the process, the procedures to
5 subtract background from actual readings. What
6 if an employee actually received background?
7 In 1991 when I was an office worker, my dose
8 went down drastically from when I had hands-on
9 experience. My dose for the year was 46
10 millirem. But to be claimant favorable, they
11 gave me 100 millirem. My office was room 101
12 in building 771, and my wall -- my desk was on
13 -- was adjacent to the abandoned americium line
14 in 771. In 1993 the Department of Defense said
15 hey, we have 300 millirem at the badge board,
16 and this has been adjusted downwards for 2,000
17 man hours. One, we worked 50-hour weeks, so
18 there's no concept of 2,000 man hours. And my
19 office is here, between the source and the
20 badge board. A badge board's 300? The source
21 is constant. Tell me how I got 46. I don't
22 know a physics book that comes up with numbers
23 like that.
24 In the mid-1990s the operator realized that had
25 issues with dose in 771. They'd placed metal

1 shielding in the wall for what was my office.
2 We had people here who'd mentioned the guard
3 posts, the vestibule in 771. The radiation
4 dose coming off the americium line, the
5 abandoned americium line, was so high it was
6 setting off my monitors. They had to install
7 metal shielding. Give me a break. How can you
8 tell me I got 46 millirem?

9 This affects all office workers in production
10 buildings. By definition of the term "office
11 worker", someone who was not required to wear a
12 badge, we were assigned 100 millirem because,
13 by definition, we were supposed to receive less
14 than 100 millirem.

15 In the mid-1990s Building 371 housed the
16 majority of the plutonium on plant site.
17 (Unintelligible) said it was 12.9 metric ton.
18 And you can move that plutonium all you want.
19 You can move drums from one location to the
20 next to change doses in areas, but you still
21 have office areas exposed to dose because the
22 office areas are adjacent to the vaults and are
23 positioned directly above the vaults.

24 I actually brought with me tonight things I
25 would like to submit, which are dose records

1 for 1996 and 1997, and the dose records for the
2 office areas in Building 371 and 374 you will
3 note significantly the bottom mark is 100
4 millirem. The bars on the right are 371 and
5 374 office areas, doses ranging from 200, 300,
6 400, 500, 600 and 700 millirem, office areas.
7 Us office workers got credit with 1,000 (sic)
8 millirem to be claimant favorable. There's an
9 error here. Something is wrong. We were
10 short-changed. NIOSH's assumption is not
11 claimant favorable. The numbers are wrong,
12 whether intentionally manipulated to meet
13 corporate bonus structures, due to company
14 policy to bring them down to 2,000 man hours,
15 or the natural inclination to disbelieve your
16 indicators when you have high doses. No matter
17 what the reason, the result is the same: The
18 numbers are wrong.

19 Office workers got significant dose. The
20 numbers they use are not claimant favorable.
21 And the Department of Labor is not experienced
22 enough to know the difference between a gram
23 and a rem. I have very little confidence in
24 their ability to administrate the system.
25 When you're voting tomorrow, please consider

1 the accuracy of the numbers that were used to
2 determine our destinies. Think of the false
3 assumptions that contributed to our assigned
4 dose. Think about the consequences of your
5 decision. Special Exposure Cohort status will
6 not make us well. We do not want sympathy. I
7 want acknowledgement. I want to get on with my
8 life. I don't want to spend it fighting the
9 system. So tomorrow please vote yes on the
10 Special Exposure Cohort status for Rocky Flats.
11 Thank you for your attention.

12 **DR. ZIEMER:** Thank you. Thank you, Diane.
13 Next, Dennis Virgil (sic). Just for planning
14 purposes, folks, we've got Dennis and then
15 Jerry Mobley and Liz Huebner will complete our
16 list. So Dennis...

17 **MR. VIGIL:** Members of the panel, workers --
18 hi, cuz -- my wing man, another wing man. Not
19 real good at this kind of talking. I'd like to
20 thank the Board. I appreciate your patience.
21 I don't know that I would like your job, either
22 -- paperwork and all that's involved.

23 Dennis Vigil, I was a Navy electrician and a
24 Seabees lineman, and I came to work. I wor--
25 and we're part of elite groups, construction,

1 mine workers, maintenance people, production,
2 monitors and operators. We are the band of
3 brothers and sisters. We learned our trades
4 and did our jobs well. Rocky Flats, we gave
5 you the best years of our lives. Along with
6 other families, I was a lineman electrician at
7 Rocky Flats. I have a blood brother that was a
8 'lectrician at Rocky Flats. He lost a kidney
9 to cancer. I myself have been learning medical
10 terms as far as lung nodules, nodules in the
11 lung, cysts in the kidneys and the National
12 Jewish Hospital has brought some of these
13 records out. Our claims have been denied. All
14 I ask is that we take time so that America, you
15 need to hear our cry. Thank you very much.

16 **DR. ZIEMER:** Okay, and Jerry Mobley? Hi,
17 Jerry.

18 **MR. MOBLEY:** Hello. First I want to compliment
19 all of you. I'm almost amazed that you have
20 eye contact with the people talking. None of
21 you have fallen asleep or become bored, that I
22 have seen. I've been watching you.

23 **DR. ZIEMER:** Well, I hope we don't start now
24 then.

25 **MR. MOBLEY:** In a minute, with your permission,

1 I'm going to ask for a raise of hands of the
2 people -- I don't know if it's appropriate or
3 not, but I will.

4 **DR. ZIEMER:** Depends on how embarrassing the
5 question is, I think.

6 **MR. MOBLEY:** My name is Jerry Mobley. I was a
7 stationary operating engineer in Building 371
8 for 13 years. I came down with a skin cancer
9 on the scalp -- the worst kind you could have.
10 Then it went into my lymph nodes as metastatic
11 (sic) malig-- anyway, it went into my lymph
12 nodes.

13 **DR. ZIEMER:** Right.

14 **MR. MOBLEY:** Shortly after that, I had a real
15 balance problem. I still have a balance
16 problem. But they did a CAT scan and
17 discovered I have a ping-pong-sized tumor in my
18 left cerebellum. When the doctor came to the
19 house, which was unusual, to tell us about
20 this, that I was going to have to have some --
21 see a brain surgeon the next day, he told my
22 wife and I that we needed to get my affairs in
23 order. And my wife said so then this next
24 month we should, you know, get things set up.
25 And he said no, this week, before the surgery,

1 'cause he's not likely to make it. Well, I'm
2 still here, thank goodness.
3 It wasn't a tumor. You know what it was?
4 Severe radionecrosis. When the surgeon came
5 out to tell the family after the surgery --
6 which lasted one-fourth of what it was supposed
7 to last in time -- the surgeon was quite
8 baffled. He said how did -- Jerry hasn't been
9 exposed to radiation. And what did my family
10 say? What did my family say? Yeah, he's been
11 at Rocky Flats. And the surgeon says huh?
12 'Cause this is his first radionecrosis that
13 he'd ever seen as a brain surgeon. They
14 thought it was going to -- they were going to
15 find metastatic (sic) malignant melanoma in my
16 brain.
17 Well, anyway, to make a long story short, they
18 didn't.
19 Now, I'm still here, thank goodness. But this
20 last January I had to file bankruptcy. I have
21 been fighting medical bills -- every time I go
22 for a PET scan, they want \$400 from me. When I
23 went to work at Rocky Flats, one of the
24 benefits was you're going to have lifetime
25 medical. They're going to take care of all

1 your medical bills. Has that happened?

2 **THE AUDIENCE:** No.

3 **MR. MOBLEY:** No. \$86,000 I had to file
4 bankruptcy on last December. I told the doctor
5 last week when he wants to do another PET scan
6 coming up 'cause I'm having breathing problems,
7 where's the \$400 going to come by? He's
8 working on it. Hopefully he can come up with
9 it. I don't have it anymore. I'm busted.
10 Now, what I wanted to ask for a raise of hands
11 was, there are 12 of you here, the exact number
12 that was in my group in 371 for the 13 years.
13 They weren't all the same group, but when we
14 finished up there were 12 SOEs. Of the 12
15 SOEs, five have skin cancers, the worst kind.
16 But wait a minute, that doesn't fit the profile
17 for natural skin cancer according to NIOSH
18 because I'm not even supposed to have skin
19 cancer from radiation. It doesn't happen.
20 Right?
21 Okay, the numbers are telling me something
22 different. Now when I was going to ask for a
23 raise of hands, how many of you are from -- not
24 from Denver here in Colorado?

25 **DR. ZIEMER:** Not from Denver -- not from

1 Denver.

2 **MR. MOBLEY:** Not from Denver.

3 **DR. ZIEMER:** Not from Denver.

4 **MR. MOBLEY:** If you were told when you came
5 here that if you go to this Denver, you're
6 going to have -- five are you are going to come
7 down with skin cancers -- oh, but it's not
8 connected with anything up here; it's just that
9 the probability is so high if you go to Denver
10 -- would you come?

11 When I -- no, you -- right, you wouldn't. You
12 wouldn't take that risk. I wouldn't take that
13 risk if I'd known what was happening. We
14 didn't know we were coming -- all coming down
15 with skin cancer until all of a sudden it's
16 happening.

17 And so when you vote tomorrow, a yes -- I don't
18 know if it's going to affect me because they
19 say melanomas are not covered, even though it's
20 cancer. This doesn't make sense. And severe
21 radionecrosis isn't on the list because it's
22 not supposed to happen, but I hope that -- I
23 doubt honestly that I will ever see any of the
24 benefits. I don't think I'm going to live that
25 long. But I would hope for my wife, who has

1 supported me completely, will be able not to
2 have to sell the house. We've mortgaged the
3 house to the hilt to try to -- 'cause I feel
4 that -- I've always felt that I want to take
5 care of my debts. I never wanted to go out and
6 establish a debt and then walk away and say you
7 figure out how -- so with that, thank you.

8 **DR. ZIEMER:** Thank you. Okay, Liz Huebner.
9 Liz?

10 **MS. HUEBNER:** I -- I'm Liz Huebner and she's
11 helping me here because the other day we made
12 some posters that we were going to put around
13 on our behalf and I started at Rocky Flats
14 February of '98 and halfway through the '98s
15 the doctors told me that my body was starting
16 to be the body of a 90-year-old and I had a lot
17 of things happen and a lot of muscular and
18 different things. And I worked in 883 building
19 and [Name Redacted] came in and said well, the
20 chairs don't match, we have to take them away.
21 And so we sat on the uranium ingots and the
22 LIPS project and all that and the engineer came
23 through and says well, you shouldn't be setting
24 on that because that affects your production
25 organs and so I've had a full hysterectomy and

1 all that.

2 But a couple things I'd like to bring up about

3 this reconstruction is we have MSDS sheets,

4 which everybody knows is material safety data

5 sheets, and for chemicals and all kinds of

6 things. That stuff on there gives you things

7 that it affects in your body. Now these

8 manuals were written and so I don't understand

9 why all of a sudden these manuals are in

10 question about chemicals and how they affect

11 your body because some of the chemicals we

12 used, like say in 883 building, when the fans

13 went down the chemicals caused a -- it was as

14 tall as this -- it was a white wall, to turn

15 yellow, and we were told to continue working.

16 We never had respirators. It was a uranium

17 facility and when we left the building for

18 breaks, we had to take all of our clothes off -

19 - we had our boxer shorts and our T-shirts --

20 and then we'd go to break. But all the carpets

21 would come up hot all the time and so forth.

22 And another thing is when we went to body count

23 working in the uranium -- and they had

24 beryllium in there, also, because it was the

25 foundry building -- we took two showers to get

1 body counts. We had to take one at the
2 building, and we had to take another shower at
3 the medical building before we took our body
4 count because they knew that the dust would be
5 on us and the dust got in the offices on the
6 second floor. They had to replace the carpets
7 many times because they would come up hot. And
8 so like -- I don't understand the
9 reconstruction part.

10 The same with radiation. The radiation -- they
11 had standards for those radiation things, and
12 it gave what effects it does on your body. And
13 some of the medical problems I had at the time,
14 I would bring this up and they would say oh,
15 no, it can't be that. Now I know they say it
16 was chronical (sic) over a period of time, but
17 during production periods people got acute
18 doses. You take the doses over a whole working
19 time, that doesn't matter. They should be
20 taking the times when we got the high doses.
21 When I worked in 707, every other month I had
22 to be taken out of G module because I'd get 100
23 millirem. They'd take you out a month, then
24 they put you back the next month. You'd get
25 your next 100 millirem, then you're out a

1 month.

2 Another thing was they used air flow patterns
3 for wearing respirators, so when we worked in D
4 module, if a SAAM alarm was going off at one
5 end of the building, at this end we would
6 continue to work in the gloveboxes and not
7 required to wear a respirator because the air
8 flow supposedly (sic) kept all the radiation at
9 that end of the building, so we continued
10 working.

11 Then we had another time when the bellows had
12 been leaking, and nobody knows how long, in one
13 of the gloveboxes. And one day they had the
14 janitors come in and do the floor, so they were
15 supposed to clean the floor, and the procedure
16 was supposed to be that you had the floor
17 surveyed first. Well, the survey was not done.
18 The floor was swept. And that one sweeping
19 contaminated the whole room because there was a
20 bellows leaking that nobody had any inclination
21 that it had been leaking all this time. And
22 once it got spread around the room and we had
23 to decon 24 hours straight for three days we
24 deconned that room.

25 A lot of procedures were in place but not

1 followed, and we were told to go ahead and do
2 the work anyhow. Things -- I was an inspector
3 out there in the machine shop. I worked all
4 the buildings except of course 111 and 115 -- I
5 didn't work those -- but all the others, and we
6 had training as inspectors and I was an RCT. I
7 was in the labs. In the labs we were working
8 without gloves and that happened to be the time
9 I had my hand surgery. You know, I was getting
10 a lot of radiation exposure to my hands, but
11 they said no, you know, that can't be. But yet
12 you look at the books and the books say with
13 this amount, this can cause this kind of health
14 problem.

15 So I do not understand. They wrote manuals.
16 They were supposed to be god. We were supposed
17 to follow them, but all of a sudden these
18 manuals are incorrect and they're not to be
19 used.

20 The dose out at Rocky Flat was spread among all
21 the people, not just the workers, but they took
22 everybody on site so they would keep our dose
23 down per individual. So all the workers --
24 you're getting high dose.

25 My husband -- he was diagnosed with the Be, had

1 the lavages, and he couldn't -- he wasn't
2 supposed to, during the days of -- of decon and
3 cleanup, he wasn't supposed to work around
4 beryllium. And he was on the beryllium
5 program. Now the last lavage they tried to
6 perform on them, they couldn't finish it 'cause
7 they couldn't extract anything back out. Now
8 here all of a sudden he's not in the program.
9 He has to start over. They say you're not in
10 the program now, we -- you have to reapply.
11 And then they said well, your papers aren't
12 original, they aren't this and that, and we're
13 finding that papers are getting shredded,
14 documents, documents that were legal according
15 to the law. I just don't understand how all
16 these documents can be denied.
17 And I'd like to bring up about a man out there.
18 He lives in Ohio now because he used to be a
19 machinist. He worked in 707 with me and one
20 night the machine got some plutonium in his
21 arm. He waited over 45 minutes for the rescue
22 -- or the rescue team to come and take him up
23 to medical. He now has MS so bad he's
24 wheelchair-bound and nobody's putting anything
25 together for him. I -- I feel that with all

1 the muscular things that went on, those should
2 be considered also because bones and muscular
3 were in the books, too.

4 And let's face it, Rocky Flats did a lot of
5 things that were illegal, 'specially at the
6 end. I had people that I checked out on the
7 step-out pad that had infinity on the
8 respirators and on their clothes, yet they were
9 not given nasal/mouth smears. There was no
10 record kept of this. I said aren't you to get
11 one? They said it's not required in our work
12 package. So there's all these young people
13 said oh, when I get sick down the road, I'll
14 come and claim. I said there will be no
15 company.

16 So I just want to make a point that you had
17 things in writing, and they were connected to
18 things, yet you sweep them under the carpet.
19 Everybody was put in one pot and things were
20 split among 5,000, 6,000 people, when the
21 people who got the exposure -- it -- sure, you
22 know, they say it's chronic over a long period.
23 But there was a lot that was right then and
24 there and it was acute, and that was
25 overlooked.

1 How can just one month being out of a room help
2 your dose? You get 100 millirem. Okay, we'll
3 keep you out a month, then go back. I mean the
4 things were black and white, yet now they have
5 to be reconstructed and I just don't understand
6 how the government is two-faced.

7 But anyhow, that's -- oh, one other thing.
8 Bioassay was never taken seriously, either. I
9 had positive bioassay. I never found out for
10 four or five months that I had been in positive
11 bioassay. And so there's so many things, so
12 many loopholes that were made out there that
13 are not being put in the reconstruction, and
14 the workers that were out there -- we were made
15 to look like we were saints, that we came to
16 church, we just did our thing, no harm was
17 there, yet there was harm all around us.

18 A bag-out that was done, over 100 millirem of
19 material bagged out and just left to set. The
20 rules were -- were supposed to be in place, but
21 towards the end they weren't, and people were
22 getting acute, not just chronic doses, and
23 we're paying the rest of our lives.

24 I pray that I don't live to be very old. I
25 don't want to suffer anymore. I live on

1 morphine and pain pills and this and that. I
2 go every two months to get shots in my spine.
3 I don't want to live old. But still I think
4 people should be compensated. We thought we
5 were helping keep America safe. Those bombs
6 were to keep America safe, and now it's like it
7 didn't matter. We're just like the soldiers
8 that they throw aside, too. We want to be
9 considered just like soldiers 'cause that's
10 what we were. We were civilian soldiers, but
11 we were like soldiers. We were keeping America
12 safe.

13 Thank you for your time.

14 **DR. ZIEMER:** Thank you, Liz. Now I had
15 indicated that Liz was the last on the list,
16 but now I have another list. There -- there
17 are a few more, if you'll bear with us.
18 Henry Mosely? Is Henry still here? There you
19 are. Henry.

20 **MR. MOSELY:** I'm a little bit unorthodox so
21 you'll have to deal with me. They're used to
22 it, you're not. Everybody stand up. Every
23 once in a while during this lecture to these
24 people, a few of you sit down. The ones that
25 are sitting down are the ones that are dying.

1 I want you to look at these people up here. I
2 don't want you people to look at me. These are
3 the people we're talking about. These are the
4 people that, rather than the government say no,
5 we're not going to help you -- excuse my
6 language -- go to hell, you come up with a dose
7 reconstruction. It's BS. I know it.
8 Everybody else -- shake your heads when you
9 agree with me -- it's bullshit.
10 You can't -- everybody out here worked at the
11 Flats. Very, very few people did the same job
12 day after day. Very, very few people did the
13 same job from 9:00 o'clock to 10:00 o'clock.
14 To say this is the dose they got that day, you
15 don't know. Nobody knows. We don't know. I
16 was an RCT out there. I was supposed to know.
17 I tried to know. There's no way. There's too
18 many buildings. There's too many different
19 procedures. There's too many bosses that
20 didn't care. There's too many people that just
21 went and did what they were told to do, whether
22 it hurt them or helped them. So dose
23 reconstruction -- that's a joke.
24 You need to consider this. Now look at these
25 people out here. These are the ones that

1 you're saying no, they're just here to whine.
2 Well, I'll tell you what. We worked out there
3 -- I worked out there a long time. I probably
4 met 20,000 people, the same 20,000 people that
5 you'll meet through your life, but the number
6 of people that are sick, the number of people
7 that are dying, the number of us that are going
8 to die, the percentage is so much greater than
9 what you'll ever see in the 20,000 people
10 you'll meet in your lifetime. To say okay,
11 let's do a dose reconstruction -- just tell us
12 no. That's a lot -- that's a lot more humane
13 than to say okay, get out there and work, get
14 out there and do this job. We need to close
15 this down. We'll take care of you. And then
16 when we come up sick, to say, you know, we're
17 going to do a dose reconstruction. You know,
18 that's wrong. I think it's wrong. I think my
19 cohorts think it's wrong. And I think you
20 think it's wrong.
21 Vote the way we need it to vote tomorrow.
22 Thank you.

23 **DR. ZIEMER:** Okay. Thank you for a very
24 articulate presentation, Henry. Donna Quinlan?
25 Is Donna here -- uh-huh.

1 **MS. QUINLAN:** Yes, I'm Donna Quinlan. My
2 husband survived World War II, but he didn't
3 survive Rocky Flats. Dick, as he was commonly
4 known, worked out there for 27 years. He was
5 in industrial engineering. I knew he did -- he
6 was an industrial engineer, but I had no idea
7 what he did. I didn't know what Rocky Flats
8 did, and I still don't know. All I know is
9 what I've heard from these people at -- a
10 couple of times, some of them.
11 Dick was a very active man all his life, in
12 extremely good physical condition. He was a
13 loyal employee, he worked hard. He -- I never
14 heard anything from him about Rocky Flats,
15 other than it was where he worked. That's all
16 I knew -- until it came out in the newspapers.
17 And even after that, he didn't talk about it.
18 He didn't ever discuss anything. All I have
19 learned is -- trying to fill out this
20 paperwork, I talked to fellow employees and
21 learned some horrible things after his death.
22 He, as I said, was very active, very physically
23 strong and was into everything -- skiing,
24 bicycle riding, motorcycling, running. He
25 could outrun a man half his age. He was still

1 very -- going strong until 70. Then he began
2 to -- I don't know, what's going on with me,
3 you know; I'm sure feeling my age. And then
4 toward the end of his 70th year really had
5 trouble. He'd go out biking and come back and
6 say I can't imagine what's wrong with me. He
7 says it's so hard just to ride a bike anymore.
8 And so -- and this goes on for a while.
9 Anyway, then in the early -- his early 71st
10 year he -- that's when he was experiencing the
11 problems with bicycling and walking,
12 everything, and just not himself. This is the
13 man who could figure out how to do anything
14 anytime. And yet when he was trying to get
15 ready for our children to all come back and we
16 were all going up to Pearl Lake for a week, we
17 had rented a cabin, and he couldn't even figure
18 out this -- he'd finished a bathroom, except
19 the shower door. And all of a sudden he
20 couldn't understand the directions, what he was
21 reading. And he just wasn't himself. He just
22 kind of was off in his own world and every time
23 I'd turn around he'd be lying down someplace in
24 the house on the floor asleep.
25 So we went to the doctor. He sent us on to a

1 neurologist. The neurologist sent us that day
2 for an MRI but without contrast, and called me
3 that night saying that Dick had a brain tumor,
4 and he had probably had it for 26 years. Dick
5 had worked at Rocky Flats at least 26 years --
6 up to 26, whatever. Anyway, he could have had
7 it for a very long time because it was on a
8 silent part of the brain. It was on the part
9 that affected his coordination and balance, and
10 thus his problems with all he'd been having
11 problems with.

12 And so then he sent us on to a neurosurgeon and
13 he -- oh, he said it looked bad. So he sent us
14 on to a neurosurgeon. He took a look at it and
15 said he would have to send us right on for
16 another MRI, with contrast, but he was sure
17 that it was malignant -- a tumor in the last
18 stages. And that's what we found when I
19 carried the X-rays to him.

20 He had scheduled that -- first appointment, he
21 scheduled -- this was on August 5th he -- that
22 he was -- the -- the first MRI. He set --
23 scheduled surgery for August 12th and it was
24 very lengthy surgery, and he had said that it
25 was just so far advanced, he told Dick all he

1 could do was buy him a little time. There was
2 no way he could get it all. It was too
3 dangerous and surgery was very lengthy.
4 And anyway, Dick -- he pulled through. He was
5 then put on steroids, which kept him alive for
6 a while. We had hospice that -- home care, and
7 the steroids made him -- at first made him
8 bounce back, you know. He was doing -- the
9 hospice advised him to live his life as fully
10 as he could, so -- he still had problems all
11 the way, though, and this, like I say, was
12 August 12th when he had the surgery. Hospice
13 said he would never make it to December or even
14 Christmas. And he says oh, yes, I am. He died
15 January 1st.

16 I forget what I was going to say. Anyway, my
17 family do-- our family doctor had a very large
18 practice in Arvada at the time, and he told me
19 -- after Dick was diagnosed he said, you know,
20 he says every single patient who has prostate
21 cancer works at Rocky Flats -- and he had a
22 very large practice. So he didn't tell me any
23 numbers, but he said that he hadn't kept -- he
24 hadn't done any studies, but it made him very
25 suspicious and other things.

1 So in all this, Dick never talked about it. He
2 never gave any reason. But in talking to a
3 former worker, he did have occasions where he
4 was exposed and he -- in his early years out
5 there all he did was time studies, at first,
6 because he was in training. He hadn't gotten
7 his degree as an industrial engineer yet. He
8 did go to school at nights for years and years
9 and years. Anyway, he -- he was not in the big
10 fire and I -- I don't know, I'm not familiar
11 with terms, I think it was Building 71 or 76.
12 Anyway, but talking with his coworker, who also
13 has very serious cancer, lives in Texas, said
14 that yes, they were not in the fire that day,
15 but they were in there next day. And it's been
16 proven in the cleanup it was in the ducts, it
17 was everywhere, so how did this keep from
18 affecting everybody all the time? And yet he -
19 - he was working in all the hot spots all those
20 early years.

21 Anyway, I just ask you to seriously consider
22 all these things these people have said. I
23 don't know where to go. The last line of the
24 NIOSH claim said you can reopen or you can --
25 you -- you cannot -- you cannot reopen unless

1 you have medical facts. Where do I get these
2 medical facts? I don't have any access to
3 records.

4 And I have another thing. Listening to all
5 these people at other times, every single one
6 of them say yes, that first NIOSH dose
7 reconstruction was nearly 50 percent. The
8 second one is way down. And that's exactly
9 what happened with Dick's.

10 And another thing. Later, after I had filed,
11 then later I thought, after -- I don't know how
12 many interviews I had, there were several --
13 after I hung up I thought oh -- so I called
14 back and said Dick was sent to several plants
15 over the years. I don't know what he did. I
16 don't know what he did there, but he was sent
17 to Oak Ridge, he was sent to Albuquerque, Los
18 Alamos, Lawrence Livermore -- those are the
19 ones I can remember, yet -- so they reopened.
20 They did another -- they contacted all those
21 facilities. There's no record of his even
22 being there.

23 So anyway, please consider SEC for Rocky Flats.
24 Some -- Las Vegas was just -- is it, Nevada or
25 someplace was just given this status. Rocky

1 Flats should, too.

2 My grand-- my kids miss my husband, their
3 grand-- their father. My grandkids miss their
4 grandfather. My great-grandkids will never
5 know him. Thank you.

6 **DR. ZIEMER:** Got two more folks here, Leslie
7 (sic) Britton and then Richard Gaffney.
8 Leslie?

9 **MR. BRITTON:** Lessie.

10 **DR. ZIEMER:** Okay.

11 **MR. BRITTON:** Lessie.

12 **DR. ZIEMER:** Okay, I -- L-e-s-s-i-e, I --

13 **MR. BRITTON:** I'm just sort of a newcomer. My
14 name is Lessie Britton and I worked in Building
15 707 in G module, and I contracted beryllium
16 there. And the gentleman the put the beryllium
17 in the building, or helped put it in
18 (unintelligible), he's sitting outside there,
19 he told supervisors and managers that we need
20 tiebacks and PAMPRs (sic), and he told them
21 like for six months every day. It never
22 happened.

23 But see, for me, I have a two-fold thing about
24 the people in this country and the people that
25 run things in this country. The first one,

1 then I'll get back to the last one, is that
2 there were Viet Nam veterans. Okay. Now when
3 we come home, we were the only veterans that
4 got spit on and talked about. All right? When
5 we came home from this war. Saw a lot of my
6 friends die.
7 Okay. So like I go to Rocky Flats to help
8 close it down, and same thing. I don't
9 understand is that when you have people that go
10 and put their lives on the line to help this
11 country do something, help people in -- that
12 run this country do something good -- other
13 words, like close the plant site down or where
14 they get rid of some of the nuclear waste --
15 you throw them away.
16 Why do you throw them away? I mean I -- this
17 thing about any of your children or your uncles
18 or uncles or dads or aunts was any of these
19 positions, would you want to throw them away?
20 But you do. And it doesn't make any sense to
21 me. And you sit on a board and you sit and you
22 talk. Now it be somebody on that board going
23 to say one thing, they knew the job was
24 dangerous when they took it. Now that didn't
25 run across everybody's mind in here.

1 But anyway, being patriotic and being part of
2 America, you want to try to help do things
3 right, but we do people so badly once they get
4 a job completed, once they put their lives on
5 the line for this particular job, and then you
6 turn your back on them. I never understood
7 that.

8 And I never understood anybody that sit in a
9 high place to dictate policy that haven't done
10 any of this, haven't been in any of the wars or
11 haven't come out and went to these plants and
12 been exposed to any of this junk that we
13 created.

14 I asked an engineer one time, I said well, you
15 know that that piece of plutonium has a half-
16 life of 21,000 years. And the first thing come
17 out of his mouth -- well, we had a cold -- we
18 had a war going on. You didn't think about how
19 you're going to get rid of this junk when you
20 invented it? Never crossed your mind. But
21 then when you have people to put their lives on
22 the line to get -- or to try to neutralize it
23 some kind of way, you know, you throw them
24 away, or you hide them or you kill them.

25 I been fighting the VA for ten years. But I

1 surprised them. I'm still alive. I'm 62. And
2 they're wondering when are you going to die.
3 Only when God says for me to die.
4 But like when you get ready to vote on
5 anything, you think about how folks have
6 sacrificed themselves, you know, and how people
7 are sitting in places that make decisions and
8 write policy have not participated in any of
9 these dilemmas, you know, just sit and talk
10 about it and have your -- your peons or
11 whatever sit off to the side there, get a
12 earful and come back and give you information.
13 You are not going to get all the information
14 that you need.
15 And this lady said that her husband went to six
16 different facilities. Now we have to sign in
17 and sign out, some of them with computers, and
18 all of a sudden you're not listed? I mean just
19 think about it, now who -- who is the jackass
20 here? You know -- you know, I'm serious. You
21 know, how can you lose those records, and how
22 can you be so proud to stand up and say that,
23 well, like, you know, something sharp or smart
24 about that they knew the job was dangerous when
25 they took it.

1 But then all of a sudden, like this gentleman
2 up here the way he -- he asked -- he made one
3 statement, why do you have to prove something
4 that's been already designated that you have?
5 Why do you have to do that?

6 I've had two bronchoscopies. The last one I
7 had was in January. I call it a wash and dry,
8 but the (unintelligible) -- the first one
9 didn't hurt, the second one did. And like, you
10 know, this young doctor, he made a statement
11 about being forgetful or having hallucinations,
12 and he's 39 years old, he was talking about his
13 mom. I said, you know, your mother has to love
14 you because you're an idiot, you know. We tell
15 you something is wrong with us and it hurts us,
16 but yet we're hallucinating. I don't know what
17 happened to this man's neck, but I know he's in
18 pain sometime. I have no idea what happened to
19 him, and I'm going to sit and look at him and
20 say oh, you just got that around your neck to
21 look cute, you know, and try to draw some
22 money.

23 People sitting in this chair -- when I left Liz
24 -- Liz, she was walking up straight. She used
25 to watch over me. She was RCT. Charlene

1 (unintelligible) back here, that lady took care
2 of me, literally took care of me. She worked
3 there 35 years, from what I understand.
4 Tonight I asked her, I said are you sick? She
5 said no, ain't nothing wrong. She got blessed.
6 But you have people to take care of -- we took
7 care of one another as best we could with what
8 we had, and then we have people sitting in high
9 places that's going to throw us away.
10 However you vote, think about how you got here.
11 Think about why you're here, and look at the
12 people around. You've got folks dying like
13 flies.
14 Now one other thing I just don't understand,
15 and I'm going to leave it alone. You spent \$93
16 million on some paperwork. Tell me what --
17 about that paperwork. How did that happen?
18 When they first started this thing about --
19 during -- trying to get the paperwork together
20 for the people that had beryllium and whatever,
21 berylliosis, you spent \$93 million for people
22 sitting on their behind shuffling papers? I'd
23 like to know who -- I'd love to have that job
24 because you're making good -- you threw away --
25 you threw away good money on some BS, and you

1 lose records purposely. You deny yourself the
2 things you shouldn't deny yourself. You lie to
3 yourself, and how do you do that, I don't know.
4 So whatever you decide to do, you know --
5 because I figure that God will keep me around
6 here. Whatever you decide to do, think about
7 your -- think about your country. Think about
8 when you wake up in the morning and shave your
9 face and put your lipstick on or whatever it is
10 you may do, look in the mirror and look at
11 yourself. And when you walk -- if you -- all
12 of a sudden you grab a hand and all your hair
13 come out. That's not happening to you, but it
14 happened to your friend or somebody you know.
15 Think about what you're going to do. You know,
16 you need to tell these people that's in charge
17 of this stuff you all are BS-ing the public.
18 Very serious. You make bad decisions and you
19 stand on it and you compound it with bad
20 decisions.

21 Only thing I ask you is don't throw us away
22 again. You did that in '65.

23 **DR. ZIEMER:** Thank you, Lessie. Richard
24 Gaffney. Richard?

25 **MR. GAFFNEY:** Yeah, hi. My name is Richard

1 Gaffney. I spent 23 and a half years at Rocky
2 Flats. I started out as a chemical operator
3 and moved up into management and managed
4 maintenance and utilities. I was probably one
5 of the last production managers before
6 production shut down in Building 771.
7 And first of all, I just want to say to all you
8 guys here, I really love you and, you know, I
9 don't know if anyone else in the world
10 appreciate us but I just appreciate the hell
11 out of you guys for the incredible job that you
12 did. And I got to tell you, thank God you guys
13 were doing that job and not the people that
14 have been supposed to been taking care of you,
15 or we'd have lost the Cold War and we'd be
16 speaking Russian right now.
17 Yeah. You know, I am -- other than Jack
18 Weaver, I think I'm (unintelligible) people
19 that can say that I'm not sick -- at least, you
20 know, not right now. And you know, knock on
21 wood or -- or whatever -- thank you, Jack. He
22 was pointing out the wood for me. We -- 'cause
23 we have -- every, you know, two or three months
24 we'll have a party and all us old guys'll get
25 together, and everybody's sick. You know, it's

1 not, you know -- you know, like your regular
2 place that you go to, you know, that you
3 socialize where this person's sick or that
4 person's sick. Everybody's sick.

5 And the whole idea -- you know, I'm just a
6 simple country boy, but the idea of a dose
7 reconstruction, when you're talking about
8 tritium, uranium, plutonium, a whole bunch of
9 other things that are classified that I can't
10 talk about, thousands of different chemicals
11 used in hundreds of different conversation, I'm
12 not too bright but I can tell you a dose
13 reconstruction is impossible. And anybody with
14 an eighth-grade education can tell you that.
15 You know, I mean it's just impossible. I can
16 sit down and just, you know, start doing the
17 math with, you know, trying to combine a
18 hundred -- can't be done.

19 The second thing is, we are sending our stuff
20 to the wrong agency, 'cause I got to tell you,
21 I wrote a check for \$10,000, sent it to the
22 IRS, it was taken care of within a week.

23 The -- and then -- I was a shift manager, shift
24 tech-- you know, a technical advisor. People
25 probably remember me from 771 and 991. It was

1 my job to determine whether a job was safe.
2 And if I shut down a job, which I did many,
3 many times and people here are probably still
4 mad at me for that, but if I shut down a job, I
5 could take a look at my watch and it wasn't two
6 minutes before a vice president or a manager,
7 you know, a building manager or facility
8 manager would be in there wanting to know why I
9 shut it down. And you know, that was a lot of
10 pressure -- that was my job. I got paid to do
11 that and basically if I shut it down I just
12 could look at the requirements and say this is
13 why.

14 And you guys all remember the work packages.
15 Right? Okay.

16 **UNIDENTIFIED:** (From the audience and off
17 microphone) (Unintelligible) you used them.

18 **MR. GAFFNEY:** Yeah. Well -- you know, 'cause I
19 -- you know, someone would bring
20 (unintelligible) that packages and there --
21 there would be signoffs for nuclear safety and
22 radiological engineering and health and safety.
23 And I got to tell you, maybe one in a hundred
24 packages, if that, you know, do I personally
25 believe that anybody read. They just signed

1 them off because I would look at the job that
2 was going to be done, and I kind of knew what
3 all these people would be doing because I've
4 probably personally handled enough plutonium to
5 blow this world up two or three times. I'd go
6 -- do you got any idea what you're sending
7 these people in to do without having properly
8 reviewed this work and the safety controls.
9 And it was -- it was not, you know, like, you
10 know, one out of a hundred package. It was
11 like the majority of the work packages that
12 were done, the reviews were incredible. I mean
13 it was just non-existence (sic) because people
14 -- I don't know if anyone ever got to be in one
15 of my closed-door meetings when I pulled
16 somebody in from health or safety or
17 radiological engineering and our nuke safety
18 and did the old famous ass-chewing, but it just
19 -- it just didn't -- it just didn't happen.
20 The controls weren't there then, and obviously
21 they're not there now because I can't believe
22 we're talking about reconstructing a dose when
23 everybody knows, that's got any kind of brain
24 at all, that's impossible, can't be done. But
25 I'll tell you what, you know when you have

1 emphysema. You know when you've got cancer.
2 You know when you have an autoimmune disease.
3 And this is just a point. Everybody knows
4 that's been working there, they're -- you know,
5 they're -- probably got a little time bomb
6 clicking. Ain't nobody saying this is what you
7 could do now to be proactive to keep me from
8 getting sick.

9 And I got to tell you, I will never file a
10 claim. If I got a cancer and my doctor says
11 you've got two years left, the last thing I'm
12 going to do is waste my precious time trying to
13 get benefits that are obviously impossible.
14 So that's all I've got to say, and like I say,
15 love you guys and I hope we all see you at the
16 next get-together because we're dropping like
17 flies here.

18 **DR. ZIEMER:** Thank you. Okay, there's a couple
19 of individuals who've already spoken that maybe
20 have a question or comment. We need to, with
21 respect to everybody here, respect the time.
22 But go ahead, a quick question or comment.

23 **MR. ROMERO:** My name's Dennis Romero. I've
24 already talked once, so --

25 **DR. ZIEMER:** Yes.

1 **MR. ROMERO:** -- bear with me. We talked about
2 our stories and stuff happened at work. 444
3 building, prior to me getting there, people had
4 berylliosis, for whatever reason. They used to
5 eat, smoke and drink in the back area of 444 at
6 their work stations, and then they'd take the
7 stuff home to their kids and families. Like
8 the one woman said, her daddy's lunchbox was --
9 BE on it. Well, there's why. We used to have
10 this stuff in the back or you'd eat in the back
11 area.

12 771, 750 cafeteria, 771 cafeteria, 371
13 cafeteria, the locker rooms -- Don could access
14 (sic) to this -- these areas would
15 predominantly come up contaminated. Somehow
16 somebody got the rooms contaminated.
17 Common work areas, people working there don't
18 even go in the back, they went to the
19 cafeterias and they went to the locker rooms.
20 They took the stuff home.

21 There's been numerous times, you don't see it
22 on TV, people's homes were gutted, people's
23 cars were taken away because they found
24 contamination in their homes and their cars.

25 **DR. ZIEMER:** Okay.

1 **MR. ROMERO:** What kind of doses are you going
2 to give the people and their families for that?

3 **DR. ZIEMER:** Thank you.

4 **MR. ROMERO:** Oh, I got one question. I forgot
5 to mention my medical problems. I've had two
6 prostrate (sic) surgeries, two knee surgeries,
7 reconstructed shoulder surgery. In year 2005
8 when I had to leave the plant I came down with
9 Graves disease. I want to ask Dr. Lewis, can
10 Graves disease be caused from working at Rocky
11 Flats? I want an answer.

12 **DR. ZIEMER:** He doesn't know.

13 **MR. ROMERO:** Is that your answer? Can Graves
14 disease be caused from working at Rocky Flats?

15 **DR. WADE:** I don't know.

16 **MR. ROMERO:** That's all I want to know.

17 **DR. ZIEMER:** Okay.

18 **MR. LOGAN:** Mike Logan, I've already spoken
19 before, but when I left for ten months and went
20 back to work for British Nuclear Fields, which
21 is part of the national conversion pilot
22 program, a private firm, upper management --
23 not all management, we had some decent managers
24 out there, but some of those select upper ones
25 had a really bad attitude about the hourly

1 workers. They didn't really care. And one of
2 the British guys from British Nuclear Fields --
3 and I'm going to quote word for word -- the
4 American worker is the most unsuccessful,
5 unmotivated, laziest bastard on the face of
6 this earth.

7 **UNIDENTIFIED:** (From the audience and off
8 microphone) (Unintelligible)

9 **MR. LOGAN:** Yeah, we do. Now we had to clean
10 up places of nitric acid baths that had dried
11 powder in the bottom. They put us in full-face
12 with chemical respirators, all the proper anti-
13 Cs. And you're cutting it up with wood saws
14 that's got metal blades in it, and after five
15 minutes you're going -- you're tasting it in
16 your mouth. The people who manufactured those
17 respirators, the full-face -- or anyone, even a
18 chemical, whatever it is -- it will not protect
19 you. The only thing that'll protect you is
20 supplied air. They wouldn't do it because of
21 the money.

22 Now why is it now -- okay, they've got it
23 closed. They got it done ahead of schedule.
24 Certain management got up to \$3 million per
25 person bonus, but yet the hourly people who did

1 the job, who were in the trenches, got maybe
2 between \$1,000 and \$4,000 a year for maybe four
3 years as a bonus. Isn't the success of any
4 company, any business, is the people in the
5 trenches?

6 **DR. ZIEMER:** I hear you.

7 **MR. LOGAN:** Why do we get kicked to the curb?
8 I mean the whole key -- doing things in life is
9 attitude.

10 **DR. ZIEMER:** Yeah.

11 **MR. LOGAN:** How can you expect to have a good
12 attitude when we keep getting beaten down,
13 getting turned down and getting treated like
14 second-class citizens?

15 **DR. ZIEMER:** Okay.

16 **MR. LOGAN:** I mean put yourself in our shoes.

17 **DR. ZIEMER:** Understood.

18 **MR. LOGAN:** I mean I'd sure love to be able to
19 stay around and watch my grandkids grow up --

20 **DR. ZIEMER:** Yeah.

21 **MR. LOGAN:** -- see my great-grandkids.
22 Wouldn't you folks?

23 **DR. ZIEMER:** Sure. Sure.

24 **MR. LOGAN:** I mean -- but we've been put down.

25 **DR. ZIEMER:** Okay. We've got another -- try to

1 make it quick, want to respect people who
2 haven't had a chance to address us yet.

3 **MS. RUTTENBER:** My name is Margaret Ruttenger
4 and I'm a research scientist, epidemiologist,
5 who studied this worker cohort for the last ten
6 years, from 1990 through 2000 -- both my
7 husband and I did. And I don't really want to
8 address the dose reconstruction. I think
9 enough has been said about that.

10 What I would like to address is a missed
11 opportunity that the Department of Labor had,
12 and just give you one example of several, and
13 I'll be brief.

14 Two years ago Brady White from the Department
15 of Labor came to my office and asked for my
16 assistance in doing a new match with the cancer
17 registry at the State Health Department to
18 identify those workers from our -- the Rocky
19 Flat cohort, of which we have the database for
20 it -- who were -- who had cancer, and then also
21 do a match with our vital records department at
22 the health department to make -- to see who --
23 you had to do a mortality match to see who was
24 still living so we would not -- we were
25 sensitive to the issues of either contacting a

1 worker or survivor. This was two years ago.
2 We concer-- we designed a letter. It was to be
3 sent through the University of Colorado Health
4 Sciences Center to the workers. We contacted
5 them several times and have heard nothing more
6 from the Department of Labor.
7 I was contacted by a reporter last week
8 questioning what I knew about the worker study
9 and -- and you know, I've done the definitive
10 study on this cohort, as I said, with my
11 husband as well. And it appears that the
12 Department of Labor has kind of dropped the
13 ball in terms of communicating. And if they
14 really wanted to identify and connect with
15 these people, they've had many opportunities,
16 both through our databases with the registry.
17 Today the director of our cancer registry came
18 to me and said didn't that letter already go
19 out? And I said no, it never did. So there
20 are certainly -- probably a large number of
21 people aren't even aware of this compensation
22 program, but they -- both NIOSH and the
23 Department of Labor have been given ample
24 opportunity and access to our data and
25 information and have not chosen to use it.

1 Thank you.

2 **DR. ZIEMER:** Thank you. Very quickly, a
3 comment here, and then I think we need to come
4 to closure. Go ahead.

5 **MS. NORMAN:** My name is Joan Norman and I
6 worked for Rocky Flats for 21 years. I, like
7 the rest of us, voluntarily went to work for
8 Rocky Flats and the United States Department of
9 Energy. Ironic that three of us in this room
10 have had -- been diagnosed with breast cancer,
11 and breast cancer happens to be on the list of
12 no pay, no claim.

13 And in 2005 I was diagnosed with colon cancer.
14 Again, the doctors had asked for medical proof
15 that this was related. I did receive
16 information from my gastroenterologist. I will
17 read one sentence, and it says this is based on
18 a scientific review journal article by a Dr.
19 Sandler in gastroenterology in 1983, volume
20 four, page 51, radiation-induced cancers of the
21 colon and rectum, assessing the risk, and I was
22 told this is merely a study.

23 Excuse me, but as I said, I'm not repeating
24 what everybody else said because what everybody
25 else said here is true. We gave of ourselves.

1 We gave to the government. Why is the
2 government not supporting us? I am going to
3 continue to be a little gnat on the
4 government's head, and I will not go away until
5 the government -- until we get our justice.

6 **DR. ZIEMER:** Thank you.

7 **MS. NORMAN:** Please vote for us.

8 **DR. ZIEMER:** Folks, I want to remind -- oh, I'm
9 sorry, do -- okay.

10 **UNIDENTIFIED:** (From the audience and off
11 microphone) (Unintelligible)

12 **DR. ZIEMER:** You'll have to use the mike. We
13 have a -- everything's being recorded, so we
14 need to be able to hear you through the ear
15 phones here. Give us your name and...

16 **MS. BOWIE:** My name is Marie Bowie and I'm here
17 as a representative for Albert Echels, who was
18 my father. He worked for Rocky Flats from
19 January of '58 until September of '73.

20 In 1979 he was diagnosed with pancreatic
21 cancer, and within nine months he was gone. He
22 went through two major surgeries, bypass
23 surgeries, because the pancreas was unable to
24 be removed and the first bypass didn't take.
25 He was only able to go through one session of

1 chemotherapy treatment due to the fact that his
2 body had deteriorated so badly from the
3 penetration and the continued growth of the
4 cancer cells throughout his body. By the time
5 they did his second surgery, which was two
6 weeks after the first one, it had already
7 infiltrated into his lymph nodes.

8 So he passed away in 1980 and unfortunately the
9 program was not initiated until 2000. Along
10 with that information, by the time 20 years had
11 gone by, there was very little access to
12 additional medical information, other than what
13 I could get from Pacific Records.

14 We just received the first denial of my
15 mother's claim on behalf of my father, and his
16 dose reconstruction -- that took time to do --
17 was at 43.77 percent probable cause, which was
18 exclusively done just for the pancreas itself.
19 I would like to know how I could possibly get
20 that extended, with the limited time that I
21 have, to continue his claim with the
22 infiltration of the cancer to the other organs.

23 **DR. ZIEMER:** We have some NIOSH people here,
24 they may be out in the corridor, but we can --
25 we'll -- after the meeting we'll hook you up

1 with someone who can help you with the next
2 steps for you --

3 **MS. BOWIE:** That will be great.

4 **DR. ZIEMER:** -- to follow that up. Yeah.

5 **MS. BOWIE:** I also have a couple of articles in
6 here, the very first one when President Clinton
7 was the one who initiated --

8 **DR. ZIEMER:** Right.

9 **MS. BOWIE:** -- the program.

10 **DR. ZIEMER:** Right.

11 **MS. BOWIE:** And also of a family that, together
12 combined, has 130 years of service out at Rocky
13 Flats. And in the article that was written
14 they said that in the beginning, in the '58
15 into the early '60s, the only protection the
16 men had in -- going into hot spots -- my father
17 was a maintenance person, pipe fitter -- was
18 double coveralls. So --

19 **UNIDENTIFIED:** (From the audience and off
20 microphone) (Unintelligible)

21 **MS. BOWIE:** Yeah, exactly. So I just -- you
22 know, I'm hoping that -- that this Board will
23 vote for the people, all of them here, all of
24 them that have gone beyond that are family
25 members hoping to be benefited in some form or

1 fashion for the loss of their loved ones. My
2 father served eight years in the Navy. And
3 hope that you guys will see that this gets
4 pushed through for us. I know that other
5 plants that are still standing have been given
6 this benefit, and it would just really be nice
7 to see Rocky Flats get that benefit as well.

8 **DR. ZIEMER:** Thank you very much. Folks, I
9 want to remind you that tomorrow morning at
10 8:15 this Board will begin the official
11 deliberations on the Rocky Flats SEC petition.
12 So -- and that -- that part of our agenda will
13 consume most of the morning. That will be
14 presentation from our workgroup. There will be
15 presentations from the petitioners, as well as
16 from NIOSH, and then deliberations by the
17 Board. So -- and the -- the meetings are open,
18 so you're welcome to be back at that time.
19 Thank you all very much for being here tonight.
20 (Whereupon, the meeting was concluded at 9:00
21 p.m.)
22

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of May 2, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 15th day of July, 2007.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**