

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes

MEETING 46

ADVISORY BOARD ON  
RADIATION AND WORKER HEALTH

DAY THREE

MAY 4, 2007

The verbatim transcript of the 46th  
Meeting of the Advisory Board on Radiation and  
Worker Health held at The Westin Westminster,  
Westminster, Colorado on May 4, 2007.

*STEVEN RAY GREEN AND ASSOCIATES  
NATIONALLY CERTIFIED COURT REPORTING  
404/733-6070*

C O N T E N T S

May 4, 2007

WELCOME AND OPENING COMMENTS DR. PAUL ZIEMER, CHAIR DR. LEWIS WADE, DESIGNATED FEDERAL OFFICIAL	10
DOW SEC PETITION MR. STU HINNEFELD, NIOSH, OCAS PETITIONER	11
CHAPMAN VALVE SEC PETITION DR. GEN ROESSLER, WORK GROUP CHAIR PETITIONER	125
ROCKY FLATS MOTION	156
SCHEDULING	165
MOTIONS FOR NIOSH TASKS	166
SANDIA LIVERMORE SEC PETITION DR. SAM GLOVER, NIOSH, OCAS PETITIONER (LETTER TO BE READ)	201
COURT REPORTER'S CERTIFICATE	234

### TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

**P A R T I C I P A N T S**

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.  
Professor Emeritus  
School of Health Sciences  
Purdue University  
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.  
Senior Science Advisor  
National Institute for Occupational Safety and Health  
Centers for Disease Control and Prevention  
Washington, DC

MEMBERSHIP

BEACH, Josie  
Nuclear Chemical Operator  
Hanford Reservation  
Richland, Washington

1 CLAWSON, Bradley  
2 Senior Operator, Nuclear Fuel Handling  
3 Idaho National Engineering & Environmental Laboratory

GIBSON, Michael H.  
President  
Paper, Allied-Industrial, Chemical, and Energy Union  
Local 5-4200  
Miamisburg, Ohio

GRIFFON, Mark A.  
President  
Creative Pollution Solutions, Inc.  
Salem, New Hampshire

1           LOCKEY, James, M.D.  
2           Professor, Department of Environmental Health  
3           College of Medicine, University of Cincinnati

4           MELIUS, James Malcom, M.D., Ph.D.  
5           Director  
6           New York State Laborers' Health and Safety Trust Fund  
7           Albany, New York

          MUNN, Wanda I.  
          Senior Nuclear Engineer (Retired)  
          Richland, Washington

          PRESLEY, Robert W.  
          Special Projects Engineer  
          BWXT Y12 National Security Complex  
          Clinton, Tennessee

          ROESSLER, Genevieve S., Ph.D.  
          Professor Emeritus  
          University of Florida  
          Elysian, Minnesota

          SCHOFIELD, Phillip  
          Los Alamos Project on Worker Safety  
          Los Alamos, New Mexico

SIGNED-IN AUDIENCE PARTICIPANTS

ABILA, EDMUND, MET OP.  
ABILA, JUAN, RF WORKERS  
ADKINS, LEONILA, SPOUSE  
ALBERG, JEANETTE, SEN. ALLARD  
ALLEN, WILLIAM, ROCKY FLATS  
BARKER, CARL, RF RETIREE  
BARKER, KAY, ANWAG  
BARNETT, WILLIAM, RFES  
BARRIE, GEORGE, ANWAG  
BARRIE, TERRIE, ANWAG  
BLAKESLEE, JACK, ROCKY FLATS  
BLAKESLEE, JOANNE, ROCKY FLATS  
BOLLER, CAROLYN, CONG. MARK UDALL  
BRADSHAW, CHARLENE A., RADIATION ORG.  
BREYER, LAURIE, NIOSH  
BRITTON, CAKER J., WIFE  
BRITTON, LESSIE S., RFETS  
BROCK, DENISE, NIOSH  
BROEHM, JASON, CDC  
BUCHANAN, DENNIS, RCT  
BUFFO, RON, SON RF WORKER  
BUTZ, JR., REINHOLT, S.O.E.  
CALDWELL, NANCY, RF WORKER  
CARLSON, AUDREY, SPOUSE  
CARLSON, ROBERT I., RADIATION  
CARRELL, DENNIS  
CHANG, CHIA-CHIA, HHS  
CLARK, DEBBY, RFETS  
DEFORGE, CLIFF, RF RETIRED  
DELFORGE, DOUGLAS, ROCKY FLATS  
DIENST, JOHN, ROCKY FLATS  
DIGIACONO, RON, SELF  
DOBROVOLY, MICHELLE, ENGINEERING  
DURAN, BEA, DOE  
DURAN, SAM J., RF  
ELLIS, ANN, ROCKY FLATS  
ELMLINGER, RON, PCM  
EVASKOVICH, ANDREW K., ICUA LOCAL 69  
FINLEY, B., DPOST  
FITZGERALD, JOE, SC&A

FOX, CAREY, RFETS  
FOX, MARY, RFETS  
GADSON, CURZIE, ROCKY FLATS  
GAFFNEY, RICHARD S., RFETS  
GAHOR, PATRICIA A., RFETS  
GARRIMONE, YVONNE, DAUGHTER OF DECEASED  
GOMEZ, DELMA G., RFETS  
GOMEZ, ERNA, ROCKY FLATS  
GONZALEZ, JUAN, ROCKY FLATS  
GONZALEZ, NORMA, ROCKY FLATS  
HANKET, JOE, ROCKY FLATS RETIRED  
HANKINS, LARRY, RETIRED UNION  
HARLAN, RONALD A., ROCKY FLATS RETIREE  
HARLAN, S., SPOUSE  
HARRISON, CONSTANCE, NIOSH  
HARTMAN, ALAN, RF RETIREE  
HEWITT, JAMES, ROCKY FLATS  
HEWITT-BALLOU, CHERYL, DAUGHTER  
HILLER, DAVID, SEN. KEN SALAZAR  
HINNEFELD, STU, NIOSH  
HOFFMAN, GAYLE, RF WORKER  
HOLGERSON, ERIC, ROCKY FLATS  
HOWELL, EMILY, HHS  
HOMOKI-TITUS, LIZ, HHS  
HUEBNER, LIZ, ROCKY FLATS  
IMSE, ANN, ROCKY MOUNTAIN NEWS  
JACQUEZ-ORTIZ, MICHELE, CONG. UDALL  
JENSEN, DIANE, ROCKY FLATS  
JOHN, PADIA, ROCKY FLATS  
KESSLER, MIKE, 5280  
KLOTZ, JIM, RF  
KOHLER, ROMAN, R.F. HOMESTEADERS  
KOTSCH, JEFF, DOL  
KRULL, WARREN S., SAIC  
KUBIAK, MICHAEL S., ORAU/MJW  
LAHTI, ROSE, ROCKY FLATS  
LEVALLEN, BETTY  
LEVEN, TIM, PCM  
LINK, RICHARD A., ROCKY FLATS  
LOGAN, MICHAEL D., ROCKY FLATS  
LUCERO, GENNY, RADIATION ORG.  
MAKHIJANI, ARJUN, SC&A  
MARQUEZ, MARK W., STEELE WORK

MARSCHALL, HANNA, RF WORKER  
MARTINEZ, LADONNA, RFLATS SPOUSE  
MARTINEZ, MATTHEW A., RFETS  
MAURO, JOHN, SC&A  
MAYES, CALVIN, RF WORKER  
MCALLISTER, ROBERT J., ROCKY FLATS  
MCCARTHY, BILLY, RETIRED  
MCCARTHY, DIANN, SPOUSE  
MCCLURE, ROB, KCNC-TV  
MCDONALD, SEAN, DENVER POST  
MCFEE, MATTHEW, ORAUT  
MCKEEL, DAN, SINEW  
MEANEY, CHERYL, RFW & SPOUSE  
MEZT, RICHARD, ROCKY FLATS  
MILLER, DUANE R., ROCKY FLATS  
MILLER, HYMAN, ROCKY FLATS  
MILLETTE, RON, RF WORKER  
MILNE, CHARLES, MSU  
MILNE, LINDA, ROCKY FLATS  
MOBLEY, JERRY, ROCKY FLATS  
MOBLEY, WALTER M., CONSTRUCTION  
MOSELEY, HENRY, RF  
NEWBY, KEVIN, RF EMPLOYEE  
NEWBY SHERRY, SPOUSE  
NORMAN, JOAN, ROCKY FLATS  
OLDS, RICHARD, RF WORKER  
PADILLA, JUDY, FORMER RFP WORKER  
PALIZZI, TOM, ROCKY FLATS  
PAZIER, LARRY, HUSBAND OF RF  
RAMOS, LARRY, RF RETIRED  
ROMERO, DENNIS R., RF WORKER  
ROSE, WILMA, SELF  
RUIZ, HARRIET  
RUPP, MARYANN, SPOUSE OF DECEASED WORKER  
RUTHERFORD, LAVON, NIOSH  
SABEC, DONALD, ROCKY FLATS  
SCHULTZ, JEFFREY W., ENGINEERING  
SCHULTZ, LAURA, RF CLAIMANTS  
SHEPARD, SALLY, SELF  
SHORT, DEBORAH, RF  
SINGER, GARY L., ROCKY FLATS  
SPENCER, BETTY, RF SPOUSE  
STEELE, MARYJO, ROCKY FLATS

STEPHAN, ROBERT, SENATOR OBAMA  
STICKERMAN, CHAD, RF ENGINEER  
THIELEN, JEREMY, RFETS  
THIELEN, JOHNATHAN, RFETS  
THIELEN, TODD, RFETS  
THIELEN, VAL, RFETS  
THOMAS, RHONDA, ROCKY FLATS WORKER  
TINKLE, ANN, ROCKY FLATS  
TINKLE, DALE, ROCKY FLATS  
ULSH, BRANT, NIOSH  
VAUGHN, JIM  
VAUGHN, LINDA  
VIGIL, DENNIS E., ROCKY FLATS  
WEAVER, JACK, RETIRED RF WORKER  
WHITE, LIBBY, DOE  
WILLIAMS, RHONDA, FOR PARENT  
YEATER, JADYA, ROCKY FLATS  
ZIEMER, MARILYN



1 or in fact, those at the table -- so let me  
2 know. Thank you.

3 **DR. ZIEMER:** Thank you. Question --

4 **DR. WADE:** He's going to tell us.

**DOW SEC PETITION**

**MR. STU HINNEFELD, NIOSH, OCAS**  
**PETITIONER**

5 **DR. ZIEMER:** Okay, we'll do that off-line.

6 Okay, let's begin then with the Dow SEC  
7 petition. We'll begin with the NIOSH petition  
8 evaluation, and Stu Hinnefeld at NIOSH is going  
9 to make that presentation.

10 **MR. HINNEFELD:** Thank you, Dr. Ziemer. My  
11 name's Stu Hinnefeld. I'm the technical  
12 program manager for OCAS in the program. I'm  
13 presenting the petition evaluation report and  
14 some updated information, since the petition  
15 evaluation report was prepared, today -- I  
16 think probably because I let LaVon Rutherford  
17 go on vacation right before this was due, so I  
18 think that's why I'm up here.

19 This is a -- an 83.14 petition. This is a site  
20 where we determined there was some aspect of  
21 the radiation dose that we did not have  
22 sufficient information to reconstruct and so we  
23 proceeded along the pathway of 83.14 SEC  
24 evaluation.

1           So some of the slides your normally see, like  
2           the two-pronged test, I've taken out of this  
3           for brevity because there's some addi-- because  
4           of the update information I put in here. Well,  
5           I'm sorry, there is the two-pronged test that  
6           you've all seen before: Is it feasible to  
7           estimate radiation doses of individual members  
8           of the class. And if that is -- the answer to  
9           that is no, is there a reasonable likelihood  
10          that such radiation dose may have endangered  
11          the health of members of the class. So those  
12          are the -- that's the test we evaluate when we  
13          do one of these 83.14 petitions.  
14          This is about the Dow Chemic-- a site that was  
15          operated by Dow Chemical Company in Madison,  
16          Illinois. That's the site we're talking about  
17          now. This site is in Madison, Illinois. This  
18          site extruded uranium metal on a handful of  
19          occasions for the Atomic Energy Commission  
20          under a subcontract from Mallinckrodt Chemical  
21          Works, which was the operator of the  
22          Mallinckrodt St. Louis site and the Weldon  
23          Spring site, and they also straightened uranium  
24          metal rods under a -- this was under a purchase  
25          order agreement to Mallinckrodt for a couple of

1           -- a couple of -- on a couple of different  
2 occasions.

3           Now they also routinely handled thorium at this  
4 -- at this plant, and routinely incorporated it  
5 into their commercial metal al-- metal alloys  
6 plant. This was a -- a metal production plant,  
7 made magnesium and I believe some aluminum  
8 alloys, and -- and that was their main line of  
9 business. The -- the uranium work was just  
10 kind of something that they did -- they had a  
11 big extrusion press and the AEC was trying to -  
12 - they were studying the characteristics of  
13 what -- what works best when you're extruding  
14 uranium.

15           I -- I -- now to get into this a little bit, I  
16 need to talk a little bit about dose that is  
17 included under EEOICPA for AWE facilities. And  
18 the original EEOICPA legislation was amended by  
19 the 2005 Defense Authorization Act in two ways  
20 that affect this question, you know, what dose  
21 is included. The -- the first aspect amendment  
22 or first amendment that affects this is that it  
23 added a second category to the definition of an  
24 AWE employee. Up until this amendment, only  
25 employees who worked during the contract period

1 at an AWE were considered AWE employees and  
2 therefore could submit a claim under the law.  
3 This amendment amended that language and added  
4 -- by adding a second category of employee and  
5 saying that the second category of employee is  
6 a cate-- is a person who worked at an AWE site  
7 after the contract period but during a time  
8 when there was residual contamination from the  
9 contract period present during that time. So  
10 that's a second category and they're identified  
11 in the statute as subparagraph (a) and  
12 subparagraph (b) under one of the paragraphs.  
13 And the second amendment that occurred to  
14 EEOICPA by this Defense Authorization Act was  
15 that they provided a definition of radiation  
16 dose for the added category, interestingly  
17 enough. The definition of radiation dose --  
18 this is for the purposes of such-and-such  
19 paragraph part (b), not such-and-such  
20 paragraph. Such-and-such paragraph part (b)  
21 radiation dose was defined, and this was the  
22 definition. I don't think I'll read it word-  
23 for-word, it's on the slides and the handouts  
24 to the slides, but it's essentially dose  
25 received from work done by -- for AEC to

1 produce, process, store, remediate or dispose  
2 of radioactive waste that was, you know, and  
3 for -- for the transportation and testing of  
4 nuclear weapons. So that was the work that --  
5 this was part of the radiation dose.  
6 And then the second part of the radiation dose  
7 definition is if there's dose that's not  
8 distinguishable through reliable documentation  
9 from the doses noted above. So in other words,  
10 if there -- if the pers-- if an employee at a  
11 site fo-- in the residual period, remember  
12 that's the category of employee we're talking  
13 about, is -- if the residual radiation at that  
14 site can be distinguished from contamination  
15 that would have occurred from the AEC work,  
16 then that residual dose is not part of the  
17 radiation dose assigned to these workers. So  
18 what the -- the outcome of this -- and there is  
19 -- oh, by -- and that's the final point of  
20 this. There is no similar limitation or  
21 definition of radiation dose on the original  
22 category of AWE employee, so -- so you don't  
23 have that limitation, that definition, and the  
24 -- and the statute I think at some point  
25 originally said reconstruct all doses at the

1 site.

2 So during the covered period, the contract  
3 period, all doses have to be reconstructed for  
4 an AWE employee. After the contract period, if  
5 there's a residual contamination period, the  
6 dose that's included under EEOICPA is dose from  
7 residual contamination from the AEC work --  
8 okay -- not from the commercial work.

9 Now, summary of the information available for  
10 dose reconstruction -- and one other thing to  
11 remember on this, the thorium was used in the  
12 commercial operations at -- at Madison, and the  
13 uranium apparently was the AEC work. We know  
14 that they did uranium work for the AEC. We  
15 didn't have any individual monitoring, external  
16 monitoring results. We don't have any bioassay  
17 results, either in vitro or in vivo, for any of  
18 the employees at that -- you know, actually at  
19 this point for any employees at any time.

20 In 1957 we have the copy of the contract that  
21 calls for 12 extrusion cycles, each one  
22 estimates there's going to be like essentially  
23 28 hours of work with an extrusion cycle. They  
24 were going to set up for six hours; run what  
25 they called testing, which was the extrusion,

1 for 16 hours; and then clean up for six hours.  
2 So that was the estimate of how much time was  
3 going to be spent on each cycle, and the  
4 contract called for 12 cycles.

5 We have documents from FUSRAP that describe two  
6 rod-straightening campaigns. We've also  
7 recently -- or at least we -- we know we now  
8 have the purchase orders from Mallinckrodt for  
9 the two uranium-straightening cam-- campaigns.  
10 And we had a 1957 paper by the Dow radiation  
11 safety officer who worked from Dow headquarters  
12 -- he didn't work at the Madison site, he  
13 worked from Dow headquarters -- that describe  
14 the use of thorium, and it contains about 20  
15 air sample results -- at the time we thought  
16 from a single sampling (sic) campaign -- and a  
17 handful of radiation surveys.

18 We also had a 1960 AEC inspection report that  
19 refers to the 1957 air monitoring results. In  
20 other words, it -- it kind of presented this --  
21 the air -- you know, the air quality is okay  
22 because we have these 1957 results. Even  
23 though it referred to them as recent air  
24 sampling results, it actually -- the collection  
25 had been '57 and even '56 when those were

1 collected. And they had a handful more direct  
2 radiation measurements and it had the amount of  
3 thorium used up to that time.

4 And we have the FUSRAP survey summary report  
5 that was -- this -- the survey was done in  
6 1989. I think the report was actually  
7 published in 1990, and that's -- that FUSRAP  
8 survey was done of only a limited portion of  
9 the facility, the portion of the facility where  
10 the uranium work had been done. So they didn't  
11 survey the entire Madison facility, they only  
12 surveyed that. They found really very little  
13 in terms of contamination or elevated dose  
14 rate, and they did -- but they did collect some  
15 dust samples that they analyzed for --  
16 isotopically, and they found some uranium and  
17 thorium in those.

18 Now our data capture attempts -- recognizing  
19 that, you know, at the start of, you know, this  
20 effort we hadn't necessarily completed all this  
21 regu-- all this data capture, we proceeded and  
22 -- and made these attempts at data capture.

23 The NRC, of course DOE Germantown had provided  
24 us what they had. We have searched federal  
25 records repositories as part of our rou-- our

1 part. We've had worker outreach -- we had a  
2 worker outreach meeting in Collinsville,  
3 Illinois and we received quite a lot of worker  
4 affidavits that also described how the work at  
5 the site -- described pretty harsh working  
6 conditions.

7 We made a request to Dow Chemical and -- about  
8 do you have any records from the site; even  
9 though you haven't owned it for 35 years,  
10 roughly, do you have any records from the site.  
11 And we had a discussion with the state of  
12 Illinois about regulatory records they might  
13 have for this covered period, but Illinois was  
14 not yet an agreement state in 1960 and so they  
15 didn't really have anything for the period we  
16 were researching.

17 So we determined that we had -- you know, this  
18 is late last year, we determined we didn't have  
19 sufficient information to complete dose  
20 reconstruction at the time. We notified the --  
21 the -- a litmus tas-- litmus case claimant that  
22 his dose reconstruction could not be completed  
23 and we gave him a Form A SEC petition. He  
24 returned it on November 28th.

25 This was about the time -- I think it was based

1 on discussions at a Board meeting that we said,  
2 you know, we really need to make sure we've  
3 done, you know, the due diligence on data  
4 capture and see if we've really found  
5 everything we can, so we went down those aven--  
6 those avenues. We wrote to Dow asking -- hang  
7 on a second.

8 (Pause)

9 I apologize, I'm out of sequence here. I don't  
10 think I have all my slides up here, but...  
11 yeah.

12 There's a sequence of events and sequence of  
13 slides that are not on the screen. I think  
14 they're in the handout --

15 **DR. ZIEMER:** They are.

16 **MR. HINNEFELD:** I've got my handout here.

17 Okay, we requested -- we wrote to Dow asking do  
18 you have any records about this. We didn't  
19 hear anything for about two weeks after we  
20 wrote to them, we -- so we called them and  
21 engaged them in a telephone call. It's the  
22 kind -- you know, a few people on our side and  
23 a couple of people on their side, and they said  
24 well, we actually have just -- responding --  
25 we've just signed the letter responding to your

1 request and we are going to go search for  
2 records. And they warned us that, look, we  
3 haven't owned this site for a long time. We  
4 don't know we're able -- we'll find anything,  
5 but we'll go look, and they asked for a little  
6 mo-- from some more specificity about what it  
7 was we were asking for. So we provided more  
8 specificity.

9 We sent an e-mail, trying to be more specific  
10 than we were in the letter request, about kinds  
11 of information we were asking for and what we  
12 were looking for. And we were looking for  
13 information related to thorium work from 1957  
14 to 1960, and any information about maybe  
15 uranium -- the uranium work or uranium  
16 contamination or the uranium -- the contracts,  
17 et cetera, with AEC about that.

18 On Mar-- in March 13th, after Dow had been  
19 looking for maybe three weeks, we called them  
20 to find out the status. They indicated that  
21 they had compiled possibly responsive documents  
22 -- you know, essentially collected boxes from  
23 various records storage areas that they had,  
24 based on database searches and keyword  
25 searches. In other words, that's how they

1 looked in the first place, and they retrieved a  
2 bunch of documents and they indicated that they  
3 would have to inspect those documents in order  
4 to tell for sure if there were things in there  
5 that were responsive to our request. So they  
6 brought back pretty much anything that would  
7 hit, based on their keyword searches that they  
8 made, any of those hits, and looked at those.  
9 But they did tell us at that time that they had  
10 no indication that they had any personal  
11 monitoring data. But they said that they would  
12 take some time to inspect those to tell them if  
13 they were -- and on -- based on that phone  
14 call, all of the OCAS participants on the phone  
15 call were under the understanding it would take  
16 about ten days to do this visual inspection of  
17 the records that they had collected.  
18 So we called them a little later, expecting  
19 them to be done, and they indicated at that  
20 time that the inspection hadn't started as  
21 intended because of weather issues and the  
22 person was going from Chicago to Midland to  
23 actually visually inspect these records hadn't  
24 been able to get out of Chicago because of  
25 weather, so it had only -- so the inspection

1           was just starting on February -- on March 26th,  
2           whereas we thought it would be done. We --  
3           still, we felt like another ten days and it'll  
4           be done. We were still under the impression it  
5           was going to be about a ten-day effort.  
6           So we called them about ten days later, and at  
7           that point we found out they were about 25  
8           percent done and it would take till the end of  
9           April to -- before they had completed their  
10          visual inspection and could tell us if they had  
11          responsive documents or not.  
12          So of course the end of April has just  
13          happened, and we didn't want to delay our  
14          presentation any more, and so we felt confident  
15          proceeding with the petition evaluation report  
16          with the information we had. And the reasons  
17          for that were that they had indicated that they  
18          had no indication of personal monitoring data,  
19          and we had -- at the time we had received -- we had  
20          two documents that we had received from our  
21          search of NRC records, that '57 report from the  
22          radiation safety officer and the 1960 AEC  
23          inspection report. The AEC report in 1960  
24          referred to 1957 data for air sampling data, so  
25          we said it doesn't seem like they're going to

1 provide us any more air sampling data during  
2 this covered period. So we decided we would go  
3 ahead and so it was placed on the agenda for  
4 today's meeting.

5 And then on Saturday they responded and sent us  
6 seven -- about 700 pages of documents that were  
7 responsive in some nature to -- to what we'd  
8 asked for. And so since Saturday we've --  
9 we've read those documents. We've reviewed  
10 them in light of what we've -- what we had at  
11 ti-- what we had already, and there is -- so  
12 the information we received will cause us to  
13 change some of the details in our SEC  
14 evaluation report, like number of samples. We  
15 found maybe -- maybe there's another maybe  
16 dozen to 15 air samples that were collected.  
17 But those were also collected in the 1956 time  
18 frame.

19 We found -- you know, we got many  
20 manifestations of the same data over and over,  
21 and we found very few samples actually were  
22 taken after the 1956 data that was cited in the  
23 1957 report by the RSO. The samples that were  
24 taken later generally were on a specifically  
25 limited activity, like they took some samples

1 on sanding of an alloy, you know, and -- and  
2 some air samples that resulted from that. So  
3 there was actually very little additional data  
4 that we received that related to internal  
5 exposures to thorium over the weekend.  
6 We recognize that the ownership -- the data  
7 ownership change might be -- has to be revised.  
8 The evaluation report says that Dow sold the  
9 site to Consolidated Aluminum in 1969, but in  
10 fact that sale occurred in 1973. Dow  
11 discontinued its operation in 1969 and leased  
12 the -- leased the site to Phelps-Dodge, but the  
13 sale didn't occur until later.  
14 So the additional information received over the  
15 weekend hasn't changed our -- our original  
16 recommendation that we don't have sufficient  
17 information to reconstruct the thorium dose  
18 from the 1957 to 1960 period. Because of the  
19 complexity of the process, the short duration  
20 of the samples -- I think probably the majority  
21 of these samples were of the duration of maybe  
22 five to 20 minutes -- we don't have repetitive  
23 samples over time of an operation to kind of  
24 figure out how the -- the operation changed  
25 over time, there are comments in -- during some

1 of the collections about the normal ventilation  
2 was enhanced by opening the windows and turning  
3 on these fans. And so, you know, we don't feel  
4 like we can say with confidence that the  
5 limited sampling that we have from early on  
6 provides us sufficient information to really  
7 decide, you know, and bound what -- how  
8 conditions may have been during four years of  
9 operation with this material.

10 We did get in -- over the weekend we did get  
11 some additional external radiation measurements  
12 that may in fact allow us to reconstruct an  
13 external component of the -- of the thorium  
14 dose, whereas before we didn't think we had  
15 enough data to do that, either, but we may be  
16 able to do that with the additional data.

17 Now for the uranium work, the covered work, we  
18 have prepared sample dose reconstructions --  
19 they've been on the O drive for a while -- that  
20 describes essentially an OTIB-4-like method.

21 That is, the method we use for com-- you know,  
22 it's AWE-wide method for the -- describes  
23 airborne data that was encountered during the  
24 early AWE operations as -- and it's used as  
25 sort of a bounding -- this is a bounding

1 estimate and it's used in many applications,  
2 and we've used that in many applications.  
3 It's likely that we can do a -- a more refined  
4 estimate (unintelligible) than that because now  
5 we have available to us a -- again, a multi-  
6 site site profile that was prepared by Battelle  
7 that has operation-specific air monitoring  
8 data. For instance, it has a collection of air  
9 monitoring data that was taken during extrusion  
10 runs over time, for instance, at various sites.  
11 And it has data collected for straightening  
12 uranium at various times. And these -- since  
13 this is essentially a metal-forming operation -  
14 - I mean you know what they did. They took  
15 metal and they shaped it, either extruded it or  
16 -- or straightened it. That's a pretty, you  
17 know, well-understood -- you know, kind of a  
18 small variation in -- in the work that's done.  
19 Whereas the thorium worked seemed to be quite  
20 variable in terms of the kinds of things that  
21 were done and the extent of the -- of the work,  
22 and it just seemed to be a -- quite a -- a  
23 diverse set of activities that would not -- you  
24 know, you couldn't really confine to  
25 essentially a constant set of conditions.

1           Okay, so I think I am now back to the point  
2           where the slides are on the screen.  
3           So our conclusion is that we lack sufficient  
4           information to estimate the internal doses  
5           resulting from exposure to thorium. At the  
6           time it was unlikely we had sufficient  
7           information to estimate the contribution from  
8           thorium; we may in fact have sufficient  
9           information to estimate the thorium dose. This  
10          would be applied during the covered period.  
11          We believe we have access to sufficient  
12          information to estimate the maximum dose that  
13          could have been incurred from the exposure to  
14          the uranium during the contract period and  
15          during residual contamination period using  
16          methods similar to OTIB-4. Like I said, OTIB-  
17          4, we believe we can bound the dose with an  
18          OTIB-4-type approach, or we may be able to  
19          (unintelligible) a more refined estimate based  
20          on the operation-specific data that we have in  
21          the Battelle document. There is the more  
22          precise...  
23          And we believe we can estimate occupational  
24          medical dose using complex-wide approaches  
25          again.

1 We've determined that the members of the class  
2 were not exposed to extremely high radiation  
3 dose during discrete incidents like a  
4 criticality accident, but we believe there is  
5 evidence that workers suffered a cumu-- or  
6 accumulated chronic exposures that could in  
7 fact endanger their health.

8 So the proposed class definition is here. It's  
9 all AWE employees who were monitored, or should  
10 have been monitored, for exposure to thorium  
11 radionuclides while working at the Dow Chemical  
12 Company site in Madison, Illinois for up to 250  
13 -- or for a number of days aggregating 250  
14 between January 1st, 1957 to December 31st,  
15 1960, or in combination with -- in aggregate  
16 with other sites -- other classes. And our  
17 recommendation is to add that class definition  
18 because we feel like that we don't have enough  
19 information, it's not feasible to do accurate  
20 dose reconstructions from the thorium --  
21 internal thorium dose during that covered  
22 period, and we feel like there was sufficient  
23 dose that it could have very well endangered  
24 their health.

25 **DR. ZIEMER:** Okay, thank you, Stu. Next we'll



1 own. No one else has seen it or edited it.  
2 I represent members of the Southern Illinois  
3 Nuclear Workers, our acronym is SINuW. I have  
4 worked with the former Dow workers and ConAlCo  
5 workers and present-day Spectrulite workers for  
6 almost two years. I feel I know them and the  
7 Dow Madison site operations very well.  
8 An overriding consideration here is we were  
9 very hampered by lack of access to primary site  
10 records. Two members of our SINuW SEC team,  
11 Robert Stephan from Illinois Senator Obama's  
12 office and Debra Detmers from Illinois  
13 Congressman John Shimkus's office, will make  
14 remarks that amplify mine. Congressman Shimkus  
15 and Senator Obama called to address the Board  
16 about this SEC previously. And they and  
17 Senator Durbin and Congressmen Jerry Costello  
18 of Illinois have also written letters in our  
19 behalf.  
20 As have other SEC petitioners, I want to  
21 express my appreciation to the Board, to SC&A  
22 and to NIOSH for their help in this complex SEC  
23 process. Laurie Breyer and Larry Elliott at  
24 NIOSH, and many others at OCAS, have provided  
25 assistance that I and SINuW deeply appreciate.

1           There are five overarching issues that I will  
2           address in turn about the Dow SEC. The first  
3           is timeliness issues. I was first notified  
4           about a Dow 83.14 on 9/6/06 by LaVon Rutherford  
5           of NIOSH, and a litmus case candidate was  
6           tentatively identified. I was informed that  
7           ORAU would construct a class definition and  
8           select a final litmus case in the next 30 days.  
9           Sixty-two days later I was informed the first  
10          litmus case, a worker who first filed a claim  
11          in August of 2001, started after the end of the  
12          covered period of 1957-'60 and therefore had  
13          been rejected.

14          Mr. Wieder received his Form A from NIOSH on  
15          November the 14th, 2006. Court reporter  
16          verbatim transcripts, McKeel Powerpoints and  
17          videotape recordings of three July through  
18          August, 2006 Dow worker meetings that included  
19          a NIOSH outreach meeting were delivered to  
20          NIOSH in November of 2006. Mr. Wieder returned  
21          his signed Form A with 37 affidavits to NIOSH  
22          on November the 27th, 2006. Affidavit seven of  
23          that batch refers to thorium shipments to Rocky  
24          Flats, and affidavit number nine of the same  
25          batch gives details about thorium source terms

1           that differ markedly from the NIOSH evaluation  
2           report as listed on page 13 of the 18-page  
3           report.

4           The SEC evaluation report and presentation to  
5           the Board was postponed by NIOSH shortly before  
6           the December, 2006 Naperville, Illinois  
7           meeting. And then the SEC 79 petition was  
8           qualified on December the 14th of '06 and  
9           published in the *Federal Register*.

10          Early in the next year, on January the 30th,  
11          NIOSH and Mr. Hinnefeld sent Dow Midland  
12          headquarters a request, and in the request the  
13          letter mentioned monitoring data, source term  
14          data, operations data and information related  
15          to magnesium/thori-- thorium alloy shipments  
16          from 1957 to 1998 relating to the Dow Madison,  
17          Illinois site. The Dow SEC evaluation report  
18          and presentation to the Board was postponed for  
19          a second time by NIOSH shortly before the  
20          February 7th to 9th Mason, Ohio meeting. Four  
21          new NRC reports had emerged.

22          A Dow SEC update session was held February the  
23          8th, 2007 at the Board meeting, and a 7384W  
24          subpoena to obtain Dow Madison records was  
25          discussed, and the Board tasked SC&A to become

1 familiar with Dow SEC records.  
2 After that time the delays in getting reports  
3 seemed to accelerate, if a delay can  
4 accelerate, but the rate of my receiving things  
5 late increased. For example, three redacted  
6 Dow worker meeting transcripts from July/August  
7 of 2006 were posted on the OCAS web site  
8 between April 17th and 19th of this year. The  
9 Dow SEC petition with the first 37 affidavits  
10 was posted on the OCAS web site after months of  
11 redaction. The Dow second set of 29 new  
12 affidavits was posted on the OCAS web site on  
13 April 18th. Those affidavits are extremely  
14 important because in them 11 additional workers  
15 testify that Dow shipped truckloads of  
16 magnesium/thorium allow to Rocky Flats in  
17 Colorado. NIOSH did not challenge the  
18 credibility of the second set of affidavits.  
19 The SEC 79 evaluation report was finally posted  
20 on OCAS web site April 19th, 2007. And Larry  
21 Elliott had kindly sent me an electronic copy  
22 on the 13th and a hard copy by FedEx on the  
23 19th.  
24 Four members of the Illinois Congressional  
25 delegation requested the Board extend the Dow

1           SEC class definition to cover the 1961-'98  
2           residual uranium period on April the 27th. And  
3           on that same day, at midnight, Dow Midland  
4           posted a 52 megabyte zip-compressed archive  
5           with hundreds of documents on an FTP server at  
6           midnight, minus any index or explanation of  
7           what the documents represented. I was not sent  
8           that document. I got a copy by being alerted  
9           by Robert Stephan and Joe Cuzmarazak. What is  
10          -- was of great interest to us was the previous  
11          year, in 2006, SINuW had had independent  
12          negotiations with Dow for the same set of  
13          documents, and we had gotten no responsive  
14          records at that time.

15          On February the 8th, 2007 the Board meeting  
16          transcript was posted that contained the  
17          records of the -- of the Dow SEC update  
18          session. That was posted on April the 30th in  
19          the afternoon.

20          And then finally I got an e-mail from Larry  
21          Elliott that the new Dow files that NIOSH had  
22          received on the 27th of April might cause NIOSH  
23          to ask the Board to delay a vote on the SEC  
24          petition on May the 3rd. We strongly oppose  
25          that and I'm very happy to see that we have now

1 brought the petition evaluation report to the  
2 Board today.

3 The second issue that I want to mention about  
4 is some comments about the evaluation report  
5 itself that was posted on the web site on the  
6 19th of April. We developed 22 specific  
7 concerns with this report that translated into  
8 14 specific questions that were presented to  
9 Larry Elliott and NIOSH on the 16th. A copy is  
10 attached of these concerns and questions, and  
11 they should be carried as an integral part of  
12 this presentation.

13 Eight of the 14 questions were treated by NIOSH  
14 as FOIA requests. SINuW has requested that  
15 this decision be rescinded for the air  
16 monitoring and the dose rate data and the  
17 references, and that these data and reports be  
18 sent to me immediately as part of the SEC  
19 petitioner openness process. I regret that I  
20 still have not had these records.

21 The following points were most disturbing after  
22 the long wait and late arrival of the  
23 evaluation report: One was the limitation of  
24 the class to 1957-'60, and exclusion of the  
25 uranium residual period, which we didn't

1 believe was adequately justified.

2 Two, the important negotiations with Dow  
3 Midland and David Burnick\* and Kirkland and  
4 Ellis for Dow Madison records was not even  
5 acknowledged or described as to outcome.

6 Third, the crucial affidavit testimony  
7 regarding a close working relationship between  
8 the AEC, Rocky Flats and Dow Madison site for  
9 thorium allows was overlooked, an inexcusable  
10 oversight and rebuff to the workers and to all  
11 the people that carefully prepared the site  
12 expert testimony. Note that there is no Dow  
13 site profile, and that the Dow site-specific  
14 appendix to Badelle (sic) TIB-6000 which Stuart  
15 just mentioned will not be forthcoming. There  
16 won't be an appendix for uranium on TIB-6000.

17 This was according to Larry Elliott in a  
18 conversation with Dr. Lewis Wade on April the  
19 17th where we were talking about the SEC  
20 arrangements. The rationale for not including  
21 a Dow-specific appendix to TIB-6000 does not  
22 make sense to me. We -- we disagree strongly  
23 with NIOSH that ORAU-OTIB-04 Rev. 2 -- we  
24 disagree with NIOSH that ORAU-OTIB-4 Rev. 2 is  
25 adequate to reconstruct uranium doses at Dow

1           because this technical document does not  
2           adequately cover exposures to uranium extrusion  
3           and rod-straightening in the rolling mill  
4           section, or to uncharacterized known impurities  
5           and chemical composition shifts in the uranium  
6           ingots that Mallinckrodt produced. It does not  
7           cover exposures to collate -- co-located  
8           thorium-232 dust from the 1998 cleanup by USACE  
9           -- that's the Army Corps of Engineers. So  
10          although OTIB-4, which was mentioned in the  
11          report, does cover uranium, we would agree with  
12          Stuart and NIOSH that -- that there must be a  
13          document like OTIB-6000 that covers the  
14          extrusion and rod-straightening procedures.  
15          But unfortunately, as I just mentioned, there  
16          won't be an appendix specific for -- for Dow  
17          about this.

18          Third item is the extension of the class  
19          definition period to cover the uranium residual  
20          period. As of 4/26/07 the Madison site has  
21          submitted 322 Part B and E claims, 278 cases  
22          representing 261 unique individuals, with 107  
23          cases having been referred to NIOSH. Only two  
24          dose reconstructions have been performed since  
25          2001, and one claimant has been paid. Claims

1 have been submitted for workers from all the  
2 owners, including Dow, ConAlCo and Spectrulite.  
3 OCAS acknowledged repeatedly that petitioner  
4 McKeel is interested in having the SEC cover  
5 the residual contamination period from 1961 to  
6 1998 in addition to the operational period, the  
7 contract period of 1957-'60 for Mallinckrodt  
8 experimental uranium extrusion and rod-  
9 straightening work. Approximately 70 claims,  
10 41 of which have SEC cancers, will be covered  
11 under a 1957-'60 class definition; whereas the  
12 broader Dow class from 1957 to 1998 that I'm  
13 asking for would include at least 23 additional  
14 workers, including the candidate litmus  
15 claimant who filed in August 2001 and whose  
16 Part B claim is still pending. The exact  
17 number covered under a 1957-1998 extended SEC  
18 class is still unclear, and NIOSH is updating  
19 those figures for the Board. On February the  
20 8th, 2007 Larry Elliott acknowledges in the  
21 public session that EEOICPA does not preclude  
22 SEC coverage of the residual uranium period,  
23 and that this period is covered for ordinary  
24 dose reconstructions. The legal department  
25 opinion that restricts NIOSH to doing dose

1 reconstructions under SECs to just the covered  
2 contract period and not the residual period is  
3 cited in e-mails and so forth, but has never  
4 been documented as being a written policy by  
5 NIOSH by a named person on a particular date  
6 that we have seen. The NIOSH SEC evaluation  
7 report admits that regular EEOICPA claims can  
8 be compensated for 1957 to 1998, but limits the  
9 SEC class definition to 1957-'60 with what we  
10 feel is a flawed and hard-to-grasp explanation.  
11 And as I've mentioned, both U.S. Senators from  
12 Illinois and two U.S. Congressmen from Illinois  
13 have joined in a bipartisan request to NIOSH to  
14 extend the class coverage out to 1998.  
15 Now we come to that very important -- the  
16 fourth point, which is Dow Madison  
17 relationships with the Atomic Energy Commission  
18 and thorium production and residual  
19 contamination thorium. The U. S. Army Corps of  
20 Engineers FUSRAP 2000 report contention that,  
21 quote, no Dow Madison site thorium work was  
22 AEC-related, end quote, cannot -- cannot be  
23 backed up by any primary document, as  
24 determined in a June, 2006 face meeting between  
25 USACE, SINuW members and Congressman Shimkus's

1 office in the Army Corps of Engineers' St.  
2 Louis district office. The Corps did find  
3 uranium and uranium dust being colla-- co-  
4 located above the extrusion press rafters in  
5 building six, and the reason for that of course  
6 was that the same extrusion presses, the light  
7 press and possibly the heavy press, were used  
8 for both types of extrusion, so you expect to  
9 have a mixed contamination above the presses.  
10 We contend the AEC and commercial thorium  
11 streams at Madison site are not separable, and  
12 hence thorium should be calculated in dose  
13 reconstructions throughout both residual  
14 uranium and thorium contamination periods that  
15 extend at least up to 1998. In addition, 11  
16 Dow workers provided sworn notarized affidavits  
17 to the effect that the Madison plant shipped  
18 truckloads of thorium/magnesium metal alloy to  
19 Rocky Flats and the S-- and the AEC. These  
20 affidavits go unchallenged for credibility by  
21 NIOSH at the time of submission. SINuW  
22 strongly argues that the affidavits are both  
23 credible and were neither coached nor  
24 anecdotal, as characterized unofficially by  
25 NIOSH, but never in writing to the petitioners

1           McKeel and Arthur Wieder.  McKeel and SINuW Joe  
2           Cuzmarazak pro bono attorney strongly protested  
3           characterization of Dow affidavits as being  
4           coached or anecdotal.  This was done in writing  
5           to the Advisory Board Chair and to Dr. Wade as  
6           the Designated Federal Official.  The Illinois  
7           delegation agrees.  Dow Midland documents  
8           received 4/27/07 -- and this is probably the  
9           most important thing I can say to you today,  
10          and I'll show you in the slide -- upcoming  
11          Powerpoint slide presentation that those  
12          documents that we got late on 4/27 prove that  
13          Dow Madison provided centered magnesium, slide  
14          number one, and magnesium/thorium allow, slide  
15          number two, to Mallinckrodt Chemical Works  
16          uranium divisions for their operations, and to  
17          the AEC, and I will show those slides in a  
18          short period.  In addition, there is a Pangea  
19          Group May 25th -- I'm sorry, June, 2005 thorium  
20          inventory, slides three and four, that shows  
21          widespread residual thorium metal throughout  
22          former Dow plant buildings complex.  Remember,  
23          the FUSRAP report and the uranium cleanup was  
24          restricted to building six.  This report was  
25          generated as Dow Madison is commissioning its

1 current thorium license, Illinois 01750, with  
2 the Illinois Emergency Management Agency.  
3 Finally, my fifth point is that there has been  
4 extreme harm to the workers, including  
5 beryllium exposure at the Dow Madison plant.  
6 Dow reports such as that by Silverstein\* in  
7 1957 and the 1960 AEC inspection report, which  
8 we have not gotten but as reported in the  
9 evaluation report, suggest that the mouse --  
10 Madison site had an active, well-honed  
11 radiation safety program. Nothing could be  
12 farther from the truth as revealed by extensive  
13 worker affidavits and meeting transcripts,  
14 including the NIOSH outreach meeting held in  
15 Collinsville, Illinois on 8/22/06. This was a  
16 session where workers passed the microphone  
17 down the rows and gave their testimony freely.  
18 The risk of handling uranium, and especially  
19 thorium and beryllium, were downplayed to the  
20 Dow Madison workers, and even to supervisors,  
21 by the plant management. There were numerous  
22 magnesium and numerous thorium-related fires  
23 and explosions, and worker injuries and even  
24 deaths. OSHA was called in for many of these  
25 incidents, and I'm sure will have appropriate

1 reports. There were periodic special metal or  
2 what's called PE, metal extrusion and rolling  
3 mill runs -- and I should note that photo  
4 engraving plates were a major Dow product --  
5 where workers asked but were not told the true  
6 nature of the metal they were working with.  
7 They guessed it was some sort of thorium  
8 compound based on the telltale behavior of the  
9 ingots in the heated extrusion process. There  
10 is, as Stuart mentioned, no individual  
11 dosimetry data for Dow that's been produced by  
12 -- by DOE or NIOSH. We've checked with  
13 Landauer, and Dow Midland could not provide  
14 any. The workers indicate that badges were, as  
15 they put it, cosmetic, being worn for certain  
16 inspections and then discarded without,  
17 according to the workers, being read. None of  
18 the workers ever had any feedback about any  
19 dosimetry to themselves. Badge use was rare  
20 before 1986. The workplace at Dow was dirty,  
21 with high amounts of thorium-rich fumes and  
22 smoke from the pot room that spilled over to  
23 other buildings and even led to plant shut-  
24 downs, the smoke was so bad at times. The  
25 workers handled large quantities of pure

1 thorium and beryllium metal as alloy components  
2 from the 1950s through part of the 1990s. And  
3 very recently a worker wrote me and said that  
4 at least 20 pounds of beryllium were added to  
5 most all aluminum alloy runs, and those  
6 aluminum alloy runs continue today. Dr. Lar  
7 Fuortes at the University of Iowa is studying  
8 at least ten former Dow workers for respiratory  
9 illnesses to rule out chronic beryllium lung  
10 disease and/or pulmonary disease, especially  
11 fibrosis, that are related to thorium exposure  
12 that is apart from malignancy. The Dow plant  
13 produced lacalloy\*, which is a  
14 beryllium/aluminum metal, starting in 1963.  
15 Besides the FUSRAP uranium cleanup in 1998 in  
16 building six, the affidavits and meeting  
17 transcripts record many private cleanups at the  
18 Madison site, and workers were involved in  
19 those private cleanups and got episodic high  
20 exposures during those cleanups. Two major  
21 cleanups were ones in 1993 when ERG of  
22 Albuquerque, New Mexico removed more than 850  
23 railcars of magnesium/thorium sludge off-site  
24 to Utah. And a second private cleanup includes  
25 the current Pangea thorium license

1 decommissioning cleanup that is ongoing.

2 Now if we can turn to the slides, let's see if  
3 we can get them going forward here. Let's see  
4 -- can somebody help me?

5 (Pause)

6 Okay. Now I -- the first slide I want you all  
7 to please look at, and you'll have to look at  
8 these on the screen, unfortunately -- oh, no.  
9 For some reason this Powerpoint won't display  
10 pictures, and that's going to be -- so what I -  
11 - can somebody help me with this projector,  
12 please? I have a PDF file which will show  
13 these with the pictures. I can't imagine that  
14 problem, but you must see the pictures, so --  
15 so what I need is to get out of this...

16 (Pause)

17 All right. Sorry for the interruption. Now if  
18 I can get you to please turn to the slides, I -  
19 - I can just -- I can just -- can -- can you --  
20 can you change these like this? Okay, that'll  
21 be good.

22 (Pause)

23 So I want to turn -- this is probably the most  
24 important slide on the screen. The Department  
25 of Energy has two major databases that are

1 available to characterize EEOICPA sites. One  
2 is the considered sites database, and this is  
3 the database that contains all of the  
4 administrative record documents, for instance,  
5 on cleanup, the FUSRAP reports. But the other  
6 database, the Bible, if you will, is the  
7 facility list, Department of Energy, EEOICPA,  
8 and the listing in that database for the  
9 Madison site includes this facility description  
10 today, that's the point.  
11 Facility description. The Dow facility in  
12 Madison, Illinois supplied the AEC with  
13 materials, chemicals, induction heating  
14 equipment and metal magnesium metal products  
15 and services. So I -- I must stress, Dow  
16 facility in Madison supplied the AEC with metal  
17 magnesium metal products. Dow received a  
18 purchase order from the Mallinckrodt in March,  
19 1960 -- well, that's an error right there  
20 because the uranium work was done between '57  
21 and '60, so this date is wrong, but that's  
22 relatively minor -- for research and  
23 development on the extrusion of uranium metal  
24 and rod. Note this description does not  
25 include anything about the thorium AEC work



1 alloy was thorium-containing. So this is the  
2 direct link between thorium and the AEC.  
3 Again, this is Dow Chemical that we're talking  
4 about in Madison, Illinois. Mallinckrodt  
5 Chemical Works uranium division purchase order  
6 for the AEC under the AEC contract, and this is  
7 the same contract that covered the uranium  
8 work. I apologize that I -- you can't see that  
9 better here, but the -- the original documents  
10 are being submitted in writing to the Board as  
11 soon as I finish this presentation, so you'll  
12 have them.

13 Now this is a blow-up of this -- of this second  
14 contract purchase order, if you will, and that  
15 shows that AEC was being supplied by Dow  
16 Madison with magnesium alloy plate. So this is  
17 not magnesium metal, this is magnesium alloy  
18 plate, and you can see here a number, and I'll  
19 show you that a little bit blown up down here.  
20 So it says magnesium alloy plate, and then  
21 there is a number. And the numbers of alloys  
22 are important because there's an ATSM (sic)  
23 standard nomenclature for metal alloys.  
24 And what you ca-- I -- I can't see what this  
25 is. I don't know what that is. What I can see

1           here is 21A -- it looks like XA, and that looks  
2           like a T, so this doesn't mean anything to me,  
3           but the 21A means quite a lot.

4           Now this is another document, and I should  
5           mention that those two documents just shown to  
6           you -- I apologize but I want to make sure you  
7           see this -- these are documents that were  
8           supplied to Robert Stephan, to Joe Cuzmarazak  
9           pro bono attorney and to NIOSH and to Stuart  
10          Hinnefeld on April the 27th of this year in  
11          that big 52-megabyte zip file. And notice that  
12          this number at the bottom, TDCC322, that's the  
13          Dow Midland document number, so this is a  
14          product of that long search that Stuart  
15          described.

16          And this is another document in the same set  
17          from Dow Midland, document TDCC318, I believe.  
18          It's hard to see from this Powerpoint slide.  
19          Now this is a third document that we got from  
20          Dow Midland, and what this is is a table in one  
21          of their reports that shows the composition of  
22          the various alloys that the magnesium mill  
23          produced. And I want to draw your attention to  
24          these three right here in the middle with the  
25          red bar, and to the content of those man--

1 manganese, Mn percent, and Th, or thorium,  
2 percent, and that's blown up here at the  
3 bottom. And the one of particular interest --  
4 all of these are thorium alloys. H in the  
5 standard nomenclature refers to thorium. And I  
6 want to draw your attention in particular to  
7 thorium/manganese/magnesium alloy 21A. The  
8 manganese maximum percent is .45 to 1.1  
9 percent, the thorium percentage as listed here  
10 is 1.5 to 2.5 percent, and the source of that,  
11 again, was Kirkland and Ellis who are the  
12 external counsels for the Dow Chemical Company.  
13 I mentioned to you, and I showed this in  
14 February to the Board, that there -- the Pangea  
15 Group of St. Louis has been cleaning up the Dow  
16 Madison site for the last two and a half years,  
17 and these are the -- these are just two pages  
18 from their June 2005 report showing the thorium  
19 inventory throughout many of the buildings at  
20 the Dow Madison complex. Building one, four,  
21 five, six, seven, eight, nine and the machine  
22 shop and building ten. And I would note that  
23 this is various forms of thorium metal, and  
24 they're all throughout the plant.  
25 So the summary of this slide session is as

1 follows: The Dow Madison site contracted for  
2 uranium work with the AEC via Mallinckrodt  
3 Chemical Works during 1957-'60, and the Dow  
4 Madison plant supplied the AEC and Mallinckrodt  
5 with centered magnesium and magnesium H21A  
6 thorium alloy during 1957 and 1958, and the  
7 commercial and the AEC thorium waste streams  
8 are inseparable in the still-contaminated  
9 sites. Therefore, we believe that the Dow SEC  
10 should cover 1957 to 8 (sic) throughout the  
11 uranium and thorium production and residual  
12 periods.

13 Well, let's just -- let's just leave that up  
14 there. I don't know how to turn it off.  
15 So my final concluding remarks are the  
16 following: I believe the Dow Madison Section  
17 83.14 class should be extended from 1957 to '60  
18 to 1957 to '98 to cover at least the uranium  
19 production and residual contamination periods.  
20 Because of the AEC-related thorium work with  
21 Mallinckrodt and Rocky Flats, which I hope I've  
22 proven to you existed, and given the fact that  
23 commercial military and thorium waste streams  
24 cannot be separated, nor can the thorium be  
25 separated from the uranium dust during the

1 residual period, we believe the SEC should also  
2 include both the uranium and thorium residual  
3 contamination period because they're all  
4 intermixed. Thorium contamination continues  
5 even today. The Dow Madison workers were  
6 definitely severely harmed at this site for  
7 decades related to their AEC work. They  
8 deserve to be honored by extending the SEC  
9 class to cover the full period of harm they  
10 have been subjected to for -- for decades.  
11 And finally, I'll leave you with just two  
12 quotes from sworn affidavit number seven, from  
13 two long-time Dow Madison workers. One worker  
14 said I worked with the thorium from the first  
15 time they run it to the last time when I  
16 retired in 1990. I figure -- and the second  
17 quote is, from the second worker, I figure the  
18 thorium work started in '51 and it ended in  
19 about 1998, is when they had the last slabs  
20 over in the mill to be processed.  
21 So that's the end of my presentation and I  
22 thank you very much. And Dr. Ziemer, I'd like  
23 to give you a copy of the -- (off microphone)  
24 (unintelligible).  
25 **DR. ZIEMER:** Thank you very much, Dr. McKeel,

1 and we'll make sure the full script gets both  
2 to the Board members and onto the web site.  
3 Next we will hear from Deb -- Deb Detmers, and  
4 Deb, as was indicated previously, is a staff  
5 member from Representative Shimkus's office,  
6 and I think we're also going to read into the  
7 record something from Representative Costello?

8 **MS. DETMERS:** I -- I am, thank you.

9 **DR. ZIEMER:** Yes, thank you.

10 **MS. DETMERS:** I'm going to do that first,  
11 actually. Congressman Costello sent a letter  
12 for the record, and Congressman Costello's our  
13 colleague from the metro east area, showing the  
14 bipartisan effort of this.

15 (Reading) I want to thank Chairman Ziemer and  
16 the members of the Advisory Board on Radiation  
17 and Worker Health for the opportunity to submit  
18 testimony regarding the Dow Chemical Company  
19 Special Exposure Cohort 00079 petition under  
20 evaluation. I strongly support this petition  
21 and ask the Board to give it a fair and  
22 thorough review.

23 As you are aware, the National Institute of  
24 Occupational Safety and Hazard (sic) submitted  
25 an SEC evaluation report on -- report petition

1           on April 13th, 2007. The report addresses  
2           atomic weapons employees at the Dow Chemical  
3           Company in Madison, Illinois who worked at  
4           least 250 days from January 1st, 1957 through  
5           December 31st, 1960. This petition is a  
6           resource providing critical information in  
7           order to bet-- in order to better understand  
8           the full extent of the workers' exposure to  
9           chemicals and radiation.

10           It is my understanding that NIOSH has 75 claims  
11           within this covered time period, and a total of  
12           116 active Dow cases. While I realize this  
13           meeting today is to examine the covered time  
14           period, the residual contamination period  
15           cannot be ignored. Therefore I urge the Board  
16           at some point in the near future to conduct a  
17           full examination of Dow Chemical petitions to  
18           ensure no employees are wrongly denied workers'  
19           compensation. These workers who are exposed to  
20           hazardous chemicals and radiation, as well as  
21           their beneficiaries, deserve quick action.  
22           Too many workers at Dow have waited years for  
23           help, and they deserve a comprehensive review  
24           without further delay. I look forward to  
25           working with the Advisory Board on worker

1 compensation issues at Dow Chemical, and will  
2 continue to work with my colleagues in the  
3 House and the Senate to ensure our nation's  
4 atomic workers and their families receive the  
5 benefits they deserve.

6 Jerry Costello, Member of Congress.

7 You -- you heard from my boss yesterday, he's  
8 the one who called in from the airport, so I'm  
9 not going to repeat everything he said. And  
10 I'm only going to talk very briefly.

11 I became involved in this six years ago when  
12 two men walked into my office, [Name Redacted]  
13 and Bill Hoppe. I didn't know anything about  
14 this program. I didn't even know what NIOSH  
15 was. But I've learned a lot in six years. I  
16 know these workers personally. I've been to  
17 all of their meetings. I have been to their  
18 reunions. I have been to their houses. I've  
19 been to their funerals. I have heard the same  
20 stories for six years. I've heard the same  
21 stories independently for six years. I've  
22 heard the stories of thorium for six years.  
23 These affidavits that these men have provided  
24 are credible and valid. These men -- even at  
25 the workers' meetings, if somebody says

1 something and one of the other guys questions  
2 it, they will correct each other. These --  
3 they do not know how to lie. These are not men  
4 who know how to lie. They are telling the  
5 truth of what happened at that plant.  
6 I don't want the Board to dismiss this because  
7 of lack of documentation. No stone's been  
8 unturned in trying to get to get to this  
9 documentation. Dr. McKeel and I sat at the  
10 state EPA and went through tons of dusty  
11 documents. We've sat with the federal EPA.  
12 We've sat with IEMA, which is the Illinois  
13 Emergency Management Association. We've been  
14 to the Corps of Engineers library. We've  
15 recently gotten -- went through 400 pages of  
16 Dow documents. We have FOIA requests that  
17 haven't been answered yet. Every effort to get  
18 documentation has been made.  
19 I think -- we have the scientific evidence that  
20 Dr. McKeel presented. We have very true  
21 affidavits from these men. And I urge you  
22 today to extend this SEC -- to the residual  
23 contamination period through 1998.  
24 And I want to -- or I urge you that the time is  
25 today. The time isn't the next Board meeting.

1           The time isn't down the line. The time I think  
2           to do this is today. Thank you.

3           **DR. ZIEMER:** Thank you very much. Then we'll  
4           hear from Robert Stephan, who's from Senator  
5           Obama's office.

6           **MR. STEPHAN:** Thank you, Dr. Ziemer. First I  
7           have a statement from Senator Durbin's office  
8           that I would like to read into the record, if  
9           that's okay.

10          **DR. ZIEMER:** Yes.

11          **MR. STEPHAN:** It's addressed to you. It says  
12          (reading) Thank you for your kind consideration  
13          of this matter before the Advisory Board on  
14          Radiation and Worker Health in expanding the  
15          class to cover workers employed during the  
16          residual period, through 1998. I have met with  
17          the workers who provided the affidavits, and  
18          have listened to their stories. Especially in  
19          this case where there is little documentation  
20          to challenge their accounts, I hope you will  
21          give the affidavits provided their full  
22          consideration.

23          In addition, I'm hoping for a prompt resolution  
24          of this matter and these workers' claims. The  
25          SEC process has been pending for months, and

1           due to the health and age of many of the  
2           workers, it is imperative that the Board  
3           promptly consider the merits of the case.  
4           Thank you for permitting me to raise these  
5           issues, and for your service on this Board.  
6           Sincerely, United States Senator Dick Durbin.  
7           Dr. Ziemer, I just want to go into a little bit  
8           more detail in terms of how the Senator views  
9           this. You know, he called in the other day,  
10          but he just wants to kind of summarize this  
11          down to how he sees this. Okay? And hopefully  
12          -- I want to make it an assumption here, I  
13          supposed, but hopefully the 83.14 is going to  
14          be approved, so we're kind of focusing in on  
15          this residual period here. And I do want to  
16          give credit where credit is due to NIOSH.  
17          Certainly our office has been very tough on  
18          NIOSH at times, Larry and Stu and everybody  
19          else can attest to that. But we have to be  
20          fair and give credit when it's due, and they  
21          have done a good job in recognizing at least  
22          the '57 through '60 period, and in working with  
23          us on this issue.  
24          So to -- to square this up as to where we are  
25          now, let's -- let's go back to the February

1 meeting that was in Cincinnati, Ohio -- okay? -  
2 - and just go through some of those comments  
3 there that -- that I think brings us to where  
4 we are now and we'll kind of focus this down,  
5 at least from the Senator's point of view, and  
6 hopefully we can come up with some sort of a  
7 resolution.

8 Obviously the issue is did Dow Madison produce  
9 AEC-related -- deal with AEC-related thorium  
10 after 1960. Okay. So, and if they -- and if  
11 they provided it to Rocky Flats or Mallinckrodt  
12 -- mainly Rocky Flats is what we've been  
13 talking about -- then that, in and of itself,  
14 is pretty good evidence of AEC-related thorium  
15 at Dow Madison after 1960. So from the  
16 transcripts -- the meeting transcripts of the  
17 Advisory Board from February, quoting Larry  
18 Elliott, you know, let's be clear that this  
19 goes to the covered facility description. The  
20 covered facility description, that is DOE and  
21 DOL's responsibility to set in place. It is  
22 our understanding at NIOSH that the  
23 documentation that has been provided by the  
24 DOE, reviewed by DOL and reviewed by our folks,  
25 both in the general counsel's office and our

1 technical staff, do not find any linkage of AEC  
2 work after the covered period of '57 to '60.  
3 We have to go by that unless there's another  
4 document produced that indicates otherwise. We  
5 are bound by the law and the regulations to  
6 only reconstruct the AEC portion of that dose.  
7 Then continuing to quote Larry, and we've been  
8 talking about these -- these affidavits, so  
9 this is NIOSH's position as I understand it, on  
10 the record, quoting the February transcripts.  
11 We do not question the veracity or the validity  
12 of the affidavit comments that have been  
13 provided to us. Again, we do not question the  
14 veracity of the affidavit testimonies about  
15 working on thorium. We understand they worked  
16 on thorium. This was a dirty place. It was a  
17 dirty operation. We don't quibble about the  
18 facts that these folks -- these fine folks were  
19 put in harm's way, et cetera, et cetera, et  
20 cetera.  
21 So if we're -- according to Larry Elliott  
22 still, so if we're going to take up a  
23 discussion about the covered facility  
24 description, I think you need to employ in that  
25 discussion Department of Energy and Department

1 of Labor. NIOSH has no responsibility or  
2 authority in that regard.

3 So what's the point. The point is, NIOSH has  
4 done their job. NIOSH -- NIOSH has done what  
5 NIOSH is bound to do. So -- and we -- and we  
6 appreciate that. So where do we go from there,  
7 and where we go is to the site description that  
8 Dr. McKeel went through. We go to the DOE and  
9 we say give us documents to show us how you  
10 came up with your site description for AEC-  
11 related thorium from '57 to '60. You can't  
12 just tell us that's what it is. You have to  
13 give us something. It's not going to work just  
14 saying we're the Department of Energy and this  
15 is what it's going to be.

16 So what did they give us. They gave us a  
17 FUSRAP report. The FUSRAP report references  
18 itself. There's nothing in the FUSRAP report  
19 that shows why they say that. So where does  
20 that take us? Well, that takes us down --  
21 after all of this, after all NIOSH's work,  
22 after all the work that Dr. McKeel and SINuW  
23 and two Congressmen and two Senator's office  
24 and all of your work, where we are today is a  
25 he said/she said -- a he said/she said between

1 the Department of Energy and -- unless I'm  
2 missing something, and I don't think that --  
3 that we are, after Stu's presentation -- a he  
4 said/she said between the Department of Energy  
5 and, to a lesser extent, the Department of  
6 Labor and 11 affidavits from the workers, that  
7 NIOSH does not question, that say thorium was  
8 shipped to Rocky Flats. One of those workers  
9 worked in shipping and attested the fact that  
10 he saw the shipping manifest to -- sending  
11 thorium to Rocky Flats beyond 1960. So -- and  
12 that -- and that's what Dr. McKeel showed you.  
13 So that's where we are, and I just want to make  
14 sure that -- for the record, I think you all  
15 understand this perfectly, but for the record,  
16 that's what this is about. This is a he  
17 said/she said between the Department of Energy  
18 and at least 11 workers from Dow Madison and  
19 this -- in the Senator's view and this is why  
20 he wanted me to make this point -- this is a  
21 critical moment in the history of this Board.  
22 Do we take the statements of workers over  
23 statements of -- from the Department of Energy  
24 that cannot be backed up by documents.  
25 Now it has been said that the workers'

1 testimony cannot be backed up by documents.  
2 The Department of Energy testimony can't be  
3 backed up by documents. They have a report  
4 that they wrote that -- FUSRAP, the FUSRAP  
5 report, that USACE wrote that -- that  
6 references itself, so they don't have a  
7 document, either. So in this -- in this whole  
8 dialogue of not having documents, they don't  
9 have any documents, so that doesn't count. The  
10 FUSRAP report doesn't count. So what are we  
11 going to do, is the question. What is the  
12 Board going to do? You can cover the residual  
13 period. Are we going to take worker testimony  
14 at face value or are we not going to take  
15 worker testimony because the Department of  
16 Energy references a document that references  
17 itself.  
18 So in the Senator's eyes, that's where we see  
19 things today. We really hope, as much as you  
20 possibly can, that you will act on this  
21 residual issue today and not put it off until  
22 August or -- or September or whenever the next  
23 Board meeting is. We -- we really want to move  
24 on this today, put this issue to rest. These  
25 are 23 additional workers we're talking about,

1 and move on.

2 So appreciate your time. We appreciate your  
3 efforts, Larry and Stu and everyone at NIOSH.  
4 I wish Libby White were here today to discuss  
5 this issue from the Department of Energy 'cause  
6 I presented this to her and so -- you know, I  
7 take the Department of Energy's absence to mean  
8 that they don't question what I just said about  
9 their report, so I just want to make sure that  
10 that's in the record. Thank you.

11 **DR. ZIEMER:** Thank you, Robert. And I'm -- I'm  
12 going to ask if there are any other petitioners  
13 or maybe -- maybe you know, Dr. McKeel, if --  
14 is there anyone by phone that --

15 **DR. MCKEEL:** I don't believe so. I -- I just  
16 had one sentence to add --

17 **DR. ZIEMER:** Please.

18 **DR. MCKEEL:** -- and I apologize, but I forgot  
19 to say this. But on February the 23rd of this  
20 year I wrote Glenn Podonsky\* at DOE a very  
21 detailed letter about just this issue of the  
22 facility description and the error that's on  
23 the -- that I just showed to you in the  
24 Powerpoint slide presentation. I have gotten  
25 back a -- what I would characterize as a

1 partial answer, but really that missed the  
2 entire point of the thorium connection that  
3 they themselves note on the facilities list.  
4 So just to make it complete, I really think  
5 we've tried to do what the Board admonished us  
6 to do, what Larry Elliott asked us to do.  
7 We've sought the guidance from the proper  
8 agencies. I sent copies of that letter to  
9 NIOSH. I've talked to Peter Turcic repeatedly  
10 about the facility description and he says go  
11 back to DOE. So we've really done that. We've  
12 tried in good faith to do what we can do, and I  
13 think Robert's right. He's describing --  
14 that's where we are today.

15 **DR. ZIEMER:** Thank you, and I'll just double-  
16 check. Are there -- is anyone by phone --  
17 petitioners by phone representing Dow?

18 **UNIDENTIFIED:** (Unintelligible)

19 **DR. ZIEMER:** Representing Dow?

20 **UNIDENTIFIED:** Yes.

21 **DR. ZIEMER:** Could you speak up and give us  
22 your name again?

23 **MR. HOPPE:** My name is Bill Hoppe.

24 **DR. ZIEMER:** Okay, Bill, right. Did you have  
25 some comments, Bill?

1           **MR. HOPPE:** Yes, we have (unintelligible) more  
2 information, you know, than what they gave, but  
3 the whole thing is is a lot of it was kept from  
4 the (unintelligible) of the workers down there  
5 and they -- we didn't really know what -- what  
6 we were running in that, but the uranium, they  
7 were running uranium down there in '75 on  
8 (unintelligible) and they ran uranium  
9 (unintelligible) straightening the rods  
10 (unintelligible) put over in the  
11 (unintelligible) in the rolling mill and it was  
12 up in the (unintelligible) and safety  
13 (unintelligible) area -- era when they were  
14 doing that. And the (unintelligible) of that  
15 plant had thorium work done in it or stored in  
16 it in that, from the (unintelligible) office  
17 where they (unintelligible) all the metal to --  
18 all the way through to the finished part when  
19 they shipped it out. But (unintelligible)  
20 since we've started on this (unintelligible)  
21 about six years ago now, we've got over 40  
22 people that's died of cancer and they hold out  
23 (unintelligible) longer, we'll all be dead.  
24 You know, that's the whole thing in a nutshell.  
25 If you've got any questions for me, I'll be

1 more than happy to (unintelligible) answer  
2 them.

3 **DR. ZIEMER:** Okay. Thank you very much, Bill.  
4 Now Board members, this -- this petition is  
5 open for discussion. There -- there appears to  
6 be actually two issues. We -- we have the  
7 evaluation report to react to or to act on.  
8 There is, in a sense, an additional request,  
9 which is the issue of extending the covered  
10 period.

11 Now I think it's important and we need -- and  
12 there may be great sympathy toward that. I  
13 think there also is a legal issue and I need to  
14 have some definition, perhaps. I don't know if  
15 legal counsel can tell us. My understanding is  
16 that the -- the definitions of those are -- are  
17 not the prerogative of this Board; they are  
18 established by Labor. Is that correct, or --  
19 maybe somebody could clarify that. I -- I want  
20 to clarify what authority this Board has on the  
21 issue of defining those periods.

22 **MS. HOMOKI-TITUS:** If you're talking about what  
23 periods are covered -- is that what you're  
24 asking?

25 **DR. ZIEMER:** The cov-- the covered periods --

1           **MS. HOMOKI-TITUS:** Are defined by the  
2           Department of Labor and the Department of  
3           Energy. They are not the prerogative of this  
4           Board or of Health and Human Services.

5           **DR. ZIEMER:** So that if the Board -- the only  
6           thing the Board could do at that -- at this  
7           point would be, for example, to express an  
8           opinion to perhaps the Secretary of Health and  
9           Human Services to -- an opinion to convey  
10          something to those agencies.

11          **MS. HOMOKI-TITUS:** Right, they -- the Advisory  
12          Board --

13          **DR. ZIEMER:** But we do not have the authority  
14          to change --

15          **MS. HOMOKI-TITUS:** No, you do not have the  
16          authority to change it. The Advisory Board  
17          could provide a recommendation to the Health  
18          and -- the Secretary of Health and Human  
19          Services to contact the Department of Energy  
20          and the Department of Labor regarding whatever  
21          opinion you want to provide.

22          **DR. ZIEMER:** So -- and Dan, you -- you have a  
23          comment on that, too.

24          **DR. MCKEEL:** That really avoids the issue.  
25          What -- what we are saying, and we back this up

1           by numerous statements, including [Name  
2           Redacted] opinion reading the Act, that there  
3           is nothing in EEOICPA, nothing, no wording,  
4           that forbids an SEC to cover the residual  
5           period. Now that's a flat statement, so I  
6           would think that what we need an -- a legal  
7           opinion on is is that statement correct or not.  
8           I don't think we are impeded -- I don't think  
9           you're impeded from covering the residual  
10          period.

11         **DR. ZIEMER:** Okay.

12         **DR. MCKEEL:** If you believe that the things  
13         that I said were true, that that was AEC work -  
14         - intermixed AEC uranium and AEC thorium, that  
15         it originated in 1957 to '60 period and  
16         extended on up into the future.

17         **DR. ZIEMER:** I think one of the practical  
18         outcomes, though, is that whatever this Board  
19         recommends goes to the Secretary and the  
20         Secretary probably gets back to that  
21         definition. So we -- we have to work within  
22         those boundaries, but I'm -- I'm trying to  
23         assess this myself. Thank you -- please.

24         **MR. STEPHAN:** Ju-- just as an aside here, we  
25         have to say for the record, it is insulting to

1 the workers, it is insulting to you, it is  
2 insulting to us. The Department of Labor and  
3 the Department of Energy have known for months  
4 upon months upon months that we were going to  
5 discuss this today, and now no one is here  
6 except for possibly legal counsel -- your legal  
7 counsel for HHS. So it's just -- it's  
8 ridiculous that they left, absolutely  
9 ridiculous that they left and now no one is  
10 here to engage in this conversation when they  
11 knew all along how important this was to us.

12 **DR. ZIEMER:** Okay. Thank you, Robert. Lew,  
13 could you add to this?

14 **DR. WADE:** Well, let me try to deal with Dr.  
15 McKeel's question. And again, if I'm wrong,  
16 please jump up and correct me, counsel or  
17 Larry. I think that NIOSH had the ability to  
18 include the residual contamination period in  
19 its definition, but NIOSH is saying that if you  
20 refer back to the 2005 Defense Authorization  
21 Act, as amended, that the only radioactive  
22 material that we could consider in that  
23 judgment was the DOE or the AEC work. And we  
24 have determined that we feel we can reconstruct  
25 dose for the uranium, and that's what we start

1 from.

2 **DR. ZIEMER:** And Stu?

3 **MR. HINNEFELD:** Right, we proceeded with this  
4 with the understanding that the extrusion of  
5 the uranium and the straightening of the  
6 uranium was the AEC work that caused this site  
7 to be on the list. And you know, we don't --  
8 we have not been a party or part of the  
9 selection -- you know, identification of Atomic  
10 Weapons Employers or what thought process or --  
11 or procedure or whatever was employed in the  
12 selection of these sites from the outset. And  
13 so our -- our understanding was that it was the  
14 uranium work that was done that made this, you  
15 know, a site, that put it on the -- and so we  
16 proceeded along that, that that was the AEC  
17 work and that the thorium that was used in  
18 their commercial products was commercial work.  
19 I mean that's how we proceeded on this.

20 **DR. ZIEMER:** Right, but it -- it seems pretty  
21 clear that there was thorium work going on in  
22 the early days --

23 **MR. HINNEFELD:** Yes.

24 **DR. ZIEMER:** -- with the AEC. Do we --

25 **MR. HINNEFELD:** Yes, usually --

1           **DR. ZIEMER:** -- do we have anything that  
2           establishes that uranium only was the basis or  
3           not? In other words, can one make the  
4           assumption that both uranium and thorium work  
5           were going on as part of the covered period and  
6           therefore carries forward?

7           **MR. HINNEFELD:** I -- I don't -- I don't know.  
8           I mean we didn't -- like I said, we didn't  
9           participate in the identification of -- of AWE  
10          sites and AWE lists, and so we're not really  
11          cognizant of the process of what was the  
12          thought process that put these sites on this  
13          list out of, you know, various companies --

14          **DR. WADE:** But -- but more than the thought  
15          process, who has the responsibility for making  
16          the definitions and what are the definitions  
17          that we're operating to?

18          **MR. HINNEFELD:** The Department of Energy is  
19          responsible for designating the sites that are  
20          -- that are AWE sites. Isn't that right?

21          **DR. WADE:** Correct.

22          **MR. HINNEFELD:** So they are the ones who make  
23          that designation.

24          **DR. WADE:** And what is their designation  
25          relative to Dow Madison?

1           **MR. HINNEFELD:** They describe, you know, what -  
2           - what -- I think Dr. McKeel even commented,  
3           you know, they describe they did these things.  
4           During the time they extruded uranium, they  
5           straightened rods, they sold other things,  
6           sometimes to the AEC. So that's -- that's what  
7           they said in their description.

8           **DR. WADE:** But the covered period for this  
9           facility is what?

10          **MR. HINNEFELD:** 1957 to 1960.

11          **DR. WADE:** And within that covered period, what  
12          is the definition of the work that was the AEC  
13          work?

14          **MR. HINNEFELD:** I don't know that the  
15          definition exists anywhere. I mean there's a  
16          description of -- of what was done during that  
17          period, but I don't know that it goes  
18          specifically -- it doesn't specifically say and  
19          this site is on the list because of something,  
20          so...

21          **DR. ZIEMER:** Yeah, I -- it appears that it's  
22          been established that both were going on. I  
23          think Dr. McKeel has established that.

24          **DR. MCKEEL:** Can -- can I have -- just -- I'll  
25          try to clarify this --

1           **DR. ZIEMER:** Yes, please do.

2           **DR. MCKEEL:** -- 'cause I've wrestled with this  
3           and I -- I want to offer a simple explanation.  
4           What I've shown you is additional purchase  
5           orders to the purchase orders that the  
6           Department of Energy has included in all of the  
7           documents about this site as being evidence  
8           that Dow Madison did AEC uranium work for  
9           Mallinckrodt Chemical Company. I'm saying in  
10          that same series of purchase orders we got from  
11          -- from Dow Midland, the current company, more  
12          documents, more purchase orders that showed  
13          that some of the thorium -- some  
14          thorium/magnesium alloy work was done for the  
15          AEC and Mallinckrodt. So I think the problem  
16          here is either that the Department of Energy  
17          never got those thorium-related purchase  
18          orders, or they're not producing them, or  
19          they're lost, or something. But I must say,  
20          you know, Dow responded in 2007 to these  
21          requests. The program started in 2001. And  
22          before -- and to be honest about what's  
23          happened here, I don't believe anybody,  
24          including the Department of Energy, has thought  
25          about approaching Dow Midland until we brought

1           it up and initiated those discussions in 2006.  
2           And so what I'm saying is I think, on the other  
3           hand, the Department of Energy clearly knew  
4           about these documents because they have on  
5           their facilities list that Dow supplied  
6           magnesium alloy. Now this is the simplifying  
7           explanation. Everybody who's in the metallurgy  
8           industry -- everybody -- knows about ATSM (sic)  
9           alloy designations. They know about the  
10          standard nomenclature of alloys. They know  
11          about Hm\* and Hk\* and all that. That would be  
12          immediate; that's a code word to them, thorium.  
13          However, when Debbie Detmers and I, for  
14          instance, went to the Illinois EPA and we  
15          looked up the air pollution permits for the  
16          Madison company that -- Dow Madison, we found  
17          that their air pollution permit said that what  
18          they did at that plant was that they were  
19          secondary magnesium and aluminum smelters.  
20          Well, it's true that the va-- the -- the bulk  
21          of the alloy is either magnesium or aluminum.  
22          But what is omitted from the DOE facilities  
23          list and what was omitted from those Illinois  
24          EPA air pollution permits is that it wasn't  
25          pure magnesium, it wasn't pure aluminum. They

1           were alloyed with things, and one of the things  
2           for which Dow was known countrywide was  
3           thorium/magnesium alloys. They made it in  
4           Bayside; they made it in Midland, Michigan;  
5           they made it in Texas City, Texas; and Dow  
6           Midland at the same time had a plant out in  
7           Walnut Creek, which is an EEOICPA covered site  
8           that processed thorium ores for the AEC. So  
9           they were doing a lot of thorium work and --  
10          and Dow thorium at least Walnut Creek was AEC-  
11          related. So I believe it's a nomenclature  
12          matter. I think that whoever wrote that  
13          federal facilities description, had they known  
14          anything much about metals, metallurgy, alloys,  
15          alloy nomenclature, that instead of saying  
16          metal magnesium metal products, they would have  
17          said metal -- they -- they -- what they should  
18          have said is magnesium and magnesium/thori--  
19          thorium alloys for the AEC. I mean the --  
20          clearly those purchase orders were AEC purchase  
21          orders. They were not merely commercial.  
22          Now it's also true that everybody now knows,  
23          you know, that magnesium/thorium alloys were  
24          particularly useful in the aircraft industry,  
25          in fighter planes, in rockets, in the space

1 shuttle, in intercontinental ballistic missiles  
2 and -- and Dow provided thousands of tons of  
3 magnesium/thorium alloys for that point. So I  
4 think it's just a matter of somebody doing a --  
5 a good job. What -- what can be faulted,  
6 however, I think is what Robert's alluding to,  
7 is we have brought that to the attention of the  
8 Department of Energy. Now maybe we need to  
9 bring it a little more forcefully with a little  
10 more evidence, and certainly what the  
11 Department of Energy has not seen are these  
12 purchase orders that I showed you on the screen  
13 from Dow Midland. And we -- we -- well, they  
14 need to look at those. But I -- I find it very  
15 hard to believe that they would obtain the  
16 purchase orders that relate to uranium but not  
17 the purchase orders that relate to thorium.

18 **DR. WADE:** But could -- could I ask you a  
19 question, just to --

20 **DR. MCKEEL:** Sure.

21 **DR. WADE:** -- clarify this for the--

22 **DR. MCKEEL:** Sure.

23 **DR. WADE:** Because we need to chart a course  
24 forward.

25 **DR. MCKEEL:** Right.

1           **DR. WADE:** The facility description that you  
2 put in front of us --

3           **DR. MCKEEL:** Uh-huh.

4           **DR. WADE:** -- that facility description needs  
5 to be modified --

6           **DR. MCKEEL:** Yes, sir.

7           **DR. WADE:** -- you -- you propose.

8           **DR. MCKEEL:** Yes, sir.

9           **DR. WADE:** If it's modified, then NIOSH can  
10 start with that modified facility description  
11 and move forward, so that's the -- the core  
12 issue that we're looking at here. Correct?

13           **DR. MCKEEL:** I believe that's the core issue.  
14 The -- the exception that I would take to what  
15 you just said is I'm not sure -- if the Board  
16 accepts the evidence that I have shown them,  
17 then I don't see why the Board can't act on  
18 that evidence.

19           **DR. WADE:** I understand what you're saying.  
20 You're -- you're proposing that the Board could  
21 supersede this facility description based upon  
22 the evidence you've provided.

23           **DR. MCKEEL:** Right. If I was just saying this  
24 from my belief, that would be one thing. If  
25 I've shown it to you on the board and --

1           **DR. WADE:** From my point of view, you've made a  
2           very compelling argument.

3           **DR. MCKEEL:** Right.

4           **DR. WADE:** The question is, what is the  
5           authority of the Board --

6           **DR. MCKEEL:** Right.

7           **DR. WADE:** -- and that's something the Board  
8           needs to discuss.

9           **DR. ZIEMER:** Well, let me ask, is this  
10          description -- this is not an official  
11          description that is used for the EEOICPA  
12          program, is it?

13          **DR. MCKEEL:** Yes, it is, absolutely --

14          **DR. ZIEMER:** This is the one --

15          **DR. MCKEEL:** -- that is your --

16          **DR. ZIEMER:** That's the one.

17          **DR. MCKEEL:** -- that is your King James --

18          **DR. ZIEMER:** That's the one you're --

19          **DR. MCKEEL:** -- Bible.

20          **DR. ZIEMER:** -- using, Stu?

21          **DR. MCKEEL:** That is your King James Bible.

22          **MR. HINNEFELD:** We refer to that web site, the  
23          facilities list web site on, you know,  
24          questions like this. It occurs to me as we sit  
25          here that --

1           **DR. ZIEMER:** Well --

2           **MR. HINNEFELD:** -- the sites were published in  
3           a *Federal Register* notice and there may be  
4           additional words in the *Federal Register* notice  
5           --

6           **DR. ZIEMER:** Well, we probably --

7           **MR. HINNEFELD:** -- but I don't know whether  
8           there are or not.

9           **DR. ZIEMER:** -- need to check that. I -- I --  
10          I guess as I look at this, I think the door is  
11          open. Here in this description it already says  
12          metal magnesium products, and that term is  
13          pretty broad. It seems to me one could  
14          interpret that broadly. I'm wondering if NIOSH  
15          could not even interpret that broadly. Mayb--  
16          we might have to get counsel's recommendation  
17          on that, but it seems to -- it seems to me that  
18          there's a foot in the door right there.

19          **MR. ELLIOTT:** I'm sure we'd have to seek  
20          counsel's advice on that. I want to add to  
21          what Stu just said in response to your  
22          question, that as we encounter these situations  
23          where we have questions about what the site or  
24          facility designation means for covered  
25          exposure, we are obligated to talk and get

1 coordinated with DOE or DOL on that particular  
2 issue, and we've done that with Dow. And --  
3 and what we hear back from them, DOE, is that  
4 they are basing their designation on the  
5 contracts that were engaged with this AWE, and  
6 they say those contracts do not show them --  
7 only show to them that uranium is the issue --

8 **DR. ZIEMER:** Uh-huh.

9 **MR. ELLIOTT:** -- is the AEC work. Now I'm not  
10 saying I agree with that. I'm just saying  
11 that's what bounds us to only move forward and  
12 work on uranium outside of that covered period.

13 **DR. ZIEMER:** So in -- in a sense, it appears  
14 that we're awaiting some additional response --  
15 I know -- I've seen copies of Dan's -- McKeel's  
16 letters to Glenn Podonsky and a kind of  
17 preliminary response that sort of said we're  
18 looking into it, or something to that effect.  
19 So I don't think that DOE has closed the door,  
20 but it certainly will make a big difference if  
21 we can have them aboard officially on this.  
22 It's -- it's not obvious to me that they are  
23 denying that the thorium work took place. I  
24 think it has come to them probably as new  
25 information, as well, was my impression. Is

1                   that your impression, too, Dan, that --

2           **DR. WADE:**   We're going to try --

3           **DR. MCKEEL:**  You know, I --

4           **DR. WADE:**   -- to get DOE on the phone.

5           **DR. MCKEEL:**  -- I would be happy to agree with

6           that, except where did they get the language of

7           metal magnesium --

8           **DR. ZIEMER:**  Well -- well --

9           **DR. MCKEEL:**  -- they're --

10          **DR. ZIEMER:**  -- exactly, and that's what I'm

11          saying, it --

12          **DR. MCKEEL:**  What I'm trying --

13          **DR. ZIEMER:**  -- sort of leaves the door open

14          anyway, it seems to me.

15          **DR. MCKEEL:**  Here -- here's the key thing that

16          I'm trying to say.  I -- I actually have -- I

17          mean all I have is a copy from an electronic

18          file sent by Dow Madi-- Dow Midland, but it is

19          -- it -- it names the AEC contract as being the

20          same contract, that same ENG\* contract that

21          Mallinckrodt had for uranium.

22          **DR. ZIEMER:**  Right.

23          **DR. MCKEEL:**  So --

24          **DR. ZIEMER:**  Yeah, I --

25          **DR. MCKEEL:**  -- all I can say is Department of

1 Energy missed something. Now why, how, when --  
2 I don't know, but you know, February 23rd is a  
3 long time --

4 **DR. ZIEMER:** I understand.

5 **DR. MCKEEL:** -- and that's why we hope -- we  
6 hope that what you can do is say look, we have  
7 seen a thorium contract between Dow Midland and  
8 Mallinckrodt, the AEC, and that's sufficient to  
9 move forward and believe -- and believe this.  
10 Yes, it would be wonderful if we could get a  
11 confirmation from DOE, but I don't know how to  
12 do that today. I -- I don't think it's  
13 practical.

14 **DR. ZIEMER:** Well, yeah, we're -- thank you,  
15 that's very helpful. I -- I think we'll get  
16 some additional comments here and then we can  
17 figure out a path forward from this point. I  
18 think Wanda and then Jim, then Jim. Okay.

19 **MS. MUNN:** A couple of clarifying questions.  
20 Was the SEC petition -- do we have an SEC  
21 petition that covers this extended period?

22 **MR. HINNEFELD:** No, the SEC petition was the  
23 one that we -- it's an 83.14, so we said we  
24 can't reconstruct the dose and we were, you  
25 know, working with the belief, you know, the

1 covered -- the covered period '57 to '60, so  
2 you know, we essentially initiated -- we don't  
3 have an 83.13 petition that asks for it -- you  
4 know, the residual inclusion.

5 **MS. MUNN:** So are we not correct in assuming  
6 that, in the absence of a petition, the only  
7 avenue that's being asked of us today is to  
8 extend the existing petition. That's the  
9 request --

10 **DR. ZIEMER:** Well, the existing period.

11 **MR. HINNEFELD:** Yeah, the request --

12 **MS. MUNN:** I mean the existing period.

13 **MR. HINNEFELD:** The request would be that our  
14 evaluation of in-- you know, inability --  
15 infeasibility of doing dose reconstruction  
16 should be extended into the -- into the  
17 residual contamination per-- I mean that's the  
18 request that's being made.

19 **MS. MUNN:** I -- I guess from a simply process  
20 point of view, it would seem much more  
21 straightforward if we had an SEC petition that  
22 covered that residual period. It would -- it  
23 would --

24 **DR. ZIEMER:** Well, this -- this can be done in  
25 a two-step process, but the issue will remain,

1           one way or the other, to -- to address because  
2           there certainly can be claimants coming forward  
3           from that period, so -- Dr. Melius.

4           **DR. MELIUS:** Yeah, I think just to follow up on  
5           Wanda's question, I think -- we have -- there's  
6           actually precedent in -- on this Board for  
7           changing the period, the coverage period in  
8           relationship to an evaluation report that's  
9           given to us and changing -- both within NIOSH  
10          and within the Board for changing that from  
11          what was in the original petition. So I don't  
12          think that's problematic. I -- I do think it's  
13          a bit more problematic the fact that we don't  
14          have any evaluation be-- of -- of feasibility  
15          of doing dose -- individual dose reconstruction  
16          in front of us, at least from NIOSH, for --  
17          other than for the time period that they --  
18          they addressed in -- in the -- based on the  
19          original 83.14 petition. So whether or not  
20          they -- it's possible -- feasible to do dose  
21          reconstruction before or after, I'm not -- is  
22          not clear to -- or should say after for either  
23          uranium or thorium, it's not clear to me.

24          **DR. ZIEMER:** Yeah, LaVon, can you --

25          **MR. RUTHERFORD:** Actually that's not correct.

1 We've provided sample dose reconstructions for  
2 the residual period addressing only the  
3 uranium.

4 **DR. MELIUS:** Only the -- so -- so just -- it's  
5 just --

6 **MR. RUTHERFORD:** Yes, but --

7 **DR. MELIUS:** -- thorium.

8 **MR. RUTHERFORD:** -- we did address the uranium,  
9 which we -- as Stu had mentioned, assumed was  
10 the only AEC covered.

11 **DR. MELIUS:** Okay.

12 **MR. RUTHERFORD:** But not thorium.

13 **MR. HINNEFELD:** But to your point, there has  
14 not been an evaluation of the feasibility after  
15 the -- in the residual period, that's true.

16 **DR. MELIUS:** Yeah, I mean I -- I would expect  
17 that uranium would still -- yeah, I would  
18 expect that uranium would still be feasible. I  
19 think the thorium is the -- one more question.  
20 I also have a pro-- procedural question --

21 **DR. ZIEMER:** Okay.

22 **DR. MELIUS:** -- is that say if we took the step  
23 of moving forward and have the Board extending  
24 the -- the time period of -- of coverage as has  
25 been suggested, you know, what -- what then

1 happens? I suspect that DOL then would not be  
2 willing to certify people in that class beyond  
3 that point. Don't they refer to the DOE  
4 definition in term-- of the site and the time  
5 period of coverage in terms of how they handle  
6 these?

7 **MR. ELLIOTT:** Yes, that is correct --

8 **DR. MELIUS:** Yeah.

9 **MR. ELLIOTT:** -- but it may start sooner than  
10 that. I don't know if our Secretary would --  
11 would say that -- well, I can make this  
12 designation based upon the Board's  
13 recommendation, given OGC's interpretation of  
14 the amendment language.

15 **DR. WADE:** That's where we -- that's where the  
16 issue would first ra-- if the Board was to  
17 decide to include the residual contamination  
18 period because of the inability to reconstruct  
19 thorium dose --

20 **DR. MELIUS:** Uh-huh.

21 **DR. WADE:** -- then the Secretary of HHS would  
22 have to evaluate whether or not that was within  
23 his authorities, given the -- the time period  
24 that's been covered and the facility  
25 designation.

1           **DR. ZIEMER:** But in reality, as far as NIOSH is  
2 concerned in that extended period, the problem  
3 then would be the same on reconstructing  
4 thorium. You would not be able to.

5           **MR. HINNEFELD:** Well, we -- we didn't try to --

6           **DR. ZIEMER:** All right, so (unintelligible) --

7           **MR. HINNEFELD:** -- demonstrate feasibility, so  
8 we haven't really tried, so today we wouldn't --  
9 -- we wouldn't have that data.

10          **DR. ZIEMER:** You -- okay.

11          **MR. HINNEFELD:** Now whether it's -- you know,  
12 there may be avenues that we didn't pursue  
13 since we were interested in '57 to '60, but I  
14 don't -- I don't know if there would be or not.

15          **DR. ZIEMER:** Yeah, you haven't actually looked  
16 at the issue.

17          Dr. Lockey.

18          **DR. LOCKEY:** I wanted to -- I wanted to ask you  
19 a question.

20          What I'm hearing you say is that it's your  
21 thought, based on the affidavits, that after  
22 1960 thorium alloy production persisted at this  
23 facility. Is that correct?

24          **DR. MCKEEL:** No question about that.

25          **DR. LOCKEY:** And how long -- how long did it go

1 on? Do you have any --

2 **DR. MCKEEL:** It goes on at least till 1998, and  
3 there's some evidence from the workers -- for  
4 example, they say that the PE, the  
5 photoengraving work -- as you heard, some  
6 workers say the thorium runs persisted even  
7 after 1998, but well into the '90s, for sure.  
8 And I'm talking about production work now.

9 **DR. LOCKEY:** Okay. And then that production  
10 was on behalf of AEC or non-AEC?

11 **DR. MCKEEL:** Not that we -- no, the only -- the  
12 only proof that we have of AEC thorium work was  
13 in the covered period, the 1957 to '60.

14 **DR. ZIEMER:** Okay.

15 **DR. MCKEEL:** And -- and all the subsequent work  
16 that I'm aware of was done for mili-- 95  
17 percent of it was military contractors.

18 **DR. LOCKEY:** Okay. Thank you.

19 **DR. MCKEEL:** DoD-type contractors, right.

20 **DR. LOCKEY:** Thank you.

21 **DR. ZIEMER:** Okay. Robert.

22 **MR. STEPHAN:** Dr. Lockey, can I put into  
23 perspective here that on this Dow search --  
24 document search that we've -- all went round  
25 and round on for months now, NIOSH asked Dow

1 for documents under a certain set of criter--  
2 for their criteria. The Senator's office asked  
3 Dow for documents under a -- a different set of  
4 criteria. Dow sent to us last Friday night at  
5 midnight 400 documents from Dow Madison, no  
6 documents from Rocky Flats, despite -- now not  
7 on Dow, but despite that they had -- their  
8 general counsel had told us they had thousands  
9 of boxes related to Rocky Flats. The question  
10 here is about thorium from Dow Madison to Rocky  
11 Flats. Dow Madison did a document search.  
12 They only sent us documents from Dow Madison,  
13 despite telling us they had documents from  
14 Rocky Flats. So it's important to keep that in  
15 mind, I think.

16 **DR. ZIEMER:** Okay. Thank you. Additional  
17 comments or questions?

18 **DR. WADE:** Could I just sort of summarize three  
19 issues? The first issue is you have a report  
20 from NIOSH in front of you that says grant the  
21 SEC during the covered period, based upon the  
22 inability to reconstruct thorium dose. Even  
23 though thorium was part of a commercial  
24 operation, that dose can be considered during  
25 the covered period.

1           What's not stated in the recommendation that  
2           the Board can comment on is NIOSH claims it can  
3           reconstruct the uranium dose during the -- the  
4           residual period. That's an issue that's  
5           legitimate for the Board to consider and  
6           evaluate.

7           And then the 700-pound gorilla is whether or  
8           not thorium work was AEC work. Now that's an  
9           issue that the Board can approach in a variety  
10          of ways, none of them directly, in my opinion.  
11          So I think those are the three things that you  
12          have.

13          **DR. ZIEMER:** Other comments? Wanda Munn.

14          **MS. MUNN:** One question. Is -- is it possible  
15          for us to get to the FUSRAP report personally?  
16          Is that on line anywhere?

17          **DR. ZIEMER:** Certainly those are public  
18          reports. I'm not sure how helpful it will be -  
19          -

20          **MR. HINNEFELD:** You're talking about the FUSRAP  
21          survey report?

22          **MS. MUNN:** Yeah, I just wanted to have an  
23          opportunity to see for myself the --

24          **MR. HINNEFELD:** It's --

25          **MS. MUNN:** -- referencing itself time and time

1           again.

2           **MR. HINNEFELD:** It's on the O drive.

3           **MS. MUNN:** It's -- okay.

4           **MR. HINNEFELD:** It's in the document review --  
5           and there's a Dow folder --

6           **MS. MUNN:** Okay, if it's on --

7           **MR. HINNEFELD:** -- and it would be SE-- it's in  
8           the references for the evaluation report.

9           **MS. MUNN:** Fine, thanks.

10          **DR. ZIEMER:** Another comment?

11          **DR. WADE:** Yes, I'll say it on the record  
12          rather than trying to whisper it. At the last  
13          meeting the Board did ask SC&A to become  
14          familiar with the Dow SEC petition in  
15          anticipation of some downstream work. So I  
16          mean it's possible John Mauro might have a  
17          comment to make.

18          **DR. ZIEMER:** Well, I -- John, this may be too  
19          early, but go -- if you have comments at this  
20          time or any input on -- from SC&A.

21          **DR. MAURO:** Yes, I could give you a summary of  
22          what we -- we were given the direction by the  
23          Board to perform a focused review and -- and we  
24          did. We reviewed all the documents that were  
25          in the folder, of course the evaluation report,

1 the petition. The team consisted of myself, a  
2 metallurgist with expertise in just this very  
3 subject, and a radiochemist with expertise in  
4 air sampling of thorium. And in fact we put  
5 together a working draft, I'm holding it in my  
6 hand, and -- to look at the issues as we've  
7 been discussing. None of -- none of these  
8 legal issues, but just simply the radiation  
9 protection, health physics, dose reconstruction  
10 issues. And we have come to certain  
11 observations in -- that we -- I'd be glad to  
12 offer. And of course, if so requested, we  
13 could deliver to you our written report. But  
14 this maybe constitutes a status report of what  
15 we found out to date.

16 We have not looked at the 700 pages that showed  
17 up on Saturday, so that's -- so -- we looked at  
18 everything else before that.

19 Bottom line. Uranium, the dose reconstruction  
20 during the covered period, '57 through '60,  
21 there is -- we agree with NIOSH that exposures  
22 to workers who were exposed to the uranium  
23 during the covered period while it was being  
24 rolled, extruded, is something that there is  
25 adequate information to perform dose

1 reconstruction.

2 The residual uranium post, we believe that  
3 there is adequate information to reconstruct  
4 doses to the uranium.

5 Now to move on to thorium, which we also looked  
6 at, is there sufficient information to  
7 reconstruct thorium exposures during the  
8 covered period. From what -- from the data  
9 that we reviewed, and we looked very carefully  
10 at this, we -- we believe we have a pretty good  
11 understanding of the alloying process that took  
12 place. It was -- the best way to describe it  
13 is it was a dangerous operation because you're  
14 working with molten magnesium, and there were  
15 explosions and fires that occurred, and air  
16 samples were taken at the time -- there were  
17 air samples, and we reviewed that data. Bottom  
18 line is that there was -- un-- under most  
19 occasions, they did not detect the presence of  
20 any thorium. Apparently there were some short-  
21 lived radionuclides that became airborne and  
22 that were airborne, but it does not appear that  
23 the thorium was becoming readily airborne at  
24 high concentrations at -- because they bo--  
25 were below the limits of detection.

1           So we asked our radiochemist to do the best he  
2           can to figure out what the lower limits of  
3           detection were at the time, and that was -- and  
4           we did the best we can to come to grips with  
5           that. And the bottom line is that, depending  
6           on what assumptions you make on the type of  
7           sample that was collected, the duration of the  
8           sample, the volume of air, the counting time,  
9           what the lower limit of detection is, so we  
10          have a range of numbers but they were all low.  
11          That is, we're talking about concentrations on  
12          the order of one DAC following -- following  
13          these events.

14          So -- now, that would be thorium that might  
15          emer-- come off from a -- an event, an  
16          incident. There's also a question regarding  
17          other types of activities that took place. Now  
18          here's where we don't have an answer for you.  
19          That is, beside those thorium measurements that  
20          were taken because of concern that there may  
21          have been some thorium becoming airborne during  
22          the alloying process and any transients that  
23          occurred during the alloying process,  
24          apparently there were lots of other activities  
25          going on that you may want to refer to as

1           machining thorium or -- or handling in various  
2           ways. We do have data regarding various --  
3           various thorium machining operations and in  
4           fact we discussed this in the past regarding  
5           Rocky Flats. So there is a lot of data related  
6           to what the levels of airborne dust loadings  
7           are associated with various machining  
8           operations.

9           Now for tho-- now where we don't have  
10          information is there may have been certain  
11          unique activities associated with the  
12          management of the thorium metal, which was  
13          certainly there, that was different than the  
14          experience that -- that we have in our records  
15          -- for example, regarding the machining of --  
16          or uranium and thorium that might be different.  
17          So we're at a place right now that's -- that  
18          says that from the information we have before  
19          us, the actual measured values, our  
20          understanding of the process, it -- it appears  
21          that the levels of thorium were not very high.  
22          They were below the limits of detection in  
23          general. And based on the literature for other  
24          operations that were reviewed from various  
25          publications where thorium was machined, for

1           example, it appears that there's a way to place  
2           a plausible upper bound.

3           What we don't know is that -- and we don't have  
4           an answer to is that there may have been  
5           certain types of activities related to the  
6           management, handling, machining of thorium,  
7           perhaps centering it, that we don't have  
8           information. So here's where I guess, to a  
9           degree, we're saying there's an unknown here  
10          that we did not research in depth, but -- so  
11          whether or not -- so -- so in a funny sort of  
12          way, we -- right now we can't say whether or  
13          not you could place a plausible upper bound on  
14          the thorium exposures. We -- we did not do  
15          enough research into it. But from the -- the  
16          literature that we did look at, it is not  
17          immediately apparent that there was a serious  
18          thorium problem, airborne, at the facility  
19          during the covered period.

20          **DR. ZIEMER:** Okay. Thank you, John. NIOSH has  
21          indicated, however, an inability to reconstruct  
22          dose from thorium, perhaps because of some of  
23          those unknowns that you've identified, so that  
24          -- I'm trying to determine whether your bottom  
25          line is different -- it sounded like you were

1           saying in general there may not have been  
2           serious thorium problems but you can't really  
3           pin that down and bound it completely --

4           **DR. MAURO:** At this time, that's correct,  
5           especially since we haven't looked at the 700  
6           pages that came in on Saturday.

7           **DR. ZIEMER:** Yeah. Okay, thank you. Dr.  
8           McKeel?

9           **DR. MCKEEL:** I just have one directly relevant  
10          thing. One of the issues about extrusion press  
11          operation is in some of the other sites that  
12          I've read about apparently it was -- it's  
13          fairly standard practice for radioactive  
14          extrusions -- radioactive metal extrusions to  
15          put a vacuum hood around the extrusion press  
16          where the metal extrusions come out and to  
17          collect it that way so it's completely  
18          important to know whether extrusion presses  
19          were or were not hooded, and the ones at Dow  
20          Madison were not hooded. And I think that John  
21          -- I mean I think that's something that must be  
22          clarified, because if you have the vacuum hood  
23          on there the dust concentrations are going to  
24          be way low compared to the others.

25          **DR. ZIEMER:** Thank you. Yeah -- yes, Robert.

1           **MR. STEPHAN:** John, just as a follow-up -- Dr.  
2           Makhijani, I think you had a conversation with  
3           Bill Hoppe, one of the Dow workers, but have  
4           you been able to speak with any of the other  
5           workers of the -- at least of the 11 who  
6           testified about the shipments to Rocky Flats?  
7           Have you spoken to them about thorium?

8           **DR. MAKHIJANI:** (Off microphone) I have  
9           (unintelligible).

10          **DR. ZIEMER:** Oh, yeah, okay.

11          **DR. MAKHIJANI:** Just to clarify, I -- I did not  
12          talk to Bill Hoppe about the conditions of the  
13          plant. I just talked to him about shipments to  
14          Rocky Flats and what he told me is part of our  
15          Rocky Flats report, although the interview was  
16          not published because of Privacy Act  
17          considerations.

18          **DR. ZIEMER:** Perhaps Bill Hoppe is still on the  
19          line. Are you, Bill?

20          **MR. HOPPE:** Yes.

21          **DR. ZIEMER:** Do you have any additional  
22          comments on this?

23          **MR. HOPPE:** Our (unintelligible) in shipping  
24          from '92 to -- I mean '62 to '75 is almost all  
25          thorium, Hk and Hm, went to like Rocky Flats,

1           Martin Marietta or Lockheed -- there's others,  
2           I can't think right now.

3           **DR. ZIEMER:** Okay.

4           **MR. HOPPE:** But every time we put a label on it  
5           -- a shipping label, it had Department of Labor  
6           in care of, you know, like Rocky Flats, and we  
7           shipped a lot of metal to Rocky Flats  
8           (unintelligible) --

9           **DR. ZIEMER:** Department of Labor, or do you --  
10          did you mean Department of Energy?

11          **MR. HOPPE:** -- (unintelligible) -- Huh?

12          **DR. ZIEMER:** Did you mean the Department of  
13          Energy or Department of Labor?

14          **MR. HOPPE:** Department of Energy.

15          **DR. ZIEMER:** Energy, okay, yeah, thank you.

16          **MR. HOPPE:** It started out as DoD --

17          **MS. MUNN:** It would have been AEC.

18          **MR. HOPPE:** -- and then they went to DOE.

19          **DR. ZIEMER:** Right, okay. Thank you.

20          **MR. HOPPE:** Down there. And then --

21          **MS. MUNN:** But it would have been AEC or --

22          **MR. HOPPE:** -- Rocky Flats or Martin Marietta.  
23          Some of it would be (unintelligible) sheets and  
24          others would be real heavy (unintelligible),  
25          eight and ten inches, you know.

1           **DR. ZIEMER:** Yeah. Okay. Thank you, Bill.

2           Board members -- okay, com--

3           **UNIDENTIFIED:** I'd like to make a comment  
4           myself.

5           **DR. ZIEMER:** Who is this?

6           **MR. WIEDER:** This is Art Wieder. I'd like to  
7           make a comment.

8           **DR. ZIEMER:** Yes, Art. Please go ahead.

9           **MR. WIEDER:** I -- I was a laborer, a painter  
10          and a brick layer at Dow Madison plant, and I  
11          was at the press when they was pushing the  
12          thorium, and some of the thorium, like when it  
13          was extruded, would come out and -- terrible  
14          (unintelligible), and they couldn't use that so  
15          they stored that in 2 building and that thorium  
16          stayed over there -- 2 building, which our  
17          paint shop was in 2 building, and it stayed  
18          over there for years and years and years and we  
19          worked around it, swept around it and  
20          everything else and it -- I don't know -- I  
21          heard just recently that they got it out of  
22          there.

23          **DR. WADE:** Thank you.

24          **DR. ZIEMER:** Okay. Thank you.

25          **MR. WIEDER:** And that's my comment.

1           **DR. ZIEMER:** Thank you. Wanda Munn?

2           **MS. MUNN:** Can we assume that the petitioners  
3           have no problem with our parsing this question,  
4           because it clearly needs more definition than  
5           we have now, and moving forward with the  
6           petition that is before us now, with the  
7           understanding that we will further pursue an  
8           additional or extension of this SEC to cover  
9           additional dates for residual contamination.

10          **DR. MCKEEL:** Well, I would like to say that the  
11          petitioners have very strong problems with  
12          that, and the reason why, Wanda, is that in  
13          February when we had the Dow SEC update, we  
14          clearly focused our concern on covering the  
15          residual period based on the 11 affidavits  
16          which I put on the record then and gave you a  
17          Powerpoint and gave you ex-- excerpts from the  
18          -- those sworn affidavits that said exactly  
19          what you heard from Bill Hoppe right now, that  
20          truckloads of thorium went to Rocky Flats. And  
21          so we've always contended from the outset that  
22          that was a major issue. Robert just read into  
23          the record again Larry Elliott's statements  
24          that he was well aware that a special aspect of  
25          this SEC was coverage of the residual period

1 for the reasons that we stated. We -- we think  
2 -- we thought all along that those worker  
3 affidavits document that Dow Madison was  
4 supplying thorium to the Atomic Energy  
5 Commission at Rocky Flats. So now all we're  
6 doing today is giving you independent,  
7 additional conclusive evidence that some of the  
8 thorium work was AEC-related under a contract  
9 to the AEC, which we produced for you from  
10 Mallinckrodt. So I don't think this is a new  
11 issue that we're raising --

12 **DR. ZIEMER:** No, I don't think --

13 **MS. MUNN:** No, I don't --

14 **DR. ZIEMER:** I think that's -- that's correct.  
15 We're trying to find a way forward that will  
16 try to address both of these, and -- and one  
17 possibility would be to take action on the  
18 immediate petition, and then take an additional  
19 action, perhaps to ask the Secretary to take  
20 what steps are needed within his purview to  
21 help move this definition forward in some way.  
22 What -- I think what we're trying to avoid is  
23 sabotaging the whole thing by providing a  
24 recommendation that can't be well implemented,  
25 so -- Robert, you have some additional comments

1 on that?

2 **MR. STEPHAN:** Dr. Ziemer, can -- can we  
3 condense down and maybe, you know, put in a --  
4 I'm not a lawyer and I'm not a scientist. You  
5 know, I've heard the questions, but I haven't  
6 heard the answer as to why we -- we could not  
7 act on this residual period today. I mean I  
8 respect what you're charged with in terms of  
9 advising the Secretary and what you're -- what  
10 you're trying to accomplish and -- and  
11 certainly if we he-- if we hear an answer that  
12 precludes you --

13 **DR. ZIEMER:** Well, our con --

14 **MR. STEPHAN:** -- from doing it, but --

15 **DR. ZIEMER:** -- our concern --

16 **MR. STEPHAN:** -- we haven't heard it.

17 **DR. ZIEMER:** Our concern is implementing -- if  
18 the Board were to recommend that, the  
19 implementation goes back to Department of  
20 Labor, and -- and the change has to occur there  
21 in order for it to work. My -- the concern I  
22 just expressed was I don't want to sabotage the  
23 whole thing by having something that won't work  
24 that perhaps we can parse it in a way that says  
25 let's deal with the immediate petition and then

1 ask the Secretary -- and we can -- we can go on  
2 record as indicating the -- the Board's  
3 understanding of -- of -- or we could go on  
4 record as recommending that this period be  
5 extended and ask that the steps be taken so  
6 that it opens the way for the -- for it to  
7 happen. So I think that's what Wanda was  
8 getting at, to parse it out in a -- and we can  
9 do both steps here today, I think.

10 **MS. MUNN:** Exactly, and the second part of that  
11 would be also to further accommodate the  
12 process by -- by clarifying the definition from  
13 which the original concern -- as to what this  
14 facility was, and -- and identifying whether  
15 the word "products" in there adequately covers  
16 what we need.

17 **DR. ZIEMER:** Yeah, I think -- I think Dr.  
18 McKeel's made a compelling case to the Board  
19 for why it should be. Our -- our focus now is  
20 how can we accomplish this in a way that meets  
21 legal requirements and does not impede the  
22 whole thing.

23 **MR. STEPHAN:** Dr. Ziemer, just to clarify for  
24 Mr. Hoppe and Mr. Wieder, so on -- on your  
25 point, which I -- Deb and Dr. McKeel and I have

1 just been discussing, we -- we think we  
2 understand it correctly. We agree, but I want  
3 to be careful not to speak for them in case I'm  
4 wrong. But Mr. Hoppe and Mr. Wieder, what --  
5 what we're talking about here is if we lump in  
6 the residual period, because Mr. Hoppe is not  
7 covered under the current -- if we lump in the  
8 residual period with the current wording and  
9 the Secretary decides that doesn't work, then  
10 we lose --

11 **DR. ZIEMER:** We lose time, right.

12 **MR. STEPHAN:** -- the 47 -- we lose the 47  
13 workers who are going to be covered under the  
14 83.14 and we have to start that process all  
15 over again. So we would be comfortable with --  
16 I think what you're moving toward is the 83.14  
17 --

18 **DR. ZIEMER:** Well, we're trying to find an  
19 expeditious way to --

20 **MR. STEPHAN:** -- 83.14 today and I guess what  
21 you're saying -- an advisory opinion separately  
22 on the residual, we would be comfortable with  
23 that.

24 **DR. ZIEMER:** -- to see -- to find a way to --  
25 to get that definition changed so that Labor

1 and -- and DOE actually will implement what we  
2 want done.

3 **MR. STEPHAN:** Right, we -- we agree.

4 **DR. ZIEMER:** I'm -- I'm -- I say what we want  
5 done. We haven't taken any action yet so I  
6 don't want to -- and Liz, if you can add  
7 something from counsel here.

8 **MS. HOMOKI-TITUS:** I'm not sure I can add  
9 something, I just want to clarify that it's not  
10 100 percent correct that just because -- if  
11 they were to agree to clump the whole thing  
12 together, the Secretary doesn't necessarily  
13 have to accept the recommendation of the Board.  
14 The Secretary could still parse it and say I'm  
15 adding this portion and not this portion, so  
16 it's not necessarily going to completely  
17 eliminate the 83.14 portion just because --

18 **DR. ZIEMER:** Yeah, it may -- it may set that  
19 aside anyway if he doesn't feel that that's in  
20 the --

21 **DR. WADE:** I think Jim has --

22 **DR. ZIEMER:** Yes, Robert.

23 **MR. STEPHAN:** Well, in light of that, then --  
24 then our position would change and our position  
25 would be let's lump it together, let's put this

1 in Labor's court -- who didn't bother to show  
2 up today -- and let -- let's see what we could  
3 do. If we're not going to lose the 83.14 and  
4 the Secretary can parse that out, then -- then  
5 we would encourage the Board to lump it  
6 together and see where we go.

7 **DR. ZIEMER:** I'm not sure if -- Liz, is that  
8 what you were saying?

9 **DR. WADE:** I don't think we know that, and I  
10 don't think we want to make that judgment.

11 **MS. HOMOKI-TITUS:** I can't say what the  
12 Secretary would do. I'm just telling you  
13 legally what his options would be.

14 **DR. WADE:** Right.

15 **MS. HOMOKI-TITUS:** My recommendation would be  
16 that you give him the most direct guidance of  
17 what you want done.

18 **DR. WADE:** Correct.

19 **DR. ZIEMER:** Thank you.

20 **DR. WADE:** Jim has --

21 **DR. ZIEMER:** Jim.

22 **DR. MELIUS:** Can I just add -- I think there's  
23 another important reason to split this up, and  
24 that is the fact that we don't have before us  
25 information indicating that for the residual

1 period that this group qualifies technically as  
2 an SEC. There's no -- NIOSH --

3 **DR. ZIEMER:** We don't have an evaluation report  
4 --

5 **DR. MELIUS:** -- NIOSH has not examined it, nor  
6 has SC&A, as to whether or not it's feasible to  
7 do dose reconstruction for that -- that time  
8 period. They've already made a ruling on the  
9 uranium finding, but they have not -- neither  
10 one of them has looked at the thorium issue.

11 **DR. MCKEEL:** I -- I would just like to -- I --  
12 I -- I -- Jim, I -- with Dr. Melius, I  
13 certainly agree with what he says, but I would  
14 further add in the strongest possible way that  
15 we begged, we implored, we brought this issue  
16 up to NIOSH, and in fact I was quite shocked  
17 and dismayed when I saw the evaluation report  
18 on April the 13th and realized that after all  
19 our discussions there was not a more in-depth  
20 focused attempt to work out whether dose  
21 reconstruction was feasible during the residual  
22 period. I thought Larry and I honestly had a  
23 bargain about that and that would be  
24 forthcoming. And so when I wrote back my  
25 concerns about that evaluation report, that was

1 well represented in the list of concerns, why  
2 didn't you address this in a more comprehensive  
3 way. So given the fact that what we have  
4 today, I absolutely agree that residual period  
5 feasibility needs to be assessed, but I wish it  
6 had been done --

7 **DR. ZIEMER:** Yeah, we understand.

8 **DR. MCKEEL:** -- in a more timely way.

9 **DR. ZIEMER:** Yeah. Thank you.

10 **DR. MELIUS:** And can I just add -- I mean I  
11 completely agree with you on that, and I was  
12 concerned also and I think to some extent the  
13 Board should have tried to follow up more  
14 vigorously to -- to try to address that, but we  
15 weren't -- we weren't aware of all that was  
16 going on, but -- but despite that, we're still  
17 stuck with -- that delay, we're still stuck  
18 without the necessary information and to put  
19 forward a recommendation that's -- doesn't have  
20 adequate justification would just be another,  
21 you know, potential avenue to delay this or for  
22 the Secretary to send that -- that back and --

23 **DR. ZIEMER:** Yes, 'cause the Secretary wouldn't  
24 have the full set of tools he requires then.

25 **DR. MELIUS:** And -- and I would add, I think,

1 as part of our way of moving forward, that we  
2 need to ask NI-- you know, NIOSH to -- in a  
3 very timely fashion to address that deficit and  
4 -- deficiency and provide us with information.  
5 I think we should also ask SC&A to -- in  
6 parallel to -- to also get involved in -- and  
7 look at that residual period also and the  
8 question of dose reconstruction, and I would  
9 much prefer that we not have another informal  
10 presentation from SC&A, which I found to be  
11 extremely confusing and disturbing, but that we  
12 -- we actually have a formal report and a  
13 formal presentation at our next meeting about  
14 this.

15 **DR. ZIEMER:** Thank you. Okay. In -- in order  
16 to move things forward, I think it would be  
17 appropriate if the Chair now called on -- if  
18 anyone wished to make a motion on the report  
19 that we have before us, which is the evaluation  
20 report on the petition.

21 Okay, we've got Wanda and Jim both vying for --

22 **MS. MUNN:** Well, go ahead, Jim.

23 **DR. MELIUS:** Well, my only question -- it's  
24 sort of the prerogative of the Board, I have  
25 actually prepared a letter which I can read.

1           It's not been copied yet 'cause I've been  
2           working on it --

3           **DR. ZIEMER:** Please read your letter.

4           **DR. MELIUS:** -- during the presentation, so  
5           bear with me. If the computer works, we'll --  
6           that deals with this first section and might  
7           facilitate us moving forward.

8           **DR. ZIEMER:** This is a motion that is actually  
9           in the form of our usual motions then.

10          **DR. MELIUS:** Yes, yes.

11          **DR. ZIEMER:** Thank you.

12          **DR. MELIUS:** And I will start reading. The  
13          Board recommends that the following letter be  
14          transmitted to the Secretary of Health and  
15          Human Services within 21 days so that should  
16          the Chair become of any issue which, in his  
17          judgment, would preclude the transmittal of  
18          this letter within that time period, the Board  
19          requests that he promptly informs the Board of  
20          the delay and the reasons for this delay, that  
21          he immediately works with NIOSH to schedule  
22          emergency meeting of the Board to discuss this  
23          issue.

24          The letter. The Advisory Board on Radiation  
25          and Worker Health, parentheses, the Board, has

1           evaluated SEC petition 0079 concerning workers  
2           at the Madison, Illinois -- let me -- at the  
3           Dow Chemical Company Madison, Illinois facility  
4           under the statutory requirements established by  
5           EEOICPA and incorporated into 42 CFR Section  
6           83.13 and 42 CFR Section 83.14. The Board  
7           respectfully recommends a Special Exposure  
8           Cohort, parentheses, SEC, close parentheses, be  
9           accorded to all AWE employees who were  
10          monitored, or should have been monitored, for  
11          exposure to thorium radionuclides while working  
12          at the Dow Chemical Company Madison site for a  
13          number of work days aggregating at least 250  
14          work days during the period from January 1st,  
15          1957 through December 31st, 1960, or in  
16          combination with work days within the  
17          parameters established for one or more other  
18          classes of employees in the SEC. The Board  
19          notes that although NIOSH found that they were  
20          unable to completely reconstruction radiation  
21          doses for these employees, they believe that  
22          they are able to reconstruct components of the  
23          internal dose, including uranium; external  
24          exposures from radi-- all radionuclides except  
25          thorium, and occupational medical doses for

1           this class of workers and therefore individuals  
2           with non-presumptive cancers may be considered  
3           for partial dose reconstructions. This  
4           recommendation is based on the following  
5           factors:

6           Number one, people working at the Dow Chemical  
7           Company Madison site were involved in various  
8           industrial operations involving uranium and  
9           thorium. The NIOSH review of the available  
10          monitoring data found that there was -- there  
11          were not sufficient data available to estimate  
12          the internal and external doses from exposure  
13          to thorium. Therefore, NIOSH concluded that  
14          individual dose reconstructions are not  
15          feasible for working -- for people working in  
16          this facility during the time period in  
17          question. The Board concurs with this  
18          conclusion.

19          Number three, NIOSH determined that health may  
20          have been endangered for workers at the Dow  
21          Chemical Company Madison site during the time  
22          period in question. The Board concurs with  
23          this determination.

24          Enclosed is supporting documentation from the  
25          recent Advisory Board meeting held in Denver,

1 Colorado where this Special Exposure Cohort was  
2 discussed. If any of these items are  
3 unavailable at this time, they will follow  
4 shortly.

5 **DR. ZIEMER:** Okay. Is there a second to the  
6 motion?

7 **MS. MUNN:** (Indicating)

8 **MR. CLAWSON:** Second.

9 **DR. ZIEMER:** Okay, we've got several seconds.  
10 Is there any discussion?

11 Yes, Mark.

12 **MR. GRIFFON:** I just want -- I don't know if  
13 Stu is still around, but I -- I think we need  
14 to maybe for the record understand a little  
15 more of -- of why -- and I know NIOSH concluded  
16 they couldn't reconstruct thorium dose. I just  
17 want to know specifically there's -- is it  
18 extent of operations -- I -- I want some  
19 reasoning -- rationale for why it's -- can't be  
20 bounded.

21 **MR. ELLIOTT:** Well, he -- Stu did step out, but  
22 I'll try to do some justice to this question,  
23 and if he steps back in he can -- seek more  
24 from him. I believe Stu would say to you that  
25 -- that we feel that the thorium process

1 operations were so diverse, they included a lot  
2 of different types of processing work and  
3 handling the -- the thorium-based materials and  
4 the alloys that were -- were created. There  
5 were -- there were chemistry proc-- related  
6 processes involved. It went beyond just --  
7 just extruding metal or manipulating the metal  
8 itself, physically manipulating the metal. The  
9 data that we do have for thorium does not give  
10 us enough information about the -- the  
11 distribution of exposures from these various  
12 diverse activities. We can't be sure what type  
13 of internal dose could have been acquired in  
14 interacting with the diverse operations. There  
15 may be enough that we can look at external  
16 dose, but we haven't really, you know, sorted  
17 all of that out yet, so add on internal dose to  
18 thorium as an issue. But he can elaborate more  
19 if you want more.

20 **DR. ZIEMER:** Maybe Jim can also step on that  
21 then.

22 **DR. NETON:** Yeah, I think there's a couple of  
23 other areas more specifically that -- that we  
24 were looking at. One of those is the -- and  
25 John I think did a pretty good job describing

1           how the chemistry of making mag--  
2           thorium/magnesium alloy occurs, and we think  
3           those operations are fairly well covered, to a  
4           large extent, although Stu did mention the  
5           ventilations in the plant and stuff could vary.  
6           But there were also some indications that there  
7           were operations where the material congealed in  
8           the bottom of these vats and they were chipping  
9           away at these materials to remove them out of  
10          the vats, so this is a lot of thorium activity  
11          there, as well as some indication there may  
12          have been a -- fires that occurred when they  
13          were dumping in the thorium into the vats  
14          themselves. And in addition there's a thorium  
15          source term -- thoron source term associated  
16          with this of an indeterminate amount because of  
17          the degree of in-growth of -- of the -- of the  
18          daughter products from the thorium material  
19          that they received. And I think -- to my  
20          knowledge, there's only one thoron air sample  
21          available for this plant, so that -- that  
22          exposure pathway is -- is not able to be  
23          reconstructed with sufficient accuracy, as  
24          well.

25          **DR. ZIEMER:** Okay.

1           **MR. GRIFFON:** Thank you, Jim. That's what I --

2           **DR. MCKEEL:** Can I --

3           **DR. ZIEMER:** Yes.

4           **DR. MCKEEL:** I just want one brief comment --

5           **DR. ZIEMER:** You bet.

6           **DR. MCKEEL:** -- on the record. This -- this is  
7 very important. Ev-- everybody at NIOSH is now  
8 talking -- and we're bantering back and forth  
9 all the monitoring data that they have, and I  
10 just wanted to put on the record that I have  
11 not been given a single datapoint from that  
12 plant at all, and we've asked for it  
13 repeatedly. And the -- the -- the two  
14 documents we're talking about, the Silverstein  
15 '57 and the AEC '60, I've asked for those  
16 documents, too, and I think there's a fairness  
17 principle that the petitioner is supposed to be  
18 afforded the documents that NIOSH has, and I  
19 haven't gotten -- I have not seen that at all.

20           **DR. ZIEMER:** Okay.

21           **DR. MCKEEL:** So I can't even react to this --

22           **DR. ZIEMER:** Okay.

23           **DR. MCKEEL:** -- in any way.

24           **DR. ZIEMER:** Let's make sure -- certainly the  
25 petitioner's entitled to that information. I'm

1 not sure why we -- will someone follow up on  
2 that?

3 **DR. MCKEEL:** I -- I can -- I can tell you that  
4 I asked for all of that data on April the 16th  
5 in a letter to Larry Elliott, and it just  
6 hadn't been produced so I'd -- I'd appreciate  
7 getting that.

8 **DR. WADE:** We'll follow up.

9 **DR. ZIEMER:** We'll follow up. Yeah, thank you.  
10 I'm just noticing something in our wording --  
11 in our boilerplate wording which we have been  
12 using where we say we are recommending a  
13 Special Exposure Cohort for these individuals.  
14 Now actually, technically, there is one Special  
15 Exposure Cohort, and all of these groups become  
16 mem-- classes of the cohort. This is not a new  
17 SEC. I think our wording, Jim -- and this  
18 would be a friendly amendment -- would be to --  
19 we might say recommend Special Exposure Cohort  
20 status or something like that, but we are not  
21 recommending a new Special Exposure Cohort.  
22 There is only one Special Exposure Cohort and  
23 all the groups become mem-- classes in the  
24 cohort. So would -- without objection, can we  
25 modify that a little bit so that it --

1           **DR. MELIUS:** Yeah, that's fine.

2           **DR. ZIEMER:** -- it's technically correct.

3           We've been using this language right along and  
4           I suddenly realized it probably -- it -- the  
5           Secretary is able to understand what we really  
6           mean and give the right language to Congress,  
7           but perhaps we can modify that.

8           Any discussion on this motion?

9                               (No responses)

10          Are you ready to vote?

11                              (No responses)

12          Okay. All in favor of the motion, raise your  
13          right hand.

14                              (Affirmative responses)

15          And there appear to be no noes and no  
16          abstentions. The motion carries.

17          **DR. WADE:** The motion -- yeah, the motion  
18          carries unanimously.

19          **DR. ZIEMER:** Thank you very much. It would be  
20          appropriate to have a follow-up motion dealing  
21          with the issue of the extension of time. Jim,  
22          are you prepared to make a motion or -- because  
23          what I was going to say, we may need some  
24          wordsmithing and if so we can move ahead and  
25          then return to this, but...

1           **DR. MELIUS:** Depends on -- whatever people --  
2           let me wri-- let's come back to it. That may  
3           be better.

4           **DR. ZIEMER:** What I'm going to suggest is that  
5           -- in -- in fact, let me ask if -- I'll do this  
6           in a general way. Does the Board wish to have  
7           a motion where we can deal with the issue of  
8           extending the covered period? Is there general  
9           agreement that we would like to have such a  
10          motion; and if so, it would include some  
11          tasking issues related to that.

12          Wanda, a comment?

13          **MS. MUNN:** Very much in favor of having such a  
14          motion.

15          **DR. ZIEMER:** Yeah, I -- it seems to be --

16          **MS. MUNN:** The wording of it seems to be  
17          critical and probably will take more than five  
18          minutes to do. Perhaps we could take a 20-  
19          minute break and give Dr. Melius some --

20          **DR. ZIEMER:** Yeah, well, I was hoping we would  
21          plow ahead without breaks and people would take  
22          them as needed, but we may need to -- we may  
23          need to do that. Maybe a ten-minute comfort  
24          break, but we need a couple of people to  
25          develop some wording. Let me -- who's going to

1 volunteer --

2 **DR. MELIUS:** I'll develop some.

3 **DR. ZIEMER:** Jim -- and Wanda can -- will help  
4 you, if needed. She's a word expert. But  
5 let's make sure we cover requesting the  
6 Secretary to do some things on behalf of -- or  
7 -- think about the Secretary's involvement, if  
8 we wish to make it a recommendation to the  
9 Secretary, and then whatever tasking we need  
10 for our contractors and whatever we request --

11 **DR. WADE:** And NIOSH.

12 **DR. ZIEMER:** -- NIOSH to do so that we can be  
13 prepared to take action. And so we'd have two  
14 things going on. One would be the change of  
15 the -- the definition of the covered period,  
16 and the other would be the evaluation of  
17 whether dose can be reconstructed during that  
18 period.

19 **DR. WADE:** Right. I need to say for the record  
20 that if the Board tasks NIOSH and SC&A to  
21 evaluate the question of whether thorium dose  
22 can be reconstructed during the residual  
23 period, that you're asking them to -- to  
24 evaluate a hypothetical at this point because  
25 at this point thorium dose during the residual

1 period is not on the table. If our other  
2 actions are successful, then that issue could  
3 be on the table. And I don't want to create a  
4 situation where NIOSH could come back and say  
5 we cannot reconstruct thorium dose, and then  
6 the assumption be made that that immediately  
7 would qualify for an SEC. We have to deal with  
8 the issue of whether thorium dose is legitimate  
9 to consider during the residual contamination  
10 period.

11 **DR. MELIUS:** Yes, but --

12 **DR. ZIEMER:** Okay.

13 **DR. MELIUS:** Can I just clarify? I mean I also  
14 think we need a -- need to make sure this is  
15 done in an expeditious manner, and -- and I  
16 think that's the -- I think it's understood  
17 that there are -- it's hypothetical, to some  
18 extent, but at the same time I don't think we  
19 want to have a sequential series of meetings to  
20 address this.

21 **DR. WADE:** I agree completely.

22 **DR. ZIEMER:** Okay. So let's go ahead and take  
23 as brief a break as we can, ten-minute break --  
24 comfort break, and we'll go from there. Thank  
25 you.

1           **DR. WADE:** Come back to Chapman Valve.

2           **DR. ZIEMER:** And then we'll come back to  
3 Chapman Valve, as well.

4           (Whereupon, a recess was taken from 10:43 a.m.  
5 to 11:00 a.m.)

**CHAPMAN VALVE SEC PETITION**

**DR. GEN ROESSLER, WORK GROUP CHAIR**  
**PETITIONER**

6           **DR. ZIEMER:** Let's get started again. We have  
7 the Chapman Valve petition to do. Maybe we'll  
8 go ahead -- are we ready to go ahead with that,  
9 'cause Jim is still working on the wording of  
10 the --

11          **DR. WADE:** Jim is going to do a -- Jim Neton  
12 will do a brief presentation.

13          **DR. ZIEMER:** Okay. This is Chapman Valve, and  
14 between Gen Roessler and Jim Neton we'll come  
15 up --

16          **DR. NETON:** We'll tag-team here. I just have a  
17 few brief opening remarks to remind the Board  
18 as to a little bit about the history of what's  
19 -- what's gone on at Chapman Valve and what  
20 happened there during the AEC or AWE period.  
21 If you recall, Chapman Valve evaluation report  
22 was presented at the Las Vegas Board meeting in  
23 September of 2006, and it was recommended by  
24 NIOSH that we can do dose reconstructions for

1           this class, they were feasible, and essentially  
2           that the class would be denied based on the  
3           proposed definition. I know Dr. Roessler has a  
4           lot -- detail about all this behind us, but I  
5           just want to remind her that we had presented  
6           that in Las Vegas.

7           And just a little brief sketch as to what  
8           happened -- transpired at the Chapman Valve  
9           facility, they had a two-year contract period  
10          to do AEC work to machine uranium slugs for the  
11          Brookhaven Graphite Research Reactor. That is,  
12          they started with -- remember Sam Glover talked  
13          about the rods yesterday. They weren't  
14          necessarily those rods, but 12- to 15-foot  
15          length rods, nominally one-inch diameter. They  
16          were segmented into four-inch pieces and then  
17          machined to the exact specifications that  
18          Brookhaven Reactor needed. They took some  
19          outer dimensions off of them and machined in a  
20          little button and put a slot in them. That was  
21          the extent of their operations with the -- with  
22          the slugs.

23          So as a machine shop, this involved, you know,  
24          lathe operations, grinding, cutting, that sort  
25          of thing that you'd normally experience in a

1 machine shop.

2 The operation was fairly small, as some of  
3 these sites go. It involved we believe less  
4 than 100 people who had Q clearances that were  
5 necessary to work on -- on this project. And  
6 we did have bioassay monitoring data and film  
7 badge data for a good portion of these workers.  
8 That's just a brief, thumbnail sketch of what  
9 went on there. We can discuss more in detail  
10 as we get into it, but I'll turn it over to the  
11 working group and Dr. Roessler.

12 **DR. ZIEMER:** Okay. Dr. Roessler?

13 **DR. ROESSLER:** Okay, thank you, Jim. The  
14 working group members are Dr. Poston, Brad  
15 Clawson, Mike Gibson, Mark Griffon and myself.  
16 Dr. Poston, as you know, can't be here today so  
17 he asked me if I'd make the presentation. I  
18 thought I'd give a little timeline here to show  
19 where the -- where we've been on this.

20 In February, 2005 there was a worker outreach  
21 meeting at Western Massachusetts COSH office in  
22 Springfield, Massachusetts and at that time the  
23 TBD was approved.

24 December, 2005 the *Federal Register* notice,  
25 Chapman Valve met the SEC minimum requirements

1 for review and evaluation.

2 Then in August, 2006 the SEC petition  
3 evaluation report was submitted. This is SEC-  
4 00043.

5 And as Jim mentioned, at the Board's September  
6 meeting in Las Vegas, the petition was  
7 discussed. NIOSH presented their information.  
8 SC&A was assigned to evaluate the site profile,  
9 and our working group was appointed.

10 In October, 2006 the TBD revision was  
11 submitted.

12 In November, November 28th, our working group  
13 chair, Dr. Poston, accompanied SC&A staff on a  
14 trip to the site and participated in a tour and  
15 interviews with the petitioners and workers.  
16 We held our first working group meeting. It  
17 was face-to-face in Cincinnati -- well, not  
18 really Cincinnati, but at the Cincinnati  
19 Airport, as everyone knows we do. That meeting  
20 was quite productive. At that time NIOSH  
21 mentioned that they had a good bit of data. I  
22 think already at that point they felt they  
23 could do dose reconstruction, but a new report  
24 had been found that they felt would really  
25 support all of their work, and I'll mention

1           that report in a minute.

2           We got the report, I think it was in early

3           April, and we held a working group

4           teleconference on April 23rd, and I'll mention

5           our conclusions to that.

6           Just to amplify a little bit what Jim said, the

7           petition -- I've just copied down here and put

8           a couple of things in parentheses just to

9           clarify some dates. It's all AWE employees who

10          were monitored, or should have been monitored,

11          for radiological exposures while performing

12          Atomic Energy Commission work in Building 23 --

13          I added the bold -- at the Chapman Valve

14          Manufacturing Company in Indian Orchard,

15          Massachusetts from January 1st, 1948 through

16          December 31st, 1949.

17          And then in parentheses I've broken down that

18          time period. The first 16 months, January

19          through April 30th, 1949, was the produc--

20          production period. Production then stopped,

21          and from May to the end of December -- we'll

22          call it a residual exposure period. Then back

23          into the official wording -- and from January

24          1st, 1991 through December 31st, 1993, another

25          residual exposures period.

1 I mentioned this report that NIOSH had hoped  
2 they would get. They did receive it. It's the  
3 -- called the H. K. Ferguson Report, Machining  
4 of Uranium for Brookhaven Reactor; was  
5 published June 15th, 1949. All the -- the  
6 Board got copies of this, the petitioners got  
7 copies of it, and I think it's available for  
8 anybody who wants it. If anyone in health  
9 physics has read it, I think you'll see it's a  
10 very impressive report. It describes -- and in  
11 -- for 1949, this is pretty impressive,  
12 procedures that we'd be proud of today. It  
13 also, in detail, describes the production  
14 schedule, the rates of production, the  
15 quantities. It has details of the operation  
16 with photos, maps and so on.  
17 And the important thing -- or one of the  
18 important things -- in this report, it was  
19 known that there were minor fires, but the  
20 dates weren't known exactly. NIOSH felt they  
21 could handle that with their data and their  
22 urine bioassay information. But the fact that  
23 this report gave the exact dates then makes the  
24 NIOSH bioassay information even better. Talked  
25 about cleanup and decontamination and waste

1 disposal.

2 As you've heard, and if you remember from the  
3 Las Vegas meeting, even at that time NIOSH felt  
4 that they had plenty of data to generate  
5 bounding estimates. Chapman Valve had a good,  
6 strong health physics program. The -- it was a  
7 small program, small number of people. They  
8 had -- they have 40 bioassay samples, but  
9 because of the Ferguson report NIOSH has  
10 concluded they can better handle those bioassay  
11 samples now that we know the dates of the fire.  
12 And also additional information is available  
13 regarding the process information that's  
14 important to dose reconstruction.

15 The working group then, through their two  
16 meetings -- primarily in the teleconference on  
17 April 23rd -- decided we agreed -- and this was  
18 unanimous, everybody in the working group has  
19 read what I've written here; and in fact SC&A  
20 has read it and agrees with this conclusion --  
21 that the data for the first 16 months, this was  
22 the time of production, it depends heavily on  
23 the 40 bioassay samples and other information  
24 from the Ferguson report, and then information  
25 they had previously. The data for the May 1st

1 through December 31st period, the residual  
2 exposures period, depends on the FUSRAP data.  
3 And for the January 1st, 1991 to December 31st,  
4 1993, primarily the site characterization that  
5 was done in 1991 is the source of information  
6 to do dose reconstruction.

7 So the conclusions from the working group --  
8 and as I've stated, I feel -- we feel unanimous  
9 on this, have concurrence from the SC&A staff --  
10 -- we conclude that the NIOSH approach to dose  
11 reconstruction will provide bounding but  
12 claimant-favorable estimates of dose to the  
13 workers at Chapman Valve over the periods of  
14 interest in this petition.

15 So based on this conclusion, the working group  
16 does not recommend that SEC status is warranted  
17 for the Chapman Valve employees.

18 So that's the end of our working group report.

19 **DR. ZIEMER:** Thank you very much. I understand  
20 that possibly Portia Wu from Senator Kennedy's  
21 office may be on the phone --

22 **DR. WADE:** She's not.

23 **DR. ZIEMER:** Not? Is --

24 **UNIDENTIFIED:** (Off microphone)

25 (Unintelligible) 11:30.

1           **DR. ZIEMER:** May be coming on (unintelligible)

2           --

3           **UNIDENTIFIED:** (Unintelligible) and she'll be  
4 back on the call at 11:30.

5           **DR. ZIEMER:** Oh, okay. How about William  
6 Powers from Representative Neal's office?  
7 Okay. Thank you. This report is open for  
8 discussion and action. Mark?

9           **MR. GRIFFON:** I -- I -- I think just one thing  
10 to add. I'm not -- I think we ha-- we might  
11 need a motion similar to what we just talked  
12 about with Dow on this. We already -- in the  
13 workgroup process we brought up the question of  
14 operations outside the defined period of time -  
15 - outs-- outside the defined -- covered time  
16 period, sorry, and this came up because of a --  
17 a potential enriched uranium sample, it's not  
18 completely sure if it's a -- it's a valid  
19 sample or whatever, but there was some  
20 potential that there might be some enriched  
21 uranium there, which led to -- there was also  
22 some interviews, or at least one interview of  
23 an individual that did identify some other  
24 potential work, possibly in another area, prior  
25 to the defined time period. And I think --

1 Larry already has this information. I think  
2 NIOSH did pass this along to DOL. I don't know  
3 if we need a formal motion to make sure we --  
4 we consider time periods outside the defined  
5 time frame or if that's underway. I just  
6 wanted to make sure people knew about it.

7 **MR. ELLIOTT:** If I -- if I could, it's good to  
8 get it on the record, Mark --

9 **MR. GRIFFON:** Yeah.

10 **MR. ELLIOTT:** -- and you -- the working group  
11 asked that NIOSH send a letter on this issue  
12 about Chapman Valve and the enriched uranium  
13 sample, et cetera. That letter went out -- it  
14 was sent to DOL and to DOE, asking them to look  
15 into this for -- for the Chapman Valve  
16 petition. We've not heard anything back.

17 **DR. ZIEMER:** Okay, thank you. Phil?

18 **MR. SCHOFIELD:** Yes, I've got a question.  
19 Maybe somebody could answer this. On the  
20 second residual period, was there any bioassay  
21 samples?

22 **DR. ZIEMER:** Jim Neton -- Neton?

23 **DR. NETON:** No, there are no bioassay samples  
24 during the residual period.

25 **MR. SCHOFIELD:** What kind of film badging was

1 done, if any?

2 **DR. NETON:** We have no -- no film badge data  
3 for the residual period, as well. We have no  
4 indication that workers were actually actively  
5 working in those areas, but we based it on the  
6 dose rates that were obtained during the FUSRAP  
7 characterization where they had gamma  
8 measurements about the facilities and what the  
9 levels of contamination were -- residual  
10 contamination was left in the building. So  
11 it's -- it's sort of our standard residual  
12 contamination model for those periods.  
13 There was a fairly concerted cleanup effort  
14 that's documented in the Ferguson report as to  
15 what levels they decontaminated the building  
16 to, so we have a fairly good handle on what was  
17 left there. And then we would use resuspension  
18 factors that we would typically do in those  
19 periods to estimate internal dose, and then  
20 first principle gamma dose rates coming off of  
21 what's left.

22 **DR. ZIEMER:** Thank you. Dr. Melius?

23 **DR. MELIUS:** Yeah, one question for you, Larry.  
24 What was the -- when did you write to DOL and  
25 DOE about that issue?

1           **MR. ELLIOTT:** The letter that I wrote to DOE  
2 and DOL spoke about what Mark just referred to,  
3 the --

4           **DR. MELIUS:** Right.

5           **MR. ELLIOTT:** -- the issue of one enriched  
6 uranium sample, questioning whether or not  
7 there was any other AEC-related work --

8           **DR. MELIUS:** Right.

9           **MR. ELLIOTT:** -- beyond what we understand in  
10 the class -- or in the, excuse me, facility  
11 designation.

12          **DR. MELIUS:** And when -- my question was when  
13 did you write that. You said you --

14          **MR. ELLIOTT:** Oh, I'm sorry --

15          **DR. MELIUS:** -- hadn't received a response and  
16 I was ask-- trying to figure out how long has  
17 it been, is it --

18          **MR. ELLIOTT:** It was --

19          **DR. MELIUS:** -- a week or --

20          **MR. ELLIOTT:** -- close to two or three days  
21 after the working group meeting when they asked  
22 me to do this. I don't have the letter in  
23 front of me. I don't know exactly what the  
24 date was.

25          **DR. ROESSLER:** Is that the April 23rd --

1           **MR. ELLIOTT:** April 23rd?

2           **MR. GRIFFON:** No, it was the one before that.

3           **MR. ELLIOTT:** The one before that.

4           **DR. ROESSLER:** February.

5           **MR. ELLIOTT:** Yeah.

6           **DR. MELIUS:** So it's --

7           **MR. ELLIOTT:** I asked Libby where they were at  
8           on this when I saw her day before yesterday,  
9           and she said they were still trying to explore  
10           whether there was any documentation to support  
11           such.

12           **DR. ZIEMER:** Okay. Wanda?

13           **MR. GRIFFON:** Is there --

14           **DR. ZIEMER:** Wait a minute, hang on.

15           **MR. GRIFFON:** Oh, I'm sorry.

16           **MS. MUNN:** No, go ahead. Go ahead, Mark.

17           **MR. GRIFFON:** I was just going to ask -- and  
18           I'm on the workgroup, but we've got so many  
19           sites juggling around in our heads -- I thought  
20           there was a time period where you were looking  
21           for more information on the remediation  
22           aspects, or -- or is that -- just the '91-'93 -  
23           -

24           **DR. NETON:** That's correct, that's the reason  
25           that this class definition stops at 1993.

1           **MR. GRIFFON:** I just wanted to make sure --

2           **DR. NETON:** There was a -- a --

3           **MR. GRIFFON:** -- Phil knew that. Yeah.

4           **DR. NETON:** There was a DOE remediation that  
5 was conducted in 1994 to 1995 -- I should have  
6 mentioned, that's a good point, Mark.

7           **MR. GRIFFON:** Yeah.

8           **DR. NETON:** We don't have -- we're still  
9 searching for information -- I believe that was  
10 Bechtel that was doing that remediation and  
11 we've got -- have requests for information in  
12 to them for those two years, and as soon as we  
13 find that out then we can weigh in as to  
14 whether or not we can do dose reconstructions  
15 for the '45 -- or '94/'95 time period, so we  
16 purposely truncated this at '93 because that's  
17 the extent of where we felt we had sufficient  
18 information to evaluate.

19           **MR. GRIFFON:** And the '91 and '93 time frame  
20 was not the people that were doing the FUSRAP  
21 cleanup. That was --

22           **DR. NETON:** No, that was just the FUSRAP data  
23 that was used to estim-- to do the residual  
24 contamination model.

25           **MR. GRIFFON:** But why was that '91 to '93, why

1 not before '91 -- I'm -- refreshing  
2 (unintelligible) --

3 **DR. NETON:** '91 is also covered. The petition  
4 -- the original proposed -- the definition  
5 proposed by the petitioners asked for us to  
6 look at '48, 49 and '91 to '95.

7 **MR. GRIFFON:** Okay.

8 **DR. NETON:** So that's what we did, and then we  
9 said '91 to '93 for the reason that we just  
10 discussed.

11 **DR. ZIEMER:** Okay. Wanda?

12 **MS. MUNN:** It would seem unwise for us to  
13 continue to postpone action on this on the  
14 assumption that some other information may be  
15 developed. If some other information is  
16 developed for some other period, nothing  
17 precludes our taking that into consideration at  
18 that time. Am I incorrect?

19 **DR. ZIEMER:** Huh-uh.

20 **MS. MUNN:** Then if that's the case, I would  
21 move that we accept the recommendation of the  
22 working group and pass that recommendation on  
23 to the Secretary, recommending that the SEC, as  
24 stated, be -- not be accepted.

25 **DR. ZIEMER:** Okay, you've heard the motion. Is

1           there a second?

2           **MR. CLAWSON:** I second it.

3           **DR. ZIEMER:** Seconded. Further discussion?  
4           Dr. Melius?

5           **DR. MELIUS:** Yeah, I'll actually object to  
6           that. I think, given that there's at least two  
7           requests out for additional information, seems  
8           to me it's just easier to postpone and let's  
9           see if anything comes back. I think some of  
10          these requests are relatively recent and let's,  
11          you know, keep this open, get the information  
12          back -- unless I'm misunderstanding some of the  
13          time periods involved.

14          **DR. NETON:** I'm sorry, I might've -- I had a  
15          sidebar conversation; I might have missed  
16          something. But I want to be clear that the  
17          requests for additional information are outside  
18          the current designated covered period on the  
19          DOE web site. This is a -- the --

20          **DR. MELIUS:** Okay.

21          **DR. NETON:** -- the main impetus was the fact  
22          that a worker interview with one of the SC&A  
23          members had recalled that they -- they had done  
24          some work with -- what were they --

25          **DR. MAKHIJANI:** (Off microphone)

1 (Unintelligible)

2 **DR. NETON:** Yeah, Arjun -- Arjun can explain  
3 better, but it gave some indications that it  
4 would have been maybe some -- some work from  
5 Oak Ridge involving enriched uranium  
6 operations, but it would have preceded the 1948  
7 period.

8 **DR. MAKHIJANI:** Yes, during the interview there  
9 was a worker who'd worked in a different part  
10 of the project during the Manhattan Project,  
11 and the worker was very clear that this was  
12 during the Manhattan Project, that there had  
13 been equipment from Oak Ridge that appeared to  
14 be -- to me, when I researched it later -- from  
15 the electromagnetic separation project there  
16 during the Manhattan Project. And this worker  
17 was also reasonably clear that shortly after  
18 the end of World War II, sometime probably in  
19 early '46, that that operation had terminated.  
20 The other relevant pieces of information are  
21 that this worker knew where that work was  
22 carried out. It was in a different facility.  
23 And the explanation for the enriched uranium  
24 sample at the site was that the equipment,  
25 which was rather large, came from Oak Ridge by

1 train to the main site and then was transferred  
2 to -- by -- to a truck, so that if there had  
3 been contamination on this equipment of  
4 enriched uranium, you'd have an explanation for  
5 why there was only a little bit found at the  
6 main site.

7 So those are the relevant details.

8 **DR. NETON:** So not only is this outside the  
9 covered period, it would be also a different  
10 facility because, as Arjun said, this was  
11 shipped off to a -- sort of a small operation,  
12 I envision like a garage almost, somewhere  
13 where (unintelligible) --

14 **MR. GRIFFON:** Yeah, I -- I mean I -- I think  
15 what -- what -- where I came down on this was  
16 basically that there's at least enough  
17 questions out there that we need to -- to look  
18 into this further, but everything that -- that  
19 we had in front of us suggested that for the  
20 time period of concern, they had it covered.  
21 And I -- I don't want to -- you know, this  
22 operation did -- was based on what Arjun said,  
23 that was the interview, but the U-235 sample I  
24 think was in the -- near the other building  
25 where we -- where we were -- you know, the

1 building we're considering on this, you know,  
2 so I don't know, there -- there's a -- question  
3 marks here and I asked that -- that we -- we  
4 just explore that. I don't think it affects  
5 the covered time frame for this decision. And  
6 in that later time period, that was '91 through  
7 '95, as I understand it, was proposed by the  
8 petitioner -- the '91 through '95 time frame  
9 was proposed by the petitioner, and '93 through  
10 '95 is the -- is the question mark there. And  
11 I -- I asked -- I mean there should be -- if  
12 Battelle did the remediation, there should be  
13 Battelle reports. There -- the waste was  
14 shipped to Envirocare of Utah. There might be  
15 information there that at least gives us a  
16 sense of the magnitude of the operation, that  
17 sort of thing. So that -- that's what we want  
18 to pursue there. But everything we have  
19 suggests during that operational period, as  
20 defined by -- by the petition-- or by the-- by  
21 DOL that -- that they can reconstruct doses.

22 **DR. ZIEMER:** Okay.

23 **DR. MELIUS:** I have one further clarification.  
24 My understanding from the web site is that SC&A  
25 did a report on -- is it a site profile review?

1 Did they ever put anything in writing regarding  
2 -- a report regarding the SEC, or do I have  
3 this wrong?

4 **DR. MAURO:** Yes, we delivered to -- to the  
5 Board on December 6th an SEC, as you requested,  
6 review and I'm holding in my hands and you  
7 folks have already received it. I do note -- I  
8 do not believe it's on the -- on the open web  
9 because there are a lot of PA -- there are a  
10 lot of names in here, and I don't believe it  
11 has yet gone through P-- PA clearance. You  
12 have this -- but the Board has this report.

13 **MR. GRIFFON:** So -- but -- but the petitioner  
14 probably doesn't have it. Right?

15 **DR. MAURO:** The petitioner probably doesn't  
16 have this report --

17 **MR. GRIFFON:** Yeah.

18 **DR. MAURO:** -- that's correct.

19 **DR. MELIUS:** In five months we can't get  
20 Privacy Act clearance on a doc-- I mean --

21 **MR. GRIFFON:** Yeah.

22 **DR. MELIUS:** -- it's ridiculous.

23 **DR. WADE:** I don't know. We'll have to  
24 (unintelligible) --

25 **DR. ZIEMER:** I don't know the answer to that.

1           **DR. MELIUS:** Well...

2           **DR. ZIEMER:** Is that the status of it, as far  
3 as you know?

4           **DR. MELIUS:** It's certainly not on the web  
5 site, I can tell you that. I looked, that's  
6 why --

7           **MS. MUNN:** That's why.

8           **DR. MELIUS:** Yeah.

9           **MS. MUNN:** That's why.

10          **DR. MELIUS:** Yeah, I know, I...

11          **DR. ZIEMER:** Okay. Further discussion --  
12 Wanda.

13          **MS. MUNN:** Do we have petitioners whose claim  
14 falls outside this time period that we're  
15 looking at?

16          **MR. GRIFFON:** I don't (unintelligible) --

17          **MS. MUNN:** Do we have claimants. I shouldn't  
18 say petitioners; do we have claimants?

19          **DR. NETON:** No, if -- if they fall outside that  
20 time period, they're not eligible petitioner --  
21 eligible claimants.

22          **MS. MUNN:** No, no, I mean claimants. I'm  
23 sorry, I used the wrong term.

24          **DR. NETON:** But -- but we would only have  
25 claimants who are within the elig-- whose

1 employment falls within the eligible period.

2 **DR. ZIEMER:** Labor wouldn't send them forward.

3 **DR. NETON:** Or are you talking about the  
4 '94/'95 time frame? I'm confused.

5 **DR. ZIEMER:** If they were outside the defined  
6 period, Labor --

7 **DR. NETON:** They're not coming --

8 **DR. ZIEMER:** -- would not send them forward.

9 **DR. NETON:** We would not have them in our  
10 possession if they're outside the covered  
11 period.

12 **MS. MUNN:** Okay.

13 **DR. ZIEMER:** Any further discussion? Okay.

14 **MR. GRIFFON:** But I -- I -- I mean -- I guess  
15 maybe, Wanda, what you're getting at -- I mean  
16 if in this investigation we find other  
17 activities, then DOL would expand that time  
18 period and then they may get other -- other  
19 people into the system. So right now, no,  
20 there's --

21 **MS. MUNN:** No, that's what -- wasn't what I was  
22 asking.

23 **MR. GRIFFON:** Oh.

24 **MS. MUNN:** All I was asking is has -- do we  
25 have people who have presented claims to Labor

1           whose claims -- whose -- whose employment  
2           period was outside --

3           **DR. ZIEMER:** I'm not sure we know what Labor  
4           has if Labor doesn't send them forward.

5           **MS. MUNN:** But we --

6           **DR. ZIEMER:** We don't.

7           **MS. MUNN:** -- we do not have them.

8           **MR. GRIFFON:** By definition, we can't, yeah.

9           **MR. ELLIOTT:** We -- we only see the claims that  
10          DOL deems eligible under the --

11          **MS. MUNN:** I understand.

12          **MR. ELLIOTT:** -- covered period. That's all we  
13          get.

14          **MS. MUNN:** I understand.

15          **MR. ELLIOTT:** I have no idea what they -- what  
16          they turn away.

17          **MS. MUNN:** Okay.

18          **MR. GRIFFON:** Right. Sorry I (unintelligible)  
19          --

20          **DR. ZIEMER:** Further comments? We have a  
21          motion on the floor. Motion is to accept the  
22          working group's report and to recommend denial  
23          of the SEC. Jim?

24          **DR. MELIUS:** Yeah, I just want to indicate that  
25          I am going to vote against the motion. I -- I

1 really think -- it's the third example we've  
2 had at this meeting of, you know, significant  
3 delays and problems with petitioners and those  
4 outside this group getting access to documents  
5 that are -- are part of our deliberations. And  
6 we've had what we talked about today with the  
7 Dow site and Don (sic) -- Don's problems  
8 getting ac-- access to information. We had --  
9 I mean which I thought was ever more egregious  
10 was with the Rocky Flats group not having the  
11 latest SC&A report. And now we have this  
12 report that hasn't been ab-- NIOSH hasn't been  
13 able to clear for Privacy Act consideration for  
14 six months -- excuse me, five months, don't  
15 want to exaggerate.

16 **DR. ZIEMER:** Gen, do you know if the  
17 petitioners were involved in the discussions  
18 and whether or not they have --

19 **DR. ROESSLER:** Yes, as far --

20 **DR. ZIEMER:** -- the report?

21 **DR. ROESSLER:** -- as far as I know, I think  
22 both at the meeting face-to-face and the  
23 teleconference, I'm pretty sure the petitioners  
24 were on the phone and they were aware of our  
25 discussions. And of course the petitioners did

1 get that important Ferguson report. Board  
2 members got all of the reports from NIOSH and  
3 SC&A.

4 **DR. ZIEMER:** Do you know if the petitioners got  
5 the SC&A report?

6 **DR. ROESSLER:** That I don't know. Maybe  
7 somebody --

8 **DR. ZIEMER:** Do you know, John, if they did?

9 **DR. MAURO:** It's my belief they have not,  
10 because I recall when I submitted the report it  
11 did have -- I did get some feedback that there  
12 -- to -- to the Board that there were -- there  
13 was information in there that was considered to  
14 be covered by Privacy and that it needed to be  
15 scrubbed, and I have not heard back since. So  
16 I'm not quite sure where the report is. I do  
17 not believe that it was distributed to the --  
18 to -- to the petitioners at this point in time.

19 **DR. ZIEMER:** Okay, thank you. Further comments  
20 or questions? Anyone wish to speak for or  
21 against the motion?

22 Mark?

23 **MR. GRIFFON:** No, I'm just wondering if, you  
24 know -- just, you know, should we allow time  
25 for that petitioner to rev-- I think it's only

1 the one report from SC&A that the petitioner  
2 hasn't seen, and just postpone vote until --  
3 we're -- we're going to have a June 12th  
4 meeting now, apparently. I don't think it --  
5 it -- we have a -- a lengthy discussion, quite  
6 frankly, involved in Chapman. Maybe we could  
7 delay vote until that meeting, as well. I  
8 don't know. That's --

9 **DR. ZIEMER:** Procedurally you could call for  
10 tabling the motion till a certain date. Mark -  
11 - Wanda?

12 **MS. MUNN:** If we're going to have only a one-  
13 day meeting in June, I think this -- what has  
14 transpired at this particular meeting makes it  
15 imminently clear to anyone who's paying  
16 attention that enough time has not been  
17 scheduled to adequately discuss these issues to  
18 the extent that the Board wishes to do so. So  
19 if we're going to have only a one-day meeting  
20 and we're talking about postponing first one,  
21 then two, now three issues for that particular  
22 time period, I believe we're fooling ourselves.  
23 It's -- from my perspective, these are never  
24 going to be easy decisions. We're never going  
25 to have full information. We're never going to

1           have the last detail that we would like to  
2           have, for many reasons. I believe it's  
3           incumbent upon us, it's part of our  
4           responsibility, to move forward with the  
5           information that we have. The working group  
6           spent a lot of time on it. They've reviewed  
7           the data that's there. Their recommendation  
8           appears perfectly valid.

9           **DR. ZIEMER:** Okay. Gen Roessler.

10          **DR. ROESSLER:** Although I agree with what Wanda  
11          has said, I think this motion is kind of the  
12          opposite of what we're mostly dealing with.  
13          Quite often we want to act on a timely basis  
14          because we have petitioners who are hoping to -  
15          - to soon be compensated. In this case we say  
16          that the workgroup does not recommend the SEC  
17          status because NIOSH can do dose  
18          reconstructions. So I think it's a little  
19          different situation, so I don't really object  
20          to waiting. I think we could probably do it  
21          quickly at the June meeting. And I would like  
22          to have our workgroup chair present as we vote.

23          **DR. ZIEMER:** Okay. Michael?

24          **MR. GIBSON:** Are we tied into a one-day meeting  
25          in June? I mean could we make it two? You

1 know, could we throw in our deliberations  
2 and...

3 **DR. WADE:** Once we get you together, might as  
4 well keep you.

5 **DR. ZIEMER:** Jim?

6 **DR. MELIUS:** Yeah, my objection is not to the  
7 thoroughness of how we deliberated here, nor  
8 the -- the work of -- the actions of the  
9 workgroup. I think they've done fine. I --  
10 there -- there is -- we have -- we have  
11 petitioners that have not been allowed to see a  
12 report that's been, you know, available for  
13 apparently -- should have been available for  
14 five months or some reasonable time period  
15 within that five months, and -- and to me, that  
16 just -- you know, blatantly unfair, the  
17 process. I mean I have more sympathy for some  
18 of the situations earlier where, you know,  
19 large amounts of information are -- come up in  
20 a short period of time or the -- with the Rocky  
21 Flats where there's a -- you know, a report  
22 that's done late because the workgroup's  
23 working very hard and SC&A to do a thorough job  
24 just beforehand. I think there's still some  
25 unfairness to that, but in this case it seems

1 to me so blatant that people are -- and I think  
2 this has complicated -- my understanding is  
3 that at least one petitioner representatives  
4 died and so I think there's been maybe some  
5 problems on their end in terms of following up  
6 on this, but I -- I don't know that for sure,  
7 but it seems to me that to be fair, we -- we  
8 need to make all the information available that  
9 should be made available to the public and to  
10 the petitioners as part of this process --

11 **DR. ZIEMER:** Okay.

12 **DR. MELIUS:** -- and we haven't and -- and I  
13 agree with Gen, I don't see any problem with  
14 delaying this action. We're not -- we're not  
15 holding up claims and so forth.

16 **DR. ZIEMER:** Phil?

17 **MR. SCHOFIELD:** I would definitely feel more  
18 comfortable putting this off for a little while  
19 until we find out a little more about the  
20 possible other residual period being added to  
21 this, plus the petitioners having a chance to  
22 go over what may be new information for them.

23 **DR. ZIEMER:** Okay. Jim and then Gen, and again  
24 I'll remind you if the Board wishes to  
25 postpone, a motion to table would be in order.

1           Okay, Jim.

2           **DR. LOCKEY:** You know, I agree with -- with Jim  
3           in that -- that I think the petitioners should  
4           have an opportunity to look at this. I'd like  
5           to ask NIOSH how quickly can you get it  
6           redacted?

7           **MS. HOMOKI-TITUS:** We have not received that  
8           report for redaction so therefore I cannot tell  
9           you how long it would take to redact it.

10          **DR. ZIEMER:** Okay. I think we heard earlier  
11          that the report had been submitted for  
12          redaction.

13          **DR. MAURO:** But -- but -- no, I submitted the  
14          report to the Board and to NIOSH, my  
15          distribution. I can't say whether or not it  
16          went on.

17          **DR. ZIEMER:** Okay, well --

18          **DR. MELIUS:** If I understand --

19          **DR. ZIEMER:** -- regardless, it needs -- the  
20          process needs to occur.

21          **MR. GRIFFON:** Right.

22          **DR. ZIEMER:** Okay, Gen Roessler.

23          **DR. ROESSLER:** I move to table.

24          **DR. ZIEMER:** Is there a second?

25          **DR. MELIUS:** I'll second.

1           **DR. ZIEMER:** This is not a debatable motion.  
2           We will vote immediately.

3           All in favor of tabling -- do you -- do you  
4           wish to specify when it comes off the table?  
5           That -- you -- you can include that as part of  
6           the tabling; otherwise it just goes on the  
7           table. It can come off at any time. You --

8           **DR. ROESSLER:** (Off microphone)  
9           (Unintelligible) just leave (unintelligible).

10          **DR. ZIEMER:** Okay, motion to table. All -- all  
11          in favor, raise your right hand.

12          It's clear we have a majority. The motion --  
13          motion --

14          **DR. WADE:** It's unanimous.

15          **DR. ZIEMER:** Motion is tabled.

16          **DR. WADE:** Unanimous vote for tabling.

17          **DR. MELIUS:** Can I make one additional comment,  
18          which I --

19          **DR. ZIEMER:** You may.

20          **DR. MELIUS:** -- actually reiterate something I  
21          said before. I really think we need to  
22          straighten out -- I thought we had done it at  
23          the last meeting -- this whole sequence of how  
24          reports flow from SC&A through contracting  
25          office to NIOSH and so forth over this -- these

1 Privacy Act and other considerations. We still  
2 seem to be having problems with them. I'm not  
3 sure if it's anybody's fault, but -- and some  
4 of it's simply I think some of the timing  
5 involved and so forth, but we really need to --  
6 to get this straightened out, figure out what's  
7 out -- else might be out there that -- that has  
8 fallen between the cracks or whatever and --  
9 and make sure that we have adequate timing on  
10 this. I know we put pressure on counsel's  
11 office to do things quickly, but same time, I  
12 think we -- we need to at least have some -- a  
13 better handle on this whole process so we know  
14 what's going on.

15 **DR. WADE:** I mean I'll take that as a  
16 responsibility. There is a procedure in place.  
17 My preliminary evaluation is the procedure in  
18 place went in place after the December report  
19 was submitted.

20 **DR. MELIUS:** Yeah, I suspect so, too, I --

21 **DR. WADE:** But we'll look into it and we'll  
22 make sure that there's nothing else that's in  
23 that sort of limbo state.

24 **DR. ZIEMER:** Okay.

25 (Pause)

1        **ROCKY FLATS MOTION**

2            I'd like to have Board members pull out the  
3            written copy of the Rocky Flats draft, the  
4            official motion. Let me ask you to make the  
5            friendly amendment in our boilerplate language  
6            where it says "the Board respec--" -- second  
7            paragraph, "The Board respectfully recommends a  
8            Special Exposure Cohort..." As I indicated  
9            before, this is not a separate cohort. It  
10           becomes part of the regular SEC, so I think the  
11           wording might be -- "Special Exposure Cohort  
12           status" --

13        **DR. MELIUS:** Yeah.

14        **DR. ZIEMER:** -- would cover it, I think, so  
15           just make that minor change.

16           The Chair is also aware that the delegation  
17           from Colorado would like to have a chance to  
18           understand what the -- the definition of  
19           "monitored or should have been monitored for  
20           neutrons", who that actually covers. And they  
21           have asked that the submission to the Secretary  
22           perhaps be delayed from my usual 21-day time  
23           period which is imposed in -- by directive of  
24           this Board, and perhaps to speak to the  
25           proposed friendly amendment we can have input

1 from the delegation.

2 **MR. HILLER:** Thanks, Dr. Ziemer. Again, I'm  
3 David Hiller from Senator Salazar's office, and  
4 our concern with the language of the -- of the  
5 current motion is regarding the -- the  
6 definition of the -- the group of workers that  
7 is subject to the -- the inclusion in the  
8 cohort, this 1952 to '58 group of workers,  
9 because we don't want the Board to recommend  
10 inclusion of a group and have the Secretary  
11 approve inclusion of a group of workers, only  
12 to have later confusion about which individual  
13 workers are -- are truly eligible for the  
14 inclusion in the cohort. And we don't want  
15 them to face another lengthy or difficult  
16 process to prove their eligibility. So what we  
17 request is the Board consider an amendment to  
18 the -- the current language here, as Dr. Ziemer  
19 indicated, number one, so that the -- the  
20 letter to the Secretary won't actually go out  
21 until after your June meeting; and secondly,  
22 that the Board in the meantime ask NIOSH and  
23 SCA to provide some guidance in terms of a  
24 description or definition of this group of  
25 workers who -- who would be eligible for the

1 cohort.

2 Obviously our interest is that this be -- this  
3 group be defined or described in a way that is  
4 claimant friendly. But as I said, more than  
5 anything we want to make sure that these -- the  
6 workers that you intend to be eligible for this  
7 class don't end up facing yet another long  
8 administrative process down the road when they  
9 are actually applying for benefits as members  
10 of the cohort.

11 **DR. ZIEMER:** And as I indicated to David, the  
12 21 days is part of our standard procedure. It  
13 is not really part of the -- doesn't change the  
14 intent of yesterday's motion. It just is a  
15 procedural thing that assures that we don't  
16 delay in getting the materials to the  
17 Secretary. But that's a proc-- an internal  
18 procedure that, by agreement with the Board, we  
19 can readily change and modify that, so we can  
20 do that.

21 An additional comment here.

22 **MS. ALBERG:** Just really quickly, I'm Jeanette  
23 with Senator Allard's office, and based on the  
24 intent of the Congressional delegation letters  
25 -- letter yesterday, I think it might be safe

1 to say that -- the other members aren't here,  
2 but they would be supportive of that request  
3 and -- and just to clarify, it's not  
4 necessarily asking for a delay as --

5 **DR. ZIEMER:** Right.

6 **MS. ALBERG:** -- as was mentioned. It's more  
7 along the lines of let's clarify --

8 **DR. ZIEMER:** Clarify who --

9 **MS. ALBERG:** -- just to make sure that --

10 **DR. ZIEMER:** -- who is covered by this, we  
11 understand.

12 **MS. ALBERG:** -- we can expand this or -- or  
13 keep it as claimant friendly as possible.

14 **DR. ZIEMER:** Right.

15 **MS. ALBERG:** So thank you.

16 **DR. ZIEMER:** And -- okay, go ahead, Jim.

17 **DR. MELIUS:** Can I suggest that we -- if my  
18 mathematics is correct -- we change it to 42  
19 days, which I think takes us past the next  
20 meeting -- and so forth. And then if it can be  
21 addressed, you know, in a shorter time period,  
22 fine, and then -- you know, if you receive  
23 communication --

24 **DR. ZIEMER:** Yeah.

25 **DR. MELIUS:** -- that people are satisfied --

1           this does -- as I think, you may have talked to  
2           Larry and -- Elliott and so forth, I mean --  
3           involve some discussions with Department of  
4           Labor and so forth to -- to work this out and -  
5           -

6           **DR. ZIEMER:** Yeah. Without objection, we'll  
7           simply change this to 42 days.

8           **DR. MELIUS:** Yeah.

9           **DR. WADE:** And for the record, I have a letter  
10          -- I won't read it -- a memo from Pete Turcic.  
11          We sent Pete the definition --

12          **DR. MELIUS:** Yeah.

13          **DR. WADE:** -- he writes back raising certain  
14          questions. I think those questions would be  
15          best resolved.

16          **DR. ZIEMER:** Okay, so that will give an  
17          opportunity to resolve those questions.  
18          Board members, any other concerns with this  
19          wording? Yes, Mark.

20          **MR. GRIFFON:** Not necessarily concerns with the  
21          wording, since I helped draft it, but I -- I  
22          just wanted to, for the record, clarify that  
23          when we wrote this language, "monitored or  
24          should have been monitored for neutron  
25          exposures", the intent was to be as broad as

1 possible. I think we -- we need to be clear --  
2 **DR. ZIEMER:** I think the delegation is --  
3 **MR. GRIFFON:** -- for the record here --  
4 **DR. ZIEMER:** -- simply asking --  
5 **MR. GRIFFON:** Yeah.  
6 **DR. ZIEMER:** -- who -- who exactly --  
7 **MR. GRIFFON:** Oh, yeah, I know, I know, and --  
8 **DR. ZIEMER:** -- does that cover, and --  
9 **MR. GRIFFON:** -- and I think we -- you know, I  
10 think we need to task --  
11 **DR. ZIEMER:** We also want to make sure it's --  
12 it's enforceable in terms of how Labor would  
13 administer that, as well.  
14 **MR. GRIFFON:** Yeah, and we want to understand  
15 how Labor is going to interpret and -- and  
16 apply it, right, right.  
17 **DR. ZIEMER:** Yeah.  
18 **MR. GRIFFON:** I also want to remind the Board  
19 that this motion, as it was approved yesterday,  
20 left open the other time periods. And this  
21 could leave a question in the Secretary's mind,  
22 since the main petition covers a much broader  
23 period. And one way to handle this would be to  
24 add a sentence at the end that would say  
25 something like this, and I'll offer t his up as

1 a friendly amendment. "The Board is still  
2 considering the possible addition of workers to  
3 the class for the time period from -- the time  
4 period beyond 1958, and expects to make an  
5 additional recommendation to you -- the  
6 Secretary -- in the near future." It simply  
7 says, you know, we have not -- I'm trying to  
8 avoid the -- the idea that we're -- we're not  
9 dealing with the rest of this. It simply tells  
10 the Secretary we are going to continue to look  
11 at the other time periods and may have  
12 additional recommendations.

13 **DR. MELIUS:** I think -- I think the -- in  
14 general I agree with that. I think there may  
15 be a problem -- Mark, you can help me -- about  
16 before 1958 'cause --

17 **MR. GRIFFON:** Right.

18 **DR. MELIUS:** -- do any of these other areas --

19 **MR. GRIFFON:** I think it's -- I think it's  
20 considering other classes.

21 **DR. MELIUS:** Yeah.

22 **MR. GRIFFON:** The two things, thorium and the  
23 881 prior to 1960, obviously that's '52 through  
24 '60 so --

25 **DR. MELIUS:** Yeah, so --



1           Okay. Thank you.

2           SCHEDULING

3           **DR. WADE:** You want to try and deal with dates  
4           while people are still here?

5           **DR. ZIEMER:** Okay.

6           **DR. WADE:** We have a call scheduled for the  
7           12th of June.

8           **DR. ZIEMER:** Right.

9           **DR. WADE:** One solution is a face-to-face  
10          meeting the 12th. Another solution is a face-  
11          to-face meeting the 11th and 12th. So I mean I  
12          ask for your consideration. Wanda makes a  
13          powerful point: To do justice to these complex  
14          issues takes time. A face-to-face meeting June  
15          11th and 12th --

16          **MS. MUNN:** 11th and 12th.

17          **DR. WADE:** -- in Colorado?

18          **DR. ZIEMER:** Okay --

19          **MS. MUNN:** Well --

20          **DR. ZIEMER:** -- shoot for that.

21          **MS. MUNN:** -- the question then arises whether  
22          -- if we're not going to be addressing the  
23          Rocky Flats issues --

24          **DR. WADE:** Well, now we move to the second  
25          question --

1           **MS. MUNN:** -- so roughly --

2           **DR. WADE:** -- now that we have the 11th and  
3           12th on the calendar, does the 11th and 12th  
4           serve the purposes for Rocky Flats?

5           **MS. MUNN:** Yeah, that's the question.

6           **DR. WADE:** Now we have to ask that question.  
7           Robert?

8           **MR. STEPHAN:** We just wanted to make sure that  
9           -- oh, are we coming back to the second Dow  
10          Madison petition before everybody leaves?  
11          Motion, I mean -- Dow Madison motion?

12          **DR. MELIUS:** (Unintelligible) yes, we are.

13          **DR. ZIEMER:** Yeah. Okay. Yeah, yeah, a  
14          separate --

15          **DR. MELIUS:** Well...

16          **DR. ZIEMER:** Comment, Jim?

17          **MOTIONS FOR NIOSH TASKS**

18          **DR. MELIUS:** I don't know if this is the right  
19          timing on this, but there's this other piece of  
20          paper which (unintelligible) --

21          **DR. ZIEMER:** Right, that's -- that's the --

22          **DR. MELIUS:** -- (unintelligible) that may --

23          **DR. ZIEMER:** That's the follow-up on this.

24          **DR. WADE:** Right, and whether the 11th and 12th  
25          is now realistic.

1           **DR. ZIEMER:** We have the issues of tasking our  
2 contractor and also asking NIOSH to do some  
3 related things. And Board members, you have a  
4 document and -- is this a motion?

5           **DR. MELIUS:** Uh-huh.

6           **DR. ZIEMER:** Who's presenting this motion?

7           **MR. GRIFFON:** Jim (unintelligible).

8           **DR. ZIEMER:** Jim, are you --

9           **DR. MELIUS:** Mark and I also did this.

10          **DR. ZIEMER:** Would you read the motion?

11          **DR. MELIUS:** Okay. It's in front of everybody.  
12 Thorium issue, SC&A has concluded that the  
13 NUREG.1400 -- 1400 approach is not appropriate  
14 or bounding. NIOSH contends that they have  
15 other process-specific data that could be used  
16 to bound worker doses. NIOSH needs to  
17 demonstrate this by documenting this new  
18 approach and completing example dose  
19 reconstructions.

20 Building 881, there is no Building 881 external  
21 monitoring data the 1950s. NIOSH has provided  
22 information about the processes along with the  
23 data from the early 1960s, suggests that their  
24 coworker model may be used to bound gamma and  
25 beta doses for Building 881 workers. NIOSH

1 needs to demonstrate this by documenting this  
2 new approach and completing example dose  
3 reconstructions. In addition, the possibility  
4 of plutonium exposures in this building needs  
5 to be addressed.

6 Number three, neutron doses 1959 to 1970. The  
7 current NIOSH approach relies on application of  
8 a central estimate of a building-specific  
9 neutron/photon ratio to estimate doses. The  
10 workgroup has remaining questions whether this  
11 approach will be bounding for all workers.  
12 NIOSH has additional data that may be used to  
13 estimate a bounding neutron/photon ratio which  
14 could then be applied to bound worker doses  
15 during this time period. NIOSH needs to  
16 demonstrate this by documenting this new  
17 approach and completing example dose  
18 reconstructions.

19 That -- that would be the motion in terms of  
20 giving instruction to NIOSH, trying to be as  
21 specific as possible without sort of tying --  
22 tying their hands on this. And my -- my  
23 understanding from discussions was that I think  
24 there wa-- the first two I don't think were  
25 necessarily problematic in terms of timing by

1 June. I'm not sure about the third one, the  
2 neutron dose issue. I don't know if anybody  
3 from NIOSH is here to speak to that, but...

4 **DR. ZIEMER:** Okay. Yes?

5 **MR. RUTHERFORD:** What was the third issue?

6 **DR. MELIUS:** The neutron doses '59 to '70,  
7 whether --

8 **MR. RUTHERFORD:** I thought Brant -- in  
9 discussions, just casual discussions, he seemed  
10 fairly confident they could address the issue  
11 in a reasonable time frame, so --

12 **DR. MELIUS:** Okay.

13 **MR. RUTHERFORD:** But that's -- I can't speak to  
14 him.

15 **DR. WADE:** Where's Jim?

16 **DR. MELIUS:** La-- Larry, in equally --

17 **DR. WADE:** Get Jim Neton.

18 **DR. MELIUS:** -- Larry, in equally casual  
19 discussions, wasn't sure, so...

20 **DR. WADE:** (Off microphone) (Unintelligible)  
21 style, so...

22 **DR. ZIEMER:** Okay, well, this is the motion.  
23 Here's Jim, let's relay the question to Jim.

24 **DR. WADE:** And then John Mauro needs to be  
25 heard as well.

1 Jim, what we're doing is we're trying to deal  
2 with the issue of June 12th as a target date  
3 for the Board to be able to deal with the three  
4 open technical issues on Rocky Flats. There is  
5 wording that I'm sure you've seen --

6 **DR. NETON:** Yes.

7 **DR. WADE:** -- that tasks NIOSH with certain  
8 activities. Again, we want to -- what we're  
9 hoping for is the ability for NIOSH to do its  
10 work in a timely way that will allow for a  
11 review by SC&A and the Board leading up to a  
12 June --

13 **DR. ZIEMER:** And the petitioners.

14 **DR. WADE:** -- and the petitioners, leading up  
15 to a June 12th decision.

16 **DR. NETON:** I think two out of the three are  
17 doable in fairly short time frame. The  
18 neutron/photon ratio re-evaluation, though,  
19 could take some time. It's my understanding  
20 that's in an access database, so Brant would be  
21 in a better position to answer that, but -- is  
22 it -- is it going to follow that we would have  
23 a working group meeting in between to --

24 **MR. GRIFFON:** I would assume we have to and --  
25 and I -- I'm trying to estimate backwards --

1           **DR. NETON:** Yeah.

2           **MR. GRIFFON:** -- and I also don't want to get  
3           into a position where we deliver or don't  
4           deliver a -- you know, some kind of additional  
5           materials or report --

6           **DR. NETON:** Right.

7           **MR. GRIFFON:** -- to the petitioner the day  
8           before we show up in Denver, you know --

9           **DR. NETON:** And one of my other concerns is I  
10          think there's a --

11          **MR. GRIFFON:** -- so...

12          **DR. NETON:** -- the last sentence instructs us  
13          to evaluate potential plutonium exposures in  
14          881.

15          **MR. GRIFFON:** Yes.

16          **DR. NETON:** That -- of course you know that --  
17          that could take more time than -- than we'd  
18          like. Sometimes these searches aren't, you  
19          know, immediate, but -- it -- it's hard -- it's  
20          hard to determine --

21          **MR. GRIFFON:** Yeah.

22          **DR. NETON:** -- if we could really meet the June  
23          12th deadline.

24          **MR. GRIFFON:** I mean maybe -- can I ask Joe  
25          from -- 'cause you've been the program director

1           for this project from SC&A, what's your  
2           thoughts on the...

3           **MR. FITZGERALD:** Well, I think, you know, when  
4           we laid out this issue in the report, you know,  
5           we indicated that '59 to '70 would be a  
6           challenge. We raised a number of issues that  
7           would have to be addressed. I would share some  
8           reservations about not just simply the analysis  
9           from NIOSH, but whether we would in fact have  
10          the time and back-engineering -- you know,  
11          given the fact that the experiences we need a  
12          week to inform the Board and the Board having a  
13          chance to digest, if you back-engineer that  
14          time, it seems like we probably have a couple  
15          of weeks, literally, to be able to come up with  
16          some kind of resolution and have time to then,  
17          you know, bring that to the Board and then get  
18          the information out to the petitioners. So  
19          looking at that time frame --

20          **MR. GRIFFON:** I was --

21          **MR. FITZGERALD:** -- for that one issue, anyway.

22          **MR. GRIFFON:** I mean I -- be -- trying to be  
23          realistic but also, you know, pushing this, I  
24          was thinking of a workgroup meeting in early  
25          June. But then that doesn't give us time to --

1           **MS. MUNN:** No.

2           **MR. GRIFFON:** -- like you said, assess and get  
3 final report and get it to the petitioner for  
4 June 12th -- or 11th/12th, so...

5           **MS. MUNN:** Ought to be late in May, I think.

6           **MR. GRIFFON:** Yeah. But I mean I -- you know,  
7 I don't know if Jim has enough front time to --

8           **MS. MUNN:** Yeah, that's true.

9           **DR. ZIEMER:** Okay. So we have that issue. We  
10 also have the -- the interchange on the -- the  
11 definition of what is the exposed --

12           **MR. GRIFFON:** Yeah.

13           **DR. ZIEMER:** -- should have been -- monitored  
14 and should have been monitored neutron worker -  
15 -

16           **MR. GRIFFON:** Right.

17           **DR. ZIEMER:** -- issue, so there's several  
18 issues that have to be resolved in a timely  
19 fashion so that we have the materials --  
20 everyone has the materials, Board members,  
21 petitioners and our contractors --

22           **MR. FITZGERALD:** Yeah, I think the lesson --

23           **DR. ZIEMER:** -- in a timely fashion.

24           **MR. FITZGERALD:** -- from this last time is even  
25 though everybody I think did everything they

1           could, the process time is such that you just  
2           need that week, maybe week and a half, in order  
3           to accomplish at the end, and I think that's  
4           where the squeeze is going to happen --

5           **MS. MUNN:** Uh-huh.

6           **MR. FITZGERALD:** -- just back-engineering.

7           **MS. MUNN:** Right.

8           **MR. GRIFFON:** Right.

9           **DR. ZIEMER:** Lew's pulling out the schedule  
10          here.

11          **MR. GRIFFON:** I think --

12          **DR. WADE:** The next meeting --

13          **DR. ZIEMER:** We don't want to -- we don't want  
14          to come to a meeting and not be prepared,  
15          that's --

16          **DR. WADE:** June 12th is a call; July 17, 18, 19  
17          face-to-face, September 4 a call; October 3, 4,  
18          5 face-to-face. So the next face-to-face is  
19          July 17, 18 and 19.

20          **MS. MUNN:** And then we skip all the way to  
21          October. Right?

22          **DR. WADE:** Right, July to -- then the next is  
23          October, with a call in September.

24          **MS. MUNN:** That's a long stretch.

25          **MR. GRIFFON:** I think that's much -- much more

1 realistic. I mean, you know, I know we have  
2 the timeliness issue on the table, certainly.  
3 But I -- I don't want to come back unprepared,  
4 you know, on these items, so -- you know, we  
5 have to have -- and we have to give -- we have  
6 to get this report to the petitioner at least a  
7 couple weeks in advance. To do that July 17th  
8 seems much more reasonable.

9 **DR. ZIEMER:** Okay. Board members, what is your  
10 pleasure on this? The -- the motion is -- is  
11 to examine these issues, but we need to tie it  
12 in with a -- a specific action time.

13 **MS. MUNN:** Well, should -- well...

14 **DR. ZIEMER:** I'm certainly hearing many  
15 reservations about the ability to accomplish  
16 this in a timely fashion so that we can act on  
17 it. Jim.

18 **DR. LOCKEY:** Yeah, I think just have an update  
19 in -- in the June call-in meeting about where  
20 we are in this process so we know we're on --  
21 our -- our time line's suitable, and deal with  
22 it in July. That's what I propose.

23 **MR. GRIFFON:** I think that certainly makes  
24 sense.

25 **DR. ZIEMER:** Lew, if everything is ready by --

1 we sti-- you still need a couple of weeks. We  
2 have to make *Federal Register* notices and so  
3 on.

4 **DR. WADE:** Right, I -- I can do things in a  
5 couple of weeks. I mean -- what are you  
6 thinking of, Paul?

7 **DR. ZIEMER:** Well, if -- if we -- if we find  
8 out, you know, by June 12th that things'll be  
9 ready in two weeks or something, do we -- do we  
10 still wait for five or six weeks? That's what  
11 I'm asking. How -- how rapidly can we get  
12 together?

13 **DR. MELIUS:** Can I raise a concern I -- we did  
14 publicly indicate to the petitioners and other  
15 people that are interested that we would deal  
16 with this on June 12th --

17 **DR. ZIEMER:** Right.

18 **DR. MELIUS:** -- and we would be back here in --  
19 in Denver, and -- and I'm concerned that we at  
20 least make some effort -- I think in order to  
21 be able to, you know, miss that deadline, I  
22 think one is we should talk about it with the  
23 petitioners; and secondly, we -- we ought to  
24 have good reason to, and -- but I -- and a  
25 sound rationale, and I frankly don't think we

1           have the information in front of us right now  
2           to be able to make that decision. I think  
3           NIOSH needs to think of -- look at what exactly  
4           needs to be done and how long that will take to  
5           do, and then work out a schedule, talk to SC&A  
6           and then maybe talk to Mark as chair of the  
7           workgroup to see what kind of schedule could be  
8           -- could be established and if June 12th is  
9           going to be feasible. And then are there  
10          alternatives for -- you know, June 19th or  
11          something. I mean we all -- we all have crazy  
12          schedules. I know that, and I'm not sure other  
13          days will -- what other dates would be  
14          feasible, but I think we -- we ought to first,  
15          you know, really take a look at -- at what --  
16          whether June 12th can be met or not, and I  
17          don't think speculating on it without people  
18          having a time to (unintelligible) --

19          **DR. ZIEMER:** Well, let me simply point out  
20          further that if that can't be done, you almost  
21          by default are making the case for -- that you  
22          can't move in a timely fashion --

23          **DR. MELIUS:** Yeah, yeah.

24          **DR. ZIEMER:** -- to reach the decision --

25          **DR. MELIUS:** Yeah.

1           **DR. ZIEMER:** -- which is, certainly for the  
2 petitioners, is one of the main issues.

3           **DR. MELIUS:** Yeah.

4           **DR. ZIEMER:** And if decision cannot be made in  
5 a timely fashion, then you -- it forces the  
6 Board, in a sense, to a default --

7           **DR. MELIUS:** Uh-huh.

8           **DR. ZIEMER:** -- position where you go with what  
9 you have and --

10          **DR. MELIUS:** Yeah.

11          **DR. ZIEMER:** -- and -- because we'll never have  
12 100 percent of the information --

13          **DR. MELIUS:** Exactly.

14          **DR. ZIEMER:** -- we know that, and what -- at  
15 some point you have to say enough is enough.

16          **DR. MELIUS:** Uh-huh.

17          **DR. ZIEMER:** So -- okay.

18          **DR. WADE:** Also on the 12th it's not necessary  
19 that you do all of this. Possibly you could  
20 get together -- you do have the issue of the  
21 thorium definition. That's important. I don't  
22 think you want to wait for that beyond June  
23 12th. And possibly you can resolve one or two  
24 of these issues --

25          **DR. ZIEMER:** Uh-huh.

1           **DR. WADE:** -- and then schedule the other -- or  
2 as Paul said, face the fact that you can't do  
3 it.

4           **DR. ZIEMER:** Yes, David.

5           **MR. HILLER:** Thank you, Dr. Ziemer. None of  
6 the leading representatives of the petitioners  
7 are here today, but I just want to echo Dr.  
8 Melius's comments that at -- at yesterday's  
9 meeting the motion that was passed, the  
10 decision that was made, indicated that this was  
11 going to be put off until June 12th.

12           **DR. ZIEMER:** Yeah, and I think there's a  
13 commitment that was made and we need to honor  
14 that.

15           **MR. HILLER:** And -- and I want to ratify your  
16 comments that, again, timeliness is a crucial  
17 issue at this point, more than two years after  
18 this petition was filed. And sooner or later  
19 you have to make a decision based on available  
20 information, and if it -- if -- if the  
21 information isn't available, then that probably  
22 directs the Board's action. Thank you.

23           **DR. ZIEMER:** Thank you. Okay, let's act on  
24 this motion then, and the motion then will --  
25 if passed, would ask NIOSH and our contractor

1 and the working group to follow up on these  
2 items in preparation for next month's meeting.

3 **DR. MELIUS:** Yeah.

4 **DR. ZIEMER:** Any discussion?

5 (No responses)

6 Okay, all in favor say aye.

7 (Affirmative responses)

8 Any opposed?

9 (No responses)

10 Motion carries. Thank you.

11 **DR. WADE:** Okay, and we have a quorum of the  
12 Board at the table.

13 Now I'm going to schedule a face-to-face  
14 meeting of the Board for 11-12 June?

15 **MS. MUNN:** Yes.

16 **DR. WADE:** Full days, 11-12 June.

17 **MS. MUNN:** Yes.

18 **DR. WADE:** And then I would suggest that when  
19 we have subsequent meetings, we plan on them  
20 being three full-day meetings from the  
21 beginning of the day to the end of the day.

22 **MS. MUNN:** The beginning perhaps being 9:00  
23 rather than 8:00, but...

24 **DR. ZIEMER:** The -- very quickly I just want to  
25 make sure -- oh, Portia, is Portia on the line

1 now?

2 **MS. WU:** Yes, I am.

3 **DR. ZIEMER:** Oh, thank you. You -- you may --  
4 may have already learned, or perhaps you  
5 didn't, that we have delayed or tabled action  
6 on the Chapman Valve --

7 **MS. WU:** I heard that.

8 **DR. ZIEMER:** Yeah.

9 **MS. WU:** And I don't -- I don't know if this is  
10 an appropriate time for me to (broken  
11 transmission) Senator Kennedy or if I can  
12 (unintelligible) later meeting or  
13 (unintelligible) but (unintelligible).

14 **DR. WADE:** We're having great difficulty  
15 hearing you.

16 **DR. ZIEMER:** Yeah, you're breaking up a little  
17 bit. Are you still on the line, Portia?

18 **MS. WU:** Yes, yes, I am (unintelligible) hear  
19 me.

20 **DR. ZIEMER:** Yeah, you're -- yeah, go ahead  
21 with your comments and -- can you hear us?

22 **MS. WU:** (Unintelligible) hear me on the phone,  
23 can't you?

24 **DR. ZIEMER:** Yes, yes, we hear you, Portia. Go  
25 ahead.

1           **MS. WU:** Okay, 'cause I think the phone people  
2           can hear me okay. I don't know  
3           (unintelligible) --

4           **DR. ZIEMER:** Yeah, go ahead. Go ahead.  
5           (NOTE: The audio was not properly connected  
6           and only random words were clearly  
7           understandable for transcription.)

8           **MS. WU:** (Unintelligible) Board recognize me,  
9           I'm sorry, I got (unintelligible) appreciate  
10          all the work (unintelligible) understand  
11          (unintelligible) some discussion about the H.  
12          K. Ferguson report which we also found very  
13          illuminating. (Unintelligible) not clear about  
14          is whether this report has also been provided  
15          the petitioners and --

16          **DR. WADE:** Yes.

17          **MS. WU:** -- (unintelligible) either, so --

18          **DR. ZIEMER:** Yes, Portia, the Ferguson report  
19          has been provided. The -- the question was on  
20          one of our --

21          **MS. WU:** The SC&A report.

22          **DR. ZIEMER:** Yes, the SC&A report. That needs  
23          to be redacted and we have delayed, for one  
24          reason, to make sure petitioners get that  
25          report.

1           **MS. WU:** Okay. And another question I guess  
2           (unintelligible) so much detail, I guess it's a  
3           question for NIOSH. I know the site profile  
4           has been, you know, (unintelligible) and I know  
5           these are sort of living documents. Is there  
6           any sense of which further revision is  
7           contemplated based on subject knowledge or was  
8           that incorporated previously?

9           **DR. ZIEMER:** Okay. Your question is to NIOSH  
10          as to whether they will be updating the site  
11          profile based on the Ferguson report --

12          **MS. WU:** Yes.

13          **DR. ZIEMER:** -- and here's Jim Neton.

14          **DR. NETON:** Yes, we -- we will be looking at --  
15          at the site profile in light of the information  
16          contained in the Ferguson report. Although I  
17          would say, based on our first pass through, it  
18          looks like it -- our site profile is either  
19          right in line with -- with what we would  
20          expect, or in some cases may be a little overly  
21          claimant favorable. So we wouldn't expect  
22          exposures to increase as a result of the  
23          Ferguson report, I guess is the bottom line.

24          **DR. ZIEMER:** Okay. Thank you.

25          **MS. WU:** I'm sure we (unintelligible).

1           **DR. ZIEMER:** Yes, go ahead, Portia.

2           **MS. WU:** And finally (unintelligible)  
3 discussion of the enriched uranium situation  
4 and (unintelligible) information  
5 (unintelligible) how that's being taken into  
6 account. And finally I guess (unintelligible)  
7 response -- a letter that we're still waiting  
8 for a response from DOL and DOE about this  
9 or...

10          **DR. ZIEMER:** Okay, yeah, let's see, Jim Neton  
11 perhaps can answer part of that, at least.

12          **DR. NETON:** We have not heard back from the DOE  
13 or the DOL on our letter that we sent out,  
14 probably several months ago now.

15          **MR. GRIFFON:** Well, just -- just to -- and --  
16 and to cover your first question, Portia, that  
17 -- that that letter was requesting more  
18 information about activities prior to the  
19 covered time frame, which might involve that  
20 enriched uranium, you know, question or...

21          **DR. NETON:** Well, the letter actually requested  
22 DOE and DOL to evaluate if the covered period  
23 should be modified based on the new  
24 information.

25          **MR. GRIFFON:** That's what I meant, yeah, yeah,



1           **DR. ZIEMER:** No?

2           **MR. SCHOFIELD:** Not anymore.

3           **DR. ZIEMER:** Board members, make sure you also  
4           have a copy of the wording on the Los Alamos  
5           draft. It parallels the others. Are there any  
6           questions on it?

7           **MR. GRIFFON:** Do we have a Rocky question?

8           **DR. ZIEMER:** Do we have a Rocky question? Oh,  
9           a question here --

10          **UNIDENTIFIED:** I just wanted to point out that  
11          the SC&A final report is still not available,  
12          either on line or in this room.

13          **DR. ZIEMER:** Which -- which report?

14          **UNIDENTIFIED:** The final SC&A report, the one  
15          that you didn't get to the petitioners.

16          **MR. GRIFFON:** For Rocky Flats, the sup-- sup--

17          **UNIDENTIFIED:** It's -- for Rocky Flats.

18          **MR. GRIFFON:** The supplemental --

19          **UNIDENTIFIED:** Yes.

20          **MR. GRIFFON:** -- or the final, yeah --

21          **UNIDENTIFIED:** The -- the last one, that you  
22          did not get to the petitioners.

23          **DR. MELIUS:** Yeah, that --

24          **UNIDENTIFIED:** It's not available anywhere  
25          still.

1           **DR. MELIUS:** Because NIOSH sent out a -- I got  
2 an e-mail yesterday saying it was up on the web  
3 --

4           **UNIDENTIFIED:** I just looked and I didn't see  
5 it.

6           **DR. MELIUS:** -- okay, yeah. I haven't looked  
7 today, so -- yeah, thank you.

8           **DR. WADE:** Could we -- is there someone from  
9 NIOSH -- Jim, could you verify that, please?

10          **DR. ZIEMER:** I know that when they send out  
11 those e-mails about posting, there's usually a  
12 time delay of a few hours, at least.

13          **MS. HOWELL:** I know that I checked a couple of  
14 days ago and, to my knowledge -- but the  
15 supplement -- the supplement that was issued  
16 last week, is available, as well as the  
17 original report with the executive summary.  
18 What I'm not sure is available that has been  
19 returned to OCAS as of -- by SC&A because there  
20 was an SC&A formatting problem, and I believe  
21 it was returned to OCAS Monday or Tuesday of  
22 this week, is the 500-page attachment portion  
23 of the document. But the actual report and the  
24 supplement are on line and were on line as of  
25 Wednesday night because I checked.



1           **DR. MELIUS:** We -- we -- we have -- excuse me,  
2           Dow, Los -- Los Alamos --

3           **DR. ZIEMER:** Well, Los Alamos -- I think copies  
4           were distributed. I just asked whether anyone  
5           had any wording problems. I'm going to take it  
6           by consent, since we approved it, that --  
7           unless there's issues on the wording -- that  
8           that's okay.

9           **DR. MELIUS:** Okay, well, there -- there's  
10          another issue that the petitioners have asked  
11          us to raise -- I think (unintelligible) --

12          **DR. ZIEMER:** On Los Alamos?

13          **DR. MELIUS:** On Los Alamos. I think first, the  
14          letter stands by itself. It doesn't have to --  
15          does not involve the letter, but there's  
16          something else I've --

17          **DR. WADE:** Let's deal with it.

18          **DR. MELIUS:** -- been asked to bring up.

19          **DR. ZIEMER:** Okay, go ahead.

20          **DR. MELIUS:** Okay. And this refers to the --  
21          the issue of the changes that were made in the  
22          SEC evaluation report regarding non-covered  
23          buildings. And if you remember from  
24          discussions yesterday, they -- that NIOSH is  
25          going to give further consideration to a number

1 of -- to evaluating a number of the -- these  
2 buildings in terms -- and I think the  
3 petitioners were concerned about if this were  
4 put in the report or part of the definition,  
5 then whether there had been full consideration  
6 and whether it would somehow un-- you know,  
7 unfairly limit who was eligible for the --  
8 eligibility for -- for the class.  
9 So the motion would be that the Advisory Board  
10 -- Radiation and Worker Health recommends that  
11 NIOSH do -- provide further consideration --  
12 locations listed -- it's in Table 5.1 in the  
13 report -- which is LANL -- number of LANL  
14 technical areas, operational dates and  
15 radionuclides, and there's listing TA-1, TA-1-  
16 Z, TA-17-19-28, 34, 38, 57, 64, 65, 69, 70 and  
17 74, which were excluded from the current SEC  
18 recommendations. NIOSH should report any  
19 findings regarding these locations and consider  
20 any new information -- report these findings to  
21 the Advisory Board at our next meeting,  
22 hopefully in July, 2007. And also requesting  
23 that SC&A also review these designations and  
24 this new information.  
25 **DR. ZIEMER:** Okay, so that is a motion. Is

1           there a second?

2           **MS. BEACH:** I'll second it.

3           **DR. ZIEMER:** Now basically that doesn't  
4           preclude proceeding with what we have, it would  
5           simply -- it -- at a later date, it would  
6           expand the class.

7           **DR. MELIUS:** Right, and NIOSH has already  
8           agreed to do this. I -- I think that what --  
9           the petitioners felt more comfortable if we --

10          **DR. ZIEMER:** Proceed on this and --

11          **DR. MELIUS:** -- sort of formally recognize that  
12          'cause we aren't recognizing it as part of the  
13          letter. I don't think it's appropriate for the  
14          letter, and I think the only thing that may be  
15          different is having SC&A take a look at this.  
16          But SC&A's already evaluating the site profile  
17          so I don't think it's asking for a lot be done.

18          **DR. ZIEMER:** Okay. Andy, you have an  
19          additional comment on this?

20          **MR. EVASKOVICH:** Yes, during our discussions  
21          with Larry Elliott yesterday I was -- one of  
22          the recommendations he made to us was that we  
23          ask the Board to direct NIOSH to do this  
24          evaluation of those particular areas, so that's  
25          the reason why I approached the Board. I just

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

--

**DR. MELIUS:** Yeah.

**DR. ZIEMER:** Very good. Any discussion? Jim.

**DR. LOCKEY:** Just one -- just one question.

Jim, is it necessary -- is this going to tax NIOSH -- I'm concerned about Rocky Flats and getting as much done as we can before July -- I mean before June. Can -- could this be -- is this going to stress them, that's what I wanted to know.

**DR. MELIUS:** Well, I think if NIOSH reports back to us in July now, they may say we've resolved four buildings, we're not sure about these five and we'll report back to you at the next mee-- you know, I don't think we're asking for a complete resolution necessarily by July, but let them report back. My understanding it's -- you know, they -- they have contractor staff. I think that contractor staff that deals with Los -- Los Alamos is different from that that's involved with Rocky Flats, and let's see what progress they make.

**DR. WADE:** We don't have a quorum at the moment. We need to wait for Mark to return.

**MS. MUNN:** Question in the interim. Has a

1 decision been made with respect to location of  
2 our July meeting?

3 **DR. WADE:** I'm going to get whispered at, which  
4 is one of my favorite things.

5 (Pause)

6 Okay. So I'm -- I'm informed that we can do  
7 the Los Alamos vote because there are two  
8 members who are not eligible, but we can't vote  
9 on anything else.

10 So let's take your question first. The July  
11 question I have penciled in Hanford, but I'm  
12 open to suggestions.

13 **DR. MELIUS:** The Ju--

14 **DR. ZIEMER:** July.

15 **DR. WADE:** The July meeting. The June meeting  
16 will be in Denver. July meeting I have  
17 penciled in Hanford.

18 Let's vote on Los Alamos now.

19 **DR. ZIEMER:** Okay, so this -- the motion that  
20 was just given is -- can be voted on. Any  
21 discussion?

22 (No responses)

23 All in favor, aye?

24 (Affirmative responses)

25 Any opposed, no?

1 (No responses)

2 Abstentions?

3 (No responses)

4 Motion carries. Thank you.

5 **DR. WADE:** Hurry back, Phillip. Now we do have  
6 issues on Dow.

7 **DR. MELIUS:** Yeah.

8 **DR. ZIEMER:** Do we have anything in writing on  
9 Dow at this --

10 **DR. MELIUS:** No.

11 **DR. ZIEMER:** No, okay. Go ahead.

12 **DR. MELIUS:** We -- we've already -- we approved  
13 verbally a letter --

14 **DR. ZIEMER:** Right.

15 **DR. MELIUS:** -- that -- that I read. I have  
16 something that -- on my screen that Wanda has  
17 worked with me to edit --

18 **DR. ZIEMER:** Okay.

19 **DR. MELIUS:** -- and approve.

20 **DR. ZIEMER:** Go ahead, if you would; read it to  
21 us.

22 **DR. MELIUS:** Okay, okay. Dow Madison  
23 recommendations. The Board authorizes our  
24 Chair to write a letter to the Secretary of  
25 Health and Human Services asking him to work

1 with the Secretaries of Energy and Labor --  
2 address the issue of EEOICPA coverage for  
3 workers at the Dow Chemical Company Madison  
4 site during the period from 1961 through 1998.  
5 The Board has recently received information  
6 indicating people working at this facility may  
7 be eligible beyond the current covered period.  
8 This new information on -- this new information  
9 included information on continued exposures to  
10 thorium in this time period. Extension of the  
11 covered period is necessary for the Board to be  
12 able to consider Special Exposure status for  
13 this group of workers.

14 The Board also requests that NIOSH extend its  
15 evaluation of the Dow Madison site to evaluate  
16 the ability -- its -- the ability to conduct  
17 individual dose reconstructions for the time  
18 period from 1961 to 1998. Board also requests  
19 that SC&A evaluate the ability to conduct  
20 individual dose reconstructions for this time  
21 period. The Board requests that both NIOSH and  
22 SCA provide these updates at our next meeting.

23 **DR. ZIEMER:** Okay. Let me get this on the  
24 floor first. Is there a second?

25 **MS. MUNN:** Second.

1           **DR. ZIEMER:** Seconded. Now it's on the floor.  
2           Yes?

3           **MR. STEPHAN:** Thank you, Dr. Ziemer. We would  
4           just ask that we -- we clarify that the task to  
5           SC&A includes speaking to the -- at least the  
6           11 Dow workers -- I mean this is the crux of  
7           the argument -- who have testified to the  
8           thorium shipments. Ju-- ju-- just a document  
9           review without speaking to the workers, you  
10          know, we feel is relatively useless, so we just  
11          want to make sure that SC&A is clear that --  
12          that that is part of their purview and what  
13          you're tasking them with on this.

14          **DR. ZIEMER:** Okay. Generally we don't get to  
15          that level of specificity in the -- in the  
16          tasking. We allow a fair amount of  
17          flexibility, but they've heard your point.  
18          That certainly is open to them in -- generally  
19          we wouldn't mandate, for example, speak to  
20          these 11 people. But --

21          **MR. STEPHAN:** That's clear to you.

22          **DR. MELIUS:** Yeah.

23          **MS. MUNN:** No.

24          **DR. WADE:** Okay.

25          **MR. STEPHAN:** We're clear. Thank you.

1           **DR. ZIEMER:** Yeah, we're -- we're fine. Any  
2           comments or -- or questions? And if we can do  
3           anything to -- and -- and Dan, I'm wil-- quite  
4           willing to have you help me on this, if we --  
5           'cause I'll prepare the letter and I'll  
6           probably copy you on it before I send it in,  
7           but I want to make sure that in making this  
8           case to the Secretary that we make him  
9           cognizant of the -- the documents that -- that  
10          seem to indicate the eligibility, so --

11          **DR. MCKEEL:** I -- I guess that was my comment.  
12          Unless the words "AEC thorium" are added into  
13          Jim's letter, as I heard it just now, I don't  
14          think the Secretary is going to be persuaded.  
15          I mean -- so I think that language -- I -- I --  
16          we need to provide the documents, for sure.

17          **DR. ZIEMER:** Well, without the --

18          **DR. MCKEEL:** We need to provide some kind of  
19          rationale.

20          **DR. ZIEMER:** I think if the Board's in  
21          agreement, we will ask that we get Dan's  
22          assistance on getting some wording into that.  
23          Is that --

24          **DR. MELIUS:** Yeah, I mean Wan-- Wanda and I  
25          specifically added the mention of thorium to be

1           able to make sure we captured those documents  
2           and --

3           **DR. MCKEEL:** I'd be happy to --

4           **DR. MELIUS:** -- yeah, I mean --

5           **DR. MCKEEL:** -- happy to do that.

6           **DR. MELIUS:** -- that was the intent.

7           **DR. ZIEMER:** But Dan, I will -- I will send you  
8           a draft and --

9           **DR. MCKEEL:** That'd be great.

10          **DR. ZIEMER:** -- as you to --

11          **DR. MCKEEL:** That'd be terrific, yeah.

12          **DR. WADE:** Just for the record, I don't think  
13          there's any question in anyone's mind that  
14          thorium was on the property. The question is  
15          was it AEC thorium.

16          **MS. MUNN:** Yes. Yes.

17          **DR. WADE:** That's the issue.

18          **DR. ZIEMER:** And we want to refer to those  
19          documents, if necessary, to -- to make that  
20          case.

21          Okay, you ready to vote, Board members?

22          Okay, Dan, an additional comment?

23          **DR. MCKEEL:** No, I -- I just want to make it  
24          simpler for everybody. I mean the -- the  
25          documents that I showed -- here is the

1 Powerpoint -- a printout of each slide in the  
2 Powerpoint in what I gave you, so that -- that  
3 -- that's all I'm going to have for those  
4 documents.

5 **DR. ZIEMER:** Yeah, understood.

6 **DR. MCKEEL:** But --

7 **DR. WADE:** Thank you.

8 **DR. MCKEEL:** Yeah.

9 **DR. ZIEMER:** Yeah. Okay, thank you.  
10 All in favor of this motion, say aye?

11 (Affirmative responses)

12 And all opposed?

13 (No responses)

14 And abstentions?

15 (No responses)

16 Motion carries.

17 **DR. WADE:** Unanimously by those present. We  
18 should take a deep breath. Is there any other  
19 business that we --

20 **DR. ZIEMER:** We have Sandia yet.

21 **DR. WADE:** Right, but is there anything --

22 **DR. MELIUS:** Did we do W. R. Grace?

23 **MS. MUNN:** We didn't do that yet.

24 **DR. ZIEMER:** We did --

25 **DR. WADE:** No, we didn't do W. R. Grace.

1           **DR. MELIUS:** We have a letter -- a W. R. Grace  
2 letter.

3           **DR. ZIEMER:** Well, okay, we have the W. R.  
4 Grace draft, don't we? I thought we --

5           **DR. MELIUS:** Yeah.

6           **MS. MUNN:** We have the letter.

7           **DR. WADE:** It was distributed.

8           **UNIDENTIFIED:** Did we have a quorum on that  
9 last vote?

10          **DR. WADE:** Yes.

11          **DR. ZIEMER:** We did.

12          **DR. WADE:** A quorum is seven, and I -- I see  
13 seven up here.

14          **DR. ZIEMER:** Four, five, six, seven -- we're  
15 good, yeah.

16          **DR. WADE:** Dr. Ziemer counts.

17          **MS. MUNN:** Yeah, don't forget the Chair.

18          **UNIDENTIFIED:** (Unintelligible)

19          **DR. WADE:** And here comes eight.

20          **DR. MELIUS:** Eight.

21          **DR. ZIEMER:** Okay, you have the wording and  
22 it's parallel wording on the W. R. Grace draft.  
23 Are there any -- any concerns or objections?  
24 I'm going to take it by consent that this is  
25 agreeable, unless we hear otherwise.

1           **UNIDENTIFIED:** (Unintelligible)

2           **DR. ZIEMER:** Standard wording.

3           **DR. MELIUS:** Yeah.

4           **DR. ZIEMER:** Okay. Without objection now, this  
5 will be the letter for W. R. Grace. I will  
6 make that minor change in the description of  
7 the SEC again on each of these.

8           **DR. MELIUS:** I will -- there's a couple of  
9 other typos. I'll e-mail these to you --

10          **DR. ZIEMER:** Right.

11          **DR. MELIUS:** -- with --

12          **DR. ZIEMER:** With that change.

13          **DR. MELIUS:** Yeah.

**SANDIA LIVERMORE SEC PETITION**  
**DR. SAM GLOVER, NIOSH, OCAS**  
**PETITIONER (LETTER TO BE READ)**

14          **DR. ZIEMER:** Okay. We're ready, I think, for -  
15 - who are we ready for?

16          **DR. WADE:** Sandia.

17          **DR. ZIEMER:** Sandia.

18          **DR. WADE:** And again, I don't have the  
19 expectation we'll finish this, but I think we  
20 need to begin it in case the Board wishes to  
21 task some work to be done, we can do that. So  
22 Sam, if you would broach the issue to us.

23          **DR. GLOVER:** Thank you. So we're going to  
24 discuss the Sandia National Laboratory

1           Livermore Special Exposure Cohort petition  
2           evaluation, SEC number 59. This is probably  
3           what the first ori-- the concept of SEC  
4           petitions may have started out in -- to be  
5           added. This is a class of three people. It is  
6           a very small, very well-defined cohort.  
7           Site history, Sandia Livermore -- Sandia  
8           National Laboratory Livermore, SNL-L,  
9           established 1956, provide support to Livermore  
10          regarding nuclear weapon design. Its primary  
11          mission from '56 to '89 was the design and  
12          testing of non-nuclear components for  
13          Livermore.

14          The petition was submitted to NIOSH on behalf  
15          of a class of employees on May 5th, 2006, and  
16          the class definition provided was all X-ray  
17          technologists and materials scientists who  
18          worked in the X-ray diffraction and  
19          fluorescence laboratory, Building 913, Rooms  
20          (sic) 113; Building 913, Room 128; and Building  
21          941, Room 128 from December 1st, 1967 through  
22          December 31st, 1990.

23          Petition was qualified October 4th, 2006 and  
24          the *Federal Register* notice published on  
25          October 20th, 2006. Evaluation report was

1 issued March 29th, 2007.

2 The pro-- the proposed class definition was  
3 modified by removing Building 941, Room 128  
4 because X-ray diffraction activities in that  
5 building began after 1992, which is outside the  
6 time period proposed by the petition.

7 NIOSH evaluated the following class: All X-ray  
8 technologists and materials scientists who  
9 worked at Sandia National Laboratory Livermore  
10 in the X-ray diffraction and fluorescence  
11 laboratory, Building 913, Room 113; and  
12 Building 913, Room 128, from December 1st, 1967  
13 through December 31st, 1990.

14 Sources available for the -- the evaluation  
15 report included a draft site profile for Sandia  
16 National Laboratory Livermore. This has  
17 actually just got finalized. It finalized I  
18 believe on Wednesday or Thursday and was put to  
19 the web, so the document was not available to  
20 the petitioner nor yourselves until very  
21 recently.

22 Technical Information Bulletins include maximum  
23 internal dose estimates for certain DOE complex  
24 claims, Techni-- TIB on diagnostic X-ray  
25 procedures, and internal dose reconstruction

1 procedure TIB-60.

2 Telephone interviews with former workers

3 include X-ray and fluorescence lab employee on

4 January 9th, 2007; another interview on January

5 8th; and we also discussed this with the health

6 and safety on January 15th, 2007; ES&H manager

7 at Sandia on the 22nd of January; and also

8 tritium research laboratory January 30th, 2007.

9 We reviewed 148 documents as part of this, and

10 over 250 documents are currently undergoing

11 classification review at Sandia Livermore.

12 Documentation and affidavits also submitted by

13 the petitioner were reviewed.

14 As I said, this is a very small class. Right

15 now there is one case which meets this class

16 definition, of which no -- zero -- dose

17 reconstructions have been done. The case

18 includes internal dosimetry and it includes

19 external dosimetry. A CATI was also performed

20 as part of this.

21 I want to be -- there's -- there's going to be

22 some discussions and I -- there's going to be a

23 letter read into it. At Sandia we ha-- we are

24 still undergoing, you know, additional work.

25 When they -- when they sent in their data to us

1           -- before 19-- the data before 1989 was not  
2 included in those submissions, so that's be--  
3 based on how they updated their records. ORAU  
4 is working with them to get a complete  
5 submission. However, during data capture  
6 efforts, internal and external dosimetry  
7 through this time period was captured by ORAU  
8 for this class of workers.

9           The petition basis was proposing one or more  
10 unmonitored and unrecorded ex-- exposure  
11 incidents occurred that can be demonstrated by  
12 citing two incidents that occurred in the 22  
13 years that Sandia Livermore operated. One  
14 incident occurred in 1978 and another in '79.  
15 Both incidents were due to violations of  
16 procedures, and actually probably a more  
17 correct way of saying was actually an equipment  
18 failure in one instance using a X-ray  
19 diffraction generator.

20           Petitioners provided evidence of potential  
21 unmonitored exposure with no personal or area  
22 monitoring data for that first exposure  
23 incident.

24           And Sandia Livermore did not provide  
25 permanently mounted instrumentation for

1 recording ionizing radiation that was emitted.  
2 In supporting documentation an affidavit states  
3 that we checked the Geiger counter -- checked  
4 using a Geiger counter to be sure there wasn't  
5 any significant radiation leakage, but the  
6 health and safety people insisted on using a  
7 scintillation counter to check for scattered  
8 radiation.

9 So radiological operations for this facility  
10 included X-ray diffraction and fluorescence  
11 laboratory in those stated rooms in that  
12 building. The operation included sample --  
13 sample preparation and testing with X-ray  
14 diffraction and fluorescence equipment. Some  
15 radioactive sources included depleted uranium,  
16 small sealed sources and X-ray equipment,  
17 beta/gamma but no neutron.

18 Bioassay data, all three individuals had  
19 uranium bioassay from 1975 to 1984. All  
20 results were below detectable. External data  
21 for the class was obtained. Incident  
22 information, shallow dose to the extremity was  
23 not recorded in dose of record. However, it  
24 was determined in the incident reports, and  
25 that's discussed in the sample dose

1 reconstructions.

2 Internal sources of exposure include depleted  
3 uranium. External sources of exposure include  
4 deep dose from mixed sources -- they were  
5 badged; shallow dose, which also they were  
6 badging for; extremity dose; there were no  
7 neutron sources.

8 Sample dose reconstructions were performed  
9 using the following -- male; birth, '92 (sic);  
10 diagnosed in 2000; former smoker; they had a  
11 continuous employment during the continued  
12 (sic) period; bioassay for uranium; they had  
13 continuous external dosimetry data and they  
14 were involved in the X-ray diffraction  
15 incidents.

16 So the uranium exposure can be reconstructed  
17 using the actual recorded bioassay data. These  
18 are the -- for those various time frames,  
19 either the minimum detectable activities that  
20 were basically for the bioassay measurements.  
21 If you use those, you can determine what was  
22 the missed dose, and this would be for various  
23 target organs. As we discussed yesterday, if  
24 the organ doesn't concentrate uranium, a very  
25 small dose is going to be incurred.

1           So for renal cancer, .228 rem, whereas for lung  
2           cancer you have up to 111 rem; and for a  
3           lymphoma, using thoracic lymph node, 515 rem.  
4           External deep dose can be reconstruction (sic)  
5           from reported dosimetry results, and obviously  
6           if all results are less than LOD, we use the  
7           missed dose concept, depending on the badge  
8           exchange frequency and what the detection limit  
9           was at the time.

10          Shallow dose can also be reconstructed using  
11          actual reported dosimetry results. If all  
12          results are less than detectable, again we  
13          could look at the missed dose.

14          From '72 to '82 entire recorded value is  
15          assigned in both shallow and deep dose at  
16          Sandia Livermore.

17          Dose assessment was performed by Sandia  
18          Livermore for the 1979 exposure incident and is  
19          bounding for a similar incident that was  
20          alleged to have occurred in '78 but which for  
21          no documentation exists. Based on this  
22          incident exposure report, an exposure of 23 and  
23          a half rad shallow dose was assigned and .09  
24          rad deep dose assigned. These are very low-  
25          energy X-rays. Primarily you're going to be

1 shallow dose.

2 So if you -- looking at the example DRs that  
3 were performed, if you're involved in the  
4 incident, a cancer located in the beam for a  
5 BCC or an SCC, you would see a POC of about 41  
6 percent for basal cell carcinoma, 13 percent  
7 for squamous cell, and lung cancer of about  
8 28.4 percent using that data that was  
9 previously discussed -- the uranium bioassay  
10 and the external and internal dosi-- other  
11 internal dosimetry.

12 If you were not involved in the 1978 incident,  
13 you can see a dramatic drop in the BCC, down to  
14 4.95 percent.

15 NIOSH evaluates the petition using the  
16 guidelines in 42 CFR 83.13, submits a finding  
17 in a petition evaluation report to the Board  
18 and the petitioner. NIOSH issued this report  
19 on March 29, 2007.

20 They evaluated whether -- is it feasible to  
21 estimate the level of radiation exposure to  
22 individual members of the class with sufficient  
23 accuracy, and is there a reasonable likelihood  
24 that the radiation dose may have endangered the  
25 class.

1 NIOSH found that it has available information -  
2 - or available monitoring records, process  
3 descriptions and source term data that are  
4 adequate to complete dose reconstructions with  
5 sufficient accuracy for the proposed class, and  
6 therefore health endangerment determination is  
7 not -- is not required.

8 So summarizing this that we believe dose  
9 reconstruction is feasible for uranium and  
10 external beta/gamma and occupational medical X-  
11 rays.

12 Additional documentation may be obtained from  
13 the Document Review \ AB Document Review Board  
14 (sic) \ Sandia National Laboratory, a sub-  
15 folder.

16 So with that, I'd take any questions from the  
17 Board.

18 **DR. ZIEMER:** Sam, is -- this is just one  
19 individual or did you say three?

20 **DR. GLOVER:** There's actually three  
21 individuals.

22 **DR. ZIEMER:** Are they alleging -- was the  
23 incident a diffraction incident -- was the  
24 person getting in the beam?

25 **DR. GLOVER:** They -- it was a failure of the

1 shutter, and so they walked in front of the --  
2 it's actually described in detail in an  
3 incident report. There was a request by the  
4 petitioner to have a -- a letter read in. He  
5 had some dis-- some comments on the -- on the  
6 evaluation report.

7 **DR. ZIEMER:** X-ray diffraction units give  
8 terrifically high doses and they're highly  
9 localized. I -- I've seen some skin burns --  
10 if you're in a diffraction beam like one  
11 second, you will have a -- a skin burn, but  
12 it'll be very localized. It'll be -- almost  
13 immediate effect.

14 **DR. GLOVER:** There was actually some -- a 1968  
15 document in *Health Physics* that desc-- you can  
16 get up to 10,000 R per second dose rates.

17 **DR. ZIEMER:** Yes, right --

18 **DR. GLOVER:** And it's a very narrow beam.

19 **DR. ZIEMER:** Very narrow beam, so on  
20 diffraction units you have that, and -- and you  
21 have scatter stuff. The scatter stuff of  
22 course is much lower and should be picked up by  
23 a film badge. But even that, energy-wise, is  
24 very low energy since it's already low to start  
25 with and then it's scattered. So it would all

1           be shallow dose, I assume.

2           **DR. GLOVER:** It was a very large proportion to  
3 shallow dose, that's correct. It would be very  
4 minimal deep dose.

5           **DR. ZIEMER:** So on -- on this incident with the  
6 41 percent POC, that's specifically for cancer  
7 later on, not for some immediate somatic  
8 effects, I guess.

9           **DR. GLOVER:** That is correct.

10          **DR. ZIEMER:** Yeah, okay. Gen has a question.

11          **DR. ROESSLER:** Not a question. On your second  
12 to last slide, on the summary, just for the  
13 record, I changed Fernald to Sandia.

14          **DR. GLOVER:** I'm sorry? Oh, that would be an  
15 excellent point.

16          **DR. ROESSLER:** I think you took an old slide --

17          **DR. GLOVER:** Unfortunately, we use a template  
18 and I missed -- I -- I did miss the --

19          **DR. ROESSLER:** See, I'm an editor, you know. I  
20 have to pick up things like that.

21          **DR. GLOVER:** Thank you, and I apologize for  
22 that error.

23          **MS. MUNN:** That might be a good idea. I didn't  
24 see that.

25          **DR. ZIEMER:** Other comments? So the

1 recommendation from NIOSH is that the petition  
2 not be granted, that the --

3 **DR. GLOVER:** That's correct.

4 **DR. WADE:** I've distributed to you a letter  
5 from -- I assume it's a petitioner, Gerald  
6 Giovanchi (sic) --

7 **DR. GLOVER:** Yes, sir.

8 **DR. WADE:** -- vanchini (sic).

9 **MS. HOWELL:** I have the letter to read into the  
10 record on behalf of OCAS and Laurie Breyer, who  
11 had to leave early.

12 **DR. WADE:** Okay.

13 **DR. ZIEMER:** Is that a pretty extensive letter?

14 **DR. WADE:** Yeah, it is, but he asked for it to  
15 be read into the record.

16 **DR. ZIEMER:** Okay.

17 **DR. WADE:** After this we can.

18 **MS. HOWELL:** This letter has been redacted for  
19 Privacy Act material, but the Board has in  
20 front of them an unredacted version.

21 (Reading) My name is Gerald M. Giovanchi and I  
22 am the petitioner. I would like to open by  
23 saying thank you to all those who dedicated  
24 their time and effort in providing the research  
25 so that this SEC claim could be adjudicated.

1           However, as I read the 35-page document I felt  
2           compelled to state for the record some  
3           corrections and comments. Please note that  
4           these statements pertain to the time 1971 to  
5           1978, the time I worked in this X-ray  
6           laboratory. After discussions about the work  
7           environment with others employed there, my  
8           tenure was apparently distinctly different from  
9           others' tenures.

10          As I will not be un-- as I will be unable to  
11          attend the meeting or to participate by  
12          telephone on the assigned date and time, I  
13          would like to request that this submission be  
14          distributed to all attendees, including the  
15          Board members and the Secretary of Health and  
16          Human Services, and be read out loud during the  
17          course of the meeting. I am also requesting  
18          that the contents of this submission become  
19          part of the evaluation process for this SEC  
20          00059.

21          The following paragraphs demonstrate that my  
22          ionizing radiation exposures for the six-plus  
23          years of working in this X-ray laboratory  
24          cannot be feasibly calculated to any degree of  
25          accuracy when using assumptions, estimations

1           and correction factors when exposed -- when  
2           exposures went unmonitored, unrecorded, and  
3           an/or inadequately monitored.

4           First and foremost, my dosimetry records for  
5           the period in question have not been found.  
6           Even if my dosimetry records were to be located  
7           it is highly unlikely that they would be --  
8           that they would accurately reflect the  
9           radiation dose my body received. The radiation  
10          produced from these Phillips X-rays -- X-ray  
11          generators was not emitted uniformly. They  
12          were more directional in nature. It is  
13          therefore highly unlikely that the X-ray beam  
14          emitted would strike a tiny target like a  
15          dosimeter chip. Furthermore, I frequently wore  
16          my security badge and dosimeter at the  
17          waistline to prevent them from interfering with  
18          tabletop work. In this case the dosimeter was  
19          totally blocked by the tabletop of the X-ray  
20          generator itself. It is therefore highly  
21          unlikely that -- that a reconstruction of the  
22          dose would accurately reflect the radiation I  
23          was exposed to.

24          The next topic that I would like to elaborate  
25          on is the work environment. As appropriate

1 shielding was not provided, we had to devise  
2 our own shielding. This shielding was utilized  
3 whenever oversized and classified samples had  
4 to be characterized by X-ray diffraction and  
5 fluorescence analysis techniques. The  
6 shielding consisted of flat pieces of Lucite  
7 wrapped with lead tape. The X-ray  
8 diffractometer consisted of a scintillation  
9 counter whose detector rotated part-way around  
10 the sample chamber. Once the oversized or  
11 classified sample was inserted in the sample  
12 chamber, the sample chamber cover plate could  
13 not be installed. Therefore this Lucite  
14 shielding was placed around the chamber and  
15 scintillation counter, levering -- I'm sorry --  
16 and scintillation counter, leaving numerous  
17 openings by which X-rays could and would be  
18 emitted. The leakage was checked and verified  
19 with a Geiger counter. Since the scintillation  
20 counter leakage was -- I'm sorry -- since the  
21 scintillation counter rotated, it was virtually  
22 impossible to capture all of the emitted  
23 radiation. As the counter rotated, it left a  
24 moving opening. From these known leakage  
25 points the ionizing radiation was emitted into

1 the room and toward those in the vicinity,  
2 depending on where they may have -- may have  
3 been standing. This was no secret. The Health  
4 and Safety Department provided oversight. As  
5 stated in another affidavit attached to the SEC  
6 petition, the comment from Health and Safety  
7 was "You work with X-rays. That's your job.  
8 You need to be willing to take your turn in the  
9 barrel." I believe a comment of this nature  
10 testifies to the fact that employees who worked  
11 in the X-ray lab, especially in my tenure, were  
12 indeed exposed to the ionizing radiation  
13 present not only from everyday activities but  
14 from accidental exposures as well. Lawrence  
15 Livermore National Laboratory employees in  
16 comparable job categories and who also utilized  
17 Phillips X-ray machines had similar exposure  
18 problems with their X-ray equipment. As a  
19 result, Lawrence Livermore adopted their own  
20 custom-made --made shielding plus installed  
21 safety interlocks. Sandia Health and Safety  
22 never saw the need for commercial shielding,  
23 safety interlocks, or the perm-- or  
24 permanently-mounted X-ray monitoring and  
25 recording instrumentation. What Sandia's

1 Health and Safety finally did provide was a  
2 visual illumination device that was  
3 automatically energized whenever the X-ray tube  
4 was energized. Unfortunately, it wasn't an  
5 interlock device to protect the operators from  
6 unplanned events. These X-ray illumination  
7 devices were finally installed after my  
8 incident.

9 Regarding my 1978 incident, the NIOSH SEC  
10 petition evaluation report states that both  
11 incidents were due to violations of procedure  
12 and standard industry practices. This is  
13 stated in paragraph 3.0 and again in paragraph  
14 7.4.1.1. For the record, I would like to state  
15 that my incident was an unplanned event that  
16 resulted from an X-ray shutter interlock  
17 failure while calibrating a diffractometer,  
18 following a standard operating procedure. The  
19 SOP was not violated. Furthermore, I remember  
20 that calibrating a diffractometer was quite a  
21 lengthy task, taking on the order of two to  
22 three hours to complete. The X-ray generator  
23 was energized at 40 kilovolts and 20 milliamps.  
24 During the course of this calibration procedure  
25 the X-ray shutter interlock failed. The

1 failure went unnoticed for approximately 20 to  
2 30 minutes. During this 20 to 30-minute period  
3 I was progressing through the calibration  
4 procedure. I was therefore in the vicinity of  
5 the X-ray generator. To summarize, I was  
6 exposed to the scattered radiation that was  
7 being emitted from the sample chamber for that  
8 20 to 30-minute period, plus the direct  
9 radiation exposure when I placed the  
10 fluorescent screen in the sample chamber.  
11 Although X-rays were collimated, my exposure,  
12 as compared to the incident in 1979, had the  
13 potential of being longer -- of being of longer  
14 duration and more severe due to the longer  
15 exposure period. In paragraph 7.1.2 NIOSH  
16 states that they are still attempting to locate  
17 individual dosimeter data, if it exists. In  
18 paragraph 7.4.1.3 the evaluation report further  
19 states that exposure data may be available on  
20 microfiche records. Apparently my exposure  
21 records were still not available for this  
22 evaluation report. I have tried on four  
23 occasions over the past five years to retrieve  
24 these records. Sandia told me that they do not  
25 exist.

1           In paragraph 9.0 NIOSH states that assumptions  
2           have been utilized. In paragraph 7.4.1.2 NIOSH  
3           states that appropriate correction factors will  
4           be applied, and other paragraphs state that  
5           exposures can be estimated. NIOSH used  
6           assumptions, correction factors and estimates  
7           to determine that it would be feasible to  
8           reconstruct my individual dose and have it  
9           accurate. For the six-plus years that I worked  
10          in this X-ray laboratory, I do believe it would  
11          be fair to say, without my thermoluminescent  
12          dosimeters TLD dosimeter data, without any X-  
13          ray monitoring and recording instrumentation,  
14          and without my incident report, the dose that I  
15          received went unmonitored and unrecorded.  
16          There appears to be insufficient information to  
17          calculate my dose to any degree of accuracy or  
18          preciseness.  
19          I've been informed that the X-ray generator was  
20          subsequently removed from service because the  
21          X-ray generator and faulty shutter could not be  
22          relied upon. I do remember providing a  
23          security escort for a Phillips service  
24          representative who, on several occasions, came  
25          to Sandia to work on this particular X-ray

1 generator. Due to an unreliable X-ray  
2 generator, additional unknown exposures could  
3 have occurred prior to my documented exposure,  
4 thus adding more undocumented and unmonitored  
5 exposures.

6 During my tenure in this X-ray laboratory the  
7 generators were energized over long periods of  
8 time, hours and even days, to collect data. I  
9 would often return to work in the evening time  
10 to closely monitor the analyses. On top of the  
11 normal influx of clients with their unique  
12 samples, one of my tasks was to create a  
13 standard file. This involved doing sample  
14 preparation and X-ray analyses on nearly every  
15 element in the periodic table of elements.  
16 When I left this position in February of 1978  
17 this type of workload began to diminish,  
18 resulting in less X-ray generator use. I  
19 mention this because if my workload involving  
20 energized X-ray generators was greater than my  
21 successor, it would make sense that my  
22 exposures would have been greater. If my  
23 exposures were greater, there would have been a  
24 greater likelihood of developing cancer. I  
25 have been stricken with non-Hodgkin's lymphoma,

1           one of the 22 listed cancers, five times since  
2           1989.

3           I would like to correct another statement in  
4           the evaluation report regarding sealed sources,  
5           paragraph 5.2. During my tenure I do not  
6           remember performing any X-ray analyses on  
7           sealed -- sealed sources. Sample preparation  
8           was performed using a mortar and pestle and was  
9           performed in other than a glovebox, as working  
10          with gloves would not have been conducive  
11          (sic) when handling the fragile glass capillary  
12          tubes that hold the ground powder. As stated  
13          in another affidavit, we were exposed to  
14          numerous toxic materials, including heavy metal  
15          compounds, calcogenides, beryllium, beryllium-  
16          containing compounds, various form of silica,  
17          as well as experimental compounds that had not  
18          previously been synthesized, radioactive  
19          materials, and numerous agents now considered  
20          carcinogenic.

21          It should also be noted that during my tenure  
22          in this X-ray lab, 1971 to 1978, Sandia  
23          California did not prohibit eating and drinking  
24          in the same laboratory where I ground the (sic)  
25          powder in mortars and pestles these radioactive

1           and toxic nuggets. I remember eating my lunch  
2           in this laboratory on a regular basis.  
3           The evaluation report states that there is a  
4           recommendation of another employee being  
5           considered for compensation, but the report  
6           failed to mention that his occupational  
7           exposures to ionizing radiation and other  
8           unique hazards associated with his employment  
9           at Sandia National Laboratory in California  
10          were at least as likely as not to have had a  
11          detrimental impact on his immune system and  
12          overall health. Since 1989 my non-Hodgkin's  
13          lymphoma has spread to five different parts of  
14          my body, has progressed from an acute to a  
15          chronic disease, has transformed from a low-  
16          grade to an aggressive type of cancer, and has  
17          attacked the cortex of my bone. With each  
18          episode I have had radiation, chemotherapy, and  
19          a combination of the two. With each episode  
20          the treatment placed the cancer in remission.  
21          Unfortunately, the cancer keeps returning.  
22          On October 4th, 2006 I had the pleasure of a  
23          personal conversation with an associate  
24          professor from the Department of Epidemiology  
25          at the University of North Carolina at Chapel

1 Hill when he came to Livermore for a  
2 conference. He told me that he concluded from  
3 one study that estimating the magnitude of the  
4 risk of radioactive exposure revealed that the  
5 relationship was ten times greater than  
6 originally thought. I became ill with non-  
7 Hodgkin's lymphoma at the age of 39. He did  
8 not think my cancer was genetically contracted.  
9 He also informed me that cancers from  
10 occupational exposures are characteristic of  
11 latent manifestations. I contacted non-  
12 Hodgkin's lymphoma -- I contracted non-  
13 Hodgkin's lymphoma 11 years after leaving the  
14 X-ray lab. In addition, all five of my cancers  
15 have been located on the upper part of my body  
16 and on my right side, which coincides with my  
17 occupational exposures.

18 These corrections and comments pertain mostly  
19 to myself and the years 1971 to 1978. My  
20 objective is to provide sufficient proof to  
21 establish eligibility for the above-mentioned  
22 Special Exposure Cohort 00059. I am in contact  
23 with many former workers and other sick  
24 applicants. I am therefore in constant  
25 reminder of what employment exposures are



1 additional comments at this time?

2 **MS. CARTER:** No additional comments.

3 **DR. ZIEMER:** Okay. Thank you very much. Board  
4 members, do you have any questions for NIOSH or  
5 -- or the petitioners?

6 (No responses)

7 Okay.

8 **DR. WADE:** We need to talk about a path  
9 forward, obviously.

10 **DR. ZIEMER:** We have a recommendation from  
11 NIOSH if the Board wishes to take action on it.  
12 Is -- is there -- did I -- did I understand  
13 that there -- this class -- that there may be  
14 others added to this class or is this the  
15 extent of the individuals that would --

16 **DR. GLOVER:** This cl-- it is a -- it is three -  
17 - there were three people who worked in that  
18 facility.

19 **DR. ZIEMER:** Okay. Thank you.

20 **DR. GLOVER:** That letter was just received --  
21 that was read into the record. That was not  
22 part of the ER process.

23 **DR. ZIEMER:** Right.

24 **MR. GRIFFON:** Three people that ever worked or  
25 three claimants? I --

1           **MS. MUNN:** Three claimants.

2           **DR. GLOVER:** We have only -- there's only one  
3 claim in the system, so there's only three  
4 people, yes.

5           **DR. WADE:** Sam, do you have a -- what is your  
6 intent, relative to this letter now?

7           **DR. ZIEMER:** Or is there anything new in the  
8 letter that needs to be evaluated I guess is  
9 the question.

10          **DR. GLOVER:** You know, you certainly -- as  
11 we've discussed, it is a narrow-focus beam, and  
12 he added some information. The Sandia profile  
13 was not available until yesterday, and so I --  
14 I don't know what the -- that was our  
15 evaluation report to the date. Certainly we'd  
16 be willing to take that additional information  
17 and make sure that -- that there's no change to  
18 our ER report. I think that would be fair to  
19 the claimant -- or to the -- not claimant, to  
20 the petitioner.

21          **DR. WADE:** We have two -- Wanda's first and  
22 then --

23          **DR. ZIEMER:** Okay, Wanda and then Jim.

24          **MS. MUNN:** I'd like to move to table this until  
25 NIOSH has had an opportunity to review the data





1           regarding the Iowa lab, Ames, from SEC -- SCA  
2           about that, which was sort of formalizing some  
3           of their earlier presentation, and we've -- are  
4           making progress with NIOSH on some of the  
5           issues related -- the informational issues  
6           related to Nevada Test Site. Maybe Arjun or  
7           Jim can update.

8           **DR. NETON:** We have proceeded down the path of  
9           polling those cases that were in those  
10          different categories of materials, and I  
11          actually received -- shortly from the Board  
12          meeting, from the person working on it -- the  
13          list of test cases and I have not had a chance  
14          to go through them. But when I get back to the  
15          office I think I should be able to pull out  
16          ones and forward them to the working group and  
17          SC&A in a fairly timely fashion.

18          **DR. MELIUS:** And -- and I would expect that we  
19          would -- not by the June meeting, but possibly  
20          by July meeting -- have made some progress,  
21          have another meeting of the workgroup. But  
22          some of that depends on how mu-- how much  
23          material there'll be for Arjun and everyone to  
24          review, so I don't want to commit yet.

25          **DR. MAKHIJANI:** Yeah, we've -- we've -- we've

1           mostly been awaiting the information from  
2           NIOSH, but we also would -- under your  
3           direction, initiated some work on Pacific  
4           Proving Ground --

5           **DR. MELIUS:** Right, yeah.

6           **DR. MAKHIJANI:** -- but that's in a preliminary  
7           stage still.

8           **DR. MELIUS:** Yeah, okay.

9           **DR. ZIEMER:** Thank you.

10          **DR. WADE:** We're done.

11          **DR. ZIEMER:** Well -- Lew indicates we're done.  
12          I want to point out that there -- there is one  
13          item that hangs free, that's Bethlehem Steel.  
14          Now we -- we had on the schedule a presentation  
15          on data -- use of data from other sites. Board  
16          members, you actually should have in your  
17          packet Liz's presentation, but I think -- and  
18          we -- we will need to delay that till our next  
19          meeting, but I also want to make sure -- 'cause  
20          I think, Dr. Melius, you had some specific  
21          questions on the use of data from other sites,  
22          and we -- I -- I want to make sure that what  
23          we're getting is information that answers the  
24          questions -- I mean you -- your question was  
25          only framed out in a very general sense, that

1           you had questions about the use of data from  
2           other sites, and maybe -- maybe some  
3           specificity is needed on --

4           **DR. MELIUS:** Well --

5           **DR. ZIEMER:** -- what -- what are the issues  
6           that need to be addressed by the Board vis a  
7           vis Bethlehem Steel.

8           **DR. MELIUS:** It may be more a question -- how -  
9           - how does the Board address that. Let me talk  
10          to Liz a little bit and see. There -- there  
11          may be some policies on the part of the  
12          Department that they don't want to talk about  
13          some of these issues, so it may be a waste of  
14          our time to have a presentation on this and --  
15          at least --

16          **DR. ZIEMER:** Well --

17          **DR. MELIUS:** -- in terms of addressing what's  
18          in the law and how it got --

19          **DR. ZIEMER:** Yeah.

20          **DR. MELIUS:** -- into the regulation and -- let  
21          me talk to her and see what we can work out.

22          **DR. ZIEMER:** Yes, and in any event, the effect  
23          is that -- the practical effect is that we --  
24          we end up I would say tabling Bethlehem work  
25          until the next meeting --

1           **DR. MELIUS:** Yeah.

2           **DR. ZIEMER:** -- is the practical effect. Liz,  
3 a comment?

4           **MS. HOMOKI-TITUS:** I just wanted to clarify  
5 that I believe some of the questions that Dr.  
6 Melius has would lead us to violate attorney-  
7 client privilege, which I'm not sure that HHS  
8 is willing to do, although we may --

9           **DR. ZIEMER:** Yeah, you may want to get together  
10 and at least --

11           **MS. HOMOKI-TITUS:** -- be able to work out a  
12 closed meeting or something like that.

13           **DR. ZIEMER:** -- learn -- learn the nature of  
14 those questions and then, as relevant, we can  
15 raise them at the next meeting and -- and try  
16 to bring closure on the Bethlehem Steel issue.  
17 Is -- are there any other items to come before  
18 us then?

19           **DR. WADE:** No.

20           **DR. ZIEMER:** Thank you.

21           **DR. WADE:** I would like to thank those hardy  
22 few that remain, and appreciate your work.

23           **DR. ZIEMER:** Thank you, everyone. This meeting  
24 is adjourned.

25           (Whereupon, the meeting concluded at 12:52

1

p.m.)

2

1

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of May 4, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 15th day of July, 2007.

---

**STEVEN RAY GREEN, CCR**  
**CERTIFIED MERIT COURT REPORTER**  
**CERTIFICATE NUMBER: A-2102**