

1 **DR. WADE:** No, this is the workgroup looking at
2 the site profile. We --

3 **DR. ZIEMER:** Site profile.

4 **DR. WADE:** We do have a workgroup that is to
5 look at SEC issues, that workgroup chaired by -
6 - let me consult my notes --

7 **DR. ZIEMER:** By Melius, I believe.

8 **DR. WADE:** -- by Melius, Griffon, Wanda -- to
9 be replaced -- and Lockey. And queued up for
10 them is this Nevada Test Site/Pacific Proving
11 Ground 250-day issue.

12 Just so everybody can be thinking from the same
13 base, we suspended activity as we dealt with
14 the conflict of interest that appeared for
15 SC&A. That issue has now been resolved. We
16 can talk about that this afternoon some. SC&A
17 is available for the Board to -- to use as it
18 sees fit on this or any issue related to Nevada
19 Test Site.

20 **DR. ZIEMER:** Okay.

21 **DR. MELIUS:** I was just mentioning it as a --
22 sort of a -- put a placeholder for discussion,
23 and also for anybody listening in who's
24 interested in Nevada Test Site and wondered why
25 we weren't talking about it now, so...

1 **DR. WADE:** Thank you.

2 **DR. ZIEMER:** Thank you, Jim. Other comments or
3 questions on Nevada Test Site?

4 (No responses)

DISCUSSION OF NIOSH'S PROPOSED CONFLICT OF
INTEREST POLICY

DR. JAMES MELIUS, WORK GROUP CHAIR

5 Okay. If not, let us move on to our next
6 agenda item, which is the issue of conflict of
7 interest policy. We have the most recent
8 version of NIOSH's proposed conflict of
9 interest policy. We've -- we have had a
10 working group that was reviewing that -- that
11 draft document and generating proposed comments
12 for the Board to consider. Jim Melius chaired
13 that and Jim, let me ask you to lead us in that
14 discussion.

15 **DR. MELIUS:** Okay.

16 **DR. ZIEMER:** And also as you do that, Board
17 members, there -- there is a draft of the
18 working group's proposed comments that Jim
19 distributed this past week and I want to make
20 sure folks have copies of those.

21 **DR. MELIUS:** Also that draft is also available
22 on the -- the web site under --

23 **DR. ZIEMER:** For the public. Right?

24 **DR. MELIUS:** -- for the public under -- just

1 under the agenda for this meeting so that it's
2 available, as -- as well as -- another place on
3 the web site is the -- the NIOSH conflict of
4 interest policy that we are referring to, which
5 is the revised draft dated July 18th, 2006, and
6 that is what we are commenting on.

7 **DR. ZIEMER:** And our document is called "Draft
8 ABRWH Comments, NIOSH Statement of Policy,
9 Conflict of Interest, July 18th Draft."

10 **DR. MELIUS:** Right.

11 **DR. ZIEMER:** Okay. Jim, do --

12 **DR. MELIUS:** Lew, do you have any -- want to
13 make any comments or introduction on the July
14 18th NIOSH statement?

15 **DR. WADE:** Well, just a couple of -- one before
16 then and then -- then some comments there.
17 What we've -- the way we've arranged this
18 morning's time is that the Board will have an
19 opportunity to chat, then we'll hear public
20 comment, then the Board will go back to its
21 deliberations so that the Board can deliberate
22 upon the things that it's heard in the public
23 comment.

24 With regard to the July 18th draft, I did send
25 it to Board members with a note and pointed out

1 that two things -- there were a number of
2 changes, and again, NIOSH heard the previous
3 public comments at the last Board meeting and -
4 - and received comments from the public, as
5 well as individual Board members. Based upon
6 those, it made some modifications. The -- the
7 two things that -- worthy of note, NIOSH heard
8 comments and accepted comments that the -- the
9 conflict of interest policy that was in place
10 for the Board's contractor -- that's SC&A -- is
11 something that the Board had deliberated long
12 and hard on, and the feeling was that that
13 should remain in place and really not be
14 superseded by this.

15 The other was the Board itself, and what NIOSH
16 is saying in this most recent policy is that
17 the Board is certainly subjected to conflict of
18 interest considerations as a result of their
19 being Special Government Employees, as a result
20 of the fact that this Board is a FACA, as a
21 result of the fact that they are government
22 employees. And that establishes a basis for
23 what represents a conflict of interest or a
24 perceived conflict of interest.

25 NIOSH felt that anything over and above that

1 really should fall to the Board to decide upon,
2 so there is a floor that exists for the Board
3 members. If the Board wanted to add over and
4 above that, NIOSH is suggesting that the Board
5 deliberate on that. NIOSH is offering its
6 policy as something for the Board to consider,
7 but is not suggesting that it imposes its
8 policy on the Board. The NIOSH policy does, in
9 its appendix, enumerate Board actions if a
10 Board member is conflicted and -- and you know
11 what they are. You've repeated them many
12 times.

13 Again, I don't find those in any way officially
14 approved by the Board, but we have been using
15 them and I think they make a fine statement.
16 But I think the Board needs to also decide if
17 it's comfortable with those rules that say if a
18 Board member is conflicted, these are the
19 resulting activities.

20 So I think NIOSH would like to hear from the
21 Board about whether it wants to add anything to
22 the floor for Board conflict that's established
23 by FACA or government employees, and then also
24 what the Board would like to consider as its
25 operational rules, whether it wants to sort of

1 ratify them or modify them in some way. And
2 then in general, NIOSH is very anxious to hear
3 from the Board as to its reaction to the policy
4 as presented. Thank you.

5 **DR. MELIUS:** The workgroup that was charged
6 with preparing some comments for -- from the
7 Board for -- on this policy, I chaired it. The
8 other members included Brad Clawson, Mike
9 Gibson and Paul Ziemer. We had a conference
10 call a little over a week ago, I believe on
11 July 31st, to discuss the NIOSH draft policy,
12 as well as the draft set of comments that I had
13 prepared. We -- the workgroup went over those
14 comments and made a number of changes in them,
15 and the resulting draft that's been circulated
16 to the Board members, as well as posted on the
17 web site, I believe I've reflected our
18 discussions of -- of the workgroup in those --
19 those comments and the changes I made. And
20 that is I think what is proposed for the -- the
21 group to discuss and adopt, change or whatever
22 today.

23 I think -- I think for purposes of the public
24 record and so forth, I think we need to go
25 through this draft. Is that correct, Lew?

1 **DR. WADE:** Correct.

2 **DR. MELIUS:** And -- and maybe the easiest thing
3 to do is to -- to go through it sort of
4 paragraph by paragraph. There is a series of
5 11 comments there and I'll go through and I
6 can, you know -- I can read it for the purposes
7 of the public record and then give you a little
8 bit of background on our discussions on that,
9 then we can discuss each comment.

10 Probably start with the -- the introduction and
11 I'll go through the -- the first comment.

12 Advisory Board on Radiation and Worker Health
13 has reviewed the most recent draft of the
14 conflict of interest policy. In general we
15 support NIOSH's efforts to improve and clarify
16 the conflict of interest policy for this
17 program and believe that it will improve the
18 credibility of the program once this policy is
19 implemented. The Board has several comments
20 addressing our continuing concerns about
21 certain issues that are not yet clearly spelled
22 out in the most recent draft.

23 Comment number one -- footnote number 2, page
24 1, the definition of conflict of (telephone
25 transmission interrupted) appearance or

1 perception of a conflict of interest; i.e.,
2 this policy should be trying to avoid or
3 minimize actions that would have the appearance
4 of a conflict of interest. I believe that the
5 use of the term "potential conflict of
6 interest" fully addresses this concept. We
7 suggest adding the following sentences to
8 footnote 2: "In some cases there may be an
9 appearance of -- of or perceived conflict of
10 interest, even where no legal conflict of
11 interest exists. To the extent feasible, NIOSH
12 will seek to minimize the appearance of or
13 perception of conflicts of interest."
14 And -- and I think we -- the working group felt
15 that it was important that we -- that conflict
16 of interest includes more than just an actual
17 conflict of interest, and then "potential"
18 didn't quite capture that, that there are
19 certainly many instances where one wants to
20 avoid the -- the perception or appearance of --
21 of a -- of a conflict of interest and that
22 that's -- is actually already captured in some
23 of the rules for, you know, government
24 employees and some of the issues related to
25 contractors so -- and then we should -- should

1 reference it here. I think it's relatively
2 straightforward.

3 Any comments or questions on that?

4 **DR. ZIEMER:** And -- this is Ziemer -- Board
5 members, I think it will be helpful to the
6 working group if you indicate either agreement
7 or disagreement with ideas as they're put forth
8 here, just so we get some idea sort of what the
9 consensus is as it -- you know, complete
10 silence won't be too helpful.

11 **DR. MELIUS:** Yeah.

12 **DR. LOCKEY:** Hey, Jim -- Jim Lockey.

13 **DR. MELIUS:** Yeah.

14 **DR. LOCKEY:** I wanted to ask you a question
15 about -- normally when I think of conflict of
16 interest -- I -- I like your idea of a
17 perceived conflict of interest, or potential
18 conflict. I think that -- that's an important
19 concept. If -- if somebody -- does the
20 conflict of interest only run one way? Does it
21 only run if somebody has a conflict of interest
22 in that they were representing somebody from
23 the Department of Energy? Or does it also run
24 the other direction? Other words, if somebody
25 is working for a legal firm in potential

1 lawsuits against the Department of Energy or in
2 policy statements, is that a conflict of
3 interest, or is that a perceived conflict of
4 interest, or how would the general public look
5 at that issue?

6 **DR. MELIUS:** Well, I -- I -- I think this
7 section refers to the footnote -- it sort of
8 refers to the introduction and purpose of the
9 NIOSH statement of policy, so it -- it's making
10 a more general statement about conflict of
11 interest, and we thought that that general
12 statement should -- you know, should also
13 capture the idea that, to some extent, the
14 policy would be to address, you know, the
15 perceived or -- or appearance of a -- of a
16 conflict of interest also. I think that your -
17 - your comment I think goes more to the issue
18 of the specific policy and -- and I think it's
19 one of the reasons that we wanted to have some
20 separation between the -- what the NIOSH policy
21 now is was mostly intended for addressing their
22 contractors who are doing work on this, and
23 that -- that the policy would be specific to
24 those contractors and that a policy for the
25 Board members, for example, would be -- could

1 be based on different considerations; that the
2 policy for the Board's contractor would be --
3 could be based on other considerations. To
4 some -- some of those are to some of the
5 statutes and regulations that govern those
6 particular relationships, so they -- for
7 example, there are statutes that relate to
8 Special Government Employees and being a member
9 of a Federal Advisory Committee. So I think to
10 sort of -- we can address that maybe a little
11 bit later, but this was intended just as a sort
12 of a general statement about that and it -- not
13 to talk about the -- the application of
14 conflict of interest, if that's...

15 **DR. LOCKEY:** Oh, I understand. So in this
16 case, conflict of interest is -- is a broad --
17 it's a broad -- if somebody has any dealings
18 with any DOE sites, either one way or the
19 other, that -- this was covered by that
20 conflict of interest statement.

21 **DR. MELIUS:** Could be. This policy could --
22 could addr-- cover that, and then -- then -- as
23 I said, this is, you know, NIOSH's sort of
24 footnoted definition that, you know, at least I
25 viewed and I think other members of the

1 workgroup view as sort of a very general
2 statement of how conflict of interest would be
3 viewed in the document. And actually I think
4 if you go through it, the document itself, it
5 certainly implied that more than, you know,
6 actual conflict of interest was what was being
7 avoided. There was also issues of perception,
8 you know, motivate -- perception of conflict of
9 interest also motivated some of the specific,
10 you know, procedures and steps that were set up
11 in the document.

12 **DR. LOCKEY:** You know, I --

13 **DR. ROESSLER:** This is Gen. I don't want to
14 interrupt Jim. Are you finished?

15 **DR. MELIUS:** Which Jim?

16 **DR. ROESSLER:** I'd -- I'd like to sometime go
17 back to Jim's question, but on this particular
18 item I think it's a good addition and a good
19 change. But I'm -- I'm really not sure how
20 much substance this has because it seems it's
21 going to be very difficult to define what is
22 meant by appearance of perceived conflict. Do
23 we have any rules or any guidelines to go on
24 for that?

25 **DR. WADE:** Well, this is Lew Wade. I mean

1 Emily Howell did send to Board members, in
2 anticipation of this call, several documents
3 that really sort of frame what the conflicts
4 would be for government employees or Special
5 Government Employees, and she sent you a
6 section that deals with impartiality. And that
7 section of the Federal Code is intended to deal
8 with issues of appearance, so there is
9 something we can use as a guide, you can use as
10 a guide, but clearly when you get into this
11 area it becomes more and more subjective the
12 further away you go from the actual conflict.
13 But I would point you to subpart E of 26.35 of
14 5 CFR that tries to deal with impartiality.
15 And it starts by saying (reading) This subpart
16 contains two provisions intended to ensure that
17 an employee takes appropriate steps to avoid an
18 appearance of loss of impartiality.

19 So it's trying --

20 **DR. ROESSLER:** Okay, I have that -- I do have
21 that in front of me, I just had not had a
22 chance to study it yet.

23 **DR. WADE:** It's -- I mean, you know, the -- as
24 I said, Gen, the further away you get from the
25 touchstone, the more difficult it is, and yet

1 there is guidance.

2 **DR. MELIUS:** Yeah, I (unintelligible) some
3 similar guidance that NIH has in addressing,
4 you know, grant reviews and, you know, conflict
5 -- potential -- appearance of a conflict of
6 interest depending, you know, on your
7 affiliation with the university or having
8 coauthored documents, you know, articles with
9 one of the people that you're reviewing and --
10 and so forth so -- I mean it's widely applied I
11 think within -- certainly within government
12 that -- but -- but I agree with you, Gen, it --
13 it's something that does get very subjective
14 and I think it's the specifics of the policy
15 that -- that we have to evaluate to -- this
16 comment was only just to say that in -- in a
17 general sense (unintelligible) policy also all
18 -- should address and consider the appearance
19 or, you know, of -- of a conflict of interest.

20 **DR. ROESSLER:** Okay. I'm reassured. Emily's
21 material just came through yesterday and I had
22 not had a chance to look at it, but I
23 appreciate, Lew, you pointing out that section.
24 It's reassuring to see that we do have
25 something in writing. I'm in agreement with

1 the proposed --

2 **THE COURT REPORTER:** Gen, I'm sorry, this is
3 Ray. I'm still having a real hard time hearing
4 you and I -- I'm sorry.

5 **DR. ROESSLER:** I don't know what else I can do.

6 **THE COURT REPORTER:** Well, that's better right
7 there.

8 **DR. ROESSLER:** Okay, I --

9 **THE COURT REPORTER:** I'm sorry.

10 **DR. ROESSLER:** When I talk I'll just face the -
11 - the base. I'll try and --

12 **THE COURT REPORTER:** Okay.

13 **DR. ROESSLER:** Did you get my last comment?

14 **THE COURT REPORTER:** Yeah, I'm getting it, but
15 it's just sounding very muffled and everybody
16 else is coming in pretty good.

17 **DR. ROESSLER:** Okay.

18 **THE COURT REPORTER:** I'm sorry.

19 **DR. ZIEMER:** This is Ziemer, if I might add a
20 comment. The words that you see there in the
21 quote are the words that I have suggested that
22 be added, and I think the point is that in many
23 of these cases there actually is not a conflict
24 of interest in the legal sense, but it may look
25 like there is. And to the extent that one is

1 able to avoid even the look, the appearance,
2 you ought to take steps to do that. That's the
3 intent. Even though it may not technically be
4 a legal conflict of interest under -- under the
5 variety of rules, to the extent that you can
6 avoid even the appearance of that, that should
7 -- should be pursued. But again, there are
8 specific steps that you can take where it looks
9 like there's a -- a conflict to address that
10 and -- and -- and put all the facts out there
11 and show what the situation is so that people
12 from outside -- and I think the rules talked
13 about what -- what a reasonable person would
14 conclude from the facts of the situation. And
15 you know, if a reasonable person is most likely
16 to conclude that there really is a conflict,
17 then you have to do something about that, under
18 -- under the rules, not -- you know, it doesn't
19 -- it's not prescriptive about what you do, but
20 you -- it does say that you -- you have to do
21 something.

22 **DR. LOCKEY:** Paul, I agree with that. I -- I
23 read this as meaning total transparency.

24 **DR. ZIEMER:** Yeah.

25 **DR. LOCKEY:** And -- and if there's a potential

1 -- if there's a possibility it raises in your
2 mind a potential conflict, just put it out
3 there 'cause it's better to do it that way than
4 to have somebody come back later and question
5 you on it 'cause you didn't record it or didn't
6 -- didn't let people know about it.

7 **THE COURT REPORTER:** I'm sorry, who was that,
8 please?

9 **DR. LOCKEY:** This is Jim Lockey.

10 **THE COURT REPORTER:** Okay, thank you.

11 **MR. PRESLEY:** This is Bob Presley. I'm in --
12 I'm in agreement with it. Everything comes
13 down to legal or somebody like that making the
14 final decision, doesn't it?

15 **DR. WADE:** This is Lew Wade. On one end, yes.
16 I mean I -- I think there is the responsibility
17 of all of us who -- who work under such
18 policies to identify issues, so I think it
19 starts with full disclosure identification by
20 the party involved. Once that's done, then
21 depending upon the particular entity within
22 government, then there are procedures to be
23 followed to make judgments. But I think we all
24 have a responsibility in terms of complete
25 disclosure.

1 **MR. PRESLEY:** This -- this is Bob again.

2 That's good. Thank you, Lew, that's good.

3 **MR. GIBSON:** This is Mike Gibson, and I -- I
4 completely agree with total transparency and --
5 and revealing conflicts of interest as far as
6 your affiliation. But you know, on the other
7 hand, given the lack of input from workers,
8 whether they're salary or hourly, where they
9 may have site knowledge, and given the point
10 that -- and we're still waiting to hear how
11 much site workers have been involved in doing
12 site profiles -- they have valued knowledge
13 that may -- it may not necessarily benefit
14 themselves, but they have knowledge that could
15 conflict with those who have been paid
16 professionally, as in a management position, to
17 write these site profiles. And I think that
18 their knowledge should be able to be put on the
19 table somewhere, whether it's -- you may have
20 to recuse yourself and be a member of the
21 public and address the Board, but it -- you
22 know, there's just a lot of knowledge out there
23 that -- to be fair and balanced, you know, I
24 think that -- that that -- that knowledge and
25 that experience and that ought to be heard.

1 **DR. LOCKEY:** Mike, it's Jim Lockey, I -- I
2 agree with you. I don't think that that type
3 of knowledge should be excluded at all. I just
4 -- I agree with that 100 percent. When I --
5 when I look at transparency, I always think
6 it's better -- this is who I am, this is what
7 I've done and this is my knowledge base, and
8 then nobody can ever come back at any point in
9 the future and try to use it -- try to say
10 well, he didn't -- he or she did not reveal
11 this conf-- potential conflict of interest,
12 therefore we -- whatever they said may not be
13 valid. I think it's better just to get it up -
14 - get it out up front and then -- then use the
15 knowledge that a person's able to provide, and
16 the worker definitely is going to have a lot of
17 knowledge to provide.

18 **MR. GIBSON:** Right, I agree. I mean give --
19 give your full background and what you've done
20 and your experience, but then be able to at
21 least get your -- you know, your experience on
22 the record.

23 **DR. LOCKEY:** I concur with that.

24 **MR. CLAWSON:** Jim, this is Brad Clawson. When
25 we discussed this early in this meeting, it

1 wasn't -- it wasn't excluding anybody by using
2 the term -- you know, we're -- we're trying to
3 define here, it wasn't excluding anybody, was
4 it? It was just that we were trying to bring
5 forth this information up front.

6 **DR. MELIUS:** Correct. I mean all we're doing
7 in this comment is addressing, you know, sort
8 of the definition of conflict of interest
9 that'll inform (unintelligible) this policy.

10 **MR. CLAWSON:** Right.

11 **DR. MELIUS:** And so that definition -- all this
12 comment I think really says is that definition
13 (unintelligible) appearance or perceived
14 conflicts of interest, not just potential or
15 actual conflicts of interest.

16 I think the next two comments really address
17 some of the other discussion here, which was a
18 point that we made at the last meeting and
19 NIOSH has addressed in the latest draft is that
20 it would -- it's better to sort of develop a
21 policy that's specific for those situations
22 that are -- you know, the particular group
23 involved, so NIOSH has carved out what --
24 they're call-- referred to as exceptions, which
25 would be the -- the last -- the previous draft

1 of the policy attempted to cover both the Board
2 and the Board's contractor, for example, and I
3 just think that would -- that was confusing,
4 but it also was trying to get -- there are
5 different considerations there. We get --
6 there earlier developed a policy
7 (unintelligible) our contractor that at least
8 at the time was more stringent than the
9 conflict of interest policy for -- that was in
10 place for NIOSH's contractor, at least in some
11 ways. So I think we're -- how all of this gets
12 applied I think it -- we -- we're -- it should
13 be applied specifically and, you know, Paul, in
14 the language he's proposed adding here that --
15 that he wrote, that we're proposing to add, it
16 says to the extent feasible. There's some
17 issues of feasibility we have to consider,
18 also.

19 **MR. CLAWSON:** Well, I think also something
20 else, too, and we're -- we're kind of maybe
21 getting a little off of this or whatever, but
22 if we -- if we address these appearances right
23 up front and everybody is on board, legal and
24 everything else like that, I -- I feel like a
25 lot of this is addressed because I agree with

1 Mike Gibson on -- that we have a lot of valued
2 information out there and people that have a
3 very good basis of it, these sites, that we --
4 we need their information.

5 **DR. ZIEMER:** Jim, I suggest we continue with
6 the next point then. I think you've gotten
7 good feedback on this first one.

8 **DR. MELIUS:** Next point, this is -- refers to
9 the exceptions, which is the new section on
10 page 2 of the policy, section 2, exception 2.1,
11 the exception for the --

12 **UNIDENTIFIED:** Hello?

13 **DR. MELIUS:** -- (unintelligible) Advisory
14 Board. And the comment reads (reading) While
15 we agree with the need to have a separate COI
16 policy for the Board, we do not agree that the
17 Board should, quote, create and administer,
18 close quotes, its own policy, at least not
19 independent of the COI provisions from FACA and
20 other federal statutes that currently apply to
21 the Board. The Board could supplement those
22 requirements with additional requirements not
23 in conflict with the FACA and other
24 requirements currently in place. The Board
25 does -- does support the three COI provisions

1 covering the Board's activities that are
2 described in Appendix 1. The Board recommends
3 discussion of this issue be placed on the
4 agenda for a future Board meeting.

5 What we're trying to get at here is the -- the
6 previous draft of the policy, as -- as I
7 mentioned, had included the Board, the Board's
8 contractors and it felt that was awkward. They
9 had included this exception. But the way it
10 was written here, it's sort of implied that we
11 would just create our own conflict of interest
12 policy, you know, de novo, with-- without clear
13 reference to, you know, some of the legal and
14 other statutes that govern our activities as --
15 as, you know, FACA Board members. And there's
16 two issues. One is we shouldn't be do-- I
17 think trying to do it in -- without taking into
18 account what we're legally or -- required to do
19 and what -- the review that we all go through
20 as -- as part of being part of a FACA
21 committee. And secondly, sort of for the Board
22 to sort of create and administer its own
23 policy, de novo also, probably is not the
24 correct approach. We, you know, sort of decide
25 our own conflicts and then it -- it -- it makes

1 sense, so what we proposed doing was that --
2 one is we ought to discuss this at length
3 ourselves as to what kind of policy we should
4 want to develop that would be in addition to
5 what the FACA and the other statutes that
6 already, you know, govern how we -- our
7 conflict of interest as -- as Board members,
8 and that probably deserve, you know, fuller
9 discussion at a Board meeting rather than
10 having the working group try to devise a policy
11 to recommend to the -- to the full Board at --
12 at this meeting.

13 But secondly that we were -- the three -- page
14 12 of the July 18th draft in an appendix has
15 these sort of -- I sort of refer to them as
16 operational -- how has the Board been operating
17 in terms of addressing conflict of interest
18 issues. And they're very specific to actions
19 that the Board commonly takes, the situations
20 that commonly arise. The previous draft of the
21 policy included them as part of the policy.
22 They've now been moved to an appendix, and I
23 thought that we should, you know, concur that
24 those are, you know, appropriate ways of making
25 -- sort of operationalizing conflict of

1 interest requirements for the Board members.
2 We may want to add more, we may want to, you
3 know, change these or clarify them for other
4 situations, but certainly there was something
5 the working group was comfortable having us
6 utilize or continue to utilize as the Board
7 functions. So the -- I guess the -- the gist
8 of the comment is that we need to discuss
9 further if we want to develop a more complete
10 policy for the Board, that ought to be
11 something to discuss at a future Board meeting
12 when we're all together in person. Secondly,
13 meanwhile, we would support the continued
14 adoption of those three rules that are included
15 in Appendix 1.

16 Any comments or (unintelligible) on that?

17 (No responses)

18 Anybody disagree?

19 (No responses)

20 I already miss Wanda.

21 **DR. ZIEMER:** I think -- it sounds like there's
22 no disagreement, Jim, so I think -- unless
23 there is -- we should proceed.

24 **DR. MELIUS:** Section 2, the other exception is
25 for the Board's contractors so let me read this

1 comment. Quote (reading) The same concept
2 would apply to the Board's current policy for
3 our contractor. Federal procurement and other
4 statutes have COI requirements for our
5 contractor, and these have already been
6 supplemented in the awarding of their contract.
7 At the time, those requirements are generally
8 more stringent than the ones in place for
9 NIOSH's dose reconstruction contractors. The
10 Board recommends that these requirements be
11 reviewed at a future Board meeting. End -- end
12 of -- and again, it was just saying that the
13 workgroup didn't feel comfortable trying to
14 devise a new set of conflict of interest
15 requirements for our contractor. That's
16 something would be best done at a future Board
17 meeting, but does that think we, you know, did
18 have a policy in place. We discussed it at
19 great length many years ago when we awarded the
20 contract and -- or before we awarded the
21 contract and so, you know -- appropriate to
22 revisit them, let's do it at a future Board
23 meeting.

24 Any disagreements or comments on that?

25 **DR. ROESSLER:** No disagreement.

1 **MR. PRESLEY:** Jim, this is Bob Presley. I
2 think that's great.

3 **DR. WADE:** This is Lew Wade, just to tip my cap
4 to the Board. I mean I worked on the SC&A
5 contract and I think the policy that you put in
6 place serves that contract well and in fact has
7 formed the basis of much of NIOSH's thinking
8 for the document I brought to you.

9 **DR. MELIUS:** No comments, I'll move on to
10 comment number four, which deals with section
11 3.0 in the document, also page 2, and it's
12 entitled -- that section of the policy's
13 entitled "Disclosure and Exclusion, Individual
14 and Corporate." Let me read the -- read the
15 comment.

16 (Reading) The application of this policy to
17 corporate entities is not clear. Though the
18 introduction to section 3 references both
19 individual and corporate disclosure and
20 exclusion, the substantive sections, section
21 3.1, et cetera, are confusing and often only
22 appear to reference individuals, not
23 corporations. Corporate conflict of interest
24 provisions are important and this section
25 should be modified to more clearly address

1 corporate COI issues.

2 That's the end of the -- end of the -- the
3 comment. Just the background, I think based on
4 our comments and discussion of the previous
5 draft of the policy, we raised the issue of --
6 of including corporate conflict of interest.
7 NIOSH has stated in this current draft that it
8 -- it does cover corporate conflicts of
9 interest. It doesn't -- just sort of didn't
10 carry that through very clearly into all the
11 subsequent sections. And some of it is
12 wordsmithing, but I think some of it is that --
13 I think is a little bit more thought to what
14 are corporate conflict of interest provisions
15 and -- and sort of the -- the series of
16 questions that are asked. There may need to be
17 some changes in those to more appropriately
18 address possible corporate conflict of -- of
19 interest.

20 **DR. ZIEMER:** And Jim, this is Ziemer, if I -- I
21 could add to that, Board members, if you look
22 in the -- in the appendices at the questions
23 that are asked to test for conflict of
24 interest, such as Section C, disclosure
25 questions, they're all -- very clearly pertain

1 to individual conflicts. And I think one of
2 the questions we had is what -- what questions
3 do you ask of the corporation to determine
4 conflict of interest; is there a parallel set
5 of questions. So it's -- certainly NIOSH has
6 indicated the intent to apply it, and we're
7 simply saying or suggesting that that be
8 spelled out a little more clearly as to how you
9 -- how you do that or what -- what are the
10 tests on a corporate scale that parallel the
11 tests on an individual scale.

12 Is that a fair statement, Jim?

13 **DR. MELIUS:** Yeah, that is. I mean it -- I --
14 I think it's -- it's a question of -- of some,
15 you know, rewording that would -- would address
16 this. And then it's actually in -- addressed
17 here as comment number six where it says where
18 the conflict of interest -- appendix to the
19 conflict of interest disclosure form gets
20 referenced in the document, but -- but as Paul
21 just said, that also needs to be changed to
22 more appropriately address this -- sort of --
23 so a corporation could fill it out and --
24 directly, as opposed to just an individual.

25 **DR. ROESSLER:** This is Gen. I agree with the

1 item. I think the workgroup has identified a
2 very important item to explore or to complete.

3 **DR. MELIUS:** I think we all certainly support
4 the -- the need for NIOSH to address corporate
5 conflict of interest and it's particularly --
6 I'll say troublesome, but -- but it -- I think
7 it -- it's important in sort of how conflict
8 can be perceived or appear -- there can be
9 appearances of conflict of interest in this DOE
10 world with many contractors, subcontractors and
11 entities and so forth, and I think having some,
12 you know, clearer questions and clearer on
13 this, addresses, helps a lot in terms of
14 disclosure and application of any policy.
15 Any other comments on that?

16 **DR. ZIEMER:** And I might add parenthetically --
17 this is Ziemer again -- that in cases where
18 there do -- where there appear to be such
19 corporate conflicts, then what -- one has to
20 think carefully as to how you provide some sort
21 of -- I think the term "firewalls" are used to
22 -- within the -- within a corporation, for
23 example, to -- to basically provide a barrier
24 between parts of an entity that might be, on
25 the surface -- or maybe actually -- in--

1 involved with what appears to be a conflict.
2 We've got to do this with our own contractor,
3 to some extent -- provide appropriate
4 safeguards that assure that the -- the
5 conflicts are addressed.

6 **MR. GIBSON:** Yeah, this is Mike. Paul, I
7 agree. You know, I think there are -- there
8 probably is a specific corporate conflict of
9 interest provisions in contractors policies --
10 you know, ORAU and whoever else, you know, and
11 I -- I think, you know, that -- that disclosure
12 of these should be made to us. Is there any
13 way the Board can receive a copy of the current
14 COI corporate disclosure policy used by ORAU,
15 for example?

16 **DR. WADE:** Certainly. This is Lew. I can make
17 that happen.

18 **MR. GIBSON:** Okay, thank you.

19 **DR. MELIUS:** And I think that might be helpful,
20 Lew, when -- you know, change the -- inclu--
21 sort of updated the policy, then clarify some
22 of these corporate disclosure issues, I think
23 it'd be useful to have that to reference.

24 **DR. WADE:** Right. I just -- speaking for
25 NIOSH, Paul's comment of possibly also the

1 policy addressing remedy, such as firewall, if
2 -- if that's the Board's pleasure then, you
3 know, write that to NIOSH in your comments,
4 that you would like to see such specificity in
5 the policy. Or if you don't want it, then --

6 **DR. ZIEMER:** Lew, I'm not sure how specific one
7 can be in the policy. I suspect that the
8 solutions are very case-specific --

9 **DR. WADE:** Right.

10 **DR. ZIEMER:** -- although one might talk in
11 general terms about the need for establishing
12 appropriate firewalls in cases where there
13 appear to be conflicts or -- but what is the
14 remedy. In other words, how does one go about
15 remedying these things.

16 **DR. WADE:** Okay. So whatever the Board would
17 like to see, just let us know.

18 **MR. GIBSON:** This is Mike again, and I was -- I
19 -- I think all of us received an e-mail from
20 Mr. -- Strout?

21 **DR. WADE:** Staudt.

22 **MR. GIBSON:** -- Staudt, and he addressed the
23 issue of the firewall that was created between
24 SC&A for their various contracts and, you know,
25 I'm kind of interested in that term and how

1 they came up with that, and I would just like
2 to see what that consists of, just for -- I
3 think it would be beneficial for our
4 clarification for -- possibly beneficial to us.

5 **DR. WADE:** Why don't I invite David Staudt, or
6 whoever he would care to name, to come to our
7 next meeting and make a brief presentation on
8 that?

9 **DR. ZIEMER:** Sure.

10 **MR. GIBSON:** Okay.

11 **DR. MELIUS:** Any other further comments on
12 comment four?

13 **MR. GIBSON:** Jim, the only thing I would --
14 this is Mike again. You know, getting back to
15 the COI policies of the -- the corporations,
16 it's probably be beneficial, I think, to see
17 the -- the forms, the corporate forms that the
18 folks are presented with to fill out and not
19 just the policy, so we can see what they're
20 asked and not asked and -- and everything else.

21 **DR. MELIUS:** And that's -- Mike, I believe
22 that's covered in Section -- comment number
23 six.

24 **MR. GIBSON:** Okay, I'm sorry, Jim.

25 **DR. MELIUS:** Oh, yeah --

1 **DR. ZIEMER:** Yeah, that was the issue we were
2 talking about. There -- there are questions
3 asked on an individual basis. What -- what is
4 it you ask a corporation.

5 **DR. WADE:** Right, but Mike's requirement of me
6 is that I share the in-place policy for ORAU,
7 for example, on disclosure. And then I'll also
8 provide any forms that are filled out by ORAU
9 employees toward that disclosure, Mike.

10 **MR. GIBSON:** Okay, thanks.

11 **DR. MELIUS:** Any other comments on four? If
12 not, I'll move to five, which also references
13 Section 3.0, and that series of questions is a
14 relatively minor, but there is the -- the
15 comment reads as follows: (Reading) There is
16 also some inconsistency in the reference as to
17 whether AWE work is included in some provisions
18 of this section.

19 That's the end of the comment. And basically
20 they -- if you go through those series of
21 questions starting with 3.1, in some of them
22 they include DOE/AWE -- you know, were you
23 employed, contractor, et cetera -- and they're
24 not consistent in doing -- in a lot of places
25 they drop the AWE and didn't seem appropriate

1 and I think someone just needs to read through
2 and where including AWE is appropriate, it
3 should be done.

4 Any comments or -- I think it's minor.

5 (No responses)

6 If not, number six, the one we just talked
7 about, the corporate -- there should be a
8 corporate disclosure form, I think this is
9 further discussion on that.

10 Number seven, Section 4.0 and actually refers
11 to the Appendix 2, which is the individual
12 conflict of interest disclo-- disclosure form
13 and the -- that -- there's a section on that
14 that refers to -- it's disclosure questions,
15 and it has to do with the legal work. I'm
16 trying to find the exact page for this. This
17 is -- this is worded funny, but let me read the
18 comment, then I'll look up -- (reading) The
19 disclosure form for an individual should
20 include a listing of the litigations -- cases
21 that they participated in, not just the
22 relationship with the attorney. The -- listing
23 specific cases is common practice for expert
24 witnesses.

25 It -- what that question did -- if I can --

1 **DR. WADE:** Page 21.

2 **DR. MELIUS:** Twenty-one, okay. Thanks. The --
3 question 13 on page 21. It just says (reading)
4 Do you have a relationship with an attorney
5 that was representing EEOICPA claimant, DOE or
6 site operator?

7 And it's just that we thought it would be more
8 useful if you just simply refer to the -- the
9 actual cases that you were involved in rather
10 than a relationship with an attorney since in
11 many cases there are lots of law firms and lots
12 of attorneys. And the common way of
13 referencing those is usually to the case, not
14 to the -- the law firm and -- to provide a
15 little bit more transparency to the -- to the
16 issue.

17 Any comments or questions about that?

18 (No responses)

19 If not, we'll -- moving on to the next comment
20 is comment number eight, again refers to
21 section 4 -- it's real-- it's the second
22 paragraph under -- under 4.0. Let me read the
23 comment. (Reading) The disclosure form should
24 be updated, quote, within seven days, close
25 quote, or some other specific time period

1 owner to a more passive role in the process.
2 This person should not be just assembling
3 sections written by site experts, et cetera,
4 without critical review. As we've pointed out
5 before, this is the weak link in this COI
6 policy proposal to address the past practice of
7 utilizing site experts who had an obvious
8 potential conflict of interest as major
9 contributors to a document. This new
10 description of the owners' responsibilities
11 does not help convince the Board that this
12 person will actively and fairly manage the
13 process. This concern also applies to owners
14 of other types of documents described in the
15 proposed policy.

16 We discussed this at the last meeting, I
17 believe, and maybe even the meeting -- previous
18 meetings where we've discussed conflicts of
19 interest. And it struck me that -- and others
20 -- that tho-- so these word change where
21 somehow they -- they went from being an author
22 to a writer/editor did sort of imply that that
23 person would be less actively engaged in doing
24 the inform-- actually reviewing and being
25 involved in the gathering of information and

1 they're really having a strong technical
2 understanding of a -- of a particular document.
3 And the way this proposed policy would deal
4 with the utilization of site experts and -- and
5 others who may have a, you know, potential or
6 appearance of a conflict of interest on a site
7 really is very dependent on having a strong
8 owner of -- of a document that is actively
9 involved and does actively, you know, seek out
10 other sources of information or opinion and
11 input on a particular issue. And this comment
12 was basically -- not that that section is much
13 -- necessarily needs to be changed, but the
14 fact that it -- it really is going to be very
15 important that we see, you know, active -- you
16 know, technically involved owners of -- of
17 these documents and that they -- that our
18 interchange with them, you know, and when we're
19 reviewing site profiles and SEC evaluations,
20 you know, demonstrates that -- that they are
21 knowledgeable and actively involved in the
22 document, not simply somebody that just --
23 cutting and pasting, you know, the work of
24 others and putting it in -- in a -- in a
25 document.

1 **DR. ZIEMER:** And Jim, this is Ziemer, if I
2 might add, I think we agree that we don't think
3 it was NIOSH's intent to -- to actually
4 downgrade this position. We -- in fact, I
5 think we believe, based on what they said, that
6 their intent is exactly what Jim described and
7 that is to have a strong author, leader, owner,
8 whatever the word is, but that this terminology
9 doesn't appear to -- to be in line with that.
10 If -- if one could find some words that
11 emphasized and underlined the idea of having
12 the document owner being really someone who
13 really knew what was going on and -- and wasn't
14 conflicted, but could take full ownership and
15 they weren't just cutting and pasting what
16 others told them. So we -- we think NIOSH's
17 intent is to -- is to do what we described, but
18 we think they need to express it better.

19 **DR. MELIUS:** Any other comments or...

20 **DR. ROESSLER:** I -- this is Gen. I think these
21 are good comments, but I don't see a solution
22 or a suggested remedy for -- for it.

23 **DR. MELIUS:** I think the -- the remedy is the -
24 - is how this policy will get implemented. And
25 I think we'll -- the -- sort of the test of a

1 policy and how it'll work will be in the
2 future. I mean this is a change in approach
3 and it's too early to -- to see and -- and I
4 don't think we're -- we're agreeing with the
5 approach, we just want to emphasize how
6 important it is to this -- success of this
7 policy and credibility of this program that --
8 that this part of it, you know -- these people
9 are actively involved, so that that intent be
10 followed through on.

11 **DR. ROESSLER:** So you're not suggesting then
12 that -- a change in the wording, but just that
13 we understand better what the intent is?

14 **DR. MELIUS:** Correct, and that they -- they may
15 want to consider some wording that would more
16 clearly define what the role of this person is.
17 The -- the activities didn't necessarily change
18 from the previous draft, but some of the
19 wording, you know, seemed -- seemed to indicate
20 that -- a more passive role, and I think that --
21 -- we're saying that there can't be a passive
22 role. It has to be a very -- has to be very
23 actively involved.

24 **DR. ZIEMER:** And -- and certainly what Jim says
25 is true, the test is in the -- in the doing,

1 and you can have the perfect written policy and
2 if it's -- you know, if it's not -- doesn't
3 stand the real test of actual actions, then it
4 doesn't mean anything. So you want the wording
5 to be right, but ultimately the test is in how
6 it's actually done.

7 **DR. MELIUS:** If they don't change the wording
8 but they ac-- they do it well, we'll -- we'll
9 be happy.

10 **DR. ZIEMER:** Yeah. If they do change the
11 wording and don't do it well --

12 **DR. MELIUS:** Well, then we're --

13 **DR. ZIEMER:** -- we haven't accomplished
14 anything.

15 **DR. MELIUS:** Other comments? I'll go on. This
16 refers -- next comment, number ten, refers to
17 Section 6.4, which is un-- is the section that
18 is starting to describe non-key program
19 functions, and most of these were -- were
20 straightforward, but the -- they do refer to
21 one that's a complex-wide Technical Information
22 Bulletin owner. Let me read the comment and
23 then I'll sort of provide some of the
24 background on this.

25 (Reading) The designation of the complex-wide

1 Technical Information Bulletin owner as a non-
2 key program function -- problematic without a
3 clear definition of this type of document. For
4 example, this type of TIB may apply to only a
5 few sites and the owner of such a document
6 should not be allowed to have the potential for
7 a conflict of interest at one of these few
8 sites.

9 End -- end of comment. In our workgroup call
10 we spent a fair amount of time, but -- on this
11 issue because certainly one could see where
12 something was a sort of very generic document
13 that applied to many sites, there'd be
14 situations where the -- sort of the non-key --
15 this could be considered a non-key program
16 function with some of the conflict of interest
17 issues would be somewhat less stringent in
18 terms of development of this document. However
19 there are other ex-- examples where I think one
20 would have some concerns that the -- about the
21 potential for appearance of a conflict of
22 interest in someone where it really only
23 applied to one site and that person was -- was
24 -- you know, came from that -- came -- you
25 know, worked for that site and would not be

1 allowed to be the owner of a document un--
2 under -- applied to that site was not
3 considered a complex-wide Technical Information
4 Bulletin. And we -- we thought that it really
5 came down to what the definition was. There
6 was no definition of that type of document in
7 the -- document and the main thing was to
8 clarify what they meant there. If they meant
9 that it really was something that was complex-
10 wide, that the proposed approach was
11 appropriate and we just need a better under--
12 understanding of that and they need to consider
13 how to apply the policy in -- in various
14 situations in terms of how it would apply and
15 what would be the potential appearance of
16 conflict of interest for the people involved in
17 -- in writing that bulletin.

18 Any disagreement, comments on that?

19 **DR. ZIEMER:** This is really a clarification
20 issue I think.

21 **DR. MELIUS:** Yeah.

22 **DR. ROESSLER:** We're still here. It sounds
23 good.

24 **DR. MELIUS:** Okay, good. And the final
25 comment, number 11, refers to section 7.2, it's

1 the disclosure -- actually it's come up
2 earlier. Let me read the -- the comment. Let
3 me preface it a little bit. The disclosure
4 section refers to certain forms and so forth,
5 how they'll be made available and so forth, and
6 the -- the last sentence of that Section 7.2
7 refers to some redaction of -- for trade
8 secrets and business confidential information.
9 And our comment is (reading) We question the
10 need for redaction of information on corporate
11 COI forms. This should at least be limited to
12 specific types of information. An overly-broad
13 interpretation could undermine the credibility
14 of this disclosure.
15 End -- end of the comment. And I -- I guess
16 our concern was that -- partly I guess this
17 "business confidential" is put in quotes and it
18 wasn't clearly defined. And while we certainly
19 would see the need for certain kinds of
20 financial and other information that might be
21 appropriately considered business confidential,
22 we would much rather see it -- have a better
23 understanding of what was covered by that and
24 so that it did not become an excuse for, you
25 know -- for us having a completely redacted,

1 you know, corporate disclosure form. And I
2 think this also goes back to, you know, our
3 comment that we didn't have a corporate
4 disclosure form to review and so, you know, it
5 may very well -- business confidential could be
6 defined within that. There may -- actually may
7 be some government definitions of it, but we
8 just thought that needed to be -- part of it
9 needed to be clarified and this shouldn't be an
10 excuse for, you know, redacting all
11 information, claiming it to be business
12 confidential.

13 **MR. GIBSON:** Which -- this is Mike -- which I
14 think most of the working group -- will speak -
15 - I'll speak for myself as part of the working
16 group -- strongly agree with.

17 **DR. MELIUS:** Other disagreements, agreements,
18 comments on that?

19 (No responses)

20 I take the silence to be agreement. And those
21 were our -- our comments of our -- our working
22 group that we're proposing for adoption by the
23 Board as a set of formal comments to NIOSH. As
24 per our custom, these will be subject to Paul's
25 editing.

1 **DR. ZIEMER:** Well, I think the next step will
2 be to get -- we -- we want to have some public
3 comment, and then we can decide whether we want
4 to adopt these today or have a final version at
5 our next meeting. But let's first start -- if
6 it's agreeable, move to the public comment
7 period and give opportunity for members of the
8 public to comment specifically on the conflict
9 of interest policy.

10 Now what -- what we're interested in here is
11 comments on the NIOSH draft, as well as any
12 comments that pertain to the -- the Board's own
13 comments on the draft and -- and related issues
14 to what the Board's own policy might end up
15 being. Clearly we will end up at some point
16 with another separate document which will, as
17 has been suggested, incorporate existing
18 requirements for the Board and maybe any
19 additional requirements that we may wish to
20 impose.

PUBLIC COMMENT ON CONFLICT OF INTEREST POLICY
DR. PAUL ZIEMER, CHAIR

21 But now I'd like to open the discussion for
22 public comment. Members of the public, if you
23 would identify yourself by name and location,
24 or name and affiliation, for our court reporter

1 and then make your comments. I don't have a
2 specific time limit, but it would be in
3 everyone's interest if -- if we gave due
4 consideration to the fact that there may be
5 others who wish to make comments and not to
6 monopolize the time.

7 So are there any members of the public who wish
8 to comment on the conflict of interest policy,
9 the draft NIOSH policy or the Board's emerging
10 policies?

11 **MS. BARRIE:** Good morning. This is Terrie
12 Barrie with you.

13 **DR. ZIEMER:** Good morning, Terrie.

14 **MS. BARRIE:** How are you, Dr. Ziemer?

15 **DR. ZIEMER:** Good.

16 **MS. BARRIE:** Good. Yes, I do have a short
17 comment to make. Because of the late notice on
18 this public comment period, I was unable to
19 circulate a draft of our comments to the
20 members and receive input back from them, so
21 today I'll only be speaking as an advocate for
22 some of the Rocky Flats claimants.

23 **DR. ZIEMER:** Uh-huh.

24 **MS. BARRIE:** I thank the Board for addressing
25 your policy on NIOSH's proposed conflict of

1 interest. It's evident that the Board is very
2 concerned about this issue and addresses the
3 concerns many share with this draft policy. It
4 is also evident that the need for this new
5 policy arose in part from the Rocky Flats site
6 profile and SEC petition.

7 I wish to draw your attention to comment number
8 nine in your draft. I agree that the document
9 owner should be responsible for more than just
10 collecting the information provided by site
11 experts. The author should validate the
12 science and allegations made by the site
13 expert. In other words, the author needs to
14 ascertain the truth of what occurred at the
15 site.

16 My main concern of course is the Rocky Flats
17 SEC petition and the conflict of interest
18 problem there. As you are aware, at one point
19 in time Roger Falk was considered the author of
20 the internal dosimetry site profile document.
21 He's now listed as a site expert. Mr. Falk, as
22 you all know, was also the administrator of the
23 health physics department at Rocky Flats.

24 I have listened to many of the Board's working
25 group discussions on the Rocky Flats petition.

1 Invariably when a question arose from the
2 working group on a particular scenario, it was
3 often Mr. Falk, the man with the conflict of
4 interest, that answered the questions, not the
5 author of the document. It appears that NIOSH
6 is assuming that Mr. Falk's assertions are the
7 truth and the only truth, without independently
8 verifying them.

9 In contrast, members of the SC&A team have
10 never to my knowledge requested one of their
11 site experts to respond to a question raised by
12 the working group. SC&A appears to own the
13 report submitted to the Board.

14 I will leave you with a question. Since the
15 Board is very concerned with this conflict of
16 interest issue, how will you apply this problem
17 when you deliberate the Rocky Flats SEC
18 petition?

19 Thank you for the time for allowing these
20 comments.

21 **DR. ZIEMER:** Okay. Thank you very much,
22 Terrie, for those comments.

23 Are there other members of the comment who wish
24 to provide input or comment?

25 **MR. MILLER:** Hi, Dr. Ziemer, it's Richard

1 Miller.

2 **DR. ZIEMER:** Good morning, Richard.

3 **MR. MILLER:** Good morning. Very briefly I'd
4 like to thank the Board for its considered
5 comments. They're -- they're quite detailed.
6 I had really just three very brief ones.
7 One has to do with sort of taking off from what
8 Terrie Barrie had said, which are what are
9 precisely, if conflicts are found that were
10 either not appropriately disclosed or which
11 were considered to be impermissible conflicts
12 under the policy, and yet, you know, key
13 program documents were produced and the
14 conflicts exist, whether it be with an
15 individual dose reconstruction or with an SEC
16 evaluation or whatever, what are the
17 consequences in terms of that document? Does
18 that document still get used for decision-
19 making? Is it subject to being vacated and
20 redone? How -- how exact-- what -- what -- I
21 mean I guess sort of the question is what are
22 the consequences? And this policy spells out
23 clearly the consequences in terms of
24 administrative actions that NIOSH has the
25 discretion to take in terms of disallowing

1 costs and so forth with respect to a contractor
2 who breaches the policy. The question is, what
3 is the consequence slash (sic) and/or remedy
4 with respect to the claimant or claimant
5 population that would be impacted by such a
6 conflict. And I -- I think that that's a
7 difficult question and it probably will have to
8 be taken up on a case-by-case basis. But I do
9 think it opens -- that it does open a question.
10 What -- what's the remedy?

11 **DR. ZIEMER:** Uh-huh.

12 **MR. MILLER:** The second comment has to do with
13 the question when a conflict is identified and
14 whether it be the one such as the Falk conflict
15 which -- which Terrie Barrie raised, or several
16 others that are out there at a number of other
17 sites, including Idaho and Hanford and Pantex
18 and elsewhere, what rigor of review would be
19 applied when a conflict is identified? And
20 this goes sort of to the comment that the Board
21 raised, which is what -- what -- you know, so
22 okay, here -- here -- you -- you -- you expect
23 that -- that the document owner's going to
24 really own the document, that they're going to
25 actually have technical fluency in it and

1 they're going to be able to communicate and
2 respond and really vet the inputs from some
3 site experts who may be conflicted. What is
4 the issue of the rigor of review? What
5 specifically becomes triggered? And this may
6 be helpful in terms of this whole question of
7 intent to, maybe in the preamble to the COI
8 policy, spell out this intent issue which was
9 discussed during this Board call. I think it
10 would be helpful to spell out that expectation
11 in the policy in order to make it more three-
12 dimensional, rather than leaving it buried in a
13 transcript that somebody's going to have to go
14 back and find if this issue arises in the
15 future about whether there's genuine ownership
16 and whether site experts are conflicted and
17 whether the person who really owns the document
18 genuinely is the author. So that would be a
19 second comment.

20 And the third issue I guess is more of a
21 question. If -- if the Board is going to be
22 taking up a COI on its own policy, will that be
23 done as a separate set of deliberations for
24 which you'll be soliciting comment?

25 **DR. ZIEMER:** Okay. Thank you, Richard, for --

1 as usual -- thought-provoking comments. With
2 regard to the third one, certainly if the Board
3 develops a separate policy, that would be done
4 in the framework of our Board meetings in open
5 session and opportunities for input, as well.

6 **MR. MILLER:** Thank you, Dr. Ziemer. Thank you,
7 members of the Board.

8 **DR. ZIEMER:** Other comments?

9 (No responses)

10 Again, other members of the public who wish to
11 comment on conflicts of interest?

12 (No responses)

CONTINUATION OF COI DISCUSSION

13 **DR. JAMES MELIUS, WORK GROUP CHAIR**

14 It appears that there are not additional
15 comments. Then if not, we can return to our
16 Board discussion, and let me frame this out in
17 the following way.

18 You have -- you have the document that Dr.
19 Melius and the working group have prepared.
20 You've had some -- Jim, there's been some
21 comments. I guess I'll ask you, Jim. Do you
22 think there are any revisions needed to this at
23 this time that would preclude adoption today,
24 either wording-wise, additions, deletions on
25 any of these items?

1 **DR. MELIUS:** I don't believe so. I think there
2 are some issues that we have discussed among
3 the Board, as well as some of the public
4 comments that we just heard, that probably are
5 -- should -- should be addressed in the future
6 'cause I think they're -- they're important
7 comments. But I -- I think we should also keep
8 in mind that -- one is I think NIOSH would like
9 to go ahead and implement a policy. I don't
10 see -- or heard or anything that really would
11 change that. I think there are some changes
12 that NIOSH would -- would make (unintelligible)
13 our comments, but those would be things that
14 would clarify and, you know, things we could,
15 you know, review and should review and -- at a
16 later point in time, but I don't think they
17 would preclude NIOSH from starting to implement
18 this policy. And I think that's particularly
19 important, I -- my understanding is ORAU's gone
20 ahead already and starting to work on this, but
21 they -- there are issues of sort of how do you
22 -- what do you do about documents that have
23 already been prepared under the old policy
24 which -- where there would be concerns about
25 conflict of interest under the -- the new

1 policy. And I think that -- that's an
2 important question, but again, that can be
3 addressed at -- should be addressed at a later
4 meeting.

5 **DR. ZIEMER:** Thank you. Board members, let me
6 ask if there is any objections to proceeding to
7 act on this document today. Anyone feel that
8 there is information you need before you are
9 ready to act or vote?

10 (No responses)

11 If not, this comes as a recommendation from the
12 working group and therefore doesn't require a
13 second, and basically becomes a motion from the
14 working group for the Board to approve this
15 document as our set of comments to NIOSH
16 relative to their proposed conflict of interest
17 policy. So with that in mind, let me -- so
18 this is basically a motion before us to adopt
19 these comments --

20 **MR. GIBSON:** Dr. Ziemer, this is Mike --

21 **DR. ZIEMER:** -- (unintelligible) them to NIOSH.
22 Yeah, Mike Gibson.

23 **MR. GIBSON:** Question on the motion.

24 **DR. ZIEMER:** Uh-huh.

25 **MR. GIBSON:** If this is adopted today, are the

1 public comments made -- I think I heard Jim
2 right and I just want to clarify this. The
3 public comments that were made today, they will
4 be reconsidered even if we adopt this motion.
5 They (unintelligible) --

6 **DR. ZIEMER:** My -- my interpretation of this is
7 as follows: That, number one, these public
8 comments are also available to NIOSH to react
9 to in any way that they feel is appropriate.
10 And number two, some of the questions, such as
11 -- well, both Terrie's and Richard's questions
12 are questions on how the Board will deal with
13 very -- in some cases very specific issues, and
14 so I -- I don't think there's anything here
15 that precludes that, those -- for example, when
16 a conflict of interest is identified, what
17 rigor of review will be applied. So that's --
18 that's almost an operational question. But
19 certainly as the Board develops its policy, it
20 may incorporate an ans-- a generic answer to
21 that question, what will we do to assure that
22 the review of the validation of the documents
23 that have the necessary rigor.

24 **DR. WADE:** This is Lew Wade. I also heard
25 Richard Miller mention that he would -- he was

1 suggesting that in the -- the introduction to
2 the policy possibly we deal with some of these
3 issues up front as to the rigor of the review,
4 and also what the remedy would be if there was
5 a conflict discovered. And I've duly captured
6 those -- those points, Mike, and will -- will
7 ensure that NIOSH considers them, you know, in
8 its redraft.

9 **MR. GIBSON:** Okay. Dr. Wade, it -- I mean
10 that's -- I don't mean to get back on my
11 bandwagon. That's just my concern, that, you
12 know, the author of these documents or however
13 they want to term it are many times a manager
14 of a program, and what has been considered by
15 the worker that's had their nose out there in
16 the field, and I just want to make sure that
17 somewhere that can be addressed and captured
18 and -- and those comments from workers taken in
19 -- taken into consideration rather than town
20 hall meetings.

21 **DR. WADE:** Understood.

22 **DR. MELIUS:** Yeah, this is Jim Melius. If I
23 can comment on that, I mean I -- we've actually
24 discussed it at previous Board meetings when
25 we've discussed this concept of a document

1 owner, and -- and the way I interpret that
2 person's job is they -- they -- I think it says
3 something to the effect they have an
4 affirmative duty to go out and, you know,
5 quietly collect the information that's -- and
6 consider the information that's available and -
7 - and that would -- that duty would in--
8 include, you know, I'll call it verifying or
9 seeking out information from worker
10 representatives and others of that, you know,
11 particular set of facts or issues that are, you
12 know, raised in a site profile or -- or other
13 owned document. And so at least in -- as this
14 policy gets implemented that one would think
15 that when we were reviewing a site profile we
16 were discussing it with the owner and there was
17 a particular set of information included in
18 there about a particular part of the site or
19 program, we would be asking them where did they
20 receive the information about that and also as
21 of my understanding is that -- that all of that
22 will now being, you know, referenced in the
23 documents themselves, so we'll see what the
24 sources of information were so -- be able to
25 judge that and make an assessment of that as we

1 move...

2 **MR. GIBSON:** Okay.

3 **DR. ZIEMER:** Thank you. Any other comments?

4 **MR. CLAWSON:** Dr. Wade, this is Brad Clawson.
5 One -- one of the questions I had, and this
6 kind of came up when Richard Miller was
7 commenting there, I know as a Board -- and
8 being a new member, maybe I don't understand
9 how this all works, but I know that as a Board
10 member when there arose a conflict of interest,
11 we had legal counsel that looked into it for
12 us. What I'm wondering is when -- when a
13 conflict or possible conflict arises, say with
14 ORAU or -- or NIOSH, who are the people that
15 look into that conflict? Who are the
16 independent people that are away from NIOSH or
17 -- or ORAU that look into this? Is -- is there
18 an avenue set up for this?

19 **DR. WADE:** Brad, this is Lew Wade. I mean it -
20 - there are many answers to your question. In
21 terms of our contractor, you would have not
22 only the NIOSH people involved, but then you
23 would have the contracting officer and then you
24 would have the legal staff that support the
25 contract office would look into these issues.

1 It wouldn't go beyond that. There are ethics
2 people, you know, within the Department that
3 would look at those issues. And the same would
4 hold for NIOSH. There is no body outside of
5 the organizations looking at it, save for this
6 Board, for example. But it would normally be -
7 - it would normally be the supervisors, then it
8 would be the contracting officer, and then it
9 would be legal staff that would support the
10 contracting officers.

11 **MR. CLAWSON:** Okay. So then they would be the
12 ones that would -- would look into this further
13 then. I just -- you know, in the appearance of
14 -- that we want to be able to have complete
15 clarity of everything, I just -- I just wanted
16 to make sure we all knew how this was going to
17 take place.

18 **DR. WADE:** Uh-huh.

19 **DR. ZIEMER:** But I think in cases such as that
20 described by Terrie Barrie with -- with --
21 particularly with the Nevada Test Site issue,
22 then it -- it really comes down to NIOSH
23 developing a remedy for that and the Board
24 basically accepting that remedy. If there --
25 you know, it's -- it's -- in a sense, it

1 doesn't help us very much to have some attorney
2 come in and say this person is not conflicted.
3 I think we're -- we're looking at some issues -
4 - you know, and they're typically not financial
5 issues. They are issues of both perception and
6 -- and -- and sometimes reality, or both, that
7 we have to establish a -- a remedy that is able
8 to make use of -- of information from site
9 experts while assuring that there's not a one-
10 sided, biased input to the process.

11 **MR. CLAWSON:** And I agree fully with you. I
12 just -- you know, I'm still learning the steps
13 and everything so far. I just want to keep the
14 perception that, you know, we're not having the
15 fox watch the henhouse, so to speak.

16 **DR. ZIEMER:** Yeah, yeah.

17 **DR. WADE:** You know, on the -- to be a little
18 bit more specific, Brad, on the -- on a
19 contract, particularly -- there would be a
20 technical project officer -- that would be me,
21 for example, on the SC&A contract -- and then
22 there is a contracting officer who really has
23 the legal authority. These judgments would be
24 taken in consultation between the technical
25 project officer and then the contracting

1 to wait a minute or so because he's -- he's
2 batting lead-off.

3 **DR. ZIEMER:** Right. And we have not heard
4 anything from Poston, I guess.

5 **DR. WADE:** Have not.

6 **MR. CLAWSON:** Dr. Wade, this is Brad Clawson.
7 I was wondering if -- if LaShawn's on the line,
8 I still haven't received this file that Mark
9 sent out. I'm looking on my computer now.
10 (Unintelligible) that if she could forward it
11 on to me.

12 **DR. WADE:** LaShawn, are you on the line?

13 **THE COURT REPORTER:** Dr. Wade, this is Ray.
14 LaShawn is in her office and I can go tell her
15 that if you'd like.

16 **DR. WADE:** Okay, why don't you do that, Ray.

17 **THE COURT REPORTER:** Brad, I'm sorry, could you
18 repeat what you need?

19 **MR. CLAWSON:** It was -- Mark sent out -- just
20 this morning he sent out a copy of a -- what do
21 they call -- a matrix or whatever --

22 **DR. ZIEMER:** I -- I don't think it was a
23 matrix. It was a report -- it was a draft of
24 the individual dose reconstruction case
25 reviews, it's a summary statement.

1 **MR. CLAWSON:** Okay, yeah, that's -- that's the
2 one that I needed there. Appreciate it.

3 **THE COURT REPORTER:** Okay. And he just sent it
4 out this morning?

5 **DR. ZIEMER:** Yes, he did.

6 **THE COURT REPORTER:** Okay.

7 **UNIDENTIFIED:** The draft letter for the second
8 and third series of cases, is that what you're
9 talking about, Brad?

10 **MR. CLAWSON:** Right.

11 **THE COURT REPORTER:** Let me just say that I'm
12 going to be gone for a moment but y'all can go
13 ahead and start. I'll go on autopilot here.

14 **DR. WADE:** We won't start without you.

15 **DR. ROESSLER:** I've got it here, I can forward
16 it --

17 **DR. MELIUS:** We don't really need you, Ray?

18 **THE COURT REPORTER:** Not quite.

19 **DR. MELIUS:** We could have been on autopilot
20 all this time.

21 **DR. WADE:** Gen, are you saying you have it in
22 front of you?

23 **DR. ROESSLER:** I have it in front of me. Let
24 me find -- I'm going to put down the phone for
25 a minute and find his -- well, you know why he

1 didn't get it? He's not on the list. Okay,
2 I'll do it, I'll forward it to you.

3 **MR. GRIFFON:** Who didn't get it? I just --

4 **MR. GIBSON:** I just -- this is Mike, I just
5 sent it to Brad.

6 **MR. GRIFFON:** Oh, I -- I sent it to Brad, too.
7 I sent it separately to Brad. It didn't go
8 through?

9 **DR. ZIEMER:** Apparently it didn't --

10 **MR. CLAWSON:** I've got two different e-mail
11 addresses and we've been having trouble with my
12 government one, so --

13 **MR. GRIFFON:** Oh, I got the inel.gov one in
14 here, that's why probably, Brad. I'm sorry.

15 **MR. CLAWSON:** That's no problem. I've -- I've
16 got a couple of Gen's and Mike Gibson's e-mails
17 have been coming through, so --

18 **MR. GRIFFON:** Oh, okay. Yeah, I sent it to the
19 inel.gov --

20 **DR. ZIEMER:** It sounds like Gen is forwarding
21 it anyway -- or Mike is -- Mike, did you say
22 you forwarded it?

23 **MR. GIBSON:** Yeah, I sent it to the inel.gov
24 site.

25 **MR. GRIFFON:** We got music on here.

1 **DR. ZIEMER:** Why are we getting music?

2 **DR. WADE:** I don't know.

3 (Whereupon, music, recorded messages and static
4 were on the line, with some Board members
5 continuing to speak but whose comments were
6 largely unintelligible.)

7 **MR. PRESLEY:** Ray, this is Bob Presley.

8 **DR. ZIEMER:** I think Ray is not back yet.
9 We're just waiting --

10 **THE COURT REPORTER:** I'm back.

11 **DR. WADE:** Yeah, we'll wait for him, and we
12 have this music problem.

13 **THE COURT REPORTER:** LaShawn said she didn't
14 receive that this morning.

15 **DR. WADE:** Okay. We have other people sending
16 it.

17 **THE COURT REPORTER:** Okay.

18 **MR. CLAWSON:** And just to let you guys know, I
19 just received the one from Mike Gibson. I
20 appreciate that, Mike.

21 **DR. WADE:** Okay.

22 **DR. ROESSLER:** You're probably going to get
23 quite a few more.

24 **DR. ZIEMER:** Okay, I think we're ready to go
25 now.

1 **DR. WADE:** If somebody just came back on the
2 line that had put us on hold, while you were
3 away there was music and messages and things,
4 so if this means anything to anyone, don't do
5 that again, please.

6 **MR. GRIFFON:** Hey, Brad?

7 **MR. CLAWSON:** Yeah.

8 **MR. GRIFFON:** Just for clarification, I got
9 this gobigwest is the one I've been sending to.

10 **MR. CLAWSON:** Right, that's my own mail one.
11 When I get done, Mark, I'll send you my new
12 updated one --

13 **MR. GRIFFON:** All right, all right, I want the
14 -- yeah, most current one I should have on my
15 list. Sorry about the confusion.

16 **DR. WADE:** Ray, are you back with us?

17 **THE COURT REPORTER:** Yes, sir.

18 **DR. WADE:** Well, just to complete the record,
19 I'll do the roll call again.
20 Dr. Ziemer?

21 **DR. ZIEMER:** Here.

22 **DR. WADE:** Dr. Lockey?

23 **DR. LOCKEY:** Here.

24 **DR. WADE:** Dr. Poston?

25 (No response)

1 DR. WADE: Gen Roessler?

2 DR. ROESSLER: Here.

3 DR. WADE: Robert Presley?

4 MR. PRESLEY: Here.

5 DR. WADE: Jim Melius?

6 DR. MELIUS: Here.

7 DR. WADE: Mark Griffon?

8 MR. GRIFFON: Here.

9 DR. WADE: Mike Gibson?

10 MR. GIBSON: Here.

11 DR. WADE: And Brad Clawson?

12 MR. CLAWSON: Here.

13 DR. WADE: Okay. So Dr. Ziemer, we have eight,
14 which is a quorum -- more than a quorum, so
15 we're ready to begin.

ROCKY FLATS SEC ISSUES

MR. MARK GRIFFON, WORK GROUP CHAIR

16 DR. ZIEMER: Okay, let's then proceed. The
17 first item on our afternoon agenda is the Rocky
18 Flats SEC issues, and Mark Griffon has been
19 heading up the workgroup that's been dealing
20 with that. And Mark, if you'll give us an
21 update and report from that workgroup.

22 DR. WADE: If I could very briefly interrupt,
23 this is Lew, there is no one on the call with a
24 conflict on Rocky Flats, so there is no

1 adjustment we need to make.

2 **DR. ZIEMER:** Right. Thank you.

3 **MR. GRIFFON:** Okay. Yeah, this is Mark
4 Griffon. I think I can give a brief update of
5 where we are. We had a workgroup meeting
6 recently and someone can help me out with the
7 date -- a couple of weeks ago.

8 **DR. ROESSLER:** The 27th.

9 **MR. GRIFFON:** The 27th, thank you, in -- in
10 Cincinnati. And we went through the matrix.
11 I've updated the matrix since then and there
12 might be a -- a few minor things that Brant
13 Ulsh has pointed out to me that -- that I will
14 correct, but they don't really affect the
15 overall matrix too much. I think there's a
16 couple actions which ac-- or -- or items which
17 actually are -- are duplicate in the matrix.
18 We -- we captured them in an earlier section,
19 then we repeated them later in the matrix, so
20 they're -- they're very much the same issue.
21 But overall, the new matrix that I forwarded to
22 everyone -- also I tried to highlight in yellow
23 the sections where there is outstanding action,
24 so as you look through that matrix if you find
25 yellow highlighting, that's kind of where we're

1 at with the workgroup process.
2 And I'll just summarize -- if I can take a few
3 minutes, I'll summarize the main issues where
4 we're still working.
5 The super S plutonium question -- I -- I think
6 really where we're down to on that one is we're
7 -- we're looking -- we've asked for a final
8 look at the design cases and whether they are
9 the -- the appropriate cases were selected for
10 this -- for this model. And to do that, NIOSH
11 has provided us with the Hanford-1 case, which
12 we hadn't had till the la-- I believe it came
13 right before the last workgroup meeting, and
14 also 25 of the ca-- of the individuals that
15 were involved in the 1955 fire, and we -- we
16 just want to -- the workgroup and SC&A want to
17 crosswalk that information to make -- to -- to
18 assure that the -- the bounding cases were
19 actually selected for the -- the model. I
20 think there's large agreement right now that
21 the model looks -- the methodology -- if the --
22 if the correct design cases were -- are there,
23 the methodology looks -- looks reasonable, and
24 SC&A has -- has reviewed that and assessed that
25 and they're in agreement with that, I -- I

1 believe. At this point that's where we're at.
2 For -- and -- and other workgroup members, at
3 any point feel free to -- to follow the matrix,
4 but -- but these are sort of major topics
5 within the matrix.
6 Second major topic is other radionuclides.
7 We've kind of captured it as other
8 radionuclides. At the last workgroup meeting
9 we had a extensive review. Mel Chew and the
10 Oak Ridge team went back, much as they did with
11 the Y-12 facility, back to the material -- the
12 counting records, and they identified these
13 other radionuclides and the amounts on site,
14 and I guess they have some information on where
15 those might have been over time on the site,
16 what buildings, what facilities. These other
17 radionuclides include thorium-232, uranium-233,
18 curium-244 and neptunium-237, plutonium-238 and
19 242 and californium-252, and americium-241.
20 Now for most of these isotopes, some of them
21 have been identified certainly as -- as on-site
22 but probably in -- in sort of tracer amounts.
23 They were used, but they were as tracers in the
24 weapons and therefore the overall amounts would
25 have been low. Others have been identified --

1 I don't think -- what we've asked NIOSH to do
2 is follow up on how -- or -- or where these
3 nuclides were used and to what extent or -- or
4 the approach they would use for reconstruction
5 of dose, but it -- it -- there's -- there's
6 some question as to whether in the early years
7 there would have been nuclide-specific analysis
8 for many of these. They would have likely had
9 a gross alpha. So then we need to know the
10 location and the -- and who was involved in
11 those operations. We have to put -- put people
12 and time together -- people and locations
13 together to make sure there is a
14 scientifically-plausible model for these
15 nuclides.

16 So we -- we've got more information on the
17 source term quantities. We -- we still have
18 questions on how they're going to reconstruct
19 doses from gross alpha if that's all they have
20 available. That would be the early years,
21 primarily.

22 They did answer a question -- NIOSH answered a
23 question on americium-241. We had an
24 outstanding issue on the separations process
25 with americium-241 and it -- it appears, based

1 on the materials counting logs and the sort of
2 process knowledge or -- or the knowledge of
3 what was going on there at the site and when it
4 was going on that americium-241 separations
5 pre-1963 would have likely been very small-
6 scale squa-- small-scale quantities when they
7 were trying to research the method by which to
8 do the americium separation, and they were
9 small-scale because basically at that point the
10 plutonium that they had was described as
11 basically young plutonium with -- with no
12 appreciable ingrowth of the americium-241, so
13 therefore there was likely not much of the
14 americium around to -- to do these pilot runs
15 on. So the -- certainly the source term is --
16 is very low pre-'63. And the pre-'63, the
17 reason that was so important was prior to that
18 there was only gross alpha data. After that
19 they did have americium-specific measurements.
20 So we think that that's a pretty good answer on
21 -- on the americium. If it was pilot stu-- it
22 seems like it was pilot studies and very small
23 quantities of americium during that time
24 period, pre-'63.

25 The third primary issue was a question --

1 there's still some follow-up questions on the
2 calculation and assignment of neutron doses for
3 the early -- again, early periods. And I
4 believe NIOSH and SC&A -- even as early as
5 yesterday I think I saw an e-mail indicating
6 that they're going to try to have a conference
7 call to clarify some of these points in the
8 next several days. Some of it revolves around
9 this question of neutron-to-photon ratios and
10 how they were derived and whether the most --
11 the highest potentially exposed people to
12 neutrons were monitored, and if not, how are
13 they correcting that from the badge data. So
14 that's a -- that's a follow-up item that we're
15 working on.

16 And then a -- a fourth large topic -- well, let
17 me skip that one for now. I'll go to the fifth
18 topic, the D&D worker question. And this
19 question arose at the last Board meeting in --
20 in Washington. And the real question here was
21 -- was the question as to whether the type --
22 type of monitoring and therefore the type of
23 data available for dose reconstruction would be
24 different for these workers during when the D&D
25 activities started, when the cleanup started.

1 And we've -- NIOSH has suggested that -- that
2 all workers remained on routine bioassay
3 program. We've asked them to check that
4 against the database as best they can,
5 including looking at subcontractor workers to -
6 - to give some level of assurance that in fact
7 the routine data is available to reconstruct
8 doses for -- for those workers.

9 **DR. ZIEMER:** What's the starting date on that -
10 - on the D&D --

11 **MR. GRIFFON:** I don't know when the -- I think
12 it's the --

13 **DR. ZIEMER:** It's fairly recent, is it not?

14 **MR. GRIFFON:** Yeah, I --

15 **UNIDENTIFIED:** '93.

16 **MR. GRIFFON:** '93. And -- and then the --

17 **DR. ZIEMER:** And --

18 **MR. GRIFFON:** Go ahead.

19 **DR. ZIEMER:** -- are we having trouble finding
20 the information, even though it's that recent?

21 **MR. GRIFFON:** It's not a matter of -- of
22 finding the information. It's a matter of
23 matching individuals with -- I -- I don't think
24 they've looked at the database data really --

25 **DR. ZIEMER:** Oh, okay.

1 **MR. GRIFFON:** -- so they -- they've indicated
2 that procedure would have said that -- that if
3 they were an RW-2 worker, they would have been
4 required to be on routine monitoring.

5 **DR. ZIEMER:** But we haven't confirmed that is
6 what you're saying.

7 **MR. GRIFFON:** But -- but -- yeah, but we -- you
8 know, so they have to crosswalk that list of
9 individuals that likely were rad worker-2
10 trained and -- and determine if they were
11 actually -- and determine if they were actually
12 bioassay monitored. And you know, part of this
13 is raised by some of the testimony at the
14 meeting where they indicated that they had
15 breathing zone air samples, and they were
16 relying a lot on the breathing zone air
17 samples, and -- and there's some -- you know,
18 there's certainly -- there was certainly a
19 shift to that on a lot of the D&D sites during
20 that time period, so we want to just make sure
21 that -- that the urinalysis program was robust
22 enough to allow for reconstruction -- or else -
23 - or else do they have an alternative way to do
24 it with air sampling data, you know, so that's
25 sort of where we're going with that.

1 **MR. GIBSON:** This is Mike, if I could add in --

2 **MR. GRIFFON:** Yeah, Mike, go ahead.

3 **MR. GIBSON:** There was -- at that time period -
4 - at the end when Bush one announced the end of
5 the Cold War and we went into D&D mode, there
6 seemed -- at least at Mound and at Rocky had a
7 lot of similar contractors between Mound and
8 Rocky, there was a big shift in policy and
9 routine meant one thing prior to, in production
10 years, than it did in D&D years --

11 **DR. ZIEMER:** Uh-huh.

12 **MR. GIBSON:** -- as Mark has kind of indicated,
13 and -- and there was just -- there was just a
14 big difference in monitoring employees and who
15 met the 100 millirem threshold.

16 **DR. ZIEMER:** Right.

17 **MR. GIBSON:** So you know, there could be a lot
18 of unmonitored dose, potentially.

19 **DR. ZIEMER:** Uh-huh.

20 **MR. GRIFFON:** Right, and that's -- that's what
21 we -- you know, we just want to see exactly --
22 you know, we -- we understand that -- basically
23 what NIOSH has offered thus far is procedures
24 indicating what was happening, but you know, if
25 we -- if we crosswalk that with the database

1 and -- and it seems like it matches up pretty
2 consistently, then -- then I think we're done
3 with that issue. But if we have a large
4 discrepancy, then I think we -- you know, we
5 may have a -- a -- more questions on that.

6 **DR. MELIUS:** This is Jim Melius. I've reviewed
7 some of the beryllium screening data from Rocky
8 Flats, and during that time period there was a
9 lot of flux in where people worked and how they
10 were assigned and which employers they may be
11 listed under and so forth. And so just the
12 logistics of tracking people and making sure
13 that you -- you know, whether or not they were
14 monitored and who has the data and so -- I mean
15 it can be quite I think confusing there and so
16 it's certainly worth some more effort into
17 that. And my recollection from the Denver
18 meeting was that -- that NIOSH agreed they had
19 to do more work on that era of -- at the plant,
20 also.

21 **MR. GRIFFON:** Yeah. Yeah, and I -- I think
22 though, Jim, from the workgroup, they -- they
23 still -- they just hadn't had -- they're still
24 looking into, you know, how to crosswalk this.
25 I think part of it is getting these roster

1 files and the rad worker-2 files to crosswalk
2 with the dosimetry files, you know, so they're
3 -- they're in the process of that. But --

4 **UNIDENTIFIED:** Correct.

5 **MR. GRIFFON:** -- I agree, that's why we went
6 down this -- the -- we had these questions was
7 people falling through the cracks during this
8 time period.

9 **MR. GIBSON:** And this is Mike again, if I can
10 just add -- for example, prior to the D&D era
11 you may have had 15 or 20 classifications of
12 workers, and due to the renegotiating of
13 contracts -- to closure contracts, you may have
14 went down to three or four classes of workers -
15 -

16 **DR. ZIEMER:** Uh-huh.

17 **MR. GIBSON:** -- which --

18 **DR. ZIEMER:** Uh-huh, yeah, they weren't
19 operational workers.

20 **MR. GIBSON:** -- they may have encompassed, you
21 know, instead of looking at electricians, pipe
22 fitters, you may have to look at maintenance --

23 **DR. ZIEMER:** Uh-huh.

24 **MR. GIBSON:** -- instead of looking at D&D
25 worker, janitors, you know, a host of other

1 titles, you may have to look at demolition
2 technicians and at -- so it's -- it's not
3 really clear to us, you know, how that was --
4 you know, how that was merged.

5 **MR. GRIFFON:** Right. So -- so -- yeah, that's
6 -- that's an ongoing action and -- and -- as
7 well, and we haven't had -- as we go along, by
8 the way, I should point out that NIOSH has --
9 is trying their best now to sort of post things
10 on the O drive in real time --

11 **DR. ZIEMER:** Uh-huh.

12 **MR. GRIFFON:** -- as they find these things --
13 or assess them and come to conclusions, they're
14 posting them, even though we -- we still have a
15 tendency to -- to have a lot of things posted
16 right before the meetings, but I do that as
17 well, so we're all trying to get the data out
18 there as quick as we can.

19 The last large item is -- fall -- fall into the
20 category of data validation or data
21 reliability, and there's sort of -- as I have
22 in my notes -- five sort of sub-topics within
23 that and -- and we -- these -- these prongs, as
24 I call them, to assess the reliability of data
25 are all sort of -- we had a little more clarity

1 on them in this last meeting of -- of how --
2 how these things are coming together.
3 One item is sort of what I'm calling log book
4 analysis, and thi-- this is basically to look
5 at some of the log books, the -- the --
6 obviously the ones likely to have more
7 pertinent data such as the decon log books or
8 the radiation technician or HP log books that -
9 - that have, as we've seen already, some
10 information on either measurements or a note
11 that an incident occurred and someone was sent
12 for a -- you know, in vivo count or a
13 urinalysis count, and -- and then those --
14 those log books can be sampled and -- and
15 compared with the electronic database, the HIS-
16 20 database.

17 **DR. ZIEMER:** Uh-huh.

18 **MR. GRIFFON:** And we're hoping -- at the last
19 meeting NIOSH did -- did present a -- an
20 analysis of one of the log books, the Kittinger
21 log book. I think it was from 1969 -- I might
22 have the wrong year on that, but -- where they
23 went through in depth and went back actually to
24 individual files for these individuals and
25 crosswalked the data and actually found fairly

1 good corroboration with the -- with the log
2 books. But I think what we've asked for going
3 forward is let's select -- randomly select some
4 of these log books over the decades extending
5 from the '70s through the -- 2000, into the D&D
6 period, and also try to cover the various sort
7 of production areas, the -- the different
8 production areas. But then also I think we've
9 -- we've said, you know, instead of going back
10 to every individual rad file, you know, we're
11 asking for NIOSH to randomly --

12 **DR. ZIEMER:** Uh-huh.

13 **MR. GRIFFON:** -- go through these books and
14 select some data points and compare them to the
15 electronic database and -- and -- so that --
16 that's one sort of tool is look at the log
17 books, and this is a way to -- to check the
18 reliability of the database.

19 The other part of this, which I -- I sort of
20 outline as a separate item is the urinalysis
21 log books. Same sort of approach, find some
22 over the decades and compare it with the HIS-20
23 database. These urinalysis logs were
24 identified in the site profile document. I
25 think really the hold-up was the retrieval of

1 them. They had been put back to the Federal
2 Records Center or something like that, so
3 they're in the pro-- NIOSH is now in the
4 process of recovering -- or retrieving some of
5 those urinalysis logs for comparison.
6 Third item is -- SC&A had brought up a question
7 about a gap in the data in 1969, and they did
8 the -- they found this through assessment of
9 the HIS-20 electronic data. And I believe
10 NIOSH has also now provided us with -- they --
11 they looked at the claimants and found that
12 there was a large percentage of the claimants
13 that actually, in their records, were missing
14 at least a portion of their 1969 data, either
15 all four quarters or -- or one quarter was
16 missing, and there was a large percentage of
17 individuals, so they're -- they've found the
18 raw data for that time period and they're in
19 the process of crosswalking the raw data with
20 the HIS-20 data for that year, for 1969, 'cause
21 there appears to be some -- you know, some --
22 some potential data gap there in the electronic
23 form, at least. And there -- there are several
24 explanations or possible explanations were
25 offered during the workgroup meeting, but

1 really the bottom line is they're going to go
2 back to the external raw records and -- and
3 compare for 1969 and -- and determine why we
4 have that gap or apparent gap in -- in the
5 electronic form.

6 Then the fifth -- or fourth item is the --
7 several safety reports were identified as
8 apparently related to dosimetry or dosimetry
9 deficiencies, and at the last workgroup meeting
10 or the last Board meeting, I forget, we -- we
11 had requested that NIOSH go back to the -- back
12 to the Records Center and ask for a whole
13 listing of safety reports over the life of the
14 facility. I think they found a listing that
15 started around 1970, and from that they -- they
16 looked -- based on the titles, they tried to
17 identify reports that they thought could have
18 been related to dosimetry issues. They've
19 identified some and they're in the process of
20 retrieving those.

21 We also asked SC&A to look at that same listing
22 and identify whether they had any above and
23 beyond what NIOSH had identified that they
24 would -- would think would be of interest, and
25 SC&A is still -- they're in the proc-- I think

1 they have a draft listing, but they're in the
2 process of working on that list now to share
3 with NIOSH. And once they have the -- the --
4 these reports, they'll -- you know, the ones
5 they think are pertinent, they'll -- they'll
6 post them on the O drive and -- and follow up
7 on those reports as well.

8 And then the last item is follow up on
9 individual -- individual cases or -- and these
10 were basically -- there's -- there's quite a
11 few listed in the matrix, and a lot of these
12 come out of the petition itself. The
13 petitioners raised through affidavit several --
14 many different instances or items that they
15 believe -- and -- and we sort of captured a lot
16 of these under this -- this question of data
17 validation or data reliability. Some relate to
18 mishandling of TLDs, some related to "no data
19 available" questions, questions along those
20 lines. And NIOSH has already followed up on
21 many of these, and they continue to -- to --
22 and this -- they have not provided this yet,
23 but they say they have a draft of a listing of
24 all the -- any allegations or af-- you know,
25 made in the petition and they're cr-- they're

1 walking this through -- they're checking each
2 individual one to determine whether -- you
3 know, the merit of -- of each and -- and, you
4 know, we want to make sure they have an
5 explanation of each, if there is a good
6 explanation.

7 So those are -- those are five separate items
8 that all sort of fall under this category of --
9 of the data validation, so that's clearly one
10 of our --

11 **DR. ZIEMER:** Uh-huh.

12 **MR. GRIFFON:** -- big topics and -- and there's
13 still a lot of raw data that's, you know, under
14 review -- log books, external dose records, et
15 cetera, but we're moving forward on that.

16 **DR. ZIEMER:** That sounds like a pretty
17 extensive group of -- or sets of work and jobs
18 that you guys have been tracking, Mark. Can
19 you give us an estimate of where you will be by
20 the time of our September meeting?

21 **MR. GRIFFON:** Well --

22 **DR. ZIEMER:** What -- what should we expect at
23 that point? It sounds like --

24 **MR. GRIFFON:** Yeah --

25 **DR. ZIEMER:** -- the data validation issue may

1 not yet be closed by then.

2 **MR. GRIFFON:** Well, we're -- I -- I think we're
3 still -- you know, everyone's trying to move
4 toward that end. I -- I -- you know, we did
5 set up another workgroup meeting for August
6 31st and, you know, really I guess we'll --
7 we'll know a lot more then, but we -- we may --
8 you know, even if NIOSH has responses on all
9 these fronts, I think we probably still need to
10 give SC&A a chance to give us a review. SC&A
11 has held back on a review, or we haven't asked
12 them for an official review of the petition
13 evaluation report because it was pending this
14 sort of work -- ongoing work.

15 **DR. ZIEMER:** Right, right.

16 **MR. GRIFFON:** So I think we need to still give
17 them an op-- you know, a chance or -- or time
18 to -- to assess what NIOSH comes back with and
19 -- and -- and report on our -- a review of the
20 evaluation report. So it's going to be -- it's
21 going to be -- it's going to be tough to meet
22 that September deadline, in my opinion.

23 **DR. ZIEMER:** Well, I --

24 **MR. GRIFFON:** But we're tr-- you know, we're --

25 **DR. ZIEMER:** -- deadline, but we --

1 **MR. GRIFFON:** Yeah, yeah.

2 **DR. ZIEMER:** -- still want to have some feeling
3 for whether we would be at a point where we
4 could take specific action, since we are
5 meeting out there, but --

6 **MR. GRIFFON:** Right.

7 **DR. ZIEMER:** -- that's also an opportunity to
8 get some additional local input and -- as well,
9 so that will be -- be of value.

10 **MR. GRIFFON:** Well, we're meeting in Nevada.

11 **DR. ZIEMER:** Oh, in Nevada, right, I'm sorry,
12 yeah.

13 **DR. ROESSLER:** That's sort of local.

14 **DR. ZIEMER:** Well, no -- no --

15 **MR. GIBSON:** Paul, this is Mike, and as part of
16 the working group, you know, I think -- you
17 know, I -- I want to kind of back what Mark
18 says, that we really don't know how long this
19 is going to take because -- and at least from
20 my perspective on the workgroup, these things
21 that are being checked into as, quote,
22 allegations of workers, as opposed to --

23 **MR. GRIFFON:** Right.

24 **MR. GIBSON:** -- taking for gospel what these
25 site experts have written down is a big

1 concern, at least to me --

2 **DR. ZIEMER:** Sure.

3 **MR. GIBSON:** -- and I think to the rest of the
4 working group and, you know, to make it fair
5 and balanced, I just -- you know, we need to
6 make sure that -- are they truly allegations or
7 -- you know, let's -- let's give a -- let's
8 give a fair balance here to the site expert and
9 to what someone that's actually been out in the
10 field has said.

11 **DR. ZIEMER:** Uh-huh.

12 **MR. GRIFFON:** Yeah, you -- and Mike, I may have
13 misspoke. I mean I think where these people,
14 you know, put a written affidavit out there, I
15 think they take that pretty seriously and --
16 and I think we should, you know, weigh it bef--
17 you know, you're -- you're absolutely right, we
18 should give it a fair account.

19 **MR. GIBSON:** Right, I (unintelligible), yeah.

20 **DR. ZIEMER:** Not a rush to judgment.

21 **MR. GRIFFON:** Right, right. So that's why --
22 and I think -- to that end, I think NIOSH has
23 received that message because they have gone
24 through the entire petition and -- and -- and
25 are -- we -- we want to make sure we can answer

1 all these -- these questions. When -- when you
2 look at them in aggregate, too, there's --
3 there's many questions that related to this,
4 you know, quest-- overall question of data
5 validation, so we want to make sure that we --
6 you know, we don't take that issue lightly.

7 **DR. ZIEMER:** Right. Any other of the working
8 group have comments on this report or anything
9 to add?

10 **MR. PRESLEY:** No, I -- this is Bob Presley.
11 I'm in good shape with the report, no problems.

12 **DR. WADE:** We also might have petitioners on
13 the line and they're free to make comment if
14 they would like.

15 (No responses)

16 Okay.

17 **DR. ZIEMER:** And other Board members have any
18 questions for Mark?

19 **MR. GIBSON:** This is Mike. Not just a
20 question, I just want to --

21 **DR. ZIEMER:** Further comment, yeah.

22 **MR. GIBSON:** -- comment that, you know, Mark
23 has been doing a heck of a job on this and, you
24 know, I'd just like to applaud him on that.
25 He's really -- he's -- he's digging into the

1 weeds, which I think we need to do, and you
2 know, I think he's done an excellent job.

3 **DR. ZIEMER:** Right. Very -- very good, and I -
4 - I think you speak for the rest of the Board
5 when you applaud that. Mark, we do thank you
6 very much.

7 **MR. GRIFFON:** Sure.

8 **DR. ZIEMER:** Okay. Are there any other
9 comments on the Rocky Flats status then?

10 (No responses)

SC&A CONTRACT TASKS FOR NEXT FISCAL YEAR

11 **DR. LEWIS WADE, TECHNICAL PROJECT OFFICER SC&A CONTRACT**

12 If not, we can move ahead to our next item,
13 which is the SC&A contract task for the next
14 fiscal year. Lew will lead us in that
15 discussion, and Lew, you have -- or -- yeah,
16 you have distributed to the Board some
17 documents, I assume everybody got those,
18 dealing with the proposals for this next year.

19 **DR. WADE:** Right, these were individual task
20 proposals we had received from SC&A, as well as
21 a summary sheet.

22 Before I begin, I'll walk -- and I'll walk you
23 through this quickly. I think David Staudt is
24 probably on the line. David, are you with us?

25 **MR. STAUDT:** Sure.

1 **DR. WADE:** David is the contracting officer, so
2 if there are any particular questions, you can
3 raise -- and I think we'll be depending on John
4 Mauro -- John, I assume you're with us as well?

5 **DR. MAURO:** Yes, I am.

6 **DR. WADE:** -- to -- to expound. But let me --
7 let me try and paint a very general picture and
8 then we can fill it in. Those gentlemen can
9 help me, and then we can have as much
10 discussion as you would like.

11 The SC&A contract, we put money into it on a
12 fiscal year to fiscal year basis, and the
13 fiscal year starts on October 1st again. I
14 would assume we would have about \$3.5 million
15 available for this contract; one never knows,
16 with the vagaries of the federal budge, as well
17 as just the -- the workings within the
18 Administration. Who knows what the funding
19 levels will be, but I'm operating towards a
20 target of \$3.5 million.

21 What I would like to do is leave this call with
22 the Board voting through the ability for David
23 to put in motion contract modifications that
24 would amend the contract, add money to the
25 contract to start work for next fiscal year.

1 While we have a meeting in September before the
2 end of the fiscal year, given the deadlines
3 that -- that David faces in procurement, it
4 would be much better for him to have the
5 Board's okay to begin to move forward on this
6 call.

7 Now it's not necessary that we reach agreement
8 on everything. If you remember, last year we -
9 - we agreed on some things in general and in
10 some things we -- we came up with sort of
11 stopgap solutions, and that's possible today as
12 well. So -- but I would like to get some
13 marching orders from the Board that would allow
14 David to take contract actions that would
15 extend the SC&A contract into next year.

16 Now let me go through very quickly what SC&A
17 has given to us. And again, remember this is a
18 contract that really has six tasks, although
19 five of them are active now. Task I is where
20 site profile work is done by SC&A, and to this
21 point SC&A has started and/or finished on 16
22 site profiles. This proposal for next year
23 asks for funding to take on five new site
24 profile reviews, as well as to allow for the
25 Savannah River Site to be re-- re-evaluated.

1 Since SC&A did its evaluation of Savannah
2 River, a new version of the site profile has
3 come out. And while we're actively involved in
4 reviewing that, it's necessary for SC&A to --
5 to take a more detailed look at the new site
6 profile. So the proposal we have are for five
7 new and a redo of Savannah River. We don't
8 have to define what the five are at this point.
9 SC&A has given us a generic proposal for five
10 new plus a redo of Savannah River. You have
11 the workup and you have the rollup of the cost
12 for that.

13 Task II is behind us. That was a task to
14 develop some tracking systems and things, but
15 Task III is really where we do the procedures
16 review, and that sort of morphed into the
17 review of workbooks. SC&A has given us a
18 proposal to review 30 new procedures and
19 associated workbooks. Again, we don't have to
20 identify exactly what they are at this point.
21 John Mauro has provided us all with a sort of a
22 list of what the candidate procedures are for
23 review, but he's prepared, at our instruction,
24 a Task III proposal to look at 30 new
25 workbooks.

1 Then we -- I'm going to skip Task IV for a
2 minute because it's the most complex and go to
3 Task V, which is the relatively new SEC task.
4 And there we've asked SC&A to give us a
5 proposal for their doing six reviews of SEC
6 petitions. Again, we're -- we're moving away
7 from now the expanded or the -- the quick
8 review, and they've given us a proposal to look
9 at six additional SEC petitions. Again, we
10 can't define what they'll be now because we
11 don't know what they'll be. Probably the
12 petitions they'll be reviewing haven't been
13 qualified, or possibly even submitted yet. So
14 you have a proposal there for six SEC
15 petitions.

16 Task VI is a project management task we broke
17 out as a new task. It used to be buried in the
18 others, and for reasons of transparency we felt
19 it better to break it out as a separate
20 proposal, and you have those materials in front
21 of you.

22 Let me go back to Task IV. That's where we do
23 the review of individual dose reconstructions.
24 And based upon our last discussion, we asked
25 John to come up with several alternatives. And

1 to try and understand the alternatives, there
2 are three variables I'd want you to keep in
3 mind. The first is the number of DRs that
4 would be reviewed. The second variable is
5 whether the review would be a line-by-line item
6 of every line, or whether we would grant some
7 discretion to the SC&A reviewers -- in this
8 case Hans and Kathy -- to focus their attention
9 on lines that they feel are the most fruitful
10 to review. So again, granting discretion to
11 the reviewer. And the third variable is will
12 we be looking mostly at these min/max cases, or
13 will we be trying to focus on realistic cases -
14 - and I think you all know the distinction,
15 we've talked about this often enough.

16 So SC&A has given us four proposals, four
17 alternatives. The first, their alternative
18 one, is 80 cases that encompass a line-by-line
19 review and would likely be mostly min/max
20 cases. For the same amount of money they can
21 do 110 individual DR reviews with discretion
22 given to the SC&A team -- again, mostly min/max
23 cases. Also for the same money they would do
24 55 reviews, with discretion granted to the SC&A
25 team, but there would be a greater

1 concentration of realistic cases, and the cost
2 there is roughly \$600,000.

3 They give us an alternative 2B for \$890,000,
4 which would be 80 cases, discretion to the SC&A
5 team, trying to focus on realistic cases. And
6 I hope that comes through. John can -- can
7 better clarify.

8 So again, what you have in your possession are
9 SC&A proposals for the work that I've just
10 outlined. You also have a rollup sheet that
11 would amount to \$3,200,000 roughly for the work
12 I outlined for the -- the \$600K alternatives
13 for Task IV, and then if we were to look at the
14 80 cases with bias towards more realistic, the
15 overall SC&A proposal then is approaching \$3
16 and a half million.

17 So again, what I would like to see us do today,
18 after discussion and further elaboration on
19 this, is to give David Staudt the authority he
20 needs to move forward to implement SC&A's work
21 for next year, 'cause I don't think anybody
22 that I could imagine talking to would want to
23 see a break in the -- the quality service that
24 SC&A has been providing to the Board and the
25 program overall.

1 I'll stop at that and, you know, turn to John
2 to -- to say what needs to be said to make what
3 I said more understandable or more complete.

4 **DR. MAURO:** Yes, thank you, Lew. Lew, by the
5 way, you did a excellent job in digesting and
6 communicating the -- the concepts. What I can
7 do -- certainly (unintelligible) any questions
8 (unintelligible) through with this -- if you
9 folks have in front of you each of the
10 proposals, we could go through the -- the work
11 hour allocations and how I came to where I came
12 for each one of these tasks. If you could open
13 up to Exhibit 1 in -- for our Task Order I
14 proposal, this is the task order dealing with
15 site profile reviews --

16 **DR. MELIUS:** This is Jim Melius, if I can
17 interrupt a second. Wouldn't it be best if we
18 talked first about the scope of what's included
19 in the task orders rather than trying to
20 estimate the hours and so forth, 'cause --

21 **DR. MAURO:** Sure.

22 **DR. MELIUS:** -- I -- I think we need to discuss
23 certainly the issue with the individual dose
24 reconstructions and it -- I mean I hate to have
25 us, you know, later on talk about scope and

1 make changes that -- that affect the hours, we
2 go back -- go back over those.

3 **DR. WADE:** Right, I think that's a good
4 suggestion, Jim.

5 **DR. MAURO:** Okay.

6 **DR. ZIEMER:** I agree, and I think maybe what --
7 what we should do here -- this is Ziemer -- is,
8 you know, take each one, see whether or not we
9 agree with the scope. Once the scope is
10 established, I think the rest becomes more pro
11 forma anyway. There may be some details the
12 Board wants to dig into, but the scope's going
13 to be the key issue on each of these.

14 **DR. MAURO:** Okay.

15 **DR. ROESSLER:** Before we get into the
16 individual scopes, I'm looking at the Task IV,
17 the two different options. There's one --
18 really includes 1, 2A and 3, and the other's
19 2B. Do those two options depend on what money
20 actually does come through, or is there
21 something else in there that would lead us to
22 pick one over the other?

23 **DR. WADE:** No, what -- I mean I would hope --
24 this is Lew -- that -- I think both options are
25 available to the Board under the target funding

1 that I think we would have. Granted, the more
2 expensive option would leave us with less of a
3 margin to work with. But again, I -- I would
4 rather the Board start by, you know, deciding
5 what it thinks is appropriate and right, and
6 then we'll try and deal with the money after
7 then. But I think there is funding to cover
8 either of the -- the cost options under Task
9 IV, as I look at it right now.

10 **DR. ZIEMER:** Task IV, Gen and Board members, is
11 -- really you can always adjust the numbers up.
12 I think the key thing there is -- is more the -
13 - the kinds of dose reconstructions you want to
14 do, the -- the -- the best-estimate cases or
15 the line-- and you know, allow some discretion
16 on the others. For example, if you pick option
17 2B and you don't get enough money, you can
18 always lessen the number of cases and keep
19 still the same philosophical approach on what
20 you're doing.

21 **DR. WADE:** Right. Or even adjust between
22 tasks, say --

23 **DR. ZIEMER:** Yeah.

24 **DR. WADE:** I think the -- right, I think the
25 talk today, Gen and Paul, would be what's the

1 sense of the Board as to the kind of work it
2 would like to see done, and then we'll deal
3 with the money as we go.

4 **DR. ROESSLER:** Okay, good. That clarifies it.

5 **MR. GIBSON:** This is Mike Gibson. I'd just
6 like to ask Dr. Wade, is the money -- could you
7 briefly describe -- is the money limited to
8 what we can authorize SC&A to -- or vote on
9 SC&A to do, as opposed to -- and kind of give
10 us a comparison as far as what NIOSH
11 contractors -- are their -- are their monies
12 limited or -- you know, if SC&A gives you a
13 proposal and ORAU gives you a proposal, are the
14 monies limited and who controls those monies
15 and who -- who grants and allows those monies?

16 **DR. WADE:** To give you a -- the short answer,
17 Mike, the money that we're talking about
18 historically, and I assume in the near future,
19 flows to HHS/NIOSH from the Department of
20 Labor. So again there would be negotiations
21 between the Departments as to the funding
22 required, and then the Department of Labor
23 really controls the funding. Once the money
24 comes to NIOSH, then we act consistent with the
25 -- the proposals we had made, with limited

1 amounts of discretion.

2 The question of whether or not NIOSH should ask
3 for more money for review and less money for
4 ORAU is an internal NIOSH decision that we've
5 taken. Certainly the Board could weigh in and
6 offer guidance on that. There is always
7 flexibility in these things, and there's always
8 uncertainty in them, as well. So the \$3.5
9 million number for SC&A has been a number that
10 we've grown to over the last years to, I think,
11 provide adequate funding for the scope of the
12 review activity as the Board has outlined it.
13 If the Board wants to push for more, then I can
14 take that as an instruction and see what I can
15 do in terms of securing more. But that's --
16 this -- that's where we are right now.

17 **MR. GIBSON:** Okay. And as far as -- as far as
18 a percentage, could you give me an idea of the
19 amount of money, percentage-wise, for NIOSH
20 contractors as opposed to our contractor?

21 **DR. WADE:** Boy -- I mean I would ask NIOSH
22 people on the phone to help me with that. Jim
23 Neton, are you on the line?

24 **DR. NETON:** Yes, I am.

25 **DR. WADE:** What do we spend in terms of the --

1 the doing of dose reconstructions and site
2 profiles in a year that would include the
3 principal contractors and NIOSH? Do you have a
4 number off the top of your head?

5 **DR. NETON:** You know, I really don't. I don't
6 have it off the top of my head.

7 **MR. GIBSON:** Is your -- I think your
8 contracting --

9 **DR. NETON:** I can certainly get this.

10 **MR. GIBSON:** -- officer's on the line. Does he
11 have an idea of that?

12 **DR. WADE:** I don't know if -- David, do you
13 know the cost of the ORAU contract per year?

14 **MR. STAUDT:** No, I -- I think they had a --
15 probably ran like \$4 million a month, but I'd
16 have to get that exact number for you.

17 **DR. WADE:** Okay, we can get the number, Mike.
18 The number that I will get back to the Board
19 will be -- it will look at the principal NIOSH
20 contractors that are involved in the doing of
21 dose reconstructions, the development of site
22 profiles and SEC petition reviews, as well as
23 NIOSH's own staff, contrasted to the \$3.5
24 million that we spend on the SC&A contract.

25 **MR. GIBSON:** Okay, and I -- you know, I only

1 ask that because, you know, we're not all
2 professionals on the Board and we rely on SC&A,
3 and you know, I would just like to see the
4 distribution of -- I know that the dose recons-
5 - ORAU's overall dose reconstructions and stuff
6 take a lot of work and a lot of money, but I
7 would just like to see kind of a -- a
8 percentage or a cost of the overall contrast
9 between the two.

10 **DR. WADE:** Right. I think it's reasonable for
11 any group who's -- who's reviewing work to
12 decide what percentage of the -- the cost spent
13 in doing the work should be spent in reviewing
14 the work. And I'm sorry I don't have that
15 number at my fingertips. It's not the part of
16 the business that I'm most intimately involved
17 in. I know the SC&A numbers, but not the
18 others.

19 **MR. STAUDT:** Mike, this is David Staudt. When
20 we get proposals in from SC&A, we -- we are
21 obligated to look at the statement of work and
22 the hours proposed, and we analyze that and we
23 confirm other direct rates that are applied to
24 that, so when you're looking at dollars, we --
25 we have to look at a specific statement of work

1 and -- and go from there. Although you may
2 want to compare the total dollars against ORAU,
3 we -- I'm obligated to look at those individual
4 task orders and make sure that they are priced
5 reasonably, so that's -- that's our main job.

6 **MR. GIBSON:** I understand that, David, and all
7 I'm saying is when you go to the Department of
8 Labor and request funds, I would just like to
9 know overall what you request and see how that
10 flows down to SC&A and -- and the others.

11 **DR. WADE:** Yes, Mike, we can get you that. I
12 don't know if we can get it before the end of
13 this call, but I can certainly get it before
14 the next meeting.

15 **MR. GIBSON:** That's fine, Lew. Thank you.

16 **DR. ZIEMER:** Maybe just to clarify that
17 further, the request itself is usually tied in,
18 is it not, with something similar to a work
19 statement in terms of what is being -- it's not
20 just a blank check.

21 **DR. WADE:** Correct.

22 **DR. ZIEMER:** In other words --

23 **MR. GIBSON:** Yeah, I -- I understand that. I'm
24 -- I'm just saying -- you know, I just want to
25 make sure that we have the thorough review that

1 we need from our contractor as opposed to the
2 work done by the other contractors.

3 **DR. ZIEMER:** Uh-huh. Uh-huh. Okay, are we
4 ready to proceed then on the individual tasks?

5 **DR. WADE:** Right, we could begin, as Dr. Melius
6 proposed, by looking at the -- the scope of
7 work of each task. And so Task I is site
8 profile reviews. And there, if I'm not
9 mistaken, John, it's five new reviews and a
10 redo of Savannah River Site.

11 **DR. MAURO:** That's correct, and the five new
12 reviews includes the OTIBs and other procedures
13 that are site-specific. One of the things
14 we're finding out is the site profile very
15 often has accompanying it a variety of other
16 documents, including workbooks and including
17 OTIBs and procedures that are specific for that
18 site -- specific aspects of that site, so what
19 we did is say that when we do the review we
20 will review the -- the full suite of documents
21 that are associated with the site profile. So
22 we're basically doing five of those, and we
23 estimate it's about 1,300 work hours per site
24 profile review with its accompanying documents
25 to deliver that first draft report, the large

1 document that shows up. And then separate from
2 that, we've allocated 150 work hours for the
3 closeout process for each one of those site
4 profile reviews. And so those are the --
5 that's the -- the way we've cost this out. We
6 --

7 **DR. ZIEMER:** John, this is Ziemer. Didn't you
8 have some money in there to close out also some
9 of the current ones?

10 **DR. MAURO:** That's correct. We assume that we
11 are going to need to close out in that fiscal
12 year 11 of the site profiles, that is -- that
13 would -- that would include of course the --
14 the new five, and six additional ones that are
15 still in the hopper, so to speak. We -- we
16 expect that we are -- I know we're in the
17 closeout process of many of the -- for example,
18 Nevada Test Site -- but there are others that
19 are -- have been -- are completed and will be
20 completed by September. By the way, we will
21 complete by September all 16, and you will have
22 the draft reports in your hands for all 16, but
23 by no means will we be in a position to -- and
24 -- and we -- our -- my expectation right now is
25 that we will have exhausted, or close to

1 exhausted, all of our resources for Task I by
2 the end of September, and we will have
3 delivered the major products. Namely, all of
4 the site pro-- draft site profile reviews and
5 all of the workbook reviews that are within the
6 current fiscal year 2006 site profile -- 2006
7 budget for -- and scope for Task I, but we --
8 well, what I've done is ask David and -- and
9 Lew -- that is, we are probably going to need
10 some additional resources in fiscal year 2007
11 to continue the closeout of the site profile
12 reviews that will carry over into next year.
13 You know, the 16 that are part of fiscal year
14 2006. I believe that there will probably be --
15 approximately, I believe, five of those are --
16 five or six that will carry over and I've asked
17 for 1,000 work hours specifically -- that --
18 that's a request over and above what was in the
19 scope of work that was requested. So
20 altogether, in effect, you can think of Task I
21 as consisting of three types of activities:
22 the re-- the review of the new site profiles
23 and the delivery of these draft reports, then
24 the -- and then the expanded review of those
25 very same documents, and the third element is

1 the support of the closeout of the previous
2 fiscal year 2006. Total bottom line is 8,750
3 work hours to perform that work.

4 The thing that's a little bit new here is that
5 we've added in the workbooks and the OTIBs and
6 any associated procedures that are associated
7 with it, because in reality is we find that we
8 do that anyway, so we wanted to make it -- you
9 know, formalize it, incorporate it into the
10 process.

11 So that's Task Order I, if there are any -- any
12 questions?

13 **DR. MELIUS:** This is Jim Melius. I have some
14 questions regarding site profile revisions,
15 specifically to Hanford, but this may refer to
16 some of the others that I'm not familiar with.
17 We found when we went into -- started to get
18 into comment resolution on Hanford that NIOSH's
19 most common response to a SC&A comment was
20 well, we'll address that in the revised site
21 profile document, either underway or, you know,
22 is in some-- someplace in the process, and
23 we're still trying to figure out exactly where
24 we are with -- in terms of trying to review
25 that site profile and where we are in the

1 process. I -- I just am concerned that -- you
2 know, of these that we've done or have been
3 completed so far, how many that when we go to
4 resolve the comments we're going to find that
5 there's a whole new set of revisions that
6 haven't been reviewed yet.

7 **DR. MAURO:** Yes, I understand your concern. In
8 fact, that's exactly what happened with
9 Savannah River. Enough time passed between our
10 completion of Rev. 2 -- I believe it was Rev. 2
11 of the Savannah River site profile, and then we
12 went to the close-- closeout process. By the
13 time we actually entered the closeout process,
14 there is a Rev. 3 out, which requires -- which
15 is really a redo. So as a result, we asked for
16 additional 500 work hours over and above what
17 we -- so that we could review Rev. -- Rev. 3.
18 Now, right now we are -- I do not believe we're
19 in that position on any other -- except perhaps
20 Bethlehem Steel, if -- we should talk about
21 that for a minute, but let me first answer your
22 question.

23 With let's say Hanford, it's our understanding
24 that there is a revision of the Hanford site
25 profile, but since it's not in place right now,

1 my -- my assumption is that we're going to
2 treat each of the existing site profile review
3 reports as if it's going to enter the closeout
4 process as we planned. Namely, we will hold
5 one or two meetings. We've allocated 150 work
6 hours to participate in those meetings and
7 close out those issues. It's certainly
8 possible that that closeout process could
9 expand. It could expand if -- if a -- if a new
10 -- between now and say INEL, as an example. We
11 haven't really started the review closeout
12 process for INEL. If an INEL revision is --
13 emerges, a major revision, not -- not some --
14 not some OTIB or other document, but a major
15 revision to the document, and we are -- it's --
16 we're -- SC&A's requested to re-- well, let --
17 before we enter into the closeout process,
18 let's first review this revision. Well, all
19 bets are off on the 150 work hours that we set
20 aside for the closeout process for INEL. So
21 yeah, there's some vulnerability here, and I --
22 and my intent is to keep you all very much
23 apprised of when it's being sought to develop
24 in a way -- and this is our greatest
25 vulnerability is the closeout process. As you

1 probably are aware, setting aside 150 work
2 hours for a closeout is a relatively modest
3 budget.

4 Now we could be very optimistic and assume that
5 the closeout process will go quickly. I was
6 very impressed with what transpired with the
7 Nevada Test Site. The last meeting we had, by
8 and large -- except for I believe a few items -
9 - there's -- there's general agreement what
10 needs to be done, and there really isn't very
11 much more. Once -- I think there are a few
12 open items regarding resuspension factors, et
13 cetera, but I -- it's -- it certainly seems
14 feasible to be able to go through the closeout
15 process for Nevada Test Site within the 150
16 work hours.

17 Now whether or not the Board is going to ask
18 SC&A to issue a final version -- we really have
19 never talked about this, and I'm glad you
20 brought this up because right now we have our
21 matrix and we have a documentation of the
22 closeout process for each issue, and so it does
23 represent a record of how each issue has been
24 closed out. But to date we have not gone back
25 and revised a site profile review report in

1 light of the closeout process. And I guess as
2 it stands now, it is not my expectation that we
3 would be doing that, and our budget does not
4 include anything to go back and really rewrite
5 the -- the -- the site profile review to
6 reflect the -- to the -- what -- what
7 eventually occurs at the closeout process. So
8 yes, I hope that answers your question, kind of
9 late in the answer.

10 **DR. MELIUS:** Well, it does and it doesn't. I
11 mean I've just been concerned that -- not about
12 as much your estimate of hours, but that we go
13 through a closeout process that by the time we
14 go through it, it's meaningless because there
15 are very significant changes that have been
16 made in the -- the site profile. And my
17 impression from the -- the Hanford review and
18 NIOSH's response to your Hanford site profile
19 review was that certainly significant
20 proportion of the major issues were being
21 addressed in a new document and that somehow we
22 need to take that into account in -- in how
23 we're, you know, budgeting our review time. I
24 mean that -- to me it doesn't make any sense to
25 have a site profile review that -- where you

1 comment and the comments back from NIOSH are
2 entirely well, we've already changed that, or
3 we're in the process of changing that.

4 **DR. MAURO:** The way I've been looking at that
5 is that's -- that's good news. What that means
6 is that the issues that we put before NIOSH
7 expressing our concerns have been looked at by
8 NIOSH and NIOSH has taken some action on these,
9 and perhaps some other matters that they feel
10 is necessary to make a revision, so we sit
11 quietly. In other words, we don't burn up
12 hours. Basically -- let's say the -- for a lot
13 of comments, such as the Nevada Test Site, the
14 statement is made that yes, we concur and we
15 plan to make these revisions. And then our
16 role is not to take any action until those
17 revisions are made. So if -- it's -- it's
18 entirely possible that then once those
19 revisions are made, it -- it is not going to be
20 -- it's a matter of just -- now we really
21 haven't talked very much about this, but I
22 presume the Board would want us to go and take
23 a look and see in fact -- if in fact those
24 revisions have in fact been made. But right
25 now we've never reached that point.

1 I think we might be at that point right now
2 with Bethlehem Steel. I noticed that -- you
3 know, we -- the very first site profile that
4 went through this process where we identified a
5 number of issues and -- and we went through the
6 issues closeout process, all the issues were
7 closed out on the matrix, most of which were
8 closed out in terms of -- there were six major
9 issues, and NIOSH's position was yes, we will
10 address those issues in -- in the revised
11 Bethlehem Steel site profile. I noticed on the
12 web that there is not in fact a revised
13 Bethlehem Steel site profile on the web.
14 Now my understanding is we are to take no
15 action on that. And if we are to -- requested
16 to take some action to check the Bethlehem
17 Steel revised site profile that has recently
18 come out and crosswalk it against the -- the
19 six major issues that were discussed during the
20 closeout process, right now we don't take any
21 action on that because it is not within the
22 budget of this proposed scope of work, nor was
23 it within the budget of our original fiscal
24 year -- original -- I think this was 2005/2006
25 time period scope of work. So yeah, we do have

1 a little bit of a hole here in terms of how do
2 we really achieve closure on the back end of
3 this process. And -- and then right now the
4 way we've laid out our budget -- really our
5 budget, in terms of closeout, is really to
6 engage NIOSH in a limited dialogue after we
7 submit our site profile review report, and then
8 we just set aside 150 work hours -- which
9 basically allows us to have one, perhaps two
10 meetings, work off -- build up and work off a
11 matrix closeout document and get to the point
12 where we say okay, by and large, we all agree
13 that this needs to be changed, this needs to be
14 changed and NIOSH would say yes, we -- we are
15 in the process of changing that. And/or we say
16 -- or we understand NIOSH's position and we
17 know -- we concur in their position and we
18 withdraw that particular comment and close it
19 out -- and so that represents the closeout
20 process.

21 And we really haven't taken the next step to
22 say okay, once that's accomplished, is there
23 anything more that SC&A might need to do to
24 truly achieve closeout on these issues, and --
25 and I guess we could use some guidance

1 regarding that matter. Right now our budget
2 for fiscal year 2007 for Task Order I does not
3 include let's say the very last step in this
4 closeout process, which would be to review the
5 revised documents when they emerge, 'cause I
6 don't think 150 work hours that we set aside is
7 -- is sufficient to actually do that final
8 review and then revise let's say our site
9 profile review.

10 **DR. ZIEMER:** This is Ziemer. Let me, though,
11 comment on this issue. If in fact a revised
12 site profile emerges on the scene after you've
13 made your review of the previous version, and
14 there would be presumably a matrix developed as
15 part of the regular closeout process, then it
16 seems to me that NIOSH's response in the matrix
17 could include something from the revised
18 document. Even though you haven't reviewed the
19 revised document, they could show that as their
20 response and you, as a matter of course in
21 assessing whether you think the response is
22 adequate, would be in fact, as part of the
23 closeout, reviewing in a sense a part of the
24 revision --

25 **DR. MAURO:** That would be a very efficient --

1 **DR. ZIEMER:** -- because you would be reviewing
2 that response.

3 **DR. MAURO:** I agree entirely, so that would
4 avoid having to let's say reread and re-review
5 an entire document, but we just --

6 **DR. ZIEMER:** You would be reviewing the issues
7 that were raised in the original document. Now
8 it's quite true there may be some new issues in
9 a revised document that you have not even
10 thought about. But at least the ones that
11 arose from the original one, if -- insofar as
12 they've been addressed in the new one, would
13 have been taken care of.

14 **DR. WADE:** Right. This is Lew Wade. I think
15 it's a matter of degree. I mean Dr. Melius
16 raises a fundamental problem that -- that
17 exists in the way we've designed the system. I
18 think it's incumbent upon each workgroup when
19 it's -- when it begins its review, to sort of
20 assess the state of play and determine if we
21 have a situation where there is no reissued
22 site profile and therefore the review stands
23 and we can proceed forward. Or, on the other
24 end of the spectrum, there is a drastically
25 altered new site profile that might require

1 going back to ground zero and review from the
2 beginning. Or, if we're somewhere in between,
3 as Dr. Ziemer just mentioned, there has been a
4 revision --

5 **DR. ZIEMER:** (Unintelligible) and on a
6 workgroup to make a recommendation on what to
7 do in that case.

8 **DR. WADE:** Right, and if -- remember Dr.
9 DeHart, when he began the Savannah River
10 process, said he thought that going back to
11 ground zero was the appropriate action. If --
12 if Dr. Melius feels that's the case in Hanford,
13 then we'll adjust contractually. I think in
14 each case a judgment's going to be -- have to
15 be made as to just where we are.

16 **DR. MELIUS:** But my -- my point is, is there an
17 adequate number of work hours in this task to
18 be able to do that? 'Cause 150 to, you know,
19 resolve these is not a lot of hours. And Han--
20 if Hanford's an example -- I mean we've got
21 LANL, we've got some other very big sites.
22 They're complicated sites. I don't think we
23 can expect the original site profiles to be
24 comprehensive, and there will be revisions,
25 additions and -- and so forth, and we need to

1 plan our reviews accordingly. And that's why I
2 have concern about the proposed scope of this
3 task. I just don't think it's adequate to
4 address that and I -- I don't want to get us in
5 the position of having to put this off -- you
6 know -- you know, if the revisions of the site
7 profile are ready, I don't want to have to put
8 it off a year till we review it because, you
9 know, we -- we don't know this, but we could
10 have SEC petitions, so forth, coming in from
11 some of these sites, in which case -- we do,
12 actually, for -- for LANL, one that's in
13 process somewhere -- that -- that's going to
14 sort of -- that need to speed up the review
15 process, and I'm concerned that -- make sure
16 that we have enough, you know, hours and time
17 in this proposal to address that.

18 **DR. WADE:** Yeah, the -- the mechanisms
19 available to us now, if we were to find that
20 there were several more like Savannah River
21 that would require an extensive review, then
22 the mechanisms open to us would be to -- to
23 look into this task and possibly not initiate
24 several new reviews of the five new reviews,
25 and replace them with re-reviews. Or we could

1 look for other money within the con --
2 (telephonic interruption) spending funds. I
3 think we do have to keep our eye on this issue.
4 I think the proposal as written gives us some
5 flexibility, but again, I think it -- it's
6 judgment that has to be made on a case by case
7 basis.

8 **DR. ZIEMER:** This is Ziemer. John Mauro, did -
9 - is the 1,000 hours of additional work to
10 close out the six cases based on -- pretty much
11 on your -- is -- well, basically it's about 150
12 per --

13 **DR. MAURO:** Exactly.

14 **DR. ZIEMER:** -- cases. That's based on
15 previous years experience with (unintelligible)
16 --

17 **DR. MAURO:** Well, we really ha-- no, as a
18 matter of fact, it's -- it's what I would
19 consider to be an optimistic -- it would be
20 more based on if things go as smoothly as they
21 did with Nevada Test Site and that site
22 profile. The reality is the only -- the only
23 case that we really went through the entire
24 process would be Bethlehem Steel. And as you
25 probably know --

1 **DR. ZIEMER:** That took more.

2 **DR. MAURO:** -- that took -- there was just as
3 much time involved in the closeout as there was
4 in the original document.

5 **DR. ZIEMER:** Yeah.

6 **DR. MAURO:** So -- so we held -- we have two
7 extremes. We have one where the closeout
8 process could be as expensive as the initial
9 preparation of the draft report, and the other
10 extreme is we might be able to do it in 150.
11 The proposal that you're looking at right now
12 is -- is the -- is optimistic.

13 **DR. ZIEMER:** I suspect that Jim's discomfort is
14 an intuitive one, and I think I would share
15 that intuitively -- 150 hours doesn't seem like
16 very much to close out a big site.

17 **MR. GIBSON:** This is Mike, I would tend to
18 agree with you, Dr. Ziemer and Jim, that these
19 SECs come in for the different sites -- we're
20 going to find issues where they may have to go
21 back to ground zero.

22 **DR. MAKHIJANI:** Dr. Ziemer, could I say
23 something? This is Arjun.

24 **DR. ZIEMER:** Sure.

25 **DR. MAKHIJANI:** Yeah, we -- John just mentioned

1 the Nevada Test Site, and it is moving rapidly,
2 as Mr. Presley informed you this morning. But
3 as we noted in his worksheet from the meeting,
4 there are -- one of the reasons it's moving
5 very quickly is that NIOSH has said it's going
6 to -- you know, in 20-odd items that it -- some
7 of them were resolved by the SEC. There are a
8 significant number of items where NIOSH is
9 making major revision to the site profile.
10 Now one of the questions I think that -- come
11 up in this discussion just a few minutes ago
12 was we're closing out this matrix, but then
13 NIOSH is revising the site profile. For
14 instance, beta doses. It said it is going to
15 produce a method to calculate beta doses up to
16 1966 when -- even though there were no
17 measurements of beta dose. That will remain as
18 an unreviewed item at the closeout of this
19 matrix. So there's -- there's a procedure at
20 the back end of the matrix because NIOSH has
21 not yet published a revised site profile by the
22 time we finish the matrix.

23 **DR. ZIEMER:** Yeah. Yeah.

24 **DR. WADE:** See, and the other issue is SC&A's
25 role versus the Board itself's role in terms of

1 accomplishing some of these verifications.
2 That's something we have to work through as
3 well.

4 **DR. ZIEMER:** Well, Lew, your point was that as
5 we get into it we can readjust if necessary --

6 **DR. WADE:** Right.

7 **DR. ZIEMER:** -- the allocation of -- of these
8 tasks in terms of time and effort and different
9 sort of subsections.

10 **DR. WADE:** Right.

11 **DR. ZIEMER:** Increase the closeout time,
12 decrease the main time and so on.

13 **DR. WADE:** But I mean I don't doubt what --
14 what Dr. Melius is saying to be true. It's
15 quite possible we'll have to reserve one or two
16 of those five new slots to accomplish a major
17 re-review. I just don't know that yet, and
18 won't know until the workgroups start to look
19 into it.

20 **DR. MELIUS:** Yeah, but -- this is Jim -- I
21 guess I'm concerned that we're going to get
22 into -- partway through the year and not have
23 adequate resources to address some of the
24 revisions, changes and, you know, et cetera to
25 some of the major sites. And whether we're --

1 the pressure's from an SEC petition or the
2 pressure's from the fact that NIOSH has already
3 completed a number of dose reconstructions, you
4 know, based on the original site profile,
5 whatever -- I mean there -- lots of issues that
6 are -- or holding off on doing dose
7 reconstructions pending completion and -- and
8 review of -- of some of these documents. I --
9 I just don't think we should try to put
10 ourselves in a position not having adequate
11 resources to do the technical reviews that are
12 required. And it doesn't seem to me that we've
13 -- and maybe it's not possible to do. I -- I
14 know John's been trying to work on getting
15 additional information on -- on Hanford and
16 it's hard with, you know, summer vacations and
17 so forth to do that, but -- whether we've put
18 enough thought into how we're estimating what
19 our needs are for this particular task.

20 **DR. ZIEMER:** Well, let me ask a related
21 question and again maybe address John Mauro on
22 this. John, suppose that instead of 1,000
23 hours on -- on this closeout process, suppose
24 it was 10,000 hours. What would that mean in
25 terms of your ability to do the other site

1 profile work? Are we talking about shifting
2 the hours amongst a limited number of people,
3 or would you have to expand your staffing in
4 order to accommodate more effort on that back
5 end?

6 **DR. MAURO:** Yeah, I've -- I've been expanding
7 my staff to -- to deal with the growing nature
8 of the project. We -- we have brought aboard
9 one additional person, and quite frankly, I'm
10 hoping that Lynn Anspaugh, after he goes
11 through the vetting process, would be available
12 to help out on site profile reviews of site
13 profiles other than the site that he -- you
14 know, he would be precluded from working on.
15 So -- so yes, the answer is our -- our
16 intention is to add staff.

17 **DR. LOCKEY:** This is Jim Lockey. Lew, how much
18 leeway do you have for adding money to this
19 type of budget halfway through the year?

20 **DR. WADE:** Oh, it -- if I had -- if the money's
21 available, it's not difficult. The question is
22 the availability of funds. And again, that
23 really depends upon our ability to shift money
24 between the different contracts, depending upon
25 our assessment of need. So I don't think it's

1 out of the question that we could adjust
2 resources. It's just a matter of not knowing
3 at this point what that adjustment would have
4 to be.

5 **DR. MELIUS:** Yeah, but -- Lew, this is Jim. I
6 think if the original estimate is so optimistic
7 -- I -- I think -- I think we're fooling
8 ourselves if we think that, you know, that's
9 going to be adequate.

10 **DR. WADE:** Well, the question on this one is
11 the five new reviews. We don't have to do five
12 new reviews. If we were to determine, you
13 know, part-way into the fiscal year that the
14 re-review and closeout function was to consume
15 significantly more resource than we estimated,
16 we would have the ability to adjust within that
17 task. And I just don't know at this point
18 whether we should say no, it's not going to be
19 five new reviews, it's going to be three re-
20 reviews and three new reviews. That I don't
21 know at this point. I mean we could write that
22 into this task, that there -- there's
23 flexibility there, but I just don't know at
24 this point what we find when we look at Hanford
25 or when we look at LANL, when the working

1 groups really start to put their shoulder to
2 it, whether the budgets are adequate or whether
3 we'll need to forestall a new review and
4 replace it with a re-review.

5 **MR. GIBSON:** Lew, this is Mike, and that --
6 that kind of gets back to what I originally
7 started out asking. When you guys go to the
8 Department of Labor to request funds -- maybe
9 this is a different way to phrase is -- is
10 there -- do you have funds available under
11 NIOSH or CDC or whatever at -- are they
12 specifically allotted for SC&A and for ORAU, or
13 can you reroute money that -- from ORAU to SC&A
14 if they need additional funds or --

15 **DR. WADE:** We would need to frame that in our
16 proposal to the Department of Labor and there -
17 - there are always flexibilities. You know,
18 NIOSH has taken the position in the budgets
19 that it's submitted that the allocation of
20 funds between the doing of the work and the
21 reviewing of the work is -- makes sense to it
22 and is consistent with the instructions we've
23 been getting for the -- from the Board in terms
24 of the level of work that the Board is
25 requiring in terms of review. Those issues can

1 always be revisited. But you know, our view of
2 the balance of money spent on doing work versus
3 reviewing work is that we're at a reasonable
4 place. Now I can give those numbers to the
5 Board and the Board can decide what it thinks
6 about that, but within the management of the --
7 the program, that's the judgment that we've
8 made.

9 **MR. GIBSON:** Okay. Well, I just -- I just see
10 this as -- I mean it -- it's a growing process
11 and -- and we're all learning more and we're
12 all -- it's just getting deeper and, you know,
13 I share the concerns of Dr. Melius and -- and
14 Dr. Poston and others that -- you know, I don't
15 want to see -- well, and I'm not speaking for
16 them, but to me, if they have to -- if they
17 have -- if SC&A has a allotted amount of money
18 and they have to shift it to SC&A reviews as
19 opposed to dose reconstruction reviews, you
20 know, I don't think that's fair. I think
21 that's -- you know, that's robbing Peter to pay
22 Paul.

23 **DR. WADE:** Well, the alternative is you take
24 the money from the people who are doing the
25 dose reconstructions to the people who are

1 reviewing it, and those are all very difficult
2 judgments that have to be made.

3 **DR. MELIUS:** And the other alternative is to
4 get more money.

5 **MR. GIBSON:** Right, thank you, Ji-- thank you,
6 Jim.

7 **DR. WADE:** But that's not something I control.
8 Or that's not something we control.

9 **DR. MELIUS:** But there -- if we don't indicate
10 what the need is, then I think we're not
11 adequately doing our job as an Advisory Board.

12 **MR. GIBSON:** Uh-huh, absolutely.

13 **DR. MELIUS:** And I would point out that simply
14 shifting money from new site profiles I don't
15 think adequately addresses the need that there
16 are site profiles left that have not been
17 reviewed, there are dose reconstructions that
18 have been done on those. In some ways we sort
19 of defer to the site profile review when we're
20 doing individual dose reconstruction reviews
21 of, you know, dose reconstruction based at
22 those sites, and I think it's important that we
23 get these site profiles done, and I -- I have
24 concerns about deferring on -- on the new ones.

25 **DR. ZIEMER:** Let me insert as an additional

1 comment in here, an additional limiting factor
2 outside of our contractor is our own Board.
3 And it's going to be very important -- this is
4 -- I'm preaching to the choir, but it's going
5 to be very important that we get these lost
6 positions replaced fairly soon because Board
7 members, in terms of workgroups among all of
8 these, can only handle so much material, too.
9 And you know, we -- we can ramp up the
10 contractor and do all sorts of things, but
11 ultimately we have to be able to handle all
12 this material, review it, have our working
13 groups and make decisions. And that becomes a
14 kind of limiting factor in itself.

15 **DR. WADE:** It's become a pacing factor,
16 certainly, and it leads to the problems that
17 we're talking about.

18 **DR. ZIEMER:** Right, the number of -- of issues
19 we can handle in a given period of time.

20 **DR. MELIUS:** But can I point out two other
21 factors that I think weigh against that. One
22 is the SEC process. It's certainly been
23 extremely helpful to our SEC evaluation reviews
24 to have a site profile review already done.

25 **DR. ZIEMER:** Yeah, uh-huh.

1 **DR. MELIUS:** Secondly -- actually my original
2 set of questions on trying to delve into this
3 issue on Hanford was trying to see whether we
4 really needed to have a meeting to closeout a
5 site profile review when it seemed to me that a
6 good proportion of the major issues were being
7 -- were in revision. You know, it was a -- a
8 new revision of the site profile was being
9 worked on or some other -- other document that
10 would address the concerns that were raised by
11 SC&A. And to me, the question was, you know,
12 do we get a work -- try to get a workgroup
13 together and spend the time and effort, or was
14 our time better spent, you know, working on
15 other issues. We're all -- all have multiple
16 workgroup assignments and jobs to do, and so
17 this whole issue of the revisions and so forth
18 is also a question of how does the Board most
19 efficiently --

20 **DR. ZIEMER:** Right.

21 **DR. MELIUS:** -- (unintelligible) its time,
22 also. And I agree they're all linked and it's
23 a -- it's a hard -- hard balance and we can't
24 predict what SEC petitions are coming in at a
25 given point in time. But I also -- concerned

1 that if we don't address these issues up front,
2 we get halfway through the year and we've lost
3 our ability to modify the contract without
4 having to, you know, rob it from some other
5 place in the -- the contract.

6 **DR. LOCKEY:** I'd like -- this is Jim Lockey.
7 Maybe we can make a proposal to you, Lew, that
8 the Board is in a position that we suggest that
9 you make -- you make whoever you have to make
10 aware that at some point the Board has a
11 concern about adequate funding for perhaps
12 additional reports that may be needed in the
13 near future and a mechanism has to be put in
14 place to address that, if in fact that happens.

15 **DR. WADE:** Certainly I can do that.

16 **DR. MELIUS:** I would just suggest that -- I'm
17 not sure there's much more we can say on Task I
18 at this point. I think if we go through the
19 other tasks, let's see where we are at the end
20 and -- and -- and then we might have a better
21 idea of are the overall resources adequate.
22 What Jim Lockey just said may be something we
23 can follow up on or -- or -- or some other
24 mechanism, but we -- we need to -- you know, we
25 may find that they've overestimated some other

1 place.

2 **DR. ZIEMER:** Well, and -- and if they have or
3 even if they haven't, at some point on this
4 issue of the closing out of these things, if
5 1,000 hours for -- I think it's for six,
6 roughly 150 hours per site -- is not adequate,
7 or if we think it's marginal, it -- it may be
8 that we should indicate what we think it ought
9 to be and then the financial implications of
10 that will -- will appear. It may be that SC&A
11 would come up with a new number and -- and
12 maybe we end up going over the \$3 and a half
13 million, but at least you can go on record as
14 indicating what you think needs to be done.

15 **DR. WADE:** Uh-huh.

16 **DR. ZIEMER:** Any more on item one then? Let me
17 ask this and maybe ask David Staudt, do we --
18 do we need individual Board actions on each
19 task, or how -- what do you need to proceed?

20 **MR. STAUDT:** Well, I just think a consensus at
21 the end on which ones we can move forward to
22 and whatever directions, that's all we --
23 that's all I need.

24 **DR. ZIEMER:** Okay. So Board members, you want
25 to hear the total picture and then we can go

1 back and -- and take an action or a group of
2 actions. Is that agreeable?

3 **MR. PRESLEY:** That's fine, Paul. This is Bob.

4 **DR. ZIEMER:** Okay, let's go ahead with item two
5 then, John --

6 **DR. WADE:** Well, there's no -- then this --

7 **DR. ZIEMER:** -- I guess you'll (unintelligible)
8 --

9 **DR. WADE:** Yeah, Task III is the --

10 **DR. ZIEMER:** -- (unintelligible).

11 **DR. WADE:** -- review of the procedures and
12 workbooks, and here we have a proposal from
13 SC&A to look at 30 additional generic
14 procedures and associated workbooks.

15 **DR. ZIEMER:** And John Mauro, this -- you've
16 defined -- you identified these pretty well
17 already. Right?

18 **DR. MAURO:** Well, I prov-- yeah, I provided --

19 **DR. ZIEMER:** You have exhibit -- in there --

20 **DR. MAURO:** No, in a separate package, under
21 separate cover, I provided you with a list --

22 **DR. ZIEMER:** Right.

23 **DR. MAURO:** -- of all of the procedures that we
24 have not yet reviewed or have been asked to
25 review. So it becomes a matter of choosing

1 from the existing generic procedures that are
2 alive and well which ones -- which of those you
3 would like us to review. I'm basically
4 estimating that would require us about 50 work
5 hours to review each procedure, and that
6 includes if there's a workbook with that
7 procedure. We're finding that they go hand in
8 glove. Then I've set aside ten work hours for
9 the closeout of each review procedure. I think
10 this is a lot more manageable situation than
11 let's say what we just talked about under Task
12 Order I because, as you may have noticed, the
13 review process for the procedures that we're in
14 the middle of right now is much more -- in
15 other words, in one fell swoop, through one
16 matrix, we're able to capture the fundamental
17 issues on each of the procedures and go through
18 the matrix and get them closed. And I think
19 that we're dealing with a much more manageable
20 problem here and -- as opposed -- so I guess
21 I'm -- I'm much less -- and my experience has
22 been that we are doing very well in terms of
23 meeting our budgets, getting our deliverables
24 done on the review of procedures. We -- we've
25 been -- we've been good predictors of what we

1 think it will cost to get the product. Now of
2 course we're still in the process of -- of
3 closing out our previous set of orig-- of 30
4 procedures or so, I believe there were 30, the
5 first set -- but we -- and we're -- we have the
6 second set of procedures in your hands, but
7 we're well within budget. And so I feel as if
8 we've got this thing -- this is -- this doesn't
9 have as much uncertainty. It's not like the
10 site profiles --

11 **DR. ZIEMER:** Yeah.

12 **DR. MAURO:** -- which are very complex
13 documents.

14 **DR. ZIEMER:** Uh-huh.

15 **DR. MAURO:** The procedures deal with usually
16 very narrow issues, very well formulated -- as
17 you may have noticed in my previous
18 presentation, they were clear and quite fav--
19 quite frankly, in the last set, quite favorably
20 reviewed. We only had a few minor points. So
21 I don't -- I -- I think the budget we have here
22 for Task Order III for fiscal year 2007 we'll --
23 -- we'll be able to meet, perhaps even come in
24 under budget.

25 **DR. LOCKEY:** John, how many procedure books are

1 there --

2 **DR. MAURO:** Oh, the --

3 **DR. LOCKEY:** -- all together?

4 **DR. MAURO:** -- workbooks?

5 **DR. LOCKEY:** Yeah.

6 **DR. MAURO:** I didn't count them all up. Kathy
7 Behling, are you on the line?

8 (No response)

9 I don't know if Kathy's on the line. She's
10 sort of our records person.

11 **MS. BEHLING:** John, I am on the line --

12 **DR. MAURO:** Oh, fine.

13 **MS. BEHLING:** -- and quite honestly, I don't
14 have a number at the tip of my fingers here.
15 It -- it's a dynamic system and it does change,
16 and with the procedures and -- I know with the
17 procedures -- the ORAU procedures are up to at
18 least in the 60s -- no, in the -- in the --
19 yeah, the TIBs are in the 90s and the
20 procedures are in the numbers of the -- like
21 61, 62 range, but I really don't have an exact
22 number on my --

23 **DR. MAURO:** We're talking about 150 documents,
24 and to date we have reviewed 60, if that's
25 where we are, and now we're saying there's

1 going to be another 30 to add on to that. So I
2 mean we are -- we are reviewing -- I mean after
3 this next round, this -- the two -- this fiscal
4 year's round, let's say we will have completed
5 approximately 90 or so procedures out of the
6 approximately 150.

7 **DR. WADE:** Okay on III?

8 **UNIDENTIFIED:** Uh-huh.

9 **DR. MAURO:** That's -- that's Task Order III.

10 **MR. GRIFFON:** Just one question I -- this is
11 Mark Griffon. Just a point -- I think, John,
12 you said this but I just want to emphasize
13 this, that the first set of procedures reviews,
14 as we'll see on my upcoming presentation -- I
15 mean a lot of the -- this question of
16 resolution, and I think we've gone over this
17 with the site profile issues, too, but a lot of
18 the resolutions on these are "this issue was
19 revised in a subsequent procedure" or the --
20 you know, so --

21 **DR. MAURO:** Yes.

22 **MR. GRIFFON:** -- so we have -- again, we have
23 this question of, you know, does SC&A review
24 the next procedure, and I think in this -- at
25 least in -- in our workgroup we've sort of said

1 we wanted SC&A to review the part of that
2 procedure that addresses that particular
3 finding --

4 **DR. MAURO:** Yeah.

5 **MR. GRIFFON:** -- but not maybe the whole thing,
6 but in some cases I think, you know, it ends up
7 being a majority of the procedure has to be
8 sort of looked at again --

9 **DR. MAURO:** Yeah.

10 **MR. GRIFFON:** -- so that -- you know -- I -- I
11 know -- I know it's going probably quicker, but
12 I just want to --

13 **DR. MAURO:** Yeah, there's no doubt that the
14 back end of the process we're in, on all of
15 these tasks, is -- has been a -- a fuzzy edge.
16 The only place that seems to be -- have a
17 fairly clean edge has been the review of the
18 cases under Task IV. But you're right, the
19 back end of the review process of Task I, that
20 has been extremely fuzzy. I mean we -- we --
21 it's open-ended. We don't know where it's
22 going to take us. It's dynamic because these
23 site profiles are being revised periodically.
24 Procedures are similar, but you know, I feel as
25 if they're more manageable because they're a

1 smaller level of effort. That is, to review a
2 procedure or a revision to a procedure is --
3 we're not talking about a large effort. We're
4 talking 50 work hours. And so even if there's
5 a new proce-- you know, a new procedure comes
6 out or major revision to a procedure, it's --
7 it's sort of a manageable situation, unlike
8 when a new site profile comes out.

9 **DR. ZIEMER:** Right.

10 **DR. MAURO:** It becomes a -- a -- quite of -- a
11 pulse moving through the system. You're right
12 -- you're right, though, Mark. The back end of
13 the procedures -- I guess I just perceive it as
14 cleaner and easier to manage. But you're
15 right, there's still a lot of fuzziness about
16 the closeout also.

17 **MS. BEHLING:** This is Kathy Behling again, and
18 if I can just correct something. I pulled out
19 a document and we're actually up into about
20 Procedure -- maybe 97 or so procedures, and
21 about 50 or so Technical Basis Docu-- or
22 Technical Information Bulletins. And if I can
23 also add to the issue of the procedures review,
24 when we first started -- when we did the first
25 selection of procedure reviews we were looking

1 at some generic procedures and some procedures
2 that were a crux of the dose reconstruction
3 process. Where now as we're starting to look
4 at procedures, the new TIBs and the new
5 procedures are much more specific to a certain
6 issue or so -- a certain to res-- resolution
7 process from either the site profile review or
8 the review of other procedures. So they're a
9 little bit more manageable, like John is
10 saying. But the issues resolution process is
11 still a fairly extensive process.

12 **MR. GRIFFON:** Okay.

13 **DR. WADE:** Okay on Task III?

14 **DR. MAURO:** Okay, that -- that was Task III --
15 II -- yeah, as you know, II is -- we skip over
16 because II is completed and it has not been
17 reactivated again.

18 **DR. WADE:** Task IV is the individual dose
19 reconstructions with the -- with the -- the
20 different alt-- alternates.

21 **DR. MAURO:** That's the -- that's Task Order IV,
22 and -- yes, and I -- and now you -- you
23 characterize it very well. I think to -- to go
24 back to it, we -- we are now -- think of it
25 like this. The -- the -- if we continue

1 business as usual, we were doing basically 60
2 reviews each year and the Board would submit to
3 us, you know, packages of 20. We believe if we
4 stay doing business as usual, we probably --
5 for the same price -- can do 80, by noticing
6 that we're getting a lot better at it, so
7 you're -- so therefore if you look at our Task
8 IV proposal and you go to the exhibits page
9 where the Exhibit 1 and Exhibit 2 is -- Exhibit
10 1 is -- basically is our starting point. It's
11 sort of like the rock we stand on. Well, we
12 believe for basically the same price that we
13 did 60 last year we can do 60 this year -- I'm
14 sorry, we can do 80 this year for the same
15 price. And -- and when I say the same -- we're
16 talking about procedures that are predominantly
17 min/max. We're only -- out of each set of 20
18 there may be -- we have been -- you know, there
19 may only be two or three realistic cases that -
20 - that's what's been coming through the
21 pipeline and up -- up through the fourth set.
22 Okay? Whereas we see -- that's what we're
23 seeing. And -- and -- and -- but we -- one of
24 the -- so therefore I think that we are now
25 getting more efficient at putting out these

1 reports. So we're saying we can do 80 as
2 opposed to 60, which we did last year, if
3 everything stays as-is.

4 But we're saying -- one of the things that came
5 up at the last meeting is that boy, it would be
6 great if we could increase the through-put
7 because I know that you -- you're shooting for
8 two and a half percent of the total number of
9 adjudicated cases undergoing auditing, and at
10 the pace we're going that's not going to
11 happen. And one of the questions that came is
12 the-- is there any way we could pick up the --
13 you know, keep -- keep the price the same, but
14 -- but -- perhaps -- and still be -- do a
15 quality job, but maybe move out some more
16 audits.

17 Well, we -- we talked -- we got together and
18 talked that -- about that a bit and -- and
19 Hans, Kathy and myself were talking about well,
20 what can we do. And it turns out right now, as
21 you know, the audits that we're doing are
22 really very, very I guess meticulous in terms
23 of going through each and every item, every
24 number, you know, as you would like an IRS type
25 audit. We just look at everything.

1 We feel that it's probably certainly places
2 where what we've learned we could sort of reap
3 the benefits of a lot we've learned and -- and
4 perhaps zero in on areas that we feel are more
5 important and use a little bit discretion on
6 where we're going to really apply our resources
7 and where we'll back off a little bit based on
8 our experience. And if we're -- we're -- you
9 know, if we're given that flexibility, we
10 probably could do 110 ca-- cases for the same
11 price. So in other words, we could kick it up.
12 But that's still assuming that only a
13 relatively small percentage of them are these
14 realistic cases.
15 My sense is there probably aren't that many
16 real-- I'm not sure. I mean we -- we don't
17 know how many there are out there, and Kathy,
18 maybe you could help me out a bit, but at least
19 out of the first four sets that we've -- we --
20 we're -- you know, we finished three, we're
21 well into set -- we finished four, we'll well
22 into I guess set five, and we're not seeing
23 that many realistic cases coming through. That
24 doesn't mean they -- now the sixth set, the
25 last set that we just received, Kathy, do you

1 have any idea if -- are we starting to see a
2 lot more realistic cases?

3 **MS. BEHLING:** Well, the Board is making an
4 effort to select the realistic cases, and in
5 this last set, the sixth set, there's 13 of the
6 20 are best-estimate or realistic cases.

7 **DR. MAURO:** Okay, so that -- that is -- that is
8 moving that way. Well, where -- where I'm
9 going with this is that if things -- in effect
10 I have created a series of options here which
11 says that we could probably push it up to 110,
12 but that -- ca-- in other words, we could do
13 110 as opposed to 80 for the same price if we
14 were given a little discretion on backing off
15 on the level of detail.

16 Now if it turns out, though, that -- that we're
17 seeing -- what comes through the pipeline are
18 predominantly the realistic cases, for the same
19 price we could probably only do 55. In other
20 words, that first table, Exhibit 1, is probably
21 mislabeled a little. It really should say work
22 hour allocation for completion of 80/110/55
23 audits, because what they -- what that price is
24 is -- what we're saying, for the same price --
25 for the same price, we can do 80 of the same

1 kinds of things we've been doing all along. We
2 could do 110 of the same kinds of things we've
3 been doing all along except we're going to give
4 Hans and Kathy a little bit of discretion on
5 where they're going to put their efforts. And
6 finally, if in fact all of a sudden we start to
7 see a large percentage -- let's say two-thirds
8 -- of the -- of the cases are in fact
9 realistic, well, we probably are only going to
10 be able to do 55 cases for that price. Okay?
11 That's a good way to look at it. So that's
12 what Exhibit 1 does. It really gives you for
13 the -- for the same price -- I feel like I'm
14 selling fruit -- for the same price, we -- we
15 can do 80 versus 110 versus 55, where we're
16 playing off the degree of discretion and we're
17 playing off how many realistic cases might be
18 contained in the batch.

19 And that -- there brings us to Exhibit 2
20 whereby we say okay, if you do want 80 and you
21 give us a certain amount of discretion, but we
22 are saying that 60 of them are realistic and 20
23 are min/max, well, then the -- the price to do
24 those 80 goes up to this 8,200 work hours that
25 you're -- that's on the exhibit there.

1 Basically that's 120 work hours per case.
2 So -- so we created these options. I think
3 that was one of the things I was requested in
4 one of our last meetings. And so you can get a
5 feel for, you know, where we can go and really,
6 you know, we're looking for guidance from --
7 from you folks on -- you know, on -- on how
8 you'd like to proceed.

9 **DR. ZIEMER:** This is Ziemer. The Board has
10 already kind of indicated that we want to move
11 in the direction of best-estimates as much as
12 we can.

13 **DR. MAURO:** Okay.

14 **DR. ZIEMER:** Does everybody agree that that's
15 where we were moving anyway? Mark, I think
16 you've been kind of championing that right
17 along, too, have you not?

18 **MR. GRIFFON:** Yeah, yeah.

19 **DR. MAURO:** Okay. Well --

20 **MR. GRIFFON:** It is a question of the ca-- case
21 availability, too, though. I know that we --

22 **DR. ZIEMER:** Case availability comes into play
23 --

24 **MR. GRIFFON:** Yeah, right.

25 **DR. ZIEMER:** -- and I think when -- when John

1 says "mostly" here, it sounds like he's talking
2 about 25 percent of them would only be best
3 estimates. He said 20 and 60 --

4 **DR. MAURO:** No, no, the opposite. In other
5 words --

6 **DR. ZIEMER:** Or -- yeah --

7 **DR. MAURO:** -- it would be --

8 **DR. ZIEMER:** -- 75 percent would be --

9 **DR. MAURO:** Right, other words, it would be --

10 **DR. ZIEMER:** But whether -- whether we have
11 that many available would be a question.

12 **DR. MAURO:** Uh-huh.

13 **MR. GRIFFON:** Right.

14 **DR. ZIEMER:** And one other -- one other thing
15 I'll just point out in terms of our own
16 pattern. For example, there is an
17 intermediate point here that one could go to
18 and that is 60 cases, mostly best estimates.
19 Be a little less than the 80 case and a little
20 more than the 55 case, and that might be
21 another option you haven't included, and I
22 assume that proportionately the cost would be
23 somewhere --

24 **DR. MAURO:** Yeah.

25 **DR. ZIEMER:** -- between those two numbers, but

1 that might be an option the Board could
2 consider, too. It would give us some savings
3 over the 80 case, but would still meet the
4 intent of the Board and would stick with our
5 number pattern.

6 **DR. MAURO:** Uh-huh. Yes. And I think the
7 costing is pretty straightforward. I've almost
8 got it down -- everything's really a unit cost,
9 we --

10 **DR. ZIEMER:** Yeah, yeah, yeah.

11 **DR. MAURO:** -- so yeah, we could -- I mean if -
12 - if that -- if you'd be interested enough to
13 revise this --

14 **DR. ZIEMER:** Well, I just put this in the
15 hopper for the moment for the Board to think
16 about, as well as --

17 **DR. MAURO:** Okay.

18 **DR. ZIEMER:** -- maybe another option.

19 **DR. MAURO:** Sure.

20 **DR. MELIUS:** John, I think you're trying to
21 sell us 110 rotten fruit.

22 **DR. MAURO:** You don't like my --

23 **DR. MELIUS:** (Unintelligible) go for that one.

24 **DR. MAURO:** Okay.

25 **DR. MELIUS:** I have a -- a separate concern I

1 want to raise and -- and that's sort of who has
2 the discretion. I'm a little concerned that --
3 about the Board delegating the discretion on
4 what needs to be reviewed in cases to -- to our
5 contractor totally 'cause I think that sort of
6 leaves us uninvolved and I think also I'm not
7 sure we should be giving them that discretion
8 'cause I think we're some way expected to, you
9 know, certify that (unintelligible) of this
10 review was proper and that we've -- fully
11 addressing the program. I understand that --
12 the concept and I understand the -- the amount
13 of time that can be productively spent if it's
14 spent, you know, going in detail through a set
15 of calculations, you know, that -- you know,
16 where you're really not likely to find any
17 particular issues are not really helpful to
18 auditing that. I -- I would just think that if
19 we want to implement that concept that we need
20 to have a mechanism for the Board to have input
21 into what gets reviewed (unintelligible) --

22 **DR. ZIEMER:** Let me comment on that, too, Jim.
23 I think it's a good point and I was thinking
24 that the reviewer would make that -- it becomes
25 a discretionary thing because it's as he gets

1 into the case he'd say okay, I will sample -- I
2 don't have to sample every year but I'll do
3 every other year, whatever -- whatever it is he
4 decides to do to sort of shorten the process.
5 But then when it comes time to present that to
6 the review team of Board members for that case,
7 he would basically say -- or she would
8 basically say -- this is what I've done. I
9 haven't looked at these years or I have looked
10 at these years; is that okay or should I go
11 back and do some -- some additional things or -
12 - in other words, I think the Board members
13 could input that, even sort of after the fact,
14 because they have that opportunity during the
15 review process.

16 **DR. MELIUS:** I was thinking the same thing as a
17 potential approach, Paul. I -- I think what we
18 have to then keep in mind is that in some ways
19 that would be -- you know, same thing we do
20 with a site profile, sort of a revision -- time
21 involved there. We may be asking them to go
22 back and -- and spend more time than they, you
23 know, probably do now responding to Board
24 comments about the individual cases.

25 **DR. ZIEMER:** Yeah, but the alternative is that

1 you get them in advance and say okay, here's --
2 here's what we want you to look at, and that's
3 --

4 **DR. MELIUS:** That's hard, and I was thinking
5 well, as an alternative, put sort of a priority
6 set of -- of types of things that need to be
7 looked at. But I think that that is --

8 **DR. ZIEMER:** Well, my understanding -- if I
9 understand this correctly on -- and this only
10 applies, I think, to the min/max cases, does it
11 not? The -- the shortened stuff? Is that
12 rather than look at every line of every year,
13 you would -- the reviewer would, you know, may-
14 - maybe if there's 30 years of data, they would
15 look at 15 years of that or something, and if
16 everything matched up they'd say okay, I don't
17 have to look at every line. Hans or Kathy, is
18 that what we're talking about on this
19 discretion?

20 **DR. BEHLING:** Yeah, I would say perhaps there
21 are any number of areas where discretion would
22 come into play. You're just touching one of
23 them. But let me also point out a couple of
24 other instances.

25 For instance, we will possibly be getting dose

1 reconstructions that were performed let's say
2 two years ago when in fact a -- the TIB 8 and
3 10 revisions had not yet been made and we would
4 identify problems that we've already
5 encountered in the first 80, in which case
6 we've already resolved many of the issues by
7 having a dialogue through the resolution
8 process with -- with NIOSH and therefore we
9 would only be wasting our time to regurgitate
10 areas of concern that have already been
11 identified in previous dose audits and have
12 also been possibly resolved by this time,
13 except that we may be getting dose
14 reconstructions that are two or three years old
15 and therefore we would find recurrent problems
16 --

17 **DR. ZIEMER:** Things you've already identified.

18 **DR. BEHLING:** Yeah, that have already been
19 identified, have already been resolved, for
20 that matter, because of revisions to TIBs, et
21 cetera, and we would simply not want to waste
22 an awful lot of time in writing up findings
23 that have no meaning at this point in time.

24 **MR. GIBSON:** This is Mike. It seems to me,
25 though, also -- and I agree that, you know, the

1 best estimate dose reconstructions should
2 probably be the priority, but even on the
3 min/max, that's still based -- at least as far
4 as my understanding -- basically on the site
5 profile, too. And if there's still some
6 questions about the site profile, what does
7 that do about the bounding dose estimates?

8 **DR. BEHLING:** Well, oftentimes, Mike, some of
9 the maximized dose reconstructions are
10 oftentimes employee -- complex-wide procedures,
11 so the use of the TBD is frequently limited to
12 only select areas. For instance, occupational
13 medical exposures are different from the -- the
14 TIB that is a complex-wide one they would --
15 might use. But generally speaking, when you
16 talk about a maximized dose reconstruction,
17 overestimates are obviously the rule here and -
18 - and frequently they don't necessarily involve
19 very -- very specific information that is
20 commonly found in site profiles.

21 **MR. GIBSON:** So if I'm understanding you right,
22 it -- there could still be -- if the site
23 profile is -- is flawed in some way, there
24 still could be missed dose. I mean --

25 **DR. BEHLING:** There's no doubt, Mike. In fact,

1 what happens oftentimes is that when we get a
2 dose reconstruction, the first thing I usually
3 do is to look at the reference slip and define
4 even which site profile or TIB was used and
5 then match the values against that one, and if
6 it turns out we're at zero, we naturally go
7 back to the particular revision of a TIB or a
8 TBD that was used during the dose
9 reconstruction, and if there have been
10 subsequent revisions, we don't really look at
11 that necessarily unless we see that there was a
12 significant change to that TIB or TBD. But
13 generally speaking, we -- we -- we audit
14 against the references that are cited in the
15 dose reconstruction and the revisions that
16 those particular documents involve.

17 **MR. GIBSON:** Okay. And I'm not trying to be
18 argumentative with you, Hans, I -- I appreciate
19 your work. What I'm saying is if the site
20 profile document does not include all items or
21 -- or actions or isotopes throughout the site
22 because the people in charge of running the
23 program created the document and there was not
24 input from the workers, then how do we know
25 it's a bounding estimate?

1 **MS. BEHLING:** Mike, this is Kathy Behling.
2 Maybe I can answer the question. I think what
3 NIOSH is doing, and NIOSH can respond to this,
4 but as we find significant issues that are site
5 profile type issues, if they're going to impact
6 cases, NIOSH will go back to those cases -- and
7 in fact I believe they've been issuing PERs,
8 Program Evaluation Reports -- and they will go
9 back and -- and pull out all of those cases
10 that may be affected by any significant change
11 that is being introduced into the site
12 profiles. Is that correct, NIOSH?

13 **MR. HINNEFELD:** This is Stu Hinnefeld, and yes,
14 that's correct.

15 **DR. MAURO:** Kathy, I think Mike is saying that
16 what -- what do we -- where -- where do we --
17 how do we deal with the fact that we're looking
18 at a case -- let's say it's a Hanford case.
19 Now right now we have a number of issues
20 related to neutron dosimetry related to
21 Hanford. We do a review of a Hanford case and
22 we -- we have our report -- now we have a lot
23 of those. And -- but meanwhile there is some
24 question related to the adequacy of neutron
25 dosimetry at Hanford in the early years. The

1 question becomes -- I -- I think it's a -- a
2 really good question -- can we provide
3 meaningful critique of a particular case, say a
4 Hanford case --

5 **DR. BEHLING:** Let me respond to that.

6 **DR. MAURO:** Sure.

7 **DR. BEHLING:** As you know, John, I was very
8 much involved in reviewing the Hanford TBD with
9 regard to neutron doses and I found certain
10 things that we identified as findings. Right
11 now we're not necessarily making a major issue
12 out of -- out of these kinds of TBD findings,
13 even though I'm aware of them, because we
14 cannot hold the dose reconstructor accountable
15 for things he's not even aware of. Now I would
16 hope that when the findings are addressed by
17 means of a dialogue between us and -- SC&A and
18 NIOSH and we prevail in our findings, that they
19 would again issue a PER that would once again
20 look at those cases where neutron doses were a
21 critical component in the person's dose
22 reconstruction and therefore make amendments in
23 those instances where these deficiencies would
24 in effect have some impact on previous dose
25 reconstructions that were done at a time when

1 these findings were potentially existing.

2 **DR. WADE:** And then -- that's correct, Hans.

3 **DR. BEHLING:** So in order to -- to finalize my
4 -- my point to Mike, our dose audit -- dose
5 reconstruction audit will not necessarily deal
6 prematurely with findings until those findings
7 have been reviewed by NIOSH and we come to some
8 form of resolution which, if it turns out that
9 SC&A prevails in our findings, then it is
10 really NIOSH's obligation to go back and see
11 which potential dose reconstructions might have
12 been adversely affected. Not saying that
13 necessary all dose reconstructions will be
14 reviewed, but -- for instance, let's assume
15 that a prostate cancer has a POC of ten
16 percent. They may, on a judicious basis,
17 decide that even if the finding prevails, the -
18 - the likelihood of converting that ten percent
19 POC to 50 percent is improbable or highly
20 improbable and therefore not necessary go back.
21 But at least there will be some attempt on the
22 part of NIOSH to look at those cases that could
23 potentially be impacted and perform a -- a re-
24 evaluation of that dose reconstruction.

25 **MR. GIBSON:** So Hans, this is Mike again --

1 Hans, do you guys or does NIOSH -- do you have
2 a list of the sites -- of all the sites where
3 there is a Program Evaluation Report?

4 **DR. BEHLING:** We get the PERs as they're being
5 issued and -- and we have looked at those and
6 at this point they're -- we have not done
7 anything about that in the sense where we have
8 the -- the lead in revisiting dose
9 reconstructions that might be impacted. I
10 believe that's really something that NIOSH has
11 to address.

12 **MR. GIBSON:** Yeah, let me ask NIOSH that
13 question. Is -- is there a --

14 **DR. ZIEMER:** Can -- Lew, can you or --

15 **MR. HINNEFELD:** Yeah, this is Stu. Was the
16 question is there a list of Program Evaluation
17 Reports or sites with Program Evaluation
18 Reports; is that the question?

19 **MR. GIBSON:** Right, and are they issued -- are
20 they made available to the public or is it --

21 **MR. HINNEFELD:** Well, there have been a couple
22 that have been issued and --

23 **DR. NETON:** They're in our list of completed
24 documents that SC&A would have access to
25 because they're part of our document control

1 system. We don't normally make them available
2 to the public. In the very early goings they
3 contained essentially Privacy Act-related
4 information, although we certainly can -- can
5 do that with some judicious redaction or
6 writing of those documents.

7 **MR. GIBSON:** And -- and obv-- I mean they've
8 not been made available to the Board. Right?

9 **DR. NETON:** I believe we have discussed a few
10 issues related to PERs with the Board, such as
11 the -- the change in the lymphoma target organ
12 and the change in the cancer risk models for
13 lung cancer that we did. Those are Program
14 Evaluation Reports under -- under way and we do
15 present those to the Board as they arise. But
16 those reports have not been completed as of
17 yet.

18 **MR. GIBSON:** So there is or is not a list of
19 the sites where these things have been issued?

20 **DR. NETON:** There is in our controlled document
21 set a -- the completed PERs are there. They're
22 a list -- they're issued as part of our normal
23 controlled document system. We've only brought
24 to completion -- I don't recall exactly, but
25 several. They are there. We have not provided

1 hard copies to the Board, if that's the
2 question.

3 **MR. GIBSON:** Okay. But does SC&A have all of
4 those?

5 **DR. NETON:** SC&A, through our controlled
6 procedures system, should have access to those
7 documents, yes.

8 **MR. GIBSON:** Okay.

9 **DR. MELIUS:** Can -- can I ask a question about
10 this task? What happened to basic, advanced
11 and blind reviews? Is this proposal replacing
12 those or what are we doing?

13 **DR. MAURO:** Blind reviews have sort of
14 disappeared from the horizon. We have not been
15 requested to perform any blind reviews, and as
16 you may notice, that -- this document is silent
17 regarding blind reviews. Second, regarding
18 this thing of basic versus advanced, I think
19 the distinction is -- is not real between a
20 basic and advanced, even though -- when you --
21 in the end, the types of audits we're doing
22 probably represent everything you really can do
23 in an audit. I mean -- and the distinction
24 between a basic and advanced review -- I think
25 it's -- it was one that was -- in theory, but

1 in practice, to carry an analysis to an
2 advanced review would mean doing things that
3 are more akin to what you do in a site profile,
4 which are very large investigations. So in
5 effect, I think -- I mean to be very frank, I
6 think that the reviews we're doing right now
7 represent everything you can do in an audit
8 without carrying it into a point where you're
9 effectively doing something that is more
10 appropriately done under a site profile review.
11 So --

12 **MR. GRIFFON:** But -- but John -- John, part of
13 the reason for that distinction early on was
14 that a lot of these sites -- a lot of the cases
15 that you're going to come across may not have
16 site profiles, the smaller sites. We're going
17 to get -- you know, we select these and part of
18 the reason we select them is that, you know,
19 this is, you know, basically going to end up
20 being the site profile review for these sites
21 because there's no site profile. So if we want
22 to know how they did recon-- reconstructions --

23 **DR. MAURO:** Well, I --

24 **MR. GRIFFON:** -- at a certain small facility,
25 then --

1 **DR. MAURO:** Well, you know --

2 **MR. GRIFFON:** -- this is it. This is your --

3 **DR. MAURO:** You know, Mark, you're right. I'll
4 tell you why, 'cause I'm -- I experienced it
5 first-hand. I am currently reviewing a case
6 from MIT, and I'm -- and I'm in the funny
7 position that there really is no information
8 readily available regarding the -- the site,
9 what was going on there, there's no -- I was
10 unable to track down any references except for
11 a book that written by -- I guess it was a
12 professor, a Professor Hardy. I think this is
13 a good -- a -- really this is important.

14 **MR. GRIFFON:** Well, you're doing drill-downs,
15 basically.

16 **DR. MAURO:** So I -- I'm getting my hands on
17 that book. I'm -- I -- I made a request to the
18 -- I guess it was through MIT, there was
19 actually a web site where I could order the
20 book, which would give me the history of this
21 particular operation that took place in -- at
22 MIT where they were handling uranium for
23 research for fuel rods for submarines. And to
24 get to the point, I think you're right and I
25 guess I'm wrong, there -- there are sites where

1 there are no site profiles, where that's -- I
2 think I -- that's where the advanced reviews
3 make sense to me. That is, where you really --
4 where the digging has to be done because
5 there's -- the only person that's going to do
6 the digging is the guy reviewing the case.
7 There's no digging going on on a -- on the site
8 profile and -- and so from that respect, I -- I
9 -- I stand corrected. And I am in fact doing -
10 - I guess you have to say I am doing an
11 advanced review on that particular case because
12 I have no alternative.

13 **MR. GRIFFON:** Right. And on -- on the other
14 ones, I think we're -- we're hoping and -- and
15 it doesn't always work out that way, but part
16 of the hope of the process was that, you know,
17 by doing these things in parallel that you --
18 the dose reconstructing -- the dose
19 reconstruction reviewers, Hans and Kathy
20 primarily so far, but -- and -- and you, could
21 benefit from the site profile reviews that were
22 already in process, you know, that --

23 **DR. MAURO:** Right.

24 **MR. GRIFFON:** -- they're -- they're doing the
25 drill-down sort of and you -- and what the DR

1 teams would benefit from that so we don't need
2 to duplicate efforts. But you know, you're
3 getting at the same kind of subtask there.

4 **DR. MAURO:** I have to say that I've always been
5 stressed by -- geez, how am I going to deal
6 with the blinds, the -- the two blind cases
7 that we've never really done --

8 **MR. GRIFFON:** Yeah.

9 **DR. MAURO:** -- and we haven't been asked to do
10 one, and second, you know, we're really not
11 doing this advanced versus basic. I mean we --
12 we talk about it. We've even had sessions on
13 it during one of the full Board meetings, but --
14 -- and -- and it wasn't really -- in other
15 words, the case -- and I've always been sort of
16 scratching my head saying what will we do, here
17 we're doing -- let's say we're doing a Hanford
18 or Savannah River and with -- and you know --
19 and we're saying well, you know, what more
20 would we do here that might be worthwhile. And
21 I had mentioned this at one of the meetings,
22 it's not until you're into it that you think
23 here's a place where we've got to do a little
24 bit more advanced work. And if -- if it's --
25 if there's a site profile review going on on

1 that one, well, then the answer is you say
2 well, let's go -- you know, that's where the
3 hook is. But now, I'm in the middle of many
4 AW-- well, this is not an AWE, but there are
5 AWEs and there's also this MIT case that I just
6 did a couple of days ago and -- and I'm
7 digging. I mean I have to go get some more
8 books that normally I wouldn't have to do. It
9 would be on the O drive or would be a document
10 available on one of the procedures. Here's a
11 case where the document -- I have -- I'm trying
12 to chase it down. I'm not sure --

13 **MR. GRIFFON:** Right.

14 **DR. MAURO:** -- whether it's going to be
15 productive or not and I don't know -- and
16 here's a case where yes, without even realizing
17 it I'm moving into an advanced review mode.

18 **DR. ZIEMER:** Well, I think we've had these
19 conversations before, and at one time I think
20 we determined that probably we never did
21 anything that -- that matches to what we
22 originally thought a basic review would look
23 like, and most of the things that you've done
24 are closer to what we thought of as an advanced
25 review. In order to do a blind review, we have

1 to change our selection process because you
2 can't know in advance the POC.

3 **DR. MAURO:** Yeah.

4 **DR. ZIEMER:** And we've never given you any
5 cases where you were -- that that wasn't part
6 of the selection process, I don't believe. So
7 if we want to do the blind cases, then I
8 certainly think that's a question we still need
9 to ask, whether we want to do that. We need to
10 select some where -- where the outcome is not
11 known in advance for the contractor to work
12 with.

13 **DR. MAURO:** Yeah, this -- this proposal does
14 not contain that.

15 **MR. GRIFFON:** Right, right.

16 **DR. BEHLING:** And let me also make a comment on
17 that issue. However, for us to do a blind dose
18 reconstruction, we're going to need an awful
19 lot of training that we have never had. And
20 that is basically training involving how to use
21 some of the available information that is used
22 currently by dose reconstructors who've had the
23 benefit of extensive in-house training and --
24 and at this point in time I would only want to
25 warn everyone that we are at this point not

1 prepared to do blind dose reconstruction
2 without the benefit of extensive amount of --
3 of training how to use some of the tools
4 available and the computer methods used to
5 generate these -- these different models,
6 everything from statistical -- Crystal Ball
7 methods, et cetera. So if blind dose
8 reconstructions are to be appropriate in the
9 future, we're going to need an awful lot of
10 training.

11 **DR. MAURO:** I'd like to add a little bit --
12 some thing to that. This is an interesting
13 perspective, which is a little bit different
14 than yours, Hans. A blind dose reconstruction
15 could be one where -- you know, we're provided
16 with all of the records of -- for a case,
17 here's all the -- the dosimetry and -- for this
18 worker. And then we are given the freedom --
19 or SC&A's given -- that's it. We're given the
20 freedom to do it the way we think is the best
21 way to do it --

22 **DR. ZIEMER:** Yeah, you don't need to know what
23 the --

24 **DR. MAURO:** Right --

25 **DR. ZIEMER:** In fact, shouldn't know what the

1 dose reconstructor did.

2 **DR. MAURO:** Right, but -- you know, so the fact

3 that there may exist some sophisticated Monte

4 Carlo workbooks for dealing with the datasets

5 and dealing with the bioassay records or -- or

6 whatever, I would argue that -- this is

7 something we should talk about now, I think

8 it's important. I would say that blind dose

9 reconstructions can go forward whereby we're

10 giving our lead -- listen, here's this guy's

11 case. You've been doing audits now for a

12 couple of years; do a dose reconstruction for

13 this guy and use all the skills you have at

14 hand and all the knowledge you have in-house

15 based on those two years of experience. You

16 don't necessarily have to follow every

17 procedure that was ever written or use every

18 work-- I'm more -- more concerned about the

19 workbooks, 'cause we're familiar with all the

20 procedures but we're -- we're certainly not

21 familiar with all the workbooks. You don't

22 have to necessarily use the workbook tools that

23 let's say draw upon sort of sophisticated Monte

24 Carlo treatment of a problem. Do it the way

25 you feel is the way that will give you -- that

1 will meet the intent of the rule. Okay? And
2 it may be something different than the way in
3 which NIOSH is doing it. And I think that
4 that's certainly doable. So Hans, I'm looking
5 at it a little different than you are.

6 **DR. BEHLING:** Well, the question I have, John,
7 is what is the objective of doing it then?

8 **DR. WADE:** Yeah, what's the worth of that?

9 **DR. BEHLING:** I think the objective, at least
10 from my point of view, would be to essentially
11 do an independent dose reconstruction using the
12 various procedures -- in fact the exact
13 procedures -- that a dose reconstructor would
14 use and make use of since they've been approved
15 and reviewed and scrutinized and looked at. If
16 we do a very independent one and a simplistic
17 one and we end up different, what is the --
18 what is the benefit for doing this?

19 **DR. MAURO:** I think that's where the value
20 lies, quite frankly.

21 **DR. BEHLING:** Well, that's (unintelligible) --

22 **MR. GIBSON:** This is Mike. If I could just
23 enter here. To me, the blind audit -- it would
24 not only do away with -- I mean this whole
25 program was set up because the government

1 admittedly did not correctly monitor workers,
2 so a blind audit would be to go back to the
3 basic documentation and the basic, you know,
4 bioassay data and everything else, it would go
5 beyond the site profile that was written by
6 these professionals that worked at these sites,
7 and it would be for you guys, SC&A, to not
8 audit NIOSH, but audit the Department of Energy
9 and how they monitored their peop-- their
10 workers, their cold war workers. And I think -
11 - I mean that's -- to me, I think that's the
12 Board's duty -- I mean is to see that the --
13 the intent of the overall legislation, and --
14 and where applicable, compensation to the
15 worker, is -- is due. It's not necessarily to
16 audit specifically NIOSH and their contractor.
17 It's to go back to ground zero, forget the site
18 profile, forget what -- what -- you know, TIBs
19 and everything else the dose reconstructors
20 did, but to see if you guys' blind audit -- to
21 see if you guys can go back to DOE's stuff and
22 come back with a legitimate and a -- an
23 accurate dose -- dose reconstruction.

24 **DR. WADE:** This is Lew Wade. I think that's an
25 issue that the Board is going to have to

1 discuss, you know, when it has time. I mean
2 you are an advisory board to the Secretary of
3 HHS. You have to decide what role you want to
4 take in your advice to HHS Secretary. Mike
5 lays out a very clear path. I don't know that
6 there's time to discuss that to closure. We
7 can certainly put that on the agenda for the
8 next face-to-face meeting. That's not what
9 SC&A has been doing to this point. If it is
10 the Board's desire to cons-- to consider that,
11 then I think we need to take that up as a
12 separate discussion.

13 **DR. ZIEMER:** Certainly a different line audit
14 than we had talked about originally, and maybe
15 something that could be considered. It would -
16 - I think would be a different name. We had
17 definitely talked about a blind audit of the
18 NIOSH dose reconstruction procedures, and I
19 think Jim Melius's question is have -- are we
20 going to do that or not.

21 **DR. MELIUS:** Right.

22 **DR. WADE:** Mike's question needs to be
23 addressed, but I don't think we can do it here
24 in this time.

25 **DR. ZIEMER:** Kind of a separate issue, I think.

1 **MR. GRIFFON:** Blind audit of the cases, I think
2 we have different interpretations of how you
3 would do a blind audit of a case.

4 **DR. ZIEMER:** Right.

5 **MR. GRIFFON:** I mean even John and Hans are --

6 **DR. ZIEMER:** Right.

7 **MR. GRIFFON:** Lew, I might offer -- maybe we
8 can -- maybe the dose reconstruction
9 subcommittee can -- can look at this scope and
10 bring back something to the Board -- flesh out
11 a poss-- you know, some possible approaches.

12 **DR. WADE:** Yeah, certainly I mean --

13 **MR. GRIFFON:** That may be something we can do,
14 you know.

15 **DR. WADE:** I think that's the appropriate place
16 to do it. You know, my goal was to try and be
17 able to -- to do something to keep the contract
18 running on October 1st, and I don't know if
19 we're going to get there or not, but we could --
20 - we should push on and see where we get to.

21 **DR. MELIUS:** Well, perhaps that can be
22 considered as a modification at -- at some
23 point 'cause I think it's -- it frankly should
24 have been in this proposal and it wasn't, and
25 I'm not sure quite why, but I think we need to

1 -- it's a little late now and --

2 **MR. GRIFFON:** Yeah, don't want to hold up work,
3 but we want to get that in there.

4 **DR. MELIUS:** Need to get that in there and --

5 **DR. ZIEMER:** Yeah. Actually the way this is
6 written, it doesn't exclude blind audits. It
7 just doesn't speak to them.

8 **DR. MELIUS:** Yeah, so John Mauro'll have a
9 heart attack or something, he -- especially if
10 we hold them to the price here or something.

11 **DR. MAURO:** Well, you know --

12 **DR. MELIUS:** And they will be more expensive,
13 but to get back to this --

14 **DR. MAURO:** Yeah.

15 **DR. MELIUS:** -- whole approach they're taking
16 and conversation that -- back and forth that
17 you and I were having, Paul, about the -- how
18 to go about managing what they're proposing,
19 and I guess I'm not -- I guess I can see the
20 value of them doing, you know, sort of their
21 selective review and then --

22 **DR. ZIEMER:** Well, at least on the sort of
23 things Hans is talking about.

24 **DR. MELIUS:** Right, but -- but I would really
25 like to see a proposal for doing that, that --

1 I think we need to have some --

2 **DR. ZIEMER:** So we know exactly what that
3 means.

4 **DR. MELIUS:** What they're doing, at least with
5 the -- I hate to use the word scope, but -- but
6 with something that outlines the process, what
7 -- what will they be, you know, doing so that
8 we -- sure that the breadth and depth of the
9 audit is appropriate. Then they apply that and
10 bring it back.

11 **DR. ZIEMER:** Uh-huh.

12 **DR. MELIUS:** At least we would put some
13 guidelines on -- on what that -- what's being
14 done and I -- I think it would -- you know --

15 **DR. ZIEMER:** Yeah, I think the dose
16 reconstruction subcommittee could develop a
17 recommendation on that.

18 **MR. GRIFFON:** Yeah, it's funny, Jim, that you
19 should say breadth and depth 'cause that's
20 exactly what -- I mean I almost see the ap--
21 the approach moving forward as possibly less
22 breadth but possibly more depth and -- you
23 know, 'cause I -- I agree that -- I think one
24 example that was used earlier was that, you
25 know, we don't want to have to check every

1 number and make sure, you know, it comes out --
2 you know, go down the whole list of IREP values
3 and make sure every one is in agreement. On
4 the other hand, you might want to chase back
5 something further than has been done in past
6 audits and -- you know, to -- to -- basically,
7 for example, to -- not only to see what
8 assumption was used, but to -- to question the
9 assumptions, you know, and -- especially on
10 those where there's no site profile document.
11 I see that would be, you know, useful, so --
12 so...

13 **DR. MELIUS:** And -- exactly, I agree. Mark. I
14 think that's (unintelligible) we need to get
15 and still having a -- but having some sort of
16 guidelines for how that would be done, and I
17 think certainly that would be something that
18 SC&A could propose to the -- you know, do a
19 draft of how they view the process and then to
20 the -- that workgroup and -- or subcommittee,
21 whichever it is by then, and then, you know,
22 work it up from there to the full Board for
23 discussion.

24 **MR. GRIFFON:** That sounds good.

25 **DR. WADE:** Okay. Can we go on to Task V?

1 **DR. MAURO:** Yes, I have it in front of me, and
2 -- and let me just say a quick word.

3 **UNIDENTIFIED:** John --

4 **DR. MAURO:** I like -- I like the idea that
5 we're having this conversation. I'm glad these
6 proposals are stimulating -- you know, we're
7 really being very introspective right now about
8 -- and this is a (unintelligible) function, so
9 this is good.

10 **DR. BEHLING:** Yeah, let me -- let me make one
11 more point here and I'll try to make it short.
12 I -- I appreciate Mike's recommendation that a
13 blind dose reconstruction should start without
14 any bias towards what is currently being done
15 by NIOSH. On the other hand, you could never
16 completely divorce yourself from documentation
17 that is in place. And let me give you an
18 example. You couldn't, for instance, assess
19 bioassay data without knowing what the MDA
20 values are for -- for a given bioassay that
21 involves uranium or plutonium and the
22 methodologies that were used to come up with
23 those numbers that DOE has available for us in
24 terms of a bioassay data or in terms of -- of
25 film or -- or TLD. If you don't know what the

1 LOD values were, how do you deal with missed
2 dose if you don't know which film dosimeters
3 were used and what the values were, and so you
4 could never completely remove yourself from DOE
5 documents -- I mean NIOSH documents, whether
6 it's a TIB or a TBD, you just -- no matter how
7 far you want to remove yourself from bias,
8 somewhere along the line you still have to use
9 documents that are part of the dose
10 reconstruction process used by NIOSH.

11 **MR. GIBSON:** And -- and Hans, this is Mike. I
12 understand what you're saying, but -- and I --
13 I'm certainly not criticizing you guys. All
14 I'm saying is the -- and I know it would take a
15 lot more resources to do this, and again, as
16 Dr. Wade brought up, this would have to be
17 brought -- brought up before the Board or
18 whatever else, as maybe another task or
19 whatever, but you guys could go in and learn
20 what the -- the level of detection was. You
21 guys could learn -- you know, seek the
22 documentation. I know it's not divorcing
23 yourself from NIOSH, but it's -- it's kind of
24 circling NIOSH and just going back to what you
25 can find from the raw data, and especially

1 leaving what the site experts put in the site
2 profile behind and seeing what you could find
3 out about the site, because that, in my opinion
4 -- and my opinion alone -- is that's where
5 there's a lot of flaws is in -- is in the site
6 profiles and things that are assumed to be true
7 and that workers allege to have happened.
8 That's all I'm saying.

9 **MR. PRESLEY:** Hey, Paul, this is Bob Presley.

10 **DR. ZIEMER:** Yeah.

11 **MR. PRESLEY:** I've got a doctor's appointment
12 at 4:00 o'clock --

13 **DR. ZIEMER:** Okay.

14 **MR. PRESLEY:** -- I've got to go to. If you
15 need me for a vote could you call me on the
16 cell phone at 865-216-9013?

17 **DR. ZIEMER:** 865--

18 **MR. PRESLEY:** 216--

19 **DR. ZIEMER:** 316 (sic) --

20 **MR. PRESLEY:** I'm sorry, 216-9013.

21 **DR. ZIEMER:** 216-9013.

22 **MR. PRESLEY:** Right.

23 **DR. ZIEMER:** Got it.

24 **MR. PRESLEY:** Thank you, sir.

25 **DR. ZIEMER:** Thank you.

1 **DR. WADE:** Okay. Well, let's try and deal with
2 Task V and VI and then see what we have at --
3 at the end of this.

4 **DR. MAURO:** Yeah, I'll move out real quick on
5 this. On Task V, which is SEC petition
6 reviews, basically SC&A was requested to
7 provide a cost estimate to review six SEC
8 petitions. In our -- in the request, a
9 distinction was made between ones with and ones
10 without a site profile. I did something --
11 something very simple here. We have experience
12 now with the SEC petition reviews. We did
13 Ames, Y-12 and -- and we're in the middle of
14 Rocky, and the -- and the existence of a site
15 profile or not is -- is not a key factor. It
16 sounds kind of crazy, it's certainly helpful,
17 but it -- there's so many uncertainties that
18 drive the cost of these things. We -- we did
19 Ames in under -- under 400 work hours and Rocky
20 is pushing I believe 2,000 work hours right now
21 on SEC. So I mean -- and there's -- and
22 there's no predicting that it was going to go
23 that way. I think the reason it went that way
24 is Ames was one that was -- it was -- the
25 evaluation part in the end came out in favor

1 and -- and at Rocky is -- is a much more
2 complex problem. And so what I've done is
3 something very simple. I simply said we're
4 going to allocate 1,000 work hours per SEC
5 petition review report, and just keep it that
6 simple. And -- and then as the site profiles
7 move through the process -- well, you know, of
8 course we -- we keep track of what things cost
9 and -- and over the six that are done, there's
10 no doubt some of them are going to be
11 relatively inexpensive and others are going to
12 be a lot more complex, and there's just no
13 predicting and so I just went ahead and used
14 1,000 work hours based on the experience we've
15 had with Ames, Y-12 and Rocky. So that's what -
16 - that's how we did that price.
17 In the letter that we received from you folks
18 you also asked us to support four full Board
19 meetings and to support I believe for working
20 group meetings. For the full Board meetings I
21 assigned no level of effort, no cost, because
22 all of the Board meetings are a part of project
23 management so they're covered in the project
24 management cost and -- but I did set aside some
25 resources to support the subcommittee meetings

1 that would be certainly associated -- four
2 subcommittee meetings I believe you requested
3 for the -- to support the SEC closeout process,
4 so that was 240 work hours. So the -- the
5 bottom line is to -- to provide the Board with
6 the support of SEC -- six SEC petitions and --
7 and associated closeout meetings. For -- for
8 working group meetings I -- I've allocated
9 6,240 work hours. And the -- the -- a lot of
10 uncertainty in terms of how much -- and the
11 individual ones would come -- will -- will
12 cost, but I think that there's always going to
13 be some trade-offs between -- so they would be
14 -- average out and -- and I feel comfortable
15 that this is a good place to start.

16 **DR. ZIEMER:** Okay. And then Task VI is your
17 (unintelligible) --

18 **DR. MAURO:** Task VI is the same as it was last
19 year.

20 **DR. ZIEMER:** Yeah.

21 **DR. MAURO:** So nothing new there, same type of
22 support, same level of effort. And it turns
23 out that that budget is working out right on --
24 right on the button. That is, our actuals are
25 coming in right where we predicted and so there

1 is no reason to make much of a change to the --
2 the budget to do the same thing next year.

3 **DR. LOCKEY:** Jim Lockey, I'd ask a question
4 about the four subcommittee meetings. Is --
5 you think that's adequate? Is that what it's
6 been historically or -- sounds like -- it feels
7 like, to me anyway, the scope of work of this
8 committee is increasing.

9 **DR. ZIEMER:** That's four for each case?

10 **DR. MAURO:** No, a total of four -- or six.

11 **DR. MAKHIJANI:** This is Arjun, these -- John
12 might be referring to the subcommittee meetings
13 that happen just before the Board meetings, and
14 not working groups.

15 **DR. LOCKEY:** Is that what you're referring to?

16 **DR. MAURO:** Unfortunately, I priced these out
17 as if they were separate meetings, not part of
18 the Board meetings. If they were part of the
19 Board meetings, they would not have any cost.
20 What I --

21 **DR. ZIEMER:** You're talking about the
22 workgroups then, not --

23 **DR. MAURO:** I'm talking workgroup, yeah. Yeah.
24 Although Arjun's correct, it's labeled
25 subcommittee. When I priced this out, I just

1 simply assumed that the -- there would be
2 meetings separate than the four --

3 **DR. ZIEMER:** These are four meetings, for
4 example, in Cincinnati then.

5 **DR. MAURO:** Exactly. I priced out that we
6 would -- to support the six SEC petition
7 reviews there would be -- that this is what
8 would -- how I interpreted the instructions.
9 Perhaps I should have given you folks a call.
10 That there would be four working group meetings
11 to support those six -- that would be held in
12 Cincinnati and --

13 **DR. ZIEMER:** Sometimes these overlap -- you can
14 cover a couple --

15 **DR. MAURO:** Yeah, yeah.

16 **DR. ZIEMER:** -- of topics in one trip and --

17 **DR. MAURO:** Yeah, and you'll notice in Exhibit
18 1 I -- I did them subcommittee meetings because
19 that's what they were called in the request,
20 but quite frankly, I priced them out as --
21 whether you call them subcommittee or call them
22 working group, I priced them out as a separate
23 trip.

24 **DR. ZIEMER:** Yeah. And basically using
25 Cincinnati in each case for the --

1 **DR. MAURO:** Exactly, just for pricing purposes,
2 right.

3 **DR. ZIEMER:** Other questions on any of the --
4 any of the tasks now?

5 (No responses)

6 Now Lew, I think you were hoping that we would
7 at least get some preliminary actions --

8 **DR. WADE:** Well, I have a proposal to make --

9 **DR. ZIEMER:** Yeah, go ahead.

10 **DR. WADE:** -- if you would allow me to.

11 **DR. ZIEMER:** You bet.

12 **DR. WADE:** You know, hearing and appreciating
13 all of the discussion, I guess I would ask the
14 Board's concurrence on Task I to allow David
15 Staudt to go ahead and put a task in place that
16 would allow SC&A to pursue its -- its re-review
17 of Savannah River Site and also to proceed with
18 all of the closeout activities that's underway,
19 but we'll leave open the issue of other site
20 profiles and other re-reviews until we can make
21 a more complete evaluation and present it to
22 the Board of where we stand in terms of ongoing
23 closeout activities and what that might do to
24 affect the budget. So we would -- we would be
25 really doing nothing but Savannah River Site

1 and continuing with all the closeout
2 activities. That's on Task I.
3 On Task III I think there was general agreement
4 with letting SC&A move forward.
5 On Task IV, this is the most complex, I would
6 ask that the Board allow for SC&A to begin
7 another group of 20 reviews. There would be no
8 discretion built in, unless and until the
9 subcommittee decides what that discretion would
10 be. We would be asking SC&A for a proposal as
11 to how it would exercise its discretion, but at
12 this point we'd be -- we'd be empowering them
13 to do another batch of 20, and it would be
14 biased towards full dose reconstructions, not
15 min/max.
16 And Tasks V and VI I think are -- there was
17 general agreement.
18 So we would back off on Task I and Task IV, but
19 I would like there to be some activity there so
20 we don't stop, for example, what's being done
21 on Savannah River or the closeout activities.
22 And I would like to be able to have SC&A start
23 the year with another batch of 20 individuals.
24 And my compromise there would be without
25 discretion until there's agreement between SC&A

1 and the -- and the subcommittee on what
2 discretion is, and let's bias this group
3 towards full dose reconstructions.

4 So that's a proposal at the 11th hour to try
5 and get a sense of the Board that I don't think
6 limit any of the Board's options on the
7 important questions that it's raised.

8 **DR. ZIEMER:** You've heard the suggestion. Is
9 there any Board member want to make a motion
10 that we adapt this suggestion?

11 **DR. MELIUS:** I have a question first.

12 **DR. ZIEMER:** Uh-huh.

13 **DR. MELIUS:** And maybe a modification to that,
14 to the -- what Lew was proposing. The question
15 is like -- I don't know if Dave Staudt's still
16 on the Board --

17 **MR. STAUDT:** (Unintelligible)

18 **DR. MELIUS:** -- (unintelligible) but on the
19 call -- (unintelligible) we've been on the call
20 a long time -- but will this -- if we only
21 approve what Lew -- Lew has mentioned so far,
22 is that going to get us into any -- if we then
23 wait until our September meeting to flesh out
24 the rest -- is that going to get us into any
25 problems with delaying --

1 **MR. STAUDT:** No, no, not at all. I mean --

2 **DR. ZIEMER:** What about budget requests
3 overall?

4 **DR. MELIUS:** Yeah.

5 **MR. STAUDT:** If it was me, I would -- I would
6 take the opportunity to, you know, within the
7 available funding, it's anticipated
8 (unintelligible) happens and get -- get it
9 pretty much under contract, and then that way
10 Lew can, you know, go forward and ask for some
11 additional funds. We can always change the
12 scope and -- and I -- I would -- I would alter
13 a little bit what Lew suggested. This is mine.
14 If you wanted to put some more hours into Task
15 I, because I think the consensus was that there
16 definitely was not enough hours in there, 150
17 wasn't going to cut it, but you would like Lew
18 and I to work with SC&A to put some more hours
19 in there, and then we could shift some of the
20 funds away from Task Order IV if you want to.
21 That's just something to think about until we
22 can figure out exactly what we want in Task
23 Order IV. That's kind of your call. But you
24 do whatever you want right now. We can make
25 whatever changes, we can get revised proposals

1 back from SC&A and -- and allow Lew and I a
2 little bit of discretion to -- to get these in
3 place.

4 **DR. ZIEMER:** Considering the fact that we can
5 always do revisions in any event, I'm wondering
6 if it wouldn't be prudent to do what David
7 suggested and -- and take Task I, up it by some
8 number of hours, and then -- and then select
9 one of the options for Task IV, with the
10 understanding that, you know, we can modify
11 that, too.

12 **DR. WADE:** That would be preferable if you guys
13 are ready to do that.

14 **DR. MELIUS:** Yeah, I -- what I was going to --
15 I'm not going to put this in a motion yet, but
16 let me see if I can talk --

17 **DR. ZIEMER:** (Unintelligible)

18 **DR. MELIUS:** -- talk through it. One -- one is
19 that we -- we -- assuming that if I have --
20 Lew's math here is correct, that -- assuming we
21 -- we have three -- roughly \$3.5 million --

22 **DR. WADE:** Correct.

23 **DR. MELIUS:** -- put in -- put in this contract,
24 the -- that we take the -- approve -- you know,
25 move some more money up to Task I for fully

1 funding that with -- with -- plus some
2 additional money that we would take from really
3 Task IV under option 2B, and I think Dr.
4 Ziemer, you had a -- mentioned that we'd
5 probably want to get to 60 ca--

6 **DR. ZIEMER:** I was going to suggest 60 cases,
7 reviewer discretion with -- would hold -- we'd
8 hold off on that until the workgroup defined
9 that, but best estimates and I -- I think we
10 could ask that it be at least funded on a
11 reviewer discretion basis --

12 **DR. MELIUS:** Yeah.

13 **DR. ZIEMER:** -- with the idea that we're going
14 to define what that is so that that number is
15 going to be a little bit larger than the 55,
16 but there -- it would be enough different from
17 80 that you could carry money up to Task I.

18 **DR. MELIUS:** Yeah, that -- that -- that was
19 what I was thinking, too. I -- I think the --
20 the discretion issue I don't think has to be --
21 I don't think we need to go through a prolonged
22 discussion on that, and I actually think it
23 would -- would be informed by actually applying
24 it and, you know, getting some feedback from
25 them -- from SC&A actually doing it on a set of

1 cases, so I don't think we should hold it up
2 until we have a completely approved procedure.
3 But I think we can, you know, work with SC&A on
4 getting that implemented. And we also have
5 open the issue of blind reviews, also, but --
6 but I -- I agree with what you've just
7 proposed, Paul, that we -- we sort of save
8 enough -- keep enough money in Task IV that
9 would do roughly 60 cases as you outlined, and
10 then move the additional funding up into -- to
11 Task I and -- and really better consideration
12 of how we should -- if we have adequate hours
13 in there for all the revisions and so forth
14 that need to be addressed.

15 **DR. WADE:** Okay. So -- this is Lew again. So
16 -- and thank you for that clarification. So
17 starting at the bottom, Task VI as proposed,
18 Task V as proposed; Task IV we would take
19 option 2B but set the target at 60, with the
20 understanding that this issue of discretion
21 will need to be worked out, we'll realize
22 certain savings there; Task III we would fund
23 as is; Task I we would redo by putting some
24 additional of the saved monies in from Task IV
25 and try and make more realistic estimates of

1 what it takes to close out and build that into
2 Task I, understanding that once we do this and
3 the money's in place, the Board will always
4 have the opportunity to adjust as it -- as it
5 sees fit. And then in September we'll try and
6 have a discussion -- a holistic discussion of
7 funding that might lead to the Board
8 recommending increases or decreases or level
9 funding as it sees fit by addressing some of
10 the broad issues.

11 **DR. MELIUS:** And I would also add to that that
12 we should start in September a process to look
13 particularly at Task I in terms of -- see if we
14 could plan that pro-- that task out a little
15 bit better in terms of where NIOSH is with site
16 profile revisions, new site profiles that
17 haven't been reviewed yet so we can have a
18 better understanding how to distribute the
19 money in there and what's the, you know, proper
20 mix of -- of old and new and how we're going to
21 handle that whole area of site profile reviews
22 'cause I -- I don't think we've planned it out
23 (unintelligible) moving target, it's difficult
24 to do that, but I think some discussion in
25 detail on where NIOSH is with its contractors

1 in terms of site profile revisions would be
2 helpful.

3 **DR. WADE:** Right, we'll work on that. I mean I
4 accept that as -- as a very positive suggestion
5 and we'll -- we'll aim for that presentation in
6 September.

7 So David, if the Board agrees to what was just
8 discussed, then you have what you need?

9 **MR. STAUDT:** Absolutely.

10 **DR. WADE:** Okay.

11 **DR. ZIEMER:** Then let me ask for a motion to
12 that effect, which would -- the motion would be
13 to proceed as -- basically as just summarized
14 by Lew, which includes taking Tasks III, V and
15 VI as they are; on Task IV agreeing to 60 cases
16 with discretion and best estimate; and then
17 moving the saved funds up to Task I to increase
18 the number of hours available for the closeout
19 activities.

20 Is there a motion to that effect?

21 **MR. GIBSON:** Dr. Ziemer, could I ask -- ask one
22 more question?

23 **DR. ZIEMER:** You bet.

24 **MR. GIBSON:** This is Mike. Lew, I know the --
25 the fiscal year ends October 1st and you've got

1 to, you know, get your budget proposals in and
2 all that. If during the next fiscal year
3 whatever case arises, whether it's dose
4 reconstructions, SECs or anything else, can we
5 as a Board request more money for our
6 contractor or are they -- are we tied to \$3.5
7 million or how -- how does that -- can you
8 explain to me how that works or --

9 **DR. WADE:** You can -- the Board can certainly
10 request more money. I would think
11 realistically the -- the possibility of getting
12 more money would always be best as you approach
13 a new fiscal year than in the middle of a
14 fiscal year. But again, the Board could, you
15 know, ask me to seek additional funding for the
16 contract and then I would do the best that I
17 could. I would tell you honestly that I would
18 likely be more successful aiming for funding
19 for the next year than I would be seeking
20 funding in the middle of a fiscal year. But
21 it's a very political process, obviously, Mike,
22 and it involves -- it would involve our
23 negotiations with DOL. It would also involve,
24 you know, appropriations action and it's not a
25 trivial activity. But the Board certainly can

1 make its voice clear on this.

2 **DR. MELIUS:** What was the appropriations for
3 this year, Lew? Do we have a number?

4 **DR. WADE:** I -- I don't have it in front of me,
5 Jim. I mean I can certainly get it, but I
6 don't have it in front of me.

7 **MR. GIBSON:** So -- so once -- this is Mike
8 again --

9 **DR. ZIEMER:** Well -- yeah, go ahead, Mike.

10 **MR. GIBSON:** Once you make your -- your budget
11 request to DOL and they make the request to OMB
12 or whoever they do, Congress, the
13 appropriations committees, then that's a --
14 that's a one-time shot. And then during the
15 year you would have to (unintelligible) funds
16 within your Department or the Department of
17 Labor if we needed more funds for our
18 contractors.

19 **DR. WADE:** Within the discretion of what the
20 appropriators have said. I mean we don't have
21 unlimited discretion to do that.

22 **DR. ZIEMER:** And if -- if -- it's really very
23 difficult because once those funds get
24 earmarked for -- in a certain way, a lot of
25 times you can't go back and just shift them

1 around without involving the -- the Hill
2 committee, so it would not be -- I think the
3 bottom line is, Mike, mid-year is not easy to
4 change a budget by any significant amount.

5 **MR. GIBSON:** Okay. Well, I guess -- I guess
6 what you're saying --

7 **DR. ZIEMER:** -- at least that's been my
8 experience. Lew?

9 **MR. GIBSON:** -- right, and I --

10 **DR. WADE:** Sure.

11 **MR. GIBSON:** -- I kind of understood that, I
12 just wanted to make sure. But I just -- I'm
13 just very uncomfortable with the level I've
14 heard that's -- that the Board is taking on and
15 our contractor's taking on that this shifting
16 funds from one task to another -- I just see
17 somewhere there being a shortfall or someone
18 getting short-changed or work not being done,
19 and that (unintelligible) --

20 **DR. ZIEMER:** But -- but I think, Mike, in terms
21 of even our contractor's current ability in --
22 in -- you know, ramping up even is -- is not an
23 overnight process, so I think the ability to
24 proceed -- and this is a good chunk of work,
25 and I think it's reasonable for us to proceed

1 on this basis. Keep in mind that originally
2 our budget was less than \$1 million per year,
3 when we started out five years ago.

4 **DR. WADE:** Right, we've ramped up considerably,
5 and if -- if it's the Board's wishes to
6 consider further ramping up, that's fine. You
7 --

8 **DR. ZIEMER:** Well, actually it wasn't even five
9 years ago. I'm talking about when we added our
10 contractor. We were -- we were talking about
11 \$3 million over a five-year period.

12 **DR. WADE:** Correct.

13 **DR. ZIEMER:** So we have ramped up considerably.

14 **MR. GIBSON:** No, and I -- it'd probably be
15 better for me to talk to Dr. Wade after this
16 meeting off the record on this issue, but that
17 \$3.5 million figure came about in an odd way,
18 let's just put it that way, and I'd like to
19 talk to Dr. Wade after the meeting about that.

20 **DR. WADE:** Sure. But I'm certainly -- and I
21 look forward to that, Mike, but I'm certainly
22 open to the Board's suggestion as to what
23 funding we should pursue for the Board and its
24 audit contractor, and the Board is free to make
25 those recommendations.

1 **DR. MELIUS:** I just checked my old e-mail and
2 my understanding's right. The actual
3 appropriations for this year is \$4.5 million.

4 **DR. WADE:** Right, I think that's right, and
5 generally it's a million for the Board and 3.5
6 for SC&A. The reason I hesitate is I don't
7 know exactly the state of play of things, but I
8 think that's what -- what we were targeting
9 for, a million for the Board's operation and
10 3.5 for SC&A. Again, if the Board thinks, with
11 reason, that a higher level is appropriate,
12 then it needs to make those arguments and I
13 need to take them forward.

14 **DR. MELIUS:** I -- I think if our -- discussion
15 at the next meeting we can address...

16 **DR. WADE:** Right. But again, for the public
17 record, we've -- we've worked very hard to grow
18 the audit effort as I sense that the Board
19 required it or thought it necessary. And
20 again, we've -- we've more than tripled it,
21 quadrupled it over the last several years. And
22 again, if the Board thinks more is appropriate,
23 it can make those recommendations.

24 **DR. ZIEMER:** Did -- did somebody make a motion
25 to adopt this recommendation?

1 **DR. MELIUS:** I thought I did, but maybe I --

2 **DR. ZIEMER:** Jim Melius did?

3 **DR. MELIUS:** Yes.

4 **DR. ZIEMER:** And who seconded it?

5 **DR. MELIUS:** I don't think we got as far as a
6 second.

7 **MR. CLAWSON:** I'll second it. This is Brad
8 Clawson.

9 **DR. ZIEMER:** Okay, any further discussion?

10 **MR. GIBSON:** Yes, just a question. I don't
11 know if it's appropriate according to Roberts'
12 Rules or whatever --

13 **DR. ZIEMER:** A question is always in order.

14 **MR. GIBSON:** Would it -- would it be
15 appropriate to ask for a motion to increase the
16 amount of money allotted to SC&A if needed?

17 **DR. ZIEMER:** You can certainly request that we
18 amend this motion. I -- I would suggest that
19 if we do that, we tie it into something more
20 specific, like if the Board can identify how
21 many hours you want to add to Task I and let
22 them cost that out and if it goes over to --
23 you know, if it comes out \$3.6 million, so be
24 it. Or are you -- is that basically what
25 you're asking?

1 **MR. GIBSON:** I'm -- I'm just asking -- I would
2 like to make a motion that in the event SC&A
3 needs more money, whether it's from incoming
4 SECs or dose reconstructions, blind dose
5 reconstructions at -- I think -- it's my motion
6 that the Board should request NIOSH to seek
7 more money this fiscal year -- this next coming
8 fiscal year for our contractor.

9 **DR. ZIEMER:** Let me ask the question in this
10 way, and maybe David Staudt can help answer it.
11 I think -- I think we -- we certainly have to
12 tie it in with a specific statement of the work
13 task. Right?

14 **MR. STAUDT:** That's correct, I mean this --

15 **DR. ZIEMER:** And they have to cost that out.
16 If -- if the Board were to determine, for
17 example -- I mean we've -- we've spelled out
18 everything except the number of hours to be
19 added in option one. If we said we want that
20 to be, at a minimum -- and pick your number,
21 1,000 or 2,000 hours -- and then let them cost
22 it out and if it comes over three and -- I
23 think the motion is if it turns out that they
24 need more money, we should -- the instruction
25 would be to ask for more. But I don't think

1 open-endedly we can just --

2 **MR. STAUDT:** No, absolutely not, this is a cost
3 plus fixed fee, it's basically best effort, so
4 you're identifying a scope and they're doing
5 their best efforts within the available
6 funding. And you can't, for example, just say
7 well, we'd like to have them do \$500,000 more
8 of work, but they're really not -- that
9 \$500,000 hasn't been identified. You're not
10 supposed to put that on a contract.

11 **DR. MELIUS:** This is Jim. I think -- the
12 procedure, we're fine. One is we were making
13 recommendations for this current contract based
14 on past orders that were put in front of -- the
15 draft task orders put in front of us and from
16 our -- from our contractor, and I think that's
17 one motion -- sort of separate motion to
18 address that, and I think we have that pending.
19 And my understanding is that we were going to
20 discuss at our next meeting -- more fully
21 discuss some of these scope issues, and I think
22 it would be -- you know, may be appropriate at
23 that meeting to discuss, you know, do we need -
24 - given -- when we've more fully explored the
25 scope and what the Board needs, that -- for us

1 to discuss should this total amount be modified
2 or should the contract be modified some way.
3 Then there would be an issue of -- of whether
4 the funding is available.

5 **MR. GIBSON:** Okay. Well, I -- I just -- you
6 know, I -- earlier, you know, I heard that it
7 can't -- it's nearly impossible it be done in
8 the middle of the year, so if we don't do it
9 today -- if we don't do something today, you
10 know, I just thought we'd lost it for a year
11 and I don't want to see one task cut down to
12 ramp up for another one. But okay, I'll --
13 never mind, I'll --

14 **DR. WADE:** Thank you. This is Lew. I think in
15 all honesty that the difference between today
16 or the September meeting is not critical in
17 terms of the ability to get funds. I don't
18 believe it to be.

19 **MR. GIBSON:** Okay.

20 **DR. WADE:** Those appropriations have already
21 been set and -- but -- so I don't think we're --
22 -- you're surrendering anything, at least in my
23 -- in my considered opinion.

24 **DR. MELIUS:** And I think it's important that we
25 have a -- a good a full -- full justification

1 for the need for additional funding beyond
2 what's already been put in front of us.

3 **DR. WADE:** Right, I mean again --

4 **DR. MELIUS:** But I don't (unintelligible)
5 adequate information to be able to do that
6 today.

7 **DR. WADE:** Right, and just because the Board
8 asks for it doesn't mean NIOSH is going to seek
9 it. And just because NIOSH seeks it doesn't
10 mean NIOSH is going to get it. I mean so the
11 stronger the arguments, the -- the more likely
12 we can succeed at whatever it is that the Board
13 desires.

14 **MR. GIBSON:** Okay, understood. Thank you.

15 **DR. ZIEMER:** Okay, so we have the motion as it
16 was stated. It's been seconded. Any further
17 discussion?

18 (No responses)

19 Then let's vote and we need to vote -- all in
20 favor will say aye when your name is called.

21 **DR. WADE:** Okay, here we go. Clawson?

22 **MR. CLAWSON:** Aye.

23 **DR. WADE:** Gibson?

24 **MR. GIBSON:** Aye.

25 **DR. WADE:** Griffon?

1 **MR. GRIFFON:** Aye.

2 **DR. WADE:** Melius?

3 **DR. MELIUS:** Aye.

4 **DR. WADE:** Is Presley still with us? We can --

5 **DR. ZIEMER:** If we don't need his vote, we
6 don't need to (unintelligible).

7 **DR. WADE:** We don't need his vote. Roessler?

8 **DR. ROESSLER:** Aye.

9 **DR. WADE:** Lockey?

10 **DR. LOCKEY:** Aye.

11 **DR. WADE:** Ziemer?

12 **DR. ZIEMER:** Yes.

13 **DR. WADE:** And Poston, not with us.

14 **DR. ZIEMER:** Okay.

15 **DR. WADE:** Okay, so it -- it passed.

16 **DR. ZIEMER:** Motion carries. Thank you very
17 much.

INDIVIDUAL DOSE RECONSTRUCTION AND TASK III

REVIEW UPDATE

MR. MARK GRIFFON, WORK GROUP CHAIR

18 We need to move ahead here, we're a little
19 behind schedule. It's currently 4:00 o'clock.
20 We have individual dose reconstruction Task III
21 review update. Mark Griffon chaired that
22 workgroup and Mark wanted to --

23 **MR. GRIFFON:** Yeah.

24 **DR. ZIEMER:** -- pick that up at this point.

1 be -- the front end will look very familiar,
2 but then the -- the conclusions I reformatted a
3 little bit to -- to sort of highlight different
4 sections of the letter report here. The method
5 for ranking is highlighted. The summary of
6 findings impacting estimates of individual
7 doses, that's the -- the SC&A ranking. And
8 then if you recall, we have this -- this
9 program-wide or site-wide ranking as a separate
10 column in the matrix, and that's really based
11 on not only the individual case finding but
12 also, you know, whether that finding would have
13 applied to several different cases because it
14 would have been carried through for -- for
15 instance, if -- if there was something that
16 would likely carry through many dose
17 reconstructions for that site, or DOE-wide,
18 then it would have a larger impact or -- or may
19 have a larger impact. And then the section --
20 I'm on page two now, halfway down or so, the
21 summary of audit contractor findings. I do
22 have a comment on that. I'll come back to that
23 paragraph. And then the process followed in
24 the review. That's the six-step process that
25 we've often referred to. And then the last

1 part is the conclusions and recommendations.
2 And some of these, I should point out, are
3 similar to findings in the first set, the first
4 letter that we wrote. The DR report on-- you
5 know, once again we found several findings
6 related to concerns about the DR report and the
7 fact that it may -- may not have captured
8 information identified by the claimant in their
9 CATI interview and -- and that would be wise to
10 do so, some other items like that. Also the --
11 the ability to -- to audit the DR report, that
12 it was very difficult to crosswalk the DR
13 report unless you had all the -- the records
14 that go behind it, which are on the O drive but
15 ma-- you know, are often not available to the
16 claimant.

17 Internal quality control came up in several
18 different findings, and that was a finding
19 before, also. Procedural issues, the highlight
20 of this is the TIB-8 and TIB-10, which we've
21 heard about at several meetings now. And then
22 a -- a sort of a new category in the letter is
23 the external dose issue. This is related to
24 primarily -- or solely, actually, to the dose
25 conversion factor that was raised and -- and --

1 and it -- it actually came up in several of the
2 cases out of these 40 and it -- it remains
3 unresolved, though. There is -- NIOSH has an
4 interim strategy for being claimant favorable
5 in place.

6 And then the ongoing concerns are -- are
7 similar as in the last one. They -- the -- the
8 CATI interview, this -- this has come up in
9 these cases as well as in the procedures
10 review. And the validation and verification of
11 -- of records. And the final one is the --
12 considered one of the efficiency approach that
13 the -- and the last line there indicates that
14 NIOSH has modified or clarified their policy,
15 indicating that overestimating approaches are
16 warranted only when there is clear efficiency
17 advantage to them. In other words, if -- if
18 the data's there and it -- there's no benefit
19 to using that efficiency approach, then use the
20 data that you have.

21 So that's -- that summariz-- you know, that's -
22 - that's the summary letter. I hope people had
23 time -- I'm sorry for getting it out just this
24 morning, but that is a summary of the second
25 and third set of cases -- doesn't address the

1 procedures review at all. I've -- I've left
2 that separate.

3 Just to -- go ahead, Paul.

4 **DR. ZIEMER:** I was just going to ask, Mark, do
5 -- is this ready to take action or did the
6 Board members -- since you only got it this
7 morning, do you wish to defer action till our
8 meeting or are you -- are you ready to act on
9 it now? We do -- we will need to get -- on
10 page one we will need to get some numbers,
11 perhaps from Stu Hinnefeld --

12 **MR. GRIFFON:** Yeah, and he -- he did provide
13 those to me. Just this morning I got some of
14 those numbers from him.

15 **DR. ZIEMER:** And maybe you can give us those
16 numbers. This first -- I guess it's the second
17 paragraph, the XXX, and then the third
18 paragraph --

19 **MR. GRIFFON:** Yeah, I think Stu said it was
20 thirty -- around 3,900 -- I think he had a
21 specific number, but around 3,900 is what I've
22 filled in now for cases.

23 **DR. ROESSLER:** What is the down side of waiting
24 until the September meeting? This is a lot to
25 go through because --

1 **MR. GRIFFON:** Yeah.

2 **DR. ROESSLER:** -- we didn't get until we --

3 **DR. ZIEMER:** I don't think there's a particular
4 problem in waiting.

5 **MR. GRIFFON:** No, although I would -- I mean I
6 -- I don't -- I certainly don't mind waiting to
7 vote on the whole package, the matrices and
8 this, you know, 'cause the matrices'll be
9 attached, so I think it would be beneficial for
10 all Board members to --

11 **DR. ZIEMER:** Have the whole package.

12 **MR. GRIFFON:** -- look close-- look closely at
13 it, yeah. The only thing I would ask, Paul, is
14 that if we do vote on it in September, that it
15 be delivered shortly after. I think --

16 **DR. ZIEMER:** Right.

17 **MR. GRIFFON:** -- I don't know where the first
18 letter stands.

19 **DR. ZIEMER:** This will be pretty much ready to
20 go I think --

21 **MR. GRIFFON:** Yeah.

22 **DR. ZIEMER:** -- by the time you're ready in
23 September, and if we have all the -- if we have
24 those numbers from Stu, it just -- everything
25 in electronic form, we can shoot it right in,

1 so --

2 **MR. GRIFFON:** Yeah, and I'll send out a -- a
3 revision two in a couple of days. I actually -
4 - the -- the -- the -- one thing I wanted to
5 discuss briefly is the summary of the audit
6 contractor findings. I think I -- I -- I've
7 already edited it on my copy here, but I put
8 down 38 of 40 and two cases that may have been
9 affected, and I think really at this point --
10 or -- or -- I think conclusion's more likely
11 that one case, case number 49, could be
12 affected. And that's a lymphoma case which has
13 the new policy in place for -- for dose
14 reconstruction. The -- there are four other
15 cases, though, that -- in our -- out of the 40
16 that are -- that NIOSH and SC&A have agreed
17 need further evaluation, so they've -- so I've
18 re-- I've re-worded that paragraph a little to
19 reflect that, that one -- one has insufficient
20 information --

21 **DR. ZIEMER:** Oh, okay, so --

22 **MR. GRIFFON:** -- but there's four --

23 **DR. ZIEMER:** -- (unintelligible) --

24 **MR. GRIFFON:** -- that need re-evaluation --
25 yeah.

1 **DR. ZIEMER:** -- paragraph, okay.

2 **MR. GRIFFON:** Yeah, so I'll -- I'll forward a
3 rev. 2, and then you'll have the two matrices
4 and -- that are -- you know, I think we can
5 take it up for a vote at the September meeting.

6 **DR. ZIEMER:** Okay. Let me ask if there's any
7 questions on this at the moment?

8 **MR. HINNEFELD:** This is -- this is Stu
9 Hinnefeld. Well, the -- the comment that, you
10 know -- the one that is insufficient, that case
11 number 49, since that is the result of the
12 change in the policy for target organ rather
13 than any kind of error in the dose
14 reconstruction, will those words kind of be
15 reflected in the letter?

16 **MR. GRIFFON:** Yeah, I think we'll have to put
17 something -- yeah. Yeah. Yeah.

18 **DR. ZIEMER:** Yeah, it probably --

19 **MR. GRIFFON:** We have to clarify that, right.

20 **DR. ZIEMER:** -- clarify that it --

21 **MR. GRIFFON:** Yeah.

22 **DR. ZIEMER:** -- make sure it's not shown as a
23 deficiency then.

24 **MR. HINNEFELD:** Stu Hinnefeld again.

25 **DR. ZIEMER:** Right.

1 **MR. HINNEFELD:** Up on page two there's a second
2 insert, Tables -- 21 to 60. Are those the
3 selection -- the tables that are essentially
4 the selection tables that I --

5 **MR. GRIFFON:** Yes.

6 **MR. HINNEFELD:** Okay. I'll provide those, as
7 well.

8 **MR. GRIFFON:** Yeah.

9 **DR. ZIEMER:** And -- and Stu, what -- what are
10 the correct numbers on the first page?

11 **MR. HINNEFELD:** Well, I e-mailed it to Mark. I
12 didn't keep them --

13 **DR. ZIEMER:** Oh, you don't have --

14 **MR. GRIFFON:** It wa-- yeah, it wa--

15 **DR. ZIEMER:** Mark'll insert those --

16 **MR. GRIFFON:** Yeah, it was actually 3,892. I
17 was just going to put approx-- since I have
18 "approximately" in the -- in the letter, I
19 thought I'd put approximately 3,900.

20 **DR. ZIEMER:** Oh, approximately, okay.

21 **MR. GRIFFON:** Yeah.

22 **MR. HINNEFELD:** And the --

23 **DR. ZIEMER:** Okay.

24 **MR. HINNEFELD:** -- that was as of the selection
25 for the third set. That was February of '05

1 when the third set was selected, so --

2 **MR. GRIFFON:** Okay.

3 **DR. ZIEMER:** Yeah, we need to put the date in -
4 -

5 **MR. HINNEFELD:** -- the second set was selected
6 a couple of months earlier.

7 **DR. ZIEMER:** Okay.

8 **MR. GRIFFON:** All right.

9 **MR. HINNEFELD:** I thought that would be the --
10 since we're talking about them sort of together
11 here --

12 **DR. ZIEMER:** And the second number --

13 **MR. HINNEFELD:** -- (unintelligible) --

14 **DR. ZIEMER:** -- is what then?

15 **MR. HINNEFELD:** -- that other date.

16 **DR. ZIEMER:** In the next paragraph, the 40
17 cases covered in this report, selected from an
18 unrepresentative pool of -- what is that
19 number?

20 **MR. GRIFFON:** I think that's the same number.

21 **DR. ZIEMER:** Oh, that's the same number?

22 **MR. GRIFFON:** That's referencing the same
23 number, yeah.

24 **DR. ZIEMER:** Oh, okay. I see.

25 **DR. WADE:** So we can get those numbers in rev.

1 **DR. WADE:** Yes.

2 **DR. ZIEMER:** -- the Board members the charter.
3 What I was going to suggest and -- and based on
4 our discussion at the last meeting, it had been
5 agreed that Mark would chair this. The other
6 members identified for this subcommittee were
7 Mike and John Poston and Wanda. Since Wanda no
8 longer will be on that subcommittee, the next
9 person -- we had two alternates identified.
10 One was Bob Presley and the other was Brad, and
11 so I'm suggesting that we move Bob Presley up
12 into the membership position and we need a
13 second alternative (sic) in addition to Brad,
14 and I -- according to Lew's notes, Gen had also
15 volunteered but we didn't use her so we --
16 'cause we had our two alternates. But if Gen
17 is still available, she could become the second
18 alternate then.

19 **DR. ROESSLER:** Okay.

20 **DR. ZIEMER:** Is that agreeable?

21 **DR. ROESSLER:** Sure.

22 **DR. ZIEMER:** And now -- so that subcommittee is
23 the one that, if we have a subcommittee meeting
24 prior to the meeting, that's the group that
25 would be meeting. Those are the four

1 individuals, and the alternates of course could
2 attend if they wished, as well, and Mark would
3 lead that.

4 And in terms of the charter itself, if you
5 would turn to that charter, I'll just point out
6 a couple of items, and then I -- I think we can
7 --

8 **MR. GRIFFON:** Paul --

9 **DR. ZIEMER:** Yeah.

10 **MR. GRIFFON:** -- just a question on that. If
11 we -- we now have nine members. If the two
12 alternates attend, don't we have a quorum of
13 the Board?

14 **DR. ZIEMER:** Let's see -- yeah, I guess it's
15 going to depend on whether some new members are
16 named --

17 **MR. GRIFFON:** Yeah, okay.

18 **DR. ZIEMER:** -- but --

19 **DR. WADE:** I'll try and manage --

20 **DR. ZIEMER:** Yeah, we may --

21 **MR. GRIFFON:** Yeah, we may have to --

22 **DR. ZIEMER:** -- alternates out of there.
23 Right?

24 **MR. GRIFFON:** No, I'm just --

25 **DR. ZIEMER:** (Unintelligible) but -- a good

1 point, but in any event, if you look at the
2 charter, the changes -- and again, I think we
3 can operate next month under the existing
4 charter. That wouldn't be a problem. But what
5 I'm going to propose is the adoption of a new
6 charter at our next meeting. I just want to
7 point out what changes would be made.

8 The -- on the very first page, the name of the
9 subcommittee would become the Subcommittee for
10 Dose Reconstruction, so we would be dropping
11 the site profile reviews. And then the
12 membership, if that -- wherever that "site
13 profile reviews" appears again, that would be
14 dropped.

15 It says the membership shall be selected from
16 the attached roster of Board members, and what
17 we would do would be to say that the membership
18 shall be as shown on the attached roster, and
19 we would simply name the individuals, not being
20 the full Board. So those changes would occur
21 on page one.

22 On page two, which has the subcommittee
23 charges, as I see it now -- and again, we'll
24 have a revision copy for you to act on at the
25 next meeting, but as I see it now, items one

1 and two would disappear because those are some
2 items that are now handled in different ways
3 and actually have really nothing to do directly
4 with -- with the issue of dose reconstruction,
5 per se. The third item would become item one,
6 but we would drop the words "and site profile
7 reviews". Item four would drop out. Item
8 five, six, seven -- five and six would remain.
9 Item seven would be the same except for
10 dropping "and site profile review reports."
11 Item eight would remain the same except for
12 dropping "site profiles and." And then I would
13 say that we would --

14 **MR. GRIFFON:** Paul --

15 **DR. ZIEMER:** Yeah.

16 **MR. GRIFFON:** -- just -- just a question on --
17 on dropping number four. I thought earlier in
18 the budget discussion we just -- I -- I
19 understood that we were actually going to maybe
20 work on some of that to -- clarifying scope.

21 **DR. ZIEMER:** Well, the way this is written is
22 it was looking at all of the contractor tasks
23 at that point, and I think -- I think we would
24 handle it differently here, and I have -- I
25 have a -- a new item to add --

1 **MR. GRIFFON:** Okay.

2 **DR. ZIEMER:** -- at the end. Let's see --

3 **DR. MELIUS:** Paul, this is Jim Melius. I've
4 got to sign off. I have to get to another
5 meeting.

6 **DR. ZIEMER:** Okay. Well, we -- we're not going
7 to take action on this --

8 **DR. MELIUS:** I understand, that's --

9 **DR. ZIEMER:** Okay. The Board would still --
10 this group would still have some
11 responsibilities to -- to make recommendations
12 relative to such things as the scope of the
13 dose reconstruction reports, the issue that we
14 talked about earlier --

15 **MR. GRIFFON:** Yeah.

16 **DR. ZIEMER:** -- and then I have an item added
17 which I'll just read to you here and you'll get
18 it in writing for the next meeting. (Reading)
19 Review findings of the Board's audit contractor
20 regarding dose reconstruction cases that have
21 been reviewed by the contractor in conjunction
22 with the Board's review panels, assure that
23 these findings are considered by NIOSH, and
24 oversee the development of findings.
25 That really has to do with the -- the matrices

1 that are developed --

2 **MR. GRIFFON:** Yeah.

3 **DR. ZIEMER:** -- in the final findings. And
4 then we would have to have some words to cover
5 those one item that we talked about today in
6 the --

7 **MR. GRIFFON:** Okay.

8 **DR. ZIEMER:** But basically what we would be
9 doing would simply be modifying the charter to
10 reflect the specific group and the focus on
11 dose reconstruction activities.

12 **DR. WADE:** Right, and with your permission
13 then, I'll work with the subcommittee chair to
14 -- to bring a proposal to the September meeting
15 as to the charter.

16 **DR. ZIEMER:** Yeah, and what I was going to do,
17 and I'll make this available and the
18 subcommittee can review the proposed charter,
19 I'll just provide you a rewording of this stuff
20 that I have here and you can use that as a
21 straw man to work from. And then we -- we need
22 to make sure that it includes these issues that
23 we talked about earlier today in terms of --

24 **DR. WADE:** Right.

25 **DR. ZIEMER:** -- defining things like the -- the

1 issue of the blind reviews and those kinds of -
2 - sort of policy issues.

3 **DR. WADE:** Right, and Mark and I can work --

4 **DR. ZIEMER:** And keep in mind now, in the
5 framework of our meeting, insofar as it may
6 work out, we can have other workgroups meet
7 during that morning hour. Now obviously they
8 can't all because there's an overlap in
9 membership. But if we have -- have this
10 subcommittee meeting, it might be possible for
11 a couple of the other workgroups to also meet
12 prior to the Board meeting. We'll have to look
13 at the specific membership and see how that
14 would work out.

15 **DR. WADE:** Right. And just for the record,
16 Mark, subcommittee meetings would be noticed,
17 and we don't have to worry about the quorum
18 issue. We've often had a quorum of the Board
19 present at subcommittee meetings.

20 **MR. GRIFFON:** That's correct, okay.

21 **DR. ZIEMER:** Yeah, and since those meetings are
22 announced and open, it's probably not a -- an
23 issue.

24 **DR. WADE:** Right, it's only the workgroups that
25 we have to.

1 Subsequently -- and I asked Larry Elliott to
2 also comment and -- and see where they were
3 'cause we know they're developing some models
4 for -- for construction worker dose
5 reconstructions. And we got -- Larry did
6 provide some information relative to the
7 information in -- in Pete's letter, and -- is
8 Larry or -- or Stu, are you handling --

9 **DR. WADE:** I think Jim -- Jim is on, Jim Neton.

10 **DR. ZIEMER:** Jim Neton.

11 **DR. NETON:** Yeah, I'm on.

12 **DR. ZIEMER:** Can you kind of give us an update
13 on where we are in terms of the -- the
14 construction worker dose reconstruction models
15 and related issues? 'Cause I'll need to
16 respond to Pete's letter and I'll need some
17 input on that.

18 **DR. NETON:** Right. First I -- I could -- I
19 should clarify that when we speak here of
20 construction workers, we're -- we're speaking
21 specifically of what we call second tier
22 construction workers. That is -- and -- and I
23 prefer to call them building trades workers,
24 but those building trades workers who were not
25 employed by the prime contractor at the site.

1 In other words, this wouldn't include people
2 who were electricians, pipe fitters, plumbers
3 who worked directly for the DOE prime
4 contractor because we have been doing those
5 dose reconstructions all along and we believe
6 that the sites' monitoring program adequately
7 can be used to bound their exposures.

8 For this sort of separate set of workers we are
9 -- we have developed a site profile. It's on
10 its probably third revision right now, and the
11 release of it is -- is very close. In fact,
12 I'm meeting tomorrow morning with the ORAU team
13 that developed some of the -- this document to
14 go over the final details. It has been through
15 a number of revisions. It's been late in
16 coming, but we feel that it's going to be
17 released very shortly. That's about all I can
18 offer, I guess.

19 **DR. ZIEMER:** Okay. Well, in any event, we --
20 we need to -- and perhaps what I should do is
21 volunteer to draft a letter for the Board to
22 review at our September meeting which will
23 provide an update on where NIOSH is on -- on
24 their process, and also I think Larry has
25 provided some information on -- there -- there

1 is some information in -- in Pete's letter
2 which appears to be incorrect in terms of the
3 numbers of claims of -- or dose reconstructions
4 of construction workers and so on and we need
5 to provide the -- the correct numbers there.
6 But would that be agreeable if I simply drafted
7 a letter and brought it to the Board to review
8 before we send it out?

9 **DR. WADE:** I would point out, Paul -- this is
10 Lew -- that Pete also ends with some very
11 specific requests. I think it would be worth
12 your considering at least putting forward a
13 possible answer. For example, he says in his
14 first request he'd like to see the Board
15 arrange to have the Technical Basis Document
16 reviewed. Well, you know, that's something the
17 Board could assign to SC&A as a -- as a task
18 within that Task I we've been talking about. I
19 think -- as you go through these I think there
20 are possible responses the Board could make.
21 You know, possibly you could consider them and
22 then bring some alternatives or recommendations
23 for the Board to consider on Pete's
24 recommendations.

25 **DR. ZIEMER:** Well, they -- these are identified

1 in his letter on the second page as "issues" --
2 we raise these issues and ask that the Board
3 consider them as -- and these are -- it says
4 since OCAS expects to consider the Technical
5 Basis Document soon, please consider
6 establishing a subcommittee to address it. We
7 heard from Jim as to where they are, so that
8 will be on the street -- hopefully very
9 shortly.

10 OCAS has completed a large number of
11 construction worker DRs, and actually the
12 numbers are -- according to Larry, are nine.
13 So I don't know if that's a large number, but
14 it says we requested SC&A (unintelligible) its
15 expertise in construction worker exposure
16 estimations, check the random sample
17 construction worker DRs for audit, and so on.
18 So we have that request. And then this third
19 one -- OCAS should investigate and summarize
20 cases of past DOE and concern-- and this is
21 sort of a task for -- he's asking, I think,
22 NIOSH to do.

23 Then we ask the Board to add a program
24 performance evaluation of its overall Q and A
25 procedures and so on.

1 **DR. WADE:** I think all of those deserve some
2 consideration.

3 **DR. ZIEMER:** Yeah.

4 **DR. WADE:** I think they're -- I think they're -
5 - they're -- they're presented I think in the
6 spirit of improving things and I think we need
7 to consider them as such.

8 **DR. ZIEMER:** Right. Now all of these may
9 require a fair amount of discussion time, and
10 we had hoped originally, when we set up this
11 meeting, that we would have that time. But we
12 actually are at our official adjournment point
13 here and so it may be, Lew, that we will have
14 to put these individual items on the table for
15 specific discussion --

16 **DR. WADE:** At the next meeting.

17 **DR. ZIEMER:** -- at our Board meeting.

18 **DR. WADE:** I agree. Makes sense.

19 **DR. ZIEMER:** And I think in terms of those
20 specific actions, anything -- well, we actually
21 will have to defer responding till we see what
22 the Board wishes to do on each of these items.

23 **DR. WADE:** I think you're correct.

24 **DR. ZIEMER:** In the meantime, I -- I could -- I
25 could write Pete and simply indicate to him

1 that we plan to do so, and that would be -- I
2 think I can just do that on my own.

3 **DR. WADE:** And invite him to -- possibly invite
4 him to the meeting.

5 **DR. ZIEMER:** Sure. So in the -- without
6 objection, we'll do that and indicate to Pete
7 what the plan is.

8 **BOARD WORKING TIME**

9 Let me ask if there are any other items that
10 need to come before us?

11 **DR. WADE:** I have two that are very important
12 to me, if I might, Paul.

13 **DR. ZIEMER:** You bet.

14 **DR. WADE:** We -- there is a meeting scheduled
15 on the 22nd of August in Cincinnati to look at
16 the Savannah River site profile. That was a
17 workgroup to be chaired by Dr. DeHart.

18 **DR. ZIEMER:** Roy DeHart was the chair.

19 **DR. WADE:** It had Gibson, Griffon and Lockey.
20 I'd like some sense as to how to proceed. I --
21 you know, I would like to -- to keep the
22 momentum going, but we are currently without a
23 chair.

24 **DR. ZIEMER:** Yeah, Gibson, Griffon, Lockey, we
25 really need to add a person to that group...

1 **DR. WADE:** (Unintelligible)

2 **DR. ZIEMER:** -- first meeting of that group, I
3 believe.

4 **DR. WADE:** Correct.

5 **MR. GRIFFON:** Well, we did have one phone
6 meeting.

7 **DR. ZIEMER:** You had a phone meeting.

8 **MR. GRIFFON:** Yeah.

9 **DR. WADE:** Uh-huh.

10 **DR. ZIEMER:** Right.

11 **MR. GRIFFON:** I mean I think we all set that
12 date aside, I -- it would be good to --

13 **DR. WADE:** To keep it.

14 **MR. GRIFFON:** -- stick with it, yeah.

15 **DR. ZIEMER:** Yeah, I -- yeah, I'm just thinking
16 we -- we need to -- we need to perhaps add one
17 more person, and then we need to designate a
18 chair.

19 **MR. CLAWSON:** Paul, this is Brad Clawson. I
20 would -- I would help out with what you want,
21 but I really don't want to chair it too bad.

22 **DR. ZIEMER:** You're volunteering not to chair
23 it, is that --

24 **MR. CLAWSON:** I'm volunteering to help, but I
25 don't want to chair it.

1 **DR. ZIEMER:** I understand.

2 **MR. GIBSON:** Paul --

3 **DR. ZIEMER:** Yes.

4 **MR. GIBSON:** -- this is Mike. I'll volunteer
5 to chair the meeting if -- if -- if the other
6 members agree.

7 **DR. LOCKEY:** I agree to that.

8 **DR. ZIEMER:** Let's appoint you -- and I'm going
9 to change phones here. My -- my battery is
10 going dead.

11 **DR. WADE:** Well, thank you, Mike, very much for
12 that. You -- you've -- you've watched Mark and
13 I think you're in wonderful position to chair,
14 so we would add Mike as chair and add Brad to
15 the working group, and the meeting would
16 continue --

17 **DR. ZIEMER:** And Brad was already on the group,
18 so we could still use one more person.

19 **DR. WADE:** Brad is -- Brad is not.

20 **DR. ZIEMER:** Oh, Brad is not? I thought I had
21 him down.

22 **DR. WADE:** It was Gibson, Griffon, Lockey and
23 DeHart.

24 **DR. ZIEMER:** Okay, I gotcha, yeah.

25 **DR. WADE:** So Brad joins and Mike --

1 **DR. ZIEMER:** Yeah, Brad as a volunteer.

2 **DR. WADE:** -- moves in as the chair.

3 **DR. ZIEMER:** Okay. All right.

4 **DR. ROESSLER:** And if you need an alternate for
5 some reason, I just checked my calendar, I'm
6 free.

7 **DR. ZIEMER:** Okay. Well, we'll proceed with
8 Mike chairing then, and Mark and Jim Lockey and
9 Brad Clawson.

10 **DR. WADE:** Right. The other issue I would
11 raise -- Dr. Melius is not here, but there is
12 also a -- a workgroup that was to look at SEC
13 issues, with Melius chair, with Griffon, Wanda
14 and Dr. Lockey. Two things about that. One is
15 we have the hole created by Wanda. We also now
16 have SC&A unencumbered to look at Nevada Test
17 Site, and particularly that issue of the 250
18 days. So I just want to let everyone know that
19 -- I think Dr. Melius was going to tell you
20 that he's going to engage SC&A on that issue,
21 and so I'll say that for him. We do need,
22 though, a replacement for Wanda on that
23 workgroup, chaired by Melius, Griffon and
24 Lockey, and we need someone else.

25 **DR. ROESSLER:** When does that meet?

1 **DR. WADE:** It's not been scheduled yet.

2 **DR. ROESSLER:** I'd volunteer, depending on the
3 meeting date.

4 **DR. ZIEMER:** Well, yeah, and the meeting date
5 will be determined by common consent amongst
6 the members.

7 **DR. ROESSLER:** Okay.

8 **DR. WADE:** But I'll also let the Board know
9 that Dr. Melius intends to contact SC&A through
10 me to -- to get them turned on to this 250-day
11 issue.

12 **DR. ZIEMER:** Right. So this now will be
13 Melius, Griffon, Roessler, Lockey.

14 **DR. WADE:** Right.

15 **DR. ZIEMER:** Okay.

16 **DR. WADE:** Okay, we have -- we have some
17 others. We have the Nevada Test Site, which
18 was Presley, Roessler, Wanda and Clawson. Now
19 we have to replace Wanda. Again, Bob Presley,
20 I don't know if you feel you desperately need a
21 replacement or how that's going or what your
22 thoughts are.

23 **DR. ZIEMER:** Lew, Bob is off the phone.
24 Remember, he had a doctor's appointment.

25 **DR. WADE:** Okay, so we can leave that one

1 opened.

2 **DR. ZIEMER:** And we'll fill it if needed.

3 **DR. WADE:** I think then we're in decent shape.

4 **DR. ZIEMER:** Great.

5 **DR. WADE:** Okay. Sorry to rush through those.

6 **DR. ZIEMER:** Okay, any other business to come
7 before us then today?

8 **MR. CLAWSON:** Yeah, Paul, this is Brad Clawson.

9 I just mentioned the -- Mike Gibson on this
10 Savannah River, if -- if I could get some of
11 the information and stuff that it started out
12 or whatever, I'd -- I'd appreciate it.

13 **DR. ZIEMER:** Yeah, Mike, can -- can you make
14 sure that he gets copies of everything?

15 **MR. GIBSON:** Yeah, I'll get everything that --
16 I'll get everything that was sent to me and try
17 to send it out and try to get up to speed on
18 this a little bit more and get in touch with
19 everyone.

20 **DR. WADE:** All right, Mike, maybe you and I can
21 talk. We have several issues to talk about and
22 maybe we could figure out how to get some of
23 that matrix construction and stuff done and I
24 might be able to assist you in that.

25 **MR. GIBSON:** Okay, great, Lew.

1 **DR. WADE:** Thank you.

2 **DR. ZIEMER:** Okay, then I think we've concluded
3 our business. I look forward to seeing
4 everybody in Las Vegas --

5 **THE COURT REPORTER:** Dr. Ziemer --

6 **DR. ZIEMER:** Yeah.

7 **THE COURT REPORTER:** -- this is Ray.

8 **DR. ZIEMER:** Yeah, Ray.

9 **THE COURT REPORTER:** Could I ask a question?
10 It seems like last week in Cincinnati we
11 scheduled -- did we schedule a teleconference
12 workgroup for August 31st? Am I correct on
13 that?

14 **UNIDENTIFIED:** This is (unintelligible), yeah,
15 we did.

16 **DR. ZIEMER:** Let's see -- Lew, do you have that
17 on your schedule?

18 **DR. WADE:** Boy, it rings a bell, but I don't
19 have it on a piece of paper in front of me.

20 **MR. GRIFFON:** Ray -- Ray, that's a face-to-face
21 workgroup. I was wondering why nobody heard
22 me; I was on mute.

23 **DR. WADE:** So that's your workgroup?

24 **MR. GRIFFON:** Yeah, it's the Rocky Flats and
25 we're going to be in Cincinnati. We're -- we

1 agree that those are better to be in person.

2 **THE COURT REPORTER:** Okay. So then am I
3 correct that what we have left in August is the
4 22nd face-to-face in Cincinnati and the 31st,
5 also in Cincinnati face to face?

6 **DR. WADE:** Right, and possibly something coming
7 from Dr. Melius on Nevada Test Site 250 days.

8 **THE COURT REPORTER:** In August?

9 **DR. WADE:** I don't know.

10 **THE COURT REPORTER:** Oh, okay.

11 **DR. ZIEMER:** We don't know on that one yet.
12 We'll have to find --

13 **MR. GRIFFON:** At least those two, yeah.

14 **THE COURT REPORTER:** Okay. Thank you.

15 **DR. WADE:** Thank you.

16 **DR. ZIEMER:** Okay, any other business?

17 **DR. BEHLING:** This is Hans Behling. Regarding
18 the 250-day issue, that was also brought up in
19 behalf of the Ames, Iowa SEC petition and was
20 never resolved. Is there any status on that
21 issue?

22 **DR. WADE:** No, I think -- I think Dr. Melius's
23 workgroup will take on that issue, as well as
24 Pacific Proving Grounds.

25 **DR. ZIEMER:** A couple of -- two sites at least,

1 or more.

2 **DR. WADE:** I think all three of them, Hans,
3 will be brought to you, but it was -- it was
4 awaiting a resolution of the Nevada Test Site.

5 **DR. BEHLING:** Okay, thanks.

6 **DR. ROESSLER:** Paul, since we haven't been cut
7 off yet -- this is Gen.

8 **DR. ZIEMER:** Uh-huh.

9 **DR. ROESSLER:** I did want to bring up something
10 that I think at some time maybe needs some
11 discussion, and this goes back to the beginning
12 of our discussion today --

13 **DR. ZIEMER:** Oh, you were asking about terms.

14 **DR. WADE:** Let me try and do that, if I can --

15 **DR. ZIEMER:** Yeah.

16 **DR. WADE:** -- well, until they cut us off. The
17 charter -- when the Board was rechartered in
18 2005 the modification was made that Board
19 members would serve terms and there would be
20 rotation. Before that, there was no thought of
21 rotation. The rules that are being used by
22 NIOSH and the White House Office of Personnel
23 are that one-third of Board members will rotate
24 off each year. The initial rotation was
25 determined alphabetically. The White House

1 Personnel will decide, on a case by case basis,
2 of who stays and who goes. So that the plan
3 was with 12 Board members there would be four
4 rotating off each year starting in 2005.

5 **DR. ZIEMER:** Or four per year?

6 **DR. WADE:** Four per year.

7 **DR. ZIEMER:** Four per year.

8 **DR. WADE:** Excuse me, four per year or a third
9 of the -- of the membership.

10 **DR. ZIEMER:** Oh, a third of the membership,
11 right, okay.

12 **DR. WADE:** A third of the membership, four per
13 year. There again, the annual rotation is
14 subject to the timing of when the White House
15 actually does it, and so I mean -- it can't be
16 rigid that it's one year, but the target was
17 each year four members would rotate and the
18 order was selected alphabetically. It doesn't
19 mean that everyone would be rotated off. Some
20 members could be re-upped, and that's a
21 decision made by the White House.

22 **DR. ZIEMER:** Yeah, this last statement we got
23 said that three were going on four a four-year
24 term, so that was a little confusing.

25 **DR. WADE:** Well, and they say -- it was up to a

1 four-year term --

2 **DR. ZIEMER:** Right.

3 **DR. WADE:** -- because that's the wording in the
4 charter.

5 **DR. ZIEMER:** Oh, okay.

6 **DR. WADE:** The charter says up to a four-year
7 term, and that's to allow for a little bit of -
8 -

9 **DR. ZIEMER:** Overlap.

10 **DR. WADE:** -- elasticity in the three years.

11 **DR. ROESSLER:** So does the -- I think what I'm
12 really getting at is that most appointments by
13 agencies, you have a clear understanding as to
14 when your term ends, and that allows a person
15 to plan for other appointments to other things
16 that might come up. I guess personally I feel
17 at this point I'm -- I'm really unclear as to
18 what my appointment might be. I'd be unclear
19 if something else -- if I had another
20 opportunity as to whether I could take it or
21 not.

22 **DR. WADE:** You need to consult with me on that.
23 Alphabetically, you would be in the third
24 group. The second group has just been dealt
25 with in terms of this announcement, so next

1 year your -- you would be one of the four
2 members under consideration.

3 **DR. ROESSLER:** Okay, that -- that helps.

4 **DR. WADE:** I can't speak beyond that, Gen, as
5 to what the decision would be.

6 **DR. ROESSLER:** Okay. I think in answer to the
7 question, for this year then the rotation has
8 been determined.

9 **DR. WADE:** That's my understanding.

10 **DR. ROESSLER:** Okay. Okay.

11 **MR. CLAWSON:** And Lew, this is Brad Clawson.
12 Being one of the newer members, if you remember
13 right, it took over a year for me to be able to
14 get put on line and going, from the time they
15 made the announcement to me. I think it'd be
16 very beneficial -- you know, there's a lot to -
17 - to learn on this. If there's any way they
18 could bring these new members in, let them
19 learn from some of the previous -- I know it's
20 just a suggestion, but I think they should
21 really look at it.

22 **DR. WADE:** That's a good -- good suggestion.
23 You know, personally, for the record, I'm not
24 in favor of the rotation because I do believe
25 that there is such a tremendous learning curve

1 and there's such a value in knowledge, and yet
2 I do understand the value of, you know, fresh -
3 - fresh faces, fresh minds. But you know, it's
4 not my decision.

5 **DR. LOCKEY:** Lew, Jim Lockey, one question.
6 The S-- SEC review, is -- at the last face-to-
7 face meeting there was going to be a review
8 process also for petitions denied. Is that --
9 is that what you were talking about?

10 **DR. WADE:** Yes, as part of the task of that
11 working group, yes.

12 **DR. LOCKEY:** Okay, good. Thanks.

13 **DR. ZIEMER:** Okay.

14 **DR. WADE:** Sorry to rush at the end, but Gen, I
15 wanted to get you your answer.

16 **DR. ROESSLER:** Thank you.

17 **DR. ZIEMER:** Thank you very much. So I'll
18 declare the meeting adjourned. We'll look
19 forward to seeing you all next month.

20 **DR. WADE:** Thank you.

21 (Whereupon, the meeting adjourned at 4:45 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of August 8, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 24th day of September, 2006.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**