

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

SAVANNAH RIVER SITE

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held in Hebron, Kentucky on August 22,
2006.

C O N T E N T S

August 22, 2006

WELCOME AND OPENING COMMENTS DR. LEWIS WADE, DFO	6
SAVANNAH RIVER TBD FINDINGS MATRIX:	10
COMMENT ONE: RECYCLED URANIUM	10
COMMENT TWO:	64
COMMENT THREE: NEUTRON TO PHOTON RATIOS	64
COMMENT FOUR: TANK FARMS	84
COMMENT FIVE: EARLY MONITORING	139
COMMENT SIX:	149
COMMENT SEVEN: GAUSSIAN MODELS	159
COMMENT EIGHT: METAL TRITIDES	176
COMMENT NINE: HIGH FIVE	209
COMMENT TEN:	220
COMMENT ELEVEN:	225
COMMENT TWELVE: ORO-NASAL BREATHING	226
COMMENT THIRTEEN: REPORTING INCIDENCES	227
COMMENT FOURTEEN:	240
COMMENT FIFTEEN: GUIDELINES	245
COMMENT SIXTEEN: CONSTRUCTION WORKERS	246
COURT REPORTER'S CERTIFICATE	253

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSEXECUTIVE SECRETARY

WADE, Lewis, Ph.D.

Senior Science Advisor

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, DC

MEMBERSHIP

1

CLAWSON, Bradley

2

Senior Operator, Nuclear Fuel Handling

Idaho National Engineering & Environmental Laboratory

GIBSON, Michael H.

President

Paper, Allied-Industrial, Chemical, and Energy Union

Local 5-4200

Miamisburg, Ohio

GRIFFON, Mark A.

President

Creative Pollution Solutions, Inc.

Salem, New Hampshire

3

LOCKEY, James, M.D.

4

Professor, Department of Environmental Health

5

College of Medicine, University of Cincinnati

IDENTIFIED PARTICIPANTS

ALVAREZ, BOB, SC&A
BIHL, DON
BUCHANAN, RON, SC&A
CHANG, CHIA CHIA, NIOSH
FITZGERALD, JOE, SC&A
FIX, JACK
GLOVER, SAM, OCAS
HOWELL, EMILY, HHS
KATZ, TED, NIOSH
KOTSCH, JEFF, DOL
LABONE, TOM
MAURO, JOHN, SC&A
NETON, JIM, NIOSH
ROBERTSON-DEMERS, KATHY, SC&A
ROLLINS, GENE, DADE MOELLER & ASSCS.
SAMPSON, BOB, GAO
SCALSKY, ED
SIEBERT, SCOTT
THOMAS, ELYSE, ORAU

P R O C E E D I N G S

(9:30 a.m.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23WELCOME AND OPENING COMMENTSDR. LEWIS WADE, DFO

DR. WADE: Good morning, all. This is Lew Wade, and I'm the Designated Federal Official for the Advisory Board and I would like to welcome you to a meeting of the workgroup of the Advisory Board. This is the workgroup that's looking at the site profile for the Savannah River Site. As currently constituted, that group is now chaired by Mike Gibson, and members are Brad Clawson, Dr. Lockey and Mark Griffon.

What I would like to do -- we'll go around and identify ourselves here, and then we'll go out onto the -- the conference call and I would like certainly people who are working for the government on this call to identify themselves, members of the SC&A team, and then anyone else -- other Board members who are on, I'd like them to identify themselves. And then anyone else who would like to, and then I would turn it over to -- to Mike.

When the SC&A people and the NIOSH team

1 identify themselves, if there's anyone with a
2 conflict, I'd like them to also identify that
3 conflict so we can start with a complete
4 disclosure.

5 Around this table, again, this is Lew Wade with
6 the Advisory Board.

7 **MR. GIBSON:** Mike Gibson.

8 **DR. GLOVER:** Sam Glover with OCAS.

9 **MS. THOMAS:** Elyse Thomas with ORAU team.

10 **MS. ROBERTSON-DEMERS:** Kathy Robertson-Demers
11 with SC&A, no conflict.

12 **MR. FITZGERALD:** And Joe Fitzgerald with the
13 SC&A team. I have no conflict.

14 **MS. HOWELL:** Emily Howell with HHS.

15 **DR. NETON:** Jim Neton with NIO--

16 **MR. CLAWSON:** Brad Clawson, Advisory Board.

17 **MR. ALVAREZ:** Bob Alvarez, SC&A, no conflict.

18 **DR. LOCKEY:** James Lockey.

19 **MR. GRIFFON:** Mark Griffon with the Advisory
20 Board, no conflict.

21 **DR. NETON:** Jim Neton, NIOSH, no conflict.

22 **DR. WADE:** Okay. Any other Advisory Board
23 members beside Brad on the call at this moment?

24 (No responses)

25 Okay. Any other members of the NIOSH/ORAU team

1 on the call?

2 **MR. SCALSKY:** Ed Scalsky.

3 **MR. FIX:** Jack Fix.

4 **MR. BIHL:** Don Bihl, no conflict.

5 **MR. LABONE:** This is Tom -- Tom LaBone. I have
6 a conflict.

7 **DR. WADE:** Other members of the NIOSH/ORAU
8 team?

9 (No responses)

10 Just for the record, would anyone on that team
11 who has a conflict identify now?

12 **MR. LABONE:** This is Tom LaBone. I -- I worked
13 at Savannah River for about 20 years.

14 **DR. WADE:** Okay. Anybody else in the
15 ORAU/NIOSH team who has a conflict?

16 (No responses)

17 Anyone on the SC&A team with a conflict?

18 **DR. MAURO:** This is John Mauro. I spent three
19 or four months at Savannah River as part of
20 graduate school. I'm not sure that would
21 constitute a conflict, but I did spend some
22 time at the site.

23 **DR. WADE:** Thank you for your candor, John.
24 Anyone else?

25 **MR. FIX:** This is Jack Fix. I certainly have

1 introductions?

2 (No responses)

3 Okay. Just before I turn it over to Mike, you
4 know, this workgroup has been recently shifted.
5 When originally appointed it had Dr. DeHart as
6 chair, with members Gibson, Griffon and Lockey.
7 Dr. DeHart will be res-- will be retiring from
8 the Board and Mike has stepped forward as chair
9 and Brad Clawson has joined, so the makeup now
10 is Gibson chair, Clawson, Lockey and Griffon.
11 And Mike, it's all yours.

12 **SAVANNAH RIVER TBD FINDINGS MATRIX**

13 **MR. GIBSON:** Okay. Thank you, Lew. As Lew
14 said, we're here today to try to resolve some
15 outstanding issues with NIOSH and SC&A on the
16 Savannah River site profile. And I think we'll
17 be working off of a August 16th draft of SC&A
18 responses to Savannah River TBD findings matrix
19 dated June 5th, 2006. Matri-- comment number
20 one, someone from SC&A wants to go ahead and
21 start off...

22 **COMMENT ONE: RECYCLED URANIUM**

23 **MR. FITZGERALD:** Sure. Sure, this is --
24 Savannah River being one of the earliest ones
25 that we actually reviewed, I think it was one

1 of the earliest ones that NIOSH actually
2 conducted in terms of site profile reviews.
3 This is sort of walking back before a lot of
4 history in terms of some of the issues that
5 we've addressed, but recycled uranium is
6 actually one of the somewhat more generic
7 issues that we've addressed in the other site
8 profiles, so the -- the -- the questions we're
9 raising here really fall back to some of those
10 similar issues that we've discussed at other
11 sites and probably have also walked down
12 similarly, as well.

13 And I'd like to break this down because this
14 does cover a lot of different subject areas,
15 but in the first issue we're concerned I think
16 with the specificity and the scope of what's
17 addressed as far as impurities in recycled
18 uranium. I guess we understand the sources
19 which are referenced, which certainly with Tom
20 LaBone on the phone, his -- his review, as well
21 as the 2000 review, but we're also concerned
22 that beyond those generic references there
23 didn't seem to be much in the way of specifics
24 on the concentrations handled and the fractions
25 -- the same kinds of issues I think we raised

1 at Y-12 and some of the other sites. And we
2 recognize this was the early treatment of the
3 subject at one of the first site profile
4 reviews, but again, we think Savannah River did
5 receive and handle various feeds of recycled
6 uranium and with different trace materials,
7 transuranics, what have you. And I think the
8 treatment in the -- certainly the treatment in
9 the site profile re-- we reviewed we felt was
10 inadequate from that standpoint --

11 **DR. WADE:** Joe, could --

12 **MR. FITZGERALD:** -- in terms of characterizing
13 it.

14 **DR. WADE:** Could I ask you to -- or John Mauro
15 to --

16 **MR. FITZGERALD:** Yeah.

17 **DR. WADE:** -- sort of just identify where we
18 are in terms of Revs. of the site profile and
19 what you have reviewed at this point, just so
20 we all start on the -- the same page?

21 **MR. FITZGERALD:** Well, we have reviewed --
22 obviously for the site profile review we
23 conducted last year -- the first edition of
24 that site profile, and since we reviewed that -
25 - and that was two years ago -- a Rev. -- is it

1 3? I think that's correct. A Rev. --

2 **UNIDENTIFIED:** Yes.

3 **MR. FITZGERALD:** -- a Rev. 3 has been issued
4 and we have since looked at that as well. So
5 these comments basically take the original
6 issues that were cited in that first review
7 that we submitted a year ago, which was, again,
8 based on a site profile that NIOSH conducted a
9 year before that, so it was a 2004 site profile
10 -- 2003 to 2004 -- and we've updated that,
11 reflecting what was in the most current
12 Revision.

13 **DR. WADE:** Thank you.

14 **MR. FITZGERALD:** So the way this is structured
15 is we take the original findings that were in
16 the review of the site profile and we've
17 updated it in response to the comments that --
18 that I think we received from NIOSH.
19 Now as further background -- thank you for
20 raising this question of where we stand -- we
21 had a general discussion that was chaired by
22 Roy DeHart on a conference call in June which
23 we kind of walked through the matrix. And the
24 matrix that was prepared was the first response
25 that we've seen in terms of I think NIOSH's

1 reaction to our original findings on that site
2 profile. So what we're providing here I think
3 is the -- I guess the first response to what we
4 saw in that matrix that we received back about
5 two or three months ago. So again, we haven't
6 had a chance to really discuss what was in that
7 original matrix other than to allude to, you
8 know, what -- what was there and we haven't had
9 an interchange on it.

10 **MR. GRIFFON:** Joe, just --

11 **MR. FITZGERALD:** Yeah.

12 **MR. GRIFFON:** -- the last matrix was June 5th,
13 2006. Is that the most updated matrix?

14 **MR. FITZGERALD:** I believe so.

15 **MR. GRIFFON:** I think that's the...

16 **MR. FITZGERALD:** Yeah, June 5th, 2006 is the
17 last one that we have.

18 **MR. GRIFFON:** Okay.

19 **DR. GLOVER:** That was our response -- or -- or
20 what we provided for our working group
21 conference call.

22 **MR. GRIFFON:** Right. Right. Right.

23 **DR. GLOVER:** And there were I believe, to make
24 -- you know, in the development of the matrix,
25 I think that conversation with a little more

1 clarity, and so SC&A's responses and ours, we
2 have not updated it or tried to make any
3 changes to that following that, and so in some
4 cases they provided clarification I've
5 received, so...

6 **MR. FITZGERALD:** Right, and that -- again, that
7 confer-- that was really a opportunity to
8 clarify what the original findings were and
9 then to I think discuss what the initial
10 response was, and we left it at that. So this
11 is really the first opportunity we've had a
12 chance I think to get into the details.
13 Going back to the first part of the matrix item
14 number one, which was one of our early findings
15 on recycled uranium then, the letter A, that
16 first part, I think is our concern that the --
17 the impurities, that discussion, the
18 information provided, in our view, wasn't as
19 comprehensive as we felt it needed to be in
20 order to be assured that there was a pretty
21 good characterization on what the recycled
22 uranium contained.

23 Our recommendation, quite frankly -- and again,
24 is -- it doesn't differ too much from what we
25 originally said -- was that we felt there was a

1 need to clarify better what those impurities
2 are.

3 **DR. MAURO:** Say, Joe, this is John Mauro. I
4 have a version of the matrix that came out on
5 July 28th that has a column in it, and that's
6 where, if everyone is looking at the same one
7 I'm looking at, that indicates -- right after
8 NIOSH response, there's a column called
9 "Location in SRS TBD Rev 3," so I guess my
10 question is, I'm not quite sure I'm looking at
11 the same version everyone else is looking at.

12 **MR. FITZGERALD:** Yeah, the only --

13 **DR. MAURO:** I found that column very useful
14 because it indicates, for each one of the
15 issues, whether or not that particular issue
16 has in fact been addressed in Rev 3 and where
17 in Rev 3 it is addressed, or if it's not
18 addressed in Rev 3.

19 **MR. FITZGERALD:** Well, le-- yeah, let me -- let
20 me -- let me just indicate, we went ahead, for
21 our own purposes -- this gets confusing -- we
22 added a column just to help us know where the
23 issue was addressed or revised in the matrix,
24 so it doesn't change really the NIOSH response,
25 nor does it change our response. It just is --

1 it just gives you a reference point in the
2 text. That's what the additional column was.
3 So if you have that -- that version of the
4 matrix, it's -- it facilitates I think the
5 discussion, but it doesn't change the responses
6 at all.

7 Do you have any comments or...

8 **DR. GLOVER:** So our response in --

9 **MR. ALVAREZ:** This is Bob Alvarez, and in some
10 instances, John, Rev 3 does not address the
11 issues in the matrix and one of them is
12 recycled uranium.

13 **DR. MAURO:** Oh, yeah -- no, I agree. Don't get
14 me wrong, I just wanted to make sure that I was
15 on the same page as everyone else. I did
16 notice in that column there -- sort -- there
17 are a couple of -- number of places where the
18 Rev 3 does in fact address some specific issue
19 related to this one particular topic in fact,
20 that we're on right now, and then -- but by and
21 large, on this one particular topic, the -- the
22 Rev 3 does not contain any material related to
23 many of the -- of the responses that are
24 provided in the matrix, and I found it very
25 useful. I'll give you an example. On this

1 very -- this very first issue, you know,
2 comment number one, NIOSH has its response in
3 the column called "NIOSH Response," and I
4 noticed on the second page there -- one of the
5 responses, right toward the top of the second
6 page, is (reading) Bioassay for americium,
7 curium and californium was in place during the
8 mid '60s.

9 And I have right next to it a little note, yes,
10 in fact, the Rev 3 does in fact say that. So
11 that particular issue is addressed in Rev 3.
12 However, many of the other paragraphs in NIOSH
13 responses are not contained in Rev 3, and I
14 thought that would be helpful as a tracking
15 device. It was helpful to me.

16 **MR. FITZGERALD:** Bob, did you want to add to
17 that?

18 **MR. ALVAREZ:** No.

19 **MR. FITZGERALD:** We discussed this in the last
20 conference call so I'm not sure this is really
21 a new issue. We just felt, again, that we
22 didn't get enough of a sense that there was
23 additional material that would be provided.

24 **DR. GLOVER:** Yeah, we had a couple -- there
25 were several -- is it okay for NIO-- for a

1 response at -- all right. There were several
2 things we were to walk away with in the last
3 working group meeting. One was to look at the
4 -- an older recycled uranium document. I
5 believe we've done that. We have not updated
6 our response, obviously. We had agreed that it
7 needed to be included in the -- at -- at the
8 time that was generated, recycled uranium had
9 not really been dealt with well. The Hanford
10 site, and I believe it was probably one of the
11 first sites to really deal with recycled
12 uranium, and we have Don Bihl on line, and Ed
13 Scalsey -- Ed Scalsky to talk about that. And
14 so we -- we have said that we would address --
15 we -- we understand that you still are
16 concerned that we have not maybe looked deep
17 enough at the uncertainty in concentrations --
18 is that my understanding with what we have
19 here?

20 **MR. FITZGERALD:** Yeah, I think the -- the --
21 the broad comment is that we understand this is
22 one of the first ones. We're not trying to --

23 **DR. GLOVER:** Sure.

24 **MR. FITZGERALD:** -- to say anything more than
25 the fact that as we have progressed through the

1 subsequent site profiles, I think we've reached
2 a point where there's common understanding of,
3 you know, the level of detail necessary to put
4 that one to bed. And all we're saying is that
5 -- I don't think there's any disagreement that
6 that's probably something that needs to be
7 retrofitted into the Savannah River review that
8 would reflect maybe later understandings of how
9 that issue's treated, so --

10 **MR. ALVAREZ:** This is Bob Alvarez again. When
11 you referred to the older recycled uranium
12 document, is that the 1985 task force report?

13 **DR. GLOVER:** Yeah. Unfortunately we've got
14 these things -- I've got three or four
15 documents on my -- right in front of me. I
16 can't -- but it was the '85 -- we had said we
17 were going to go and look at that. I know -- I
18 think we've only done some preliminary scoping.
19 I don't think we're anywhere complete
20 necessarily with --

21 **MR. ALVAREZ:** Well, I think one thing that may
22 be useful is that a lot of the work that has
23 been done that expands upon the recycled
24 uranium issue at the sites was actually derived
25 from a Department of Energy study that was

1 done, a uranium mass balance (unintelligible),
2 it was issued in March of 2001 and each site
3 did a site-specific workup of this. The bottom
4 line of -- of the -- of the report as a whole
5 was that they could not actually perform a --
6 an active mass balance, especially with respect
7 to trace contaminants, and that there were
8 important discrepancies at these sites
9 regarding these materials. And also of course
10 the '85 -- it reiterated a lot of what the '85
11 task force had to say, which was there were no
12 product specifications between and even within
13 sites up to 1985, which may have changed after
14 this report, and nor were there any efforts
15 made to measure workers who were so exposed to
16 these materials, these trace contaminants, and
17 some sites weren't even notifying other sites
18 of the trace contaminant levels, particular
19 neptunium. But you should endeavor to obtain
20 that whole set of documents because it's really
21 used extensively in the site profiles.

22 **DR. GLOVER:** Don and Ed, you guys have been
23 working on this issue?

24 **MR. BIHL:** This is Don Bihl. I -- I really
25 think a better way to go here at this point --

1 for about the last year there's been a group of
2 folks working on the recycled uranium issues
3 across the complex or the various sites, and
4 they have drafted a Technical Information
5 Bulletin that is specific to recycled uranium.
6 And you know, they've really got their heads
7 into it and done a -- you know, they're --
8 they're able to focus on this subject, look at
9 all the sites, look at the transfers between
10 the sites and -- and that sort of thing. And
11 you know, that draft document is coming up with
12 pretty much recommended values. It -- it'll --
13 some of the sites are clearly different than
14 others, so they've got some in there that are
15 specific to given sites, and then they have
16 basically recommendations for most of the other
17 sites, like Savannah River or Hanford, that --
18 that weren't as problematic as Fernald or
19 Portsmouth, Paducah, or something like that.
20 And I just -- you know, I just feel like we
21 probably ought to just take a hard look at that
22 document. I'll obviously go through all the
23 review steps and then -- and then -- and then
24 use that for Savannah River and for Hanford and
25 -- and virtually everywhere so that folks like

1 myself who've read a million things to -- to
2 study at a given site and do the best we can,
3 don't -- don't have to get our head quite into
4 the recycled uranium as much as this other
5 group did. So I recommend we just use those
6 default values as soon as they're approved.

7 **DR. GLOVER:** And that -- hey, Don, that's done
8 by Mel Chew?

9 **MR. BIHL:** Mel Chew and Bryce Rich are two of
10 the people on the -- involved with it that I
11 know of, yes.

12 **MR. FITZGERALD:** Don, this is Joe Fitzgerald,
13 what's -- again, what's the time frame on that?
14 I know they've been working on it.

15 **MR. BIHL:** I believe it has gone through
16 internal review and the authors are working on
17 resolving some internal review comments. It'll
18 go to OCAS next. So it's -- it's clearly
19 along. It's -- but you know, there's probably
20 a month or two yet before it's done.

21 **MR. ALVAREZ:** In this -- this particular
22 exercise, does it build upon the data that was
23 already generated by the mass balance, or does
24 it just simply take those data?

25 **MR. BIHL:** I'm not sure I'm the right person to

1 ask --

2 **MR. ALVAREZ:** (Unintelligible) the transactions
3 data was -- was pretty extensive in the -- the
4 mass balance review of 2000/2001 and I -- but
5 they -- they still had important gaps in there
6 and a lot of extrapolations had to be done, and
7 I'm just curious were there any new data beyond
8 that which was generated in the mass balance
9 report that's going to be utilized in this
10 exercise.

11 **MR. GIBSON:** Excuse me, this is Mike Gibson.
12 Would those on the phone please identify
13 yourself before you comment?

14 **MR. ALVAREZ:** Certainly. This is Bob Alvarez.
15 I'm sorry.

16 **MR. GIBSON:** Thank you.

17 **MR. BIHL:** This is Don Bihl, and I honestly
18 cannot answer that question. You -- you would
19 have to talk to Mel Chew or -- or Bryce Rich.

20 **MR. ALVAREZ:** Okay. Thank you.

21 **DR. GLOVER:** What I -- what I would propose
22 doing is if you would -- we will provide the
23 comments that SC&A has given us on this, and if
24 you have additional comments, make sure that we
25 can provide that so that they're part of the

1 review so we don't -- so that these things
2 don't come later. We -- obviously something
3 that's been going on for a long time. Recycled
4 uranium is not a new issue. And as Don says,
5 it may be best to address this broadly. So if
6 -- we can provide the-- I will make sure that
7 we give -- and Elyse Thomas is sitting here.
8 She coordinates the SC&A responses for ORAU, so
9 I'm sure she can make sure that we get all
10 these comments to them, make sure that they
11 incorporate these into their -- in their
12 Technical Information Bulletin. And then
13 obviously this will be subject to part of the
14 SC&A and Board review.

15 **MR. GRIFFON:** Yeah, I -- this is Mark Griffon.
16 I don't think any of us want -- want to
17 duplicate efforts on that, so if it's being
18 done under the TIB, that's fine. I would just
19 say that I -- I hope that TIB doesn't lose
20 sight of sort of site-specific issues. I think
21 that's -- that's what keeps coming back in in
22 this process of recycled uranium is the -- the
23 ways the isotopes of interest could concentrate
24 in various processes, not -- not just the site-
25 wide average concentration for 50 years. You

1 know, I can't really look at this issue at
2 10,000 feet, I don't think. I think there has
3 to be something site specific. So hopefully
4 that's being addressed in that TIB. I think --
5 it sounds like you got sections on each -- or
6 at least for some sites you got site-specific
7 sections. I don't -- I don't know, I haven't
8 seen -- do we know the TIB number on that, by
9 the way, so we can...

10 **DR. GLOVER:** Anybody at ORAU have a -- a
11 potential TIB number, what they're working on,
12 so we can --

13 **MR. GRIFFON:** Just so we can track it, yeah.

14 (No responses)

15 **DR. GLOVER:** We will provide that information
16 to the Board.

17 **MR. GRIFFON:** Yeah.

18 **MR. FITZGERALD:** This Joe Fitzgerald. This is
19 -- that was another reason I wanted to know the
20 timing because certainly if it's going to be
21 available within the next couple of months, it
22 would also provide an opportunity to do a site-
23 specific for certain sites like Savannah River.
24 So either way, you know, I think it will lend
25 itself that way.

1 Unless there's any other comments on -- on the
2 recycled, I think that sounds like a reasoned
3 way to go about it, to see how the OTIB handles
4 it and then to -- to determine if there's
5 anything else that would be necessary.

6 **MR. GRIFFON:** I just mayb-- maybe just a silly
7 question on that. There's been a lot of
8 Savannah cases that have gone through. I mean
9 Savannah's one of the sites we've seen a lot of
10 -- I don't know how many were best estimates,
11 but -- but how -- how has recycled uranium been
12 handled so far or -- in the dose
13 reconstructions, or has it been mainly
14 maximized and minimized cases and you haven't
15 run into that as an issue?

16 **DR. GLOVER:** Do we have our --

17 **MR. GRIFFON:** Any -- any dose reconstruction --

18 **DR. GLOVER:** I don't have the tools -- do we
19 have our -- somebody who's doing our active SRS
20 cases?

21 **MR. BIHL:** This is Don Bihl. This issue came
22 up for discussion when I was trying to look
23 into what we should put for recycled uranium at
24 Savannah River, and I asked Scott Siebert,
25 who's one of the managers of the dose

1 reconstructing group, Task V, and he said what
2 they've found is that most of the people that
3 were handling uranium and had uranium bioassay
4 also had plutonium bioassay, and that the
5 missed dose that you assign for a non-detection
6 of plutonium in a urine sample is so high that
7 it more than readily accounts for a little
8 plutonium or neptunium or something that's in
9 the uranium. And so when you put together the
10 -- the doses from missed dose from a uranium
11 intake and a missed dose from a plutonium
12 intake, you've got what they felt was more than
13 adequately accounted for plutonium dose that a
14 person might have received through that pro--
15 you know, the missed dose process.

16 **MR. ALVAREZ:** Don, this is Bob Alvarez. These
17 -- these plutonium bioassays that were
18 obtained, were these for workers in the 300
19 area?

20 **MR. BIHL:** Once again, I'm --

21 **MR. ALVAREZ:** Is this the --

22 **MR. BIHL:** -- (unintelligible) past my little
23 bit of knowledge in this area. I'm not a dose
24 reconstructor so I don't have that level of
25 detail.

1 **MR. ALVAREZ:** Because the site-specific report
2 that was issued by Savannah River in 2000 said
3 there were no measurements taken for trace con-
4 - fission products or transuranics of workers
5 in the 300 area throughout this whole period,
6 and that if the -- if the current revision is
7 being used as guidance, the definition that's
8 contained in that current revision provides no
9 guidance other than the uranium isotopes that
10 would be in recycled uranium and none of the
11 trace contaminants are discussed. So it would
12 be interesting to see whether or not workers,
13 especially in the 300 area, who were handling
14 these materials were -- had -- those who might
15 have filed claims, how those particular cases
16 were being handled because that would be an
17 important indicator.

18 **DR. GLOVER:** Tom LaBone, are you on the line?

19 **MR. LABONE:** I'm here.

20 **DR. GLOVER:** You would know if anybody from the
21 300 area was -- had plutonium bioassay?

22 **MR. LABONE:** I think -- I don't understand the
23 nuances of the dose reconstruction yet, but the
24 -- I think if they, at some time late in their
25 career, get a single urine sample for plutonium

1 analyzed, that can be used for some sort of
2 bounding calculation. But they did not
3 necessarily have to be samples for plutonium
4 while they were working in the -- in -- in
5 (unintelligible) area or -- or any of the --
6 you know, or A line or something like that, but
7 it's just some time they had rotated into an
8 area that required plutonium, that would have
9 been useful I believe in the dose
10 reconstruction.

11 Don, does that sound right that they can --
12 again, they can use a plutonium later on to
13 bound it?

14 **MR. BIHL:** That's correct, and so that would
15 account for some other cases that maybe weren't
16 on a routine plutonium bioassay, but -- I mean
17 the question is still value -- you know, were
18 there people that were exposed to uranium that
19 never got a plutonium bioassay, and how do they
20 account for that; and I don't know the answer.
21 I'm sure they've discussed that in Task V, but
22 we'd have to -- we'll just have to go find the
23 answer to that question.

24 **MR. ALVAREZ:** I guess -- this is Bob Alvarez.
25 I guess the other question I would pose is that

1 plutonium bioassay was taken elsewhere, where -
2 - where perhaps recycled uranium was not being
3 handled, repre-- would it be representative of
4 the exposure a person might have received
5 handling recycled uranium?

6 **DR. GLOVER:** For that individual, obviously it
7 represents his exposure.

8 **MR. ALVAREZ:** Yeah, but does -- is it
9 representative of the work that person did when
10 he wasn't monitored for transuranics, handling
11 recycled uranium or not?

12 **DR. GLOVER:** Plutonium is a long-term excreter,
13 so -- anyway --

14 **MR. ALVAREZ:** Well, I understand, but I mean
15 does this mean that this was the maximum he
16 might have received elsewhere, even though he
17 wasn't measured, is the way I'm trying to
18 phrase the question.

19 **DR. NETON:** Yeah, Bob, this is Jim Neton. I
20 think the answer to that is yes. I mean these
21 are what they call bounding calculations where
22 one would try to determine how much could have
23 they inhaled and been excreting that amount of
24 plutonium six months, eight months, one year
25 after the exposure. And then as far as the

1 solubility type goes, of course we would always
2 assume the solubility type that gave the organ
3 the highest dose.

4 **DR. GLOVER:** And for -- one thing that might be
5 of -- of interest, when you're talking about a
6 gram of uranium, that is about 1.2 million dpm
7 of uranium and you're talking about 1,000,
8 3,000 dpm plutonium, so one part in 400 as far
9 as the ratio of activities, so there's a lot of
10 uranium activity compared to the other
11 actinides that may be present. That may not be
12 true necessarily with the beta emitters, but
13 they also have a lower dose coefficient.

14 **MR. GRIFFON:** I think they answered --

15 **DR. GLOVER:** Okay.

16 **MR. GRIFFON:** -- answered my question on that.
17 Yeah, I think we -- that's the question is do
18 you have people that worked with uranium that
19 never got plutonium sampling.

20 **DR. WADE:** That's the question we need to
21 answer.

22 **MR. GRIFFON:** Yeah.

23 **DR. GLOVER:** We need to make sure that's a part
24 of our -- that the TIB deals with that, yes. I
25 agree.

1 **MR. GRIFFON:** Well, but also -- yeah.

2 **DR. GLOVER:** Or that --

3 **MR. GRIFFON:** Retrospectively, that you didn't
4 do any cases that would have been affected by
5 that, which I, you know, probably doubt, but
6 could happen.

7 **MR. GIBSON:** Okay, so that's one of the actions
8 for issue one that we'll be wait-- waiting on
9 the answer for. And the other is -- I guess
10 SC&A is going to wait on a -- a document that's
11 going to turn into a TIB that's going to talk
12 about the -- give better detail on the
13 concentrations of the uranium?

14 **MR. FITZGERALD:** Yeah, I think that's a
15 reasonable proposal, to see what that does,
16 then decide if it does enough for Savannah
17 River. But I think this question of balancing
18 generic versus site-specific which Mark raised
19 is probably the issue on that -- on -- on the
20 OTIB.

21 **MR. GIBSON:** Okay.

22 **DR. MAURO:** This is John Mauro. I can add one
23 little -- I just spoke to Hans and Kathy on the
24 -- my cell line just to check to see if the
25 Savannah River workbooks have factored in

1 recycled uranium, for example, as part of the
2 process -- 'cause we're reviewing the workbooks
3 right now and --and dose reconstructions, and
4 just -- Kathy just informed me that no,
5 currently the workbooks for Savannah River for
6 dose reconstruction do not include
7 consideration of recycled uranium. I -- I
8 believe that came up a little earlier.

9 **DR. GLOVER:** I will, as -- as somebody who has
10 done Savannah River cases, at least a while
11 ago, oftentimes the doses from recycled uranium
12 -- based on the contaminant levels I've seen at
13 Hanford -- are very low. So we'll have to bal-
14 - you know, see how much impact that really
15 makes, depends -- you know, obviously will be
16 organ-specific.

17 **MR. ALVAREZ:** And the contaminant levels you
18 found are -- are -- were collected at what
19 period of time? This is Bob Alvarez. At
20 Hanford.

21 **DR. GLOVER:** Well, the Hanford TBD has a -- a
22 list -- Don Bihl I'm sure can speak
23 authoritatively with where that came from. I
24 believe that also came from this 2000 document.

25 **MR. ALVAREZ:** Because most of the sampling in

1 the 2000 document was done in the '80s, after
2 the recycled uranium task force report came
3 out, and there was a real gap in data prior to
4 that. The question is, is that representative
5 of the -- of the contaminant levels that were
6 present before that time or not.

7 **DR. GLOVER:** Again, I think this will all come
8 out with the TIB. This -- I was just providing
9 generic comment.

10 **MR. FITZGERALD:** Okay.

11 **MR. GRIFFON:** Are there other radi-- other
12 nuclides in that section, Joe?

13 **MR. GRIFFON:** Yeah, there -- we -- we adopted
14 the NIOSH response the way that was structured
15 and just responded to the way that was set up
16 rather than, you know, trying to deal with it
17 generically. And in B and C we -- I think
18 NIOSH addressed our concern about maybe the
19 lack of specificity about transuranics, for
20 example, in the site profile. And I think
21 what's noted in -- in section B and C of the
22 NIOSH response on the first comment is that in
23 fact they did address Pu-242 and went into some
24 specifics on the -- on the source terms
25 involved with that and U-233. Our only comment

1 really in B and C -- it's right there -- is
2 that we can't -- because of our concerns on
3 thorium -- and this is not a new issue. I
4 think we've raised this almost at every site
5 now because of these -- the -- you know, the
6 higher activity and what have you with thorium,
7 we -- we would need more information on how the
8 default considerations for the assignment of
9 thorium dose is -- is done, and that's just not
10 available right now in the site profile. And I
11 think that's the implication of what you're
12 saying here.

13 **DR. GLOVER:** Don, that's something you're
14 addressing the new Revision. Correct?

15 **MR. BIHL:** Yes, the Revision that's going
16 through the review process right now has quite
17 a bit of new information about the thorium, the
18 uranium-233, uranium-232 and the plutonium-242.
19 At some point as it goes through the review
20 process, or maybe when it comes over to OCAS,
21 Sam, to you guys, you know, you're free of
22 course to pass it on at that point. I mean
23 we're little hesitant to -- to -- when these
24 things are just coming out and being looked at,
25 to pass it, you know, to a wide group before

1 they've had a chance to -- to review it
2 internally and see if there's any issues that
3 we identify that need to be done -- you know,
4 something that needs to be done better. But
5 somewhere along the line that information
6 certainly can be passed over.

7 **MR. FITZGERALD:** I think that -- that was kind
8 of our take, that we -- we think this is moving
9 in the right direction I think if in fact these
10 details are provided and I think the only
11 admonition is that we would like to see enough
12 information to draw a judgment on -- on thorium
13 in particular, but certainly on the others as
14 well, that there'd be enough basis for the --
15 the -- the assumptions made.

16 **MR. BIHL:** Sam, I'll leave it up -- this is Don
17 Bihl. I'll leave it up to you to decide when
18 it -- when you're comfortable with sending that
19 new information over.

20 **DR. GLOVER:** I think, you know, you're correct.
21 You guys have got to review it and make sure it
22 passes your own internal review, and at that
23 point you feel you're -- it would be nice if
24 you can give us an update of what you -- time
25 line they think it's on and we'll look at this

1 as an action item and certainly as we review it
2 or as we prepare to get this Revision approved,
3 all this has to kind of go through -- you know,
4 we have to meet all these criteria, so that'll
5 be part of what we can provide is -- is the
6 table that -- specifically and let them see
7 what we have. So do you have any idea, Don,
8 where that stands? Are we a month or -- it's
9 kind of the same thing as the recycled uranium
10 response?

11 **MR. BIHL:** It stands in the -- I have received
12 back some comments from internal reviewers and
13 I need to look through that, and then -- you
14 know, it has to go back to Ed Scalsky for a
15 final look, and then at that point, you know, I
16 think it'll go to OCAS. So I -- you know, I
17 think we're only a few weeks away from getting
18 it to OCAS. Now I don't know -- Ed, do you
19 have anything more to add to that?

20 **MR. SCALSKY:** No, I think you're right. It's
21 probably a few weeks away yet, Don.

22 **DR. GLOVER:** That sound fairly reasonable?

23 **MR. FITZGERALD:** Yeah, I -- no, I think that
24 would be appropriate from our standpoint.

25 **DR. GLOVER:** That was Ed Scalsky?

1 question there, as we indicate in the piece we
2 provided, was how in fact is one addressing the
3 lack of bioassay, though, before that time
4 frame when in fact people were being exposed,
5 workers were being exposed to these
6 constituents. It's not clear how that's being
7 addressed from that standpoint.

8 **DR. GLOVER:** The standard internal dosimetry is
9 -- included a plutonium-241, americium-241
10 contaminant that is part of the irradiation
11 process. And so unless there's a specific
12 program as the -- unless they're concentrating
13 americium-241 as its own -- into its own right
14 before the bioassay, it's really -- it's
15 addressed as part of the plutonium dosimetry.
16 You have so much americium as part of the
17 plutonium. It's part of the matrix that you
18 breathe in, that you're exposed to. So -- is
19 that what you're asking or -- or are you
20 talking about something spe-- different?

21 **MR. FITZGERALD:** Well, I guess the -- the
22 question is whether americium was in fact
23 handled exclusively as a constituent of
24 plutonium, whether you had these sources --
25 sources at the site that were in fact separate,

1 varying assays. I mean -- I would say very
2 similar to some of the issues we've addressed
3 at Rocky and Y-12. They're sort of the same
4 questions. And again, I keep -- I hate to keep
5 going back to the -- the analogy, but you know,
6 we have I think covered a lot of ground on very
7 similar issues at other sites and all we're
8 saying is using, you know, all that lesson
9 learned -- lessons learned, can you
10 characterize how, for example, americium, but
11 as well as these other constituents were
12 handled. Was it simply as a -- a uniform
13 fraction of plutonium that was handled
14 routinely or was there in fact a lot of
15 instances where that wasn't as standard as
16 that.

17 **DR. GLOVER:** At Rocky Flats it would have been
18 different. One, you guys have got to recognize
19 that they had old plutonium coming back, and so
20 you had the time for americium to really in-
21 grow. In the very beginning years you had
22 freshly irradiated plutonium. You wouldn't
23 have a lot of americium in that -- in that
24 early time. As it gro-- as it grows in,
25 plutonium-241 is created as part of the

1 irradiation process if you're not -- it goes
2 239, 240, 241, the end gamma reactions.
3 Plutonium -- americium-241 becomes -- is part
4 of the beta decay and so it will be a end
5 growth before -- with about a 14-year half life
6 that amer-- plutonium-241 has so it'll start to
7 grow in with time. But it's -- a very, very
8 small fraction would be present immediately.
9 But it really -- Rocky Flats saw it because
10 they had old plutonium to recycle. Savannah
11 River -- it would have to be specific to what
12 Don Bihl and Tom LaBone know before the
13 bioassay programs there really started, but --
14 are you guys aware of a program which would
15 have a separate americium content?

16 **MR. FITZGERALD:** Or one that would vary from
17 what you were saying is the standard, you know,
18 fraction for --

19 **DR. GLOVER:** End growth for -- yeah, right.

20 **MR. FITZGERALD:** Yeah, right.

21 **DR. GLOVER:** This other we could sort of --

22 **MR. BIHL:** This is Don Bihl. I -- I have not
23 uncovered that in my -- my research, but I
24 certainly don't know absolutely everything that
25 went on at the site prior to the '60s. It's

1 just I -- you know, I tried my best to go
2 through the documentation and I haven't found
3 any program that was concentrating on americium
4 prior to the '60s. Tom, do you have anything
5 to add to that?

6 **MR. LABONE:** Well, you all seem to know more
7 about it than I do. The -- no, other than the
8 campaigns that started there in the '60s as far
9 as where -- making the transplutonium compound,
10 you know, I don't know of anything beyond say a
11 chemist working at a bench where they may have
12 been trying to concentrate something.

13 **DR. GLOVER:** There's some very specific
14 documentation regarding the transplutonium
15 programs. Darlene Hoffman and all the -- were
16 very involved. Seaboard* was leaving those
17 programs to develop higher actinides, so
18 there's a lot a very detailed information
19 regarding that. Savannah River was part of
20 that where they were trying to make higher
21 elements as part of the irradiation programs,
22 so...

23 **MR. FITZGERALD:** So it would be a fair
24 statement to say that you could back-
25 extrapolate the experience from the mid-'60s on

1 back backwards in terms of operations involving
2 the transuranics -- transplu-- you know, back
3 in that time frame, the -- where they handled -
4 - the early '60s, late '50s? You know, I -- I
5 think the -- the comment is that, you know,
6 essentially you have the -- this data starting
7 in mid-'60s, and our question is well, what
8 about the period in advance of those bioassay
9 techniques and how would you actually handle
10 missing dose. And I think your comment is that
11 well, it's pretty standardized, we're pretty
12 confident that we have those ratios and we
13 could back-extrapolate those doses if we have
14 to.

15 **MR. GRIFFON:** Or -- or -- or -- or --

16 **DR. GLOVER:** Right.

17 **MR. GRIFFON:** -- or I think you might need to
18 verify -- the americium might be a similar
19 answer to the Rocky Flats answer, which was
20 that until after 1957 or '58 there wasn't much
21 americium there --

22 **MR. FITZGERALD:** Right.

23 **MR. GRIFFON:** -- to do any, you know, specific
24 americium sampling. It was all associated with
25 the plutonium exposures.

1 **DR. GLOVER:** They were making plutonium and
2 therefore that's --

3 **MR. GRIFFON:** Right, right, so I think you
4 might find the same thing --

5 **DR. GLOVER:** We can make sure that, Don Bihl,
6 in the next Revision we put some verbiage in
7 there?

8 **MR. ALVAREZ:** This is Bob Alvarez. There --
9 there was, until it was moved to the tank
10 farms, a large amount of americium/curium I
11 believe in the S -- F Canyon, and I'd be
12 curious what the origin of that material was
13 and when it was produced.

14 **MR. GRIFFON:** I think we just need to track
15 back to see if there was --

16 **DR. GLOVER:** What time frame.

17 **MR. GRIFFON:** -- specific source terms prior to
18 '60s when they -- they --

19 **MR. FITZGERALD:** And whether the assump--

20 **MR. GRIFFON:** -- there might have been a need
21 for an individual bioassay program, you know --

22 **DR. GLOVER:** Make sure we link the bioassay
23 program and -- and potentially unique source
24 terms.

25 **MR. GRIFFON:** Right.

1 **MR. FITZGERALD:** (Unintelligible) spend too
2 much time on that.

3 **MR. GIBSON:** Move on to comment number two.
4 SC&A has some questions and concerns about the
5 adjustment factors and uncertainties related to
6 the exposures measured by the dosimeters.

7 **MR. FITZGERALD:** Yeah, I -- Ron Buchanan, are
8 you still on?

9 **MR. BUCHANAN:** Yes, I'm still here.

10 **MR. FITZGERALD:** Can you sort of outline both I
11 guess the NIOSH response as well as our
12 evaluation?

13 **MR. BUCHANAN:** Yes, in the site profile they
14 initially talked about angular response and
15 energy to response and some of the
16 uncertainties in the external dosimeters and --
17 but then in the final version when they talk
18 about making correction factors they only talk
19 about the calibration factor and the difference
20 between the uranium or radium-226 calibration
21 used, as opposed to the ten centimeter dose,
22 and they say apply prior to January of '86 the
23 factor of 1.119 and then '87 a factor of 1.039
24 and then no correction after that. And SC&A's
25 concern was that while some of these other

1 factors were mentioned in the site profile,
2 there was no quantitative numbers given to them
3 other than for the calibration difference. And
4 so I realize this is an older site profile and
5 this has come up at many other site profiles
6 since then on the uncertainties of the
7 geometry, mainly of the AP/PA rotational type
8 geometry as opposed to the calibration
9 geometry. And so what SC&A's concern was was
10 that in the site profile they were not
11 addressed in a quantitative manner. And then
12 NIOSH's response again was not quantitative and
13 so what we would like to know is have they
14 looked into determining whether there was --
15 such as fading of dosimetry information, was
16 there geometry factors that should have been
17 considered in these dose reconstructions that
18 it wasn't on a quantitative basis.

19 **DR. GLOVER:** I think Jack Fix -- Ed, you want
20 to comment on this, or Jack Fix, perhaps?

21 **MR. FIX:** Well, this is Jack Fix. Basically
22 the approach that we use on all of these site
23 profiles is the one that was originally
24 published by the National Review Council in the
25 late 1980s in which they identified bias

1 corrections and uncertainty factors, and we did
2 this in the context that with the DOE
3 Laboratory Accreditation Program testing that's
4 existed since the late -- the mid-1980s, we're
5 able to come up with estimates of bias and
6 uncertainty factors for recent (unintelligible)
7 look at the trend in doses back through time to
8 see if there's any discontinuities that are --
9 could be associated with changes in operations
10 or changes in dosimetry systems with a goal to
11 -- to come up initially with a bias factor and
12 then recently relative to the HP-10 and the ten
13 millimeter depth dose that's used in -- one
14 centimeter depth dose that's used in -- as a --
15 for penetrating dose. And the reason that the
16 1.19 and 1.039 are applied are those are based
17 on Savannah River's own assessment of the -- of
18 the difference historically in their recorded
19 dose relative to HP-10 in terms of a bias. And
20 then we go on to look and see what would be
21 reasonable (unintelligible) the uncertainty and
22 the uncertainties (unintelligible)
23 environmental radiological and laboratory
24 sources. And many of these are -- some -- some
25 of these sour-- certainly some of these sources

1 are under the control of that dosimetry
2 program, and also the -- even with the earliest
3 dosimeter, typically there was a -- there was a
4 -- they used the intelligence of the dosimeter,
5 the response of the dosimeter to assign doses
6 and so they always used ratios (unintelligible)
7 penetrating dose to do energy corrections and
8 so we are trying to use what data is available
9 to us to assign what would be the bias, the
10 bias factor that's assigned to say the measured
11 dose or the missed dose or the ambient dose or
12 and medical radiation dose estimates
13 (unintelligible) uncertainty factors.

14 **DR. GLOVER:** And we'd also choose a claimant-
15 favorable geometry. Correct?

16 **MR. FIX:** Well, we're using the
17 anterior/posterior geometry in almost all cases
18 'cause it gives the -- for most cas-- for most
19 situations it gives the highest
20 (unintelligible) dose.

21 **DR. GLOVER:** And those are out of the NIOSH IG
22 guide, if I remember correctly, and those have
23 an uncertainty and a best estimate associated
24 with those -- for all the organs.

25 **MR. FIX:** Yes, (unintelligible).

1 **MR. BUCHANAN:** Now are you saying that the bias
2 factor includes the AP factors -- you say that
3 they were monitored A/P but the exposure was
4 P/A, or are you saying that the bias factors
5 are meant to include any uncertainty in that or
6 is that a separate issue of the geometry
7 factor?

8 **MR. FIX:** Well, no, I think if you have a
9 certain claim in which a person was being
10 predominantly exposed from the back side, that
11 gets into a special circums-- special
12 situation. Obviously I don't (unintelligible)
13 person being exposed from the back side that
14 the dosimeter will underestimate. But I don't
15 -- we typically don't see situations like that.
16 People are normally exposed -- not even A/P.
17 They're typically rotationally -- they'll be
18 (unintelligible) moving, the sources are --
19 surround people, usually -- usually not
20 (unintelligible) circumstances. A/P is
21 probably the -- the -- the situation that
22 represents the typical highest dose scenario
23 for workers and they -- that's when they're
24 working close to a source, they're usually
25 working right -- they're directly facing it.

1 It seems to be the geometry of choice
2 (unintelligible) can only choose one.

3 **MS. ROBERTSON-DEMERS:** This is Kathy. Can you
4 tell me what source you got those two numbers
5 out of, correction factors?

6 **MR. FIX:** The -- you mean the 1.19 and 1.039?

7 **MS. ROBERTSON-DEMERS:** Yes.

8 **MR. FIX:** That's in the Savannah River internal
9 dosimetry Technical Basis -- no, it's in their
10 historical document. They have a -- I don't
11 have these documents in front of me. It's
12 (unintelligible) historical document --
13 external do-- external dosimetry historical
14 document, there's a little table in there.

15 **MS. ROBERTSON-DEMERS:** Thanks.

16 **MR. FITZGERALD:** I think maybe a lot of this
17 issue's just simply we weren't picking up some
18 of these specific references to some of these
19 fac-- adjustment factors, corrections factors,
20 and it was difficult to go ahead and evaluate
21 the basis without clearly -- you know, not to
22 say they don't exist, but we couldn't find the
23 references very easily.

24 **MR. FIX:** Well, I think this looks very clear,
25 it should be very clear, I believe. But we'll

1 go look at it again. If it's not clear, we can
2 -- we can make sure it is clear.

3 **MR. FITZGERALD:** Ron, you kind of got into the
4 bowels of this one. Did you -- do you have a
5 problem I guess picking up the references or
6 was it just a matter of understanding the
7 derivation?

8 **MR. BUCHANAN:** Well, as far as the 1.19
9 correction factor, I don't -- don't have a
10 problem with that. I didn't look at the
11 original data on that but, you know, that seems
12 reasonable. My -- I guess my question was,
13 when I wrote the summary paragraph that I sent
14 to Joe, was that -- that the -- the original
15 site profile did address some of the other
16 issues, but I wasn't sure from reading the site
17 profile how these were factored in
18 quantitatively, such as the geometry factors
19 are -- are addressed in -- let's see, Table
20 5.3.2.1.1. They talk about A/P and rotational
21 and such, and they give some -- some numbers
22 there in that table. However, you know, back
23 in the back when they get down to the step-by-
24 step instructions, the only ones they included
25 was the calibration factor and -- which was

1 okay, but I didn't see anything numerically for
2 the geometry factors. And so that -- that was
3 my concern, where were these geometry factors
4 going to be taken in consideration during dose
5 reconstruction if only the calibration factors
6 for the different types of isotopes that were
7 used was included in final instructions. So
8 where does the information that's provided in -
9 - in -- in the site profile, such as in that
10 table for -- for geometry, where is that
11 included in the final dose reconstruction
12 process? Is it explicit or implicit in some
13 overall bias factor?

14 **DR. GLOVER:** It seems -- in the updated
15 revision to the document, to me this sounds
16 like it's part of an over-arching how we do
17 dose reconstruction. It's not specific to
18 Savannah River. These are how we apply
19 geometry correction factors, and I know we've
20 had updated guidance since probably the Rev 2
21 or Rev 3 that was finally done 'cause I know we
22 went -- we had rotational in there for a while.
23 Now we use A/P.

24 Jack, I think you can probably speak to that
25 the best. Do you know what the new document --

1 or maybe it's Don -- what's going to be
2 updated?

3 **DR. NETON:** I -- I can speak to that. I just
4 signed a new version yesterday.

5 **DR. GLOVER:** Okay.

6 **DR. NETON:** So the new imple-- the revision to
7 the external dosimetry Implementation Guide has
8 been revised to -- you know, to use the A/P
9 geometry preferentially over the other
10 geometries, and there's a few other things that
11 were incorporated into that. But this was
12 revised in response to a number of SC&A
13 comments I think that occurred in several
14 different reviews, so maybe that's where we
15 need to look for some clarity on this issue.

16 **DR. GLOVER:** So maybe the updated IG guide --
17 and that is going to be -- supersede any
18 Savannah River TBD and at -- probably at the
19 time when we wrote Savannah River some of that
20 guidance may not have been as -- as clear as
21 what it is now.

22 **MR. FIX:** I just wanted to say that I know this
23 is a common -- a common concern not only from
24 SC&A but I think also from the NIOSH team as to
25 how to -- to do these calculations. Before too

1 long we should have a document published -- I
2 think it's in *Radiation Research* -- by the
3 International Agency for Research on Cancer in
4 their 15-country study in which they took
5 dosimeters -- ten widely-used dosimeters in the
6 world and actually one of them was the Pan--
7 the Savannah River Site 802 Panasonic
8 dosimeter, and where they exposed these in
9 rotational isotropic A/P exposure geometries to
10 several selected beams of radiation there at
11 the IAEA Medical Radiation Physics Laboratory
12 near Vienna, and that'll be coming out here
13 before long and one will be able to observe
14 what the performance of these dosimetry systems
15 are in -- to -- in these different geometries
16 in a laboratory setting. So it'll -- I think
17 everyone will find that interesting because it
18 is germane to this topic (unintelligible).

19 **MR. FITZGERALD:** Let me -- let me propose on
20 thi-- on this one, since this is a clarity
21 question in terms of where would one go, and it
22 might be to this generic document, it might
23 actually be to some other specific documents,
24 but I think this is a -- the second paragraph
25 to our response where we actually itemize some

1 of these factors and some of the bias, you
2 know, considerations that would be addressed.
3 If -- if we can just -- if you can just simply
4 track those to the document that quantitatively
5 provides the basis, I think that would put this
6 to rest and we can move on. I mean I don't
7 think we're saying they don't exist. We just
8 can't clearly find the derivation in the -- in
9 the tables in the source documents. They may
10 exist elsewhere.

11 **MR. FIX:** I think you people have access to our
12 workbooks, as well, don't you?

13 **MR. FITZGERALD:** Yeah.

14 **MR. FIX:** And you know, these -- these -- way
15 these factors -- calculations themselves and
16 the way these factors are combined, you know,
17 are shown there.

18 **MR. FITZGERALD:** So maybe we should look at
19 those first before we go through this process.

20 **MR. FIX:** Yeah, I think --

21 **MR. FITZGERALD:** All right.

22 **MR. FIX:** We certainly will be glad to work --
23 work to assist the process, but I mean that's
24 what our staff would use if there was a
25 question for a specific claim, or even a

1 specific process. I mean everything -- all the
2 intelligence that's used is contained within
3 those workbooks.

4 **DR. GLOVER:** So would a fair action item be
5 that you guys will compare your response
6 against what's really being used in the
7 workbook, and then we'll -- and then we'll work
8 on the issue?

9 **MR. FITZGERALD:** We'll go ahead and work the
10 issue. I don't want to spend too much time,
11 but I think the -- the -- the broader question
12 is, based on the site profile, what was in
13 there in the references, it wasn't easy or
14 clear finding the -- the source documents for
15 the factors, and I think that's something we
16 can -- we can work at. I'm not saying that's a
17 show-stopper, it just was a -- a problem in
18 terms of independent evaluation.

19 **DR. GLOVER:** Sure. So I think if you -- and if
20 you have anything, let me know and we'll track
21 it down for you. Is that --

22 **MR. FITZGERALD:** We'll -- we'll work the
23 workbooks --

24 **DR. GLOVER:** We'll work the workbooks.

25 **MR. FITZGERALD:** -- and anything that falls out

1 of that, we'll come back to you and just see if
2 we can together find out where that is.

3 **MR. GIBSON:** Okay. Are we ready to move on?

4 **MR. FITZGERALD:** Yeah, on B we have in fact
5 reviewed OTIB-17 since this was written and I
6 think we do not have any -- any issues --
7 outstanding issues on OTIB-17, so we think
8 that's a satisfactory response to the question
9 of how shallow dose is addressed.

10 Unless -- Ron, do you have anything more to add
11 on OTIB-17?

12 **MR. BUCHANAN:** No, I think that it fairly well
13 addressed the question. I think there's some
14 re-- a couple of comments ahead on OTIB-17
15 itself, but I don't think it's a problem with
16 this particular issue on Savannah River.

17 **MR. GRIFFON:** Can someone tell me -- just going
18 back to what Joe just mentioned -- this is Mark
19 Griffon -- would -- as far as tracking back to
20 the workbooks, and I've brought this up in
21 prior meetings, but there is a document out
22 here on the O drive called SRS external
23 instructions, and I think these are the
24 instructions for the people doing the dose
25 reconstructions. And I don't know if -- you

1 know, that -- that -- for me, these have been
2 helpful that they exist at several larger sites
3 anyway, and they're helpful in terms of
4 crosswalking with the workbooks. I think the
5 workbooks, as we've all found, are -- you know,
6 can get pretty complicated to walk -- to walk
7 through from one sheet to another and un-- and
8 understand what's going on, but these
9 instructions are very helpful. The question I
10 have is, I have something from the O drive
11 dated 3/29/04 was the -- and there might be
12 updates since then and I don't know if there's
13 any good way to find these -- these dose
14 reconstruction instructions. They almost seem
15 to supplement the site profile for the people
16 doing the DRs. Right? Is that what they're
17 used for?

18 **DR. GLOVER:** Just try to pin it down to
19 something that's --

20 **MR. GRIFFON:** Cheat sheets (unintelligible) --

21 **DR. GLOVER:** Not only that, and make sure that
22 you have a -- yes.

23 **MR. GRIFFON:** Boilerplate (unintelligible)
24 template (unintelligible).

25 **DR. GLOVER:** I guess when I meant to look at

1 the workbook, I actually meant the written -- I
2 guess that, supplemented with what the tool --
3 the tools that exist to help support the dose
4 reconstruction process. But the workbooks, at
5 least in the term-- the way I use them is the
6 written instruction. That could be a mis--
7 mistake on my part, but that's the way I've
8 always kind of...

9 **MR. GRIFFON:** I don't know that we have ready
10 access sometimes to the written instructions.

11 **DR. MAURO:** Mark, this is John Mauro --

12 **MR. GRIFFON:** Yeah.

13 **DR. MAURO:** -- I've been working pretty closely
14 with Hans and Kathy, who are right now
15 finishing up the review of the site-specific
16 workbooks, and of course Savannah River is one
17 of them. And I know that there is almost two
18 or three times a week discussions held with the
19 appropriate folks over at NIOSH just for the
20 subject you're talking about; that is, to make
21 sure we have all of the information we need,
22 not only the workbook but all of the supporting
23 guidance. So -- so you're correct that there
24 is a lot of texture to the workbook reviews,
25 but -- and I think that a lot of the issues

1 related to the workbook we're going to have a
2 good grasp on by the end of September. Our
3 plan is to deliver our review of the site-
4 specific workbooks to NIOSH and the Board by
5 the end of September and -- and on the -- two -
6 - I know Savannah River is one of the big ones,
7 Hanford is, Rocky Flats is. So maybe we'll be
8 in a lot better position to discuss the degree
9 to which all of these adjustment factors for
10 external dosimetry have in fact been
11 incorporated into the workbooks.

12 **MR. FITZGERALD:** Which I -- yeah, I think
13 that's where this is headed...

14 **DR. GLOVER:** Jim, is there a place out there
15 where these things exist for the Board -- or
16 the reviews, the most updated versions?

17 **DR. NETON:** (Off microphone) The
18 (unintelligible) themselves? (On microphone)
19 There -- there is no generic location for those
20 -- those documents, although SC&A has access to
21 them via an arrangement with ORAU. I'm not
22 exactly sure how that works. I think it'd be
23 pretty -- pretty complicated for someone just
24 to pick up a workbook and review it. They're -
25 - they're essentially very sophisticated Excel

1 spreadsheets is what they really are.

2 **MR. GRIFFON:** They're -- they're dif-- yeah,
3 they're difficult, but we were doing this prior
4 to finding some of these instructions. We were
5 -- kind of been trying to crosswalk them and --

6 **MR. FITZGERALD:** Right, you sort of meet both
7 ways. You work the site profile down till you
8 get to the point you almost have to have that
9 information.

10 **MR. GRIFFON:** But these -- these instructions,
11 as you're saying, are -- are -- really make it
12 a lot easier to crosswalk the spreadsheets.

13 **DR. NETON:** I think what we're getting in here
14 is an important intersection of what the site
15 profile information is provided and then what
16 the detailed, specific instructions for dose
17 reconstructions are, and --

18 **MR. FITZGERALD:** Right.

19 **DR. NETON:** -- you know, where does one stop
20 and the other one pick up, and that's really
21 what we've been talking about.

22 **MR. FITZGERALD:** Yeah. Yeah.

23 **DR. NETON:** And -- and as you can see, we're
24 automatically jumping out of the site profile
25 into workbooks and -- and Implementation Guides

1 and such.

2 **COMMENT TWO:**

3 **MR. FITZGERALD:** Fortunately we have a right
4 hand that's doing that as the left hand does
5 this, so -- otherwise it would be a daunting
6 task to jump in to even look at this
7 information, but I think we can do that.
8 I think that's comment two.

9 **MR. GRIFFON:** So I think -- to answer your
10 question, Sam, I -- I think we've -- we've got
11 access. It's not in one central location, but
12 SC&A has access to that and (unintelligible).

13 **MR. FITZGERALD:** Yeah. Yeah, we're looking at
14 the Savannah River Site specifics right now.

15 **MR. GRIFFON:** I think we're okay on that.

16 **COMMENT THREE: NEUTRON TO PHOTON RATIOS**

17 **MR. GIBSON:** Okay. So we're ready to move on
18 to comment number three. Okay, SC&A has
19 concerns about how technically sound and
20 claimant favorable the neutron-to-photon ratios
21 are at Savannah River Site --

22 **MR. FITZGERALD:** Well, yeah --

23 **MR. GIBSON:** -- in some cases?

24 **MR. FITZGERALD:** Yeah, this is Joe Fitzgerald.
25 Generally I thought the response was very

1 responsive. The only issue we have is a matter
2 of scoping that we raised both pre-'71 as well
3 as post-'71, and the response really addressed
4 the -- the pre-- I'm sorry, pre-'72, and we
5 feel there's a -- it's sort of a continuum of
6 uncertainties that we think should be addressed
7 and I guess we just want to hear the basis for
8 not considering 95th percentile for some of the
9 later missed neutron dose.

10 **DR. GLOVER:** I think Jack Fix has been doing a
11 -- quite a bit of work on it in this area. I
12 would -- I look at the response concerning
13 using the 95 percentile for all versus using
14 the best estimate and an uncertain-- a
15 distribution as part of your being claimant-
16 neutral. I think that's the best estimate
17 case. I mean if you have -- typically our --
18 our estimate is the 95th percentile is an
19 overestimate, but if you have the best estimate
20 of any measurement, then the median -- would
21 think the most appropriate is to use the -- the
22 best estimate and its uncertainty and propagate
23 that through. But anyway, Jack Fix I know has
24 been working on -- on this issue regarding --
25 what was that, you did some additional follow-

1 up with Ken Crase and some population work as
2 well, Jack?

3 **MR. FIX:** Well, yes, we took this issue back to
4 Savannah River this past couple of weeks trying
5 to double-check, you know, that -- on the -- on
6 the guidance that's there, and basically the --
7 I'm not sure why there's concern after 1971
8 because that's when the Hoy -- I think it was
9 called the belly-button -- thermoluminescent
10 dosimeter, it was a hemisphere, it was
11 probably the best-performing dosimeter that --
12 neutron dosimeter that's ever been used in the
13 United States. But -- and it was also
14 supplemented with measurements, but more recent
15 -- in more recent times they have the Panasonic
16 809 system with this ROSPEC which they actually
17 go in and take routine neutron spectrometer
18 measurements, dose and spectra measurements in
19 the workplace. And you know, since the
20 introduction of the Hoy dosimeter and now
21 subsequently the 809, it seems as though that
22 the Savannah River Site estimates of neutron
23 dose are -- are pretty -- are -- are very
24 defensible. And so again we use that logic of
25 taking the measurements that are recorded

1 today, along with the DOELAP performance
2 testing and then extrapolating back to a time.
3 And before the Hoy, they used the NTA, and I
4 think everybody realizes that we do not use the
5 results of the NTA -- the neutron dose results
6 from the NTA film but use the photon-to-gamma -
7 - neutron-to-gamma ratio.

8 **DR. MAURO:** This is John Mauro. I have a
9 question that -- in terms of participating in a
10 lot of these site profile reviews, I -- I'm not
11 quite sure if there's a consistent philosophy.
12 My understanding of the philosophy in terms of
13 these kinds of issues where in effect we're
14 talking coworker models where you have for some
15 time period a group of workers where you may
16 not have neutron dosimetry or -- or adequate
17 measurements, and somehow you're going to use
18 another group of workers from a different time
19 period to apply that experience to the earlier
20 time period. Now my understanding, at least in
21 some of the site profiles that we looked at,
22 the general philosophy and one that I agree
23 with is if you have a worker that is -- whereby
24 you're using the -- you have to excuse that,
25 that's my fax machine coming through. I hope

1 it doesn't interfere with this -- it should be
2 over -- that ring should be over in a second.
3 Let's hold for a second here.

4 (Pause)

5 If we have a worker, and you're going to be
6 using let's say a neutron-to-photon ratio from
7 another time period in order to predict his
8 dose, my understanding is if you think the
9 worker probably was not a member of the exposed
10 group of people based on his job category,
11 that's when you use the full distribution. So
12 in other words, you give him the benefit of the
13 doubt and assume he was exposed, even though
14 there's reason to believe that he -- his job
15 category was such that he may not have been
16 exposed and probably was not exposed, but you
17 give the benefit of the doubt and assume the
18 full distribution for whatever the coworker
19 model is.

20 However, if it was a worker that you believe
21 had a job -- was a job category that should
22 have been monitored but wasn't during that
23 earlier time period, you assign the upper 95th
24 percent fixed value from your coworker
25 population. That approach is -- I've seen that

1 in -- in some circumstances. In other cases I
2 -- I haven't seen that. I've seen the
3 application of the full distribution under all
4 circumstances. Could you -- right now on
5 Savannah River -- for example, we're talking
6 the neutron-to-photon ratio, could you just
7 give me some information on whether you're
8 going with that -- that -- that philosophy or
9 strategy that I just mentioned or something
10 different?

11 **MR. FIX:** Well, fortunately in the case of
12 Savannah River we actually have neutron dose
13 measurements and -- you know, that we -- that
14 are reliable in recent time and basically has
15 to do with the facility the person works in and
16 -- going back through time. Assigning the
17 neutron-to-photon ratio is -- is not -- is not
18 -- is not a -- what we would really like to do,
19 but we think it's favorable to the claimant
20 because it gives them a -- if -- if in fact
21 they're in that position -- when you say the
22 full distribution, I assume what you're talking
23 about is --

24 **DR. MAURO:** Yes. In other words --

25 **MR. FIX:** -- we do a (unintelligible) of

1 neutron-to-photon ratio based on data that we
2 feel is reliable, meaning that it's been taken
3 in recent times, that we've -- we're only using
4 the higher doses so that we get reasonably good
5 -- reasonable estimates of the actual neutron-
6 to-photon ratio, and then on that distribution
7 we take the geometric mean, the geometric
8 standard deviation and the 95 percentile --

9 **DR. MAURO:** Okay.

10 **MR. FIX:** -- and then we can go back in time
11 and, if necessary, say a person worked in H
12 Canyon for many years, both before and after
13 when the new dosimetry system came into -- the
14 Hoy dosimeter came into being at Savannah River
15 on January 1st, 1971, we would then look at
16 that and apply the neutron-to-photon ratio.
17 This particular case the person
18 (unintelligible) actually in the area at the 95
19 percentile prior to that.

20 **DR. MAURO:** Okay, so --

21 **DR. GLOVER:** John --

22 **DR. MAURO:** -- what you're saying is is you
23 would apply the 95th percentile value as
24 opposed to the full distribution.

25 **MR. FIX:** I don't know what you mean by the

1 full distribution.

2 **DR. MAURO:** Well, I mean -- let's say you have
3 a -- you have a distri-- whether we talk--
4 let's say we have a full distri-- we have a
5 distribution of neutron-to-photon ratios --

6 **MR. FIX:** Yeah.

7 **DR. MAURO:** -- that you observed.

8 **MR. FIX:** And we only take certain
9 representative values --

10 **DR. MAURO:** Right, and --

11 **DR. GLOVER:** Could I -- could I interject --

12 **DR. MAURO:** -- (unintelligible) those from 1 --
13 1.2 to 1.5 or -- or whatever the distribution
14 is --

15 **MR. FIX:** You could pick a (unintelligible) --

16 **DR. GLOVER:** Jack --

17 **MR. GIBSON:** Excuse me, John --

18 **DR. MAURO:** Yeah?

19 **MR. GIBSON:** John, this is Mike. If the
20 gentleman you're talking with -- we're going to
21 have to try to speak up a lit-- speak up a
22 little bit better for the court reporter.

23 **DR. MAURO:** Oh, you can't hear me? I can take
24 my --

25 **MR. GIBSON:** Not -- not you, John.

1 **MR. GRIFFON:** We can hear you, John.

2 **DR. MAURO:** Oh, okay.

3 **UNIDENTIFIED:** Jack.

4 **MR. FIX:** Okay, I'm sorry. I'll speak louder.

5 **UNIDENTIFIED:** Thank you.

6 **DR. GLOVER:** Just one other -- I think, John,
7 in the context of the broad program, Jim Neton
8 is sitting here and he probably speaks best to
9 --

10 **DR. NETON:** Yeah, I was going to interject
11 here. I think John -- John, we've been through
12 a few of these, as you know, and --

13 **DR. MAURO:** Jim, could you speak up a little
14 bit? I'm just having a little trouble hearing
15 you.

16 **DR. NETON:** Yeah. As you know, we've been
17 through a few site profiles and a few of these
18 distribution discussions --

19 **DR. MAURO:** Yes.

20 **DR. NETON:** -- and -- and I am in agreement
21 with what you stated, that we would apply the
22 95th percentile of a distribution to a worker
23 who should have been monitored and use the full
24 distribution -- that is, the best estimate and
25 some geometric standard deviation would be

1 applied to a person who probably didn't need to
2 be monitored but had some potential for
3 exposure. I mean I think we're in agreement
4 with that, and we just need to make sure that
5 we're consistent across some of these
6 documents.

7 Where I do have an issue, though, is where we
8 come up with the 95th percentile for the photon
9 dose and then apply the 95th percentile on top
10 of that for the neutron dose. I think we're
11 unreasonably biasing that dose extremely on the
12 high side, and -- and we need to think about
13 that a little more and how we're going to
14 handle those situations.

15 **DR. MAURO:** I have to apologize-- Jim, you
16 actually broke off in the end of your
17 description.

18 **DR. NETON:** Okay.

19 **DR. MAURO:** It sounds like you -- there --
20 there are circumstances where you felt that
21 95th percentile strategy is inappropriate, and
22 I'm sorry, I -- I couldn't hear.

23 **DR. NETON:** Well, what I was speaking of was --
24 was a situation where you have a completely
25 unmonitored worker where one would assign the

1 95th percentile dose because we -- because we
2 thought he should have been monitored for the
3 photons.

4 **DR. MAURO:** Yes.

5 **DR. NETON:** Then if one compounds that and puts
6 the 95th percentile of the neutrons on top of
7 that, you end up in a situation where I think
8 you end up with some unreasonable estimate of
9 the upper limit of the dose.

10 **DR. MAURO:** I fully agree with that.

11 **DR. NETON:** Okay.

12 **DR. MAURO:** In other words, when you have two
13 steps in the process, if you use 95th
14 percentile in both steps, you're operating off
15 in never-never land, so yes, I agree with that.

16 **DR. NETON:** Right, and we need to come to grips
17 with that issue and talk about it internally a
18 little better, but I agree in principle with
19 what you said earlier completely.

20 **MR. FIX:** Yeah. No, I understand now what you
21 meant by the full distribution. If we're doing
22 a best -- this is Jack Fix again. If we're
23 doing a best estimate, we do use the
24 distribution in the context of -- of a -- if
25 there's any bias correction in an estimate of

1 the standard deviation, we do do that. And I -
2 - I understand now what you're saying. As far
3 as applying the 95 percentile or the 50th
4 percentile based on the neutron-to-gamma ratio,
5 if it's -- typically it's the 95 percentile
6 that it's based on in what facility was the
7 person working.

8 **MR. GRIFFON:** Yeah, I -- I've been through a
9 few -- this is Mark Griffon. I've been through
10 a few of these workgroups, too, and I agree
11 with John and Jim on -- on that overall
12 philosophy. I guess I was troubled a little in
13 the NIOSH response under this. It's about two-
14 thirds of the way down the paragraph. It reads
15 (reading) for likely compensable claims, the
16 geometric mean value of the neutron-to-photon
17 dose ratio is applied, and if necessary the
18 Monte Carlo analysis performed taking into
19 consideration the 95th percentile value as part
20 of a lognormal distribution.

21 I'm not clear why this would be dependent on
22 the nature of the compensability of the claim
23 as opposed to the nature of the work that the
24 individual is doing. I don't think you -- we
25 should be --

1 **DR. NETON:** I agree, I think that statement
2 needs to be reviewed and -- and reconsidered.
3 We -- we would use the 95th percentile for a
4 worker who was likely to have been -- or should
5 have been monitored, that standard
6 (unintelligible) --

7 **MR. GRIFFON:** No, I agree with your statements,
8 Jim. I think that -- this troubled me a little
9 --

10 **DR. GLOVER:** This is discussing a monitored
11 worker. This is a person with a photon badge.

12 **DR. NETON:** Even if you have a photon badge,
13 though, and -- and let's say that you -- for
14 some reason we have determined that you -- you
15 were in a neutron area where you should have
16 been monitored for exposure to neutrons, we
17 have no knowledge then at that point as to what
18 the upper limit of the neutron exposure could
19 have been for that person and we -- to be
20 consistent with what we've done elsewhere, we
21 would apply the 95th percentile of the -- of
22 the distribution of potential neutron doses.
23 Now you take -- you take --

24 **DR. GLOVER:** That's straight from the Science
25 Director, so that's all that matters.

1 **DR. NETON:** Yeah, and -- and this may be
2 something we need to talk about a little more
3 internally and I apologize, I have not had a
4 chance to look at these in detail before this
5 meeting, but -- but there's -- there's -- you
6 know, this is something that has been our
7 position and -- and that's the direction we
8 would go.

9 **MR. GRIFFON:** I'm just reading this now as
10 well, Jim, so that's -- that's fine. The other
11 -- the other question I had -- I thought came
12 as -- as Jack was talking. Jack, you mentioned
13 we'd use neutron-to-photon ratios -- at least
14 the ones that we feel are reliable, and I guess
15 my question is how -- where -- where are --
16 where do these exist? Are these referenced in
17 the site profile and how -- this may, again,
18 get back to dose reconstruction versus site
19 profile, but you know, my -- my question is,
20 you know, how was this determined? Which --
21 which NP ratios were used, from what time
22 frame, were they representative of earlier
23 production periods, et cetera?

24 **MR. FIX:** Right. Well, the data that's
25 selected is difficult and that's why we work

1 with the site trying to find the actual data
2 that we would want to use in the analysis, and
3 -- and that's why we actually try to look at --
4 across more than one site 'cause not all sites
5 have very many measurements. But since they've
6 gone to ROSPEC in recent years, along with the
7 809 dosimeter, they've actually updated some of
8 their own estimates of what the neutron-to-
9 photon ratio is. And so we've been working
10 very closely with the site, and that probably
11 is an area that we could maybe improve on is
12 exactly what data forms the basis of the
13 neutron-to-photon ratio that we're applying --
14 recommending in the site profile.

15 **MR. GRIFFON:** And I'm not saying all the
16 details need to be in the site profile, but it
17 might be useful to reference, you know, what
18 time periods and what methodology was used for
19 the NP ratios. And I think in -- to some
20 extent -- I -- I guess part of my concern would
21 be if you're using more recent, more reliable
22 data, is it representative of earlier
23 production operations and -- and -- and you
24 know, work practices. I mean, you know,
25 conditions, shielding, things like that may

1 have changed quite dramatically over the years,
2 which would have an effect on these NP ratios -
3 -

4 **MR. FIX:** Yes.

5 **MR. GRIFFON:** -- over time, so you know, just -
6 - and -- and I -- to be honest with you, it's
7 been so long since I looked at the site profile
8 I don't know how much this was discussed in the
9 original document, but I think it should be at
10 least alluded to how these were derived.

11 **MR. FITZGERALD:** And Jack, this is Joe. As I
12 recall, too, you based a lot of the NP ratios
13 on Hanford reactors, some of that information
14 came from the Hanford reactor --

15 **MR. FIX:** Not on Hanford reactors.

16 **MR. FITZGERALD:** Yeah.

17 **MR. FIX:** The Pacific Northwest National
18 Laboratory people I think in neutron spectra at
19 many of the DOE si-- not many, but several DOE
20 sites, including the Savannah River Site, and
21 many occasions at the -- at the Hanford site,
22 and you recommend -- and we -- and the -- the
23 analysis we looked at was -- so we did use the
24 Hanford -- some of the Hanford measurements in
25 the context of examining how they compared with

1 Savannah River Site. And -- and there was --
2 one unfortunate thing about the field
3 measurements, the way we're using them now as
4 far as being applicable to the general
5 workforce, is there's always a tendency when
6 you go to a site to take some measurements,
7 they want you to take measurements where
8 they've had some problems or there's been some
9 issues. It may not have anything to do with
10 whether workers are -- are present there or
11 not, and so are you -- so are you referring to
12 the one measurement location there at Savannah
13 River where -- on a dry well, I guess it was, I
14 forget the exact location.

15 **MR. FITZGERALD:** I -- I guess it just wasn't
16 clear to what extent the ratios were being
17 weighted as -- on the Hanford data as opposed
18 to Savannah River-specific data, or whether it
19 was just really a generic assessment -- a DOE-
20 wide assessment.

21 **MR. FIX:** It's not a DOE-wide assessment.
22 We're trying to use the best data that we can,
23 but there's not a lot of measurements at
24 Savannah River. The better -- the better
25 measurements probably are the more recent

1 measurements with the ROSPEC. As far as going
2 back through time, I agree it's very difficult.
3 Just like people were talking about earlier
4 about the americium-241 buildup, there's lots
5 of issues. And quite frankly, it's been very
6 difficult for us to try to get some of the old
7 measurements that we would have liked to have
8 had, just because it's classified. As you
9 probably know, the DOE shares with the
10 Department of Defense what's called the
11 intrinsic radiation measurements, the neutron-
12 to-gamma ratio for all these different weapons
13 systems because the military has to handle
14 these, but that's all classified information
15 and so we're exploring ways to try to document
16 at least a little bit of this information we
17 have available to us.

18 **DR. GLOVER:** Is there an action item that we'd
19 come away with on this?

20 **MR. FITZGERALD:** No, I -- I think in general,
21 as long as it's consistent with the overall
22 approach, I think that was the concern, that it
23 was uniformity on that.

24 **MR. FIX:** Yeah, I -- we are preparing a -- a
25 generic OTIB on this neutron-to-gamma ratio

1 issue because it's widely used, it's -- it
2 raises questions, and we think as opposed to
3 trying to approach the issues site-by-site, at
4 least for plutonium-handling facilities,
5 perhaps we could do it better in a generic
6 OTIB.

7 **MR. BUCHANAN:** That's -- this is Ron. That's
8 good because we have the same issues at Rocky
9 Flat and other sites that we ran into the same
10 identical issue, so that would be good.

11 **DR. MAKHIJANI:** Yeah, and presumably when you
12 do this -- this is Arjun, I joined a few
13 minutes ago. Presumably when you do this
14 you'll -- you'll have an approach that looks at
15 the age of the plutonium and the americium
16 content and so on.

17 **MR. FIX:** Yes. Well, we -- I'll -- we'll
18 present to you what we have. I agree, we all
19 ask the same questions and we have received
20 some information that we can use. It turns out
21 that actually if the -- what's really important
22 is what you do to shield or contain the
23 material after it's available to you, and that
24 of course varies a lot.

25 **DR. MAKHIJANI:** Yeah. Yeah, that -- that would

1 apply to like the weapons systems themselves,
2 but not -- not to the manufacturing processes.
3 Well, not to many of the manufacturing
4 processes.

5 **MR. FIX:** Okay. Well, we all know it's a
6 complicated area and we'll work with you to get
7 a -- to describe what we have available to us
8 and -- and how we can make reasonable judgments
9 from what's available to us.

10 **MR. GRIFFON:** I guess just one action item in
11 that area would be my -- you know, my -- just a
12 description of the derivation of the neutron-
13 to-photon ratios being -- you know, I'm not
14 even -- just a current -- an explanation of
15 currently -- you know --

16 **DR. GLOVER:** Policy?

17 **MR. GRIFFON:** Yeah, Jack's mentioned that, you
18 know, ideally it'd be more recent higher level
19 values --

20 **DR. GLOVER:** Oh.

21 **MR. GRIFFON:** -- that were used. I mean, how
22 was it deri-- how were these distributions
23 derived.

24 **MR. FIX:** Well, we've tried to explain that in
25 the respective Technical Basis Documents, but

1 we -- perhaps we could have done a better job.

2 **MR. GRIFFON:** And like I said, it's been a
3 while since I looked at that so maybe it's fine
4 in there and -- and if it is, you can just
5 point me to that, you know, but don't -- I'm
6 not looking for a redundant answer.

7 **MR. GIBSON:** Okay, is that it for this issue?

8 (No responses)

9 Okay. If so, it's approximately 11:00 o'clock
10 here and I think everyone in the room's
11 probably ready for a short break, so we'll take
12 a break till -- let's say between 11:10 and
13 11:15, then we'll reconvene?

14 **DR. WADE:** We'll keep the phone on --
15 connected, though.

16 (Whereupon, a recess was taken from 11:00 a.m.
17 to 11:15 a.m.)

18 **DR. WADE:** ... is with us, getting his machine
19 warmed up, turning the crank on the battery.

20 Okay, I think we're ready.

21 **COMMENT FOUR: TANK FARMS**

22 **MR. GIBSON:** Okay, we're ready to convene.
23 We'll go to matrix comment number four.

24 **MR. FITZGERALD:** Yeah, comment --

25 **MR. GIBSON:** Okay, Joe.

1 **MR. FITZGERALD:** -- four, and I'm going to turn
2 this over to our in-house experts on the tank
3 farms in a second, Arjun and Bob Alvarez, but I
4 think our issue here is a broader one. It's
5 the degree of characterization, and we're the
6 first to admit that, you know, how much is
7 enough is always an issue with site profiles.
8 But in this case we felt this site profile
9 would have benefited perhaps with a more
10 comprehensive treatment of the tank farms from
11 the exposure standpoint. And I will turn it
12 over to Arjun just to go over some of the
13 details that we provided.

14 **DR. MAKHIJANI:** Yeah, let me -- let me ask Jim
15 a question -- Jim Neton a question. Did you
16 manage to get your hands on -- on the tank farm
17 data bank at all after the review was -- our
18 review was published?

19 **DR. NETON:** I'm not sure I understand the
20 question.

21 **DR. GLOVER:** Arjun, this --

22 **DR. NETON:** Sam's here --

23 **DR. MAKHIJANI:** There's a -- there is a tank
24 farm data bank of incidents that's cited in our
25 review quite frequently --

1 **DR. GLOVER:** We had some --

2 **DR. MAKHIJANI:** -- and that -- that has a lot
3 of information in it about incidents in the
4 tank farm, radiation rates, spills,
5 radionuclides of importance and so on contained
6 --

7 **DR. NETON:** Sam -- Sam Glover seems to be our -
8 -

9 **DR. MAKHIJANI:** -- that we used in our review
10 that, you know, from a summary that I made a
11 long time ago. We don't have the actual tank
12 farm data bank and wondered whether NIOSH had -
13 - had tried to get a copy of it.

14 **DR. GLOVER:** Arjun, I -- I will speak to that.

15 **DR. MAKHIJANI:** Yeah.

16 **DR. GLOVER:** Elyse Thomas is sitting here. She
17 can probably give us the most recent status.
18 She sent me some e-mails. We had a -- is that
19 actually the database -- you're talking about
20 an electronic database versus a document that
21 summarized one particular time period?

22 **DR. MAKHIJANI:** Yeah, there is an electronic
23 database. What I had worked with and Bob and I
24 had worked with in the early to mid-'80s was a
25 document that Bob got which was a printout of

1 an electronic database --

2 **DR. GLOVER:** Okay, that's --

3 **DR. MAKHIJANI:** -- up through the end of 1982,
4 I think, but I think it was maintained after
5 that, so there should be a more recent version
6 of it.

7 **MR. ALVAREZ:** This is Bob Alvarez. In our
8 comments to the matrix we identify a 1995
9 report regarding the status of this database,
10 how it is used -- the -- there's a user
11 handbook for it or a manual, who's used it, how
12 it's set up. It basically involves approx-- I
13 believe about 35,000 entries in the 200 area
14 including tank farms, separations plants and
15 tritium separation. I believe the tritium
16 separation data is classified, but it is being
17 used and has been used. In fact, it was used
18 for dose reconstruction by Radiation Assessment
19 Corporation in the past and we provide a
20 detailed description of what it current-- what
21 it was as of 1995 and -- and who has control of
22 that in the reference documents.

23 **DR. GLOVER:** Okay.

24 **DR. MAKHIJANI:** Yeah, talk -- I mean the reason
25 -- the reason I mention that at the outset is,

1 you know, I -- you -- you've probably had time
2 to go -- go over our responses to your matrix
3 comments, to the NIOSH matrix comments, and you
4 know, starting with -- with the radionuclide
5 list, I -- I really think -- I really think
6 that the radionuclide list needs to be
7 considered in light of the dose reconstruction
8 and the various periods for which you have to
9 do dose reconstruction and not as a general
10 which radionuclide is short-lived and which
11 radionuclide has large EDEs.

12 Joe, do you want us to proceed issue by issue
13 or to get --

14 **MR. FITZGERALD:** Yeah, I -- I think you're --

15 **DR. MAKHIJANI:** -- an overview of everything
16 first or how -- how do you want to do this?

17 **DR. GLOVER:** Just one real quick thing to
18 finish up -- we did -- there are some people
19 who've been do -- have been working on finding
20 this. We want-- I just wanted to verify that
21 what we had obtained or what we -- we -- to
22 discover was what -- we were talking on the
23 same wavelength here.

24 Elyse, you want to give us a status of where we
25 are?

1 **MS. THOMAS:** Yes, and Tom, I'm going to call on
2 you 'cause Tom LaBone helped me track this
3 down, but he said that that database is no
4 longer available at SRS and it's maintained by
5 a private company. It would be available at a
6 cost and it also contained OUO and possibly
7 some classified information, so we could not
8 obtain it -- easily, anyway. Tom, I don't
9 know if you want to elaborate on that a little
10 more.

11 **MR. LABONE:** I mean all I can say -- I called
12 Ken Crase 'cause I had never heard of the 200
13 area incident database, but what Ken said was
14 that there was an SRS incident database. This
15 was developed back when DuPont was running the
16 site and they used it a lot for safety analysis
17 reports --

18 **DR. MAKHIJANI:** Right.

19 **MR. LABONE:** -- for input data into that. At -
20 - at some point along the way, I believe when
21 WSMS was spun off of Westinghouse Savannah
22 River Company, they retained the database. And
23 so for example, someone on the site wants to go
24 look at the database, they have to go to WSMS,
25 who would get the information for them. And

1 that was pretty much the status of it as of
2 now, from what Ken said, and I got a contact at
3 WSMS and I don't know if Elyse had time to talk
4 to her, but (unintelligible) -- anyway, the
5 database supposedly has -- you know, it has
6 names -- you know, the people involved with
7 incidents and has quite a bit of information,
8 as you're pointing out.

9 **MR. ALVAREZ:** This is Bob Alvarez. The
10 document that we cite in our comments in the
11 matrix, for your information, is a 1995
12 document prepared by Westinghouse Savannah
13 River Corporation called "Waste Management
14 Facilities Fault-Tree Data Bank, 1995 Status
15 Report," and it's referenced in our comments.
16 This docu-- these -- these data may be held by
17 private parties, but this is collected with
18 government taxpayer dollars, and I find it, you
19 know, questionable that a charge would be
20 levied ag-- for using data that has been
21 assembled by the government, and it certainly
22 was under Westinghouse's control up until 1995.
23 It is referenced. It has a handbook, as I
24 said. There are 35,000 entries. They have a
25 -- they have tables in this report in terms of

1 who -- what the data searches were for, how --
2 what data sources comprised this data bank.
3 Now that's all I can tell you, but it's quite
4 extensive and it is essentially a chronological
5 listing of all operating incidence reports,
6 unusual incident reports, it has special hazard
7 investigations, teletypes, you name it. And in
8 the comments that we did provide, we provided
9 you the tables from this report as to the
10 source codes and the source of data that are
11 available to it, so you might want to take a
12 look at that.

13 **DR. GLOVER:** Do you have the document or do you
14 want -- do you know --

15 **MR. ALVAREZ:** Well, we -- we've referenced the
16 document and --

17 **DR. GLOVER:** All right.

18 **MR. ALVAREZ:** -- provided tables from the
19 document in our comments to you that we filed,
20 which I hope we -- you know --

21 **DR. GLOVER:** I just want to make -- do you --

22 **MR. ALVAREZ:** -- have before you.

23 **DR. GLOVER:** -- do you -- do you have the full
24 document, we'll just get a -- is it --

25 **MR. ALVAREZ:** I certainly do.

1 **DR. GLOVER:** Okay.

2 **MR. ALVAREZ:** I'm happy to e-mail it to you.

3 **DR. GLOVER:** Oh, it's an electronic document?

4 **MR. ALVAREZ:** Yeah, it's in electronic format.
5 It came out of the DOE information bridge.

6 **DR. GLOVER:** Well, that -- that would be
7 outstanding. That'll -- that'll minimize us
8 trying to --

9 **MR. ALVAREZ:** Sure, I'm very happy to send it
10 to you.

11 **DR. GLOVER:** Outstanding, 'cause we had --
12 there was some dis--

13 **DR. MAKHIJANI:** The thing that Bob is talking
14 about is -- is not a general incident list.
15 There is a document called a data bank that's
16 specific to the 200 area and what I -- Bob and
17 I had looked at in the '80s which I mentioned
18 was specific to the tank farm. At that time
19 they I think maintained two different data
20 banks, one for the canyons and one for the tank
21 farm --

22 **MR. ALVAREZ:** Right.

23 **DR. MAKHIJANI:** -- so far as I could discern,
24 and maybe they merged them later on, but those
25 are the documents I think -- at least so far as

1 -- that we have referenced in our work.

2 **MR. ALVAREZ:** Originally these data were
3 assembled to do probabilistic risk assessment.
4 That's why they're called fault-tree data. And
5 apparently, based on this 1995 Westinghouse
6 report, it is being used -- it has been used
7 for lots of different purposes, including dose
8 reconstruction. And I'm happy to -- to send
9 you a copy of this document that describes
10 these data -- this database in some detail and
11 -- and how it's constructed and how it's
12 maintained and -- including references to
13 handbooks to use the database.

14 **DR. GLOVER:** Okay, so what we can say is -- but
15 we're -- just regarding that, you'll send us
16 that and we will follow-up just finding out
17 what the status of the database itself is.

18 **MR. ALVAREZ:** Sure.

19 **DR. GLOVER:** We have an (unintelligible) -- we
20 had a false -- we didn't get the title right so
21 we had some -- you know, exactly trying to
22 figure out where this thing existed and --

23 **MR. ALVAREZ:** Sure.

24 **DR. GLOVER:** -- so we -- we have located it and
25 --

1 **MR. ALVAREZ:** I'll get your e-mail address
2 later and I'll just send you the document --

3 **DR. GLOVER:** That's great.

4 **MR. ALVAREZ:** -- so you (unintelligible) can
5 work off of that.

6 **DR. GLOVER:** That's great.

7 **DR. MAKHIJANI:** This -- this document is very
8 important because it -- among other things,
9 besides assisting with dose reconstructions, it
10 can tell you whether your assumptions about the
11 completeness of worker records in regard to say
12 the incidents that are listed in them is right.
13 I mean I have -- both Kathy DeMers and I have
14 had some questions about that which we raised
15 in our review, whether -- whether the -- you
16 know, we haven't looked at the individual
17 worker files, but we cited some evidence where
18 we're uneasy whether the -- whether the worker
19 files do indeed have all the incidents recorded
20 in them. And this data bank is quite important
21 because if the incidents in the data bank are
22 not in the worker records, then I think -- or
23 you know, if they are in the worker record,
24 then you've validated the worker record, you
25 know, in a very good way and if they're not,

1 then you've got a significant issue in regard
2 to the completeness of the worker record.

3 **MR. ALVAREZ:** This is Bob Alvarez. I think
4 these -- this data bank is very unique to the
5 DOE complex. I'm aware of -- I'm not aware of
6 anything that's comparable to it at any other
7 DOE site, and so I think it's a valuable
8 resource and hopefully we can -- we can get
9 access to it.

10 **DR. MAKHIJANI:** You mean unique to Savannah
11 River.

12 **MR. ALVAREZ:** Unique to the DOE. I'm -- I'm
13 unaware of any type of data bank that is -- was
14 set up in this manner with this level of detail
15 that would provide I think important insights
16 as to, you know, what -- what were the
17 incidents, what went wrong, what was the nature
18 and -- and draws from several different sources
19 on the site and was assembled for the purposes
20 of ascertaining risk of accident and -- and
21 currently dose reconstruction purposes.

22 **DR. MAKHIJANI:** But the data bank itself is not
23 a complete list. I mean it (unintelligible) --

24 **MR. ALVAREZ:** Oh, no, no, I'm not saying it is,
25 but I'm saying that it -- that the -- that the

1 data bank itself is unique to the DOE complex
2 because I'm unaware of any other site that has
3 done something like this. That's all I'm
4 saying.

5 **DR. LOCKEY:** This is Jim Lockey. Did somebody
6 say they used this as a fault-tree analysis?
7 Is that what it was used for?

8 **MR. ALVAREZ:** Yeah, it was -- it was developed
9 in -- initially in the 1970s to do PRA risk
10 analysis for the 200 area facilities.

11 **DR. MAKHIJANI:** And that's part of why we
12 looked at it was to evaluate the probablistic
13 risk assessment that DuPont was doing at the
14 time.

15 **MR. ALVAREZ:** But apparently since that time,
16 at least based on the document I was able to
17 obtain a while back, it is being used for lots
18 of different reasons besides PRAs at the site,
19 or has been at least until -- up -- up till
20 1995.

21 **DR. LOCKEY:** Is anybody aware that they
22 actually implemented -- it went through the
23 fault-tree analysis system and actually
24 implemented changes? Is that -- is that --

25 **MR. ALVAREZ:** Not that we're aware of.

1 **DR. MAKHIJANI:** Well, we know they implemented
2 some changes after the report we did came out
3 in terms of their maintenance procedures and --
4 'cause we pointed out that they were -- they
5 had two instances of hydrogen buildup to above
6 the lower explosive limits, and there were
7 different documents that -- you know, people
8 had forgotten to turn the ventilation fans on,
9 if I remember right, and -- and I think they
10 did -- they did go and change some procedures
11 after our report came out, to the best of my
12 understanding. But our report was based on --
13 on the data bank and the -- and the safety
14 analysis report that came from it -- but it was
15 called the Fault-Tree Data Bank.

16 **MR. ALVAREZ:** But as I said, it is now called
17 the -- or was, as of 1995, Waste Management
18 Facilities Fault-Tree Data Bank.

19 **MR. CLAWSON:** This is Brad Clawson. So I guess
20 I'm not very clear on this. Are we able to --
21 are we able to see this data -- data bank or
22 retrieve information from it, or -- what's
23 going on?

24 **DR. GLOVER:** That's --

25 **MR. GRIFFON:** That's what I think we've got

1 agreement to do. Right?

2 **DR. GLOVER:** Yeah, we -- we have found the
3 company that we believe holds the actual data,
4 and we'll just have to find out what the status
5 of that is. We -- we have not yet made that
6 contact.

7 **MR. ALVAREZ:** And I would also look into why
8 they are charging for access to these data
9 'cause these data were -- were collected and --
10 and assembled on the taxpayers' dime.

11 **DR. GLOVER:** We don't yet know that's -- I
12 think we have to make contact and find out
13 where that stands. I would say -- you know, I
14 think it'll be -- I think we're going to be
15 talking about this broadly. Those will tell
16 the type of nuclides that were involved, the
17 incidents --

18 **DR. MAKHIJANI:** Yeah, not comprehensively. I
19 think -- I think in terms of the nuclide -- is
20 that Sam Glover?

21 **DR. GLOVER:** Yeah, I'm sorry, Arjun, this is
22 Sam Glover. I -- just to help test our
23 hypothesis that we have covered broadly, not
24 that that should be the only list, but I also
25 want to speak to the dose reconstruction

1 process and how we do that with the constant
2 chronic intakes and if there's bioassay that
3 we're going to be talking about, please keep in
4 mind how the NIOSH dose reconstruction process
5 --

6 **DR. MAKHIJANI:** Yes.

7 **DR. GLOVER:** -- work.

8 **DR. MAKHIJANI:** Yes. Yes. Joe, you want to go
9 on?

10 **MR. FITZGERALD:** Well, I --

11 **DR. MAKHIJANI:** You're going to get -- it's --
12 it -- you're going to -- the action item there,
13 as I understand it, is you're going to try to
14 get this.

15 **MR. FITZGERALD:** Well, I guess I -- I want your
16 -- I guess at first I want your reaction to --
17 and I -- the comment that perhaps this data
18 bank may address some of the other issues, as
19 well, because of this question of the
20 comprehensiveness of the nuclides cited and we
21 can go through that, but would you agree with
22 that, Bob or Arjun?

23 **MR. ALVAREZ:** I would tend to think --

24 **DR. MAKHIJANI:** Yeah.

25 **MR. ALVAREZ:** -- I mean just looking at the --

1 the sources which they used to assemble these
2 data bank are, you know, essentially the extant
3 reports that came about when they -- shortly
4 after they happened, of -- of -- at various
5 different levels, including HP reports.

6 **MR. GRIFFON:** So yes.

7 **MR. FITZGERALD:** Yeah, and it -- also it sort
8 of tackles this question of whether the
9 incidences that occurred were fully
10 accommodated and identified, and it appears
11 that would also address that better.

12 **DR. MAKHIJANI:** Yeah.

13 **MR. FITZGERALD:** Okay.

14 **DR. MAKHIJANI:** To some extent.

15 **MR. FITZGERALD:** To some extent.

16 **DR. MAKHIJANI:** (Unintelligible) it will be one
17 very important check.

18 **MR. FITZGERALD:** Okay. With that as a lead-in
19 comment, is there anything specific that we
20 should talk about quite apart from whether or
21 not the data bank will further that assessment?

22 **DR. MAKHIJANI:** Yeah, Joe, but I mean those are
23 the substantive issues. Should we go through
24 them one by one?

25 **MR. FITZGERALD:** I think we ought to at least

1 touch on them in case there's any questions.

2 **DR. MAKHIJANI:** Yeah. Yeah. Well, our -- our
3 position, you know, in -- in regard to the
4 NIOSH response on the radionuclides list, to
5 take the first one, is -- is that I think the
6 radionu-- we think the radionuclides list is
7 still incomplete for the reasons we stated. I
8 think the NIOSH argument is not -- is not -- is
9 not tight enough for the actual dose
10 reconstruction purposes, and I've given you
11 some examples of -- of radionuclides that need
12 to be added, or at least considered.

13 **DR. GLOVER:** I will gi-- I think this is
14 specific enough. It may take -- it's not
15 something we can answer off the cuff.

16 **DR. MAKHIJANI:** Okay.

17 **DR. GLOVER:** I don't -- I don't know if Don
18 Bihl or -- I know we had some fission product
19 approaches and different things. That may be
20 something we need to make sure and then just
21 verify against.

22 **DR. MAKHIJANI:** Okay. I mean if there is a
23 sort of over-arching fission product approach
24 to the radionuclide list, I think -- an
25 approach that is a little bit similar to

1 Nevada's that actually says, you know, when the
2 worker was involved because it will -- you
3 know, it's not as hard as Nevada because you
4 have a hold-up time before (unintelligible) is
5 reprocessed, so many of the radionuclides will
6 automatically be eliminated. But I think --
7 I'm not sure it's a given that -- that
8 radionuclides like zirconium-95 are
9 automatically excluded because in the early
10 years I think there may -- they may well have
11 been a concern.

12 **DR. GLOVER:** Ed Scalsky, do you have somebody
13 on the line who -- or do you just want to hold
14 off on this?

15 **MR. SCALSKY:** I think we should hold off on
16 this. I don't think Gene is on the line yet.

17 **MR. ROLLINS:** Ed, I'm here.

18 **MR. SCALSKY:** Oh, you are there? Could you
19 answer this question then?

20 **DR. GLOVER:** One second, Ed. Gene, you have to
21 identify yourself and also provide your
22 conflict -- that you are con--

23 **MR. ROLLINS:** Oh, I'm Gene Rollins with Dade
24 Moeller and Associates and I did spend about 18
25 months working in the health physics department

1 at Savannah River Site back in the '70s -- '76
2 through '78.

3 **DR. GLOVER:** Thank you much.

4 **MR. ROLLINS:** Can I please have the question
5 again?

6 **DR. GLOVER:** This is regarding matrix four,
7 about the nuclide list in the -- the tank farm
8 area being incomplete. And if we want to just
9 hold off and review this or if you have some
10 comments regarding what we have here.

11 **MR. ROLLINS:** I don't have any comments on that
12 subject.

13 **MR. BIHL:** This is Don Bihl. I -- I guess I'm
14 having a hard time understanding the -- the
15 emphasis on this. Certainly in the -- in the
16 dissolution facilities and the canyon
17 facilities it -- which radionuclides may be
18 important at that point depends on the exact
19 fuel rods that are being dissolved and -- and
20 then as they go through the process and, you
21 know, these fission products get mixed with
22 contamination in the -- in the various tanks
23 and pots and transfer lines, and then they go
24 out to the tank farms and, you know, they --
25 they may just further mix with old

1 contamination as well as the new stuff -- you
2 know, there's really no way you're going to
3 take the totality of the mixed fission products
4 that were produced in the reactors and they're
5 melted in the rods and dissolved and moved to
6 the tank farms and say at any one time well,
7 such-and-such is more important than such-and-
8 such. You know, I -- I -- I don't think that -
9 - what we put down there was just kind of a
10 list of the ones that are pretty well known and
11 -- and you know, generally contribute
12 significant amounts. It wasn't intended to be
13 something that asks the question do we have
14 every single radionuclide identified whose dose
15 conversion factor might be one percent higher
16 for a given organ than some other radionuclide.
17 That's not how the dose reconstruction process
18 works, and you know, whether we put in that
19 particular section every single mixed fission
20 product that might have a little higher dose
21 conversion factor than another for a given
22 organ is kind of a waste of time. Maybe what
23 we -- I should do is just say mixed fission
24 products were -- you know, were significant.
25 Because the dose reconstruction process doesn't

1 take that data anyway and -- and do it. They
2 have their tools that list all sorts of
3 radionuclides and allow the dose reconstruction
4 -- dose reconstructor to pick out the ones that
5 does maximize the dose to a given organ.

6 **DR. GLOVER:** That's -- for somebody who -- go
7 ahead.

8 **MR. ALVAREZ:** Well, Don, I think that that may
9 be so for the tank farms, but in looking at how
10 these data have been assembled to date, they
11 also include burial grounds at Savannah River
12 and they were burning, you know, spent solvent
13 in open pans for -- for years and years. And
14 it's not clear to me whether burial ground
15 workers received any bioassays for transuranics
16 and so there -- there are lots of things -- I
17 think insights that may be gained from this as
18 opposed to just the -- a strict academic
19 exercise in figuring out, you know, what the
20 source terms were of the tanks at a given time,
21 because they do tell you what went wrong, what
22 the dose rates were, what the radionuclides
23 were. And so those would, I presume, be -- be
24 considered important and there may be important
25 things that were missed, you know, 'cause these

1 tank farms were not just places where things
2 sat around, as you know. They were running
3 evaporators. They were doing various things
4 with these tank farms and there were -- there
5 were things that went wrong.

6 **DR. MAURO:** This is John Mauro. Yeah, I -- I
7 think this is an important bridge because when
8 we reviewed dose reconstructions I know that
9 when you don't have data -- we're talking
10 bioassay data now -- for a given worker, they -
11 - you resort to the high five approach for
12 Savannah River, which is this default approach
13 for -- for internal exposure. What I'd like to
14 hear a little bit is the bridge. It sounds
15 like that -- you know, the -- the tank farms
16 and the incidents and the list of radionuclides
17 are all certainly real things that occurred,
18 sources of information that could be of value.
19 The question becomes when we look at that new
20 source of information, is -- is the intent here
21 to look at it from the context are the default
22 methods imbedded in the high five approach
23 adequate to accommodate this -- the fact that
24 some workers may very well have been exposed to
25 these incidents or radionuclides but there

1 aren't any bioassay data for them, and if
2 that's the case, would the high five approach
3 still provide us with a degree of confidence
4 that we had not missed any important dose. I
5 think that's the way -- that's how I'm thinking
6 about it. Arjun and -- and Bob, is that
7 question too narrow?

8 **DR. MAKHIJANI:** Well, that -- that's one -- but
9 that -- one -- that's the over-arching
10 question, in a way. But -- but there are other
11 issues involved, in reaction to what Don said.
12 The idea isn't that you should list all the
13 fission products in the world in the list.
14 Obviously you want to list the fission products
15 that are important to dose reconstruction.
16 NIOSH listed fission products and, in its
17 response, said cesium-137 and ruthenium are
18 listed as significant items but don't produce
19 as much dose as strontium-90, cerium-144 and
20 curium-244. Well, that's a pretty explicit
21 statement about identified radionuclides, and
22 in our response we pointed out that it wasn't
23 quite on the mark, that these -- these
24 radionuclides do produce as much dose,
25 depending on the organ you're talking about.

1 So just the technical correctness of the
2 statement is important. If it's going to be in
3 the TBD in a certain way, represented as
4 important radionuclides, then you ought to have
5 the important radionuclides listed. If it's
6 not important to dose reconstruction, one asks
7 the question what is it doing in the TBD. So
8 it's very misleading to have information in the
9 TBD that's not -- that's not technically on the
10 mark, and then simply say it's not being used
11 in dose reconstruction.

12 The second point in response to Don's statement
13 is you do have to demonstrate that the approach
14 that you're using in regard to mixed fission
15 product -- and it's completely legitimate to
16 devise an approach for mixed fission products -
17 - is claimant-favorable under the circumstances
18 of the individual claimant. I don't think that
19 NIOSH has done that. We've pointed out, for
20 instance, that in -- in the tank -- I found two
21 instances of cesium-137 intakes that were
22 listed in the tank farm data bank that were
23 higher than the high five listed in the TBD.
24 And so I personally don't have confidence that
25 you identified the high five, and I think we

1 said that in our review. So until you have a
2 better grip on -- on the intakes and on the
3 list of radionuclides, I don't think you can
4 actually demonstrate that your mixed fission
5 product approach is claimant favorable.
6 And that's the reason to -- that's the
7 technical sort of response to what John Mauro
8 was saying, that if the ultimate question is
9 what is useful in dose reconstruction, then you
10 have to demonstrate that that approach is
11 valid. And secondly, if it's not going to be
12 used in dose reconstruction, then why put it in
13 the TBD.

14 **DR. GLOVER:** Okay, so I -- we -- we actually
15 later address some of the high five issues.
16 There's additional matrix comments.

17 **DR. MAKHIJANI:** Yeah, right.

18 **DR. GLOVER:** I'd rather not go into those here.

19 **DR. MAKHIJANI:** Fine. Fine.

20 **DR. GLOVER:** And I think -- you know, our
21 attachment -- and I know we've sort of -- it's
22 -- it's a little piecemeal here. Maybe we've
23 lost some of the -- there's a number of
24 different objectives about -- it sounds like
25 the -- the tank farms and really pulling out --

1 does our list -- is it adequate, and we have an
2 attachment which we made an attempt to address
3 for these workers this is how we do dose
4 reconstruction and is it adequate. I think we
5 -- we -- you guys have said you have some
6 comments back along those lines. For this
7 specific list of isotopes, we can check against
8 that. The list from your database may help
9 verify which ones were important for ac--
10 actually accidents, and so that may -- the
11 evaporators, I'd have to double-check to make
12 sure what's specifically being done. If those
13 people would have not had plutonium bioassay --
14 if that's a particular class of worker who --
15 **MR. ALVAREZ:** Burial ground workers.
16 **DR. GLOVER:** -- burial ground workers, and so
17 we -- we can check with -- if -- if there's
18 something unusual about that, whether they had
19 plutonium bioassay or not, I don't off the cuff
20 know.
21 **DR. MAKHIJANI:** Yeah, Sam, I guess we can -- we
22 can -- we have responses to your attachment A
23 and the four scenarios for dose reconstruction
24 as part of our comments, and if you want, we
25 could move the last to be more specific. You

1 know, so far as I'm concerned, I mean our --
2 our comment in regard to the radionuclide list
3 was not that you should include every
4 radionuclide, but whatever you say about them
5 should be accurate.

6 **MR. BIHL:** This is Don Bihl. The problem I
7 have with that is that the -- is that the
8 possible number of answers to what you're
9 proposing is approaching infinity because the
10 particular mix of radionuclide at any one time
11 in any one tank farm or any one evaporator is -
12 - is impossible to know at this point, and is
13 variable enough that I can't tell you which
14 radionuclide or which five radionuclides were
15 most significant to the dose to any possible
16 organ that's being looked out down to a one
17 percent difference. You know, that -- that's
18 just -- you know, it -- it's meaningless and to
19 try to generate a list like that is a waste of
20 time.

21 **MR. ALVAREZ:** Well, one thing that provides
22 ballast -- ballast to this is this incident
23 data bank because while it may not capture the
24 entire universe of the source term at any given
25 time, it certainly will tell you -- or at least

1 be able to tell you what happened at that given
2 time period to -- to workers and what their
3 doses might have been and their uptakes might
4 have been, and -- and whether these match those
5 that are in the files of the claimants, and
6 whether these match in the -- in terms of the
7 data collected by NIOSH to ascertain things
8 like the high five, so there is an element here
9 of -- of -- you know, of -- of reality and --
10 and soundness to what we're suggesting. And I
11 -- believe me, Don, we're not suggesting that
12 you have to come up with some sort of perfect
13 exercise that, you know, at any given minute to
14 tell us what the source terms were in a dynamic
15 -- you know, these dynamic waste situations,
16 but rather these provide you 35,000 different
17 incidents that occurred that would -- may
18 provide some very important insights to inform
19 this difficult (unintelligible).

20 **DR. MAKHIJANI:** Yeah, I -- I think we're past
21 the, you know, importance of the tank farm data
22 bank. I think we're into something very
23 specific. And it's important not to set up a
24 straw man. We're not asking that every
25 radionuclide at every stage of the process be

1 listed in the TBD. NIOSH chose to call out
2 certain radionuclides as important and make
3 certain statements that other radionuclides are
4 not as important. That's a very -- NIOSH made
5 some very specific technical statements, and
6 it's our job to audit those technical
7 statements and we've pointed out that they're
8 not quite accurate. Now it's your choice as to
9 what radionuclides you're going to list and how
10 you're going to use them in dose
11 reconstruction. But whatever you list, the
12 statements about them should be accurate.
13 That's one point.
14 And the second point is related to dose
15 reconstruction. In what -- it's not about
16 what's accurate to one percent, and that has
17 not been said anywhere in our review or in our
18 comments. The idea is a compensation program,
19 and whatever you do should be demonstrably
20 related to the regulation and shown to be
21 claimant favorable. If what you are saying,
22 Don, is correct, then you have got a problem
23 with dose reconstruction at the Savannah River
24 Site. If, on the other hand, you can
25 demonstrate that a set of mixed fission

1 products to represent certain periods of work
2 in the tank farm or the canyons is adequate to
3 envelope whatever other mixture might have
4 existed at any time, then you're okay. I mean
5 that's sort of the principle of the high five
6 approach and -- for instance. That's how it's
7 supposed to work. If you maximize the intakes,
8 then you're okay. Well, I think you have to do
9 the same in relation to best estimates and in
10 relation to the mixed fission product. You
11 cannot simply say that certain radionuclides
12 are important from general experience, trust
13 us, and that it's okay. It has to be
14 demonstrated that it's okay.

15 **DR. GLOVER:** I agree that -- the technical
16 discussion needs to be verified and perhaps--
17 there may be reasons why it is correct, but it
18 is not innately clear from the way it was
19 presented perhaps. And so I --

20 **DR. MAKHIJANI:** And some of the statements are
21 not correct. The statement that I quoted --

22 **DR. GLOVER:** It -- it --

23 **DR. MAKHIJANI:** -- was correct.

24 **DR. GLOVER:** -- depends on the level -- it may
25 be, I don't know, depending on what fission

1 products were there. It may not be --
2 relationship to one another that may not exist,
3 so I -- it depends on what they were thinking
4 when they said it, and so I think --

5 **DR. MAKHIJANI:** (Unintelligible) statement --

6 **DR. GLOVER:** -- I think we need to clear it.

7 **DR. MAKHIJANI:** -- in the NIOSH -- one of the
8 statements in the NIOSH response is not
9 correct, so you have to take the time to look
10 at it perhaps and -- and make a judgment about
11 what you think of our comment. I mean I don't
12 know how you want to proceed (unintelligible).
13 There may be -- there is or is not a to-do list
14 of the --

15 **DR. GLOVER:** I think we need to technically
16 respond to that comment then.

17 **MR. FITZGERALD:** Yeah, I would say it doesn't
18 sound like you've had a chance to digest all
19 this.

20 **DR. GLOVER:** That came Thursday, so we really -
21 - yeah.

22 **MR. FITZGERALD:** Right, right, I understand.

23 **MR. FIX:** This is Jack Fix. We have followed
24 up on this incident SRS incident database and
25 generally it's not available to us as

1 classified inf-- potentially classified
2 information. It has Privacy Act information
3 and it's not something that we --

4 **UNIDENTIFIED:** Well, we --

5 **MR. FIX:** -- (unintelligible) go through very
6 easily, and also if the radiological situation
7 is sufficient to dose -- the dose to the worker
8 is supposed to be included in their
9 radiological data -- their radiological dose
10 assignment.

11 (Whereupon, Dr. Makhijani, Mr. Alvarez and Mr.
12 Fix spoke simultaneously, rendering individual
13 comment unintelligible.)

14 **MR. GIBSON:** Could we talk one at a time?

15 **MR. ALVAREZ:** (Unintelligible) obtain them
16 through the Freedom of Information Act without
17 those types of restrictions --

18 **UNIDENTIFIED:** Uh-huh.

19 **MR. ALVAREZ:** -- and in 1985, and so I don't
20 see why these data cannot be assembled and made
21 available to you in a manner that doesn't get
22 in the way of the various reasons why you think
23 you can't use that data.

24 **DR. GLOVER:** Well, I think we're going to
25 explore it. This is Sam Glover. I think we

1 will -- we will contact that company and see
2 what we can and can't do and come up with path
3 forward.

4 **MR. ALVAREZ:** Well, I'd contact the Energy
5 Department first since this is the -- really
6 was assembled under the Energy Department's
7 dime. You know, having worked with DOE and
8 worked in the Congress, I find this to be a
9 very strange circumstance where someone is
10 charging money for use of government data.

11 **DR. GLOVER:** Well, you're not sure that's
12 happening.

13 **MR. GRIFFON:** Okay.

14 **MR. ALVAREZ:** I would put the request directly
15 to the Energy Department about this and find
16 out what's happening, is my -- my two cents,
17 and not go through the contractor. This is a
18 Department of Energy set of data, not theirs.

19 **DR. MAKHIJANI:** And we may be talking about two
20 different things. I think -- let's not have a
21 confusion. There may be an incident database
22 that's completely distinct from the Fault-Tree
23 Data Bank that we're talking about for the 200
24 area, and Bob will send you the reference on
25 that --

1 **MR. GRIFFON:** Bob's forwarding that file so --

2 **DR. MAKHIJANI:** -- and then you have to at
3 least make sure you're looking for the right
4 thing.

5 **DR. GLOVER:** Exactly.

6 **MR. GIBSON:** Okay.

7 **MR. GRIFFON:** I think let's leave it.

8 **MR. FITZGERALD:** Yeah, we can leave it I think.
9 It does appear to be maybe two pieces to this.
10 There seems to be an incident database and a
11 data bank. I'm not clear on -- you can clarify
12 that. It sounds like there may be two pieces
13 to this, one of which is probably classified in
14 part.

15 Let me -- let me --

16 **MR. ALVAREZ:** Once you have time to take a look
17 at our comments you'll see the specific
18 references plus tables inside there that you
19 may want to pull the string on, and I'm happy
20 to send you the document upon which we based
21 our comments.

22 **MR. GRIFFON:** That would be good. Yeah, that
23 would be useful.

24 **MR. FITZGERALD:** Mike, you want to just cover
25 this -- the rest of this internal discussion?

1 I don't know how you want to break this up, but
2 this is kind of a lengthy issue. We can cover
3 the internal and see where we stand at that
4 point. You want to do that?

5 **MR. GIBSON:** Sure.

6 **MR. FITZGERALD:** Arjun, just to keep this
7 going, can you go through the (unintelligible)
8 --

9 **DR. MAKHIJANI:** Yeah --

10 **MR. FITZGERALD:** -- of Attachment A?

11 **DR. MAKHIJANI:** -- let -- let me go through the
12 four scenarios. NIOSH had -- had four
13 scenarios in regard to dose reconstruction.
14 One -- one was when -- let me look at it here.
15 Just a sec, let me get to the right page,
16 excuse me.

17 For scenario one where you have the bioassay
18 and external data and incident data, you say DR
19 will evaluate intake and assign highest intake
20 based on a (unintelligible) intake of data
21 support all chronic intake. And -- and the
22 point there is that this is fine, we have no
23 problem with the approach, but just question
24 whether you can implement that approach if you
25 don't have reasonable confidence that you have

1 a complete incident (unintelligible), so this
2 refers back to our earlier discussion. And I
3 quote there -- you know, I -- when -- when we
4 looked at the data they -- and then when -- is
5 -- the thing that Bob said about what's in this
6 data bank, when we looked at the data bank it
7 was quite clear that many incidents were
8 included in it, but many incidents were not
9 recorded at all, and the data bank actually
10 makes an explicit statement that until 1965
11 leaks in the waste tank system are not recorded
12 until -- unless individual occurrences are of
13 particular interest, so this raises a question
14 as to how complete the earlier incident lists
15 were, at least in the tank farm. And so I -- I
16 think you do have to verify that the dose
17 reconstruction approach in scenario one can be
18 implemented with confidence for tank farm
19 workers, which -- which at present I don't
20 think it can.

21 **DR. GLOVER:** So will --

22 **DR. MAKHIJANI:** Scenario number two --

23 **DR. GLOVER:** Can we talk about them one at a
24 time maybe?

25 **DR. MAKHIJANI:** Sure, go -- go ahead.

1 **DR. GLOVER:** I -- you know, since we're talking
2 about somebody who has bioassay --

3 **DR. MAKHIJANI:** Yeah.

4 **DR. GLOVER:** -- typically the approach by NIOSH
5 is a constant chronic intake. If we have a
6 positive dose, we're going to model that and
7 we're going to fit that to that. If we have a
8 missed dose calculation, that's going to be
9 modeled as a constant chronic -- unless there's
10 some overriding reason to believe it's an acute
11 intake. And it tends, when you look at the
12 analysis -- I mean those things pretty much --
13 it's going to be claimant favorable to use
14 that, and that's been looked at -- I believe,
15 John Mauro, you were probably part of that.
16 I'm sure Jim Neton sitting here looked at the
17 constant chronic versus acute approaches, and
18 those are pretty well hashed out techniques
19 that have been verified against. And so I
20 think when you refute that, we need to --
21 what's specific about this that makes that
22 unique to somebody who would be a chemist at
23 Los Alamos who could potentially receive an
24 acute intake, or why that's different here than
25 anywhere else we do business?

1 **DR. MAKHIJANI:** Well, I think as a general
2 matter, I was there with John when we went
3 through it with Mallinckrodt and had the
4 demonstration in regard to acute versus
5 chronic, and -- and we've accepted that as a
6 general approach it's all right. But you do
7 have to have some verification for the specific
8 kind of situation in which you're involved.
9 Savannah River tank farm, because of the nature
10 of the radionu-- this is -- this goes back to
11 the earlier comment, because of the complexity
12 of the radionuclides involved and the
13 assumptions that you have to make in that
14 regard, if -- if you make the wrong assumptions
15 about what's going in-- into the body and
16 haven't demonstrated that, and if you have an
17 acute intake of a particular kind and aren't
18 even modeling it, then how do you know that the
19 chronic intake is going to cover it? I think -
20 - I think that when you have a complex
21 situation like Savannah River Site as opposed
22 to a uranium processing site, the -- the
23 modeling problem to show that chronic is
24 conservative actually depends on the
25 availability of acute intake data to carry out

1 a few examples to show that.

2 **DR. GLOVER:** Okay, I think that's --

3 **DR. MAKHIJANI:** (Unintelligible) my opinion.

4 **DR. GLOVER:** All right. There may be a
5 difference between appropriate bioassay versus
6 the mechanism that we're talking about. If
7 you're saying that we may not actually have the
8 appropriate bioassay on these folks, whether it
9 be fission product analysis or -- you know, if
10 the data in itself is limited, I -- I -- based
11 on the approach and the analysis -- I mean if --
12 -- this is much farther or broader than -- than
13 say Savannah River Site, if we're talking about
14 that you still don't believe that a -- the
15 constant chronic intake, and so that we had not
16 tried to address that here. That was sort of a
17 default that we've already explained that and
18 we felt that everybody was in agreement. If
19 that's not the case, then I think that's
20 broader than what we're talking about here.

21 **DR. MAKHIJANI:** No, I think a specific
22 demonstration for the situation of the tank
23 farms, which is quite complicated, is -- is
24 necessary because it doesn't -- it isn't
25 covered by -- in my opinion it isn't covered by

1 the general demonstration of a relatively
2 straightforward situation. You've had uranium
3 intakes that are acute and chronic. You know
4 the radionuclide. And what you're simply
5 modeling is whether acute or chronic are more
6 claimant favorable. We've been through that
7 and we have accepted that as a general approach
8 in that situation, it's fine. But if you don't
9 know the radionuclides and you don't know the
10 time of intakes, and you don't have confidence
11 that you don't have a complete incident list,
12 you do have a more complicated problem at
13 Savannah River Site.

14 **DR. GLOVER:** Well, obviously we haven't
15 provided an answer that's sufficient enough, so
16 --

17 **DR. NETON:** It sounds to me -- this is Jim --
18 that -- that what we're really talking about
19 here is getting back to the argument we just --
20 or discussion we just had about the source
21 term. I mean essentially you're saying if we
22 don't know the source term, you know, any model
23 we come up with with bioassay is not
24 necessarily accurate, and I guess I can't
25 disagree with that statement.

1 **DR. MAKHIJANI:** Jim, could you speak up,
2 please?

3 **DR. NETON:** I'm sorry. You know, it sounds
4 like we're talking about a source term issue
5 here, not the -- not the appropriateness of
6 using chronic versus acute intake models. And
7 I can't disagree with you that if we don't --
8 have not identified the source term, it's --
9 it's going to be difficult for us to conclude
10 that we've bracketed the dose.

11 **DR. MAKHIJANI:** Okay. Fair enough.

12 **DR. NETON:** So I think that we're back to the
13 square one, really, here.

14 **DR. MAKHIJANI:** Yeah. Okay.

15 **DR. GLOVER:** Well, one thing that would be --
16 that would -- when we do missed dose
17 calculations or other calculations, we often
18 don't use site characterizations that are going
19 on. What -- you know, some of these nuclides
20 you have to have a phenomenal activity that
21 we'd be covered in, so...

22 **DR. NETON:** Right, but -- but I guess that's
23 what Arjun is saying is we need to make that
24 point somewhere.

25 **DR. GLOVER:** All right.

1 I think you've got two covered under one, but
2 with three and four, a badge but no bioassay, I
3 think -- I think your assumptions regarding
4 assignment of internal intakes -- well, you
5 know, we don't think that you've provided a
6 scientific rationale for -- for using the MDA
7 for unmonitored workers because you assume that
8 unmonitored workers were not at risk of
9 exposure. And for instance, you've said
10 construction workers might not have been
11 monitored. Well, you have to show that
12 construction workers were not at risk. If --
13 if you look -- if you -- if you look at the
14 situation in regard to the open pan burning
15 that Bob mentioned, or cleanup of spills in the
16 tank farm, some of those spills had quite high
17 -- quite a lot of radioactivity associated with
18 them. And you know, the digging and moving of
19 the dirt that must have gone on in -- in that
20 regard may -- may have involved intakes greater
21 than MDA. Clearly the tank farm in-- had
22 monitored workers that had very significant
23 intakes. And then extrapolating from that the
24 -- this .1 times MDA and .01 times MDA seems --
25 seems quite arbitrary to us. It's not -- the -

1 - the dose seems -- at least I could not
2 discern any (unintelligible) for these
3 assumptions. They may be -- they may be
4 justified but, again, it's the same point, you
5 have to show that.

6 **DR. GLOVER:** Don, I think these -- Don Bihl,
7 these were -- that -- that was generated as
8 part of the update to the TBD. Right?

9 **MR. BIHL:** This is Don. Yes, and the -- you
10 know, the factors of ten given in the different
11 years are really based on the fact that the --
12 that the regulations that applied to the sites
13 tightened up at various times. Manual chapter
14 524 mandated that workers were put on a
15 bioassay program if they were felt to be at
16 risk at ten percent of the quarterly limit.
17 That was clearly tighter than it had been
18 previously and so we -- we took that into
19 account, that said unmonitored workers were at
20 more risk during this time because there were
21 more people being monitored at a lower level.
22 And then again in 1989 DOE Order 5480.11 came
23 in that said the -- the requirement for being
24 monitored at two percent of the annual limit,
25 and so there again that, plus the regulations

1 tightened things up in a lot of areas that had
2 to do with workplace monitoring and recognition
3 when intakes occurred, and making sure that
4 prompt bioassay was obtained after incidents,
5 and so as time progressed the basis that --
6 that you're saying that an unmonitored worker
7 was getting intakes, you know, the -- the bar
8 was lowered. And so we're just taking that
9 into account here.

10 **DR. GLOVER:** What --

11 **DR. MAKHIJANI:** Yeah, I -- I think that would
12 be a good technical foundation, but you have to
13 -- the -- the one piece that's missing -- I
14 mean it should be two percent instead of one
15 percent here, but the -- the one piece that's
16 missing usually in these discussions, and I
17 don't see it here also, is -- you know, there
18 has been generally a statement that workers who
19 were not monitored were not at risk, whatever
20 the definition of risk happened to be prevalent
21 at the time. But when we've kind of turned
22 over the stone, we've at least not always
23 agreed that that was the case. And so I think
24 in a dose reconstruction context there's got to
25 be some kind of discussion of the protocol of

1 how it was established as to which workers were
2 excluded, and when the workers that were
3 excluded actually had -- were say monitored
4 from time to time to ensure that they had
5 potential for less than the stated values. If
6 they were not, then you have no way -- then
7 you've just got the subjective judgment about
8 exclu-- about excluding workers and you can't
9 make a statistical statement about the excluded
10 group.

11 **DR. GLOVER:** What is the status of a coworker
12 study at Savannah River on internal dosimetry?

13 **MR. BIHL:** There is none planned, and the
14 reason is because the bioassay database is not
15 electronic. It's kept on cards and the amount
16 of money and time that would be spent in trying
17 to take all of the data from Savannah River and
18 create an electronic database was judged not to
19 be -- was not going to be pursued. That --
20 that decision was made at some -- some level --
21 I don't know what level, but we were told in
22 the coworker group that Savannah River was not
23 going to be done.

24 **DR. GLOVER:** We'll have to come up with a --
25 perhaps a way to test the hypothesis that we've

1 generated. We're going to be looking at the
2 incident database and testing it against what -
3 - this is the hypothetical intake that would be
4 generated from .01 -- or .02 times the MDA, and
5 that relates to -- but we will have to -- okay,
6 I -- I agree, Arjun, there's some additional
7 discussion needs to be there.

8 **DR. MAKHIJANI:** In regard to scenario three
9 you've got a specific issue as to showing that
10 the issue -- that the unmonitored -- there was
11 a technically demonstrable reason other than
12 the subjective judgment of the supervisor or
13 foreman that certain -- or health physics
14 person that certain people were not at risk. I
15 think -- I think there has to be some kind of
16 periodic monitoring, job description, something
17 that shows that they were not at risk and so
18 were not monitored -- (unintelligible)
19 subjective judgment, so I think that piece is -
20 - is -- that's what it's -- I guess we meant by
21 technical foundation must be provided for
22 discussion of fractions of MDA proposed for
23 later periods.
24 And -- and so the first three have something in
25 common in that we don't say they are not

1 correct, but that NIOSH has to provide
2 technical justification and demonstrate. The
3 fourth one we believe is not correct.
4 To attribute environmental dose from -- in the
5 way that has been proposed in the TBD we've
6 generally not agreed with and would not agree
7 with in relation to the tank farm workers.
8 It's completely inappropriate to do that, for
9 the reasons stated.

10 **DR. GLOVER:** All right. I think at this time
11 we're probably just going to have to table this
12 to provide you better -- this -- this is
13 probably the most difficult of the ones we've
14 talked about so far, and the one that needs the
15 most work.

16 **DR. MAKHIJANI:** Right, I agree with that.

17 **DR. GLOVER:** So --

18 **MR. GRIFFON:** Can I just -- just to go back to
19 number three for a second, the only thing I
20 would add to that -- I'm looking at this real
21 time, too, so it may have already been
22 considered, but the post-1989 -- I think the
23 other thing that might weigh into these factors
24 that you've created is technology shortfall
25 issues for certain radionuclides. Is -- is

1 that -- might need to be considered in there
2 when you --

3 **DR. GLOVER:** That would actually jack up the
4 intake, though, if you had a technology
5 shortfall if you use the MDA versus the --

6 **MR. GRIFFON:** Right, right, right.

7 **DR. GLOVER:** It actually would make it worse,
8 would ja-- increase the --

9 **MR. GRIFFON:** It would make it worse, right, so
10 that might be part of your rationale for the
11 .01 ti-- I -- I don't know.

12 **DR. GLOVER:** Again, we need more work.

13 **MR. GRIFFON:** Yeah, I got to look at that,
14 but...

15 **MR. FITZGERALD:** Any more on internal, Bob or
16 Arjun?

17 **DR. MAKHIJANI:** No, I -- I'm done.

18 **MR. FITZGERALD:** Okay. The only piece left is
19 really a comment on external, and that actually
20 is not addressing the NIOSH response at all but
21 saying that a piece of the original SC&A
22 finding on the site profile wasn't addressed,
23 which is this question of dose geometry.

24 **DR. MAKHIJANI:** Yeah, I noticed when Joe asked
25 me to draft some of the things in relation to

1 the tank farm, I went back to our review and,
2 you know, the matrices are very compressed, and
3 I noticed that one -- one thing didn't show up
4 in the matrix and it -- it is quite important
5 for certain work, I believe, especially for
6 like cleanup work and maintenance work where
7 people are changing their jumpers and working
8 on the pipes and so on in the tank farm. You
9 have a situation that's not very different than
10 the one that NIOSH did those Atilla model dose
11 calculations for Mallinckrodt where it was
12 shown that, you know, the brain dose was less
13 than the film badge dose and the gonadal dose
14 was higher than the film badge doses
15 (unintelligible). I think some more geometries
16 for the tank farm need -- need to be worked on
17 especially. Many of these incident dose rates
18 were in the rad -- several rad per hour, tens
19 of rads, and I've seen 100 rad or more per
20 hour, also.

21 **MR. ALVAREZ:** I think -- I think 250 and, on
22 one occasion, 500.

23 **DR. MAKHIJANI:** Yeah, very high.

24 **MR. FIX:** Do we have some nuclides to go along
25 with that as well?

1 **DR. MAKHIJANI:** No, these are simply the gamma
2 measurements I believe that were in the data
3 bank, to the best of my recollection.

4 **MR. FIX:** I was thinking that for the modeling
5 and I assume Mallinckrodt was modeling
6 (unintelligible).

7 **DR. MAKHIJANI:** Yeah, but they were modeling --
8 at that point they just did the external dose.
9 Jim -- Jim knows more about it than I do.

10 **MR. FIX:** Yeah, well, I'm just trying to think
11 of the issues. Certainly we would like to do
12 more Atilla modeling and -- but as you know, it
13 takes time and we need to be specific.

14 **DR. MAKHIJANI:** Well, I think you need to come
15 up with the maintenance job descriptions of the
16 cleanup workers. My -- when I wrote that I was
17 thinking of -- I don't have a comprehensive
18 view of all the different kinds of work that
19 were done, but the two different job types that
20 I was thinking of were workers who maintained
21 the underground pipe network and the valves and
22 the junction boxes and so on. Their work would
23 generally have been closer to the lower part of
24 the body than -- than the -- than the badge,
25 and so the geometry issue would be pretty

1 significant. And the second group of workers
2 that I had in mind was workers who cleaned up
3 spills, and some of those spills were
4 associated with really quite high levels of
5 radioactivity, so -- so a factor of even 20 or
6 30 or 40 percent could make a pretty big
7 difference.

8 **MR. FIX:** Well, typically the --

9 **MR. GIBSON:** Excuse me, this is Mike Gibson --

10 **MR. FIX:** -- (unintelligible) are --

11 **MR. GIBSON:** Excuse me --

12 **MR. FIX:** -- really a problem is you've got
13 beta -- primarily beta-emitting nuclides and --
14 so that's what we look for is scenarios where
15 we have predominantly beta -- beta-emitting
16 nuclides where geometry means everything.

17 **DR. MAKHIJANI:** Well, I think they're also
18 important in the case of gamma-emitting
19 radionuclides in -- in the examples I've given,
20 and in the calculation that was done for
21 Mallinckrodt I believe it was gamma. Right,
22 Jim?

23 **DR. NETON:** Yeah --

24 **DR. MAKHIJANI:** I can bring it up.

25 **MR. GIBSON:** Excuse me just a minute. This is

1 Mike Gibson. Again, please, for the record and
2 for the recorder so he can make these
3 transcripts accurate, if you will identify
4 yourself and Jack, if you could please -- if
5 you're on a speaker phone, maybe go to a
6 headset or speak up a little bit, please.

7 **MR. FIX:** Okay.

8 **DR. NETON:** This is Jim Neton. That's correct,
9 Arjun, the photon exposures at Mallinckrodt
10 were modeled using Atilla, and we had a couple
11 scenarios, and I think one of them was the
12 cleanup of a spill, that demonstrated that the
13 HP-10 dose measured at the chest height was
14 lower than what was actually received by some
15 of the, you know, lower organs in the body.
16 And actually we issued a TIB on that very
17 subject. If there are these geometrical
18 anomalies or exposure scenarios that you refer
19 to, we probably need to look at that. But I'm
20 reluctant to go out on a -- you know, on a
21 witch hunt looking for, you know, all these
22 little isolated pockets. But if there are
23 unique scenarios that can be identified, we
24 certainly would want to address them.

25 **MR. ALVAREZ:** Well, some of these unique

1 scenarios may be captured in the data bank that
2 we referred to. I mean I recall looking at the
3 data for the -- I -- I'm not sure which canyon
4 it was, it might have been the F or H canyon,
5 but -- and it was sometime in the mid or early
6 '60s where they had to call upon several
7 hundred men to go in and fix a item of
8 equipment in the warm canyon, and these men
9 were not given film badges. They were
10 basically -- you know, it was a stop watch and
11 a whistle and -- and maybe a pencil, if that,
12 and they had to run in as fast as they could
13 and start a bolt, and it took nearly 200 men to
14 do this and then, if I recall in the report,
15 after they had started the bolt, the -- the
16 201st or so -- so person turned it the wrong
17 way and they had to start all over again. So
18 there are some very unique situations involving
19 encountering -- encounters with very high dose
20 rates where it's clear to me that these were
21 not process workers but construction workers,
22 which may be very useful for you as you proceed
23 to address the construction worker exposure
24 scenarios.

25 **MR. FITZGERALD:** Okay.

1 **MR. GIBSON:** Okay.

2 **MR. ALVAREZ:** Okay, that's it.

3 **MR. FITZGERALD:** No, how do you want to
4 proceed? You know, that's probably the -- the
5 biggest issue. We have some smaller ones
6 ahead, but it's also 20 after 12:00. We can
7 keep going if you want.

8 **MR. GIBSON:** Why don't we maybe keep going and
9 try to break for lunch about 1:00, if that's --

10 **MR. FITZGERALD:** About 1:00?

11 **MR. GIBSON:** -- will be acceptable to everyone?

12 **MR. ALVAREZ:** Sure.

13 **COMMENT FIVE: EARLY MONITORING**

14 **MR. GIBSON:** Okay, we'll go on to number five.

15 **MR. ALVAREZ:** Should we -- should we dial in or
16 (unintelligible)?

17 **MR. GIBSON:** We're going to --

18 **DR. MAKHIJANI:** I'm going to sign off if the
19 tank farm issue is finished.

20 **MR. FITZGERALD:** Thank you, Arjun.

21 **DR. MAKHIJANI:** Thank you, Joe.

22 **MR. GIBSON:** We're going to continue till about
23 1:00 o'clock and then we'll break for lunch
24 somewhere around 1:00. Okay?

25 **MR. FITZGERALD:** That's fine.

1 workers, according to the -- the progress
2 report, were monitored for tritium. And that's
3 just an example of one of the gaps that we see
4 in -- in this early period while they're still
5 trying to get more centralized and focused.
6 And what we would like to see is we want NIOSH
7 to look into those early years and make sure
8 that you've got a comprehensive monitoring
9 program, and I'll think you'll find the answer
10 is no.

11 **DR. GLOVER:** I think part of this -- Don, part
12 of these responses are what's coming in the
13 updated TBD. Correct?

14 **MR. BIHL:** Certainly one of the fundamental
15 premises of the -- this is Don Bihl. One of
16 the fundamental premises of the dose
17 reconstruction project is that not everybody
18 that -- was monitored and that we have to
19 account for dose to people who were
20 unmonitored. And I have beefed up that
21 section, too, because I -- I agree that -- in
22 reviewing it that it was not as comprehensive
23 as it needed to be. It spoke almost entirely
24 to reactor workers and not some of the other
25 facilities. I have added language specific to

1 tank farms and specific to the separations
2 plants areas, assigning more radionuclides to
3 people that are unmonitored -- iodine-131, for
4 instance, and more fission products and things.
5 So I -- I have tried to address in -- in the
6 new draft -- I'm giving unmonitored workers a
7 lot more intakes than had previously been there
8 and -- more intakes and more radionuclides,
9 because I agree, I -- thorium -- you know, you
10 guys brought up the thorium issue and I agree
11 that has to be addressed, and the uranium-233
12 issue had to be addressed. So we definitely
13 beefed that up and tried to account more for
14 the fact that if you've got an unmonitored
15 worker, you've got to assign them some doses,
16 and they could have been exposed to more things
17 than was originally shown there in the -- the -
18 - Rev 3 or whatever version you're working on
19 right now.

20 **DR. GLOVER:** So --

21 **MS. ROBERTSON-DEMERS:** I guess I didn't want to
22 just pick on internal. I would apply that same
23 request to the external data.

24 **DR. GLOVER:** As far as the badging, that they
25 weren't badged?

1 **MS. ROBERTSON-DEMERS:** As far -- as far as
2 making sure -- looking at all your data,
3 especially if you go in and you're looking at a
4 -- a coworker model for the external data.

5 **MR. ALVAREZ:** This is Bob Alvarez. One of the
6 anomalies that we -- we found and we couldn't
7 find -- we couldn't figure out a good
8 explanation for it was that in the works
9 technical monthly reports that we were provided
10 by NIOSH spanning the early period of
11 operation, namely the 1950s and early '60s, you
12 know, each report had a standard format and
13 they had a health physics department write-up
14 every month. And in that write-up every month,
15 for a period of years, they claimed no
16 bioassays were taken for tritium for reactor
17 area workers, whereas hundreds were taken for -
18 - for workers in the 232-H area. And you know,
19 we found incidents where, you know, tritium
20 levels in the reactor areas were quite high and
21 required, you know, some extraordinary
22 activities as a result of fuel element failures
23 and the like. And I was curious whether or not
24 that was the case, because I just couldn't -- I
25 just found that to be kind of hard to believe,

1 that they -- that they duly noted in these HP
2 reports every month that they took no bioassays
3 for tritium for reactor workers.

4 **MR. BIHL:** This is Don Bihl --

5 **MR. ALVAREZ:** (Unintelligible) is that --

6 **MR. BIHL:** -- I would agree, that is -- that is
7 interesting and I don't have an answer for
8 that.

9 **MR. ALVAREZ:** And I -- I couldn't figure out
10 for the life of me why that was so, but it's --
11 I think we did mention it in our first review
12 comments that this was some -- sort of
13 inexplicable.

14 **MR. BIHL:** I don't -- Tom, do you have any
15 thoughts on that at all?

16 **MR. LABONE:** No, that -- that doesn't --
17 doesn't make any sense to me. I -- I guess I
18 would have to see the report to try to --

19 **MR. ALVAREZ:** Well, it -- they're referenced in
20 our comments. You know, they're essentially
21 works technical monthly reports and -- and they
22 were made available to us and, you know,
23 there's a health physics section in each report
24 and they basically list up front, in the front
25 of each section, the number of bioassays taken

1 in the -- in the -- in an area, including for
2 tritium. And every month no -- a zero was
3 recorded for bioassays taken for 100 area
4 workers, whereas there were hundreds taken on a
5 monthly basis for the 200 area workers.

6 **DR. GLOVER:** Okay, so we need to find out if
7 that's a spe-- an unusual class of workers.

8 **MR. ALVAREZ:** Yeah, I don't know if it's an
9 anomaly or -- I -- I mean I -- it just didn't
10 seem right to be seeing that, but we did note
11 it.

12 **MR. GRIFFON:** And then the other -- I mean it
13 sounds like some revisions have been made, but
14 -- but SC&A hasn't seen them, so how -- how do
15 we -- I mean --

16 **DR. GLOVER:** That's going to come out -- when -
17 - we talked about that. Once they go through
18 internal review, we'll get them and then we'll
19 make sure that they satisfy the comments and we
20 can talk about making -- evaluating whether all
21 the -- the classes of workers that may seem to
22 be unusual or that -- that-- you know, these --
23 particularly these early time frames, and --
24 and then Jim knows what's going on with
25 construction workers TIBs and we need to make

1 sure this is all covered.

2 **MR. FITZGERALD:** Well, in this case -- this --
3 this is -- this is Joe. This is -- it sounds
4 like this is a -- actually the overall revision
5 of the -- the TBD, it sounds like.

6 **DR. GLOVER:** External and internal, that's
7 correct.

8 **MR. FITZGERALD:** External and internal --

9 **DR. GLOVER:** Yeah.

10 **MR. FITZGERALD:** -- so when that gets reissued,
11 these -- these new elements will be added.

12 **DR. GLOVER:** Internal has been -- is fairly
13 specific. We had some examples. You said
14 external as well. You talking about these
15 people who hadn't been badged in the early time
16 frames? I want to make sure that they -- that
17 -- did you guys --

18 **MS. ROBERTSON-DEMERS:** (Off microphone)
19 (Unintelligible)

20 **DR. GLOVER:** What's that?

21 **MS. ROBERTSON-DEMERS:** (Off microphone) Take a
22 look at these -- these --

23 **DR. GLOVER:** I'll look at the comments.

24 **MS. ROBERTSON-DEMERS:** -- if you have access to
25 some of the Savannah River claims, take a look

1 at the early external exposure data.

2 **MR. GRIFFON:** Your --

3 **DR. GLOVER:** All right.

4 **MR. GRIFFON:** -- your sense is that there's
5 gaps in the early data maybe?

6 **MS. ROBERTSON-DEMERS:** Even in those that were
7 monitored.

8 **DR. GLOVER:** I'll have --

9 **UNIDENTIFIED:** I can't hear, but I guess we
10 know that we assigned a missed dose throughout
11 the entire employment period if -- if there's
12 not the records, so...

13 **MR. GRIFFON:** I mean what -- what -- what --

14 **MS. ROBERTSON-DEMERS:** It's not necessarily...

15 **MR. GRIFFON:** What do we know about -- I mean
16 what do you know, I should say, what --

17 **DR. GLOVER:** We can ask Scott --

18 **MR. GRIFFON:** -- what do other people know
19 about the monitoring program in the early
20 years, but it wasn't 100 percent?

21 **DR. GLOVER:** I'll ask Scott Siebert -- I'll ask
22 Scott Siebert to get on the line, maybe after --
23 -- we can maybe get him on and he's doing --
24 they -- they've done -- they're the ones who
25 actually looked through all of the early

1 Savannah River Site information, so they'd have
2 the best evaluation and when you go through the
3 cases you'd have the best feel for that, so
4 maybe I can get him on the line after lunch, or
5 --

6 **MS. ROBERTSON-DEMERS:** You know, I'm not
7 talking about somebody who shouldn't have been
8 monitored. I'm talking about somebody who was
9 in the (unintelligible) radiological work.

10 **MR. FITZGERALD:** It sounds like the action here
11 is just to defer to these new revisions that
12 will be at some point coming, or maybe actually
13 some pieces to this that will be separate, such
14 as the construction TIB and some of the other
15 pieces.

16 **MS. ROBERTSON-DEMERS:** (Off microphone)
17 (Unintelligible) six has been (unintelligible).

18 **MR. BIHL:** This is Don Bihl. While we're
19 pausing for just a minute, I want to go back to
20 a question that came up in the first item.
21 There was a question about what the OTIB number
22 was for the draft TIB on recycled uranium.
23 I've looked that up, it's 53, five three, per
24 the notes.

25 **MR. GRIFFON:** Okay.

1 **COMMENT SIX:**

2 **MR. FITZGERALD:** Let me -- let me comment.

3 We're going to get into comment number six, but
4 there's three or four matrix items that deal
5 with high five in different facets, and the
6 first one is really dealing with the compliance
7 issue and whether it conforms with CFR 82,
8 which is one of the objectives of what SC&A
9 looks at, but I guess our response is the NIOSH
10 evaluation is responsive to that particular
11 issue and, Kathy, you want to --

12 **MS. ROBERTSON-DEMERS:** (Off microphone)

13 (Unintelligible) the switch to bioassay solved
14 a lot of these issues.

15 **MR. FITZGERALD:** Right, so six goes away as far
16 as a concern that we've had in the past on the
17 original review. That brings --

18 **DR. GLOVER:** Tom LaBone, what is the status of
19 the -- that revision?

20 **MR. LABONE:** I'm sorry, what revision?

21 **DR. GLOVER:** The high five, redoing it with the
22 new models.

23 **MR. LABONE:** I do not know how many of the --
24 the cases have been done. Gus Potter is
25 working on that.

1 **DR. MAURO:** This is John Mauro. I have a
2 concep-- I guess an over-arching question. The
3 high five approach -- I just want to be
4 refreshed a bit -- that was an upper-bounding
5 method for the purpose of denial, or is it also
6 used as a plausible upper bound for
7 compensation?

8 **DR. GLOVER:** It's an overestimate.

9 **DR. MAURO:** It's an overestimate, but is it
10 used in both capacities as --

11 **DR. GLOVER:** No, overestimate --

12 **DR. MAURO:** Okay, so -- so then if I -- then
13 it's very much like OTIB-2 -- bear with me for
14 a minute. I'm trying to create a pattern
15 whereby this is a method that you could -- that
16 someone could default to then, the high five.
17 Granted that there are some questions regarding
18 whether or not it's truly high five or not, but
19 the idea being it's a way to assign an upper
20 bound as -- to a worker whereby you feel
21 confident that, for that particular worker, by
22 all means that assumption is going to place an
23 upper bound on his internal dose and -- and it
24 still -- you still come up with less than a POC
25 of .5 and therefore he's appropriately denied.

1 Then tiering down from that is OTIB-17 and I
2 believe OTIB-33 where -- then you said well, if
3 you don't want to go that route but you want to
4 be a little bit more realistic, then you start
5 to key in on the -- the assumption that well,
6 if there was a comprehensive air sampling
7 program then you're in a position to make some
8 judgments as to what the -- for a person that
9 was not monitored now -- what the upper bound -
10 - not upper bound but reasonable upper bound
11 and in the -- for the intakes might have been,
12 and that's where you -- you fold in whether
13 you're at one NPC or .1 NPC, so I just want to
14 get a picture -- does the high five approach
15 fit into this whole hierarchy of decision-
16 making the same way that OTIB-2 does, and I
17 think OTIB-2 was used primarily for Hanford.
18 **DR. NETON:** John, this is Jim. That's correct.
19 I mean it's -- it was a document that was
20 written early on to essentially process cases
21 that we could demonstrate pretty readily that
22 they were not going to be compensable, no
23 matter how much research we did. And we have
24 never used it -- to my knowledge, at least
25 intentionally -- to -- to compensate for a

1 case. They've always been denials. It falls
2 into that realm of what I like to consider
3 health physics, which is -- you know, you do a
4 series of successive approximations, and if
5 your first approximation -- which is very rough
6 -- demonstrates your point, then you're done.

7 **DR. MAURO:** Uh-huh.

8 **DR. NETON:** But in certain cases, with the high
9 five approach, when you apply it would tend --
10 would put someone over 50 percent, then you've
11 got to sharpen the pencil a little bit and say
12 well, that -- that first approximation was way
13 -- was maybe an order of magnitude or two off.
14 Let me try something a little closer to
15 reality, and that's exactly --

16 **DR. MAURO:** Within that concept then, a lot of
17 the subjects that we've been talking about --
18 namely the tanks, unmonitored workers,
19 incidents, perhaps workers were not monitored
20 or appro-- you know, during an incident or
21 inadequately monitored -- so then you're in a
22 realm where you really can't -- what I'm
23 hearing is you could certainly use -- well,
24 you'd have to first make a demonstration that
25 for those scenarios where a worker might have

1 been exposed to one of these incidents or
2 exposures near the tank farms that were not
3 monitored, first of all you have to feel a
4 level of confidence that the high five approach
5 would in fact be bounding for them. And I
6 guess there's some question whether that's the
7 case or not.

8 **DR. NETON:** Yeah, well, I think the -- the high
9 five approach specifically talks about people -
10 - I think it's only applicable to workers who
11 were not monitored who, in our judgment, did
12 not need to be monitored. In fact I think it's
13 even more --

14 **DR. MAURO:** Oh -- oh, and -- and did not need
15 to be monitored.

16 **DR. NETON:** Yes, I think it's even --

17 **DR. MAURO:** Oh, okay. I thought --

18 **DR. NETON:** -- it's even slightly more res--

19 **DR. MAURO:** -- it was used as a default --

20 **DR. NETON:** No.

21 **DR. MAURO:** -- as a way to quickly deny.

22 **DR. NETON:** It is, but if -- if they did not --
23 if they, in our judgment, did not need to be
24 monitored, had no monitoring data, then we
25 believe that those intakes that were assigned

1 are bounding of their -- any plausible exposure
2 they could have received. That sort of goes to
3 the argument -- doesn't -- they don't
4 necessarily have to be the highest five in
5 recorded history. They just have to be
6 plausible upper bound exposures for that worker
7 to which it's applied.

8 **DR. MAURO:** Okay. So if we have a worker --
9 let's say -- it's almost like a little wrap-up
10 of what we've done. I'm trying to get
11 oriented. We have a worker that is -- of
12 concern that he might have received some
13 exposure but was not monitored, but he -- you
14 know, we don't know whether he was involved in
15 one of these incidents or not. Let's say we go
16 into this incident scenario. I think that's
17 what -- a lot of concern here. We're in a
18 situation where somehow we need to be able to -
19 - to make a judgment based on this worker's
20 records whether he may or may not have been
21 involved in an incident and whether or -- and
22 if there's no bioassay data, how do we deal
23 with that worker? Let's say he wasn't
24 monitored. Is -- is that a -- is that a
25 situation where we are -- we have to deal with,

1 namely possible incidents, possible exposures,
2 a worker was outdoors and wasn't monitored, but
3 given his work history it's possible he may
4 have been involved in one of these incidents
5 that are in this big database.

6 **DR. NETON:** I'd have to look -- refresh my
7 memory as to the exact wording of the -- you
8 know, how the high five approach is applied. I
9 -- my recollection is that it was -- it was
10 fairly restrictive in its use, and I think it
11 was -- even went beyond workers who didn't need
12 to be monitored, but was applied primarily to
13 administrative type personnel and others in
14 that category. Although I -- I -- I have to
15 say I can't say with certainty right now
16 exactly what that language is.

17 **MR. BIHL:** This is Don Bihl. If we get Scott
18 Siebert on the phone he'll be able to provide
19 that answer in -- in quite a bit of detail. I
20 think the rest of us are kind of -- if we tried
21 to answer that we would be just kind of out on
22 the margin of our knowledge and -- and why
23 don't we wait till Scott's on the phone.

24 **MR. FITZGERALD:** I guess the other comment is
25 it --

1 **MR. ALVAREZ:** This is Bob Alvarez. I want to -
2 - I have a question about the data that's being
3 used for bioassay. Does -- is there a
4 centralized set of data for workers in terms of
5 compilation of bioassay that is somehow being
6 used?

7 **DR. GLOVER:** There is no -- there is -- we're
8 getting hard copy records and we -- they get it
9 entered, we enter the -- the data.

10 **MR. ALVAREZ:** I see. I see.

11 **MR. FITZGERALD:** I just had a comment. The --
12 the high five is only unique to Savannah River.
13 I mean it -- it's been supplanted or found to
14 be a -- not a necessarily relevant tool
15 elsewhere. Is that --

16 **DR. NETON:** I think that's generally true,
17 yeah. We tried this at other sites, but
18 Savannah River had a -- what we thought was a
19 pretty good database that -- you know, and gave
20 us a good feel for what the highest exposures
21 may have been -- may have been in the past,
22 but...

23 **MR. ALVAREZ:** I'm sorry, can you speak up,
24 please?

25 **DR. NETON:** Yeah, we -- that's true. Joe asked

1 whether the high five approach is really on--
2 is unique to Savannah River, and the answer is
3 yes.

4 **MR. GRIFFON:** I -- I think the other question,
5 it seems to me -- now I'm just kind of gelling
6 this today -- is that you don't have all the
7 other bioassay data in electronic form so you
8 can't do your --

9 **DR. NETON:** Correct, right, so coworker --

10 **MR. GRIFFON:** -- distributions by nuclides, you
11 can't do your --

12 **DR. NETON:** Right, although -- although --

13 **MR. GRIFFON:** -- (unintelligible) percentile.

14 **DR. NETON:** It appears that way, but I can say
15 -- the historical reason it was a high five was
16 because we just didn't have coworker models at
17 all at the time and --

18 **MR. GRIFFON:** Right, right, 'cause this
19 (unintelligible) -- first (unintelligible).

20 **DR. NETON:** I mean -- and reality is now it's
21 even better because we didn't have --

22 **MR. GRIFFON:** Right, 'cause one of my questions
23 coming in today was why not do it like all the
24 other sites now that we're doing all the other
25 sites that way, you know. It seems like it's

1 the hard copy issue.

2 **MR. FITZGERALD:** Yeah, yeah.

3 **MR. ALVAREZ:** So -- this is Bob Alvarez again.
4 So the -- the reason I asked this question
5 about the database is that McClarty in 2001
6 made a statement that records indicate that 99
7 workers received (unintelligible) internal
8 doses of uranium over the history of the plant,
9 which were well documented in site incidence
10 reports. And in reviewing the works technical
11 monthly reports we found there were over 205
12 positive bioassays between 1950 and 1960 alone,
13 which raised questions about what data is being
14 used here.

15 **DR. GLOVER:** We actually -- later on we have
16 some information regarding that. Those are 100
17 -- 99 workers who had more than 100 -- 100
18 millirem committed effective dose equivalent,
19 not that there were 99 -- more than 99 positive
20 uranium bioassay measurements. But their
21 committed effective dose equivalent was --
22 exceeded some threshold, so --

23 **MR. ALVAREZ:** I see. Well, this was written in
24 a manner where that distinction was not made.
25 It simply said received internal doses.

1 **DR. GLOVER:** Yeah, I -- that's -- I think later
2 on or -- I have some additional information
3 regarding that.

4 **MR. ALVAREZ:** Thank you.

5 **MR. FITZGERALD:** If we -- if we can -- John,
6 are you still on the phone?

7 **DR. MAURO:** Yes, I am.

8 **COMMENT SEVEN: GAUSSIAN MODELS**

9 **MR. FITZGERALD:** We're up to the environmental
10 -- occupational environmental issue in terms of
11 Gaussian models, something that's right down
12 your alley.

13 **DR. MAURO:** Yes, that -- that would be, and I
14 saw your -- by the way, basically -- I had a
15 series of comments related to the way in which
16 the environmental doses were estimated, and my
17 concern had to do with the use of average
18 annual chi over Q values, atmospheric
19 dispersion factors at the site, mainly taking
20 the source terms, releases that occurred, and
21 then applying average annual atmospheric
22 dispersion factors. That's certainly an
23 appropriate approach when you are confronted
24 with product releases -- or even episodic
25 releases that occur randomly and often. And

1 then you could probably come up with a pretty
2 good estimate of the average annual exposures
3 to any receptor at any distance in any
4 direction from the releases. My -- but my
5 concern had more to do with the fact that --
6 and not that I -- that this has really
7 happened, but I was concerned that some of
8 these releases may very well have been
9 episodic, large, and occurred only a few times
10 during the course of any given year. And --
11 and as a result, the approach of modeling that
12 dose from that source could grossly
13 overestimate the dose -- for example, if a
14 person wasn't downwind at the time of that
15 release and the wind was blowing in a different
16 direction and there was no one downwind, well,
17 then no one's getting dose. However, on the
18 other hand, if during that release the person
19 was downwind and there was fairly stable
20 atmospheric conditions, the doses could be
21 substantially higher than what the average
22 annual chi over Q would predict.

23 Now -- and correctly so now, the -- recently I
24 received a response to that concern which said
25 that well, the monitoring data that I believe

1 was along the fence line or on-site for tritium
2 and iodine, which was -- they actually measured
3 the conti-- the concentration of airborne
4 radioactivity on site from the emissions, and
5 the determination was that the average annual
6 chi over Q Gaussing model did a real good job.
7 It's not overestimating -- you know, estimating
8 what the actual measured concentrations were.
9 That is very assuring and that confirms that
10 the chi over Q approach really works very well
11 when you're dealing with chronic releases. And
12 so I'm not going to dispute that at all. My
13 main concern is, though, are there scenarios
14 where there may have been incidents of
15 relatively large releases occurring only
16 occasionally where that -- we could -- there
17 could be some surprises to people on site and
18 the average annual approach will miss that.
19 And that was my -- that was my first concern.
20 And the other one I had had to do with
21 resuspension factors, but let's hold off on
22 that until they -- we -- I could hear some
23 response back on this concern I just raised
24 regarding episodic releases.

25 **DR. GLOVER:** John, will this be a release that

1 was intentional?

2 **DR. MAURO:** Yes, it would include releases that
3 are intentional and also of course inadvertent
4 releases, both.

5 **DR. GLOVER:** All right.

6 **DR. MAURO:** Anything that is episodic and not -
7 - and not frequent -- and random.

8 **DR. GLOVER:** All right. I guess -- I can't
9 speak to what SRS was doing at the time. We
10 could try to do some more -- you know, delve
11 back into how they -- if they did upscale
12 release, usually tried to minimize the dose to
13 personnel if you knew you were going to release
14 something, I would assume, so that would be
15 something we would -- we'd probably need a
16 little more description.

17 Gene, do you have any comments on episodic
18 releases and how they would have handled
19 intentional releases or these episodic
20 releases?

21 **MR. ROLLINS:** No, I really don't have anything
22 on that.

23 **MR. ALVAREZ:** Well -- this is Bob Alvarez --
24 the two things I would look for right away is
25 the burning of spent solvent in open pans which

1 went on on a constant basis throughout the '50s
2 and at least through the early '60s where you
3 might have had the on-site deposition of
4 transuranics from the smoke, and possible
5 exposures. And again, going back to the Fault-
6 Tree Data Bank, there were stack releases from
7 the 200 area on several occasions that required
8 them to wash down cars in the parking lots.
9 And to my knowledge, those issues -- while they
10 may not have resulted in significant off-site
11 doses that Atilla might have picked up -- it
12 might have resulted in a dose that's of concern
13 for dose reconstruction purposes for this
14 program.

15 **DR. MAURO:** Yeah, in fact while that -- I'd
16 like to add to your -- one of the things I
17 didn't mention is yes, the -- I believe you did
18 rely heavily on the -- the off-site dose
19 reconstruction dataset for emissions, and
20 that's certainly reasonable 'cause that -- what
21 the -- you know, because that was a very
22 exhaustive assessment. But if there were other
23 releases that may have been relatively small,
24 from the big -- from the -- lo-- local and
25 episodic, theoretically those doses could have

1 been missed. Because I guess the intent of the
2 rack work was really to evaluate doses pretty
3 far away. You know, beyond the site boundary
4 to where there were off-site populations, and
5 so I guess there's that part of it, too. That
6 is, is there a level of confidence that the
7 source term data used for deriving on-site
8 exposures that -- you know, they came from I
9 believe primarily the rack work -- is adequate
10 and sufficient to capture what the exposures
11 may have been on-site, and that of course
12 coupled up with the episodic question, whether
13 or not there might have been some unusual
14 meteorologic conditions -- and not even
15 unusual. You have stability class F at the
16 time of release. The people immediately
17 downwind from that release, especially if it's
18 ground level -- in fact only if it's ground
19 level, such as these open burning, the -- those
20 doses can be substantial. And if the workers
21 were not monitored, bioassay or external,
22 you're going to miss that.

23 **DR. GLOVER:** Ed Scalsky, do you have any
24 comments, or if -- do you have anything on that
25 area to sort of -- you know any -- any -- Gene

1 doesn't really have much. It may be an area we
2 just can't -- we haven't -- we're going to have
3 to add more work on, but -- is Ed still on the
4 line?

5 (No responses)

6 I may have lost Ed Scalsky, who's the document
7 owner.

8 **MR. ALVAREZ:** Well, I would suggest as a
9 starting point to take a look at the works
10 technical monthly reports. The health physics
11 sections discuss -- in not, you know, great
12 detail, but they do discuss open pan burning of
13 spent solvent that went on quite frequently
14 throughout -- you know, throughout the '50s and
15 early '60s.

16 **DR. GLOVER:** Now John, is open pan burning
17 something -- if it's a continuous activity --
18 something that has --

19 **MR. ALVAREZ:** No, it was episodic because they
20 weren't doing it 24/7 --

21 **DR. GLOVER:** No, no, hold on, I'm going to ask
22 John 'cause this is sort of -- in your -- in
23 your description that didn't seem to be really
24 what you're talking about. You're talking
25 about the --

1 **DR. MAURO:** That's correct, the --

2 **DR. GLOVER:** -- the Poisson kind of thing, the
3 very low prob--

4 **DR. MAURO:** Yeah, I would agree with what
5 you're saying. If you have an episodic release
6 that's occurring once or twice a week, week
7 after week, randomly, as opposed to at a given
8 time of day, in effect when you average it out
9 over the course of a year, it's going to behave
10 as if it was a continuous release, an average
11 annual chi over Q will work. Of course in the
12 case of burning, you know, is you used chi over
13 -- the average annual chi over Q approach, you
14 probably will overestimate dose because the
15 burning will have a plume -- a plume rise
16 component to it which will help to increase
17 dispersion. So I think that the -- if the
18 burning was often and random in time, average
19 annual chi over Q will probably work. In fact,
20 it may overestimate it. So yeah, I'd have to
21 agree with you folks there at ORAU that --

22 **MR. ALVAREZ:** For purposes of clarification,
23 the -- the burning did not occur every day. It
24 occurred every two or three months and it
25 tended to occur for a period of several hours,

1 then that was that.

2 **DR. MAURO:** Well, see, that would place it into
3 one of the areas I'm concerned with. When you
4 start to spread things out that rarely, you
5 know, once a month, once every two months, then
6 it becomes something that you just can't use
7 annual average chi over Q, it'll just -- you
8 know, you could really miss the dose by quite a
9 bit. The only thing you got going for you,
10 though, is since it is burning you're going to
11 get a little a bit increased dispersion because
12 of the plume rise from the -- the terminal
13 plume. But it cert-- you know, what it is,
14 it's probably something that's worth putting to
15 bed and looking into because if it was only
16 once a month or once or twice a month -- and
17 this is a judgment call. There's actually some
18 Nuclear Regulatory Commission guidance related
19 to this matter on -- for accident analysis when
20 you -- when you could -- when you should go
21 from puff advection modeling -- use that type of
22 modeling, as opposed to average annual chi over
23 Q, based on frequency that the event occurs.
24 There's a reg guide out there at the NRC that
25 was used many years during the licensing and

1 accident analysis at nuclear power plants.

2 **DR. GLOVER:** And that's exactly what I was
3 really -- the previous description sounded like
4 it was all the time, so --

5 **DR. MAURO:** I think we're in agreement.

6 **DR. GLOVER:** I agree. I agree we agree.

7 **MR. ALVAREZ:** Now may I ask a question? Would
8 this particular modeling discussion be fully
9 applicable for larger particles?

10 **DR. MAURO:** I can answer that, the answer's no.

11 **MR. ALVAREZ:** Because of (unintelligible) --

12 **DR. MAURO:** Gaussian modeling, and -- and even
13 in deposition of particles, the standard
14 deposition velocity approach to determining
15 what's on the ground, that only applies to very
16 small particles.

17 **MR. ALVAREZ:** So I think that with the burning,
18 we prob-- we might have been dealing with
19 particles certainly larger than 0.5 micron, and
20 for stack releases that result -- you know,
21 where the non-volatile beta-emitters and
22 possibly alpha-emitters were depositing on the
23 parking lot nearby and not necessarily going
24 off-site, then the trajectory of the plume may
25 not be applicable to this model.

1 **DR. MAURO:** Bob, I agree with you. If it's a
2 large particle, it's not -- again, Gaussian
3 modeling just doesn't work.

4 **MR. ALVAREZ:** Yeah, so I would also, you know,
5 make sure you check that one out.

6 **DR. MAURO:** Even -- even puff avection
7 modeling, when you take the time period into
8 consideration, doesn't work for these large
9 particles because what you really now is have
10 just like a trajectory and, you know, large
11 particles come out and settle out --

12 **MR. ALVAREZ:** Right.

13 **DR. MAURO:** -- on its own and it doesn't really
14 matter what the meteorology is very much. It's
15 going to have its own -- it's going to be
16 (unintelligible) ballistic, you know, a -- but
17 now I'm talking flakes. You know, large --
18 large flakes, if that's in fact what
19 (unintelligible) was dealing with, I don't
20 know.

21 **MR. ALVAREZ:** With (unintelligible) burning you
22 don't have a stack, either. It's very close to
23 the ground.

24 **DR. GLOVER:** Okay. I -- I heard the issues and
25 I think we have to follow up. I don't have

1 some of the people I'd -- on the line, so --
2 good points, and I think they need to be
3 specifically addressed.

4 **MR. FITZGERALD:** John, resuspension factor?

5 **DR. MAURO:** Yes, and this is a very -- very
6 simple comment. I notice that you're using
7 your resuspension factor of ten to the minus
8 nine per meter. That is the one recommended by
9 Anspaugh for material that's on the ground for
10 very long periods of time. Let's say several
11 years. So if you have some cumulation of
12 radioactivity on the ground and it's been
13 accumulating for many, many years, it sort of
14 like weathers its way into the ground, and
15 therefore the resuspension factor of ten to the
16 minus nine is probably a reasonably good
17 number. He has plenty of empirical data that -
18 - that shows that's the case. However, there's
19 a treat-- there's also -- there are other
20 analyses when -- when you have anything that
21 disturbs the ground, whether it's high winds,
22 anthropomorphic activities, people walking,
23 vehicles going by, and -- and also even the ten
24 to the minus nine itself has some uncertainty
25 in it, like a factor of ten. What I'm getting

1 at is, I was just concerned when I saw the ten
2 to the minus nine, the antennae went up because
3 when I used to do a lot of these dose
4 calculations I usually used ten to the minus
5 six as my resuspension factor, five times ten
6 to the minus six, sometimes ten to the minus
7 five, and I was just surprised to see that you
8 were using ten to the minus nine. Now I
9 noticed in your response that you said well,
10 the -- the empirical data for I guess the F and
11 H area was a grass-covered area where there was
12 very little potential for resuspension because
13 the -- the moisture content of the soil, the --
14 the -- the growth of the grass would keep the
15 radioactivity from re-- from resuspending. And
16 I would agree, yeah, under those circumstances
17 you would expect to see something close to ten
18 to the minus nine. So right now I guess I'm at
19 a place that says well, I'm used to seeing ten
20 to the minus six, but geez, if there's good
21 reason to believe ten to the minus nine's the
22 right number, I -- you know, I really can't
23 argue with you.

24 **DR. GLOVER:** We've -- we also looked at it
25 quite a bit or it's begin-- you know, talked

1 about it. It is a low -- it sounds like a very
2 low number. However, you know, having
3 colleagues down at Savannah River, it is snakes
4 and swamps and stuff -- such down there, too,
5 so it is a different kind of area compared to
6 let's say a Nevada Test Site where you have a
7 desert type of environment.

8 **DR. MAURO:** Yeah, you know, I understand. Any
9 effort made to see what kind of dust loading?
10 You see, one of the things that -- I -- I --
11 when it -- when it comes to the long term
12 deposition of material on the ground -- see, to
13 me, the resuspension factor approach is --

14 **MR. ROLLINS:** I think I can answer that
15 question. I did some calculations this morning
16 --

17 **DR. MAURO:** Good, good.

18 **DR. GLOVER:** This is Gene Rollins.

19 **MR. ROLLINS:** Gene Rollins talking. In fact, I
20 was the one that did this work that you're
21 discussing now. I went back and looked at some
22 environmental impact statements that actually
23 have dust-loading factors for Savannah River
24 Site. The one that they quoted as a 24-hour
25 maximum was 135 micrograms per cubic meter.

1 **DR. MAURO:** That's -- that's in the realm that
2 I would expect like normal outdoor environment
3 to be like, yeah.

4 **MR. ROLLINS:** All right. If I take this a
5 little bit further -- now I -- I don't have
6 soil profiles for the contaminated areas in F
7 and H area, all I had was the average
8 concentration in the soil in these areas for
9 the first eight centimeters. So application of
10 just using that soil concentration and the mass
11 loading factor that I just -- maximum 24-hour -
12 - which would be an upper bound, in my opinion
13 --

14 **DR. MAURO:** Uh-huh, okay.

15 **MR. ROLLINS:** -- would give you numbers
16 approximately 80 times higher than what we are
17 currently reporting in table C-18.

18 **DR. MAURO:** Okay.

19 **MR. ROLLINS:** Now I also did some sensitivity
20 study that shows what that really works out to
21 in dose. And this would be for 30 years of
22 intakes. The -- the most highly affected organ
23 would be the thoracic lymph nodes, and if we
24 increased the -- the numbers in table C-18 by a
25 factor of 80, or just make it a factor of 100,

1 we're still approaching a maximum, after 30
2 years of exposure, of about ten millirem per
3 year from plutonium-239 only.

4 **DR. MAURO:** Sounds like you put this one to
5 bed.

6 **MR. ROLLINS:** Well, I'm trying to.

7 **DR. MAURO:** Yeah, I agree. I tell you what I -
8 - I mean -- what -- what really is the clincher
9 to me of what you did -- the only thing I might
10 have done differently is there's a lot of
11 literature on the concentra-- when you have a
12 resus-- when you have the dust loading, the
13 dust is coming from the surface, you averaged
14 over eight centimeters. As a result -- one of
15 the things --

16 **MR. ROLLINS:** I agree, that could give us
17 another factor of ten in there.

18 **DR. MAURO:** Yeah, that's -- right, exactly,
19 you've got it. That's where I would be coming
20 from. There's -- there -- I would -- I would
21 assume an exponential decline with that -- lots
22 of data on that, by the way. NRC's published a
23 lot of information on a vertical --

24 **MR. ROLLINS:** Now (unintelligible) -- now keep
25 in mind now, that was a 24-hour maximum

1 resuspension --

2 **DR. MAURO:** But -- so you got -- yeah, you're
3 at the upper end there (unintelligible) --

4 **MR. ROLLINS:** And if you go to an annual
5 average geometric mean maximum, it's about four
6 times lower than that.

7 **DR. MAURO:** As far as I'm concerned, the story
8 you just told puts this issue to bed. You
9 know, it may be worthwhile putting it together.
10 In other words, I believe what you -- you know,
11 I'm hearing you -- the story and that's exactly
12 the way I would have come at the problem. And
13 if in the end we're talking about doses that
14 are in the ten millirem per year range, I think
15 we by and large have said that listen,
16 notwithstanding the issue -- I mean I think
17 that we have made some valid technical concerns
18 regarding the resuspension factor. I think you
19 have just made an argument that says
20 notwithstanding the fact that we may have used
21 a small resuspension factor, even if we go with
22 some other approach which would come up with a
23 substantially higher dust loading and dose,
24 we're still talking about doses that are in the
25 millirem -- you know, a few millirem per year

1 range. As far as our concern, this problem's -
2 - this issue has been resolved. I hate to
3 speak -- but I think we could -- that would
4 close her down. The story you just told, as
5 far as I'm concerned, would close out this
6 issue.

7 **MR. ROLLINS:** Hey, Sam, I'll write that up and
8 get it to you.

9 **DR. GLOVER:** Outstanding.

10 **MR. ROLLINS:** We can go to lunch now. Right?

11 **MR. GIBSON:** Is everyone ready for lunch?
12 Well, how long do you guys -- ready for lunch?
13 Okay. Is an hour good for lunch?

14 (No audible responses)

15 Okay, let's all try to reconvene at 2:00 p.m.
16 eastern time.

17 **DR. GLOVER:** Thanks, everybody.

18 **DR. WADE:** Thank you.

19 (Whereupon, a recess was taken from 1:00 p.m.
20 to 2:00 p.m.)

21 **DR. WADE:** This is the conference room with
22 working group assembling. We should be ready
23 in just a second.

24 **COMMENT EIGHT: METAL TRITIDES**

25 **MR. GIBSON:** Okay, we're ready to reconvene. I

1 think we're ready for comment number eight?

2 **MR. FITZGERALD:** Yeah, I think -- on -- on
3 metal tritides I might also add that after I
4 think number six on this list we're getting
5 into -- increasingly getting into the
6 observations or secondary issues, so again, a
7 lot of these are questions of basis and factual
8 accuracy.

9 **MR. ALVAREZ:** Excuse me, Joe, could you speak
10 up, please?

11 **MR. CLAWSON:** Hey, Dr. Wade, this is Brad
12 Clawson. We need to remind people to put their
13 phone on mute. I can -- I can pick up somebody
14 typing on their computer and stuff and I can't
15 -- it blots out everybody else.

16 **DR. WADE:** Okay. So take that as a -- a
17 request, please. If you are not speaking, put
18 your phone on mute.

19 **MR. FITZGERALD:** Okay. Again, talking about
20 matrix comment number eight if you have the
21 handout, and this is a finding we spent some
22 time talking about in the June conference call
23 involving special tritium compounds, you know,
24 metal tritides, organic trit-- tritium, and the
25 issue here is that we're frankly seeing this

1 same issue at a number of DOE sites. I think
2 this was the first site we had seen this issue.
3 And the question of low or almost minimal
4 solubility is the question we're dealing with
5 here, and the fact that for both security
6 reasons as well as detectibility reasons, the -
7 - the monitoring and the record-keeping for
8 special tritium compounds I think everyone
9 would agree is not -- not very good. And our
10 concern here is whether they've been
11 characterized and addressed from a dose
12 estimation standpoint adequately. And I think
13 we had a good discussion, and there's an
14 attachment B to the matrix which is sort of a
15 intended pathway I think NIOSH is considering
16 and -- but -- but one concern we have is,
17 beyond how you model this, we're frankly
18 concerned -- based on experience at Los Alamos,
19 Mound and other places -- whether in fact you
20 can establish where it was used, how it was
21 used, who was exposed to it, what facilities
22 may have contained it -- I mean there's a lot
23 of issues about even establishing precedents
24 that we think is an issue. Kathy.

25 **MS. ROBERTSON-DEMERS:** Don, are you on the

1 phone?

2 **MR. BIHL:** I am.

3 **MS. ROBERTSON-DEMERS:** After the last working
4 group Don gave me a call and he thought it was
5 a good idea for us to discuss our issues with
6 the NIOSH approach, and we kind of agreed to
7 submit some questions, first of all, which we
8 have included under matrix comment eight, some
9 of which cannot be answered in this room. But
10 what we've -- what we kind of feel is that on
11 the surface the method looks conservative, but
12 we don't know what tritides we're dealing with
13 or organically bound tritides, we don't know
14 how much, we don't know if it's formed
15 elsewhere on site besides the tritium
16 facilities. We don't understand why there were
17 no tritides prior to 1975, these type of
18 things. And this is -- we can't make a
19 judgment on whether the technique bounds the
20 tritide situation without knowing some of these
21 things.

22 And Don, I don't know if you have the
23 questions.

24 **MR. BIHL:** Yes, I do have the question. In
25 terms of which tritides were there, I don't

1 have the answer to that. From a dose
2 assessment point of view, from a dose
3 reconstruction point of view, it isn't
4 essential to know that. The language that we
5 have there is -- is to tell the dose
6 reconstructor to use either -- assume either
7 class or type M or type S, because the tritides
8 can come in either form, and they just assume
9 whichever one creates the largest dose to the
10 organ of concern. So it's -- it's claim-
11 specific as to which one they assume, and
12 that's how you handle that when you don't have
13 the specific knowledge. Basically you're
14 picking the one that will provide the largest
15 dose to the organ of concern.

16 As far as the organically-bound material, I do
17 have an article where they studied that and
18 they -- they said it was methane. I'm not sure
19 that makes a difference. I could add that to
20 the write-up if -- if you feel that's important
21 to say that it was methane. It won't make a
22 difference to the dose reconstruction, I don't
23 believe.

24 In terms of the date, there was a -- one of the
25 history documents said that they converted over

1 to the high-dried storage procedures in the --
2 or -- or -- not procedures, but the facility in
3 the mid-'70s, and that's -- that's the on--
4 that's as close as I could get they would have
5 a source of metal tritides so I -- you know, I
6 just said start in 1975. I guess we can, you
7 know, negotiate it if that doesn't feel right
8 to you, but that's all I know is mid-'70s.
9 As far as looking at the exposure to the other
10 places besides the tritium processing
11 facilities, the doses -- even to the people
12 most exposed, which would be in the tritium
13 processing facilities -- were so low that by
14 the time you dilute this material in anything
15 else -- D&D work, waste management, whatever --
16 you know, you're going to be well -- well below
17 a millirem. You know, I'm assuming every day
18 exposure for the people at the -- at the
19 tritium processing facilities, chronic, every
20 day exposure, and their doses still come in the
21 neighborhood of a few millirem, up to ten
22 millirem for -- for the lung, so clearly the
23 other -- anyone else at the site just wasn't
24 getting enough of this to -- to have a dose of
25 concern.

1 As far as the last question goes, historic
2 percentage, I -- I don't -- I don't have a lot
3 of history. I have basically one document,
4 this document that was done -- the study that
5 was done by Millham and Bodie -- or I guess
6 maybe it's Boddie. At any rate, where they
7 looked at the various compounds coming out --
8 the effluence from various facilities in the --
9 in the '70s and, you know, they -- they were
10 able to, you know, show that it was -- it's
11 mostly water, of course, and there is tritium
12 gas of course, and then there was some
13 organics. The organics were generally less
14 than one percent, even from the area where they
15 suspected it would be most prevalent, which was
16 the tritium processing facilities. There was
17 one time when -- by one time I mean one process
18 where the organics were considerably higher
19 than one percent. They were up to about 80
20 percent. And that was during the purging of
21 the -- the (unintelligible), these molecular
22 sieve beds that -- that held up the material
23 prior to release. And during the process of --
24 I don't fully understand the exact process, but
25 during the process of capturing this material

1 on the molecular sieves and then purging it
2 later, which I guess involves heat, they create
3 the methane. And for that period of time when
4 they're purging, then about 80 percent of the
5 effluent was -- was organic. But the total
6 curies that came off then they recorded as 290
7 curies of methane or organic coming off at that
8 period of time, and that compares to over 3,000
9 curies a week released from those facilities in
10 terms of water vapor and 1,300 curies per week
11 coming off as HT gas. Oh, yeah, and they
12 didn't purge every week, you know, it was -- it
13 was an occasional thing. But again, even
14 though it was high that one time, when you look
15 at it on any sort of longer time scale, the
16 amount of organics being created was pretty
17 small. So I -- you know, we did a calculation
18 that looked at this. Assuming that inside the
19 facility the organics might have been higher, I
20 arbitrarily said instead of one percent, I went
21 with ten percent, that the workers were exposed
22 to ten percent organics, and did calculations
23 and said if they were exposed to -- which --
24 which is what the DR's doing now, that says if
25 you assume 100 percent HTO, how much dose are

1 you going to be assigning, and then say okay,
2 instead of that, it's 90 percent HTO and ten
3 percent organics, OBT, how did that change the
4 dose relative to what the DRs are actually
5 calculating, the dose reconstructors are
6 actually calculating, and it turned out to be
7 an insignificant change. So on the basis of
8 that, that -- and that was also looked at by
9 Tom LaBone. He did a separate calculation and
10 came up with the same conclusion, that the OBT
11 just isn't a significant enough factor in terms
12 of calculating dose that it has to be addressed
13 specifically.

14 I believe that addresses the four questions
15 that you had there.

16 **MR. FITZGERALD:** All right. Well, the -- the
17 action that's really there is just simply to
18 continue what we started at the last conference
19 call, which was to make sure that there was
20 some interchange as far as the -- this -- this
21 kind of data, and we don't really have anything
22 more at this point then. We want to continue
23 that just to get -- 'cause this does affect
24 other sites and we have the same issues and
25 findings coming up at other sites.

1 **DR. GLOVER:** Do we have any -- there's not a
2 TIB on -- on tritides. This is just being
3 added to SRS. Right?

4 **MR. BIHL:** Yes, that's true, I -- well, I think
5 -- aren't they also included at -- was it
6 Mound? I guess I haven't read the Mound --

7 **DR. GLOVER:** I'm certain that they're at Mound
8 as well.

9 **MR. GIBSON:** Yes.

10 **MR. FITZGERALD:** Yeah, and also Los Alamos.
11 And so the intent is not to try to settle this
12 specifically for Savannah River as much as just
13 it's a generic issue and if we can sort of get
14 an understanding of how you're approaching it
15 and addressing it, that will help address this
16 issue across the board. One thing we're
17 finding in the site profiles, even though this
18 is characterized -- there isn't a lot of
19 details as far as the derivation of some of
20 these assumptions, and certainly that would
21 help.

22 **MR. BIHL:** I think one thing that is -- that is
23 clear and understood is that to monitor for the
24 tritides, the standard urinalysis method
25 doesn't work real well and most sites didn't --

1 didn't -- in fact I think still don't use fecal
2 sampling, which would be a preferred way to go,
3 and so you definitely do -- if you have that
4 source term in any significance, you do have to
5 write that up as something that was
6 unmonitored, and that's what I've tried to do
7 here.

8 **MR. FITZGERALD:** Right. We would agree with
9 that.

10 **MS. ROBERTSON-DEMERS:** Don, is there some
11 reason why you don't want to go and find out
12 what tritides Savannah River worked with?

13 **MR. BIHL:** Well, again, I -- I don't think it's
14 necessary for the dose reconstruction, and I
15 honestly don't know how -- whether we would be
16 bumping up against classification space. I
17 certainly think -- anything that's classified,
18 you've got to have a -- a right and a need to
19 know, and in this case you don't -- you don't
20 have any need to know because we just allow the
21 DRs to choose the worst case, and so it isn't
22 necessary to know.

23 **MR. FITZGERALD:** I guess -- again, not going
24 into that space -- it would be a distinction if
25 one were handling tritium routinely, of which

1 you would expect this to be a component or a
2 possible -- you know, an artifact, an issue --
3 as opposed to actually dealing -- or processing
4 tritides specifically. In other words, pure
5 tritides.

6 **MR. BIHL:** Well, my understanding -- and I
7 certainly don't want to pose myself as an
8 expert here, but my understanding is that
9 people don't really handle tritides. They
10 happen because they're used either as a target
11 for an accelerator, for instance -- you know,
12 they -- they can be generated in a -- in an
13 accelerator where you have a certain type of
14 target that creates metal tritides and -- and
15 then when you do target change-out there may be
16 some loose particulates that have been knocked
17 off the target that would be contamination.
18 But it isn't -- you know, it comes about
19 because of -- I mean the -- the reason for
20 tritides is because it's a very stable way of
21 holding hydrogen and you don't get a lot of
22 contamination out and about because of
23 particulate and you're not selling it or
24 cutting it or rubbing it or doing anything like
25 that. You're heating it, but -- so I think

1 you've got to look at it from the perspective
2 that there's going to be some contamination
3 around the object that is the metal hydride
4 itself, so when you're handling it, then you're
5 at risk of these particulates. But it isn't --
6 they aren't going to be just generally all over
7 the place.

8 **MR. FITZGERALD:** Well, again, I think we had
9 some operation concerns that have to be
10 resolved on that particular point relative to
11 Mound, for one, and Los Alamos as a secondary
12 thing -- less so Savannah River. So we'll
13 leave it at that because it does get a little
14 sticky from a security standpoint. So if we
15 can just leave that as a -- we'll carry this
16 conversation in a generic sense. I know
17 there's no OTIB or anything, but again, we'll
18 have the same action for Los Alamos, the same
19 action for Mound, and it would be very useful
20 just to put this one to rest. I think we're
21 getting closer. I think we just haven't had
22 this conversation. This is the first time I
23 think on tritides.

24 **MR. GRIFFON:** Don, can you -- can you just tell
25 me -- this is Mark Griffon -- you mentioned 100

1 percent HTO versus the 90/10 split and it
2 didn't make much of a difference in terms of
3 dose. What -- where did you get the 90/10 --
4 how did you come up with that sort of ratio?

5 **MR. BIHL:** Well, what the -- what the dose
6 reconstructors are doing now is assuming 100
7 percent HTO, so that was my baseline. That's
8 what they're doing, and the question was if we
9 factored in some OBT, would -- would it be
10 enough to change the dose to -- to require this
11 to be reckoned with. I mean it does slow down
12 the dose reconstructor a lot. OBT is a whole
13 different way of calculating tritium and -- and
14 is much slower than normal methods and tools
15 that are developed for -- for HTO. So the
16 question is, was it worth it. The 90/10 split
17 came because at the tritium processing
18 facilities they've done the measurements and
19 showed that OBT was about one percent at least
20 of the effluents. I said well, maybe there's
21 some operations inside the facility where it
22 was ten times higher than that. That was an
23 arbitrary thing. Frankly, I doubt if it's --
24 if there's that much difference between inside
25 the building or the effluent, but I arbitrarily

1 said let's make it ten percent. So that means
2 inside the building it was -- I'm assuming 90
3 percent HTO and ten percent OBT.

4 Now what in -- what in fact inside the building
5 really was was, you know, probably 50 percent
6 HT -- you know, 45 percent HTO and five percent
7 organic, you know, something like that, but I
8 don't have the data. I -- you know, I can
9 hypothesize that from logic, but I can't prove
10 it 'cause I have no data.

11 **DR. MAURO:** This is John Mauro, a quick
12 question. On the organically bound tritium,
13 the dose conversion factor for organically
14 bound is not that -- I guess that the clearance
15 rate is just a little slower, a factor of two
16 or three slower, and then as a result the dose
17 per becquerel inhaled is just a -- two or
18 three-fold higher, so I could see why, you
19 know, it's just not going to be important. Is
20 that also true for the tritides, the metal
21 tritides?

22 **MR. BIHL:** Boy, you know, off the top of my
23 head now, I -- I mean I've done the
24 calculations, but I -- I can't answer that
25 question off the top of my head. The tritides

1 -- you know, you can have a type S tritide, so
2 the dose to the lung would be -- I would -- you
3 know, it would have to be quite a bit higher.

4 **DR. MAURO:** So that -- so that rule of thumb
5 doesn't -- doesn't necessarily apply to the
6 tritides. In other words then -- well, even if
7 you assume ten percent -- if you assume ten
8 percent you -- you could have a significantly
9 higher dose, you know, from the tritides. In
10 other words, the -- the sieverts per becquerel
11 inhaled for tritiated water is substantially
12 lower -- let's say to the lung -- than it is
13 through metal tritides by orders of magnitude.

14 **MR. BIHL:** You know, that's something I can
15 look up, but I can't -- I can't pull it off the
16 top of my head.

17 **DR. MAURO:** I -- you know, I suspect we have
18 talked about this before. Am I bringing
19 something up that we already discussed?

20 **MR. BIHL:** No, not necessarily. I mean I
21 didn't look at it from the point of view of --
22 of the dose conversion factor, per se. I just
23 did the calculations based on the knowledge I
24 had here, and calculated the intakes that
25 should be applied to these workers as an

1 unmonitored intake -- and the numbers came out
2 fairly low, but -- but you know, not -- I mean
3 we're -- we're going to include them. They're
4 not that low. But as far as dose per unit
5 intake comparison between HTO and the metal
6 tritides, I don't have that off the top of my
7 head. I'd have to go look that up. Clearly,
8 though, for the lung, it's -- it's for a type S
9 metal tritide, it would have to be quite a bit
10 different than --

11 **DR. MAURO:** Yeah, because the --

12 **MR. BIHL:** -- the HTO.

13 **DR. MAURO:** -- the -- I guess the turnover
14 rate, the effective half-life of tritium in the
15 body is days, while the minimum of type S would
16 be years and -- you know, it's a ten-year --
17 what is it, ten-year half-life? So I would
18 imagine it would be quite a bit -- quite a bit
19 difference.

20 **MR. BIHL:** Well, yeah, seven -- 700 days to --
21 to longer if it's a type S particle.

22 **DR. MAURO:** Yeah. Yeah, that could be
23 important.

24 **MR. BIHL:** Yes, I would agree.

25 **MR. FITZGERALD:** And just to recap, it would be

1 up to the dose reconstructor on a case by case
2 to determine when to assign say a type S metal
3 tritide-based value?

4 **DR. GLOVER:** No.

5 **MR. BIHL:** Well, they run both. They would run
6 type M and they would run type S, and whichever
7 one comes up with the higher dose to the organ,
8 that's what they would apply. You know, if
9 it's the lung, it would be clearly type S. If
10 it's one of the systemic organs it might be
11 type M, but without running it -- you know,
12 actually making the calculation -- I don't want
13 to sit here and --

14 **MR. FITZGERALD:** Right.

15 **MR. BIHL:** -- try to guess.

16 **MR. FITZGERALD:** And going back to Ka-- one of
17 Kathy's original questions, if you don't really
18 have the record -- 'cause again, they couldn't
19 really monitor for it so it's all surmising
20 what people may have been exposed to -- is it
21 just based on the CATI interview? I mean I'm
22 just trying to get some sense of how you would
23 know to even assign a potential, you know, type
24 M or type S metal tritide dose. We're finding
25 from other sites that really it's kind of --

1 you can't even get a classification by categor-
2 - I'm sorry, by facility or operation. It's
3 really worker by worker and whether or not they
4 knew what they were handling. A lot of workers
5 did not know that they were handling tritides,
6 so it's a -- it's a, to me, a quagmire just to
7 even know when to -- when to give credit for
8 that potential exposure.

9 **MR. BIHL:** Well, in this case we know that the
10 -- the -- the storage system that was the metal
11 hydrides was in the tritium processing
12 facility, so I -- and I don't know -- facility
13 by facility, I didn't know so I just said if
14 anybody's working in the 232-H, 233-H, et
15 cetera, buildings, that we're going to assume
16 they were exposed. And I calculated
17 approximately how much that would be, based on
18 surface contamination and resuspension and an
19 assumption that 50 percent of the material was
20 metal tritides, which I'm sure was -- was way
21 high. I -- I'm sure that the metal tritides in
22 the room was not anywhere near 50 percent of
23 the total tritium, but I assumed it for the
24 calculation and, you know, the doses come out a
25 few millirem to ten millirem, so -- so there's

1 no need to sharpen the pencil, I don't think.
2 They're pretty small doses.

3 **MR. FITZGERALD:** So it's a facility-specific
4 judgment.

5 **MR. BIHL:** That was particular to these two
6 (unintelligible) --

7 **MR. FITZGERALD:** To Savannah River, yeah.

8 **MR. BIHL:** -- or 23X-H buildings, yes.

9 **MR. FITZGERALD:** Okay. Yeah. Yeah. All
10 right.

11 **MR. GIBSON:** You know, a secondary issue --
12 this is Mike Gibson. A secondary issue to
13 these exposures or potential exposures that I
14 know it don't affect the dose of record, but
15 some group needs to look into also what type of
16 metal these partic-- particulates these may
17 have been and what toxicity could affect the
18 lungs and may -- you know, it -- again, it
19 doesn't affect the dose reconstruction, but
20 there could be toxicity in these metals --
21 particles in the lung.

22 **MR. BIHL:** Perhaps, although -- this is Don
23 Bihl again. You know, I think you've got to
24 remember that tritium's got a very high
25 specific activity and so, you know, it doesn't

1 take that many particles -- it -- it's kind of
2 -- it's kind of doubtful that, in terms of
3 grams of these particles, that there's, you
4 know, anything even measurable if they weren't
5 tagged by a radioactive material like tritium
6 that has a high specific activity.

7 **DR. MAURO:** If you were taking -- this is John
8 Mauro. If you were taking an air sample 'cause
9 you're concerned about tritium -- tritium gas
10 or tritiated water -- and -- but in fact some
11 of that stuff that was airborne was metal
12 tritide, you -- there would be no way for you
13 to know that, you would just detect it in
14 liquid -- you -- after you pull your air sample
15 and you -- do you catch it -- I'm not sure how
16 they did it in the old days, would catch it on
17 silica gel and then liquid assimilation
18 detection, you would just look at that beta in
19 the window and you would say well, I've got
20 some tritium here but I -- you don't know if
21 it's tritide or it's -- or if -- tritium --
22 tritiated water, I guess. And I guess if it's
23 tritium gas, you may not pick it up at all. Is
24 that right? I'm just trying to -- thinking
25 about the practicality of -- of knowing whether

1 you have this. And also is -- a tritide is not
2 -- it doesn't -- it's not gaseous. Right?
3 That's a -- that's a particle that's going to
4 stay down, unlike tritiated -- I guess -- I'm -
5 - I'm picturing if you -- you're handling
6 gaseous tritium, not tritiated water, it'll
7 convert to tritiated water pretty rapidly I
8 guess in the air, oxidize, but -- and therefore
9 you've got yourself airborne tritiated water
10 vapor. But tritides don't do that, I assume.
11 They -- they're going to more or less stay
12 pretty much down, so when you -- when you
13 modeled it, you -- you based it on surface
14 contamination -- I'm just trying to get a
15 picture of the scenario.

16 **MR. BIHL:** This is Don Bihl again. I used what
17 I thought was a very claimant-favorable
18 resuspension factor of ten to the fourth --

19 **DR. MAURO:** Oh, okay.

20 **MR. BIHL:** -- so you know, again, I kind of
21 went ov-- went overboard to give them -- give
22 these workers a heck of a lot of metal tritide,
23 and the doses still come out to be millirem up
24 to ten -- ten millirem or so a year.

25 **DR. MAURO:** How'd you get the surface

1 contamination to start with?

2 **MR. BIHL:** There was a limit for the facility
3 they -- they controlled to a surface
4 contamination limit of a million dpm per 100
5 square centimeters for tritium in the
6 facilities.

7 **DR. MAURO:** Ah, okay, very good. Okay, I got
8 it. And the resuspension factor you applied,
9 I'm sorry?

10 **MR. BIHL:** Ten to the fourth.

11 **DR. GLOVER:** Minus fourth.

12 **DR. MAURO:** Ten to the minus fourth?

13 **MR. BIHL:** Yeah, ten to the --

14 **DR. MAURO:** Minus fourth per meter, okay,
15 that's a high one.

16 **MR. BIHL:** Yeah. I purposely picked one where
17 they're disturbing it pretty -- pretty heavily.
18 They're working it pretty hard and kicking it
19 up.

20 **DR. MAURO:** Right, you didn't do ten to the
21 minus nine.

22 **MR. BIHL:** No, I didn't. Now you know, if
23 they'd come up with rem doses to lung, then I
24 might have kind of sharpened the pencil. But
25 because the doses were low, I felt that I could

1 get away with ten to the fourth and -- and not
2 worry about it.

3 **DR. MAURO:** And you assumed half of that was
4 tritide.

5 **MR. BIHL:** One -- 50 percent, that's correct.

6 **DR. MAURO:** Okay, I got it. That -- Joe --

7 **MR. FITZGERALD:** Yes.

8 **DR. MAURO:** -- that sure sounds pretty --

9 **MR. FITZGERALD:** Yeah, we --

10 **DR. MAURO:** -- bounding to me.

11 **MR. FITZGERALD:** As I was saying earlier, we
12 had less of an issue with the -- with the
13 bounding analysis than we did with the question
14 of what did we know was there and how did we
15 know it and how do you actually come up with
16 the -- the source terms for this. Just based
17 on our interviews and looking at data, other
18 sites, it was very difficult to establish the
19 source term. But once you have the source
20 term, I think we agree that what Don has done
21 is a very conservative modeling approach to
22 coming up with the estimate. So we don't have
23 really an issue with that part of it, but we're
24 still struggling with this first part and --
25 now I think for Savannah River, assuming that

1 the -- these facilities are pretty well
2 demarcated and this is it for tritium handling
3 of this sort and the presence of tritides, I
4 think we're -- we're pretty satisfied. We
5 still have a generic question of how you handle
6 that at the various sites, though, so -- but I
7 think as a going-in proposition, this -- this
8 approach seems to be a pretty reasoned approach
9 and claimant-favorable approach. So...

10 **MR. GRIFFON:** I guess the only outstanding
11 question for me in that regard would be the --
12 still the who.

13 **MR. FITZGERALD:** Yeah.

14 **MR. GRIFFON:** I think you know the -- the
15 facilities and -- but -- but I'm not sure how
16 people worked at this site. You know, whether
17 they were in and out of those buildings,
18 whether it's going to be easily definable in a
19 -- in a claimant's case.

20 **MR. FITZGERALD:** Let me give you -- give you an
21 example of that --

22 **MR. GRIFFON:** You know, that they were in that
23 building or not in that building for any
24 extended period of time. I mean I don't know
25 how the job ti-- you know --

1 **MR. FITZGERALD:** Yeah.

2 **MR. GRIFFON:** -- if it's that obvious or not.

3 **MR. FITZGERALD:** We -- we interviewed a worker
4 at one of the other sites -- not Savannah River
5 -- and just inadvertently found somebody who
6 handled metal tritides as a key part of his
7 activity. And I -- I guess I was taken by
8 surprise. I thought it was more or less a by-
9 product, but that was mainly what he did in
10 glovebox environment. And when they changed
11 out the gloveboxes, took material out of the
12 gloveboxes, they did have releases. So you
13 know, my -- my question is well, you know, how
14 much, who else was doing this, how would you
15 know -- there was no monitoring -- and, you
16 know, I think if you establish that, then you
17 can actually apply this model and I'm quite
18 satisfied it's claimant favorable. But to get
19 to that point I find a lot of difficulty when
20 you don't (unintelligible) --

21 **DR. MAURO:** Joe, what you -- this is John Mauro
22 again.

23 **MR. FITZGERALD:** Yeah.

24 **DR. MAURO:** What you just described is a
25 scenario that's different than the one that was

1 modeled. In other words, what you're saying is
2 okay, there -- there are multiple pathways by
3 which a person might be exposed to these
4 tritides. One -- the one that was modeled is -
5 - is that there is this widespread
6 contamination on surfaces that are maintained
7 within the regulatory limit and therefore that
8 would be bounding to that scenario.

9 What you just described is that there might
10 have been a transient in a glovebox that where
11 -- that's a whole different scenario where the
12 exposures could have been substantially
13 different than the one that was modeled. Is --
14 is that a scenario that is -- is that what
15 we're referring to -- there's another scenario
16 that certainly you -- could result in
17 substantial exposures and -- and they -- and
18 that theoretically may not be readily picked up
19 in your bioassay program if it's a tritide
20 'cause that's -- would be locked up in the
21 lungs, more or less.

22 **MR. FITZGERALD:** Yeah, perhaps, and -- and the
23 other issue, though, is in the facility in
24 question you did have tritium operations, so it
25 gets real complicated.

1 **DR. MAURO:** Yeah, (unintelligible) --

2 **MR. FITZGERALD:** The individual may have been
3 exposed preferentially to tritides, but you had
4 a general background of tritium contamination
5 so, you know, it's not clear you would even
6 know. And I -- I think -- this may not be an
7 issue for this particular case. It sounds like
8 the model fits the exposure scenario and it's a
9 pretty good clarity about which facilities are
10 involved. But I think generically that's not
11 the case at other -- other facilities. Now I
12 don't know where you go with this. It's just a
13 hard issue.

14 **MR. CLAWSON:** Dr. Wade, this is Brad Clawson.

15 **DR. WADE:** Yes, Brad?

16 **MR. CLAWSON:** I have to -- I apologize, but
17 I've got some prior commitments. I have some
18 transfers I have to make and I need to excuse
19 myself at this time.

20 **DR. WADE:** Okay. Thank you.

21 **MR. CLAWSON:** I just wanted to let you know.
22 Thank you.

23 **DR. WADE:** Thank you for your time.

24 **MR. FITZGERALD:** So if we can leave this issue,
25 I just suggest that this has been very helpful

1 and I think we're reassured on Savannah River,
2 though I think this needs to be clarified more
3 in the TBD than it is now. I think a lot of
4 this is not as clear as it could be. But we
5 still are left with I think a general issue
6 that we're going to have to revisit for Mound
7 and Los Alamos, and maybe it's more of a source
8 term question. How do you characterize who's
9 exposed and where they're exposed when a lot of
10 times even the workers weren't allowed to know
11 they were dealing with this stuff because of
12 security issues. So you really have a dilemma
13 on that.

14 **MS. ROBERTSON-DEMERS:** Hey, Don, why did you
15 exclude the tritium facility in 200-F? It was
16 operated very early on.

17 **MR. BIHL:** That may have been ignorance on my
18 part. I'd better look into that. What -- what
19 facility was that again?

20 **MS. ROBERTSON-DEMERS:** I'm going to have to
21 give you the exact number here in a
22 (unintelligible) --

23 **MR. BIHL:** Again, if it operated really early
24 on and shut down, then they -- they hadn't
25 developed these metal hydride systems for

1 retaining the -- the hydrogen (unintelligible).

2 **MS. ROBERTSON-DEMERS:** That would be -- I
3 (unintelligible) --

4 **MR. BIHL:** No, that was something that they --
5 they were proud of that they developed and
6 implemented there in the mid-'70s. They were
7 kind of bragging about having gone over to
8 this. But if there's a facility out there that
9 handled tritium but it al-- it shut down before
10 the mid-'70s, then it wouldn't be an issue.

11 **MS. ROBERTSON-DEMERS:** Well, it -- it shut down
12 well before the mid-'70s, I believe in 1956.

13 **MR. GRIFFON:** So they probably didn't have a
14 tritide issue --

15 **MR. FITZGERALD:** Sounds like it.

16 **MR. GRIFFON:** -- on the site.

17 **MS. ROBERTSON-DEMERS:** Did you consider the
18 formation of tritides from the presence of a
19 lot of tritium in the reactors?

20 **MR. BIHL:** Well, my understanding -- and if Tom
21 Labone is still on the call, he can speak to
22 that as well -- but my understanding was at
23 these heavy water reactors the HTO produced
24 just swamps virtually everything there's so
25 much produced. What little -- I mean I don't

1 know how you -- how tritides would be made in a
2 reactor, but it certainly couldn't have been
3 significant in terms of quantity or dose, that
4 I could see.

5 Tom, do you want to speak to that?

6 **MR. LABONE:** I think that's pretty -- you know
7 as much as I know about it. The -- you know,
8 the -- the special thing about the tritium
9 faci-- the handling facilities was the fact
10 that they were intentionally making it by -- by
11 using it to store the tritium gas, whereas -- I
12 mean I'm -- I'm sure there's -- there's
13 something around a heavy water reactor, but I
14 mean the -- just the tritium water from the --
15 from the moderator and coolant itself is -- is
16 going to, you know, predominate just
17 everything, even the fission products that
18 might be around.

19 **MR. GIBSON:** This is Mike Gibson again. What
20 about the issue of naturally-occurring tritides
21 just due to tritium settling into rust, as
22 metal rusts, and then the workers go in and do
23 D&D work and cut this stuff apart?

24 **MR. LABONE:** Don, I -- do you want to answer
25 that or...

1 **MR. BIHL:** No, I don't want to answer that, do
2 you?

3 **MR. LABONE:** Yeah, well, the -- my -- my
4 feeling about it is it has to do --
5 theoretically, yes, it can happen. But it's a
6 matter of specific activity of the material.
7 If you -- here -- here again, on the one hand
8 you have something being intentionally produced
9 by a lot of tritium gas versus something that
10 was incidentally produced by some tritium that
11 may have been present in some form. So it's
12 just a matter of, you know, what is the
13 specific activity of the material that you have
14 there. And that was a -- something that was
15 chased around quite a bit, the rust and dust
16 tritides, when we wrote the -- the good
17 practice manual for -- for DOE on that. And I
18 mean theoretically it could be there, it's just
19 I -- you know, I -- I do not think it's a --
20 you know, as much of a hazard as when, you
21 know, you're intentionally making it.

22 **MR. GRIFFON:** Tom, was there any conclusion in
23 the DOE good practices manual with -- with that
24 regard?

25 **MR. LABONE:** I don't recall 'cause -- you know,

1 we -- we wrote that thing and then it
2 disappeared for a couple of years and then it
3 came out all of a sudden. I don't recall it --
4 there's really not a whole lot you can -- you
5 can do about it as far as, you know, trying to
6 track it down. I mean you can do -- you can do
7 -- take a smear survey and you can analyze it
8 and -- but then you have to figure out what --
9 you know, do I -- am I looking at tritiated
10 water on this swipe or is it a particulate, and
11 so there -- you have to bake off the water and
12 -- and then analyze it again, and I really
13 don't know of anybody who -- who was doing
14 that. To answer your question directly, I
15 don't recall if -- if any conclusions were put
16 in there on the rust and dust issue. I would
17 have to go back and look. Exc-- except if you
18 do -- if you do the math on this stuff, there
19 has to be a lot of it around. I think -- I
20 think I did a calculation for the back of that
21 good practice manual and -- and again, this is
22 from an operational perspective, not -- not a
23 dose reconstruction, but I mean you had to have
24 many, many curies of loose contamination around
25 in order to produce doses of interest -- for --

1 for an operational program, again. I'm not
2 saying that it's not worthwhile looking into
3 for the reconstruction process, but you have to
4 have a lot of it there. It's not a slight
5 contamination issue.

6 **COMMENT NINE: HIGH FIVE**

7 **MR. FITZGERALD:** Okay. Can we move on to
8 comment number nine? This is another facet to
9 the high five issues that we raised in the
10 report, and this one really gets to whether the
11 -- the largest intakes were included in the
12 database upon which the high five was derived,
13 and I think we have some specific examples of
14 where it appears there were a number that were
15 not. That's -- that's the essence of the
16 issue. And I think those examples are provided
17 in the -- in the SC&A response.

18 **DR. GLOVER:** We actually looked up some of the
19 -- there were -- anyway, that's probably -- I
20 think we've discussed what the purpose of the
21 high five is and... I know we -- we actually
22 did look up some of the intakes and -- and
23 compared -- these are actually the intakes that
24 were confirmed by the site, is how that was
25 actually put together, so -- where was that,

1 I'm just trying to -- yeah, Liz Brackett looked
2 into this. Let's see -- (unintelligible)
3 investigated the high results and noted that
4 airborne levels at the time were low or they're
5 not just false positives -- anyway, I think --
6 do we really -- is this a cl-- is this
7 something we really need to actively --

8 **MR. FITZGERALD:** No, no, it's clarified -- one
9 thing, we're getting into I think some of the
10 secondary issues, ones of clarification,
11 factual accuracy as -- I think this is in that
12 context of --

13 **DR. GLOVER:** All right.

14 **MR. FITZGERALD:** -- we're trying to understand
15 better whether or not -- how complete this
16 database was and whether you verified or
17 validated -- it sounds like you've done that
18 since.

19 **DR. GLOVER:** Yeah, we've done some additional
20 research, and again, these are confirmed
21 intakes by the site, and so there may be
22 incidental -- you know, air -- -- some
23 information in there or in -- like in this case
24 they actually went back and looked at the air
25 monitoring data. They do not seem to support -

1 - but they didn't say it was a false positive,
2 but they -- it didn't seem to support a -- but
3 Liz Brackett I know went back and looked at the
4 Tab 67 dose reconstruction. And so I think
5 you've got some additional numbers in here that
6 -- that perhaps weren't...

7 **MR. GRIFFON:** Do we have access to this
8 database with the confirmed intake-- I -- I've
9 heard that before, the confirmed intakes from
10 the site. Is that on the O drive or this
11 database that you're referencing, or --

12 **DR. GLOVER:** Does anybody -- does anybody know?
13 ORAU te-- Tom -- or Don Bihl, maybe, or Tom
14 LaBone?

15 **MR. LABONE:** The list from the registry, is
16 that what you're asking for?

17 **DR. GLOVER:** This is a -- is it a registry or
18 the confirmed -- let's say a confirmed --

19 **MR. LABONE:** (Unintelligible)

20 **DR. GLOVER:** Oh, okay.

21 **MR. LABONE:** It's not -- it's not your
22 registry, you know, it's not the -- the
23 Transuranic Registry.

24 **DR. GLOVER:** Right.

25 **MR. LABONE:** Yeah. Is that what you're asking,

1 a list of the people who had --

2 **MR. GRIFFON:** Yeah.

3 **DR. GLOVER:** Confirmed intakes.

4 **MR. GRIFFON:** Well, just the whole -- the whole
5 database, whatever it contains, I guess the
6 listing and the information on the exposures.

7 **MR. LABONE:** When I was at Savannah River we
8 sent that to -- to NIOSH. Now I don't know
9 where it resides at.

10 **MR. GRIFFON:** Sent it in electronic form or --

11 **MR. LABONE:** It's in -- it's in electronic
12 form.

13 **MR. SIEBERT:** Yeah, Liz would know specifically
14 where that was.

15 **MR. GRIFFON:** I would just ask if you can make
16 sure that's posted on the O drive somewhere,
17 that would be helpful, so we -- if you have it
18 in electronic form, it must be on the server
19 somewhere, so --

20 **DR. GLOVER:** We'll let you know where the
21 location is.

22 **MR. GRIFFON:** -- maybe you could just point it
23 out, where it is or what -- yeah. And then --
24 I guess you -- you indicated what confirmed
25 intakes mean now. I -- I guess prior to this

1 meeting I was trying to understand that. I
2 think now it's a little clearer that all the
3 urinalysis data was hard copy. Ri-- 'cause I
4 was trying to understand why -- why this type
5 of model, and I -- now it's a little more clear
6 --

7 **DR. GLOVER:** Yeah.

8 **MR. GRIFFON:** -- that you don't have --
9 database for all the data.

10 **MR. FITZGERALD:** But the confirmed database is
11 that collection of -- of paper-based or
12 (unintelligible) --

13 **MR. GRIFFON:** Right, right.

14 **MR. FITZGERALD:** -- based, I mean -- and you
15 might have other information, but that's not
16 the official --

17 **MR. GRIFFON:** Yeah.

18 **MR. FITZGERALD:** So I would assume you will
19 find these exceptions coming from other sources
20 if in fact the official database is this
21 registry then. There sure certainly would be
22 other information here, there and everywhere,
23 but it's not official, so to speak.

24 **MR. GRIFFON:** Right.

25 **MR. FITZGERALD:** Okay.

1 **MS. ROBERTSON-DEMERS:** There are I think two
2 sources that these came from, one of which is
3 the Fault-Tree Database. But the other one is
4 the three-by-five cards that dosimetry
5 maintains, and it might be helpful for you if I
6 faxed you one of them --

7 **DR. GLOVER:** That's fine.

8 **MS. ROBERTSON-DEMERS:** -- with -- with an
9 example of an intake. And my concern is those
10 that are not covered at all in the high five.

11 **DR. GLOVER:** Okay, we can look at them. A lot
12 of times incident records -- when you have
13 something, you make an initial estimate and
14 they're often followed up and refined, but I'd
15 -- so I'll have to see what you've got. That
16 may be easier. Again, for the purposes of
17 creating the high five, it has a very specific
18 purpose and so -- not to get light in trying to
19 -- what -- refine too greatly what we mean by
20 the high five.

21 **MR. GRIFFON:** Can I -- can I ask, when -- when
22 did that registry -- was that in place
23 throughout the history of the site or did it
24 start in a certain year? When was that
25 initially...

1 **DR. GLOVER:** Tom, do you have any idea of when
2 that was created?

3 **MR. LABONE:** Yeah, I don't know if you knew
4 Roscoe Hall, or did you -- did you know him?

5 **MR. GRIFFON:** I've heard the name.

6 **MR. LABONE:** Yeah, he -- I guess he took over
7 the internal dosimetry program in the early
8 '60s there and -- and the registry -- when I --
9 when I got there in '86, what -- what it was
10 was he -- he had books where he basically wrote
11 down every incident that he was interested in.
12 He said this -- you know, this incident -- this
13 took place, this was the dose, and then when we
14 switched over to the ICRP-30 models, we used
15 that -- his -- his books basically, his notes,
16 to -- to say these are all the intakes that we
17 had and we went back and re-evaluated them in
18 terms of -- at that -- at that time the new
19 ICRP-30 models. And so that's how that whole
20 database was constructed was from his notes he
21 had kept since the early '60s. And prior to
22 him was Marshall Sanders, who was there and I
23 have no idea whether -- what kind of turnover
24 was between the two of them, but you know,
25 there were significant intakes in his list that

1 were there before he was, and so -- but -- but
2 anyway, that's why -- and so, you know, if you
3 say you have found one that you think is -- is
4 larger than -- than another one that's in that
5 list, then for some reason, you know, he -- he
6 may not have -- have thought so at the time and
7 did not put it in there or whatever. But
8 that's the origins of that list. It was
9 basically the tribal knowledge of all the
10 interesting or significant events that happened
11 while we were there. And in the -- the mid-
12 '80s we kind of formalized it into say anybody
13 who we think got over ten millirem committed
14 (unintelligible) dose equivalent in the new
15 system would go into that list. And so it was
16 -- it wasn't so much of a judgment call. It
17 was more of a formal -- you know, a dose
18 number.

19 **MR. GRIFFON:** I guess what struck me, and I'm
20 taxing my own memory here, but if I remember
21 the high five correctly, a lot of the intakes
22 were in later years. That's what surprised me.
23 I thought a lot of the highest intakes would
24 have been in the really early years, and I --
25 again, I'm going by memory from when I read

1 this site profile probably two and a half years
2 ago.

3 **MR. LABONE:** Yeah, I -- I think if -- if you
4 look at it, a lot of the -- the -- lot of the
5 fission products may have been in later years,
6 because early on they would have looked at
7 those and said, you know, compared to the
8 plutonium intake we just had, this really isn't
9 much.

10 **MR. GRIFFON:** No, I doubt I was looking at
11 fission products very much.

12 **MR. LABONE:** Yeah, well, at the -- most of the
13 big plutonium intakes occurred in the '70s when
14 we had, you know, the 238 campaigns and the --
15 and in the '60s from the weapons grade
16 material. I think that they were -- they're
17 pretty much uniformly -- they -- everything
18 just pretty much dropped off in the '90s. I
19 mean they were really --

20 **MR. GRIFFON:** Yeah, that makes sense.

21 **MR. LABONE:** Yeah, that -- they were -- I don't
22 know if there are any on the high five from
23 that time period, but -- we'd have to look at
24 it to see are there any patterns, but fission
25 products, I wouldn't be surprised if they -- if

1 they didn't have a lot of early ones on there.

2 **DR. MAURO:** This is John Mauro. Just a -- a
3 ques-- a question of clarification for me. I
4 understand that these are the average of the
5 highest five for each radionuclide over the
6 history that this program was maintained. But
7 how many radionuclides are we talking about --
8 would that -- that you've averaged over the
9 highest five of those?

10 **MR. LABONE:** I'm trying to remember -- you
11 know, I wasn't involved with the development of
12 this, but it was -- you know, plutonium-238,
13 239, 240, americium, neptunium, uranium-234
14 took care of all the uranium --

15 **DR. MAURO:** Uh-huh.

16 **MR. LABONE:** -- and then we had some various
17 fission products like cesium, then strontium --
18 I'm -- I'm guessing probably eight or nine --

19 **DR. MAURO:** Okay.

20 **MR. LABONE:** -- radionuclides.

21 **DR. MAURO:** All right. And the -- the -- the
22 other thing that might be interesting is the
23 spread between -- for any given radionuclide,
24 the highest and the lowest. For example, for
25 example if they spread -- if the -- if the high

1 five covered three orders of magnitude and took
2 the average, you could see that that would be
3 an interesting average.

4 **MR. SIEBERT:** Well, all -- all the information
5 on the Savannah River high five are in OTIB-1 -
6 -

7 **DR. MAURO:** Okay.

8 **MR. SIEBERT:** -- page 4, Table 1.

9 **DR. MAURO:** Okay, my apologies. I had not
10 looked at that. But it's good to know it's
11 there. I'll take a look.

12 **MR. GRIFFON:** Well, and -- and -- just -- I
13 think we can end -- you know, I don't know
14 whether we can go anywhere with this, but if
15 you can just make sure that database is
16 somewhere where we can --

17 **MR. LABONE:** Yeah, I --

18 **MR. GRIFFON:** -- it's been nice --

19 **MR. LABONE:** -- (unintelligible) looking for it
20 on the O drive for you.

21 **MR. GRIFFON:** It's been nice when we've had --
22 in the last workgroups we've put everything
23 under that AB review section, you can make a
24 new folder for Savannah there.

25 **DR. GLOVER:** My only concern about doing that

1 is getting duplicated things that they find --
2 they think's had a -- are not getting updated
3 when you make too many copies, but depending on
4 the location, I'll see what -- what makes --
5 whatever work-- whatever works, so --

6 **MR. GRIFFON:** As long as we know where it is,
7 yeah.

8 **DR. GLOVER:** Yeah.

9 **MR. BIHL:** This is Don Bihl. While we're on
10 the issue of high five and we have Scott
11 Siebert, we should probably go back to some of
12 the questions we had earlier. I -- I don't
13 remember them all myself, but they're probably
14 in the notes. I think one of them was exactly
15 under what circumstances were -- was the high
16 five technique used, and how do you account for
17 unmonitored dose to workers when the high five
18 can't be used. There may have been some other
19 questions, but we should probably have Scott
20 answer those while -- while we've got him here.

21 **COMMENT TEN:**

22 **MR. GRIFFON:** I think that -- that even goes
23 into number ten, Don, where the NIOSH response
24 -- I had a question on that first line, but
25 that -- yeah, I guess Scott, if you're on

1 there, maybe you can answer that question that
2 Don just raised.

3 **MR. SIEBERT:** Generally speaking, I'm -- I
4 wasn't over the group that was doing the cases
5 where we'd use the high five most recently. I
6 was doing the more complicated stuff. But
7 generally speaking, what I remember is if we
8 could throw the high five on it, whether they
9 were monitored or unmonitored, we would try it
10 first if it was going to look like a non-comp
11 case. And then any positive bioassay that may
12 be in the claim would be assessed separately
13 and then thrown on top of that as well, just
14 from a simplification, overestimating point of
15 view.

16 **DR. NETON:** Scott, this is Jim Neton, I'm --
17 I'm not sure I'm understanding exactly what
18 you're saying, or if I did I might not agree
19 with that. I -- I think what happened was if
20 there were bioassay results, the high five was
21 allowed to be used as long as the projected
22 bioassay results from the high five bounded the
23 actual monitored results.

24 **MR. SIEBERT:** That's correct, but if we also
25 had additional monitoring -- additional

1 positive bioassays that were above that, we
2 could also assess those separately and throw
3 that additional dose on top of the high five.

4 **DR. NETON:** Okay.

5 **MR. GRIFFON:** And -- and let me ask Scott, in
6 the response to number ten in the document
7 we're working from here, NIOSH response says
8 this approach is used as an overestimate for
9 people who were not monitored. And -- and you
10 know, I -- I guess just to be clear here, you
11 know, we were discussing it earlier and -- and
12 it -- it was unclear to me whether it was --
13 you know, how do you determine people who were
14 not monitored and shouldn't have been monitored
15 or people who were not monitored and just kind
16 of missed in the -- you know, in the scheme at
17 the time. For example, you know,
18 administrative people, it would seem if they
19 didn't have monitoring records and they were in
20 certain buildings then they, you know, didn't
21 have monitoring records for a good reason,
22 'cause they didn't need to be monitored. But
23 there could be other people who had no
24 monitoring records but, based on their job
25 description or areas, you know, should have

1 been monitored.

2 **DR. GLOVER:** Scott, I don't -- hey, Scott, I
3 don't know if you have the benefit of the
4 matrix in front of you.

5 **MR. SIEBERT:** Yeah, I just got it.

6 **DR. GLOVER:** Okay.

7 **MR. GRIFFON:** Oh, I'm sorry.

8 **DR. GLOVER:** That's okay, he has -- 'cause he
9 wasn't provided as part of -- part of this
10 comes up as the tank farm worker issues we've
11 been discussing and were they appropriately
12 monitored, and if you apply the high five
13 approach for that worker type in an unmonitored
14 situation, have you properly bounded it. So
15 unfort-- you're going back several matrix
16 issues later and I know you haven't had a
17 chance to look at -- review it, but -- so if --
18 perhaps -- what I'd like to do is maybe --

19 **MR. GRIFFON:** Well, I think --

20 **DR. GLOVER:** -- Scott will be part of our
21 oncoming -- and we're going to address all this
22 in detail, Scott or somebody from Task V, so
23 they'll be part of the next phone calls so as -
24 - so we will make sure that these get answered
25 appropriately.

1 **MR. GRIFFON:** So maybe if you -- just for
2 clarification, Scott, it doesn't have to be
3 now, but in the next meeting or whatever, you
4 know, how you -- how you determine that if --
5 if...

6 **MR. SIEBERT:** Right, most -- generally most of
7 the main things we'd be looking at is locations
8 and external dosimetry records if they exist
9 for the individual, and obviously the CATI.

10 **MR. GRIFFON:** And external dosimetry records,
11 explain that to me. What would that --

12 **MR. SIEBERT:** Well, it would be --

13 **MR. GRIFFON:** -- that would be indicative of
14 somebody who was likely to be exposed? Is that
15 what you're saying or...

16 **MR. SIEBERT:** Whether they were actually
17 monitored or unmonitored from an external point
18 of view itself.

19 **MR. GRIFFON:** Okay.

20 **DR. GLOVER:** One thing that came up earlier,
21 Scott, you -- the -- if I remember correctly,
22 the SRS external monitoring is pretty detailed
23 on where these people worked. Is that correct?
24 Is --

25 **MR. SIEBERT:** Somewhat. However, most of the

1 codes that they used are not going to be
2 decipherable as to area. Much of the
3 determination as to where people were actually
4 came from incident reports and internal
5 dosimetry records.

6 **DR. GLOVER:** Oh, that's right.

7 **MR. SIEBERT:** But you're right, there were
8 codes for external, but we've -- they weren't
9 necessarily consistently used.

10 **DR. GLOVER:** It was the internal part that had
11 the pretty detailed part of that.

12 **MR. SIEBERT:** Correct.

13 **COMMENT ELEVEN:**

14 **MR. FITZGERALD:** I think -- well, beyond that,
15 the thrust of this comment actually has been
16 pretty well addressed by the -- you know, the
17 modification of IMBA. I think we were
18 concerned about the -- the -- beyond just some
19 questions on the technical nature which have
20 been answered by high five, the use of the
21 surrogate -- surrogate radionuclides, and that
22 was addressed in the revision. So this one and
23 number 11, I think both, are kind of resolved -
24 -

25 **MS. ROBERTSON-DEMERS:** Yeah.

1 **MR. FITZGERALD:** -- you'll be glad to hear, by
2 the -- that change.

3 **MR. GRIFFON:** Oh, yeah, that --

4 **MR. FITZGERALD:** Right.

5 **MR. GRIFFON:** -- different ICRP. Right?

6 **MR. FITZGERALD:** Right, right. Just -- just
7 the fact that -- the models used and the use of
8 the surrogate I think were two hiccups that we
9 had on those two, so those are both a leap
10 forward on the matrix. I think both of them
11 are addressed by that issue -- by that
12 resolution, so 11 -- ten and 11. Right? Yeah,
13 ten and 11. We can move on to 12.

14 **THE COURT REPORTER:** Well, how did we do 11?

15 **DR. GLOVER:** It was sort of -- the response was
16 acceptable.

17 **MR. FITZGERALD:** We can start over if you want.
18 We're getting ahead of the court reporter.
19 Okay, that was ten and that was 11.

20 **THE COURT REPORTER:** And 11, okay.

21 **MR. FITZGERALD:** These really go fast now.
22 This is the tail end of the observations.

23 **MR. GRIFFON:** (Off microphone) (Unintelligible)
24 always go fast, yeah.

25 **COMMENT TWELVE: ORO-NASAL BREATHING**

1 **MR. FITZGERALD:** Number 12, actual-- I wish --
2 I wish Arjun was on the phone for the -- for
3 the oro-nasal breathing issue, but I'll be the
4 first to say that we have spent endless time
5 debating the oro-nasal breathing issue --

6 **MR. GRIFFON:** Oh, yeah.

7 **MR. FITZGERALD:** -- and don't even want to talk
8 any more about it.

9 **DR. NETON:** Well --

10 **MR. FITZGERALD:** It's a -- it's a generic issue
11 being addressed --

12 **DR. NETON:** -- I was going to suggest that that
13 issue is being evaluated as a complex-wide
14 issue and we were hoping to get a draft report
15 in our hands from our EG&G contractor folks by
16 the end of this month sometime, at least a
17 preliminary status, so...

18 **MR. FITZGERALD:** That's our understanding as
19 well.

20 **MR. GRIFFON:** That was my only question was
21 what was the status on the generic -- yeah.

22 **MR. FITZGERALD:** So we understand it's a
23 generic issue, so we'll leave it at that and
24 defer that particular point to -- to that one.

25 **COMMENT THIRTEEN: REPORTING INCIDENCES**

1 So that brings us to 13.

2 This gets back to reporting incidences. I
3 don't know, Kathy, you want to elaborate on
4 that one?

5 **MS. ROBERTSON-DEMERS:** Okay.

6 **MR. FITZGERALD:** This is the issue -- I think
7 we've almost raised it at --

8 **MS. ROBERTSON-DEMERS:** At the (unintelligible)
9 tank farm.

10 **MR. FITZGERALD:** -- most of the site reviews,
11 yeah.

12 **MS. ROBERTSON-DEMERS:** We've also raised it
13 under the tank farms issue earlier.

14 **MR. FITZGERALD:** I'm not sure how to take this
15 because it's really getting down to how we
16 treat incident -- incident reporting in the
17 site profiles, and I think we've had this
18 debate before and this was probably one of the
19 earliest times we've raised this in a site
20 profile review. So with that preface, I'm --
21 I'm pretty familiar with how we have debated
22 that. I'm not sure what the resolution is,
23 though. And maybe the resolution is the data
24 bank is going to be the biggest source of that
25 kind of information for the tank farms where I

1 think our biggest issue is at Savannah River.

2 **DR. GLOVER:** I think the tank farm question is
3 where this has to -- you know, us showing that
4 the calculations are claimant favorable or best
5 estimates in those -- for those analyses.

6 **MS. ROBERTSON-DEMERS:** Have you all looked at -
7 -

8 **MR. ALVAREZ:** Well, I -- I just -- just for
9 clarification, keep in mind that these data --
10 this database encompasses tank farms and
11 probably the F and H areas, as well.

12 **MR. GIBSON:** Could the speaker please identify
13 himself?

14 **MR. ALVAREZ:** Oh, Bob Alvarez, I'm sorry. The
15 database that we examined encompasses --
16 encompassed the tank farm, burial grounds and F
17 and H facilities.

18 **DR. GLOVER:** I was being generic in the
19 terminology. You're right. I mean the
20 calculations that we're performing are
21 bounding, I guess, at SRS, so it goes back to
22 that discussion we were having.

23 **MS. ROBERTSON-DEMERS:** Have you all looked into
24 getting the special hazards investigations,
25 which are actually more general health physics

1 incident reports? They don't always have names
2 in them, but they do sometimes have names in
3 them.

4 **DR. GLOVER:** I don't have anybody from Task III
5 so I don't know.

6 **MR. BIHL:** Yeah, this is Don Bihl. We do have
7 those now. We just got them. Kathy's right,
8 they don't have names in them -- at least the
9 ones I've looked at -- so we're not able to
10 associate the incident with any particular
11 people. I'm not sure what we're -- what we
12 would do with those, Kathy. Are -- is there
13 something you're expecting that we would do
14 with those?

15 **MS. ROBERTSON-DEMERS:** I thought some of them
16 had names in them.

17 **MR. BIHL:** Maybe you're right. I have not
18 looked at every single one yet.

19 **DR. NETON:** This is -- this is Jim Neton. This
20 is an issue that's been -- been surfacing. It
21 surfaced a while ago and keeps reoccurring,
22 that the site profiles do not include all
23 incidents. And -- and we said from the very
24 beginning that they were never intended to
25 include all possible incidents. And in fact,

1 if we look at the way we've been doing dose
2 reconstructions, we've designed a process that
3 is essentially -- attempts to be incident-
4 independent. That is, you take the worker's
5 monitoring data, if monitored, and assess a
6 dose that bounds any potential incidents that
7 would have occurred in between those samples.
8 Now if you have an unmonitored worker, you have
9 to make a value judgment was he or was he not
10 potentially exposed. If he was, then you pick
11 something, like a coworker or some available
12 data that you have that will assure the dose
13 reconstructor at least that he has bounded
14 those potential incidents as well with the
15 available monitoring data. It's just not
16 reasonable to me to -- to assume or to think
17 that we could possibly find all incidents and
18 get this project done in -- in the time frame
19 that we're trying to do it. I think the
20 approach we have adopted is -- is reasonable
21 and reasonably bounding and is a fairly
22 efficient way of moving these claims, and in
23 fact is fairly claimant favorable. I just feel
24 that -- you know, this -- this comes up time
25 and time again, and I'm somewhat frustrated by

1 that, that you know, we don't have all the
2 incidents. Well, we'll never have all the
3 incidents. It's just not possible to do that.

4 **MR. FITZGERALD:** Well, I think we -- I prefaced
5 my remarks by saying this is a -- first time
6 this issue is raised, but we've had a lot of
7 history of discussing this and -- and I think,
8 again, if one can look at the data bank as the
9 source of additional information for the tank
10 farms where I think there's more concern there
11 about the contribution, I -- I think we'd be
12 satisfied with that.

13 **DR. NETON:** Right, I -- I agree with --

14 **MR. FITZGERALD:** I understand the broader
15 question. This was (unintelligible).

16 **DR. NETON:** Yeah, I don't want to sound
17 defensive or -- or nasty here, but I just --
18 this has been coming up in a number of other
19 fronts, and -- and I do agree that if the
20 source term is not understood very well, such
21 as at the tank farm, I totally agree that that
22 is a separate issue. But where we have what we
23 believe to be adequate monitoring -- you know,
24 bioassay data -- then I think -- I think we --
25 we've made a fairly good argument that -- that

1 we don't need access to all these incident
2 reports. Not that we shouldn't look at them,
3 if we have them, and review them. But the fact
4 that we don't have the complete compendium of
5 them shouldn't prevent us from moving forward
6 dose reconstructions.

7 **MR. FITZGERALD:** I think we would concur with
8 that.

9 **DR. NETON:** Okay.

10 **DR. LOCKEY:** This Jim Lockey. Can I ask a
11 question about that? Could -- since -- since
12 you have an incident data bank at Savannah
13 River, which I take it is not what you have at
14 other facilities -- is that correct?

15 **DR. NETON:** Are you talking about the dose --
16 what did Tom refer to it as, the --

17 **DR. GLOVER:** Registry.

18 **DR. NETON:** -- registry or whatever? Yeah,
19 that -- that's -- well, that's somewhat unique
20 in the sense we have that. But we also, as
21 Mark pointed out, we don't have a computerized
22 database of the bioassay records, either, at
23 Los Alam-- at Savannah River, but -- but it is
24 unique.

25 **DR. LOCKEY:** What I wa-- since that's unique,

1 what I was wondering is -- I'm just throwing
2 this out -- could that be used as a test to
3 verify that the technique that you're using at
4 other facilities in relationship to incidents
5 is a valid technique? Could you -- could you
6 go back to Savannah River and reconstruct as if
7 you don't have an incident database and then
8 test it against the database to see if in fact
9 (unintelligible) --

10 **DR. NETON:** I think there's some work that we
11 could do there, and -- that's a good suggestion
12 and it's one -- one way to get at this. I
13 think another way is to do some sort of
14 sampling of the actual data itself, pull some
15 cards and -- and look at these records. We've
16 done -- done this, for example, in the
17 construction worker area. We've actually
18 polled bioassay records -- and I've forgotten
19 how many now, but you know, hundreds of
20 bioassay records for construction workers and
21 hundreds of records for the -- the -- all
22 monitored workers and compared them and were
23 able to make some inferences about the -- we
24 think the levels of exposures that -- that may
25 have occurred and the differences between those

1 two populations. So I think with some
2 selective polling of the data -- in fact, we
3 have computerized, I think, all of the data for
4 the claimants in this program. So you know, we
5 have -- I forget the number now, but there must
6 be somewhere around 1,200 or more Savannah
7 River cases where we've asked for and received
8 bioassay data that have already been entered.
9 It seems to me that there's something we could
10 do with that, as well. I'm not exactly sure
11 how to go about it best yet, but -- but there
12 is some -- some more fine-tuning I think that
13 needs to be done.

14 **DR. LOCKEY:** If you had a way of verifying that
15 your technique is in fact valid based on the
16 incident database, that could put this issue to
17 rest, couldn't it?

18 **DR. NETON:** Well, although what you've heard
19 here is some -- some uncomfortableness with the
20 completeness of that incident database, it is
21 essentially a -- a convenience database that
22 was maintained by dosimetrists for their use
23 and has not really ever been purported to be
24 the complete compendia of -- compendium of all
25 incidents, so we have to be careful there. But

1 I think there's something that could be made
2 out of it and I -- I just -- I get
3 uncomfortable when people say we have to have
4 all the incident reports, I guess.

5 **MR. GRIFFON:** I was out -- out of the room, but
6 I just -- from being at so many of these
7 workgroup meetings, I think I know what Jim --

8 **DR. NETON:** You probably could have finished my
9 little speech, yeah.

10 **MR. GRIFFON:** -- was speaking of -- yeah, yeah.
11 We have been around the block with the incident
12 issue.

13 **DR. NETON:** Yeah.

14 **MR. GRIFFON:** But the -- the only thing that --
15 that -- and this is coming a little more clear
16 to me at this meeting, I -- I don't think I
17 would have disputed this earlier, but now I
18 question -- you -- you said that you have good
19 bioassay data for Savannah River, and I'm not
20 sure I understand the basis of that statement
21 now because you don't have a databa-- in the
22 past you've always reviewed databases and said,
23 you know, we sampled -- you know, it was clear
24 that the program was sampling this percentage
25 of people for these time periods, they were

1 monitoring for different radionuclides. We
2 don't have the --

3 **DR. NETON:** No, what I --

4 **MR. GRIFFON:** -- we don't have the benefit of
5 being able to analyze that here, do we, because
6 you don't have --

7 **DR. NETON:** If I said we had good bioassay
8 records, I didn't -- I didn't mean a good
9 bioassay database.

10 **MR. GRIFFON:** No, no, no, you didn't say
11 database, but you said you --

12 **DR. NETON:** What I -- what I meant was we do
13 receive bioassay results for a large perc--
14 large number of these workers. I don't know
15 the percentage off the top of my head, but if
16 we have bioassay records for a worker, and even
17 if he was sparsely monitored -- for example,
18 annually -- one can take that plutonium result
19 or that uranium result and put a upper bound
20 that would bracket any potential incident that
21 he may have been involved with because his --
22 his bioassay record speaks to his past
23 exposures.

24 **MR. GRIFFON:** But I guess that's --

25 **DR. NETON:** And that's what we intend to use.

1 I mean so to -- to say that this worker who was
2 monitored, we don't have all the incident data
3 for him, we say well, it's probably not
4 necessary to have that. It'd be good to have,
5 but not necessary.

6 **MR. GRIFFON:** But -- but I guess that's my
7 question, is -- for the -- and I guess most
8 important for the claimant, some of the same
9 questioning we've asked on other sites, you
10 know, what percentage of claimants have you
11 found have bioassay records, at least enough to
12 bound like you're saying?

13 **DR. NETON:** Right, I think -- I think the
14 question that's emerging --

15 **MR. GRIFFON:** (Unintelligible) close to 100
16 percent on -- on that or...

17 **DR. NETON:** Oh, I don't know.

18 **MR. GRIFFON:** You don't -- yeah.

19 **DR. NETON:** But -- but the question really is,
20 if you -- if you have monitored workers, I
21 think -- I hope we're in agreement that we can
22 move those forward and incidents may appear not
23 to be relevant necessarily, or useful. Now you
24 have the high five approach that is applied to
25 people who were not monitored, did not appear

1 to have to be monitored, and I hope that we can
2 convince people that those are bounding
3 estimates for that group of workers. What's
4 left in the middle here is the unmonitored
5 workers who probably -- who should have been
6 monitored, in our judgment, and we have a hole
7 there. And honestly, from this discussion it's
8 not clear in my mind exactly how we're handling
9 those, and we need to come to the table with
10 that approach and --

11 **MR. GRIFFON:** We're on the same page.

12 **DR. NETON:** -- we'll do that. So I -- I don't
13 disagree that we have some holes here, but I
14 guess I got off on my little soap box about the
15 incidents and got carried away.

16 **MR. FITZGERALD:** I just want to remind
17 everybody, this was a year-and-a-half-old
18 issue.

19 **DR. NETON:** Yeah, that's why I get frustrated.

20 **MR. FITZGERALD:** And in the context of today's
21 discussion, we're perfectly happy to see the
22 tank farm registry data actually be accessed
23 and that's as far as we'd see it. So again, I
24 think we go backwards in time on some of these
25 issues. We're going backwards on our

1 understanding of where things were.

2 At any rate --

3 **MR. GRIFFON:** I think just to clarify -- I
4 think there's two different databases. There's
5 this tank farm incident data and then --

6 **MR. FITZGERALD:** Right.

7 **MR. GRIFFON:** -- there's the --

8 **MR. FITZGERALD:** That's what I thought.

9 **DR. NETON:** Registry data.

10 **MR. FITZGERALD:** Registry.

11 **MR. GRIFFON:** -- registry Tom LaBone's -- sort
12 of intake registry, yeah.

13 **DR. NETON:** Right, right. That was the -- that
14 was the genesis of our -- source document for
15 the high five approach, the registry data.

16 **MR. FITZGERALD:** And again, we're getting into
17 the tail end of the observations on that site
18 profile, so these are -- a lot of these are
19 just clarification issues.

20 **COMMENT FOURTEEN:**

21 And number 14 -- we can move along -- is
22 exactly that, that as -- as we're going through
23 this it seemed like there were additional
24 sources of -- particularly neutron dosimetry
25 information that did not seemingly get

1 addressed in the site profile, and from a --
2 again, from --

3 **MS. ROBERTSON-DEMERS:** (Off microphone)
4 (Unintelligible)

5 **MR. FITZGERALD:** -- from the standpoint of just
6 providing some references -- providing some
7 references for that additional information.
8 Kathy?

9 **MS. ROBERTSON-DEMERS:** Actually it was Savannah
10 River's problem. When I went down there for
11 site expert interviews --

12 **MR. FITZGERALD:** You need to speak up.

13 **MS. ROBERTSON-DEMERS:** -- some -- probably two
14 years ago, I was told by the records person at
15 the time that he was not providing the pages
16 from the neutron log books for 1963 through
17 1972 because they had not been pulled back from
18 the archive. He did not have them in his
19 possession. And this is just simply telling
20 you there's data out there, and if one of your
21 criteria for assigning missed neutron dose is
22 does a person have neutron dose, well, this log
23 sheet may tell you that. It's just really
24 additional information.

25 **DR. GLOVER:** Are you saying that it's --

1 **MS. ROBERTSON-DEMERS:** That is --

2 **DR. GLOVER:** -- not contained in their annual
3 report?

4 **MS. ROBERTSON-DEMERS:** That is not given to
5 you.

6 **MS. THOMAS:** It's not submitted as a part of
7 the DOE submittal is what you're saying --

8 **MS. ROBERTSON-DEMERS:** Right.

9 **MS. THOMAS:** -- it's in the archive and --

10 **DR. GLOVER:** Maybe a specific --

11 **MS. THOMAS:** -- or it's not convenient --

12 **DR. GLOVER:** -- request to pull it.

13 **MS. THOMAS:** -- for them to...

14 **MS. ROBERTSON-DEMERS:** Now this was two years
15 ago when we had talked, and I did try to get
16 ahold of the records person to verify that this
17 was still the case, but he must be on vacation.

18 **MR. SIEBERT:** This is Scott. I have a quick
19 question there. Did that mean it also would
20 not show up on the HPAREA annual results?

21 **MS. ROBERTSON-DEMERS:** It depends upon whether
22 they terminated in 19-- prior to 1979.

23 **MR. GRIFFON:** If it terminated prior to that,
24 wouldn't show up; is that what you're saying?

25 **MS. ROBERTSON-DEMERS:** Right. HPAREA, in

1 general, is for those who terminated from 1979
2 forward. Assuming that you're talking about
3 the 1999 version.

4 **MR. SIEBERT:** That just surprises me because
5 I've seen many cases where a person has HPAREA
6 results only for like the '50s and '60s, but I
7 -- I could be just misremembering.

8 **MS. ROBERTSON-DEMERS:** That's why I said in
9 general, because there are -- there are people
10 in there who terminated prior to 1979. There
11 are --

12 **DR. GLOVER:** So you're saying there's a
13 potential source of information that would not
14 be in HPAREA for neutron monitoring. Okay.

15 **MR. FITZGERALD:** And the references are pretty
16 specific, so I think you could probably make
17 the request and track it down.

18 **MR. GRIFFON:** Right.

19 **MS. ROBERTSON-DEMERS:** And those T numbers I
20 believe are box numbers. Or record numbers.

21 **MR. ALVAREZ:** So am I to assume that -- this is
22 Bob Alvarez -- that the bioassay data or data
23 that is centralized is not based on the review
24 of the bioassay log books?

25 **MR. GRIFFON:** You -- you're talking bioassay

1 now? We're talking neutrons.

2 **MR. ALVAREZ:** Yes, bioassay.

3 **MR. GRIFFON:** You're back to bioassay? I think
4 the bioassay registry is based --

5 **MR. ALVAREZ:** Well, I heard that was based on
6 the collection of -- of exposures of interest
7 of an individual who was a senior figure in the
8 health physics program, but what I'm getting at
9 is, you know, at Mound the -- Mound Laboratory
10 the bioassay program was pretty much
11 reconstructed on the basis of the log books and
12 am I to understand that the data you're using
13 is not based on actual compilation of the log
14 books?

15 **DR. GLOVER:** They're from a series of cards, if
16 I remember -- correct, Scott? The actual --
17 the people have a series of bioassay cards that
18 record all their plutonium and tritium and --
19 and uranium exposures.

20 **MR. SIEBERT:** That's correct.

21 **MR. GRIFFON:** That's what's in the individual
22 files.

23 **DR. GLOVER:** Right, we get the original copy
24 that was written down.

25 **MR. ALVAREZ:** I see.

1 **DR. GLOVER:** So those are all just hard copy
2 records.

3 **MR. GRIFFON:** So -- I was just going to say
4 this -- I mean this seems to be ten years of
5 potentially missing neutron data. That seems
6 like more than an observation, to me --
7 potential (unintelligible) --

8 **MR. FITZGERALD:** Well, it was -- it was an
9 observation about the completeness of the
10 records that were being accessed.

11 **MS. ROBERTSON-DEMERS:** Being provided.

12 **MR. FITZGERALD:** Yeah.

13 **MR. GRIFFON:** Right.

14 **MR. FITZGERALD:** Yeah, the distinction between
15 the primary findings that we've made were ones
16 that had direct influence (unintelligible) dose
17 reconstruction. Some of the factual accuracy
18 and completeness issues we've put in as
19 observations, and this is how this one's
20 listed.

21 **DR. WADE:** All right.

22 **MR. FITZGERALD:** But it sounds like it's fairly
23 straightforward. We'll assume that -- that
24 NIOSH can report on what happened on this.

25 **COMMENT FIFTEEN: GUIDELINES**

1 For number 15, those who know Hans Behling will
2 recognize this finding from way back when,
3 which gets to the difficulty in terms of going
4 through the guidelines and -- and impenetrable,
5 complex array of guid-- again, I think we've
6 covered that, Task III. Hans has -- I think
7 has sated his concerns in the task he's been
8 working in for a year and a half, so we think
9 this has definitely been overtaken, but it was
10 an issue a year and a half ago -- almost two
11 years ago now.

12 **MR. GRIFFON:** Your last line is correct there I
13 think, deferring it to the --

14 **MR. FITZGERALD:** Right.

15 **MR. GRIFFON:** -- dose reconstruction procedures
16 review.

17 **COMMENT SIXTEEN: CONSTRUCTION WORKERS**

18 **MR. FITZGERALD:** We'll certainly defer it to
19 the procedural reviews that are going on, and
20 likewise, on number 16, at the time -- again --
21 we were concerned about the issue of
22 construction workers, and we understand better
23 now that that's been a special activity that's
24 been going on. I don't know, is it -- I guess
25 it's still going on now.

1 **DR. NETON:** Yeah, I've been saying this for a
2 while, but its release is imminent. That's my
3 story and I'm sticking to it.

4 **MR. FITZGERALD:** We're not pressing.

5 **DR. NETON:** Yeah. Well, I'm expecting
6 something today or tomorrow, another revision,
7 so...

8 **MR. FITZGERALD:** Okay.

9 **MR. GIBSON:** Does anyone have anything else?

10 **MR. FITZGERALD:** I guess as far as overall,
11 revisiting the matrix I guess would be the only
12 way to keep sanity in this process, just given
13 the -- the length of time. How do you want to
14 proceed on that?

15 **DR. GLOVER:** (Unintelligible) -- I'm sorry?

16 **MR. FITZGERALD:** Just trying to update the
17 matrix and just trying to address these issues
18 -- just process those questions.

19 **MR. GIBSON:** I'll try to go ahead and update
20 the matrix as far as a separate column of what
21 I think we've accomplished today, and I'll e-
22 mail it out to the different parties and you
23 guys can give me your comments and we'll revise
24 it and go from there, and then send out a final
25 to everyone else, if that's acceptable.

1 **MR. ALVAREZ:** May I just -- this is Bob
2 Alvarez. May I ask one other thing? There is
3 a paper that is I think going to be published,
4 if not recently published, from University of
5 North Carolina looking at the -- evaluating
6 external radiation exposure records at Savannah
7 River Site. And this -- I -- I have a pre-
8 publication draft, but if I recall -- I'm
9 looking it up right now -- there -- there were
10 -- I think these researchers found -- yeah,
11 15,752 annual dosimetry records in historical
12 log books that were not included in HPAREA.
13 Now this is -- I need to talk to the authors to
14 make sure this is published, but this is by
15 Richardson, Wing and Daniels from the
16 University of North Carolina and this was done
17 (unintelligible) with NIOSH.

18 **DR. NETON:** Yeah, I have -- I -- I am aware of
19 this publication coming out and, you know, we
20 need -- we need to address these issues when
21 they're surfaced, but I think -- you know, we
22 need to look at HPAREA versus also what we get
23 from the hard copy records from the site and --
24 it's not clear to me from what they found how
25 relevant it may be to dose reconstructions that

1 were conducted.

2 **MR. ALVAREZ:** No, I'm just simply mentioning it
3 for purposes of information.

4 **DR. NETON:** No, I understand.

5 **DR. WADE:** Thank you.

6 **MR. GRIFFON:** And -- and for those of us who
7 are just coming up to speed on the data sources
8 for Savannah River, HPAREA -- or I've been
9 calling it HP area, but I guess it's HPAREA --

10 **MS. ROBERTSON-DEMERS:** Actually that's --
11 that's the annual historical reports, H --
12 well, they were in the process of changing it
13 over when I was down there, but they had HPRAD,
14 which was supposed to contain bioassay data and
15 external data, and HPAREA is just simply a
16 historical file spun off every year and
17 compiled.

18 **MR. GRIFFON:** Now the -- the HP -- oh, I see,
19 H-P-A-R-E-A, area, whatever, that's on the O
20 drive -- it seems to me that has claimant
21 information only or -- or is it site-wide data
22 or what is it?

23 **MS. THOMAS:** I think if it's on the O drive it
24 contains data for all --

25 **MR. GRIFFON:** (Unintelligible)

1 **MS. THOMAS:** -- no --

2 **MR. GRIFFON:** Oh, for all.

3 **MS. THOMAS:** -- the claim data would be in
4 individual -- in claim files in NOCTS, so if
5 it's on the O drive, it's probably the entire
6 database, which would be people who -- you
7 know, cla-- Energy employees and --

8 **MR. GRIFFON:** Okay.

9 **MS. THOMAS:** -- all Energy employees, whether
10 they've filed claims or not, is what I'm trying
11 to say.

12 **DR. NETON:** We need to verify what's -- what's
13 -- what that is. Sam, --

14 **MR. GRIFFON:** Yeah.

15 **DR. NETON:** -- could you make sure we know what
16 that is?

17 **DR. GLOVER:** (Unintelligible) what's on...

18 **DR. NETON:** I know at one point -- I know at
19 one point we -- we imported the Health Energy
20 Research Branch's HPAREA data files into OCAS.
21 And to what extent they were transported onto
22 the O drive, I'm not certain. We need to make
23 sure we understand what's there. It could --
24 it could be that, but I've always -- I've --
25 I've learned not to assume anything these days.

1 **DR. WADE:** And Mike, next steps, once you get
2 that out, are you thinking of a meeting
3 sometime in the future or...

4 **MR. GIBSON:** That, or possibly a phone call
5 before the September Board meeting so we could
6 have -- you know, update the Board.

7 **DR. WADE:** Okay. With a likely report to the
8 Board then from this working group in
9 September.

10 **MR. GIBSON:** Hopefully, if that wouldn't be
11 over-reaching.

12 **DR. NETON:** I know Sam is new to the process.
13 I'd like to also encourage the use of the --
14 sort of the minutes version -- conference call
15 -- the technical conference calls to -- to deal
16 out -- deal with very specific issues are okay
17 to have without the full court reporter as long
18 as the issues are well-defined and dealt with
19 and minutes are taken. Sometimes those are
20 very helpful to deal -- I think some of the
21 issues that's come to mind here are maybe this
22 -- the database for the --

23 **DR. WADE:** Tank farm.

24 **DR. NETON:** -- tank farm issues and maybe the
25 high five approach. Those are some very

1 specific technical issues, and maybe tritides,
2 that could be discussed inside. Of course
3 Board members are welcome to participate or sit
4 in on these calls, but not oblig-- obligated
5 to. We -- we've had very good luck with those
6 in the past at the -- I know the Y-12, we did
7 several of those, at Bethlehem Steel we did
8 some and they're -- they're very good technical
9 -- down and very nitty-gritty technical
10 exchanges.

11 **DR. GLOVER:** That's a good idea.

12 **MR. GIBSON:** Once I get the -- the matrix
13 updated and sent out to the -- the parties and
14 you guys give your responses, maybe you can
15 help me decide whether we think we need another
16 face-to-face meeting or whether a phone call
17 would be sufficient.

18 **DR. WADE:** Very good.

19 **MR. GIBSON:** Other than that, anyone has
20 anything else, I'd say we're finished for the
21 day.

22 **DR. WADE:** Yeah, that you all very much.

23 **DR. GLOVER:** Thanks to everybody from ORAU.

24 (Whereupon, an adjournment was taken at 3:30
25 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of August 22, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 29th day of September, 2006.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**