

1 **MR. HENSHAW:** Okay, we've entered the data.
2 Now we skip over – oh, I'm sorry. Now we go to
3 enter doses since it was other sources, not
4 radon. Then – well, let me back up a second here
5 just to clarify something.

6 You'll notice there's an input field for
7 number of exposures under exposure information.
8 We're going to – for this hypothetical case, just
9 for simplicity, we're going to say there was one
10 exposure. Now we need to enter the dose
11 information. Now had I typed in a two into that
12 field – if you'll notice, there's one line for
13 input data, one line for exposure. Had I typed a
14 two into that field there would be two lines;
15 three, three lines, et cetera.

16 So for this case we're going to say the
17 exposure year was 1981.

18 **DR. DeHART:** Where is the employee getting
19 that data? From DOE records, or what?

20 **MR. HENSHAW:** Well, initially, yes. But
21 part of the program also includes actually
22 interviewing each claimant or survivor, or
23 sometimes coworkers, to verify that and maybe
24 obtain additional information if it's available.

25 I'm going to say the exposure is chronic,

1 and let's say this is - the radiation type is
2 alpha, we'll say from plutonium. We use the
3 lognormal distribution, and for the parameters -
4 the first parameter we put the actual number of
5 rems, the dose in rems, into the box for
6 parameter one, and we'll say it was 20 rem.
7 Leave that at two, and leave that at zero,
8 although for lognormal it doesn't matter what's
9 in the third box. For lognormal the parameters
10 are only the first two, the median and the
11 geometric standard deviation.

12 **MS. MUNN:** So what did you do in box two?
13 You had only one exposure?

14 **MR. HENSHAW:** Right. The two - it's not -
15 it doesn't - it's not related to number of
16 exposures.

17 **MS. MUNN:** I understand, but -

18 **MR. HENSHAW:** For - I'd probably refer that
19 question to Jim or one of the health physicists
20 for - or perhaps Mary, if you can answer that.

21 **DR. SCHUBAUER-BERIGAN:** The question is why
22 is there a two in there?

23 **MR. HENSHAW:** Why is there a two in box two?

24 **DR. SCHUBAUER-BERIGAN:** Right. My
25 understanding is that a dose of record is not in

1 the form of a distribution; it's in the form of a
2 single number. And so that could be approximated
3 using a distribution for organ dose that's called
4 constant in the pull-down menu, if you'd like to
5 do that.

6 However, as I mentioned in my presentation,
7 we have the ability to incorporate uncertainty in
8 the radiation dose of the claimant. And a very
9 typical distribution for an uncertainty
10 distribution is a lognormal for exposure data.
11 And so this is just a hypothetical example, but
12 for the case of Department of Labor, the health
13 physicist would reconstruct the dose and would
14 develop that particular dose distribution, and
15 would give the parameter estimates from that
16 process.

17 So this is something that a claimant is
18 likely to not know how to do before seeing their
19 dose reconstruction, which is why there is a
20 pull-down in there, as Russ is showing, for a
21 constant.

22 **MR. HENSHAW:** It's also, incidentally,
23 perhaps a good segue to clicking on this help
24 screen.

25 Again, these are more model details. This

1 attempts to provide some more information about
2 the distribution parameters. And there's also,
3 by the way, a good deal more information on this
4 and other model details for the program and for
5 probability of causation in your handouts and
6 notebook.

7 I'll close this help screen, and now we'll
8 submit the dose data.

9 Now we're back to the earlier screen, the
10 input screen. And now we've done - we've entered
11 all the information we need to enter to calculate
12 probability. All we need do is click on SUMMARY
13 REPORT and wait for the little invisible wheels
14 to turn, and we'll grind out some results.

15 And there it is. You'll notice that much of
16 the information that I mentioned was not actually
17 necessary for the calculations appears in the
18 summary report, including the information on the
19 primary cancer, the date of diagnosis, and so
20 forth, and the demographic information, name and
21 Social Security number. Pretty much spits out
22 just about everything we've plugged into it.

23 And we scroll down to the bottom, and there
24 are the actual calculation results. And as you
25 can see, this - this is driving me nuts. Bear

1 with me here with the glasses change. But as you
2 can see, this individual's claim did not turn out
3 to be compensable because the 99th percentile,
4 the credibility limits, fell below 50 percent.

5 **DR. ZIEMER:** Russ, it might be instructive
6 to now go back with the same dose and increase
7 the uncertainty by raising the standard deviation
8 of the lognormal distribution from two to, say,
9 five.

10 **MR. HENSHAW:** Okay.

11 **DR. ZIEMER:** With the same dose.

12 **MR. HENSHAW:** I haven't tried that. I've
13 tried playing around with the data, with the
14 amount of rem, but not this one, so this might be
15 interesting. Did you say five?

16 **DR. ZIEMER:** Say five.

17 **MR. HENSHAW:** If you're doing this at home
18 and you happen to have a cable internet
19 connection, by the way, it goes really quickly.
20 This is a dial-up we're using here today.

21 So we'll scroll down to the bottom of the
22 page and - about 75 percent.

23 **DR. ZIEMER:** Yeah. This is instructive, and
24 I think points out that uncertainty in the
25 numbers does in fact help the claimant. This was

1 in fact the intent of Congress, that if we don't
2 know very well the decision is made in favor of
3 the claimant. And I think it shows up here in
4 the model, and I just thought - 'cause I've tried
5 some of these, and I -

6 **MR. HENSHAW:** Yeah, it really bears -

7 **DR. ZIEMER:** - thought it would be helpful
8 to see how this plays out. And this, not only in
9 the dose numbers but also in the epidemiological
10 information, uncertainty in either one tends to
11 raise that number.

12 **MR. HENSHAW:** Yes, this does bear out the
13 point someone made earlier. Play around a little
14 bit more with the input data -

15 **DR. ANDERSON:** What about a cigarette
16 smoker?

17 **MR. ELLIOTT:** Leave the dose and GSD as is,
18 and change the smoking history.

19 **MR. HENSHAW:** Oh, okay. Should we go all
20 the way to the extreme?

21 **UNIDENTIFIED:** Go in the middle somewhere.

22 **DR. ANDERSON:** Just go to ten.

23 **MR. HENSHAW:** Ten to 19, or -

24 **DR. ANDERSON:** Yeah, that's good.

25 **MR. ELLIOTT:** Make it reasonable.

1 **MR. HENSHAW:** The original result, before we
2 changed the second parameter, was 43 percent.
3 And then went - go to 80-something, I believe,
4 wasn't it? Claimant still meets the compensation
5 guidelines. It's significantly lower, though.

6 **DR. DeHART:** Try the next higher smoking
7 group, because people will say they smoke a pack,
8 typically.

9 **MR. HENSHAW:** That sets it up so you have to
10 scroll down to see it, too. It builds up the
11 suspense. It didn't have any effect, I don't
12 think.

13 **DR. ZIEMER:** Russ, if you'd put the
14 uncertainty on dose back at the original two, how
15 would the smoking have affected - the smoking is
16 - obviously is having some reduction on the -

17 **MR. HENSHAW:** Let's find out.

18 **DR. SCHUBAUER-BERIGAN:** Russ, I would
19 suggest the importance analysis. You might want
20 to click on the importance analysis first before
21 you do a lot more scenarios, just to show how you
22 can look at that.

23 **MR. HENSHAW:** I'm sorry, Mary, I can't hear
24 you. Could you say that again?

25 **DR. ZIEMER:** Importance analysis.

1 **DR. SCHUBAUER-BERIGAN:** You might want to
2 click on the importance analysis button before
3 you do a lot more individual scenarios,
4 intermediate results.

5 And I'll just say a word or two about that
6 before it shows up. This actually was designed
7 to kind of show the impact of changing various
8 factors or factors that are - of uncertainty that
9 are incorporated into the software program.

10 And first you see the range of doses in the
11 first little table there. That says absorbed
12 dose in centigray. And since there was one
13 exposure, it gives you the percentiles of the
14 actual exposure distribution given that level of
15 uncertainty in the exposure.

16 Then there's a factor for the quality factor
17 or relative biological effectiveness factor,
18 which was used because this is a high-LET alpha
19 exposure. And so you can see the range of
20 uncertainty that's in that factor.

21 And then thirdly, there's the excess
22 relative risk, which is derived from the
23 epidemiologic models, and you see that there's
24 quite a bit of uncertainty associated with those
25 as well.

1 Then you can go to two different pie charts
2 which show the different components of the
3 probability of causation calculation and the
4 various contribution of different sources. So in
5 the first pie chart all the uncertainty comes
6 from the excess relative risk for sources other
7 than radon, since we only had a non-radon
8 exposure here. And then the second chart shows -
9 breaks down that particular excess relative risk
10 uncertainty into various factors.

11 One of them is the organ dose. And we've
12 seen, because the geometric standard deviation is
13 five, that that's the majority of the
14 uncertainty, is contributed from that organ dose.
15 There's a smaller amount of uncertainty
16 contributed by the uncertainty in RBE, and then a
17 fairly high amount is due to the risk
18 coefficients from the epidemiologic models.

19 And Russ, I think there's another one down
20 below that, isn't there? Or is that the last
21 one? Scroll down - yeah.

22 Then the last pie chart takes that adjusted
23 ERR per sievert, since that has many adjustments
24 in it. The original ERR per sievert is the
25 uncertainty derived from the risk coefficients in

1 the atomic bomb survivor analysis. The second
2 one is errors in dosimetry for that group, the A-
3 bomb survivors. Thirdly, there's uncertainty in
4 how those risks should be transferred to the U.S.
5 population, but again that's a pretty small
6 contribution. There's a fairly hefty chunk from
7 the DDREF, the dose and dose-rate effectiveness
8 factor; and then an adjustment for smoking.

9 So this kind of bears out the observation,
10 which was that adjustment for smoking had a
11 relatively smaller impact on the uncertainty than
12 the change in the dose value for this model.

13 **MR. HENSHAW:** Thanks, Mary.

14 Before we - oops.

15 **DR. ZIEMER:** I think we lost it.

16 **MR. HENSHAW:** I clicked on the wrong thing
17 there.

18 **DR. ZIEMER:** I think you lost it.

19 **MR. HENSHAW:** Can you get that back up,
20 Larry? Do we have time for that, or -

21 Well, as it turns out we do have time to
22 actually negotiate - navigate through the screen.
23 So we're on the OCAS home page. We click on
24 PROBABILITY OF CAUSATION, click on NIOSH-IREP,
25 and on the link to the software.

1 One thing I do want to do before we get out
2 of the lung cancer scenario, if we recall the
3 very first scenario we ran, we used an exposure
4 of 20 rems. I just want to show you what happens
5 when we change that to 30 rems. If you recall
6 the result in the first case was 43 percent.
7 Change that to 30 -

8 **DR. ZIEMER:** I think you need alpha there,
9 though. You had electrons for exposure. That's
10 going to make it -

11 **MR. HENSHAW:** Oh, thank you.

12 **UNIDENTIFIED:** Russ, exposure year, was that
13 1981?

14 **MR. HENSHAW:** '81, right. Thanks.

15 By upping the dose in rem from 20 to 30,
16 you'll see that we go from a probability of
17 causation of 43 percent to 53 percent. So that
18 upping the rem dose would make this claim
19 compensable.

20 How are we doing with time? Should I
21 continue with -

22 **DR. ANDERSON:** Can you do an age, an older
23 person? I mean, a 40-year-old non-smoking lung
24 cancer is pretty rare. Change the birth year to
25 1925.

1 **MR. HENSHAW:** Leave the other factors the
2 same?

3 **DR. ANDERSON:** Sure.

4 **MR. HENSHAW:** There's no change.

5 Any other scenarios anyone would like to
6 see, or should I -

7 **DR. ZIEMER:** Go ahead, Rich.

8 **MR. ESPINOSA:** On the other screen you've
9 got exposure information, and you've got the
10 factor of one in there. What is - is one a one-
11 time exposure? Is one lifelong history as a DOE
12 employee? What does that one stand for? Right
13 there on exposure information.

14 **UNIDENTIFIED:** The number of exposures.

15 **MR. HENSHAW:** Oh, right here?

16 **MR. ESPINOSA:** Yeah.

17 **MR. HENSHAW:** Okay. Yeah, we're using in
18 this case one exposure in the year 1981. If the
19 person, say, worked in a facility, had exposures
20 in a number of different years, there would be a
21 separate exposure for each year.

22 **DR. NETON:** Those are effectively exposure
23 years, your annual exposure for a particular
24 radiation type. So for instance, if you had an
25 exposure to alpha concomitant with exposure to

1 gamma, you would have two blocks for 1981, one
2 for the alpha component, that annual component,
3 and one for the gamma component.

4 **MS. NEWSOM:** What's your name, sir?

5 **DR. NETON:** Jim Neton.

6 **MS. NEWSOM:** Thank you.

7 **MR. HENSHAW:** Larry, we're kind of running
8 out of time for Mary's presentation. Should I --

9 **DR. ZIEMER:** Yeah, I think that's probably
10 enough examples. We need to move ahead.

11 Is that agreeable? Do we need to vote on
12 that?

13 [Laughter]

14 **MR. ELLIOTT:** We're all conflicted.

15 **DR. ZIEMER:** By consensus, we're going to
16 move ahead.

17 **DR. SCHUBAUER-BERIGAN:** Okay, in the
18 remaining ten minutes or so for the schedule, I
19 wanted to talk about some of the special issues
20 in running the IREP software for EEOICPA. And
21 some of these we've already talked to you about
22 earlier, but I wanted to just illustrate how this
23 would be done in practice.

24 One of the situations is claims for which
25 more than one IREP run must be conducted. Russ

1 slide for this, unfortunately. For most DOE
2 workers within a given badging period, it'll be
3 unknown to us whether the dose received in that
4 period was received as an acute or a chronic
5 dose. All we might have is their recollection of
6 what they were working at, what they were doing,
7 and what the badges say.

8 Because for most radiation types there's a
9 dose-rate reduction factor applied, assuming that
10 the dose was chronic tends to lead to a lower
11 estimate of probability of causation than by
12 assuming that the dose was received in an acute
13 basis. Since this cannot be known from the
14 available data, again, give the benefit of the
15 doubt to the claimants and use the assumption
16 producing the highest probability of causation
17 estimate.

18 I think that puts us at about a quarter
19 till, but I have time for a few questions, at
20 least.

21 **DR. ZIEMER:** I have a question on that, on
22 the last item. As I understand it, what's being
23 done on the acute versus chronic is to apply a
24 dose-rate factor to the Japanese data.

25 **DR. SCHUBAUER-BERIGAN:** Yes.

1 **DR. ZIEMER:** Now acute in terms of the
2 Japanese exposures is an exposure in, what,
3 microseconds or something like that.

4 **DR. SCHUBAUER-BERIGAN:** Uh-huh
5 (affirmative).

6 **DR. ZIEMER:** I think one would be hard-
7 pressed to find any occupational exposures where
8 the total doses were, outside of accident
9 situations, where you could really argue that we
10 come anywhere close to the acute dose rates in
11 Japan.

12 **DR. SCHUBAUER-BERIGAN:** Well -

13 **DR. ZIEMER:** So what is meant by acute here?
14 And I guess I'm raising the question as to
15 whether one really should apply such a factor for
16 those cases.

17 **DR. SCHUBAUER-BERIGAN:** The justification
18 for use of a dose-rate reduction factor, in my
19 opinion, doesn't stem really from the Japanese
20 atomic bomb survivor data.

21 **DR. ZIEMER:** Oh, it doesn't? I see.

22 **DR. SCHUBAUER-BERIGAN:** In fact, the most
23 recent analyses of that cohort show that the risk
24 per unit dose is about essentially the same,
25 regardless of the dose. There's no - for total

1 solid cancers there doesn't appear to be
2 attenuation of risk at these very low doses. But
3 there's a body of evidence from many other types
4 of studies that supports this. So in defining
5 what is an acute versus a chronic dose, I don't
6 necessarily think that you have to compare the
7 Japanese exposure scenario to a DOE worker.

8 This topic did come up in a NAS review panel
9 of the NCI model, and I believe that the
10 operating definition that was suggested was
11 something on the order of hours to be considered
12 an acute dose. Charles can correct me if that
13 recollection is incorrect.

14 **DR. ZIEMER:** Is this based on epi data or on
15 in vitro or cell data, or do we know? Anybody
16 know?

17 **DR. SCHUBAUER-BERIGAN:** It's, I would guess,
18 based on an amalgam of many different types of
19 studies, and there's been many committees
20 established to evaluate dose-rate effectiveness
21 factors. We're most concerned about the
22 operating definition that should be used in this
23 application. And if we're talking the order of
24 hours or days to define an acute dose, then I
25 think we have probably a greater need to allow

1 for -

2 **DR. ZIEMER:** Yeah, I was looking for
3 clarification. I think it's certainly
4 appropriate, if you have a - let's say a film
5 badge or a TLD badge where you have some reading
6 and you know the person's worn that badge for 30
7 days, it would be prudent to assume they got the
8 dose all on the first day or something. So it's
9 acute in the sense that it's within, say, eight
10 hours or some lesser number of hours, maybe one
11 hour, but - is that what we're talking about by
12 acute here in this case?

13 **DR. SCHUBAUER-BERIGAN:** Yes.

14 **DR. ZIEMER:** Okay.

15 **MR. ELLIOTT:** We know of criticality
16 incidents like 1958 at Y12 where several
17 individuals were exposed, and that would be one
18 we would count as an acute event. Am I correct?

19 **DR. SCHUBAUER-BERIGAN:** Yes. Yes, and
20 here's - there's also an example of -

21 **UNIDENTIFIED:** (Inaudible)

22 **DR. SCHUBAUER-BERIGAN:** Well, an opposite
23 type of example would be an alpha - a plutonium
24 exposure to bone, where it's well known that you
25 received that exposure, and then you get these

1 tissues irradiated over – on a chronic basis
2 throughout the life of the individual. So that
3 would be a clear example where we know it's a
4 chronic type of exposure, and then that would be
5 used.

6 **DR. ANDERSON:** That was my question in the
7 program there. When would chronic be chosen?

8 **DR. SCHUBAUER-BERIGAN:** Chronic would be –

9 **DR. ANDERSON:** Would it be related to
10 certain elements, what types of exposure, or –

11 **DR. SCHUBAUER-BERIGAN:** It would absolutely
12 be related to type of exposure. And in most
13 cases – and Jim and some of the other health
14 physicists can speak to this – but I think in
15 most cases an alpha exposure would be considered
16 a chronic exposure.

17 **DR. NETON:** There's really no plausible
18 alpha exposure that we could come up with that
19 would be considered an acute case with possible
20 exception of radon daughters, but that's handled
21 in a whole separate risk model. It's not covered
22 under this model.

23 **DR. SCHUBAUER-BERIGAN:** There's another
24 example of where we might call it a chronic dose,
25 and that is neutron exposure.

1 **DR. ANDERSON:** Right.

2 **DR. SCHUBAUER-BERIGAN:** There is the
3 incorporation of an inverse dose-rate
4 effectiveness factor for neutrons as a high-LET
5 emitter.

6 **DR. NETON:** This is something we're
7 wrestling with, because you could have the same
8 film badge, record the same exposure, and in one
9 case you'd be forced into calling neutrons
10 chronic and gamma acute. And so it's a policy
11 issue that we have to deal with.

12 **DR. SCHUBAUER-BERIGAN:** Right.

13 **DR. ANDERSON:** I was only asking as it
14 relates to an individual getting on your web page
15 and trying to do their own profile versus yours
16 that you would do for adjudicating a claim. You
17 know, they might get the wrong - if this allows
18 them to use acute when in fact it's chronic, you
19 may -

20 **DR. SCHUBAUER-BERIGAN:** Right. Well, that -

21 **DR. ANDERSON:** - want to program it such
22 that it doesn't allow you to do that if it's
23 almost always one or the other.

24 **DR. SCHUBAUER-BERIGAN:** Yeah, that's one of
25 the dangers of making the program publicly

1 available, is that there's - until the dose
2 reconstruction is complete and the rule is
3 finalized, there is no way for a claimant to
4 guarantee that when they do their own probability
5 of causation calculation that it would be the
6 same as the one that DOL will eventually compute
7 for them. And that's just one of the many
8 factors that weights, plays a part of that.

9 **DR. ZIEMER:** Are there any further questions
10 at this time?

11 [No responses]

12 **DR. ZIEMER:** If not, let's proceed then to
13 the next item, which is the dose reconstruction
14 rule, 42 CFR 82, and back to Ted Katz, I believe.
15 Ted.

16 **MR. KATZ:** Thank you, Mary.

17 Hello again. Okay, I'm going to do more or
18 less the same as what I did for or against Mary,
19 which is to start the ball rolling for Jim,
20 who'll give you more technical background. But
21 I'm going to give you background on it and a
22 general, very brief overview on the dose
23 reconstruction methods which, as we've talked
24 about, are already effective.

25 So here's my overview here. I'm going to

1 discuss what the purpose of these methods is, how
2 they'll be used, what Congress requires with
3 respect to these methods. I'm going to give you
4 some basics of dose reconstruction under the
5 interim rule. And then two issues, one a very
6 core issue, which I say here, how NIOSH will
7 balance efficiency and precision. And then a
8 sort of extreme case that we address in the rule
9 too, which is what happens when NIOSH cannot
10 complete a dose reconstruction.

11 So the purpose of the methods is to
12 establish how NIOSH will estimate radiation doses
13 incurred by employees. Each employee needs dose
14 estimates to be able to have a probability of
15 causation determined, and the dose estimates will
16 be used by DOL to determine that cause.

17 NIOSH, I make this point, will make - will
18 conduct dose reconstructions for cancer claimants
19 only. This is important. These dose
20 reconstructions are entirely designed for making
21 compensation decisions, and you wouldn't design
22 them the same way if you were doing research.
23 And it ends up being very important, but we don't
24 have, in the case of a claimant, years to decide
25 how much dose they were exposed, in effect.

1 What does Congress require here? First, it
2 requires that the methods must be applied for
3 employees, and it specifies not monitored,
4 monitored inadequately, and with incomplete
5 records.

6 Now in practical terms, it means the methods
7 will be applied for all claims, and let me
8 qualify that here. Someone has to determine
9 whether they were monitored adequately or not and
10 whether they had complete records and so on. So
11 these are going to have to come to NIOSH to have
12 a look, at the very least. And then the extent
13 to which a dose reconstruction is done is
14 determined on a case-by-case basis, depending on
15 what you have there. But we will have to handle
16 the cases for all the claims. And the Board has
17 a very important role which has been discussed,
18 which is to independently review the methods and
19 a sample of dose reconstructions.

20 What are the basics? We talk about this in
21 the rule. We rely on a hierarchy of data that
22 starts with personal monitoring data and extends
23 to monitoring process and source information.

24 The key issue, as I say here, is the
25 completeness and adequacy of the data. And what

1 this requires, then, is that we address all
2 sources of data. So the hierarchy, it's a little
3 bit misleading for some in reading this rule,
4 perhaps, thinking that we're just then using the
5 monitoring data if there's monitoring data there.
6 But no, in fact we're going to have to look at
7 these other sources of data to interpret that
8 monitoring data.

9 And a key element of this, as has been
10 discussed earlier, is we're going to be
11 interviewing the employees to identify and fill
12 data gaps and help interpret the data. The
13 employees can tell us about actual monitoring
14 practices, perhaps, versus official practices.
15 They can tell us about incidents that occurred
16 that may not show in their record, and so on.

17 And it's important to note here that we're
18 dealing with a lot of claims that are going to be
19 coming as well from survivors, and the survivors
20 typically know very little about what their
21 spouse did. And this is why in those cases we'll
22 be going to coworkers as a surrogate for the
23 deceased spouse.

24 To continue on here, Jim Neton's going to
25 really go into detail about this next point.

1 We're going to make the use of the best science,
2 ICRP models and a state-of-the-art internal
3 dosimetry program.

4 Very importantly, we're going to provide
5 full accounting to the claimant of the methods,
6 data, assumptions used. They will have, at the
7 end of the process, a report that accounts for
8 all the information they provided, for all the
9 information we obtained from DOE, and for all we
10 did with that information. So they will be fully
11 informed. They can take that information and not
12 have to flay us for more information to
13 understand what happened in the process.

14 And also importantly, the claimant's going
15 to be very involved with us in doing the dose
16 reconstruction. But at the end of it all, if
17 they are dissatisfied, if they have reason, they
18 have cause to think that we haven't applied our
19 methods appropriately, they can seek review
20 through DOL.

21 Now this is what I mentioned as a really
22 core issue, which is I think unique to our
23 program here, how NIOSH will balance precision
24 and efficiency. And you see this first bullet is
25 already outdated after a couple of weeks, because

1 I say 12,000 claims and they already have at DOL
2 15,000 claims that are coming our way -
3 incredible, unprecedented volume that we're
4 dealing with of dose reconstruction here. And it
5 doesn't allow us to do dose reconstructions, as
6 we've said, if we're going to provide timely
7 service the way we would for research. And
8 Congress emphasized the need for timeliness, and
9 it's obvious for the human need here. I'm going
10 to remind everyone we're doing dose
11 reconstruction to permit claim decisions, not
12 achieve precision here.

13 So the basic strategy here to get to that
14 point, to be able to do this while ensuring
15 fairness, is to shortcut the process, in effect,
16 for two groups.

17 For groups with very high doses what we're
18 going to do is curtail data collection and
19 analysis. There's no point delaying their
20 compensation for us to develop a more precise,
21 complete dose reconstruction record. So we're
22 going to move those claims as quickly as
23 possible, and they'll have their compensation
24 sooner.

25 And then the other extreme is employees with

1 very low doses. Once we've collected enough
2 information to know that, including speaking with
3 the claimant or coworker and so on, is to use
4 worst-case assumptions so that there's no doubt
5 for the claimant that their dose hasn't reached a
6 compensability level.

7 And then for all those claims that fall in
8 the gray area which aren't obviously extremely
9 high or extremely low, we will proceed with the
10 full process.

11 Last issue, what happens when NIOSH cannot
12 complete a dose reconstruction? Now we don't
13 have a good feel, I don't think, at this point
14 for how common this fix will be. But it's clear
15 to us that it's going to be relatively rare, I
16 think. And it's going to be situations where we
17 have very little information about source and
18 process.

19 Anyway, this situation has been anticipated
20 by EEOICPA, by Congress, which allows for SEC
21 petitions, petitions to be added to the Special
22 Exposure Cohort. And several people talked
23 earlier that HHS is responsible for these
24 procedures and these are in the works. And
25 you'll be hearing about these in future meetings.

1 And the last point I want to make here about
2 these is while this is a remedy for most, there
3 may be individuals who we can't do a dose
4 reconstruction for who have - don't have a cancer
5 on the specified cancer list. And in their
6 situation this isn't a remedy. This is not an
7 avenue for compensation.

8 Thank you. And would you like me to take
9 questions, or wait for Jim?

10 **DR. ZIEMER:** Well, let's see if there are
11 questions at this moment.

12 Yes, Dr. Roessler?

13 **DR. ROESSLER:** When you talk about the
14 shortcut process and the very low doses, what's
15 your definition of a very low dose? I mean, is
16 there a number that you use that puts them in
17 that -

18 **MR. KATZ:** There is - no, there isn't a
19 number, because low dose depends on what type of
20 cancer and a number of parameters. But given the
21 volume of experience that's going to be gained
22 very quickly here, we'll learn what it means in
23 different situations. And so there's no - we
24 couldn't say - we couldn't put out one number
25 that's going to work for all these cancers, for

1 all these exposure situations, and so on. But
2 it'll be cases where it's evident that the dose
3 is far too low to be compensable, again in the
4 judgment of the experts who are going to be
5 running all this work.

6 Any more questions?

7 [No responses]

8 **DR. ZIEMER:** Okay. We'll proceed, then,
9 with -

10 **MR. KATZ:** Thank you.

11 **DR. ZIEMER:** - Dr. Neton, who will give
12 additional information on dose reconstruction.

13 **DR. NETON:** Good afternoon. It's a pleasure
14 to be here and finally address the Board, after
15 it seems like an eternity of waiting for your
16 arrival. I appreciate your input on any of the
17 information that we're talking about today.

18 In particular I should point out that what
19 I'm going to discuss is draft. No final
20 decisions have been made by our office on these
21 technical issues. These are just some of the
22 ideas that we're sharing at this time.

23 I am Jim Neton, and I'm the Health Science
24 Administrator within the Office of Compensation
25 Analysis and Support. And I've got the

1 challenging effort of trying to process these
2 tens of thousands of claims with a staff of some
3 very qualified people – health physicists and
4 claims processors – to try to make some sense as
5 to how we're going to approach this and do this
6 in a timely manner to award claims, hopefully not
7 in glacial time but in – not in real time,
8 either, but to make it as efficient and fair a
9 process as possible.

10 Now the first thing I think it's important
11 to talk about is the difference between
12 compensation dose and regulatory dose. We've
13 hinted about this all afternoon in going through
14 the probability of causation estimates and such,
15 but there are a number of key differences between
16 what a compliance program in the field that the
17 DOE ran for years to try to ensure their workers
18 were adequately protected, versus what we need to
19 know to determine if the probability of
20 compensation is equal to or greater than 50
21 percent.

22 The first issue is the compensation dose
23 evaluation period is not limited, or is limited
24 only to covered employment. For example, we're
25 not interested in lifetime monitoring dose, which

1 many DOE sites have a fairly good handle on, but
2 that's not relevant. And in fact, we need to
3 know something more than that. We need to know
4 the person's dose from the date of first exposure
5 of covered employment to the date of the
6 diagnosis of cancer. That's the only period that
7 we're really concerned about that will be
8 actually input in the probability of causation
9 calculation. So in that respect we need to pull
10 a lot of monitoring records through, sift through
11 them, and pull out that unique time frame.

12 The other issue is that it includes
13 internal, external and some occupationally-
14 acquired medical sources of exposure. Those of
15 you who have done health physics work in the DOE
16 are aware that prior to the late eighties, like I
17 think 1/1/89 comes to mind, internal doses were
18 not really calculated at DOE facilities. They
19 were - workers were protected based on what they
20 called the maximum permissible body burden
21 concept, which was dosimetrically based, but does
22 not provide the type of information that we would
23 need for a compensation scheme.

24 In addition, this occupationally-acquired
25 medical sources of exposures is unique to our

1 process as well. And what we mean by that is
2 medical exposures that were incurred by a worker
3 as a condition of employment. For example, there
4 are some sites where to be, in the earlier days,
5 to be qualified as an asbestos worker, you were
6 required to undergo an annual chest X-ray. It
7 was required for you to do your job. In our
8 opinion, therefore, that is occupationally-
9 derived exposure that should be included in his
10 compensability examination. Routine physical
11 examinations, if they were voluntary, that sort
12 of thing, would not be included under this.

13 And it's probably pretty obvious after going
14 through the probability of causation examples
15 that Russ and Mary did that an annual dose is
16 required for a probability of causation estimate.
17 We cannot use the 50-year committed dose
18 equivalent or committed effective dose equivalent
19 that is currently applied to Department of Energy
20 workers.

21 And I know some sites have actually gone
22 back and done sort of pseudo dose reconstruction
23 efforts and calculated a worker's 50-year
24 committed dose from earlier years of employment.
25 That information would be useful for us, but not

1 necessarily in that form. We still are going to
2 have to pull out the annual dose, because as you
3 saw earlier, the probability of causation changes
4 depending upon the distribution, annual
5 distribution profile of that worker's exposure.

6 On a similar note, the committed effective
7 dose equivalent concept, as I mentioned, is not
8 applicable. The 50-year dose that's calculated
9 to a worker from an internal exposure is not
10 something useful for us, nor is the effective
11 component of that. The effective dose component
12 of that calculation is really a risk-based unit.
13 I mean, it's taking a radiation exposure and
14 trying to equate it to a risk to protect the
15 worker. We need to strip the effective component
16 out, and as you saw earlier, IREP actually does,
17 has the risk model built into it.

18 So in a sense, what we are ending up with
19 with our calculations is a dose equivalent, the
20 old Hp, H=DQN type thing, dose times a quality
21 factor times other modifying factors. And that
22 is in fact what we need to calculate.

23 Okay. Continuing on with some of the
24 differences, at least as I see them, for external
25 exposures the film badges and TLD badges have

1 been used historically since virtually the
2 inception of DOE operations. But what that does
3 is that measures the dose to the badge. In the
4 earlier years it measured the dose to the badge.
5 Under current regulatory framework, you actually
6 measure the dose – you try to estimate the dose
7 at one centimeter deep in the body, and we'll
8 call that deep dose.

9 Well, that may or may not be applicable to a
10 worker's compensation analysis. For example,
11 organs that are very deep in the body, such as,
12 you know, the liver or a lung, which is covered
13 by five centimeters of overlying chest tissue,
14 may be lower than the badge reading that the
15 worker received.

16 Now for most scenarios – and I'm going to
17 talk about this in some detail tomorrow – it's
18 pretty close for high energy photons. The
19 situation where you get into very low energy
20 exposures, such as from americium-241, 60-keV
21 gammas or plutonium X-rays, there can be massive
22 differences between the recorded badge dose and
23 the actual dose delivered to the organ. And we
24 need to take a look at that and bring some sanity
25 to that calculation.

1 A very important point is that undetected
2 dose, also known in the business as missed dose,
3 is an important factor. In a regulatory
4 framework one is interested, particularly in the
5 earlier years, of maintaining employees' exposure
6 below some regulatory limit, and the monitoring
7 programs could have a fair amount of dose that
8 was undetected and still be considered adequately
9 protective of the worker. We need to take that
10 into account when reconstructing the worker's
11 exposure.

12 I'm going to go over a couple of little
13 examples of that later on, but the classic
14 example is the film badge has a certain detection
15 limit. In the earlier years it could have been
16 as high as 30 millirem received on a weekly basis
17 by an employee. And if that badge was exchanged,
18 like I said, every week, then there's a potential
19 - I'm not saying it was received - but a
20 potential for the worker to receive upwards of
21 one and a half rem of exposure and had gone
22 undetected. So we are developing ways of dealing
23 with that in our guidelines.

24 Another factor is uncertainty distributions
25 are allowed. In the compliance-based world

1 they're point estimates. I've never seen any
2 errors associated, unless maybe some massive dose
3 reconstruction for some really big incident like
4 a criticality, errors are not typically assigned
5 because they're below the limit, and that's fine.
6 We have the opportunity here to characterize
7 these uncertainty distributions for each worker.

8 We've demonstrated earlier with IREP as to
9 what the change in the standard deviation of that
10 estimate can do to the probability of causation.
11 We're taking a long, hard look at how we actually
12 apply those, particularly in the area of internal
13 dose where geometric standard deviations – well,
14 if it's lognormal distributed, a gSD of two or
15 three is probably not unheard of.

16 And the other, one of the nice features that
17 we have available to us, is we're not constrained
18 by regulatory-required science. All the current
19 standards – the Department of Energy right now is
20 based on the old ICRP 30, 26 dose limitation
21 philosophy, which is fine. But there are more
22 current and appropriate models out there that we
23 feel are better science and do a better job at
24 estimating the actual dose to the organ. And
25 we'll talk a little bit about that.

1 Okay, a technical approach. The first thing
2 we need to do is to take a look at all doses of
3 record and evaluate them for data quality
4 shortcomings. We are not going to accept even
5 personnel monitoring data at face value and
6 assume that it's adequate. I mentioned in the
7 earlier days at some facilities there were
8 plutonium exposures that - it's well known that
9 the badge was not capable of detecting those low
10 energy X-rays, so those were unrecorded. We need
11 to make some adjustments to those data as we
12 develop our knowledge base of the technology at
13 the different sites.

14 As I talked about, we're going to assess the
15 capability of external programs over time, look
16 at the badges, their response to neutrons, gamma,
17 X, and in particular the radiochemical techniques
18 for bioassay sampling needs to be taken a look
19 at. In the early days some of the radiochemical
20 processes, although they were good, were -
21 tracers weren't necessarily used all the time, so
22 one does not really know about the chemical
23 recovery of the method that was used, different
24 issues like that; the efficiency of the alpha
25 proportional counters that were used. We're

1 going to take a look at all those types of
2 information.

3 I talked about earlier looking for the
4 potential for undetected dose. And for external
5 exposures we've concluded that we're going to use
6 - and I'll talk in much more detail tomorrow if
7 there's time - about what they call the limit of
8 detection divided by two. If a badge could read
9 30 millirem, there are a number of papers out
10 there - Hornung, et al. and others - have
11 suggested that the detection limit divided by two
12 is an appropriate metric to estimate the central
13 tendency estimate of that exposure for that
14 monitoring period. But it's a little more
15 complicated than that, whether it's a lognormal
16 or normal distribution. We can talk about that
17 tomorrow.

18 And a parallel note, the minimum detectible
19 internal dose is even more complicated because
20 bioassay monitoring programs have a certain
21 detection limit, but depending on how frequently
22 a sample is collected for a worker, the dose
23 could be - is quite - the undetected dose is
24 quite variable. It's sort of intuitive that if
25 one takes a sample on an annual basis, the worker

1 could have received a lot more dose and been
2 undetected than if a sample is taken on a weekly
3 basis or a daily basis. So we're taking a long
4 hard look at that as well.

5 I talked about using these ICRP - Internal
6 Commission on Radiological Protection - models.
7 In particular we are embracing the ICRP 66 lung
8 model for our dose calculation efforts. We have
9 a contractor, ACJ & Associates, has developed a
10 program for us. It's a beta version at this
11 point. It's called IMBA, Integrated Modules for
12 Bioassay Analysis, and that's what we're going to
13 be applying.

14 We also believe that some of the more recent
15 ICRP models take advantage of recycling of
16 material in the body. The old ICRP 30 models are
17 sort of what comes in one end goes out the other,
18 and it never mixes back in the blood pool, that
19 sort of thing. These new plutonium models allow
20 for that type of analyses. So we feel it's a
21 better representation of the biology.

22 In the external dosimetry evaluation the
23 ICRP 74 model, ICRP 74, we're going to use to do
24 those evaluations. And again I can talk in some
25 more detail about that, but it takes into account

1 effects of conversion of the badge dose to what
2 the organ actually received; also evaluation of
3 the effect of the geometry of exposure.

4 For instance, if a person wears a badge on
5 the front of their chest and is exposed in
6 isometric fashion, then the badge that's
7 calibrated from a beam impinging directly on the
8 body is not necessarily calibrated properly.
9 We're evaluating all those various factors and
10 trying to incorporate that uncertainty into the
11 overall analysis.

12 Ted touched on this earlier, but we do -
13 once we evaluate the quality of the data, we do
14 preferentially want - will use individual
15 monitoring data if it appears to be adequate.
16 And that makes sense. It was the actual - the
17 person's own monitoring information at that time
18 at that place, and that's where we intend to
19 start if it's available.

20 As that information becomes less and less
21 available, we'll have to back off and go to other
22 strategies, and that would - the hierarchy goes
23 area dosimeters, radiation surveys, air sampling,
24 those type of things, what I consider work place
25 monitoring data. And then as Ted alluded to, if

1 there's nothing out there, we can use a source
2 term to evaluate that information. And
3 surprisingly, source term information can do a -
4 go a long way towards bracketing a worker's
5 potential exposure.

6 I always use the example, you know, did a
7 worker - when you're interviewing a claimant, did
8 you work with grams, kilograms or tons of this
9 material, and was it in dispersable form or was
10 it contained in a rod. With those kind of
11 bracketing assumptions - I have an example
12 tomorrow - it's possible to put some - an
13 estimate of central tendency, and put some
14 confidence limits about that information.

15 These are just - this is sort of what I
16 consider to be the universe of information types.
17 This is in the rule, in 82. It's not all-
18 inclusive. Some folks have pointed out there's a
19 few items that probably could be included on
20 there. For instance, continuous air monitor data
21 is not in there. But I think it's a pretty good
22 list, and gives us an idea of what types of
23 information we would use.

24 Now I'm not suggesting that we're going to
25 use all of this information on every claim. That

1 seems to be a common misconception out there.
2 What it really says is, you know, if we can't -
3 if we can find some of this stuff, we'll use it.
4 And we need to get out there and verify, is some
5 of this information out there? And not only is
6 it there, but is it in usable form, readily
7 available for us to apply to a compensation
8 program in the near term?

9 It does us no good if there are air sampling
10 results distributed over 50 facilities, paper
11 copies in offices. It would take us three to
12 five years to data-capture and code. So we need
13 to go out there and do what I call a dosimetry
14 information resource evaluation to determine how
15 much we're going to use this information. I
16 think we owe it to the claimants, though, to at
17 least uncover all these stones and determine why
18 we did not use this - these types of information.

19 Okay. Talk about processing strategy. I'm
20 going to try to give you a little example of how
21 this might work. We're going to start
22 conservatively, using simple available monitoring
23 data. And for example, let's take the case where
24 have adequate either bioassay or TLD information,
25 and we determine it to be of adequate quality.

1 Perform an initial evaluation using extremely
2 worst-case assumptions in some cases, and if it
3 looks like the probability of causation's going
4 to be low, we're done.

5 Now the question was raised, well, what's
6 the number? We really have no number at this
7 point. We're in the process of constructing
8 tables that you can kind of run through. If you
9 can automate your IREP inputs, you can do
10 continuous runs of IREP and generate tables of
11 distributions of doses that can bracket certain
12 scenarios. You can take a cancer type and an
13 optimum, say, exposure scenario - optimum
14 exposure condition set for a cancer and try to
15 get an idea on this. But we're still working on
16 really what these cut points are going to be.

17 Here's a flow diagram. It looks somewhat
18 complicated, but it's really quite simple. Let's
19 just take through one example. For instance, the
20 top box, if you take the top box here, determine
21 the organ of interest and most probable mode of
22 exposure. What we're saying there is this is
23 where a health physicist has to apply some degree
24 of professional judgment.

25 If a person worked at a uranium facility, I

1 think it would be fairly well agreed upon that
2 uranium and internal exposure would be the most
3 likely high source of exposure. Uranium
4 facilities, at least not enriched ones, are
5 fairly low in the gamma component. If you took
6 the ratio of internal to external, internal would
7 always have a higher potential.

8 So if one went through and first picked and
9 said, okay, I'm going to go through and do an
10 internal dose calculation for this person using
11 worst-case assumptions, and I go through and it's
12 a low probability - and by worst case, I mean
13 very insoluble material, worst-case missed dose,
14 minimum detectible dose - if it's a low
15 probability, we still need to consider what his
16 external exposure was. So we would go through
17 and use worst-case assumptions for his external
18 exposure, accounting for all that missed dose
19 based on badge exchanges, et cetera. If it's
20 still a low probability, then there's no way that
21 this number would likely be compensable, so the
22 dose reconstruction is done. We bypassed a fair
23 amount of work.

24 I have a couple of short examples I can show
25 on this. Likewise, if it was not a low

1 probability, say it came out very high for the
2 internal exposure based on these insoluble
3 materials, and then we went and said, okay, let's
4 do a conservatively low estimate for that
5 internal exposure as well. So we've gone high.
6 It looks like it's high. Let's figure out what
7 the lowest plausible exposure was, and if it's a
8 high probability - if it's still a high
9 probability after you've taken your least - most
10 conservative assumption, then you're done.

11 So this is a process that we've outlined,
12 and we've gone through several scenarios. And it
13 appears like it will allow us to gain a great
14 amount of efficiency in this process, where we're
15 not going to have to go through a very detailed
16 analysis for every case.

17 Here's an example - and these are some
18 fairly real-world type examples of an exposure at
19 - I believe this was Hanford. The person was
20 exposed from 1954 to 1961, had fairly low annual
21 doses for X-ray and gamma exposures. And so we
22 would go in and account for this missed dose, the
23 undetected dose, add it back in and input - not
24 input this into IREP, but use our experience base
25 from IREP and realize that this case is going to

1 be - has a very low probability for compensation,
2 especially if there was no external component
3 available. I think when you saw - for solid
4 tumor particularly, you saw the runs that were
5 done earlier. Solid tumors with under a rem of
6 exposure, whatever that amounts to, are very,
7 very low probability of compensation.

8 On the other hand, we would take something
9 like this plutonium bioassay data, and this is
10 urine concentration of plutonium at picocuries
11 per liter. The dates aren't really relevant, but
12 say that this was over a several-year time span.
13 The detection limit for this fellow was .05
14 picocuries per liter, so that's right around in
15 here. And you can see that he's had a series of
16 acute intermittent exposures, which I suppose
17 could be modeled as chronic exposure.

18 But in our first worst-case assumption we're
19 going to ignore it, and we're going to say, let's
20 just look at this thing. This is a fairly large
21 exposure. Let's take these points and assume
22 that the exposure for these points occurred way
23 back here at the date of first employment.

24 So what you end up is wildly over-predicting
25 this intake, ignoring all this low stuff. And if

1 that calculation still came out very low, then
2 you're done. You'll never have to even mess
3 around with these other 20 or 30 data points
4 because you've demonstrated that. This may be
5 the case for some very soluble material like UF4
6 that leaves a lung very quickly as opposed to
7 insoluble.

8 Conversely, say if this exposure came out
9 very high based on this, which you would expect
10 if it was insoluble, then we could go over here
11 and say, well, let's just look at this intake by
12 itself. Let's see if this intake alone is high
13 enough for the person to be compensated. We
14 still haven't had to calculate any of these data
15 points. And if we model this intake - just these
16 points right here - and the probability of
17 causation was very high, we're also done. So it
18 does a lot for us.

19 Now one thing that's not obvious until you
20 start looking at it is it really has a lot to do
21 with the organ that you're calculating the dose
22 to. For internal exposures it's somewhat self-
23 limiting in the fact that the only organs that
24 really get a fairly large exposure are the organs
25 that tend to concentrate the material. For

1 plutonium that would be something like the lung,
2 the liver and the skeleton. If you have a cancer
3 for any other organ and I wildly over-estimate
4 this dose, I can pretty much bet that the dose to
5 those non - what I call source organs, is also
6 going to be low because plutonium does not
7 concentrate in the prostate or the gallbladder or
8 other organs like that. And in fact, if you run
9 through the models, it is very low.

10 We've actually had our IREP or IMBA program,
11 Integrated Modules for Bioassay internal dose
12 program, we've had them go through, and we
13 calculate a dose to each of the 36 ICRP 60 type
14 organs that are out there now, and we can see
15 these large differences. Virtually the only dose
16 you get to a non-source organ is the crossfire
17 from the organ - one organ to another. And there
18 may be some ways of looking at the transfer
19 compartment and adding a little dose back, but I
20 still suspect it's going to be low.

21 Okay. This slide is woefully out of date
22 and probably needs updating. I apologize, but I
23 guess I got lazy at the last minute. This is
24 essentially our attempt to demonstrate what an
25 input to IMBA would look like - IREP would look

1 like when we provided it to the Department of
2 Labor. And you've seen the demonstration where
3 we have to determine what the type of
4 distribution we expect the exposure to be, and we
5 put in our best estimate of central tendency, and
6 we also insert our geometric standard deviation
7 if it's lognormal. If it was normal, of course
8 that would just be the regular standard
9 deviation.

10 So we do this for these - you know, in this
11 case, 1951 through '58 - from both an internal
12 and an external perspective, and identifying
13 whether it's an acute or a chronic exposure. We
14 just had that conversation that we are going to
15 default, unless known otherwise, an external
16 exposure will be classified as an acute exposure,
17 because we cannot tell from badge monitoring data
18 what the exposure scenario was unless there was
19 something in the person's file that was involved
20 in an incident, a criticality or something like
21 that. For neutrons, however, we're in the
22 position to be claimant-friendly of calling
23 neutron exposures chronic exposures, and all
24 alpha exposures from internal are going to be
25 chronic. So we defined those parameters.

1 One thing that's not shown on here, though,
2 is the IREP allows for 11 different types of
3 radiation exposures. There are five neutron
4 energy intervals. There are three gamma energy
5 intervals, and then also there's electron
6 exposure, beta exposure, as well as a tritium
7 exposure - it has a slightly different radiation
8 weighting factor - as well as the alpha factor.
9 So we can select - I'm not suggesting that we're
10 going to know every claimant's exposure scenario
11 down to that level of detail, but it is there if
12 it's known.

13 Okay. How long are we going to expect these
14 dose reconstructions to take? It's going to vary
15 all over the board. My guess - and I said
16 complex - you know, it may vary depending on
17 level of complexity. I said days to months.

18 I've seen, in looking through some of these
19 cases, that there's some that can probably be
20 done in a day or so, depending on - some of these
21 low dose ones where a person after interview
22 realizes that's their entire history, where it's
23 a fairly low potential external exposure
24 environment and the missed dose is fairly low.

25 The internal exposures, if we do our

1 bracketing worst-case assumption and then go to
2 our conservative assumption and they still come
3 out kind of on the bubble, that's where we're
4 going to have to take and do a whole full-blown
5 dose reconstruction and account for every data
6 point and model the exposure, and that could take
7 months, particularly if we really don't know the
8 exposure very well, the exposure conditions of
9 the claimant.

10 I also say cases with extensive internal
11 exposure I expect to be the most complex. I
12 guess I just talked about that.

13 And additional time required for previously
14 unexamined locations and processes, we have these
15 atomic weapons employers. There's almost 300 of
16 them out there where we have almost no monitoring
17 data, and we know very little about the process.
18 That's going to take some time. I mean, it's not
19 intuitive, we're going to go in there and be done
20 in a day or two. That's going to take some
21 research and investigation to accomplish those
22 cases.

23 Okay. Where are we so far? I think it was
24 mentioned there's about 13- or 15,000 claims
25 hanging out in the system somewhere. We have in-

1 house within NIOSH - I think last guess was about
2 1,500, is that close? - so we have about 1,500
3 claims in-house. So we're frantically working to
4 try to get this process in place.

5 It was never envisioned, though, that the
6 NIOSH staff itself would actually do all the dose
7 reconstructions. We have fairly limited
8 resources. We, in addition to myself, we have a
9 staff of three health physicists who are right
10 now working on getting the program in place.
11 We've - just a week or so ago the first draft of
12 the implementation guides themselves for external
13 dosimetry and internal dosimetry were completed,
14 and that's moving along.

15 We're working toward a Memorandum of
16 Understanding with the Department of Energy in
17 sharing their information. That right now is
18 undergoing internal review. The DOE is expecting
19 us to provide them a straw man version of that
20 Memorandum of Understanding, and hopefully that
21 will be issued sooner than later.

22 We are going through the process right now
23 of requesting DOE personnel monitoring
24 information. We're not right now going after any
25 of the work place information. We feel it's most

1 appropriate right now to go for the personnel
2 monitoring information, to look at it, to
3 evaluate it to see how it can be used, and that's
4 going to be our starting point. In cases where
5 there is no monitoring information - for
6 instance, many construction workers were never
7 monitored - we need to then go out and start
8 looking at the on-site work place monitoring
9 data.

10 I think we've issued somewhere around 700
11 DOE requests for information so far, so we're
12 working to close that gap. Hopefully shortly
13 there'll be sort of a one-to-one correspondence
14 when the claimant's notified, that then we
15 receive their claim, that the DOE request for
16 information goes out.

17 We are looking at the records availability
18 at certain facilities. We have a pilot study -
19 two pilot studies that we've started, Oak Ridge
20 and Hanford. Those are moving slower than we'd
21 like. The Memorandum of Understanding will go a
22 long way towards, I think, helping define the
23 roles and responsibilities of the players
24 involved in doing these records searches.

25 We are developing a computer database. It's

1 been talked about earlier that the Health-Related
2 Energy Research Branch within NIOSH has been
3 doing DOE workers studies for nine or ten years
4 now. They've developed a considerable database
5 of occupational monitoring records, mostly
6 oriented towards doing epidemiologic studies. We
7 are working in cooperation with HERB to collect
8 that information and assemble it in a form and
9 format that's useful for doing dose
10 reconstructions. And we hope to grow that
11 database and go and get more DOE information,
12 essentially have a very large internal database
13 that will allow us, as time goes by, to be less
14 and less dependent upon Department of Energy as a
15 resource for much information.

16 And most importantly to me at this point, we
17 have a request for contracts for dose
18 reconstruction assistance. It was in
19 procurement, but as of last week it is available.
20 We're expecting proposals due from the
21 contractor, I believe, February 19th, fairly
22 short turnaround time. We are working as fast as
23 we can to get a contractor on board who will do
24 the bulk of the dose reconstruction effort under
25 our guidance and quality control and oversight.

1 Okay. I've come to the end of my formal
2 comments, be happy to answer any questions if
3 anyone has any.

4 **DR. ZIEMER:** Thank you, Jim.

5 Who has a question? Maybe I'll start it
6 out.

7 It seems to me there's a possibility that,
8 as you use newer models and do depth-dose
9 calculations for external, that your numbers
10 could come out quite different from what some
11 would call the dose of record in the agency.
12 That would seem to cause some problems with
13 potential claimants who would look at that and
14 say, well, there's my dose record. They tell me
15 that's my dose, and you guys are saying it's much
16 less than that.

17 **DR. NETON:** That issue -

18 **DR. ZIEMER:** I'm not asking you to answer
19 that, but it seems to me that's a problem that
20 the agency's going to have to deal with in terms
21 of talking to claimants. I'm pretty sure some of
22 the new ICRP 60 will give lower internal doses on
23 some of those organ doses than the older models
24 do.

25 **DR. NETON:** Not across the board.

1 **DR. ZIEMER:** No, not across the board, so it
2 depends on what it is.

3 **DR. NETON:** Right.

4 **DR. ZIEMER:** I'm just saying it seems to me
5 there is that possibility.

6 **DR. NETON:** I agree, I think there's a -

7 **DR. ZIEMER:** The film badge dose, which is -
8 you know, the depth dose is one centimeter and
9 you're going deep, it's going to be a different
10 number.

11 **DR. NETON:** It's going to be - have to be a
12 very intensive communication campaign to educate
13 the claimants as to what we've really done. We
14 intend to do our best to get that out there in a
15 fairly comprehensible or comprehensible fashion
16 to the claimant.

17 I think in many cases this difference will
18 not be obvious, because most DOE programs don't
19 calculate a dose over the time period we're
20 looking at. I mean, we're going to look at the
21 time of first employment to date of diagnosis on
22 an annual basis, so internal exposures won't -
23 there will be no one-to-one correspondence with
24 those. External exposures, yeah, I think so.
25 But I think those are going to be closer. We're

1 not doing anything fancy there, other than
2 accounting for some of the obvious geometrical
3 differences, which I think can be explained.

4 Another factor is that when you run IREP, if
5 you notice, what happens is we use the ICRP 60
6 weighting factors, radiation weighting factors,
7 to come out with an equivalent dose so that we
8 can report to the claimant something that makes
9 sense to them based on their past experience. I
10 mean, they're used to seeing like an equivalent
11 dose type number. But when IREP is run, it uses
12 the distribution for that radiation weighting
13 factor and applies it, so in a sense it's going
14 to be inflated – not inflated; it will be sampled
15 over its total distribution, so there is no point
16 estimate for the radiation weighting factor.

17 So there's a lot of these things that are
18 different that need to be explained to workers as
19 to why they are different, and why we did what we
20 did.

21 **DR. ZIEMER:** Other questions?

22 [No responses]

23 **DR. ZIEMER:** Okay, thank you very much.

24 We now come to the part of our agenda which
25 is the public comment period. We have requests

1 from three individuals to speak.

2 Richard Miller requests to speak at 4:00.
3 Does that mean Rich is not here right now? You
4 are here, okay.

5 And David Richardson - David, how much time
6 do you anticipate you would need?

7 **MR. RICHARDSON:** Five minutes, maybe.

8 **DR. ZIEMER:** Oh, okay. I was just trying to
9 get a feel for this.

10 And Richard, about how much time do you
11 need? How much time do you need?

12 **MR. MILLER:** Five minutes.

13 **DR. ZIEMER:** Five minutes, okay. Then none
14 of these are extensive. I wasn't trying to force
15 anybody to use up the hour. So Richard, if you
16 would approach the mike, and you can use either
17 the mike here or maybe preferably go to the very
18 front so we can see you easily.

19 Richard is with the Government
20 Accountability Project. Richard Miller.

21 **MR. MILLER:** Greetings. I - the Government
22 Accountability Project, just to explain what it
23 is and why I'm here today, has been tracking the
24 implementation of this legislation, I guess
25 largely because I moved over there. I had

1 previously worked for the Oil, Chemical and
2 Atomic Workers Union and then PACE, which had
3 spent a significant amount of effort trying to
4 pass this legislation. So it's quite interesting
5 for some of us who were involved in the
6 negotiations over the bill and the drafting of
7 the language and the lobbying that followed it to
8 now watch it play out before your eyes.

9 Needless to say, the law of unintended
10 consequences prevails, despite what we thought
11 were our best insights and what was politically
12 achievable. And I want to just focus on two
13 areas today.

14 The first is the composition of the Board,
15 over which you really have no control. But I -
16 just for what it's worth, and it is frankly
17 beyond the control of NIOSH or CDC by statute, as
18 the President, of course, appoints you all to
19 this Advisory Board, and the statute's very clear
20 on what the appointment process is supposed to
21 consist of. And I'm just going to read from the
22 statute one paragraph, if you can indulge me,
23 which is Section 3624 on the Advisory Board.

24 It says, (Reading): The President shall
25 make appointments to the Board in consultation

1 with organizations with expertise on worker
2 health issues in order to assure that the
3 membership of the Board reflects a balance – key
4 word – of scientific, medical and worker
5 perspectives, and the President shall designate a
6 Chair, which he has done.

7 The question is whether the Board in fact is
8 constructed with a balance, as was intended by
9 Congress. Now balance can mean a number of
10 different things to different people. But if I
11 see three criteria and there's roughly ten people
12 on the Board so far, a third should fall into
13 each of those categories, give or take. You've
14 got a little bit of wiggle room there; you can
15 have four in one category and three in others.
16 And likewise, if the Board were increased in
17 size, you would still expect some kind of
18 proportional allocation.

19 Now it doesn't specifically say what the
20 areas of science are or are not, but from the
21 outside at least – and again, it is not a
22 criticism of any individual here on the Board or
23 whether they should or should not have been
24 appointed – but it is an observation for those of
25 us who are watching you deliberate on providing

1 advice that the constitution of this Board
2 woefully underweights worker representation. And
3 it is indisputable, at least from my perspective,
4 that the only worker here is Richard Espinosa on
5 the committee, as I think Congress had intended,
6 what they meant by worker perspectives. And -
7 well, each person's entitled to their views, and
8 I will offer mine.

9 If - with that in mind, the question becomes
10 - everybody, by the way, is a worker, because if
11 everybody's collecting a paycheck you're
12 effectively a worker. The question is whether
13 you are or were in a position to be in management
14 control or not. And this was a law which was
15 intended to benefit, in effect, those who had the
16 least power in a process that was largely
17 conducted in a self-regulated and generally under
18 significant secrecy.

19 So today, when you look at this body
20 deliberating within this framework on this
21 matter, from those of us from the outside at
22 least, some of us believe that the Board is not
23 adequately constituted. Will this affect the
24 outcome of the deliberations? You know, it's a
25 social science experiment.

1 Nevertheless, I just thought I would put
2 that on the table because it is something that we
3 very much would like to see done, and I want it
4 on the record that this body, at least as
5 constituted from our perception, does not meet
6 those criteria. And we've communicated those
7 views to the President.

8 The second issue which I wanted to address
9 has to do with the - what Jim Neton was talking
10 about, which was the forthcoming contract. And
11 I've brought a letter which I sent to NIOSH - and
12 I apologize, I only brought nine copies, so we'll
13 have to get an extra one - but I brought some
14 along, and I apologize for being one short. I
15 think somebody borrowed one of my ten copies.

16 And what this gets to is the fact that as
17 NIOSH moves forward with its dose reconstruction
18 contracting process and the RFP's on the street,
19 NIOSH has been, I think, sensitive to, at a staff
20 level, concerns about conflict of interest. And
21 the concerns around conflict of interest largely
22 rest, at least from my perception, that there are
23 likely to be perhaps only two bidders for this
24 dose reconstruction contract.

25 I don't know that there will only be two,

1 but I have every reason to believe there will
2 only be two based on conversations with the -
3 sort of the contractors who showed up at the
4 bidder's conference that was held in Cincinnati.
5 And those two contractors, so that there's no
6 mistake and no secrets about it, are going to be
7 one team headed by SAIC and likely include
8 Battelle, and a second one which is going to be
9 headed up by Oak Ridge Associated Universities
10 and may include MJW or someone else. But they're
11 going to be the - those are going to be the two
12 folks.

13 Now the statute, specifically the energy
14 employees statute, when it spoke to the question
15 of performing dose reconstruction work, was very
16 specific in precluding either the Secretary of
17 Energy or his or her designees or subordinate
18 officers from performing the dose reconstruction
19 work. It didn't say DOE contractors couldn't
20 perform it, but it sought by assigning out this
21 work for dose reconstruction away from what's
22 perceived to be the agency, which could in some
23 respects be considered culpable if there's harm
24 involved.

25 And so what do we do? What do you do if the

1 folks who were involved in doing the work are
2 involved in doing the dose – who are doing the
3 dose reconstruction contract have relationships
4 within the Energy Department?

5 Now NIOSH has done an excellent job of
6 putting a crisp paragraph in its contract RFP
7 that is on the web now which says, you know, if
8 you're performing work at a given site you can't
9 be involved in doing the dose reconstruction work
10 at that site. Does that go far enough? I think
11 it's an important first step.

12 Our concern and perception, as our letter
13 lays out, is that there needs to be transparency,
14 that the individuals that are hired by the teams
15 need to be disclosed. What is their work
16 history? Where did you work, who did you work
17 for, both at an organizational as well as an
18 individual level? And it needs to be transparent
19 to the claimant. It probably needs to be
20 transparent to you, as you provide quality
21 assurance over this process as well.

22 We don't know if there's a way out of this
23 conflict of interest problem because it's a small
24 pool of highly-qualified individuals with a great
25 deal of expertise. And in fact, in some

1 respects, the RFP almost constrains you to using
2 DOE contractors for the very work. You have this
3 - it's the classic conundrum, right? How do you
4 get independence at the same time you have
5 concentrated expertise?

6 Well, our sense is that there needs to be a
7 high degree of transparency, a clear-cut list of
8 do-nots, which include such things as acting as
9 an expert witness or supporting litigation in
10 defense of claims involved in - where there's an
11 allegation of radiation causing occupational
12 illness at a particular site. We've got to have
13 a clear-cut set of do-nots and a clear set of
14 transparencies that go back and forth between the
15 claimant and NIOSH, so that you don't get down
16 the road into the dose reconstruction and people
17 stick up their hand when the case becomes
18 appealed and say conflict.

19 So we would just like to suggest - although
20 it's not on your agenda for today, it did get
21 raised by Mr. Neton - and I just thought I'd
22 segue off your presentation and encourage you to
23 think about what can be done to raise the level
24 of confidence that the claimants will have in a
25 system where, as the Congressional record and the

1 hearing record - I happened to testify in this
2 legislation several times and worked with many
3 workers who did testify, and went to many of the
4 field hearings that Dr. Michaels, who I guess is
5 here in the back of the room, held when he was
6 the Assistant Secretary at the Energy Department,
7 and those hearings revealed a high degree of
8 irregularity in the dose estimation and dose
9 collection processes.

10 And if there's a concern about a high degree
11 of irregularity, coverup - we had documents where
12 major DOE contractors like Lockheed-Martin were
13 actually doctoring the data in order to avoid
14 culpability in worker compensation claims, and
15 these documents are out there in the public
16 record. You know, the names may be redacted, but
17 the facts are all there.

18 And so I think it's important for you all to
19 think about how to build credibility into the
20 contracting process, because the best procedures
21 in the world won't overcome that skepticism. So
22 that's all I had to add.

23 Thank you.

24 **DR. ZIEMER:** Thank you, Richard, and your
25 comments will indeed be in the public record.

1 I might ask if any of the committee members
2 have questions of Richard that you'd like any
3 points clarified?

4 [No responses]

5 **DR. ZIEMER:** Thank you.

6 Next, David Richardson from Department of
7 Epidemiology, University of North Carolina at
8 Chapel Hill.

9 **MR. RICHARDSON:** Hi.

10 I want to, I guess, talk to you a little bit
11 first about my background. I've worked in
12 epidemiology on studies of U.S. DOE workers at
13 Oak Ridge and Hanford, and participated in the
14 case-control study that took place at multiple
15 DOE facilities.

16 And so I want to make a couple of points
17 just in response to the discussion that I heard
18 today from the perspective of an epidemiologist,
19 and maybe also just to start out by saying I
20 think NIOSH has done an impressive job so far. I
21 mean, I think the approach that you're using is
22 certainly cutting edge, and you've done a lot of
23 hard work in trying to think about both issues of
24 bias and uncertainty.

25 And those are certainly two key points, and

1 I - so as my first point as - raising is to move
2 beyond talking about bias and uncertainty to
3 talking about effect modification. And it's
4 something that a few people have raised already
5 on the edges, so it's something to think about.

6 From studies of U.S. DOE workers that I've
7 been involved with and that other people before
8 me have been involved with, and after the work
9 that I've done I've been involved with, I think
10 one interesting example of effect modification
11 comes with the issue of age at exposure. So
12 under the current probability of causation tables
13 for a given dose history, for a worker's dose
14 history, the excess relative risk or the - and
15 therefore the probability of causation for that
16 worker tends to decline with older ages at
17 exposure. That is - I'll maybe modify that and
18 say it's either constant or it's declining, and
19 there's a tendency for the solid cancers for it
20 to decline.

21 In contrast, in a number of studies of U.S.
22 nuclear workers you see the opposite pattern.
23 And that's to say people who accrue radiation
24 exposures at older ages appear to have larger
25 excess relative risks. There's a larger increase

1 in cancer.

2 Now I'll stress here that this is not - I'm
3 not talking about the difference between infants
4 or children and adults. I think that's - I think
5 it's clearly established in the literature that
6 the developing fetus, the growing child is
7 extremely sensitive to the effects of radiation.
8 I'm talking here about a range of age that's
9 going to be something like 18 to 20 years when
10 you start work, to 65 or 70 years of age when you
11 stop work.

12 And the evidence from a series of U.S. DOE
13 nuclear worker studies is that - kind of similar
14 to what you see for lots of other occupational
15 hazards. As people get older they become
16 increasingly vulnerable to injury on the job -
17 here, radiation-induced injury - and the
18 biological plausibility would be related to
19 either declining ability of the body to
20 accurately repair damage to genes and/or
21 declining ability of the immune system to
22 scavenge up damaged cells.

23 So to take some examples, the early - I
24 think the early evidence of this came in early
25 reports of the Hanford cohort, which was one of

1 the first studies. That was when you began
2 compiling nuclear worker records in the atomic
3 weapons complex. Subsequent to that there was
4 the evidence of increased radiation effects at
5 older ages of exposure in the Oak Ridge workers
6 cohort, then in a multi-facility study across the
7 DOE complex of multiple myeloma where older ages
8 at exposure were associated with larger increases
9 in cancer risk, and then in the Rocketdyne study
10 that was done out by the University of California
11 group.

12 So there's different ways of thinking about
13 this. One is that there's a conflict of evidence
14 between the life span study of atomic bomb
15 survivors, which I think it's important to stress
16 is really the numerical quantitative foundation
17 of the tabulations that you're seeing that are
18 spinning out of almost a black box computer; that
19 there's a study there of people who were wartime
20 survivors of an atomic attack, and the exposure
21 conditions are different than the DOE workers.

22 Another at least issue to raise with that
23 would be effect modification coming from - I
24 think an interesting point that a lot of people
25 have already raised, yes, you've looked at

1 smoking as an effect modifier, but workers are
2 getting exposed to chemicals, and they're
3 accruing other exposures on the job. There's a
4 possibility that it's not a simple either
5 additive or multiplicative translation of the
6 life span study to the DOE complex; that workers
7 have a different set of initiating and promoting
8 carcinogenic exposures on the job, and that the
9 age at exposure pattern is different.

10 And what I would propose is that at minimum
11 that inconsistency in the literature is
12 recognized and in some way accounted for. And
13 one way that I would propose that is there is a
14 series of factors now going on that reflect
15 uncertainties. There's uncertainties in
16 translation of additive or multiplicative
17 effects. There's uncertainties in dose
18 measurements, both in the DOE complex and dose
19 measurement in the A-bomb studies, that you begin
20 to have also reflecting an uncertainty in the
21 effect of radiation at older ages of exposure.

22 You don't have to incorporate any bias or
23 anything, but you say there's - the literature is
24 not consistent in the range of exposures. So
25 when you begin to look at effects of exposures

1 that are received at the older span of a worker's
2 life, you say the effect is more uncertain than
3 the simple point estimate coming from the life
4 span study.

5 So that would be my - that would be the
6 first point that I'd like to raise.

7 Kind of following from that, I'd like to
8 also just briefly talk about an issue that maybe
9 at minimum needs a point of clarification and
10 maybe some more exploration, which relates to the
11 discussion that by default external radiation
12 exposures are treated as acute. And the
13 implication here is that the DDREF, the dose and
14 dose-rate effectiveness factor, therefore
15 undergoes a shift.

16 It goes from treating it as an exposure that
17 was accrued slowly over time to one that's
18 accrued in a point blast, and therefore that the
19 DDREF is one, or that there's - let me take a
20 step back and say that external doses are going
21 to be treated as acute, and therefore this issue
22 of is the effect attenuated because it was a
23 chronic exposure, is that set aside.

24 And in fact, as I understand the current way
25 the program is running, it's proposed that any

1 external dose that's less than 20 or 30 rem,
2 which from my familiarity with the Hanford/Oak
3 Ridge/Los Alamos data this is going to
4 incorporate 99.9 percent - I'm making up a
5 percentage - but it's going to be the vast, vast
6 majority of the dose is substantially - any
7 annual dose record is substantially below 20 or
8 30 rem for a worker. I mean, workers did accrue
9 doses in the DOE complex, but it was over decades
10 of employment.

11 So here the DDREF factor, you begin to say
12 the effect of a worker's dose is going to be
13 divided by a factor of two, three, four or five -
14 the effectiveness of that dose - because it was a
15 low dose. That is not - it's not because it was
16 a chronic versus acute, it's because it's in the
17 low - the spectrum of the lower end of the dose
18 distribution.

19 And as Mary Schubauer-Berigan brought up, in
20 fact, the evidence now, if you're going to take
21 the recent RERF reports from the life span study,
22 they're not supporting a departure from
23 linearity. I would argue that, from the
24 perspective of an epidemiologist, a DDREF factor
25 of multiples of two, three, four or five for

1 these low - these doses, which is almost all the
2 doses that you're talking about in this program,
3 is - I'm not sure it's supported by the
4 epidemiologic evidence.

5 And so you have to then turn to evidence
6 that's accrued from studies of animals' exposures
7 or cellular responses. I think the literature -
8 studies of the effects of low-level exposures to
9 animals, it does get iffy. Most of the
10 literature is higher dose exposures to animals.
11 When you're looking at low-level exposures, the
12 end point is not going to be cancer incidents, or
13 very rarely.

14 Anyway, so I think that's another issue that
15 I would open, and I think particularly if you're
16 talking about issues of benefit to the doubt for
17 the worker from the perspective of epidemiology,
18 I think that's a really important point to
19 consider and debate further.

20 **DR. ZIEMER:** Thank you. David, I'd like to
21 ask you to clarify one thing. Are you arguing
22 that the dose-rate effectiveness factor should be
23 one, and not two or three or some other value?

24 **MR. RICHARDSON:** I would argue -

25 **DR. ZIEMER:** Because I'm understanding this

1 in almost the opposite way. I think lowering it
2 lowers the effective dose. Is that - are you
3 arguing that we're over-estimating doses at -

4 **MR. RICHARDSON:** The effects of a dose, a
5 lower dose, is going to be divided. The way that
6 this factor is applied for low-LET radiation -

7 **DR. ZIEMER:** I guess I may have
8 misinterpreted how they're using it, then.

9 **MR. RICHARDSON:** I don't know. Mary, could
10 -

11 **DR. ZIEMER:** I thought we were multiplying,
12 but I would ask that we get that clarified.

13 **MR. RICHARDSON:** I think Mary could answer
14 that.

15 **DR. ZIEMER:** Typically a dose-rate
16 effectiveness factor operates like a quality
17 factor. It increases -

18 **DR. SCHUBAUER-BERIGAN:** Actually, it -

19 **DR. ZIEMER:** It would increase the
20 probability of causation rather than decrease it.
21 I believe that is the case.

22 **DR. SCHUBAUER-BERIGAN:** Well, what acts like
23 a quality factor actually is the RBE. Those two
24 are sometimes used interchangeably. But David is
25 correct, that when the DDREF factor is applied, a

1 factor of greater than one implies that the risk
2 per unit exposure at a very - at a low dose or in
3 a chronic dose is divided by that value.

4 **MR. RICHARDSON:** Right.

5 **DR. SCHUBAUER-BERIGAN:** So if it's two, the
6 effect of that dose is divided by two.

7 **DR. ZIEMER:** Thank you.

8 **MR. RICHARDSON:** Right. And so the question
9 is, is there - here, I think, everything is being
10 essentially treated as an acute dose for the
11 external here, talking again about the low-LET
12 doses. So it's not - the issue of dose-rate is
13 not really so much a consideration. It's is the
14 dose-response association linear in the low dose
15 range? And, I mean, that is something that
16 people talk about.

17 **DR. ZIEMER:** I understand what you're
18 saying.

19 **MR. RICHARDSON:** But the current - I'd say a
20 lot of committees are taking now, and a lot of
21 the literature, is supporting the opinion that a
22 linear dose response is a reasonable association.
23 And I - you know, I would argue maybe yes, that
24 you would have a factor centered around one, and
25 then you allow uncertainty in that.

1 **DR. ZIEMER:** Are the studies that you cited
2 in your written comments that were submitted to
3 the agency earlier?

4 **MR. RICHARDSON:** Yes.

5 **MR. ELLIOTT:** Yes, they're referenced and we
6 have copies of those.

7 **DR. ZIEMER:** Thank you.

8 Next we have - I think it's Roger. Is it
9 Roger?

10 **MR. SHAW:** Yes.

11 **DR. ZIEMER:** I couldn't read your writing
12 here - Roger Shaw from McCarter & English, Ltd.

13 **MR. SHAW:** Yes, this will be less than five
14 minutes.

15 Let's go right to DREF. I just want to
16 mention DREF. I know that the Board will look at
17 it. It's an important item. For low-LET,
18 UNSCEAR, ICRP, NCRP and BEIR V support a DREF for
19 low-LET of anywhere from two to five. I think I
20 heard Mary earlier - I asked her specifically on
21 a break if there'd be a range of maybe between
22 less than one to five, and that's something that
23 is a little different than maybe what the RERF
24 may be saying in one of their recent studies.

25 But I think it really deserves a lot of

1 caution and is something that should be looked
2 at. A lot of important national, international
3 bodies support that you use a DREF. And for
4 example, if it was two, that would mean that the
5 risk would be less by a factor of two. So that
6 is something I just - I know you'll look at. I
7 just want to mention that.

8 And if we do start to define acute versus
9 chronic in a different way, if we start to say
10 that an acute dose is something received over a
11 month or two months or a quarter, over a
12 quarterly badge reading period for TLD or film,
13 then we're going to have to start rewriting
14 textbooks and doing that fairly quickly, because
15 that is not historically how acute dose has been
16 defined.

17 The second item is with the dose uncertainty
18 and how critical that is. Dr. Ziemer pointed
19 out, as we went through NIOSH-IREP, or Russell
20 did, Mr. Henshaw - and showed exactly what
21 happens when you change the uncertainty
22 associated with those doses. And it can make
23 huge differences. As I'm sure you get home and
24 you work tonight, and you start to go through and
25 do your own iterations with NIOSH-IREP, you will

1 start to see these differences.

2 And if you simply change and go and look –
3 and they're different for different cancers – but
4 if you look at one leukemia, you look at CML, and
5 you take and change that, you just leave all the
6 parameters the same for a certain dose. If you
7 took 25 rem, five rem for five years, and put in
8 the information you want to put in, just change
9 constant, which means no uncertainty – not really
10 realistic – and change that to normal geometric
11 standard deviation, gSD. Well, for gSD that's 40
12 percent PC. And if you just change that to
13 constant alone, it goes to 93 percent probability
14 of causation.

15 So as Congress has said, let's err on the
16 side of the claimant. We should. It sounds
17 fair. It is fair. It doesn't mean that we need
18 to add undue uncertainty on top of an already
19 large amount of uncertainty that we're going to
20 be stuck with and also have to deal with in a
21 reasonable fashion.

22 Those are the two points.

23 **DR. ZIEMER:** Thank you, Roger.

24 And again, are there any questions or issues
25 to be clarified?

1 [No responses]

2 **DR. ZIEMER:** All right. Thank you.

3 This completes today's agenda. I would ask
4 that the four other members of the subcommittee
5 stop by here for a moment before we adjourn - or
6 right after we adjourn, and we'll talk about the
7 assignment for this evening.

8 We thank all of our guests who were here
9 today. We will reconvene tomorrow at 8:00
10 o'clock; 8:00 o'clock, not 8:30, okay? So we'll
11 see you all in the morning at 8:00 a.m.

12 Thank you very much.

13 [Whereupon, the meeting was
14 adjourned at approximately
15 5:05 p.m.]

16 - - -

17

18

19

