

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

VOLUME II

The verbatim transcript of the Meeting of the
Advisory Board on Radiation and Worker Health held
at the Holiday Inn on the Hill, Washington, D.C.,
on Wednesday, January 23, 2002.

NANCY LEE & ASSOCIATES

Certified Verbatim Reporters
P. O. Box 451196
Atlanta, Georgia 31145-9196
(404) 315-8305

C O N T E N T S

VOLUME II
January 23, 2002

PARTICIPANTS (by group, in alphabetical order)	3
ADMINISTRATIVE ORIENTATION	
Ms. Corrine Homer	8
BOARD WORK SCHEDULE	
Mr. Larry Elliott	15
WORKING SESSION ON PROBABILITY OF CAUSATION	
COMMENTS	36
Motion and Vote	40, 41
Motion and Vote	102, 109
PUBLIC COMMENT PERIOD	
Mr. Robert Tabor	128
Ms. Fay Martin	137
Mr. David Richardson	139
Mr. Roger Shaw	147
Mr. Jim Ellenberger	151
CONTINUED WORKING SESSION ON PROBABILITY OF	
CAUSATION COMMENTS	155
Motion and Vote	157, 162
DOSE RECONSTRUCTION RULE 42 CFR PART 82	
Technical Guidelines for External Dose	
Reconstruction and Internal Dose Reconstruction	
Dr. James Neton	187
CLOSING COMMENTS/ADJOURN	
Dr. Paul Ziemer	260
CERTIFICATE OF REPORTER	265

Legend of the Transcript:

(phonetic) = Exact spelling unknown
 - = Break in speech continuity
 (sic) = Exactly as spoken

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

ADVISORY BOARD MEMBERS

CHAIR

PAUL L. ZIEMER, Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

LARRY J. ELLIOTT
Director, Office of Compensation Analysis and Support
National Institute for Occupational Safety and Health
Centers for Disease Control & Prevention
Cincinnati, Ohio

MEMBERSHIP

HENRY A. ANDERSON, M.D.
Chief Medical Officer
Occupational and Environmental Health
Wisconsin Division of Public Health
Madison, Wisconsin

ANTONIO ANDRADE, Ph.D.
Group Leader, Radiation Protection Services Group
Los Alamos National Laboratory
Los Alamos, New Mexico

ROY LYNCH DeHART, M.D., M.P.H.
Director
The Vanderbilt Center for Occupational and Environmental
Medicine
Professor of Medicine
Nashville, Tennessee

RICHARD LEE ESPINOSA
Sheet Metal Workers Union Local #49
Johnson Controls
Los Alamos National Laboratory
Española, New Mexico

SALLY L. GADOLA, M.S., R.N., COHN-S
Occupational Health Nurse Specialist
Oak Ridge Associated Universities
Occupational Health
Oak Ridge, Tennessee

JAMES MALCOM MELIUS, M.D., Ph.D.
Director
New York State Laborers' Health and Safety Trust Fund
Albany, New York

WANDA I. MUNN
Senior Nuclear Engineer (Retired)
Richland, Washington

ROBERT W. PRESLEY
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

GENEVIEVE S. ROESSLER, Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

INVITED SPEAKERS

CORRINE HOMER
National Institute of Occupational Safety and Health

JAMES NETON, Ph.D., CHP
National Institute of Occupational Safety and Health

STAFF/VENDORS

MARIE MURRAY, Writer/Editor

AUDIENCE PARTICIPANTS

GRADY CALHOUN
JAMES ELLENBERGER
TED KATZ
FAY MARTIN
DAVID MICHAELS
FRANK MORALES
DAVID RICHARDSON
MARY SCHUBAUER-BERIGAN
ROGER SHAW
DAVE SUNDIN
ROBERT G. TABOR

P R O C E E D I N G S

8:05 a.m.

1
2
3 **DR. ZIEMER:** Good morning, everyone. I'm
4 going to call the meeting back to order this
5 morning. I hope all of you had a restful night
6 and ready for another hard day of work.

7 One of our members, Jim Melius, is going to
8 join us a little later this morning. He had to
9 return to New York last night and flew back late
10 in the day, and then is flying back this morning.
11 So we're expecting him to join us before very
12 long, but we are going to go ahead and begin the
13 session.

14 I have a few announcements or housekeeping
15 matters to mention. First of all, for the
16 guests, the members of the public and others who
17 are here as observers, if you wish to have copies
18 of the minutes – the minutes, not the transcripts
19 but the minutes – of the meeting, there is a
20 sign-up book out in the foyer, and you can
21 request copies of those minutes and they will be
22 sent to you as soon as they are available.

23 Secondly, if any of you wish to make
24 comments today – that is, any of the public, the
25 observers – if you wish to make verbal comments,

1 and you see on the schedule that that is
2 scheduled for immediately after lunch today, that
3 - again, there is a sign-up book in the foyer.
4 We ask you to sign up for that. We need to know
5 who wishes to speak so we can schedule the time.
6 I may actually schedule one of those just before
7 lunch, because I believe we had one gentleman who
8 did request a few minutes, and to accommodate his
9 schedule we may try to do that just before lunch.
10 But please sign up, in any event.

11 Lunch, again you will be on your own today
12 for lunch. That's both the Board and of course
13 the rest of you. So if you need a list of
14 restaurants that are in the nearby area, I
15 believe there's still a supply of those on the
16 table or at the front desk.

17 And then finally, there is a noon checkout
18 time. And you may have an opportunity, if you
19 haven't already checked out, to do that when we
20 recess for lunch. But if you do need a late
21 checkout, you need to request that from the
22 hotel. I'm not sure how long they will extend
23 the checkout time, and you need to work that out
24 individually.

25 Let me ask the staff if there are any other

1 announcements that need to be made right now of a
2 general nature. It appears not, so we will
3 proceed.

4 We're going to begin this morning - we have
5 what is called an administrative orientation, and
6 that's going to be presented by Corrine Homer, or
7 known affectionately as Cori. And Cori is with
8 NIOSH, and is going to lead us through this
9 administrative overview.

10 Please, Cori.

11 **MS. HOMER:** Hopefully you can hear me, and
12 you may not be able to see much of me.

13 I'm going to bore you a little bit more with
14 more orientation information. And some of you
15 already are aware of this process, as you've
16 served on advisory committees or boards before.
17 But for the rest of you, I wanted to provide you
18 with some information to make the administration
19 a little less confusing to you.

20 As you are already aware, the White House
21 appoints or commissions the members for this
22 Board. This is the only Presidential advisory
23 committee that HHS has.

24 CDC/Committee Management Office provides
25 Federal advisory committee policy and guidance to

1 NIOSH, and reviews confidential financial
2 disclosure reports and determines if waivers are
3 necessary, which you've all been through that
4 process as well.

5 What NIOSH does, at least at the
6 administrative level with myself and other staff,
7 we prepare personnel actions, arrange and prepare
8 travel orders and vouchers, request salary
9 reimbursement. We plan the meetings and provide
10 committee support.

11 In terms of personnel actions and issues, we
12 prepare and forward the personnel forms to you,
13 the members, for completion. That was that thick
14 packet of forms that you had to wade through and
15 return to us within a very short period of time,
16 which I can't tell you how much I appreciate it.
17 We assemble those forms and forward to the Human
18 Resources Management Office that makes you a
19 Special Government Employee. We also maintain
20 your status as a Special Government Employee.
21 You have one-year appointments, and we renew
22 those appointments every year based on
23 notification from Human Resources Management
24 Office.

25 In terms of your travel, we let you know of

1 meeting dates and location. We contact you
2 directly and arrange for your flights and
3 lodging. We prepare your travel order as allowed
4 by policy and regulation. We forward your travel
5 documents and forms to you prior to the meeting,
6 and we make requested changes to your flight and
7 lodging arrangements.

8 For voucher reimbursement, which is - this
9 is where you haven't quite gotten to yet - you
10 return your original receipts and completed
11 expense sheet to us for voucher preparation. You
12 should have received an envelope that you should
13 return all of your documents to us. We go ahead,
14 based on what you've returned, and prepare your
15 voucher. We forward the voucher to you for
16 signature and return. We forward your voucher
17 for approval and reimbursement once it's been
18 returned, signed and dated by you.

19 Salary reimbursement, you're reimbursed \$250
20 per day less taxes. When you receive that in
21 your bank account or with your financial
22 institution, it will not amount to \$250 a day.
23 It's going to show less, based on your taxes. We
24 record your attendance at the meetings and
25 request salary reimbursement for attendees upon

1 return to Atlanta.

2 We also compensate you for other time spent,
3 at the discretion of the Executive Secretary.
4 Now as a request to you, your preparation time
5 for this meeting was fairly significant. If you
6 can let me know individually, or let Larry know
7 what your specific time spent was reviewing the
8 documents and preparing for the meeting.

9 **MR. ELLIOTT:** Cori -

10 **MS. HOMER:** Yes, sir?

11 **MR. ELLIOTT:** If you could just - before we
12 leave today, before you depart, if you could just
13 write down on your little note pad how many hours
14 you spent and give that to me, with your initials
15 or signature so I know who gave it to me, and
16 then I'll pass that on to Cori to get it taken
17 care of. So hours spent in preparation of the
18 meeting.

19 **MS. HOMER:** We do that fairly quickly upon
20 return. We do want to make sure that your
21 voucher is paid and that your salary is
22 reimbursed as quickly as possible. We don't want
23 delays any more than you want delays, which
24 returning your voucher information - if I can
25 backtrack a little bit - returning your voucher

1 information to me as quickly as possible helps me
2 keep my records, and helps you be able to pay on
3 your credit cards for the trip.

4 In terms of your salary, please check your
5 accounts to ensure receipt of your reimbursement.
6 We've actually had a record of members on
7 committees and subcommittees that just assumed
8 they were getting paid and never checked, and
9 years had gone by - literally, years had gone by.
10 We were receiving the appropriate documentation
11 that was saying they were paid, and they actually
12 had not been.

13 Meeting planning: We arrange for the
14 meeting and lodging space. We arrange for
15 member, staff and vendor travel. We take care of
16 writer/editor, court recorder, AV equipment
17 services, and light refreshments. We provide
18 conference support. You've seen Nichole, myself,
19 Martha DiMuzio, that the support for you has been
20 strong, and we will continue that. The staff may
21 change, but the support will not.

22 In terms of your meeting packets and
23 supplies, copying materials, you should receive
24 all that material prior to the meeting. There
25 may be occasions that you do not. We do try to

1 prepare that and have that to you within a week
2 or two before the meeting so that you have time
3 to review that.

4 Points of contact: Your travel and
5 vouchers, at the moment, will be prepared by
6 Nichole. I believe you'll be returning your
7 voucher information to me, but since Nichole has
8 prepared your travel, any questions that you have
9 can go to either Nichole or myself. Salary,
10 personnel, administration, travel and vouchers,
11 any questions that you have at all, please don't
12 hesitate to call me. My current number is not
13 listed here as we're moving this week. As of
14 Monday my number will be what's listed. And I
15 believe you already have my current telephone
16 number.

17 If you have questions regarding your travel
18 forms, the expense sheets that I've provided, any
19 questions about your current travel or changes to
20 your flights that you need to have, please let me
21 know before we leave today, and I'll do my best
22 to answer whatever questions that I can possibly
23 answer here.

24 Any questions at all? Yes, sir.

25 **DR. ANDERSON:** Calendar?

1 **MS. HOMER:** We'll be addressing that in a
2 few minutes.

3 **DR. ZIEMER:** Yeah, we'll get to the time
4 calendar shortly.

5 But any general questions on the
6 administrative issues that Cori has covered here?
7 Gen.

8 **DR. ROESSLER:** Mine's not a question, but
9 I've already tested your system, had to have
10 flights changed and stuff, and you're doing a
11 really good job.

12 **MS. HOMER:** Thank you.

13 **DR. ROESSLER:** So it works.

14 **MS. HOMER:** I'll make sure Nichole knows.

15 **DR. ZIEMER:** Thank you. Other comments or
16 questions?

17 Please, Larry.

18 **MR. ELLIOTT:** Let me segue off of what Gen
19 just offered. If there's a travel situation that
20 occurs for you in trying to get to a meeting
21 where you might - in like Gen's case, she has
22 another meeting - you're off to or going to be
23 off to -

24 **DR. ROESSLER:** The next meeting.

25 **MR. ELLIOTT:** The next meeting - we can

1 accommodate that if we know. So please
2 articulate what your needs are, and if we can
3 we'll certainly take care of that and accommodate
4 it. If we can't, we're going to tell you that.

5 **DR. ZIEMER:** You mean if you're working two
6 meetings, then, back to back? Is that what
7 you're talking about?

8 **MS. HOMER:** Um-hum (affirmative).

9 **MR. ELLIOTT:** That's right.

10 **DR. ZIEMER:** Right, okay.

11 Okay, thank you very much, Cori, for that
12 information.

13 We'll proceed right on to the next item of
14 business, which is the Board work schedule.
15 Incidentally, I failed to mention, particularly
16 for our visitors, there are copies of the agenda
17 on the table. If you didn't get the agenda they
18 should be on the table.

19 So this is called Board work schedule, and
20 Larry, if you would take us through that.

21 **MR. ELLIOTT:** Well, we do need to schedule
22 the work of the Board. I think you have a sense
23 of the responsibilities of the Board and what
24 work you have facing this group. And we have our
25 next meeting scheduled for February 12th - or

1 excuse me, 13th and 14th.

2 We need to see what we can get accomplished
3 here today toward, if possible, providing
4 comments on probability of causation rule by
5 February 6th, at a minimum. We need to discuss
6 and talk about future meetings beyond February
7 13th and 14th, if we can, so that we can get some
8 dates locked in. I don't have any specific
9 proposal, other than I think we need to figure
10 out availability here.

11 Doesn't March – we've tapped your
12 availability for March, and it doesn't look like
13 there's a time in March when all members can be
14 present. And that's okay if that's what the
15 Board wants to do, if they want to continue their
16 business without a member or two present, as long
17 as we have a quorum.

18 I don't know if there are questions about
19 the timetable of expectations that the Department
20 has. Maybe I could go over those, but I tried to
21 give you that yesterday. We'd really like – we
22 have a goal of finalizing both rules by April.

23 We plan to submit the SEC procedures to you
24 progressively over the course of the next couple
25 of meetings. It's not certain how much

1 information on the SEC procedures we'll be able
2 to convey to you in February, but we'd also like
3 to see those guidelines put before the Board and
4 see some advice and comment on those fairly early
5 this year. So probably April or May we really
6 need the Board's attention to the SEC procedures.

7 As far as review of dose reconstructions, I
8 would propose to you that we need to give
9 ourselves a little bit of time to see NIOSH
10 complete some of those dose reconstructions and
11 have a set of dose reconstructions that could be
12 sampled from. And I would propose to you that in
13 my mind it makes sense to try to target a review
14 of dose reconstructions around July or
15 thereafter.

16 So just to give you a sense of order of
17 business here, we really need POC reviewed and
18 commented on first; dose reconstruction rule, if
19 we can, second; and then attend to the business
20 on Special Exposure Cohort after that.

21 So that I hope gives you a little bit of
22 clarity of the work before us, but we need your
23 assistance and your discussion on how to go about
24 completing that work.

25 **DR. ZIEMER:** Larry, on the issue of March,

1 were there any time slots where there would be
2 one day where we could, if needed, have a
3 telephone or teleconference meeting if there was
4 a pressing matter?

5 **MR. ELLIOTT:** We certainly could. Just have
6 to identify -

7 **DR. ZIEMER:** The problem was finding, what,
8 a two-day time slot where everyone -

9 **MR. ELLIOTT:** We did not see a two-day time
10 slot where everybody could come in. We were
11 going to miss somebody or more than one somebody.

12 **DR. ZIEMER:** So I'm wondering if it would be
13 of value to go ahead - of course, the February
14 meeting is already scheduled - to find and
15 identify a time slot for March where we could
16 schedule a teleconference - it could be cancelled
17 if not needed - and then go ahead and get a
18 meeting in April.

19 Wanda, you have a comment?

20 **MS. MUNN:** I guess I have - I feel,
21 especially during these early months when - I
22 don't know about everyone else, but I feel as
23 though I'm going to take two or three meetings to
24 get my legs under me and really feel comfortable
25 with the process. I would much prefer for us to

1 bite the bullet in March, and even though we have
2 to meet without a couple of members, try to go
3 ahead and have a March meeting.

4 **DR. ZIEMER:** What if one of the members is
5 you, Wanda? Then -

6 **MS. MUNN:** If one of the members is me, then
7 I'll - if we can do something like a
8 teleconference, there's a possibility that I
9 could call in and listen in then. That would be
10 very helpful, I think.

11 **DR. ZIEMER:** Let me ask, then - well, how do
12 others feel? Do you want to go ahead and
13 schedule a March meeting, if we can find a day
14 where maybe only - what's the best we can do in
15 terms of loss of members?

16 **MR. ELLIOTT:** Maybe the way we can do this,
17 you've got this calendar before you. If we can
18 look at March - and I'll let Cori start off here
19 with her availability, because she has four other
20 committees she's dealing with besides this one.

21 And so Cori, what days are you not available
22 here that we should black out?

23 **MS. HOMER:** The first two weeks of March,
24 starting at the 1st through the 8th, I'm not
25 available. My best availability is probably the

1 13th on.

2 DR. ZIEMER: Okay. So do we want to go
3 around the table, then, and - well, you've
4 already collected people's schedules for March,
5 or have you?

6 MS. HOMER: I have, but that's back at the
7 office.

8 MR. ELLIOTT: We failed to bring it.

9 DR. ZIEMER: Okay, so we need to -

10 MR. ELLIOTT: I can tell you that I'm not
11 available the week of the 18th, so that narrows
12 it down a little further.

13 DR. ZIEMER: Anytime the week of the 18th?

14 MR. ELLIOTT: That whole week is out for me.

15 MS. MUNN: What do we do, 13, 14?

16 MR. ELLIOTT: I'm out 13th and 14th.

17 DR. DeHART: I'm not available the 13th. I
18 am the 14th and 15th.

19 DR. ROESSLER: Do people not like -

20 DR. ZIEMER: Are Saturdays out?

21 DR. ROESSLER: Yeah, that's what I was going
22 to say.

23 MS. HOMER: Saturdays are -

24 DR. ZIEMER: Not desirable?

25 MS. HOMER: - not desirable.

1 **DR. ZIEMER:** Okay.

2 **DR. ROESSLER:** Not even for travel?

3 **MS. HOMER:** Travel's okay, if you don't mind
4 traveling on Saturday, but some folks do.

5 **DR. ROESSLER:** I don't mind traveling on
6 Saturday.

7 **MS. MUNN:** I don't, either. That's fine.

8 **DR. ZIEMER:** Well, looks like the week of
9 the 11th is pretty well out.

10 **MS. MUNN:** Did someone say they weren't
11 available the 18th and 19th?

12 **DR. ZIEMER:** The 18th is out for Larry.
13 Larry has to be here, under the rules.

14 **MS. MUNN:** Oh, that whole week you're out?

15 **DR. ELLIOTT:** That whole week I'm out.

16 **DR. ZIEMER:** That puts us into the week of
17 the 25th. For whom is that a bad week?

18 **MR. ESPINOSA:** Are we limited on the Board
19 just to two days a month, or is there any way
20 (inaudible) to get some of the agenda done?

21 **DR. ZIEMER:** Yeah, Rich, just repeat the
22 question.

23 **MR. ESPINOSA:** Are we limited on two days a
24 month, or is there any way we can go like three
25 days to get some of the agenda done?

1 **DR. ZIEMER:** I don't think we're limited, as
2 far as I know, are we?

3 **MR. ELLIOTT:** The only limitation would be
4 how much preparation we can put together in that
5 amount of time to keep you actively employed at
6 the meeting.

7 **MS. MUNN:** I don't know if my brain can
8 handle three days.

9 **DR. ZIEMER:** That's true.

10 **MS. MUNN:** Immediately after Easter, then,
11 or Palm Sunday?

12 **DR. ZIEMER:** Well, where do we stand on -

13 **MS. MUNN:** 25th, 26th?

14 **DR. ZIEMER:** For the week of the 25th, who
15 has conflicts that week? No one?

16 **UNIDENTIFIED:** I have one on the 27th.

17 **DR. ZIEMER:** You have one on the 27th. And
18 Chris (sic), we don't know Jim's schedule,
19 either, do we?

20 **MS. HOMER:** No, we don't.

21 **DR. ZIEMER:** So we may have to -

22 **MS. HOMER:** And he's fairly difficult to pin
23 down.

24 **DR. ZIEMER:** Okay. We actually may have to
25 defer completing this till Jim gets here to get

1 that information.

2 **MS. HOMER:** Well, we can always connect by
3 teleconference.

4 **DR. ZIEMER:** Right.

5 **DR. ANDERSON:** What's the 25-26th look like?

6 **DR. ZIEMER:** Is 25-26 good for everybody
7 that's here today? Can we pencil that in as a
8 tentative?

9 **UNIDENTIFIED:** What's the date for Easter?

10 **DR. ZIEMER:** Easter is the 31st.

11 **MR. ELLIOTT:** 25-26 is - okay, tentatively
12 that.

13 We spoke yesterday about - I think there was
14 a suggestion about having a teleconference
15 scheduled after each Board meeting in case we
16 need it. We should perhaps think about that and
17 go ahead and schedule it. Is that the desire of
18 the Board, or - to close up loose ends left over
19 from the meeting or - and we can always cancel it
20 if there's nothing, no business to conduct. But
21 it puts us through a bind to announce in the
22 *Federal Register*. We have to do that so many
23 days in advance of a meeting, even a
24 teleconference meeting.

25 **MS. MUNN:** But it doesn't create a problem

1 with the *Register* for us to cancel?

2 **MR. ELLIOTT:** No, it doesn't create a
3 problem if we cancel.

4 **MS. HOMER:** Well, I do have to amend the
5 order cancelling that, but -

6 **MS. MUNN:** But no public hoo-hah?

7 **MS. HOMER:** Well, it depends on how late the
8 cancellation comes. Because we are limited on -
9 there's just a schedule that must be kept in
10 terms of any kind of administrative -

11 **MR. ELLIOTT:** Prior announcements.

12 **MS. HOMER:** Yeah.

13 **MS. MUNN:** I guess my thoughts in that
14 regard are - I would think in most cases it would
15 be difficult to know till we actually got to the
16 meeting, till we got to the conclusion of our
17 meeting, whether we really were going to need a
18 follow-up or not.

19 **DR. ZIEMER:** There's a fair chance we may
20 need to have something for February, roughly 4th
21 or 5th, to complete what we work on here today,
22 sort of final version of our comments. So it
23 seems to me it would be prudent to get that on
24 the schedule.

25 **UNIDENTIFIED:** Agreed.

1 **MR. ELLIOTT:** And we would need to announce
2 that as soon as we get back to the office.

3 **MS. HOMER:** I would probably have to prepare
4 it tomorrow -

5 **MR. ELLIOTT:** Right.

6 **MS. HOMER:** - and have it approved.

7 **DR. ZIEMER:** Because that's only two weeks
8 off.

9 I think we've been asked to submit our
10 comments by the 6th. Is that correct?

11 **MR. ELLIOTT:** Yes.

12 **DR. ZIEMER:** And how is the 5th for a
13 teleconference?

14 **MS. MUNN:** That's great. That's the
15 anniversary of the Constitution. That's
16 appropriate.

17 **DR. ZIEMER:** Any problems with the 5th?
18 We'll have to find a suitable - any bad times?

19 **DR. DeHART:** Does that give enough time for
20 final preparation and anything we formally have
21 to do on those minutes for them to have them by
22 the 6th? That's only a day.

23 **MR. ELLIOTT:** Well, it's the - what will be
24 going forward would be a letter from the Board.
25 It's not the minutes, per se.

1 **DR. ZIEMER:** Would be the Board's comments,
2 which would be based on work we do yet today, put
3 in final form. And I assume it would be in the
4 form of a letter from me.

5 **MR. ELLIOTT:** Yes.

6 **DR. ZIEMER:** Is that correct?

7 **MR. ELLIOTT:** Yes.

8 **DR. ZIEMER:** Which could be -

9 **MR. ELLIOTT:** As approved by the Board.

10 **DR. ZIEMER:** - after approval could be
11 transmitted electronically to NIOSH or HHS.

12 **MR. ELLIOTT:** And I would think that in an
13 hour teleconference, anything that comes out of
14 that we could take care of and get the thing
15 turned around by the next day, if we have to
16 spend the whole night doing it, which we would.

17 **DR. ZIEMER:** So can we leave it for your
18 discretion as to finding a suitable time? Keep
19 in mind we have some people in different time
20 zones, so we don't want it at 8:00 in the
21 morning, I presume.

22 **MS. HOMER:** Perhaps if you let me know what
23 time. How much are we going to need to discuss
24 this? That's where I need to start.

25 **DR. ZIEMER:** We need to have - block off a

1 minimum of an hour.

2 MS. HOMER: A minimum of an hour?

3 DR. ZIEMER: Do you have to put -

4 MS. HOMER: Yes, I do, I have to announce
5 times and amount of time.

6 DR. ZIEMER: Oh.

7 MS. MUNN: I would request that you not
8 start before 10:00 a.m. Eastern Time.

9 DR. ZIEMER: Okay.

10 MS. HOMER: That's reasonable.

11 DR. DeHART: That sounds like a good time.

12 MS. HOMER: 10:00 a.m. Eastern? 10:00 to
13 12:00?

14 DR. ZIEMER: Would you like to revise your
15 suggestion?

16 MS. MUNN: No, no, that's quite all right.
17 This is not a video conference.

18 DR. ZIEMER: Okay, block it in at 10:00 to
19 12:00, then.

20 MS. HOMER: 10:00 to 12:00?

21 DR. ZIEMER: Yeah.

22 MS. HOMER: Okay.

23 DR. ZIEMER: We can always shorten it if
24 needed.

25 MS. HOMER: That's right.

1 **UNIDENTIFIED:** And that's Eastern time?

2 **DR. ZIEMER:** Eastern time - 10:00, 9:00,
3 8:00 - that's 7:00 on the West Coast. But -
4 let's see, you're on Mountain Time?

5 Okay, any other - now do we need to find an
6 April date as well, Larry?

7 **MR. ELLIOTT:** We could either tentatively
8 block off a time now and not - won't have to
9 announce it, and then see how we proceed and
10 whether we want to use it, but we'd ask people to
11 hold out whatever time we block off.

12 **UNIDENTIFIED:** I would recommend that.

13 **DR. ZIEMER:** Okay.

14 **MR. ELLIOTT:** And I don't know that we need
15 to go farther than April right now, at this
16 point. In February we can look at May.

17 **DR. ZIEMER:** Well, let me ask you this.
18 Would it be sufficient for people simply to list
19 their bad dates in April and turn those in to
20 Cori now, or -

21 **MS. HOMER:** Just send me an e-mail.

22 **DR. ZIEMER:** We don't need to verbally go
23 through -

24 **MR. ELLIOTT:** Or you can mark on these and
25 turn them over to Cori right -

1 **MS. HOMER:** Write your name across the top
2 so I know who it is.

3 **MR. ELLIOTT:** Write your name across the
4 top, mark your availability for April.

5 **DR. ZIEMER:** And then they can work on
6 April.

7 **MS. HOMER:** April and May might be good, as
8 well.

9 **DR. ZIEMER:** April and May?

10 **MS. HOMER:** Yes.

11 **DR. ZIEMER:** Okay.

12 **MS. HOMER:** So that I have a month advance.

13 **DR. ZIEMER:** Okay. So the request is to
14 mark in April and May your bad dates, and -

15 **MS. MURRAY:** Excuse me, is the
16 teleconference for February 18th? Those two days
17 after the meeting, is a Saturday?

18 **DR. ZIEMER:** No, the 5th of February at
19 10:00.

20 **MS. MURRAY:** The 5th, okay. Thank you.

21 **DR. ZIEMER:** Okay. Larry, do you have
22 further items on the work schedule?

23 **MR. ELLIOTT:** I do not. I appreciate the
24 Board's accommodating this.

25 Are there questions? I'm sorry, are there

1 questions about the work we have before us, or –

2 **MS. HOMER:** Can I just ask one quick
3 question? We are having all these meetings in
4 Washington, or are we going to have them in
5 another location?

6 **DR. ZIEMER:** That's a good question. Well,
7 let's address that for a moment. Prior to this
8 meeting there was some exchange from members to
9 the staff about whether or not it might be
10 desirable to have some meetings at other
11 locations, particularly to accommodate members of
12 the public from other locations, perhaps around
13 DOE sites. And we can certainly do that.

14 One has to think about both the convenience
15 of the location and how you would decide on one
16 site over another. We've also talked a bit –
17 some Board members have indicated a desire to
18 visit sites themselves, although it's not clear
19 if you did visit a site exactly what it is you
20 would look at, and how that would help in
21 carrying out the duties of this group.

22 But nonetheless, we can open that issue of
23 visiting sites or locations near sites – for
24 example, if the site were Los Alamos, would you
25 go to Santa Fe or would you go to Los Alamos or

1 Albuquerque, that kind of thing. Gen.

2 **DR. ROESSLER:** I think it's a little
3 premature to talk about sites right now. I think
4 we need to have a couple more meetings to really
5 get out feet on the ground and know what - where
6 we're going, because once we go to a site we're
7 going to get questions from the public dealing
8 with that site.

9 **DR. ZIEMER:** Site-specific issues, yes.

10 **DR. ROESSLER:** Yeah. And I think really
11 that puts more of a burden on the Board and the
12 staff to prepare things that we're probably not
13 ready for yet. We're still trying to get up to
14 speed on what we're supposed to do.

15 **DR. ZIEMER:** Thank you.

16 Other comments, pro or con?

17 **MR. ELLIOTT:** I think Gen's point is very
18 good, and I've been thinking about this since we
19 polled the members as to their pleasure on having
20 meetings at sites and the comments that came
21 back.

22 I think it's pertinent to perhaps visit a
23 site if you have a set of dose reconstructions
24 that you're reviewing or have reviews being
25 presented to the Board, and you want to

1 understand better what activities went on at a
2 given site, or if we have a - you're evaluating
3 an SEC petition once we have the procedures in
4 place, and you want to have a better sense of
5 what occurred at that site and why this class of
6 employees wants to petition for the SEC. In my
7 mind, that's what would trigger having a meeting
8 at a site, to inform the Board.

9 **DR. ZIEMER:** Any other comments? Wanda.

10 **MS. MUNN:** Well, just for the record early
11 on, I'm from way out in the Tooele brush. And I
12 am conflicted about this issue simply because I'm
13 aware of the fact that two-thirds of the nation's
14 defense waste is stored at my site, and the
15 processing and storage of that is the basis for
16 most of the claims that we will get from that
17 area.

18 On the other hand, my guess is my site will
19 be probably one of the lowest in per capita
20 claims for a variety of reasons, not the least of
21 which is that the individuals who might be
22 eligible for submitting claims feel very strongly
23 that they have looked after their own welfare.

24 But I want you to know that both the site
25 manager and other members of the DOE staff there

1 have offered whatever services they can provide
2 if you choose to make this horrendous trip out
3 there, which you really can't get there from
4 here, but I can help you get there if you want
5 to. I just wanted that out for you.

6 I do believe that we're correct in assuming
7 that we don't really and truly know what we would
8 want to look at at the site yet.

9 **DR. ZIEMER:** Thank you, Wanda. We'll
10 interpret that as a kind invitation to visit
11 Hanford when the time is appropriate.

12 **MS. MUNN:** If you need that.

13 **DR. ZIEMER:** Yes.

14 Welcome back.

15 **DR. MELIUS:** Thank you. Pardon me if I am
16 off-track here, but I think we're talking about
17 the issue of site visits.

18 **DR. ZIEMER:** Yes.

19 **DR. MELIUS:** And I would just add two
20 things, and again I apologize if these have
21 already been stated.

22 One is that for members that are from the
23 West Coast, I think it's - I mean, I'm on the
24 East Coast, and it's great for me to come down to
25 Washington and so forth. But I think there is

1 sort of an element of fairness to other members
2 of the committee that we don't hold all our
3 meetings in Washington, that some of them be held
4 elsewhere. Second - towards the West Coast.

5 Secondly, I think it's important for the
6 visibility of this program and for the people
7 that are potentially impacted by this program
8 that we do hold some of our meetings at some of
9 the sites. I think it's important that the
10 people that are affected by this program have
11 some access and appreciation of the process, and
12 some time for input into this committee through
13 the public comment period during our meetings and
14 so forth.

15 So I would urge us at some point to start
16 holding meetings at some of these sites, as
17 difficult as they may be to get to. And I've
18 traveled to many of them.

19 **DR. ZIEMER:** Thank you. Other comments?

20 **MR. PRESLEY:** Oak Ridge has already offered
21 their willingness for the support, DOE and NNSA.

22 **DR. ZIEMER:** Thank you.

23 It appears that there's a desire to at some
24 point visit some sites, that perhaps it's
25 premature. And I think we can agree that at

1 least for the next two or three meetings we will
2 continue the pattern here, if this is - if one
3 meeting is a pattern, to meet here in Washington
4 till we get past the initial sort of orientation
5 of this group and the initial activities that we
6 have to engage in.

7 If I hear no strong objections to that, I
8 understand from *Robert's Rules* I can take that as
9 a consensus opinion.

10 **MR. ELLIOTT:** We are locked in in the
11 February meeting to holding it here, and that's
12 by a departmental requirement where if we travel
13 five or more people to a meeting we have to have
14 advance notice of that and approval of that. We
15 could do something for this March date you've
16 selected of 25th and 26th, Dr. Melius, if you're
17 available. And we've also asked folks to fill
18 out their availability for April and May and turn
19 that in to Cori. But we could in March, if you
20 wished, hold it in a more central location to
21 everyone, or whatever the Board's pleasure is on
22 a site.

23 **DR. ZIEMER:** But that doesn't have to be
24 decided today.

25 **MS. HOMER:** It does have to be decided soon.

1 **DR. ZIEMER:** Soon, though. Like when would
2 be the -

3 **MS. HOMER:** Like I need to know by next week
4 where you want it.

5 **MR. ELLIOTT:** Well, so we need to decide
6 today.

7 **DR. ZIEMER:** Well, okay. Well, is there any
8 strong feeling that we should be moving out to
9 the sites by our third meeting? Or maybe not the
10 sites. Maybe it's Chicago. I was thinking
11 Lafayette, Indiana. You can't get there from
12 here, either.

13 **MR. ELLIOTT:** Cincinnati would welcome you.

14 **MR. ESPINOSA:** I'll agree with Cincinnati
15 during the baseball season.

16 **DR. ZIEMER:** We need you here in the
17 meeting. Wanda?

18 **MS. MUNN:** For some of us it's not
19 necessarily a matter of where it is, it's a
20 matter of where the planes fly to. So
21 Washington, D.C., remains a good option.

22 **DR. ZIEMER:** It's pretty easy to get here,
23 yes. Thank you.

24 I think I will exercise the prerogative and
25 say we'll meet here in March, unless I hear

1 strong objection.

2 [No responses]

3 **DR. ZIEMER:** Now we're going to move into a
4 working session of the Board. This is a working
5 session on probability of causation.

6 Before we do that, I would like to have us
7 look at the procedural rules that a working group
8 worked on last evening. And let me begin by
9 thanking Tony for the work he did on developing
10 sort of the straw man version of this document.

11 This is a document that we discussed
12 yesterday, really our working rules on how we
13 will approach agreeing on recommendations to go
14 forward to the Secretary of Health and Human
15 Services. This is a simple, brief working
16 document. It's basically a one-pager. It deals
17 with the issue of what constitutes a quorum, what
18 constitutes a majority vote, and there may have
19 been - oh, some matters dealing with the
20 appointment of working groups and subcommittees.

21 So we're going to put the text before you
22 now here on the screen, and we'll have the
23 opportunity to look at this, and if everybody is
24 prepared to do so, to have a formal motion to
25 adopt this as our operational guidelines.

1 So it consists of I think three main points,
2 one of which has some subpoints. Is it three -
3 well, okay.

4 **MS. HOMER:** There are three.

5 **DR. ZIEMER:** Yes, okay. Let's look at
6 these, first review it point by point, and then
7 I'll ask for a formal motion to accept this
8 document. And once it's moved to accept, we can
9 amend it if needed.

10 So on the definition of a quorum, it says
11 we'll implement HHS's definition of a quorum,
12 which is the - half the membership plus one,
13 basically. We expressed it that way rather than
14 saying six, because if additional appointments
15 are made to this committee and the number changes
16 we don't want to have to go back and amend this.
17 So it's half the membership plus one. Currently
18 that is six.

19 The Board will issue formal recommendations
20 only after a majority opinion has been reached by
21 voting - through voting by the eligible members,
22 and here's what's meant by eligible members:
23 Members that have not been required to recuse
24 themselves from participating in discussions -
25 and I think that would include voting, I guess,

1 the matter in hand; those who've not abstained
2 from the vote - if somebody abstains the voting
3 number changes, and so what a majority - what
4 constitutes a majority changes; and then thirdly,
5 those who may not be available to participate in
6 a vote.

7 Now there is a notation here that all
8 reasonable efforts would be made to obtain the
9 vote - that is, trying to not take actions when
10 members are absent, or if they are to try to have
11 them vote, be on board by phone. But it's
12 conceivable that there could be cases where one
13 or more members were absent, in which case the
14 total number voting changes, and therefore the
15 majority changes.

16 And then the statement that the Board can
17 form subcommittees - and this, incidentally - our
18 charter does have a similar statement, and this
19 simply puts that information into the working
20 document here - that subcommittees and working
21 groups can be formed at the discretion of the
22 Chair and the Executive Secretary, and the
23 provision for outside technical experts, if
24 needed, to participate in those activities.

25 There's a difference between subcommittees

1 and working groups. Subcommittees fall under
2 FACA guidelines in terms of meeting, and
3 typically those subcommittees have ongoing
4 responsibilities. For example, a subcommittee
5 dealing with dose reconstruction would be an
6 example. Whereas a working group is simply a
7 group formed for a specific task, such as we had
8 last night. It simply has an immediate task to
9 take care of. It is not - a working group cannot
10 act on behalf of the committee, but it can do
11 work for the committee. It brings it back for
12 the committee to act on as a group.

13 I believe that's it. I entertain a motion
14 to adopt the rules. Okay, Roy, are -

15 **DR. DeHART:** I move the adoption.

16 **DR. ZIEMER:** Move the adoption. And is
17 there a second?

18 **MR. PRESLEY:** Second.

19 **DR. ZIEMER:** Second, okay. Now discussion.
20 Yes, Jim.

21 **DR. MELIUS:** I would propose a modification
22 to number one that would be similar to the
23 statement we have down under the end of number
24 two, but a statement to the effect that in
25 scheduling the meetings every attempt will be

1 made to have all Board members present so that
2 we're not scheduling for a quorum, we're
3 scheduling to the extent possible to make sure
4 that the -

5 **DR. ZIEMER:** I certainly - I would interpret
6 that as a friendly amendment, and we don't have
7 to formally act on that. Without objection we
8 can add a similar statement?

9 [No responses]

10 **DR. ZIEMER:** Thank you.

11 **MR. ELLIOTT:** You want me to add that right
12 now?

13 **DR. ZIEMER:** You can add that right now.
14 Other comments? Discussion?

15 **MS. HOMER:** Every reasonable effort shall be
16 made to -

17 **DR. MELIUS:** Ensure that all Board members
18 are available for meetings, something to that
19 effect. Or scheduled such that every reasonable
20 effort shall be made that meetings are scheduled
21 to ensure that all Board members are available.

22 **DR. ZIEMER:** Might have to word-smith that a
23 little bit, but I think we have the intent.

24 Any other items of discussion, questions?
25 Are we ready to vote on the operational

1 guidelines?

2 [No responses]

3 **DR. ZIEMER:** I see no objection. All in
4 favor will say aye.

5 [Affirmative responses]

6 **DR. ZIEMER:** Any opposed, say no.

7 [No responses]

8 **DR. ZIEMER:** Any abstentions?

9 [No responses]

10 **DR. ZIEMER:** Motion carries. It appears to
11 be by unanimous consent. Thank you.

12 Now we're ready for the working session.
13 And let me outline or propose - and I'm only
14 proposing this, because this Board is so free and
15 independent it can do as it wishes, in a sense -
16 but I do have a proposal as to how we proceed,
17 and let me try this out on you.

18 We have three questions that we have been
19 asked to address. Those questions - this is on
20 probability of causation - are delineated on the
21 first page of 42 CFR 81, and you can turn to that
22 tab. It's the probability of causation
23 guidelines, or interim guidelines, I guess they
24 would be called. And there are three questions
25 we have been asked to answer. We actually talked

1 about those three questions yesterday.

2 Now what I am proposing is that we break
3 into three working groups of three individuals
4 each. This is carefully chosen so that the Chair
5 isn't working. I would actually float from one
6 to the other, crack the whip and make sure you're
7 staying on schedule. But, no, the three working
8 groups, one for each of these questions, to
9 answer that question.

10 Now in answering that, I'm suggesting the
11 following: That not only do you consider your
12 own views and opinions relative to the items as
13 spelled out in the interim guidelines, but I ask
14 that you take a look at - I think you've all read
15 through these - number one, the comments by the
16 scientific or technical experts who've addressed
17 this. There are seven of those.

18 Do you all have copies of those with you?
19 We can bring them up on the screen, but it may
20 also be easier if you have a hard copy to work
21 with in the subgroup.

22 But insofar as the technical experts have
23 raised issues, I think it would be appropriate to
24 ask yourself are those issues ones that we are
25 concerned about in terms - because we're asked to

1 judge whether or not appropriate use has been
2 made of current science and medicine, and we have
3 some technical input on that from others, and it
4 seems to me appropriate that we make use of that.

5 Furthermore, there are public comments that
6 you have copies of, some of which also address
7 the scientific and medical issues. I'm not
8 suggesting that we respond to public comments. I
9 am suggesting that insofar as an issue has been
10 raised that rings a bell for you and you think
11 it's something you want to raise, that's fine as
12 well. Simply be cognizant of those. Obviously
13 there's some comments that are not pertinent to
14 what we're doing. Someone who says I just hope
15 the process proceeds quicker, something like
16 that, that's not an issue we're dealing with, at
17 least not directly.

18 So I'm simply suggesting that we be
19 cognizant of the public comments insofar as they
20 may have raised questions that we think are
21 appropriate, and to particularly pay attention to
22 the medical and scientific experts who have
23 raised issues on the rule-making as well.

24 Then what I suggest you do is simply jot
25 down items. This can be sentences that serve -

1 this will serve as a jumping-off point - of
2 points of agreement about - for example, if
3 you're talking about appropriate use of current
4 science and medicine, you can break it down into,
5 for example, the risk coefficients. Has
6 appropriate use of science and medicine been used
7 in that part of the order, and on through the
8 various aspects.

9 Now this is a little sketchy, but it's a
10 jumping-off point. Now let me open the floor.
11 If someone has a different way of approaching
12 this, I'd be glad to hear it and share it and so
13 on.

14 Oh, yes. Each of the groups, there are the
15 technical staff - and let's identify precisely
16 who's here and what issues they can particularly
17 talk to, so that if you want to have one of those
18 technical staff come in and answer a question,
19 why is this done this way, or could you clarify
20 this and so on, so - and we'll identify those in
21 just a moment.

22 Let me also make a comment for the
23 observers. I would say that observers are free
24 to listen in to any of the groups. We're not
25 asking the observers to participate in the

1 discussion, and it would in a sense be
2 inappropriate for you to do that at that point.
3 But you're certainly free to listen in to
4 deliberations, and if you want to wander around
5 and help me make sure they're doing their work,
6 that's fine. And we only have this room
7 available, so what you may need to do is just
8 move to a couple of corners of the room. We
9 might be able to use the foyer out here.

10 But let me see if somebody has an alternate
11 idea that they want to propose on how we proceed.
12 I mean, we can operate as a committee of the
13 whole, if you prefer, or we could in fact spend
14 some time as a committee of the whole to start
15 with. In fact, I actually thought we might spend
16 about a half hour and see if there are some
17 technical issues that you want the staff to
18 address as a whole before we break up. But -
19 Roy, you have a suggestion?

20 **DR. DeHART:** You had mentioned points of
21 agreement. There also may be points of
22 disagreement.

23 **DR. ZIEMER:** Well, sure, yes, of course.

24 **DR. DeHART:** And I think we need to keep
25 that in mind as well.

1 **DR. ZIEMER:** Right, right.

2 Now our job is not to respond to the
3 comments of the scientific reviewers or of the -
4 certainly of the public. That's the job of the
5 staff folks to do. So I'm only suggesting that
6 those be used as resources to stimulate your
7 thinking about issues that may be out there.

8 Yes, Jim.

9 **DR. MELIUS:** A procedural question in terms
10 of the - we would break up into working groups
11 for how long, and then get back together? Is
12 that - what's the -

13 **DR. ZIEMER:** Oh, yes. Actually we have a
14 working session this morning. We have a working
15 session this afternoon. I don't have a good feel
16 for how much time is going to be needed or how
17 much progress we'll make, but we can see where we
18 are toward the lunch break. And incidentally,
19 there's not a formal break on the program today,
20 so in your small groups you take breaks as you
21 need it.

22 But depending on where we are, we certainly
23 come back together and see what it looks like,
24 committee as a whole; share with each other
25 because we don't want this to be done one group

1 in isolation. So this is just a way to proceed
2 to get sort of some straw man ideas out on the
3 floor so that we can all react to. I would
4 anticipate if we make good progress this morning,
5 we operate as a committee of the whole this
6 afternoon and refine what has been done. But to
7 the extent to which we make that progress will
8 determine how we proceed.

9 Yes.

10 **MR. ELLIOTT:** I'd like to make one comment
11 for the Board's information. The subject matter
12 experts, the technical/scientific reviews that
13 we've facilitated and sought and Dr. Ziemer
14 mentioned a moment ago, are centered on two
15 documents primarily: One on the IREP itself, and
16 the IREP is certainly mentioned in this rule. It
17 is prominent in this rule. It's the underpinning
18 for this rule.

19 So just keep in mind that five of those
20 commenters were asked to truly evaluate the IREP
21 and the risk models associated with that. And
22 two other commenters were asked to provide
23 commentary on the dose reconstruction
24 documentation for RBES that are used in the IREP.
25 So when you're looking at those scientific and

1 technical comments, that's the background.

2 **DR. ZIEMER:** Some may not apply to this.

3 **MR. ELLIOTT:** Some may not apply directly to
4 this rule.

5 **DR. ZIEMER:** But the IREP is - takes off
6 from this, the probability of causation
7 foundation here. So insofar as it's of help,
8 that's fine. Okay.

9 Yes, Wanda.

10 **MS. MUNN:** My apologies to other members of
11 the committee who are not as paper-averse as I
12 am. I did not download those comments, so I'm
13 hoping that someone has a hard copy for us to
14 look at.

15 **DR. ZIEMER:** I have hard copies. Who else?
16 Roy does. We have several hard copies available,
17 so -

18 Is the committee comfortable in proceeding
19 in the manner described? Roy.

20 **DR. DeHART:** Paul, I would suggest that we
21 get together a few minutes before the lunch break
22 just to get a sense of where we are.

23 **DR. ZIEMER:** Yes, good idea.

24 Otherwise, are we comfortable in proceeding?
25 Jim.

1 **DR. MELIUS:** I am. Just have a similar
2 aversity, as Larry knows, to carrying large
3 amounts of paper around with me. For future
4 meetings, if we're going to be discussing
5 specific things, could we make them available at
6 the meeting? You seem to have a lot of stuff
7 with you, but not some of the stuff we need now.
8 So it would be easier, that's all.

9 **MR. ELLIOTT:** We can get copies of these
10 made, I believe. We can get copies of these
11 subject matter -

12 **DR. ZIEMER:** Well, and certainly at the time
13 that the agenda was made, none of us had in mind
14 how we were going to proceed here. And in fact,
15 this was simply an idea that I generated last
16 night out of the blue, I guess you'd have to say.

17 **DR. MELIUS:** That's why I said for future
18 meetings.

19 **DR. ZIEMER:** I hope that's not in the record.
20 No, no, thank you, Jim. That's certainly a
21 good suggestion.

22 Okay, let's take some time - let's see how
23 we are - it's just 9:00. Let's take some time
24 and see if there are some either general comments
25 or questions, particularly questions that might

1 be addressed to the staff.

2 And let's see, Larry, can you identify who's
3 available here and remind everyone of their area
4 of expertise?

5 **MR. ELLIOTT:** Surely. Well, Mary Schubauer-
6 Berigan is here again this morning, research
7 epidemiologist that really did a lot of work on
8 this probability of causation rule and the IREP.

9 Russ Henshaw is also here, epidemiologist.
10 He knows IREP and the rule as well.

11 We have Ted Katz, who can talk to you about
12 the policy implications of the two rules.

13 We have Jim Neton and Grady Calhoun, who -
14 you didn't meet Grady yesterday other than a
15 brief introduction, but he's a health physicist,
16 as Jim is. So if you have questions on the dose
17 reconstruction aspect or what is the inputs to
18 the IREP, they can certainly help you in that
19 regard.

20 We do have - I will go into the audience
21 here to a certain extent, too. We have David
22 Richardson here, which he's one of the subject
23 matter expert commenters. I'm not sure that it's
24 fair to really tap him, given we don't have the
25 other subject matter experts here.

1 And we certainly have - David Michaels is
2 here, if you have questions of - we have Josh
3 Silverman - if you have questions from DOE. If
4 you have questions about perhaps the intent of
5 Congress on why we were given this or what their
6 intent was to come from this, maybe Josh may help
7 us out in that regard, put the onus on Josh.

8 So that's kind of, as I view them, your
9 subject matter experts at your hand.

10 **DR. ZIEMER:** Thank you.

11 **DR. MELIUS:** Can I ask one other procedural
12 question? I don't know to what extent there were
13 any comments from reopening the rule-making, but
14 I don't believe those have been posted yet, nor
15 have we seen them. So I don't know if they're
16 available or what the status of those are.

17 **MR. ELLIOTT:** I have not seen them myself.
18 Dave Sundin's here, who is my Deputy, and I think
19 he has read through them. Can you -

20 **MR. SUNDIN:** (Inaudible).

21 **DR. ZIEMER:** You need to use the mike so
22 they can -

23 **MR. ELLIOTT:** We're going to get you on the
24 record.

25 **DR. ZIEMER:** Get you on the record here.

1 **MR. SUNDIN:** There were only two that I
2 recall seeing. They should be up on the web very
3 soon.

4 **MS. MURRAY:** Name, please?

5 **MR. SUNDIN:** Dave Sundin.

6 **MS. MURRAY:** Thank you.

7 **MR. ELLIOTT:** Is it possible we could have
8 them loaded up this morning?

9 **MR. KATZ:** I have them with me. We can make
10 copies.

11 **MR. ELLIOTT:** We have them with us. We can
12 make copies.

13 **DR. ZIEMER:** Okay. Henry has a question.

14 **DR. ANDERSON:** I don't know if it's a
15 procedural question or what. Specifically in the
16 proposed rules on page 50971, in the middle under
17 *Updating NIOSH-IREP*, it specifically mentions the
18 Board here, and it says improvements may also be
19 directly recommended by the Advisory Board, which
20 is us; and it also in the next paragraph talks
21 about substantive changes will be submitted to
22 the Advisory Board for review. I guess my
23 question is our comments at this point, are those
24 considered to be the review? Are we going to be
25 getting your revisions?

1 **MR. ELLIOTT:** For the IREP?

2 **DR. ANDERSON:** For review? I guess it's -

3 **MR. ELLIOTT:** That'll be at a subsequent
4 meeting.

5 **DR. ANDERSON:** Are we review and approve, or
6 what is the process for subsequent changes? I
7 mean, a lot of this is - in the rule is fairly
8 non-specific. It lays down kind of the approach,
9 but doesn't get into the specifics. And really
10 my question is how easy will it be to make
11 changes? Or will you have to go back through a
12 rule amendment process, or - clearly, as you gain
13 some experience and we track that as a Board, we
14 may be making some recommendations on some of
15 these issues. And I just wasn't clear as to what
16 was going to be our role in that versus our role
17 at this point, which is kind of a - leading a
18 public comment. Are we still just in a public
19 comment thing subsequent, or do we have a special
20 standing of some kind?

21 **MR. ELLIOTT:** Well, your role today is to
22 review and evaluate and comment on this rule.

23 **DR. ANDERSON:** Yeah.

24 **MR. ELLIOTT:** And this passage that you've
25 quoted from this rule, as I take it - and I'll

1 look at others to help me out here – if there are
2 changes to the IREP that we're going to make,
3 that's separate from this rule. They will be
4 brought before this Board so that you can
5 evaluate, review and comment on those substantive
6 changes to IREP. Does that –

7 **DR. ANDERSON:** Okay, I see.

8 **MR. ELLIOTT:** Does that answer your
9 question? We don't have anything to present to
10 you today on modifications to IREP based upon
11 comments we've received.

12 **DR. ANDERSON:** Right, okay.

13 **MR. ELLIOTT:** Okay? We may make minor
14 changes to IREP that won't be presented to this
15 Board. And I think one of them that I could give
16 as an example, Gen Roessler's come up to us, and
17 we've had other comments about this, too, on the
18 little pie charts, the little –

19 **DR. ANDERSON:** Yes.

20 **MR. ELLIOTT:** – you know, the pieces of the
21 pie don't look proportional to the percentages.
22 We'll make that change, and you're never going
23 have a chance to say anything about it, I think.

24 **DR. ANDERSON:** Okay.

25 **MR. ELLIOTT:** But if it's substantive in

1 nature, yes, we'll bring it here to the Board.

2 **DR. MELIUS:** Can we go into that, I think
3 maybe a little bit, because I'm still a little
4 bit confused, Larry, on this process, because
5 changes in IREP are going to have an impact
6 beyond - it's more than a minor technical change.
7 They obviously could affect a number of claims
8 and retrospectively lead to changes in how claims
9 have to be reviewed again, and so forth. And has
10 anybody sort of thought through the process for
11 that and a timing for that? We keep making a
12 series of adjustments, or is it going to be every
13 six months? I mean, obviously to some extent
14 that's dependent on new scientific data and so
15 forth down the road, but -

16 **MR. ELLIOTT:** We have had a little
17 discussion about this, and we recognize that we
18 need to address it and manage it to the point
19 where we're not constantly coming forward with
20 new changes. We need to be clear on the criteria
21 that we use to say there is a change or a
22 modification to IREP that we believe needs to be
23 made, and here's why. What pieces or what points
24 of criteria does this fit to justify a
25 modification to IREP? And we would present that

1 to you.

2 We don't envision coming to each Board
3 meeting and saying here's a new twist, a new
4 tweak of IREP, or here's another change in dose
5 reconstruction methodology. We think we need to
6 have very clear justification and good scientific
7 basis to make certain changes.

8 Does that help? That's not a very clear
9 answer, but that's about all we can give you
10 right now.

11 **DR. MELIUS:** That helps. What I would
12 propose, because I think it affects how we work
13 in our subcommittees and how what we comment on
14 today, is that as we think about subject matter
15 for future committee meetings that we devote a
16 considerable amount of time to sort of background
17 on the model IREP and so forth, hearing from NCI,
18 hearing from others about that, and that we do
19 that as sort of a series of briefings.
20 Therefore, when it comes up to time to consider a
21 change, we will be sort of prepared for that, and
22 not have to push it into one meeting or whatever.

23 **MR. ELLIOTT:** That's a good point, good
24 comment.

25 **DR. MELIUS:** Also that we don't then have to

1 get into, spend a lot of time dealing with those
2 issues in terms of commenting on the rules today.

3 **DR. ZIEMER:** Right, right.

4 **DR. MELIUS:** That's the corollary.

5 **DR. ZIEMER:** No, the focus today is on the
6 Part 81 itself, which is in a sense independent,
7 although -

8 **DR. MELIUS:** Yeah.

9 **DR. ZIEMER:** Okay. Okay, let's proceed.
10 Other questions or comments of a general nature?

11 [No responses]

12 **DR. ZIEMER:** Let me ask if the committee
13 wishes as a whole to discuss any of the three
14 questions before we break into groups? Or do you
15 want to raise any technical questions with staff
16 at this point?

17 [No responses]

18 **DR. ZIEMER:** There appears to be no urgent
19 questions.

20 **DR. ANDERSON:** Do we have a copy of the rule
21 for the - address question two?

22 **DR. ZIEMER:** This is the rule, this -

23 **DR. ANDERSON:** No, no, no, but I mean, if
24 we're asked to compare it to -

25 **DR. ZIEMER:** Oh, I'm sorry.

1 **DR. ANDERSON:** - the atomic veterans, is it
2 consistent with -

3 **DR. ZIEMER:** Oh, good point. Does the
4 proposed - does the proposal appropriately adopt
5 compensation policy as it has been applied for
6 the compensation of veterans with radiation
7 exposure. Help us with that one a little bit.

8 **MR. ELLIOTT:** In the technical presentations
9 you got yesterday, there was mention of our
10 evaluation and understanding of the precedent
11 that's been set by the other compensation program
12 for atomic veterans, and what we could use and
13 build upon from that.

14 We don't have a report to share with you on
15 that. We can bring that in. I think maybe the
16 Government Accountability Office review report of
17 that program is on our web site. I don't know if
18 anybody printed that off. We could get that for
19 you.

20 Certainly Mary or Jim or I could talk to in
21 more detail about what we know to be their
22 experience, and I think - is Mike Schaeffer here?
23 - Defense Threat Reduction Agency is not here
24 today, but he could have perhaps answered a
25 question or two.

1 But essentially what we tried to do was get
2 an understanding from that program as to what
3 their experience has been and what their concerns
4 or criticisms might have been from their
5 constituents, from the workers who were being -
6 or the veterans who were being compensated under
7 that program, what were the good things and
8 limitations that they experienced in that
9 program. And we tried to address those as we
10 could. We didn't spend a lot of time yesterday
11 going through that for you.

12 Is there anything that Jim or Mary would
13 like to add on that?

14 [No responses]

15 **DR. DeHART:** If there is someone here who
16 could go into it in more depth - I was going to
17 wait and find out which - one, two or three - I
18 was going to get involved in before addressing
19 that particular issue. But since it may touch on
20 any of us or all of us, if there is someone that
21 can provide more depth background on that, that
22 would be helpful, I think, at this time, since
23 all of us would be interested in this.

24 **DR. ZIEMER:** Okay.

25 **DR. ANDERSON:** We can't put a list up there

1 and a list, compare it and say it looks pretty
2 close.

3 **MR. ELLIOTT:** This is a good question you
4 raise, because this is a difficult question to
5 answer without having more detail, which you're
6 asking for.

7 **DR. ANDERSON:** And we recognize what you
8 said, that you tried, you made every effort. And
9 we can say - but it's hard to independently
10 verify that. I guess that's how I see the
11 question.

12 **MR. ELLIOTT:** Sure.

13 **DR. ZIEMER:** It's certainly a valid point to
14 raise, so in fact it may be very difficult for us
15 to really deal with that effectively.

16 **DR. ANDERSON:** Maybe we could just respond
17 by saying we can't comment.

18 **MR. ELLIOTT:** We don't have any real hard-
19 copy information other than the Government
20 Accountability Office report, and we can
21 certainly pull that up on-line. Maybe we should
22 do that for you. That might give you a little
23 more insight.

24 **DR. ZIEMER:** Larry, give us a little
25 background on the question itself. Is there a

1 stipulation - I'm trying to recall if there's a
2 stipulation in the public law itself that says
3 that you have to appropriately adopt your policy
4 to -

5 **MR. ELLIOTT:** Well, in the Act the ancillary
6 supporting influence from this other compensation
7 program would be the IREP.

8 **DR. ZIEMER:** Mary, do you have comment?

9 **MR. ELLIOTT:** That's one of the things we
10 were charged with using, and that's used in the
11 veterans - atomic veterans compensation program.
12 We tried to talk through the experience of NCI's
13 development of that IREP with you, and what
14 modifications we sought and felt needed to be
15 made to IREP that were applicable to the work
16 force for the energy compensation program.

17 The Government Accountability Office was -
18 report was critical in one aspect with regard to
19 transparency in having an advisory body review
20 their efforts, their work, their program. We
21 felt we had that addressed with you all being
22 appointed.

23 Does that help here?

24 **DR. SCHUBAUER-BERIGAN:** If I could just make
25 two comments. I don't have the rule in front of

1 me, so I can't tell you exactly where it is, but
2 it does refer to the use of the 1985
3 radioepidemiological tables to determine
4 probability of causation, and then it adds as
5 they are updated from time to time.

6 Another point I would like to make is that
7 the draft NCI report, which I believe is part of
8 your briefing book - Larry, did the committee
9 receive that briefing book that you have in front
10 of you?

11 **MR. ELLIOTT:** No, they did not receive this
12 briefing book.

13 **DR. SCHUBAUER-BERIGAN:** Did they receive the
14 NCI report?

15 **MR. ELLIOTT:** (Inaudible) web site.

16 **DR. SCHUBAUER-BERIGAN:** Okay, that actually
17 is available, and we could get copies to you.
18 But that has the NCI's justification for the
19 development of the new software program,
20 justifying the need for the changes and
21 describing some of the effects of the changes.

22 The final NCI report, I believe, will go
23 into even more detail about comparisons between
24 the new tables compared to the 1985
25 radioepidemiological tables, but I don't believe

1 that's publicly available yet.

2 **MR. KATZ:** I'm sorry, Ted Katz, too.

3 Let me just add the other sort of major
4 point in terms of adapting VA policy, was that as
5 we discussed yesterday, in our case we basically
6 gave DOL guidelines that were entirely objective,
7 cut-and-dried decisions on their part; whereas
8 Veterans Affairs has an element where in the case
9 of an illness that's not covered, they have a
10 decision, a judgment that's made, that's not
11 written down on paper anywhere in terms of what
12 the decision logic is for coming to that answer.
13 So that's really the other major diversion in
14 terms of the probability of causation rule. And
15 then there are some differences with respect to
16 the dose reconstruction rule, too. But that
17 really covers it.

18 And I would just suggest that this is - this
19 actually - this question is probably a lighter
20 question, if you're thinking about dividing into
21 three groups, there's not as much really
22 discussion, I think, to be had on this question
23 as the others. So you may want to consider that
24 in terms of how you divide and conquer.

25 **DR. ZIEMER:** Exactly. It seems to be

1 leading in the direction of saying we may not
2 have anything right now to say on this. It
3 appears that we would need, as a minimum, some
4 kind of a side-by-side evaluation, or something
5 we could say here's what the veteran's policy
6 was, and here's how we've adopted it to this.
7 I'm envisioning something where we can actually -
8 we need some information to answer the question.

9 Wanda, did you have a comment?

10 **MS. MUNN:** Yes, and the background that's
11 given - granted, there is considerable background
12 with regard to the development of the tables, et
13 cetera. However, it sounds to me as though
14 perhaps the GAO report may have condensed the VA
15 program into a manageable piece of information.
16 I don't know whether we have either the time or
17 the willingness to do the kind of line-by-line
18 comparison that perhaps some of us envision when
19 we read this, does it fit. But at last the GAO
20 report might be helpful for us.

21 **DR. NETON:** My recollection of the GAO
22 report - I could be wrong on this, though - is I
23 think it was primarily oriented at a review of
24 the dose reconstruction efforts under the VA
25 program that are conducted for DTRA, Defense

1 Threat Reduction Agency. So I don't sense that
2 it would shed much light on this broader policy
3 issue of the adaptation of the IREP, of the
4 probability of causation tables. I might look at
5 that closer, but I really don't think there's a
6 lot of substantive information in there on that.

7 **MS. MUNN:** There must then be somewhere in
8 VA.

9 **DR. NETON:** Well, I think there's a VA rule.
10 I mean, there certainly is a – the VA has
11 published a rule on their dose reconstruction – I
12 mean, on their probability of causation.

13 **MS. MUNN:** I guess what I'm really grasping
14 for is an executive summary of how the VA rule
15 was applied and whether that was appropriate.

16 **MR. ELLIOTT:** I have the NCI report, draft
17 report, and the National Academy of Sciences
18 review, and this – and we can get you copies of
19 that. I can pull up the Government
20 Accountability Office report from the web site,
21 and we can show that.

22 I don't believe there is a document that
23 will enable you to go line-by-line and make a
24 point of comparison. We don't have anything like
25 that. We can pull the rule. We can get a copy

1 of the rule, perhaps, for VA. But I think you're
2 going to find it doesn't match up to our rule in
3 any shape or form. It's presented entirely
4 different.

5 **MS. MUNN:** I'd expect the NAS report to have
6 much of the information I'd hope to see.

7 **MR. ELLIOTT:** It's on the IREP. That's on
8 the IREP.

9 **DR. ZIEMER:** Okay. Tony.

10 **DR. ANDRADE:** Paul, based on the comments
11 from around the table this morning, I think I'm
12 coming to the point where I think I'd like to
13 suggest an alternative approach to dealing with
14 those three questions, whereby we deal with these
15 three questions at the end of the day for both
16 proposed rules.

17 I think that the best that we're going to be
18 able to do, given the time frame that we have, is
19 to go paragraph by paragraph, as a committee of
20 the whole, and request comments, questions,
21 and/or issues that Board members may have with
22 respect to IREP or questions regarding the origin
23 of some of these tables, the applicability of
24 some of the scientific methods that have been
25 used.

1 Example, the dose reduction factor, other
2 things. I have some general questions about how
3 the physicians used criteria on screening. Did
4 they take into account, for example, latency
5 periods, or did the health physicists here use
6 those things, use those types of data in IREP? I
7 don't know if physicians did that beforehand, or
8 you all are doing it in IREP. So that's a
9 technical question that I have.

10 And then at the end of the day we summarize
11 what questions we have, what questions this Board
12 will be addressing, and in general how we feel
13 about those three very high-level questions.

14 **DR. ZIEMER:** Okay. That certainly is a
15 useful suggestion. Actually, the idea of going
16 through this initially and asking for general
17 questions is really along that same line, and
18 maybe the issue is how much time we spend on
19 that. And I think you're suggesting we operate
20 for a while as a committee of the whole and get
21 all of those questions out on the floor. And we
22 can certainly do that, sort of paragraph by
23 paragraph, and take as much time as we need on
24 it.

25 **DR. ANDRADE:** Exactly. And some of the

1 paragraphs are trivial.

2 DR. ZIEMER: Sure.

3 DR. ANDRADE: They just state the obvious,
4 and so those we can go quickly through.

5 DR. ZIEMER: Any other suggestions?

6 [No responses]

7 DR. ZIEMER: Certainly willing to proceed in
8 - seem to be strong feelings one way or the
9 other, but it's a good suggestion. And I think
10 we'll be able to, by the end of that, see where
11 we are, as you've suggested. At which point we
12 can break into what probably will not be three
13 groups anyway, if we need to break into it,
14 because we're not going to be able to deal with
15 that second one. We won't have any volunteers,
16 right?

17 Okay, let's see how we're doing time-wise.
18 Let's take a brief comfort break, and then we'll
19 proceed with questions then. Fifteen minutes.

20 [Whereupon, a break was taken from
21 approximately 9:29 a.m. until
22 9:51 a.m.)

23 - - -

24 DR. ZIEMER: Now the path that we've agreed
25 upon is to go through 42 CFR 81 more or less

1 paragraph by paragraph or section by section, and
2 allow the Board members to raise questions or ask
3 for clarification and make any appropriate
4 comments they wish. So let us get the material
5 before us, 42 CFR 81. We may come back to the
6 section on comments invited where it has the
7 three questions, because we have an alternate
8 framework for question two, I think, which we can
9 raise at the appropriate time that is a little
10 more clear on exactly what is needed there.

11 Is there any - so let's go to Section III, I
12 guess, which is called Background. III.A. is
13 Statutory Authority. Are there any particular
14 questions there that need clarification? Yes.

15 **DR. ANDRADE:** I'm not sure if the committee
16 -

17 **DR. ZIEMER:** Use the mike there, Tony.

18 **DR. ANDRADE:** I would have assumed that the
19 Board had had an opportunity to read the
20 background section, and we just really optimize
21 our time by looking at the proposed rule itself -

22 **DR. ZIEMER:** Okay.

23 **DR. ANDRADE:** - which is only two or three
24 pages. And that way I think we can plow through
25 it very quickly, and then refer back to the

1 background if necessary.

2 **DR. ZIEMER:** We certainly can do that. Much
3 of the – there's a fair amount of technical
4 information in the background section, so I think
5 if there are questions on that it might be
6 appropriate, however, to – but you're suggesting
7 that we jump to –

8 **DR. ANDRADE:** Page 50974.

9 **DR. ZIEMER:** – page 50974.

10 Let me just ask if anyone wants to raise any
11 issues on the background section. Let's give the
12 opportunity at least. If not, we'll jump
13 immediately to the main body. Realize the
14 background section has a fair description of
15 probability of causation and IREP and related
16 matters.

17 [No responses]

18 **DR. ZIEMER:** If not, we will then skip to
19 the rule itself.

20 The main guidelines, then, begin on 50974,
21 and there's an introduction there with background
22 information again, very brief; purpose and
23 authority and provisions concerning the rule, and
24 then definitions.

25 Okay, first question.

1 **DR. ANDRADE:** Let me start the questions.
2 Under background, Section 81.0, there are
3 two paragraphs that establish categories of
4 employees with cancer for whom PC must be
5 estimated or determined, and in particular in
6 paragraph (b), the category that is noted is the
7 Special Exposure Cohort.

8 Now given that the Advisory Board is to
9 suggest additions if we consider it appropriate
10 to the Special Exposure Cohort, is there a
11 subject matter expert here, either on the Board
12 or in the audience, that can address at least
13 very generally what methods or guidelines were
14 used to establish the Special Exposure Cohort so
15 that we might be able to use either similar
16 methods, if applicable?

17 **DR. ZIEMER:** Larry, can you help us on that?

18 **MR. ELLIOTT:** If I understand your question,
19 Tony, you're asking why was the Special Exposure
20 Cohort established, or how was it established?

21 **DR. ANDRADE:** Not so much why, but how.

22 **MR. ELLIOTT:** Okay. Well, that's - let me
23 try to answer your question, but before I do I
24 would say that this category here under (b), what
25 that is specifying is that those individuals who

1 are a member of a Special Exposure Cohort who are
2 seeking compensation for a specified cancer as
3 defined, that DOL will have to use these
4 regulations to apply to that - no, not to apply
5 to that. Not for the specified cancers, that's
6 the second category. The first category is all
7 of those other than that.

8 Now the Special Exposure Cohort, how was it
9 established? Well, it was established to include
10 the three gaseous diffusion plants, primarily
11 because of what happened at Paducah. Unless
12 somebody in the audience has something they wish
13 to say about this, I do not believe that there
14 was any scientific basis, any scientific basis
15 for establishing the Special Exposure Cohort. It
16 was an accommodation given to those individuals
17 who worked in those facilities.

18 David is here, so let David Michaels speak
19 to this.

20 **DR. MICHAELS:** Can I rescue Larry here? I'm
21 sorry, my name is David Michaels.

22 I'm here - I'm a private citizen here on two
23 accounts. One is I'm interested in this, but
24 also I'm a consultant to the Department of Labor
25 in putting this together. I'm on the faculty at

1 George Washington University School of Public
2 Health, but probably more importantly I was the
3 Assistant Secretary of Energy for Environment,
4 Safety and Health during the period this was put
5 together, and so was there at the conception and
6 probably even before that, that flirtation period
7 of this legislative proposal.

8 The Special Exposure Cohort - I could give
9 you a little bit of history about it and how the
10 categories that are in there were chosen.
11 Congress actually decided - the Administration
12 proposed including Paducah and then eventually
13 other sites as a Special Exposure Cohort. The
14 Senate came up with this concept of how to expand
15 the legislation and the categories slightly
16 differently from the Administration proposal.
17 I'll try to give you a sense of both of those, if
18 you don't mind.

19 The Special Exposure Cohort originally was
20 designed, as Larry said, around - to address some
21 of the issues that were detected at the Paducah
22 gaseous diffusion plant. What we determined,
23 after a great deal of investigation, were two
24 things. One is exposures occurred to levels of
25 two - not merely the uranium, which was what was

1 everyone thought there, but there was exposure to
2 some of the transuranic materials because
3 recycled uranium was used, which will come as no
4 surprise, I think, to many people here in the
5 audience. But it was a surprise to many of the
6 workers in Paducah, and certainly to some of the
7 other interested parties.

8 What we discovered, though, at the same time
9 was there was an effort made over the course of
10 the decades when the gaseous diffusion plant was
11 in operation essentially not to determine what
12 the levels of exposure were, and not necessarily
13 take the proper precautions.

14 There is, for example, there's a memo from
15 somewhere in the 1960's saying -- this is from --
16 among the contractors at this point, saying that
17 there's a new bioassay for neptunium and we have
18 exposure, significant exposure to neptunium, and
19 that has been well documented. There's a new
20 bioassay; we should probably use it. There are
21 about 300 workers who should be tested. On the
22 other hand, if we test -- if we use this new
23 bioassay the union will ask for hazard pay. And
24 so there was no -- the bioassay was never
25 employed.

1 So the history of that sort of activity led
2 the administration to propose that we establish
3 essentially a category within this legislation
4 that looked very much like the people covered by
5 the Radiation Exposure Compensation Act, which is
6 legislation passed by Congress some years
7 earlier, which covered, as many of you here know,
8 people who lived downwind from the Nevada Test
9 Site, uranium miners, and some of the on-site
10 test participants.

11 In that legislation there are categories of
12 people covered - for example, people live in
13 southern, parts of southern Utah, or people who
14 were on the test site who were not adequately
15 protected from the exposures. And it was
16 determined by Congress that if any of these
17 people who were, we'd say, in the wrong place at
18 the wrong time developed one of a list of
19 diseases, they would be compensated with a lump
20 sum compensation.

21 This was sort of an attempt to fit Paducah
22 and then the other gaseous diffusion plants onto
23 that model. And with a little bit of jimmying it
24 sort of fit in, and then Congress then added -
25 the official proposal, by the way, from the

1 Administration was merely Paducah. That was then
2 expanded both by the Administration and Congress,
3 and then Congress at the last minute added
4 Amchitka to that.

5 The basic idea of the Administration
6 proposal was to deal with this sort of egregious
7 lack of information. Congress, however, looked
8 at it a little differently, in that Congress said
9 in putting this together - and this was really in
10 the Thompson-Bingaman process - I think the
11 members of the Senate said how do we know if
12 there are other groups who are like the Special
13 Exposure Cohort?

14 And in their thinking, they didn't really
15 want to address the question of egregious
16 misbehavior. They said, are there people who
17 just have the sorts of exposures that we cannot
18 figure out, and that we - and they really meant
19 did we not do a good job, but they never said
20 that. And then you certainly can't - I believe
21 they were thinking about that, but there's
22 certainly no record of that Congressional intent.
23 So I wouldn't say that - I couldn't tell you that
24 that was the formal Congressional intent.

25 But they said there must be people who have

1 significant exposures, exposures enough to
2 possibly give them cancer, but we can't - this
3 dose reconstruction process that we've been
4 talking about here can't address that issue. And
5 therefore we need to have a safety valve, a way
6 to say these people should be in a Special
7 Exposure Cohort. They were clearly exposed. We
8 don't know what levels they were exposed to, but
9 we need to have a way to take care of them.

10 **DR. ANDRADE:** Great, thank you very much.

11 **DR. MICHAELS:** Sure. I'm sorry I was late
12 today, but -

13 **MR. ELLIOTT:** Thanks for the bailout.

14 **DR. ANDRADE:** That's exactly -

15 **DR. ZIEMER:** Thank you, David.

16 Continue, and then Jim.

17 **DR. ANDRADE:** That's exactly the type of
18 answer that I wanted, because if there are other
19 circumstances or we identify that there are
20 facilities or situations in which that sort of
21 activity has occurred, then clearly that would be
22 the type of guideline that we would use to add or
23 consider adding a group of people to the Special
24 Cohort.

25 **DR. ZIEMER:** Jim.

1 **DR. MELIUS:** Just to continue on this issue,
2 I don't know if I can tell from reading it
3 because it's a bit confusing even to me, but I
4 think that second sentence refers - there are -
5 the list of cancers that are covered for Special
6 Exposure Cohorts is different than the list
7 that's covered in the general rule. So there
8 would be - I believe this is trying to refer to
9 Special Exposure Cohort members who have a cancer
10 that isn't covered under Special Exposure Cohort.
11 Is that - am I -

12 **MR. ELLIOTT:** The first category is anyone
13 who presents with a cancer.

14 **DR. MELIUS:** Right.

15 **MR. ELLIOTT:** The second category is a
16 member of the Special Exposure Cohort who
17 presents with one of the specified cancers.

18 **DR. MELIUS:** Okay. Okay.

19 **MR. ELLIOTT:** So if a member of a Special
20 Exposure Cohort comes forward and presents with a
21 cancer not on that list of 22, they're in
22 category one.

23 **DR. MELIUS:** Yeah, okay.

24 **DR. ZIEMER:** Okay, additional questions on
25 Section 81.0? Gen.

1 **DR. ROESSLER:** Just a real quick one. I'm
2 not that familiar with gaseous diffusion plants.
3 What are the other - in addition to Paducah, what
4 are the other plants that come under this, and
5 Amchitka.

6 **MR. ELLIOTT:** Portsmouth Gaseous Diffusion
7 Plant at Piketon, Ohio; K-25 site in Oak Ridge;
8 and of course Paducah.

9 **DR. ZIEMER:** Any questions on Section 80, or
10 comments on 81.1, Purpose and authority?

11 [No responses]

12 **DR. ZIEMER:** 81.2?

13 [No responses]

14 **DR. ZIEMER:** Then we come to Subpart B,
15 Definitions. Any questions on the definitions?

16 **DR. DeHART:** The only question I would have
17 is that there is no defining time to indicate
18 employment. I assume that in the calculations
19 used that that is considered, that somebody must
20 have been an employee for more than X.

21 **DR. ZIEMER:** There's a minimum number of -
22 it's two years or something - there is a - Larry.

23 **MR. ELLIOTT:** Well, the employment is
24 verified. Before a claim would come to NIOSH,
25 Department of Labor would verify the employment

1 through the Department of Energy, and also DOL
2 would verify the diagnosis, either through a
3 death certificate or a physician's report. So by
4 the time we see it, by the time this rule would
5 be used, the employment has already been
6 verified.

7 To get a little more specific in answering
8 your question, the Special Exposure Cohort
9 members would have had to have worked 250 days.

10 **DR. ZIEMER:** Is that only in the Special
11 Exposure Cohort, the 250?

12 **MR. ELLIOTT:** Yes, I think so.

13 **DR. ZIEMER:** No limit on the others?

14 **DR. DeHART:** In calculating exposure, the
15 dose over time is considered, so -

16 **MR. ELLIOTT:** And it's dose at first
17 employment through their dose at time of
18 diagnosis.

19 **DR. ZIEMER:** Tony.

20 **DR. ANDRADE:** I must confess that the one
21 piece of - the one document that I did not have
22 time to read with great care was the paper that
23 was presented on RBES, Radiological - Radiation
24 Biological Effect on these factors. Are we still
25 using a definition that is based on the effect of

1 a different type of radiation as compared to,
2 say, 200 keV, low-LET radiation photons? Is that
3 basically still the technical definition?

4 **DR. ZIEMER:** We've got a subject matter
5 expert here.

6 **DR. NETON:** I'm sorry, I'm not sure that I
7 clearly understand the question.

8 **DR. ANDRADE:** Okay, I'm asking for
9 clarification on RBES, and how they are currently
10 defined and being used in IREP or in your own
11 calculations.

12 **DR. NETON:** RBES are, as defined in ICRP 60,
13 are the radiation weighting factors, which are
14 essentially for purposes of compensation
15 interchangeable, are the ones used in ICRP 60.

16 **DR. ANDRADE:** So they are relative to the
17 effects that would be produced by low-LET
18 radiation. Is that correct?

19 **DR. NETON:** Right, although there are some
20 modifications for low energy X-rays that are
21 different. Is that - Mary may have to help me
22 out on the low energy X-ray section.

23 **DR. SCHUBAUER-BERIGAN:** Actually, the
24 reference - I think Jim's referring to the RBE
25 factors that are used in the dose reconstruction

1 process. But referring to the document you
2 mentioned, which is written by David Coker and
3 colleagues, the RBE is actually calculated -
4 referenced to the high-energy photons.

5 **DR. ANDRADE:** High-energy photons.

6 **DR. SCHUBAUER-BERIGAN:** Yes. They're a
7 separate set of factors for each of the different
8 energies below what's considered high-energy
9 photons.

10 **DR. NETON:** This brings up an issue that I
11 was talking about yesterday, that when we do the
12 dose reconstruction we will use the ICRP 60
13 radiation weighting factors to report a dose to
14 the claimant that is somewhat similar to what
15 they're used to seeing as far as applying these
16 weighting factors, the radiation weighting
17 factors.

18 But when IREP is run, essentially what
19 happens is the weighting factor is removed, and
20 then the RBEs in the Coker paper are applied with
21 their uncertainty distributions about them. In
22 most cases it's almost - it's comparable, very
23 close. In certain cases there are some
24 differences, and - in those weighting factors as
25 they're applied in IREP.

1 **DR. ANDRADE:** Okay. And in IREP, then, if
2 you have the distribution function of a weighting
3 factor, then do you sample that distribution
4 function as part of the mathematical technique to
5 come up with – or do you come up with a weighted
6 average or something?

7 **DR. NETON:** No, it's calculated just as if
8 any other uncertainty in the IREP program. It is
9 Monte Carlo calculation run-through sampling the
10 distribution as defined in the Coker paper.

11 **DR. ANDRADE:** Okay.

12 **DR. NETON:** Whether it's a triangular
13 distribution or a lognormal or whatever, it would
14 run the calculation the prescribed number of
15 times, a thousand iterations, sampling that
16 distribution probability density as defined.

17 **DR. ANDRADE:** All right, thanks.

18 **DR. NETON:** It has the effect of adding to
19 the overall uncertainty, because the RBEs are not
20 known with discrete – constant uncertainty as
21 defined in – as used for radiation protection
22 purposes. When you apply an RBE of 20 for alpha,
23 it is assumed for radiation protection that it's
24 known without error, and in IREP it pulls that
25 out and accounts for that uncertainty in the

1 program.

2 **DR. ANDRADE:** Great, thank you.

3 **DR. ZIEMER:** Roy.

4 **DR. DeHART:** Looking at (o) just above,
5 where we're talking about the
6 radioepidemiological tables, and I was wondering
7 if Mary could comment on this. David Richardson
8 talked to the linearity of low dose. Could you
9 comment on that, as well as the effects of age?

10 **DR. SCHUBAUER-BERIGAN:** We've received
11 similar comments to the ones that Dr. Richardson
12 brought up yesterday, as both part of our subject
13 matter expert review and as part of the public
14 comment. So I can't address how we believe that
15 the program should be modified, if at all, to
16 incorporate revisions from these comments.

17 But our thinking when creating IREP
18 initially was where it was possible and made
19 scientific sense, that we ought to rely on
20 methods that had been reviewed by scientific
21 panels. And the NCI document actually had been
22 reviewed by an NAS panel which deliberated on
23 those issues, whether the appropriate, relevant
24 models were use for age at exposure, and for the
25 application of a dose rate adjustment factor,

1 DDREF.

2 We felt that there was good evidence
3 beginning to be brought out about differences or
4 variations from these assumptions of DDREFs that
5 had been used by NCRP and expert panels
6 throughout both the U.S. and internationally. So
7 it was our sense when developing this, the
8 modifications to the NCI program, that greater
9 evidence – greater weight should be given to a
10 DDREF closer to one. And we tried to work with
11 NCI to modify this distribution for our software,
12 and I believe that we agreed with them in the end
13 about the appropriate distribution to use. It
14 gives slightly more weight, I believe, to a DDREF
15 of one, and it includes a very – a small
16 probability that there's in fact an inverse dose-
17 rate effect and that the DDREF is less than one.

18 A place that we could look at this, if you
19 really wanted to take a look at the distributions
20 that are used, it's not – it is available on the
21 IREP demonstration software, but you'd have to
22 kind of delve deeply into those details, the
23 model details. And we can set this up and go
24 through that and show that to you, what the
25 eventual distribution looks like. We have – the

1 software does not have modification for
2 incorporating the possibility of enhanced
3 susceptibility at older ages of exposure, such as
4 the one that Dr. Richardson mentioned.

5 **DR. ZIEMER:** Any other questions on the
6 section on definitions? Yes, Roy.

7 **DR. DeHART:** One other question. In the
8 list of 22 cancers, historically I'm familiar
9 with the sensitivity of many of those tissues to
10 radiation, but not all. What are we looking at
11 here, the various sources of radiation? Because
12 some of these are not common certainly to gamma,
13 so we must be looking at various sources.

14 **UNIDENTIFIED:** Where are you? What should
15 we be reading?

16 **DR. ZIEMER:** You're looking at the list of -

17 **DR. SCHUBAUER-BERIGAN:** Yeah, this is the
18 list of specified cancers for the Special
19 Exposure Cohort. And again, that was established
20 by Congress.

21 **DR. MICHAELS:** Let's - David Michaels again.
22 That list only applies to the Special Exposure
23 Cohort, and it has no relevance for dose
24 reconstruction. It was chosen, though - it's
25 simply the list that was taken from the Radiation

1 Exposure Compensation Act list, cancers that were
2 passed by Congress, and then lung and bone were
3 added because of the transuranic exposures. And
4 that's simply - it was simply a political
5 decision. There was no scientific discussion of
6 that. Oh, and renal then was - right, renal then
7 was subsequently added in the - by Congress to
8 reflect also that that was in the - it was in the
9 original Radiation Exposure Compensation Act
10 list, but was not included in the EEOICPA initial
11 legislation. Thank you for -

12 **DR. ZIEMER:** Thank you, David.

13 Roy, does that answer your question?

14 **DR. DeHART:** One other question. Because of
15 the circumstances of aging in males, I realize
16 that prostate is not normally considered. How is
17 that handled in the reconstruction?

18 **DR. SCHUBAUER-BERIGAN:** Prostate is, as a
19 non-specified cancer, is covered in the IREP
20 software, so there is actually a prostate cancer
21 model. You, in the dose reconstruction process,
22 would have to calculate dose to a relevant organ,
23 and Jim can speak to that. But then you would
24 simply apply that dose calculation to the models
25 derived from the Japanese atomic bomb survivor

1 data.

2 I believe that is collapsed into a larger
3 category with other male genitalia, if I'm not
4 mistaken. And this is one of the cancers that I
5 believe has not been shown in that study to be
6 significantly elevated. However, because of the
7 range of uncertainty about that risk estimate,
8 and given the nature of this software which
9 samples from that distribution, there is some
10 dose at which you could conceivably be
11 compensated for that cancer.

12 **DR. DeHART:** So it's unlike chronic
13 lymphocytic, which is excluded.

14 **DR. SCHUBAUER-BERIGAN:** Right, yes.

15 **DR. ZIEMER:** Okay, thank you.

16 **DR. DeHART:** Thank you.

17 **DR. ZIEMER:** Further questions, comments on
18 that section? We're still in definitions.

19 [No responses]

20 **DR. ZIEMER:** Okay, Subpart C, Data Required
21 to Estimate Probability of Causation.

22 Personnel (sic) and medical information,
23 81.5. No questions? Yes. Okay, Henry, then
24 Tony.

25 **DR. ANDERSON:** My question is in the

1 race/ethnicity, is that now going to use the new
2 race/ethnicity categorizations from the current
3 census, which is quite a bit different than
4 previously? And how is that going to be covered,
5 because it won't necessarily deal with - for skin
6 cancer it's pigmentation, not ethnicity -

7 **DR. SCHUBAUER-BERIGAN:** The categories that
8 are used in the skin cancer models are based on,
9 you're right, on old definitions. At this point
10 we don't have incidence data for cancers for
11 these different classifications, and so it would
12 be very difficult to make use of those.

13 So this is a subject of some obvious debate
14 about how to actually enact this when a claim
15 comes in. And our recommendation is that the
16 claimant self-identify as one of the categories
17 that have been included in the IREP software,
18 which are, I believe, white - and that's divided
19 into Hispanic, non-Hispanic - African-American,
20 Asian, or Pacific Islander and Native American.
21 Those are the categories used. And if a claimant
22 were to identify as more than one race, then the
23 calculation should be done several times and the
24 higher value used. So the burden is on the
25 claimant to identify, self-identify race and

1 ethnicity.

2 **DR. ANDERSON:** Again, generally the risk is
3 related to the amount of melanin in the skin.
4 Are you going to – is there any process here for
5 the physician or somebody to deal with skin color
6 actually, or the pigmentation? And I could see
7 somebody identifying their race, but – and that
8 might exclude them, but they could be very light-
9 skinned.

10 **DR. SCHUBAUER-BERIGAN:** Actually, the way
11 that the software operates, there are no – there
12 are not different risk coefficients for the
13 different ethnicities or race groups. The only
14 variance in the program is in the background
15 incidence rate, and this affects how the risk
16 coefficients are transferred to the population.

17 **DR. ANDERSON:** I gotcha.

18 **DR. SCHUBAUER-BERIGAN:** It would be very
19 difficult – we don't have any incidence rates for
20 people with different levels of – it's a very
21 crude level of categorization that has – that the
22 data exists at.

23 **DR. ANDRADE:** My question, I –

24 **DR. ZIEMER:** Use the mike, Tony, please.

25 **DR. ANDRADE:** My question, again – I

1 mentioned this earlier – had to do with the use
2 of latency periods to establish whether or not a
3 given diagnosis was a credible one. Are those
4 latency periods determined in the initial
5 screening, and/or are they used in the IREP
6 software?

7 **DR. SCHUBAUER-BERIGAN:** Let me answer the
8 second part first. They are addressed in the
9 IREP software, and each cancer has a set of risk
10 models that adjusts for latency. There's a
11 factor that's applied to all cancers as a
12 default, which I believe assumes at least
13 somewhere between three and five years latency
14 required, and there's a step function that goes
15 between three and five years. Other cancers,
16 such as leukemia, have different latency
17 functions because the risk across latency is very
18 different for that cancer than for a cancer with
19 long latency, such as lung.

20 To answer your first questions, I believe
21 there is also in the Department of Labor program
22 some requirement that the cancer have occurred at
23 least five years after they began work – is that
24 not right? Only for Special Exposure Cohort,
25 okay. So if you're in the SEC, there is a

1 latency requirement built into the DOL's program.
2 When a claim comes in that has to be verified.
3 But for the IREP software, that would be handled
4 on the calculation of the probability of
5 causation.

6 **DR. ZIEMER:** I'd like to ask for further
7 clarification. Does the program consider only
8 the exposure window that meets the latency time
9 period? In other words, subsequent exposure
10 that's more recent is excluded in the
11 calculation, or how is that handled? Do you
12 understand my question?

13 **DR. SCHUBAUER-BERIGAN:** Yes. Certainly
14 exposure after the incidence of the cancer is not
15 considered.

16 **DR. ZIEMER:** No, no, I'm talking about
17 exposure after the - after the latency -

18 **DR. SCHUBAUER-BERIGAN:** Yes.

19 **DR. ZIEMER:** - period.

20 **DR. SCHUBAUER-BERIGAN:** Yes. So that is not
21 -

22 **DR. ZIEMER:** More recent, but after - but
23 prior to the -

24 **DR. SCHUBAUER-BERIGAN:** Yes, you're correct.

25 **DR. ZIEMER:** Okay, it does do that.

1 **UNIDENTIFIED:** (Inaudible)

2 **DR. ZIEMER:** Pardon me? I'm not talking
3 about another source. I'm talking about exposure
4 that occurs - say the latency period is five
5 years, and the start of exposure was ten years
6 ago and the person's been exposed for ten years.
7 Does it only consider the exposure that you would
8 say logically contributed toward the cancer as
9 the dose of interest?

10 **DR. SCHUBAUER-BERIGAN:** Well, you would
11 input the doses throughout the entire period, and
12 the program uses the Monte Carlo simulation to
13 select basically the latency for that exposure.
14 And so exposures that occurred in between that
15 selected latency - say it was two years.
16 Exposures that occurred less than two years prior
17 to the diagnosis of cancer would not contribute
18 to their risk estimate.

19 **DR. DeHART:** Smoking is indicated as an
20 adjustment on lung cancer, but the relative risk
21 for smoking for upper respiratory problems -
22 cancers - for bladder, for pancreas, are also
23 significant. Were those considered in any way?

24 **DR. SCHUBAUER-BERIGAN:** That is also a point
25 that was raised by several reviewers, and at the

1 time we recognized that that's true, and that
2 lung cancer is the only cancer that has an
3 adjustment, although other cancers are related,
4 obviously, to smoking.

5 I think the sense of NCI when they were
6 developing initial software is that the only
7 cancer for which we had both information about
8 association with lung cancer and information
9 about the interaction between radiation exposure
10 and that cancer risk and smoking is lung,
11 trachea, bronchus and lung. And so that issue
12 would, in our minds, have been tabled to future
13 versions of IREP when better scientific
14 information is available.

15 **DR. DeHART:** Thank you.

16 **DR. ZIEMER:** Sally.

17 **MS. GADOLA:** I also have a question that has
18 to do with date of the diagnosis and with
19 latency. Many cancers are not diagnosed for
20 many, many years, like multiple myeloma. And I
21 know that we talk a lot about the uncertainty as
22 far as the doses of radiation, but it seems that
23 there is a great deal of uncertainty in a clear
24 diagnosis and the date of the diagnosis. And I
25 would like to hear other comments from other

1 Board members and the experts here to clarify
2 this, if possible.

3 **DR. SCHUBAUER-BERIGAN:** That's a very
4 important point, and it's not one that we
5 considered directly when we were developing IREP.
6 That problem exists in studies on which these
7 risk estimates are based, and it's sort of a
8 ubiquitous problem throughout the medical
9 community.

10 I would say, though, that the effect of that
11 delayed diagnosis of cancer would be, I believe,
12 to increase the claimant's chances of getting a
13 favorable result since you would be excluding
14 less of their dose. There are some exceptions to
15 that, obviously. If you've missed a leukemia,
16 since leukemia has sort of a wave-like function
17 after exposure in the risk - the risk goes up
18 very steeply for a few years after exposure, and
19 then it tends to decrease. So if you've
20 misdiagnosed a - if you've delayed the diagnosis
21 of a leukemia, then that could be to the - add to
22 the effect - to the detriment of the claimant.
23 But we really haven't, I don't believe, got a way
24 to address that at this point.

25 **MS. GADOLA:** Thank you.

1 **DR. ZIEMER:** Okay, we will proceed then. We
2 have next 81.6, Use of radiation dose
3 information.

4 **DR. DeHART:** If there is a mixed exposure,
5 do you plot each source or each type of exposure
6 independently in a mixed exposure situation –
7 X-ray, neutron?

8 **DR. SCHUBAUER-BERIGAN:** In the IREP software
9 there's a component for every type of exposure
10 for each period of time. So if one were – had
11 four exposure periods and were exposed to three
12 different types of radiation, there would be
13 twelve exposures for that person, and you would
14 enter the year that each occurred and the dose
15 distribution, et cetera. And those excess
16 relative risk estimates are developed for each
17 exposure, and then added together to produce the
18 final probability of causation estimate.

19 **DR. ZIEMER:** Okay, we'll move on to Subpart
20 D, Requirements for Risk Models Used to Estimate
21 Probability of Causation.

22 81.10, Use of cancer risk assessment models.
23 Henry.

24 **DR. ANDERSON:** Actually I want to just
25 briefly go back to the dose, and just ask –

1 you're going to be gathering exposure information
2 through interview and a variety of information.
3 Do you have a process for how you're going to
4 reconcile differences? I mean, you're going to
5 get some qualitative information from the worker,
6 from other coworkers, that may contradict what
7 the measurement data is, and -

8 **DR. NETON:** Right.

9 **DR. ANDERSON:** - what's the strategy?

10 **DR. NETON:** That's an issue that we touch on
11 briefly in the dose reconstruction rule.

12 **DR. ANDERSON:** Okay.

13 **DR. NETON:** I don't know if we want to get
14 into that here or not, but -

15 **DR. ANDERSON:** Never mind. Never mind.

16 **DR. NETON:** Okay.

17 **DR. ZIEMER:** Yeah, when we get to part 82,
18 that deals specifically with dose reconstruction.

19 Okay, use of the cancer risk assessment
20 models?

21 **DR. ROESSLER:** Are we on (a) or (b)?

22 **DR. ZIEMER:** Well, we're just kind of
23 skimming through. We'll start with (a), and if
24 nothing on (a), we go to (b).

25 **DR. ROESSLER:** Okay.

1 **DR. ZIEMER:** Gen Roessler.

2 **DR. ROESSLER:** The word in here that catches
3 my attention is change, and that's what I want to
4 address. At this point in time, after hearing
5 the presentations and hearing the answers,
6 there's no question in my mind about the NIOSH
7 using the best science. That's been reconfirmed
8 in my mind over and over again at this time. And
9 I think basing the best science on decisions of
10 panels is very appropriate. They can't look at
11 every little individual paper or something that
12 comes up, so I think that's all appropriate.

13 My concern is how change is handled.
14 There's going - there are a lot of things that
15 are coming up, new studies, new information on
16 bone cancer, on some of the things Roy pointed
17 out where they're going to update. And that,
18 again, is appropriate. When there's sufficient
19 information to update the information that goes
20 into these calculations, it should be done. I'm
21 wondering how that's going to be handled - and I
22 think Jim brought this up this morning - what our
23 input is going to be. I think that we should
24 have input into it because that has a great
25 impact on the claimants.

1 These uncertainty bounds that I brought up
2 yesterday, when they're so great in certain cases
3 now, certainly is in favor of the claimants. As
4 more information is acquired and incorporated
5 into this, this could change. And my question
6 really is, how are those changes going to be
7 addressed with time?

8 **DR. ZIEMER:** I think maybe Larry, you need
9 to – okay, you got one of your people to – the
10 change master.

11 **MR. KATZ:** It's Ted Katz here.

12 Yes, and we address that in the preamble,
13 actually. So those changes, before they are
14 effectuated, will be proposed to you, will be
15 proposed publicly because they will be part of
16 the *Federal Register* notice for the Board meeting
17 that's coming up. So they'll be explained in
18 that meeting and in the *Federal Register* notice.
19 They will be proposed to the Board. The Board
20 will have an opportunity to deliberate over those
21 changes before they are effectuated, and they'll
22 know the results. So there'll be a public
23 process, with you right in the middle of it, for
24 deliberating over those changes.

25 **DR. ROESSLER:** Okay. Then my follow-up

1 question is how do you define changes? I'm
2 assuming that this only - you only have to go
3 through this for really major -

4 **MR. KATZ:** Exactly, and that's what we
5 discuss, is this process - you'll actually have
6 information whenever we make changes, but you
7 won't have to deliberate over, as Larry explained
8 earlier, over changes that don't have consequence
9 for claimants.

10 **DR. ZIEMER:** Jim.

11 **DR. MELIUS:** Is there a reason that the
12 process is not reflected in the regulations? Why
13 is it in the preamble and not in the regulations?

14 **MR. KATZ:** It's in the preamble because -
15 because - well, I'll just say because HHS
16 believes that that's the appropriate place to
17 address those procedures.

18 **DR. MELIUS:** Can you elaborate on -

19 **MR. KATZ:** Well, that's really - it's really
20 very simple. HHS made a very clear determination
21 that those - that procedure should be part of the
22 preamble.

23 **DR. MELIUS:** Is that a legal recommendation,
24 or is that a policy -

25 **MR. KATZ:** I think it's a - it's a

1 combination of legal and policy, but this comes
2 from HHS. This was a determination made by HHS,
3 that that belonged in the preamble.

4 **DR. MELIUS:** That's not a very satisfactory
5 answer, Ted.

6 **MR. KATZ:** It's a completely frank -

7 **UNIDENTIFIED:** It's honest.

8 **DR. MELIUS:** I didn't say it was dishonest,
9 I just didn't say it was very satisfactory.

10 **MR. KATZ:** - unabbreviated, unedited answer,
11 is all I can say.

12 **DR. ANDERSON:** What are the consequences, I
13 think is really the question.

14 **DR. ZIEMER:** Well, do you have a concern
15 that if it's not codified in the rule itself that
16 it somehow can be bypassed?

17 **DR. MELIUS:** Yeah, that was sort of the
18 question I'm trying to get. How was this
19 procedure -

20 **MR. KATZ:** The legal consequences of it
21 being in the preamble - exactly right - means
22 it's not binding by law. It's not binding. It's
23 - because it's in the preamble it's still within
24 the discretion of the agency to apply that
25 procedure. But I think the thing was, you put it

1 in the preamble, you make the procedure public,
2 and the public will hold you accountable to that
3 procedure.

4 **MR. ELLIOTT:** It is certainly something the
5 Board can comment on. I think if you -

6 **MR. KATZ:** Right. This is - it's open to
7 comment, absolutely.

8 **MR. ELLIOTT:** - if you feel strongly that
9 that procedure needs to be clarified and outlined
10 and presented in the rule, not in the preamble,
11 that's where you should make your comment.

12 **DR. ZIEMER:** Okay. Tony.

13 **DR. ANDRADE:** I'd like to agree, and to back
14 Dr. Melius' suggestion that somehow we consider
15 the question of including language within the
16 rule, even if it's simple, for the sake of
17 transparency to the public that changes may
18 occur, and that these changes, when substantive,
19 will come to the attention of the Board, and
20 therefore will be published in the *Federal*
21 *Register*, et cetera. Again, for the sake of
22 transparency.

23 Also, although this is not one of my
24 concerns, certainly Shelby - the person who spoke
25 to us from the Department of Labor yesterday -

1 **DR. ZIEMER:** Shelby Hallmark.

2 **DR. ANDRADE:** - Hallmark, was very concerned
3 that changes could bring compensation levels down
4 or up. And I feel that that's really - whether
5 they go up or down shouldn't be so much a concern
6 to us as making clear to the public why these
7 changes have occurred. And therefore I think
8 there's a good basis for having - for including
9 language there.

10 And I would propose that the Advisory Board
11 submit this as a comment on this proposed
12 legislation.

13 **DR. ZIEMER:** Are you proposing that at this
14 time as a formal action?

15 **DR. ANDRADE:** Yes.

16 **DR. ZIEMER:** So you can certainly do that,
17 and -

18 **MS. MURRAY:** Could you repeat that, please?

19 **DR. ANDRADE:** I don't know if I can repeat
20 it, but let me try.

21 I would like to propose that the Board
22 comment to HHS that we include language on the
23 probability or the possibility that compensation
24 levels may change as a result of new science
25 being added into the modeling process that is

1 used to determine those – the probability of
2 causation.

3 **DR. ZIEMER:** If I might, Tony, Henry has
4 pointed out that there is language to that effect
5 in the preamble, and the issue would be to move
6 the –

7 **DR. ANDRADE:** To move it?

8 **DR. ZIEMER:** – language into the body.

9 **DR. ANDRADE:** Yeah, I think that's –

10

11 **DR. MELIUS:** Yeah, they mention change in
12 the regulation, they just don't mention the
13 process. We want to move some sort of process
14 language –

15 **DR. ZIEMER:** So is that the intent of your
16 motion, is to move that language into the body of
17 the –

18 **DR. ANDRADE:** Yes.

19 **DR. ZIEMER:** – the rule itself?

20 **DR. ANDRADE:** Exactly, and clarify these two
21 points. One is that some general comment about
22 process should be included, and I think that that
23 language is there. However, it should also be
24 noted that changes in compensation levels as a
25 result of changes in science, and therefore PC –

1 **DR. ZIEMER:** Right, and those words are in
2 the present language.

3 **DR. ANDRADE:** - may occur.

4 **DR. ZIEMER:** Yeah. So the motion, I want to
5 hear a second on that.

6 **DR. MELIUS:** I'll second.

7 **DR. ZIEMER:** And to second it is to
8 recommend to NIOSH that that language dealing
9 with change be made a part of the rule itself so
10 it's very clear that it's a requirement.

11 And just parenthetically, I might add, we're
12 not talking about, for example, changes in IREP
13 that make it easier to use or make it prettier or
14 whatever, make the pie charts right. We're
15 talking about things that affect the outcome.

16 **DR. ANDRADE:** Yes.

17 **DR. ZIEMER:** And did we get a second to the
18 motion? Jim, you seconded.

19 **DR. MELIUS:** I seconded.

20 **DR. ZIEMER:** Is there further discussion on
21 that? Yes, Wanda, please.

22 **MS. MUNN:** I would suggest we be very
23 careful in the wording of that particular
24 statement. I personally would not use level of
25 compensation. That would lead people to believe

1 -

2 **DR. ZIEMER:** Yes, the compensation amount is
3 a fixed amount.

4 **MS. MUNN:** It's set.

5 **DR. ZIEMER:** The awarding of compensation is
6 the issue.

7 **MS. MUNN:** So one - yeah, yeah.

8 **DR. ZIEMER:** And I believe -

9 **MS. MUNN:** The probability of compensation.

10 **DR. ZIEMER:** The words are on page 50971,
11 middle column, second full paragraph. It says
12 substantive changes that would substantially
13 affect estimates of probability of causation . .
14 . will be submitted to the Advisory Board on
15 Radiation and Worker Health for review. It also
16 goes on to talk about public comment. I believe
17 that's the language.

18 Is it - would that - if that's the language
19 that we're talking about in the motion, would
20 that be suitable, Wanda, as you understood it?

21 **DR. ANDERSON:** Just put after substantive
22 changes, changes which would affect.

23 **DR. ZIEMER:** And it says here, changes that
24 would substantially affect estimates of
25 probability of causation calculated using NIOSH-

1 IREP.

2 DR. ANDRADE: That certainly addresses my
3 concern. I'm not sure if that completely
4 addresses -

5 DR. MELIUS: No, it does.

6 DR. ANDRADE: - Jim's concern.

7 DR. MELIUS: It does.

8 DR. ZIEMER: Then by implication it affects
9 the award if it affects the probability of
10 causation. We don't have to say the awarding of
11 compensation.

12 MS. MUNN: No.

13 DR. ZIEMER: Is it still the same motion? I
14 think it is.

15 MS. MUNN: I think so.

16 And I'm still - I don't think this needs to
17 be incorporated in the language, but a procedural
18 issue for my own edification. I'm assuming,
19 then, that any substantial change which would
20 affect a category of claimant would then be
21 pulled out for review after the fact. For
22 example, had a claimant already been rejected at
23 the 43 percent level, say, and this new
24 information might affect that individual, do we
25 then retroactively look at that claim again?

1 **MR. ELLIOTT:** Yes. Yes, we would.

2 **DR. ZIEMER:** Parenthetically, what if the
3 new data would have invalidated an earlier claim?

4
5 **MR. ELLIOTT:** No, we don't.

6 **DR. ZIEMER:** We send the collectors out to -

7 **UNIDENTIFIED:** You can't get it back.

8 **DR. ZIEMER:** Further discussion on this
9 motion?

10 Ted, please, you have a comment pertinent to
11 this?

12 **MR. ELLIOTT:** We have had discussion on
13 this. I think it would be helpful to -

14 **DR. ZIEMER:** Go ahead, yes, please.

15 **MR. ELLIOTT:** This is a concern we do have.

16 **MR. KATZ:** So yes, that's an obvious issue.
17 That's an issue that concerned us.

18 And I believe - and Pete's here, who could
19 speak more specifically to the DOL rules - but I
20 believe under the DOL interim final rule now, a
21 claimant has a time period to bring back a claim
22 that's been denied as a result of new
23 information. This is exactly that sort of new
24 information, so there's that opportunity. Also,
25 the Department of Labor has its own authority,

1 with no time constraints whatsoever, to review a
2 claim, to reopen a claim on the basis, for
3 example, of new information.

4 **DR. ZIEMER:** Okay. We're ready, then, to
5 vote on this motion. And if this motion passes,
6 this will become one of our specific
7 recommendations. This will require at least six
8 votes.

9 **MS. MURRAY:** May I have a clarification for
10 the minutes? Is the motion now in effect to move
11 this, verbatim, into the body of the rules?

12 **DR. ZIEMER:** Yes, and thus have the effect
13 of becoming part of the rule.

14 **DR. ANDERSON:** And the Board becomes
15 (inaudible). The first action of any board is to
16 (inaudible).

17 [Laughter]

18 **DR. ZIEMER:** Okay, are you ready to vote?
19 And a vote of six or more will cause this to
20 pass.

21 All in favor say aye.

22 [Affirmative responses]

23 **DR. ZIEMER:** The Chairman is also voting
24 aye. And all opposed say no.

25 [No responses]

1 **DR. ZIEMER:** The motion carries. Again, a
2 sort of unanimous consent, it appears.

3 **DR. DeHART:** A procedural question on the
4 motion, basically. If this is published, as it
5 will be, any change in the *Federal Registry* for
6 public comment, I assume the Board will be
7 provided all public comments to review.

8 **MR. ELLIOTT:** Oh, you mean if we have a
9 substantive change?

10 **DR. DeHART:** Yes.

11 **MR. ELLIOTT:** Yes. Yeah, we will, as we
12 have on these rules here, any further effort to
13 change the rules or to change IREP or the SEC
14 guidelines when we present those to you, we'll
15 share all those comments with you.

16 **DR. ZIEMER:** Okay, thank you.

17 Let us proceed. That was 81.10, subset (b).
18 Anything else on (b)? There are several
19 subparagraphs there numbered (1) through (5)
20 under (b).

21 [No responses]

22 **DR. ZIEMER:** Okay, we'll move on. We can
23 always backtrack if something pops into your
24 mind. Let's move on then.

25 Now we come to 81.11, which is the use of

1 uncertainty analysis in NIOSH-IREP. Paragraph
2 (a), the use of uncertainty in the calculation.

3 I do have one question on that. In the
4 calculation, for example, for photons, I think
5 you end up using acute exposures for external
6 photons - and someone can help me if that's not
7 correct - that's true. Is that a default
8 position, or can you in fact use chronic if you
9 have information that would - or is it
10 automatically acute?

11 **DR. NETON:** Unless information's available
12 otherwise, it would be acute. But the chronic
13 scenario would be available as an option if it
14 were obvious from the records that that were the
15 case.

16 **DR. ZIEMER:** I hadn't tried it, but I wasn't
17 sure whether the program mandated -

18 **DR. NETON:** Oh, no, no. It's not a default
19 within IREP itself. It's actually imbedded
20 within our technical guidelines for dose
21 reconstruction for input, for creation of the
22 input table that would go to the Department of
23 Labor for probability of causation calculation.

24 Although, that being said, I'm not sure that
25 I can envision with current personal monitoring

1 programs, how would we be able to ascertain a
2 chronic exposure scenario.

3 **DR. ZIEMER:** Well, I don't know the answer
4 to that, either, and that's sort of a dose
5 reconstruction issue.

6 **DR. NETON:** Right.

7 **DR. ZIEMER:** My only point here was that it
8 does affect what kind of distribution appears,
9 and then that affects the uncertainty analysis as
10 well. Okay.

11 **DR. ANDRADE:** Could I ask a question?

12 **DR. ZIEMER:** Tony, please.

13 **DR. ANDRADE:** That is taking a more
14 conservative stance as well, isn't it, in the
15 sense that at least for low-LET radiation when
16 you have a chronic or - I mean, acute exposures,
17 research has shown that the dose response
18 relationship is higher. So it is a more
19 conservative approach to -

20 **DR. NETON:** Well, it is to apply an acute
21 exposure that was instantaneously delivered for
22 the dose-rate effectiveness factor, that's
23 correct. I may be stretching my limitations on
24 my health physics knowledge, and Mary may have to
25 help me out here, but there's also - it is a

1 DDREF, so it's dose and dose-rate effectiveness
2 factor. And I believe as was pointed out
3 yesterday, for exposures under 20 rem, I think is
4 the way it was developed, the factor is - it
5 wouldn't make any difference, I don't think, in
6 the DDREF if you applied it as acute.

7 Is that correct, Mary?

8 **DR. SCHUBAUER-BERIGAN:** Not exactly.

9 **DR. NETON:** Okay.

10 **DR. SCHUBAUER-BERIGAN:** It's very
11 complicated. And really, without looking in
12 detail at the NCI's model documentation, it's
13 very difficult to explain what happens.

14 But at some dose, some theoretical low dose,
15 even for an acute exposure, there is applied the
16 chronic DDREF factor. That acute dose, that
17 acute low dose, though, is sampled from a
18 distribution of possible low doses. And if
19 Charles Land were here, he really developed that
20 with NCI and could speak to much greater detail
21 about how that's done. But that's documented in
22 the NCI's revised software. That dose value
23 ranges from - that so-called low dose value
24 ranges from .03 to .2 sievert, so that would be 3
25 to 20 rem.

1 **DR. ANDRADE:** Thank you.

2 **MS. MURRAY:** Was that .03 to .2 sievert?

3 **DR. SCHUBAUER-BERIGAN:** Yes.

4 **MS. MURRAY:** Thank you.

5 **DR. ZIEMER:** Are we ready to go on to the
6 next section? Okay, Subpart E, Guidelines to -
7 no, I'm sorry. Yes, Subpart E, Guidelines to
8 Estimate Probability of Causation. Required use
9 of NIOSH-IREP, 81.20.

10 [No responses]

11 **DR. ZIEMER:** Okay, 81.21, Cancers requiring
12 the use of NIOSH-IREP.

13 **DR. DeHART:** A question related to carcinoma
14 in situ, which is sort of an interesting
15 conundrum because the diagnosis in fact is going
16 to imply treatment. It is not a metastatic
17 disease; therefore the fact that you found it,
18 you've cured it, in all probability. What was
19 the rationale for including it?

20 **DR. SCHUBAUER-BERIGAN:** The rationale for
21 including it is - this was a topic of some
22 discussion as these regulations were produced.
23 The justification was that as cancer screening
24 techniques have improved in this country - and
25 I'll use breast cancer as an example - carcinoma

1 in situ is frequently the stage at which cancers
2 are caught and diagnosed and treated. And
3 treatment, in many cases, is identical for a
4 carcinoma in situ as it would be for early stage
5 metastatic cancer.

6 And so it was felt that that - making that
7 distinction between carcinoma in situ and a
8 malignant early stage cancer could in fact punish
9 somebody for finding a cancer earlier. And that
10 is the application of a policy decision that was
11 made, similar to decisions - when faced with an
12 unknown like that, the decision should be made in
13 favor of the claimant, which would be to consider
14 that. And that's certainly something that is -
15 should be considered as the Board makes its
16 decisions.

17 One other factor I should point out is that
18 for some cancers like breast cancer, the risk
19 factors for carcinoma in situ are the same as for
20 early stage breast cancer itself. And so one
21 could argue that it's likely that radiation might
22 cause those cancers or those carcinomas similarly
23 as for malignancies.

24 **DR. ZIEMER:** Okay, we'll move on to 81.21,
25 general guidelines for use of NIOSH-IREP.

1 Yes.

2 **DR. ANDERSON:** I notice there's a couple of
3 places it says DOL will calculate probability of
4 causation. Who's going to be actually doing
5 this? Are you -

6 **MR. ELLIOTT:** The Department of Labor has
7 that responsibility. That's part of their final
8 adjudication of the claim. They will use our
9 rule. They will use this rule and the
10 information that we send them from a dose
11 reconstruction report and the IREP to do that
12 calculation.

13 **DR. ANDERSON:** So they'll basically get the
14 table saying 53 percent, and they'll look at that
15 and say meets the criteria, and that's - no?

16 **MR. KATZ:** They'll actually operate the
17 IREP.

18 **MR. ELLIOTT:** They'll actually operate the
19 IREP.

20 **DR. ANDERSON:** Okay, so they'll be doing all
21 of that.

22 **MR. ELLIOTT:** Yes.

23 **DR. NETON:** Yes, it's our intent that they
24 will receive essentially an Excel spreadsheet
25 that will contain the detailed dosimetric

1 evaluation that we do, and then they will import
2 that into IREP and actually execute the program
3 and generate the results.

4 **DR. ANDERSON:** I see. So you'll just
5 calculate or generate the dose.

6 **MR. ELLIOTT:** That's right.

7 **DR. ZIEMER:** 81.23, Guidelines for cancers
8 for which the primary site is unknown.

9 I'm just reminded that that includes Table 1
10 as well, so if there's questions on Table 1.
11 It's not very clearly identified, but it's the
12 table right at the bottom. The Table 1 heading
13 looks like a paragraph right under 81.23, but I
14 think it is the heading for the table. Okay?

15 And we've already been informed as to how
16 this will work in terms of multiple cancers and
17 multiple calculations, and selection of the
18 highest probability in adjudicating the claim.

19 81.24, Guidelines for leukemia.

20 [No responses]

21 **DR. ZIEMER:** No questions? Okay, 81.25. I
22 have one question on 81.25 on the calculational
23 method. Is there some assumption about the
24 independence of the cancers where you have
25 multiple cancers and do the combining of the

1 probability of causations? Or maybe a better way
2 to frame that is the independence of the risks of
3 those cancers.

4 **DR. SCHUBAUER-BERIGAN:** Yes, those are
5 assumed to be independent probabilities for
6 purpose of this calculation, and that is the
7 derivation of that equation.

8 **DR. ZIEMER:** And if the two cancers are not
9 independent - I'm not sure if I even know what
10 that means in medical terms - is metastases in
11 one organ - or primary/secondary situation, is
12 that - or does this arise in that case?

13 **DR. SCHUBAUER-BERIGAN:** Here we're not
14 referring to - obviously to a secondary cancer
15 arising from a primary. But for example, if you
16 receive - if you had colon cancer and skin
17 cancer, it's likely that those are two
18 independent processes leading to those two
19 diseases. So that was the thinking in setting
20 this equation up.

21 **DR. ANDERSON:** An interesting question,
22 because skin cancer's going to be involved. Is
23 the time relationship between the two cancers
24 come into play at all? It would seem to me
25 somebody could apply for, under the - getting an

1 early skin cancer, then since most of them will
2 survive go on to another 20 years, develop a
3 colon cancer, or a woman a breast cancer or
4 something.

5 Now if they'd already applied and been
6 denied under the earlier, would that still count
7 in the subsequent one as opposed to having two
8 cancers that occur within – simultaneously? Now
9 part of this would be going – historically you
10 look at people who are already deceased and they
11 died of the second cancer, but their medical
12 history suggests they had – and again, skin is
13 relatively common and treatable.

14 **UNIDENTIFIED:** Combining helps them.

15 **DR. ANDERSON:** Yeah, combining helps them.

16 **DR. SCHUBAUER-BERIGAN:** Right.

17 **DR. ANDERSON:** Is there no statute of
18 limitations, was really the question.

19 **DR. SCHUBAUER-BERIGAN:** No. This
20 calculation could apply to cancers that – primary
21 cancers that occurred decades apart. And you
22 would compute each probability of causation
23 independently for each cancer, and then apply
24 this equation to combine the two.

25 **DR. MICHAELS:** May I just add one point just

1 for informational sake - David Michaels.
2 Claimants would be eligible for only one lump sum
3 payment though, even if they had multiple
4 cancers. However, it's of interest to the Labor
5 Department to determine which cancers are causal,
6 because medical payments associated with each
7 cancer have to be determined.

8 **DR. ZIEMER:** Let's see, we were at
9 guidelines for leukemia. Were there any
10 questions on - no, we're - I'm sorry, I passed
11 that. We were on guidelines for claims including
12 two or more. Any other questions on that
13 section?

14 [No responses]

15 **DR. ZIEMER:** Okay, 81.30, Non-radiogenic
16 cancers, including the tables.

17 **DR. ANDRADE:** Just out of general interest,
18 I'd ask the physicians here on the panel if they
19 are aware of any research that is indicating any
20 other type of cancer that may be considered or
21 that may possibly be non-radiogenic.

22 **DR. ZIEMER:** Roy.

23 **DR. DeHART:** I don't know of any absolutes,
24 and in medicine that's very hard, even for
25 chronic lymphocytic. There are certainly, as we

1 all know, various tissues that are more sensitive
2 than other tissues, but I couldn't give you a
3 tissue that would be non-responsive to radiation.

4 **DR. ZIEMER:** Henry, did you have any other
5 comments that -

6 **DR. ANDERSON:** No.

7 **DR. ZIEMER:** Okay. It appears that that
8 brings us to the end of the rule itself.

9 **DR. ANDERSON:** Oh -

10 **DR. ZIEMER:** Oh, another question?

11 **DR. ANDERSON:** When the ICD changes - it's
12 happening as we speak - are you just going to
13 update the tables? Are you going to have to go
14 through a rule process? You need to put in here
15 somewhere so that you don't have to go through
16 this rule-making process -

17 **MR. KATZ:** Yeah.

18 **DR. ANDERSON:** - when the codes change.

19 **MR. KATZ:** I think this falls - and I don't
20 remember the term - but these sort of technical,
21 non-substantive changes can be done without going
22 through a rule-making process.

23 **DR. ANDERSON:** Well, just be sure that you
24 can do that because it saves you a lot of
25 headache.

1 **DR. SCHUBAUER-BERIGAN:** We actually
2 considered - ICD-10 is in effect right now.
3 However, the risk models on which -

4 **DR. ANDERSON:** Are all based on -

5 **DR. SCHUBAUER-BERIGAN:** Yeah, are based on
6 ICD-9 classifications.

7 **UNIDENTIFIED:** Say that again.

8 **DR. SCHUBAUER-BERIGAN:** To repeat, the risk
9 models are in ICD-9 codes, and therefore - you
10 can still code any cancer, incident cancer or
11 case of a death in any of the ICD revisions. So
12 it's not a requirement for this program that they
13 be done in the most current revision of ICD.

14 **DR. ZIEMER:** You're talking about the -
15 adding to the list mainly, or are you -

16 **DR. ANDERSON:** Well, the numbers have
17 changed, yeah. Some of the -

18 **DR. ZIEMER:** Oh, the coding numbers
19 themselves, oh.

20 **DR. ANDERSON:** - broken down into different
21 types that would otherwise have been included in
22 this, now they'll have a separate category, so
23 they might - you can always back-code your
24 numbers. Generally you can translate backward,
25 but it's more problematic going from 9 to 10.

1 **DR. ZIEMER:** Okay. Now opportunity for any
2 other general questions on the rule, proposed
3 rule.

4 [No responses]

5 **DR. ZIEMER:** Then we have completed that
6 review. We actually even have at least one
7 recommendation, made sort of progress.

8 We do now have an opportunity to frame out
9 question two of the preamble, and we're going to
10 distribute the rule for Veterans Affairs – not
11 overly long. And then referring to question two,
12 I'm going to ask Ted – maybe some of his
13 colleagues can frame what the real intent of
14 question two is, and it really has to do with the
15 use of the POC tables.

16 **MR. KATZ:** Yes, sure. There's really, I
17 guess, two more specific questions under that
18 question which you could address. And the first
19 is are the categories sort of possibilities for
20 changes to IREP the appropriate ones, because
21 that is an adaptation.

22 **DR. ZIEMER:** That is changes of IREP from
23 its use in the other –

24 **MR. KATZ:** Right, and those are specified –
25 I don't have the section number in my head, but

1 you have it. You reviewed it actually just now.
2 You went through that section as well, and you
3 said you might return to it. But it's the
4 section of the rule that describes what possible
5 changes would be made to IREP. So that's the
6 first question.

7 And the second question in terms of
8 adaptation pertinent to this rule is our approach
9 to in effect objectifying decisions where we're
10 dealing with unknowns - for example, not knowing
11 the primary cancer, or for example not having
12 necessarily a best, single best model. Is that
13 appropriate, using that objective approach versus
14 what is applied at Department of Veterans Affairs
15 when you have, for example, a disease that's not
16 included, is you have in effect an expert
17 judgment being applied. So it's not a consistent
18 - it may be - the expert judgment may be
19 consistent, but it's not laid out objectively and
20 cut and dried.

21 **DR. ZIEMER:** Larry, do you have anything to
22 add to that, or any of the other staffers?

23 [No responses]

24 **DR. ZIEMER:** So this question would really
25 take the form of does this rule appropriately

1 adopt the IREP model to this work force? Is that
2 a fair way of -

3 **MR. ELLIOTT:** I think that is.

4 **DR. ZIEMER:** And the primary changes on that
5 adoption are what?

6 Henry, did you have a question?

7 **DR. ANDERSON:** Yeah, my question is some of
8 these things in the Veterans Affairs issue, like
9 the referral to independent experts, if they're
10 to reconcile, which is kind of one of the
11 questions I had, how would that be done? Is that
12 something that will be in the Department of -
13 since basically you're not going to be doing it,
14 you're going to dose reconstruct, it's how do you
15 - I guess my question is where does Department of
16 Labor come in in this? When they make the
17 determination, do they have a process that's
18 somewhat qualitative rather than strictly
19 quantitative?

20 I mean, like that's kind of what the VA has
21 here. If there's an issue needs to be decided,
22 it can be sent to, as you say, for expert
23 opinion; where here what you have is basically a
24 model. You fit the data you have into the model.
25 The only thing would be when we get to dose

1 reconstruction, if you say you can't do it, then
2 the question is is it your responsibility to come
3 up with an alternative process? Or do you just
4 leave that to Department of Labor, and they would
5 decide whether the person would go into a special
6 group or be handled in some other way?

7 **MR. ELLIOTT:** That's where our Special
8 Exposure Cohort guidelines -

9 **DR. ANDERSON:** And that's coming later,
10 okay.

11 **MR. ELLIOTT:** - come into play, and that's
12 coming down the pike. We don't have that -

13 **DR. ANDERSON:** Okay.

14 **MR. ELLIOTT:** - ready to present to you
15 today.

16 **DR. ANDERSON:** Because it seems you're just -
17 most of your rule is the mechanics.

18 **MR. ELLIOTT:** Yes.

19 **DR. ANDERSON:** And therefore, once you have
20 the program on-line, you can put something into a
21 field. But you can't add fields, you can't -
22 your choices are relatively -

23 **MR. ELLIOTT:** As Jim Neton mentioned
24 earlier, it's our intent to deliver a dose
25 reconstruction report to the claimant, to

1 Department of Labor, and Department of Energy.
2 And what Department of Labor's going to get in
3 that dose reconstruction report is an Excel
4 spreadsheet that has all of the input parameters
5 for the IREP from that dose reconstruction. It
6 takes out all of the subjective interpretation on
7 their behalf to provide a very objective,
8 specified parameters to plug into the program.
9 And then all they have to do is hit that one -

10 **DR. ANDERSON:** Yeah.

11 **MR. ELLIOTT:** - submit data button and put
12 the calculation, and they have the recommended
13 decision based upon that.

14 **DR. ANDERSON:** If it goes into the program
15 correctly.

16 **MR. ELLIOTT:** Yes, if it all meshes together
17 correctly.

18 **DR. ZIEMER:** Any further questions or
19 comments of a general nature?

20 Okay, I want to look at the schedule here
21 for a minute.

22 **DR. MELIUS:** Can I just ask one question?

23 **DR. ZIEMER:** Yes, Jim.

24 **DR. MELIUS:** Are we going to comment on the
25 three questions? I'm a little confused

1 procedurally.

2 DR. ZIEMER: Yes.

3 DR. MELIUS: Okay.

4 DR. ZIEMER: At least on two of them, and
5 maybe three of them.

6 DR. MELIUS: Okay, because I have some
7 comments about how we'd want to go about doing
8 that, but I think if -

9 DR. ZIEMER: Right.

10 DR. MELIUS: - you go ahead, that's fine.

11 DR. ZIEMER: I just want to look at the
12 schedule here, and just alert you we have a
13 public comment period after lunch blocked off for
14 an hour, but we will only have one person after
15 lunch who's asked for one minute. And we have
16 another one before lunch who needs five to seven
17 minutes. We actually have a third one now, okay,
18 David Richardson. We need to do at least one of
19 the public comments before lunch. We can do the
20 others then as well, with the permission of those
21 commenters if they're willing to do them earlier,
22 and then talk about how we proceed on answering
23 the three questions.

24 We have basically one presentation this
25 afternoon on dose reconstruction, and the rest of

1 the time is then available as a working session.

2 If it's agreeable, we could go ahead with
3 the public comment period now and take a little
4 break from this line.

5 Then let me ask Robert - is it Tabon?

6 **MR. TABOR:** Tabor.

7 **DR. ZIEMER:** Tabor.

8 **MR. TABOR:** Tabor.

9 **DR. ZIEMER:** Yes, Tabor, yes. Okay, I read
10 the R as an N, thank you. Robert, are you
11 prepared to proceed? Could you use the mike in
12 the front, please? Robert's with Fernald Atomic
13 Trades and Labor Council.

14 **MR. TABOR:** I'll try to be as brief as I can
15 and hold it to the time limit that I indicated.
16 I have a couple of items here I'd like to share
17 with you.

18 For the record, my name's Robert G. Tabor.
19 I go by Bob Tabor. I only mention the Robert G.
20 because we have a Robert C. at the site as well.
21 I'm the only one, though, on the e-mail. I
22 appreciate the opportunity that you're giving me
23 to do this outside of your normal agenda there.

24 Let me give you just some brief background.
25 I'm a 21-year veteran at the Fernald site. I'm a

1 journeyman millwright by trade. I've been in
2 this labor business for about the last 17 to 18
3 years, have held a number of positions throughout
4 our council.

5 And I guess I find myself mostly on special
6 assignments interfacing with a number of folks in
7 our organizations across the network, a number of
8 folks at Washington in your health and safety
9 field, which is principally - a lot of what I do
10 is associated with that. I've interfaced with
11 Dr. Neton and Grady Calhoun a number of times in
12 various types of committees or programs, or
13 things that we do at our site that involved their
14 expertise. I know a number of you folks that are
15 here.

16 I've met a number of labor folks from across
17 the country at other organizations. I've been to
18 every site, the primary sites in the nuclear
19 network, with the exception of maybe Pinellas,
20 which I believe is closed, and Weldon Springs,
21 which I believe is closed. And the only
22 operating site that I think I haven't been to - I
23 haven't been to any labs - but the only operating
24 site I haven't been to is maybe Pantex.

25 And I take a minute to give you that

1 background because there's many of folks out
2 there like myself that have a lot of interest in
3 the things that you're doing. And I've followed
4 this program pretty much since its conception,
5 maybe not as closely as Dr. David Michaels, where
6 he mentioned he's been involved since the
7 flirtation of the idea, but have made a number of
8 trips. And I'm pleased to see that we have an
9 organized Board, and I am happy that - or I
10 should say I'd like to compliment you on the fact
11 that you've gotten this far this fast.

12 Let me step off the track here a second and
13 make a comment in the form of a question. As Dr.
14 Mary Schubauer-Berigan - I hope I pronounced that
15 correctly - as she was dissertating (sic)
16 yesterday, a thought or two came to my mind. And
17 I began to write a question that I had, more so
18 as food for thought for you folks, and I wrote it
19 down. So I'm just going to read what I wrote -
20 if I can read my own writing, that is.

21 When new methodologies or technologies or
22 better practices are discovered or employed with
23 respect to the probability of cause, determining
24 the probability of cause, and those new tools
25 help to maybe render a decision more clearly,

1 what impact may this make on previous cases that
2 possibly a lesser accurate methodology or
3 technology may have caused a determination to be
4 negative as opposed to a favorable positive
5 determination that you might now get with an
6 updated technology, inasmuch as a decision made
7 on a case today with whatever tools that you have
8 to determine or make those decisions might be a
9 little different five years from now?

10 And as she was speaking – you learn as you
11 listen – it came to my mind that, what if? And I
12 guess as an example, if a new methodology more
13 clearly helps to render a positive decision as
14 opposed to an old methodology that may have had a
15 negative impact, what consideration will be given
16 to those previous determined cases that may have
17 been denied?

18 Now I know we've talked about there's a lot
19 of latitude designed into this program that –
20 what do I want to say? I don't necessarily want
21 to say weighs in favor of the applicant, but it
22 certainly gives some latitude there for error or
23 whatever. I think you know what I mean. And –
24 but you may have cases that are very borderline,
25 that today fall one way that tomorrow may fall a

1 little differently under the same set of
2 circumstances for the most part.

3 So I'd like to offer that up as food for
4 thought if you haven't considered that, and what
5 that might come to as far as some decision-making
6 from the Board in the future, keeping that in
7 mind.

8 Now on a whole other note, I'd like to talk
9 a little bit about the structure of the Board.
10 As indicated, I said I compliment you on how far
11 you've gotten so fast. I certainly appreciate
12 the fact that we have a brother on the Board here
13 who is a labor type. But I'd also like to
14 piggyback some comments that Richard Miller made
15 yesterday about the structure of the Board and
16 the balance.

17 Let me put it in these words - and this is
18 not exactly criticism; it's just simply comes
19 from some experience that I've had. I didn't
20 mention the fact that I'm on the Fernald Citizens
21 Advisory Board, and I've been on that board since
22 its conception. I'm also a member of FRESH. I
23 don't know if you're familiar with that
24 organization, but that's the Fernald Residents
25 for Environmental Health and Safety. They're a

1 public activist type of a group that follows a
2 lot of health effects, things that go on
3 throughout the country, and attend a lot of
4 meetings and are quite in tune with these type of
5 things.

6 And I also - not as a member but as a
7 participant from the audience - have attended the
8 Fernald Health Effects Subcommittee when it was
9 in session, and am following up on participating
10 in another committee to continue with some of the
11 efforts of that committee. So I have a big
12 interest in this particular area.

13 What I might say with respect to structure
14 of the Board is that if you really want to
15 optimize your effectiveness or optimize your
16 success, you really need to consider balance
17 here. And what I'm talking about is other labor
18 types on your Board. For instance, my friend
19 right here, he's a representative of the labor
20 type speaking for himself, maybe not so much for
21 his constituency, because obviously that's the
22 role that you need to play on the Board; and he
23 comes from a laboratory.

24 Most of these claims that you probably will
25 have before you are going to be claims from

1 workers at production type of sites. Yet you do
2 not have the flavor of that element on your
3 Board. And it could be quite helpful to you
4 folks.

5 It's just a rule of thumb that I always use
6 when I'm either chairing a committee or chairing
7 a team to do something, the first thing I ask
8 myself, what is it that I'm about to do; how does
9 it - how and who does it impact? And when I
10 identify that, I be sure that who it impacts is
11 at the table for input, because it's going to
12 render my decision-making a lot more thorough so
13 I can do the right thing the first time. And it
14 certainly helps when you're - to take that into
15 consideration.

16 So I might suggest that if you have an
17 opportunity to expand this Board that you
18 consider getting some other flavors of labor from
19 some of the production facilities, or at least
20 somebody out there that's familiar with that.
21 And as the gentleman pointed out yesterday,
22 probably a lot of our sites are one of the
23 largest bodies that's represented out there is
24 OCAW, which is now, I believe, PACE, and also you
25 have the metal trades. I belong to a metal

1 trades organization myself.

2 There's some advantages to that, and I would
3 suggest that you take into consideration a couple
4 of things. I'm sure that not just scientific
5 data may or may not factor into your decision-
6 making, but a lot of times operational
7 experiences may have an important role in the
8 decision-making.

9 A good example would be, in discussion with
10 a friend yesterday, was telling me about an
11 experience of one of their workers who had what
12 is referred to, I think, as a shine. There was
13 just - this person was radiated intensely and
14 developed a cancer, a malignancy that normally
15 doesn't metastasize itself in the pathway in
16 which this did. But because of that particular
17 little pinpoint zone that got radiated in the -
18 by the nature of the way they worked, it may not
19 even show in his dose reconstruction, on his
20 dosimeter.

21 Well, how do we deal with those kind of
22 cases? Those will be things I'm sure you may run
23 into. And operational experiences will be
24 vitally important to some of your decision-
25 making. Having someone at the table that can

1 share in those things or has some insight could
2 be really helpful.

3 Then there's another issue I'd like you to
4 take in consideration. I come from a closure
5 site. Part of the thing that the current CAB is
6 looking at in the realm of stewardship - and I'm
7 on the stewardship committee, as well - is our
8 record-keeping.

9 Now I know Federally there are probably some
10 laws that are in place that account for how we
11 keep medical records, and those requirements will
12 - the retention of those records will be
13 protected. But there's other records out there
14 that maybe are not laws from operational
15 experiences that you may wish to say, hey, we may
16 need to look at some of these things.

17 Well, keep in mind that just recently,
18 especially at my site, there previously was a
19 moratorium on records and record retention. That
20 moratorium is being lifted. On closure sites
21 this information is going to be going away, or it
22 could go away. That may be an area that you may
23 want to consider to look into as far as
24 information that you may need in order to be
25 thorough in some of the decision-making and the

1 processes of determining whether a claim is valid
2 or not.

3 So I present you some food for thought with
4 respect to that, with respect to the balance of
5 the structure of your committee. And let me see
6 here, in looking over my notes, is there anything
7 I've missed? I don't believe so. That's all
8 I've got to say.

9 **DR. ZIEMER:** Thank you very much, Bob, and
10 your remarks will -

11 **MR. TABOR:** Do you have any questions?

12 **DR. ZIEMER:** - be included in the record,
13 the transcripts.

14 Yes, are there any questions that any of the
15 Board members have?

16 [No responses]

17 **MR. TABOR:** Thank you.

18 **DR. ZIEMER:** Thank you, Bob.

19 Next we have Fay Martin, LOC/CAP. Help me
20 out, though, Fay. What is that?

21 **MS. MARTIN:** That's what I was going to
22 explain. I'm Fay Martin -

23 **DR. ZIEMER:** And she's at Oak Ridge. I
24 think you gave us those acronyms yesterday, and I
25 forget what they are. Sorry.

1 **MS. MARTIN:** I'm Fay Martin, representing
2 the Local Oversight Committee and the Citizens
3 Advisory Panel of Oak Ridge. The LOC's composed
4 of elected and appointed officials from the City
5 of Oak Ridge and the seven counties surrounding
6 the Oak Ridge Reservation. The CAP reviews and
7 provides recommendations on DOE's decisions and
8 policies.

9 Now long, long ago and far, far away there
10 was a group called ACERER. That's the Advisory
11 Committee on Energy-Related Epidemiological
12 Research. As a member of their subcommittee, the
13 citizens – and we have been led to believe that
14 we as citizens should be involved and have input
15 into what the government is doing on our behalf.

16 So I'm just here to ask a question. Are you
17 going to have a citizens group appointed to work
18 with this Advisory Board on Radiation and Worker
19 Health? Does anybody know?

20 **DR. ZIEMER:** We'll let Larry answer that.

21 **MR. ELLIOTT:** Fay, there's distinct
22 responsibilities this Board has, and those
23 responsibilities were outlined yesterday. We
24 certainly respect the interest of workers who are
25 going to reap the benefits of this whole program,

1 and want their participation and their
2 involvement, their observation of our work. We
3 do not deny the public that opportunity as well.
4 We encourage that. There is, however, no
5 envisioned plan or need to incorporate a citizens
6 advisory subcommittee to this body, though.

7 **MS. MARTIN:** Okay. It's just that I've been
8 talking to some of the citizens, and they were
9 wondering is \$150,000 enough money to compensate
10 for all the suffering they've had. And they have
11 lots of questions that they'd like to bring to
12 the Board. So I think their voice should be
13 heard, also. Thank you.

14 **DR. ZIEMER:** Thank you, Fay. And again,
15 your comments will be in the record.

16 David Richardson has asked to speak again
17 today, and David, are you - yes. UNC Chapel
18 Hill.

19 **MR. RICHARDSON:** Caught me a little bit
20 ahead of time. But yeah, I'd like to again raise
21 two points, two new points.

22 The NIOSH-IREP program that we've looked at
23 - it's been up on the screen; it's kind of,
24 again, a computer black box - has as its
25 foundation a set of numbers that are coming from

1 a study of atomic bomb survivors in Japan.

2 I think it's important to stress - and I
3 want to talk a little bit about that study as the
4 basis for this first point, again from the
5 perspective of an epidemiologist - and say
6 imagine for a second the conditions under which
7 that study began. Atomic bombs dropped on two
8 cities. There are tens of thousands of people
9 who died in the first weeks from injuries, from
10 burns, and then subsequently from infections and
11 the consequences of destruction of
12 infrastructure.

13 So I think for workers and for the public it
14 raises the question, which has been a question
15 that's been going on for decades with the life
16 span study of atomic bomb survivors, is there
17 selective survivorship? Or putting it another
18 way, when you're studying the effects of
19 radiation on a group of atomic bomb survivors,
20 it's necessary that the effect of radiation on
21 the survivors is the same as the effect of
22 radiation in the general population you want to
23 extrapolate to. So you don't want selective
24 survivorship to bias the results.

25 As I said, this has been an issue that's

1 been raised by a number of critics. It was
2 raised early on by the Atomic Bomb Casualty
3 Commission as a consideration, could they even
4 conduct such a study? In recent years, however,
5 there's been several papers that have tried
6 empirically to investigate this question - that
7 is, looking for evidence that selection among
8 atomic bomb survivors might bias dose response
9 relationships.

10 And of particular concern it's been the
11 hypothesis, which I think is a reasonable
12 question, are the people who survived in the
13 high-dose areas - that is, people who were close
14 to ground zero - those people who survived now at
15 least a minimum of five years to enter the study
16 - they had to be alive in 1950 - were they robust
17 people? Were they - when you have people exposed
18 - and then you can think about this in lots of
19 settings where people who receive high dose
20 radiation exposures, some people are going to die
21 and some people are going to have the
22 constitution to go on living and survive the
23 infections, the consequences of the burns - and
24 then you begin studying those people, a robust
25 group of survivors selectively picked out in the

1 high-dose areas, as in the low-dose, the far
2 outreaching areas around Hiroshima and Nagasaki,
3 there's less selection going on because radiation
4 doses diminish with distance.

5 I would just like to draw the committee's
6 attention, then, to a series of papers that have
7 looked at that, including RERF Report 12 that was
8 published in *Radiation Research* in 1999. There
9 was an earlier study in *Health Physics* that came
10 out late in 1990. And in 2000, I believe, in
11 *Environmental Health Perspectives*, Stewart also
12 investigated that question.

13 So now turning to IREP, I think a lot from
14 looking at the way the IREP's dealing with the
15 problems of - and this, I'd say, primarily is a
16 question of bias, but also it's a question of
17 uncertainty - there's some question about whether
18 the study of atomic bomb survivors does have bias
19 in it. So there's questions of bias and
20 uncertainty due to selective survivorship.

21 And the IREP program's drawn heavily on NCRP
22 Document 126. And the NCRP in that paper really
23 does a good job of going through sources of
24 uncertainty in radiation risk estimates. They
25 come from the life span study, primarily focusing

1 on uncertainty in the radiation dose estimates,
2 which I think is really valid.

3 There's a - and I would stress here again
4 for the committee to remember that unlike workers
5 who are wearing badges, the atomic bomb survivor
6 dose estimates are derived primarily - and this
7 is important to say - primarily from
8 questionnaire data. And so people who have been
9 participating in questionnaires know that there's
10 questions, aside from uncertainties about neutron
11 dose estimations and those things, questions
12 about the validity of information that people
13 give in questionnaires. That information gets
14 put into a mathematical model and generated
15 quantitative dose estimates for atomic bomb
16 survivors.

17 But so there is - IREP has adopted many of
18 the recommendations by the NCRP in Report 126 on
19 how to deal with some of the uncertainties in
20 radiation dose estimates in the life span study.
21 There's a separate section, though, in what's
22 called epidemiologic uncertainties in the life
23 span study, and here selective survival is one of
24 the issues that they raise, they address, and
25 they recognize. And in fact, they point to the

1 *Health Physics* article in 1990. I believe the
2 NCRP report came out before subsequent literature
3 that's also been reported. But they conclude
4 that there's evidence that there's bias, that the
5 dose estimates from the life span study are
6 probably biased downwards because of selective
7 survivorship, but the degree of bias is probably
8 fairly small, and they go on to focus on the
9 dosimetry problems.

10 I would recommend to the committee two, at
11 least two issues for consideration. One is
12 there's a recognized small source of downward
13 bias, and that's something that could be easily
14 incorporated with using the same methodology as
15 has been used for the other sources of
16 uncertainty in the life span study.

17 The other question, though, is not just
18 bias, but is uncertainty. Here you have another
19 uncertainty factor, and it's something I think
20 the committee can bring forward. Not just that
21 the estimated degree of bias is small – and here
22 we're talking about something like ten percent or
23 – I'm not sure. For compensation purposes I
24 think those are important factors. But then
25 there's also uncertainty around that, because

1 it's to date not adequately quantified.

2 So I'll leave that as my first point, to
3 take a look at NCRP Document 126 and consider
4 bias and uncertainty arising from selective
5 survival, which has been a point that's been
6 raised in the literature now for decades. And I
7 think the last decade has been very fruitful in
8 documenting a negative dose response,
9 particularly in the first 20 years of the A-bomb
10 study between all-cause mortality and radiation
11 dose. People with higher doses tend to be much
12 healthier than people with lower doses, and
13 that's evidence of selective survivorship in that
14 population.

15 The second point that I want to talk about
16 is a set of comments that I guess it's maybe -
17 I'm going to make comments before the
18 presentation has happened on dose reconstruction,
19 and that's given the ordering of the agenda, the
20 comments period is preceding the presentation.
21 So I'm going to base my comments on a review of
22 the handouts that are available over there on the
23 side.

24 And just - I would like to point out for the
25 committee's attention really the issue of neutron

1 dosimetry, which I don't see, except for the
2 first slide, I don't see addressed, at least in
3 the handouts. And I would argue that it's
4 important for two reasons, the first reason being
5 the biological effectiveness of neutrons and the
6 uncertainty in the RBE factor for neutrons. And
7 I'd argue that that uncertainty's largely because
8 there's not been adequate – there's not been an
9 opportunity to do a lot of epidemiologic research
10 on the health effects of neutrons. And so
11 necessarily, these RBE factors are uncertain.
12 But the general consensus is that the biological
13 effectiveness of neutrons is relatively high.

14 The other side of that is that the dosimetry
15 for neutron exposure in the DOE complex ranged
16 from non-existent to very poor for a long period
17 of time. And it was an acknowledged limitation,
18 and it was labor-intensive work. So there was
19 limited neutron dosimetry that involved visual
20 inspection of films. And so I think that's going
21 to raise, again, an important – I think it's an
22 important issue for the committee to consider,
23 how to deal with periods where neutron exposures
24 are uncertain, and the biological effectiveness
25 of them is also uncertain.

1 Thank you.

2 **DR. ZIEMER:** Thank you very much. Could I
3 ask - let's see, the RERF-12, was that the RERF
4 report? I just - getting those references. Is
5 that the '99 report?

6 **MR. RICHARDSON:** Yes.

7 **DR. ZIEMER:** And then the HP journal, do you
8 know off-hand who the author on that one was?

9 **MR. RICHARDSON:** It's Little and Charles.

10 **DR. ZIEMER:** Little and Charles, thank you.

11 **MR. RICHARDSON:** First initials, M.P.,
12 Little, Charles, M.W. And the title's *Bomb*
13 *Survivor Selection and Consequences for Estimates*
14 *of Population Cancer Risks, Health Physics, 1990,*
15 *Volume 59.*

16 The other - the RERF report was published
17 also in the literature under the title *Non-Cancer*
18 *Mortality, 1950 to 1990, and Radiation Research*
19 *in 1999, Volume 152.*

20 **DR. ZIEMER:** Thank you.

21 Any other questions for - sorry - for David?

22

23 [No responses]

24 **DR. ZIEMER:** If not, Roger Shaw, McCarter
25 and English.

1 **MR. SHAW:** Thanks, Dr. Ziemer.

2 A couple of points. Just a little bit of
3 concern. I know that the Board, as it goes
4 forward, will look at the meshing that we're
5 having here of policy and sound science. It's
6 something that we have to do. You can't separate
7 the two completely, especially in this type
8 endeavor. In fact, the way that the Act has been
9 written, we - there are certain policy issues
10 that are written in, and there is no changing
11 that. That's understood.

12 But I think there's a lot of room,
13 especially as we listen to IREP-NIOSH and what
14 that constitutes, and the technical bases for
15 that is very - there's a lot of complex issues in
16 there, technical issues that hopefully you'll
17 take a look at. There's very good people working
18 in that, as we've witnessed, from NIOSH and other
19 agencies through NCI, very good people working on
20 these issues. But there are many issues within -
21 just, for example, the use of that program - that
22 need to be looked at very, very closely. And I
23 would just say that we need to watch some of
24 those applications.

25 I want to mention just two things. There

1 are new studies that go beyond where we've been
2 with the primary risk coefficient bases, which
3 have been the life span study of the Japanese
4 bomb survivors, as David Richardson has
5 mentioned. There are a number of studies that
6 are going on at DOE, et cetera.

7 There's also a study that's due out later
8 this year that many folks in this room have been
9 associated with, including Larry Elliott and Dr.
10 Richardson and actually myself, and that should
11 be coming out at the end of the year from the
12 International Agency on Research on Cancer.
13 They're a national agency for research on cancer,
14 IARC. There is a DOE, Department of Energy,
15 cohort that's part of that study. There's also a
16 commercial nuclear reactor cohort that's part of
17 that study.

18 It's a 16-country study – was 17, now 16 –
19 and it includes – it is the largest study of
20 nuclear facility workers in the world. There's
21 over 600,000 people within that cohort. Some of
22 those people, a large majority of that dose is
23 low-LET, not high-LET. There are flags for
24 internal dose. There are flags for neutron, to
25 separate people out that maybe you don't want to

1 mix apples and oranges. But I do want to make
2 sure that you're aware. I know that Dr. Elliott
3 will make you aware of that as part of the
4 Board's activities. Hopefully that will be out
5 by the end of the year. But I do want to mention
6 that there are these issues of comparing
7 populations like Japanese bomb survivors. These
8 are actually nuclear workers, very large study.

9 The second issue I just want to mention
10 again is - I'll let it go - but the DDREF and the
11 DREF issues. We're applying it - it seems that
12 we're applying for alpha an inverse DREF of a
13 factor of four. In other words, we'll increase
14 the risk from the dose if it is chronic dose,
15 which it would be if it's internal exposure to
16 transuranics. We are going to increase that.

17 On the other hand, it seems we're moving
18 towards a DREF pretty much of one for external
19 low-LET exposure. And again, that directly
20 affects the risk. That directly affects the PC.
21 Maybe not in a one-for-one - it's not completely,
22 100 percent proportional. But there is a
23 proportion of it that does affect it, and as we
24 saw with the pie charts that we went through with
25 the program, you can see that - what the effect

1 is to varying degrees.

2 So that's really the two points that I
3 wanted to mention. And I also say it, just for
4 the record, I'd like to say I make these comments
5 as also a Cold War veteran within DOE complex.

6 Any questions for me? Thank you.

7 **MS. MUNN:** I have -

8 **DR. ZIEMER:** Wanda has a question, Roger.

9 **MS. MUNN:** What's the 17th country that
10 dropped off the list?

11 **MR. SHAW:** Germany, and someone can help me,
12 but Germany could not get their data in on time,
13 was the last update that I have. And I see a
14 couple of nods. I can see David nodding.

15 **MS. MUNN:** Okay, thank you.

16 **MR. SHAW:** Germany couldn't get their data
17 in.

18 **DR. ZIEMER:** Thank you.

19 Finally we have comments by Jim Ellenberger.
20 Jim's with Pace International Union.

21 **MR. ELLENBERGER:** Thank you very much, Dr.
22 Ziemer. I apologize for not being here yesterday
23 during the public comment period. I had
24 requested an opportunity to speak, and I
25 unfortunately had a conflict and had to leave.

1 So I appreciate this opportunity this morning.

2 I want to thank the members of the Board for
3 your participation in this effort. This is an
4 extremely important part of the process that was
5 established by the Energy Employees Occupational
6 Illness Compensation and Prevention Act, and we
7 have tremendous interest in this. I work as a
8 consultant for Pace International Union. I have
9 been doing that since June of last year. Prior
10 to that I served almost 30 years with the AFL-
11 CIO, and worked very closely with all of the
12 affiliates of the AFL-CIO in the enactment of
13 this legislation.

14 The legislation was very specific about the
15 Advisory Board on Radiation and Worker Health.
16 It required that the President appoint the Board
17 120 days after the enactment of the Act. And
18 obviously that didn't occur, and that has caused
19 some of the problems in terms of backing up the
20 process. And this is obviously not the
21 responsibility of this Board. You had no role in
22 that, thankfully. But it is something that you
23 have to deal with, and there are literally
24 thousands of workers who depend on your work and
25 are looking with great interest and anticipation

1 to the outcome of this process.

2 The other requirement in the Act that my
3 brother Tabor had mentioned earlier, and I'm sure
4 it was raised yesterday, was the requirement in
5 the Act that there be balance on the Board
6 reflective of scientific, medical and worker
7 perspectives. And as I mentioned yesterday in
8 the introductions, Pace International Union is
9 the union that represents the single largest
10 number of workers in the nuclear weapons complex,
11 and it is an organization that is not represented
12 on this Board. We have made a number of efforts
13 with the Administration to try and get worker
14 representatives from the production sector on
15 this Board, and that has been unsuccessful.

16 I would like to point out a similar activity
17 that you may be aware of; I don't know. The
18 Department of Energy created an advisory
19 committee to the Office of Worker Advocacy, which
20 was also established by the Act. This committee
21 was put in place a year ago. And its function is
22 to advise the Department of Energy on the
23 application of the law, and to provide advice and
24 assistance to the Secretary when it comes to the
25 Office of Worker Advocacy in that portion, very

1 difficult portion of the law which deals with
2 diseases that are not covered by the Federal
3 portion of the Energy Employees Occupational
4 Illness Compensation Act.

5 I happen to be a member of that committee,
6 and it's comprised of a lot of the most
7 distinguished and knowledgeable experts in the
8 United States on worker's compensation. Right
9 from the very first meeting we realized that that
10 committee lacked balance. We did not have in our
11 initial meeting any representation from
12 contractors. And we acted to advise the
13 Secretary that that shortcoming should be
14 addressed, and the Secretary did appoint
15 representatives from the contractor community who
16 now sit on the advisory committee at DOE.

17 As we proceeded with our work in that
18 committee we realized another shortcoming.
19 Particularly when you deal with state worker's
20 compensation laws - there are, as you know, one
21 for each state - and the forms of insurance
22 coverage that employers have, either self-
23 insurance or insurance through a state fund, or
24 insurance through commercial carriers - we did
25 not have any insurance representation on the

1 advisory committee. And we have again made a
2 recommendation that the committee be expanded to
3 include those interests. And the Secretary is in
4 the process - Secretary of Energy is involved in
5 a process right now to expand that committee to
6 make sure that those interests are represented
7 fairly in that process.

8 So I offer that for your information and
9 perhaps your consideration. I think undoubtedly
10 the work of this committee would be strengthened
11 immeasurably, and you would gain an important
12 element of trust from the public by making sure
13 that you are reflective, as the law requires, of
14 interests that are affected by this law.

15 Thank you.

16 **DR. ZIEMER:** Thank you very much, Jim. Are
17 there any questions for Jim?

18 [No responses]

19 **DR. ZIEMER:** Thank you.

20 We are approaching the noon hour. We had
21 actually blocked off 12:15 to 1:00 for lunch, but
22 our experience yesterday was that may be pushing
23 it, particularly since we may have to go off-site
24 to get something. So we will recess at this time
25 and then reconvene at 1:00 o'clock. We'll see

1 you then.

2 [Whereupon, a lunch recess was
3 taken from approximately 11:53 a.m.
4 until 1:08 p.m.]

5 - - -

6 **DR. ZIEMER:** We need to call the meeting
7 back to order, folks. Henry Anderson has to
8 leave at 2:00 o'clock, and we want to finish much
9 of what we do before 2:00. We won't be able to
10 finish it all, but some key things we need to
11 finish.

12 Before we do that, I'm looking for Nichole -
13 where is Martha, and where is Cori? Martha and
14 Cori aren't here. They're not out there? Okay,
15 we'll catch them. I wanted to officially thank
16 them for their work in arranging this meeting,
17 and we'll just delay that a few minutes. They've
18 done an excellent job, and we want to acknowledge
19 that and show that in the record as well - Cori
20 Homer, Nichole Herbert, and Martha DiMuzio.

21 We're going to continue at this point with
22 the working session of the Board. I'm going to
23 take my seat here momentarily, and we'll focus on
24 answering the issues that have been raised, the
25 three questions.

1 But before doing that, in talking to a
2 number of you sort of on the side, just to see
3 where you thought we were and so on, I sensed
4 that there was a lot of sentiment on the Board
5 toward acknowledging the issue of balance on the
6 committee in some way. And it would seem
7 appropriate that we do that.

8 Obviously this committee does not control
9 its own membership. That is controlled by the
10 Administration and the White House. Not even HHS
11 controls that. On the other hand, it would not
12 be inappropriate for us to reflect the need for
13 that balance that has been mentioned by a number
14 of our observers at various times here in the
15 last two days. So I've asked Roy if he would
16 prepare for us a motion that we might include in
17 our recommendations.

18 Roy, are you prepared to do that?

19 **DR. DeHART:** I am. I would like to put
20 before the Board the following motion:

21 The Board recommends to the Secretary of
22 Health and Human Services to urge the President
23 to provide balance to the Board's membership by
24 the addition of a nuclear industry worker.

25 **DR. ZIEMER:** Is there a second to the

1 motion?

2 **DR. ANDRADE:** I'll second that.

3 **DR. ZIEMER:** A friendly amendment, could we
4 say another?

5 **DR. DeHART:** I can - I said the addition of.

6 **DR. ZIEMER:** The addition of - okay,
7 addition of another.

8 Did someone second that? I'm sorry.

9 **DR. DeHART:** Yes.

10 **MS. MURRAY:** Dr. Andrade did.

11 **DR. ZIEMER:** Thank you.

12 Now discussion. Wanda.

13 **MS. MUNN:** I guess I need to make my
14 position on this very clear. Granted, I'm a
15 degreed engineer, and I have an advanced degree.
16 And granted also that I am not a union member. I
17 nevertheless have made great effort during my
18 professional career to see that I was never in a
19 management chain, because when I received my
20 technical degree I did so so that I could be on-
21 the-ground, hands-on kind of engineer. And
22 throughout my entire professional career, that's
23 what I did.

24 So to have me considered as something other
25 than a nuclear worker does not set well with me.

1 I consider myself a nuclear worker. I have never
2 been management. I have - my policy-making
3 activities have always been in the civil area,
4 not in my work place. So from my perspective,
5 this Board has on it at this time one-fifth
6 constituted of nuclear workers who have not been
7 involved in management decisions and are nuclear
8 workers.

9 Now I don't know whether Rich sees me in
10 that same way or not, but that's the way I see
11 myself. And therefore I am not enthusiastic
12 about this particular proposal.

13 **DR. ZIEMER:** Thank you for those comments.
14 Part of this, of course, is always perception,
15 and that's what we're speaking to here.

16 Yes, Rich.

17 **MR. ESPINOSA:** For the record, I don't
18 believe the perception of this should be
19 union/non-union.

20 **DR. ZIEMER:** No.

21 **MR. ESPINOSA:** It should be reflected as for
22 the workers, whether you're union or not. And I
23 agree with the motion that's made, and I do
24 believe that should be amended to represent labor
25 on the next appointees.

1 **MR. PRESLEY:** Dr. Ziemer, I'd also like to
2 address this.

3 I am definitely a nuclear worker, having
4 been at Oak Ridge and worked at Y-12 for 35
5 years, where I started out really as a - on the
6 bottom of the rung, and have worked myself up
7 working in all aspects, all the way up from a
8 dispatcher to an engineer, and then into
9 management and then back into the technical field
10 of it. So I feel like Wanda. I feel like that
11 I'm definitely in the category of a nuclear
12 worker.

13 **DR. ZIEMER:** Thank you very much for those
14 comments.

15 I might add that I suppose that probably a
16 good portion of us would be in that category at
17 least part of our career. I myself started out
18 at Oak Ridge certainly in no management position,
19 low end of the totem pole, as a worker. And I
20 don't think Roy's motion is trying to deny that
21 fact. It is, I think, an attempt to deal more
22 with the perception from outside on the
23 representation here, because most are seen more
24 as professional engineers and physicians and
25 scientists. So it's more that issue. I agree

1 with what you say, but I think it's that
2 perception.

3 Tony.

4 **DR. ANDRADE:** I'd also like to add for the
5 record that I agree with your comments, that I
6 think most of us have gone through a period in
7 which we were floor engineers. I was out at the
8 test site. I did all sorts of work in my tennis
9 shoes and gloves, and I took doses just like
10 other people did. However, I would also like to
11 stress the point that Richard made, that this is
12 not really an issue about organized labor versus
13 non-organized labor.

14 I think the motion would help to address two
15 important issues. One is that we recognize the
16 fact that there are representative bodies for
17 portions of the complex that existed that had
18 single-function missions. For example, we had
19 facilities that dealt with gaseous diffusion. We
20 had facilities that dealt strictly with plutonium
21 and plutonium metal works. We had uranium
22 facilities. Those facilities are not represented
23 on the Board.

24 Richard is an excellent representative for
25 the types of laboratories that we currently have

1 on board, and those are the national laboratories
2 like Livermore and Los Alamos, that uses a
3 spectrum of crafts to work our mechanical
4 problems at those laboratories.

5 And so from that point of view I think that
6 having somebody from those older facilities, many
7 of them that are now going into shut-down mode,
8 would be a prudent action to take. Again, not as
9 organized labor versus non-organized, but just as
10 a representative of those facilities that existed
11 and were really in full-mode production during
12 the period of time that we're looking at.

13 **DR. ZIEMER:** Other comments? Henry has
14 called the question.

15 Quit looking at your watch, Henry.

16 That's not a formal motion to close debate,
17 so I haven't recognized it. I want opportunity
18 for further comment before we vote on the motion.
19 Do you need to hear the motion again?

20 You want to repeat the motion, read the
21 motion back

22 **MS. MURRAY:** Dr. DeHart moved that the Board
23 recommend that the Secretary of DHHS urge the
24 President to provide balance to the Board's
25 membership by the addition of another nuclear

1 industry worker.

2 **DR. ZIEMER:** Are you ready to vote on the
3 motion?

4 All who favor the motion say aye.

5 [Affirmative responses]

6 **DR. ZIEMER:** All opposed say no.

7 [No negative responses]

8 **MS. MUNN:** I'll abstain.

9 **DR. ZIEMER:** One abstention.

10 I declare the motion approved, and that will
11 be included as one of the recommendations, then,
12 to the Secretary of Health and Human Services.
13 Thank you.

14 We actually have two items already to send
15 forward. That's great.

16 Now I'd like to have us, if we're able to,
17 to address at least two of the three questions on
18 the list. We'll deal with -

19 **MS. MURRAY:** I'm sorry, Dr. Ziemer. Did you
20 vote?

21 **DR. ZIEMER:** I voted for the motion, sorry.

22 **MS. MURRAY:** Okay, thank you.

23 **DR. ZIEMER:** The question we'll try to deal
24 with first is, one, does the proposal make
25 appropriate use - the proposal being the rule -

1 make appropriate use of science, of current
2 science and medicine, for evaluating and
3 quantifying cancer risks for DOE workers exposed
4 to ionizing radiation in the performance of duty?

5 The other question, does the proposal
6 appropriately and adequately address the need to
7 ensure procedures under this rule – to ensure
8 procedures under this rule remain current with
9 advances in radiation health research?

10 We'll deal with those two questions. If
11 we're able to deal with the third one that we
12 were somewhat vague about before, we'll go to it
13 after that. But let's see if we can deal with
14 these.

15 As a minimum, it would be helpful if we
16 could agree on a statement or recommendation on
17 each of the two. We could have more. We could
18 have none. But if we were in a position to make
19 a statement – and more than a yes or no, does the
20 proposal make appropriate use, yes/no – I think
21 if we can develop a statement.

22 And to do that, I think rather than calling
23 for a formal motion at this point, I'd like to
24 have the opportunity for people to just surface
25 some ideas or surface your views on that first

1 question, the extent to which this rule-making
2 makes appropriate use of current science and
3 medicine for evaluating and quantifying cancer
4 risks.

5 Yes, Jim.

6 **DR. MELIUS:** Given the circumstances of our
7 review, and the fact this is our first meeting
8 and the limited time period to meet and review
9 the entire procedure involved, I would like - I
10 think it would be more appropriate if we sort of
11 caveated whatever statements we make with some
12 statement to the effect that we've had very
13 limited time; that we've not done a complete
14 review of the IREP and some of the other
15 assumptions being used as part of this process;
16 that we intend to go into more detail with that
17 at future meetings, but we really have not been
18 given the opportunity, given how late we were
19 appointed and so forth.

20 And then go on from there to say something
21 to the effect that in general we're in agreement
22 with the approach that NIOSH has taken, and sort
23 of make a positive statement from there in
24 general to the extent that it's reflective of
25 these regulations, again knowing that in future

1 meetings we would go back and discuss and talk in
2 more detail about many of the assumptions and
3 other - in fact, many of the issues that have
4 been raised in the comments on these regulations,
5 which really deal more with the model, not with
6 the application of the general proposed
7 regulation, the application here.

8 But I feel fairly strongly that we have not
9 been given - not that it's anybody's, necessarily
10 anybody's fault - but we've not been given an
11 opportunity to really fully answer that question.
12 It just - and certainly not to come to a
13 consensus.

14 Now we may have individual opinions on that
15 and had time to review it individually, but
16 certainly as a committee - and the normal process
17 for a committee, at least most scientific
18 committees or advisory committees I've been on,
19 you're presented a question, go through a series
20 of meetings, and then try to reach a conclusion.
21 And we're sort of - come to the first meeting,
22 and, well, we'll give you an extra few days if
23 you want it, but that's it. We're not even going
24 to be given another meeting, another chance to
25 meet. And I think we have to say that up front

1 in terms of our comments.

2 At the same time, I think we can – at least
3 I feel comfortable giving support to what NIOSH
4 and the Department has done so far, to put
5 forward that the basic framework here is a good
6 one and is sound, and address that question in a
7 positive way.

8 **DR. ZIEMER:** Roy.

9 **DR. DeHART:** I agree with Jim's comments,
10 and I think a couple of sentences up front. But
11 I would then say, however, we have had the
12 opportunity to read the documentation provided to
13 us both in written form in our workbooks and on
14 the web, and that we have had technical
15 presentations and an opportunity to question
16 those who represent the technical formatting. I
17 think we need to give some kind of information
18 about what we have done.

19 **DR. ZIEMER:** Gen.

20 **DR. ROESSLER:** I have a little different
21 perspective, because – probably because I work
22 with the concepts that have been presented almost
23 on a daily basis. And I have been, as I said
24 earlier, impressed with the current science that
25 the group is using.

1 However, I do agree with your caveat. What
2 I would suggest we do is put the positive
3 statement first -

4 **DR. MELIUS:** That's fine.

5 **DR. ROESSLER:** - and then put the however
6 next, because it really protects us, I think.

7 **DR. MELIUS:** I think again individually
8 we've looked at this and have expertise in this
9 area and viewpoints. But if we're talking about
10 sort of a committee consensus statement, usually
11 that involves a committee process, and we just
12 haven't had time to do that.

13 We've all - I've been on committees with
14 many people here, and I know you all served on
15 other committees. And normally out of that
16 process we may have some disagreements, you learn
17 something from other members, you change your
18 viewpoints on certain things, you understand
19 things better. And that's how you come to some
20 sort of a statement or consensus, and we just
21 haven't had that opportunity here.

22 And I don't think we should - I think we
23 should make - very careful that we do say that.
24 I think we should try to - I agree, we should
25 state our comments as positively as possible,

1 again not to find fault with anybody or whatever
2 in this process.

3 **DR. ZIEMER:** Go ahead, Henry.

4 **DR. ANDERSON:** I was only going to put a
5 statement at the end, saying that we look forward
6 to working with NIOSH, reviewing the comments.
7 And in either number one or number three, we need
8 to build in that if we have our role in the rule,
9 that then we look forward to being able to
10 (inaudible). And I think we need to recognize
11 that we'll continue to work with this, we'll see
12 the experience and review it over the course of
13 the time. And I don't want anything we say to
14 delay the thing moving forward. But on the other
15 hand, I totally agree that we haven't - we just
16 have to state that we haven't had that in-depth
17 review.

18 **MR. ELLIOTT:** Let me address your comments
19 and your proposal.

20 I think that would suffice for what the
21 Secretary's interested in seeing. My comments
22 yesterday, I hope were taken as I intended them
23 to be, not - that is, that we're not ram-rodding
24 this through; that in the general context that's
25 what this rule presents, the general context, the

1 general direction that we have set for
2 probability of causation. That's what we're
3 asking of you now, is to provide your general
4 viewpoints about this rule.

5 Certainly we are going to get into a myriad
6 of details in the IREP as we proceed, and bring
7 back to you the IREP with the modifications as we
8 make them, as we change them per public comments
9 and subject matter expert comments. And you'll
10 have time at that point to get far more ingrained
11 in the details and the complexities of the
12 technical aspects of IREP, as you will the
13 technical guidelines that will support the dose
14 reconstruction rule.

15 So all we're asking for February 6th is on
16 the surface of these two rules, these two draft
17 proposed rules, give us your general comments
18 with regard to their direction and what limited
19 amount of substance they present to you. Does
20 that clarify anything, or does that help give you
21 a sense you're on the right course?

22 **DR. MELIUS:** That's what I was saying, also.
23 I mean, the pressures are the pressures of a
24 delayed appointment of the committee, and there
25 was a change in administration and might have

1 been expected. And secondly, the fact that there
2 is a need for the program to move on, and we
3 don't want to needlessly delay people or
4 inappropriately delay people from getting
5 compensation because of this.

6 And frankly, if I thought that waiting a
7 week or whatever it would be to the next meeting
8 would substantially change what our comments
9 would be, then I think I would certainly suggest
10 that, but I don't. I think we can reach an
11 agreement on - a consensus on a general statement
12 before - without the need for another meeting.
13 And frankly, whether a week one way or the other
14 would make much difference, I don't think so,
15 because I think you can busily work on the final
16 reg anyway.

17 But I just don't think we would change our
18 opinions much by - or have done enough, had
19 enough committee meeting time to really go into
20 the detail that could be implied by that. And I
21 think it's a little confusing, because many of
22 the comments we're getting from the outside and
23 some of your expert review have to do with the
24 details, not with the general regulation. And I
25 don't imply whether we agree or disagree with all

1 those comments, but we'll have more time to spend
2 on that as a committee. And given their
3 technical nature, that's probably more
4 appropriate.

5 **DR. ZIEMER:** Thank you.

6 Any other feedback? I think I'd like to
7 reach a point where we feel like we all sort of
8 agree on the nature of the statement. Then it'll
9 have to be drafted, crafted or drafted or both,
10 so that we have specific words to react to. But
11 if there are views that are sort of contrary to
12 what already has been here or a somewhat
13 different direction, I'd like those as well. I
14 don't want to interpret any silence as being
15 necessarily agreement. If you feel the urge to
16 say that none of that makes sense, here's what we
17 ought to say, then let's hear it.

18 [No responses]

19 **DR. ZIEMER:** I don't hear strong objections
20 to what's already been put forth. I'm not asking
21 for any votes at this time. I think what we'll
22 do is take this, and we'll have a working group
23 craft it into words, and probably will not
24 finalize it until our phone call because Henry's
25 going to be leaving here before we know it -

1 **DR. ANDERSON:** We didn't talk about giving
2 (inaudible).

3 [Laughter]

4 **DR. ZIEMER:** Well, I think we did.

5 **UNIDENTIFIED:** Henry has a lot of time on
6 the airplane this afternoon to write this.

7 **DR. ZIEMER:** That's your assignment on the
8 way home.

9 [Laughter]

10 **DR. ZIEMER:** Okay, so we have sort of a
11 framework for answering the first question.

12 Richard.

13 **MS. MURRAY:** Would it be helpful if I read
14 you the notes of what I took of what people said
15 to see if you could develop something now, or do
16 you -

17 **DR. ZIEMER:** I don't want to sit here and
18 craft it now, but we'll use those notes later to
19 actually do the crafting. I don't want us to try
20 to compose right now. I just want to sort of get
21 a sense of the Board.

22 Was there another comment? Do you have a
23 feel for sort of that's sort of the framework or
24 the ball park for the first statement?

25 [No responses]

1 **DR. ZIEMER:** Let's go to number three, does
2 the proposal appropriately and adequately address
3 the need to ensure procedures under this rule -
4 to ensure that procedures under this rule remain
5 current with advances in radiation health
6 research? Any comments on that one?

7 **DR. MELIUS:** I would just say that I think
8 we can combine that into a single statement for
9 both - of general support for number one and
10 three, so to speak, that would just be an
11 additional sentence or so to that, rather than
12 try to start all over again, have two statements.

13 **DR. ZIEMER:** That could certainly be done.
14 Are you confirming, though, that you agree that
15 there is a level of adequacy that you are
16 comfortable with?

17 **DR. MELIUS:** Yes.

18 **DR. ZIEMER:** Henry.

19 **DR. ANDERSON:** And what I was suggesting is
20 we take the very first proposal that we did, and
21 say - and that would - it would be strengthened,
22 were there to be a clear role for the Board
23 written into the rules.

24 **DR. ZIEMER:** In other words, move that -
25 this part of the recommendation, the thing we

1 already approved this morning. Right?

2 **DR. ANDERSON:** Yeah, because the - yeah. I
3 would think the Board's role would strengthen if
4 you could be assured that -

5 **DR. ZIEMER:** Certainly strengthens the
6 change issue.

7 **DR. ANDERSON:** The change issue. That's the
8 hook I would suggest we put in.

9 **DR. ZIEMER:** Other comments?

10 [No responses]

11 **DR. ZIEMER:** Does that silence mean, again,
12 agreement, or did you have a big lunch and I need
13 to rap the gavel?

14 **DR. MELIUS:** Anybody that speaks too much
15 will get volunteered for this.

16 **DR. ZIEMER:** Those who didn't speak will be
17 on the working group. Right?

18 Okay, so as I'm hearing it now, the
19 framework would be one broad statement that would
20 cover both questions, as well as the issue of
21 moving that - those comments into the rule-making
22 part.

23 Boy, we're just moving ahead here so rapidly
24 I'm going to have to start speaking slower to
25 stay on schedule.

1 Does the proposal appropriately adopt
2 compensation policy as it's been applied? Now
3 this is that issue of the adopting – not
4 adopting, more adapting, I guess – adapting the
5 veteran's proposal to this application. Does the
6 Board wish to speak to that issue, And if so how?
7 You have the document, the veteran's thing, now
8 before you.

9 **DR. MELIUS:** One question, and you may have
10 stated this morning – maybe Larry or who can
11 answer this – but have you received any comments
12 on this question? Has anybody commented on
13 question number two? I don't recall any, but I –

14 **MR. ELLIOTT:** Ted, you want to help us out?
15 Ted has been working on reacting and thinking
16 about how we're going to address the comments, so
17 –

18 **MR. KATZ:** We did – I think we just received
19 one comment on this.

20 **DR. ZIEMER:** There was only one person that
21 understood what the question was.

22 **MR. KATZ:** No –

23 **DR. ZIEMER:** It would be helpful for the
24 committee.

25 **MR. KATZ:** And actually, and the comment was

1 actually along the lines of how this committee
2 has responded, which was they're not sure what -
3 it was a bit unclear to them what the metrics
4 were, and what the advantages and disadvantages
5 of adapting VA policy were, as well.

6 **MR. ELLIOTT:** If I may, I think what this
7 really gets at is have we taken the right steps
8 in what we've learned from the VA's experience in
9 making changes or modifications in our rule, as
10 well as the IREP that will be used in this rule,
11 to - that are - those modifications that are
12 appropriate and applicable to the work force
13 under this compensation program. That's what I
14 think we're after here. Are we doing the right
15 thing, learn - building upon learned experience
16 from the VA, and making changes appropriately for
17 this work force.

18 **DR. MELIUS:** The committee finds no
19 evidence that you have -

20 **MR. ELLIOTT:** No evidence that we've done
21 that?

22 **DR. ZIEMER:** No, no evidence that you
23 haven't done it correctly.

24 **UNIDENTIFIED:** However, a caveat -

25 **DR. ZIEMER:** Well, this is one of those

1 questions, I suppose, where the proof is in the
2 pudding, as the old saying goes. You don't
3 really know till you see the outcome. But would
4 it be appropriate if we included a phrase or two
5 that said that as best we can determine it
6 appears that they are - because this has to do
7 with direction, that this rule appears to be
8 appropriate for the DOE work force for whom it's
9 focused, something to that effect.

10 **DR. MELIUS:** Yeah, certainly I think we can
11 say that NIOSH has considered a number of factors
12 for this - the DOE work force would differ or
13 program should differ for the DOE work force than
14 for that covered under the VA program, and appear
15 to be appropriately taking those factors into
16 account. And if you go through the rule,
17 particularly under the - they talk about
18 uncertainty issues and some of the scientific
19 issues, some of the parentheses, the examples
20 they use, I think, are evidence of that. They're
21 just issues that wouldn't come up in - for the VA
22 rule.

23 **DR. ZIEMER:** Wanda, please.

24 **MS. MUNN:** We can either make a very bland
25 statement along the lines that we're talking

1 about, or we could use this as an opportunity, if
2 this body feels it's appropriate, to point out
3 that there's an enormous difference between the
4 two types of compensation. As best I understand
5 the compensation in the Veterans Act, all one had
6 to prove is that they were there at the time and
7 have one of these cancers, and they were then
8 compensated.

9 What we have before us here is an effort to
10 face the reality that simple exposure to
11 radiation does not automatically assume the
12 development of disease. I don't know of any
13 other place in this particular rule where we
14 would have an opportunity to make that kind of
15 statement, but it appears appropriate to me that
16 we would be wise to make that distinction in our
17 comment, and again applaud NIOSH for the efforts
18 that have gone into identifying and reducing the
19 uncertainty in making these kinds of decisions.

20 **DR. ZIEMER:** Good point, opportunity to make
21 - let me get some reaction to that from around
22 the table.

23 **UNIDENTIFIED:** We have a comment from Ted.

24 **DR. ZIEMER:** Ted has a comment here.

25 **MR. KATZ:** Can I just clarify? They do

1 actually, with the atomic veterans, they do dose
2 reconstructions, and they do calculate
3 probability of causation. Does that -

4 **MS. MUNN:** In some.

5 **MR. KATZ:** Excuse me? Okay, I'm sorry. I
6 just -

7 **DR. ZIEMER:** Okay. Any other reflections on
8 the point that was just made? Sally.

9 **MS. GADOLA:** I have a question.

10 When I initially read this, I had the
11 impression that it was the spirit that was behind
12 Congress when they enacted this - and maybe I'm
13 wrong - but to me it seemed like because this was
14 dealing with the Cold War veterans, the people
15 that were working in the nuclear plants, that
16 this was one of the reasons that this was also
17 included and this was used as a guideline - not
18 just the scientific, technical aspect, but I felt
19 that there was also an aspect that dealt with the
20 spirit and the reason for it.

21 And maybe that should also be addressed.
22 Maybe also we have some comments or some of our
23 experts have some comments on that.

24 **DR. ZIEMER:** Okay, thank you.

25 Do we have any reflection on either of the

1 comments that Wanda or Sally made?

2 [No responses]

3 **DR. ZIEMER:** Okay. Thinking about it. Yes,
4 Tony.

5 **DR. ANDRADE:** I want to ask a question of
6 Larry.

7 When was the Radiation Exposure Compensation
8 Act passed?

9 **MR. ELLIOTT:** October of 2000.

10 **DR. ANDRADE:** October, 2000.

11 **MR. ELLIOTT:** Oh, RECA. You're - RECA,
12 Radiation Exposure Compensation Act.

13 **DR. ANDRADE:** Right.

14 **MR. ELLIOTT:** I'm sorry. It was 1990, ten
15 years before the one I just mentioned.

16 **DR. ANDRADE:** Right.

17 **MR. ELLIOTT:** I'm sorry.

18 **DR. ZIEMER:** Now we - let me see how we're
19 doing on time. It's quarter to 2:00.

20 I am going to ask for a few volunteers to be
21 a working group to put some words together.

22 Wanda, would you be willing to put together
23 the words that express the idea that you surfaced

24 -

25 **MS. MUNN:** Certainly.

1 **DR. ZIEMER:** - a couple of sentences? And
2 then let me ask for one or two volunteers to -
3 and this is not going to be lengthy - to put
4 together the sentences on - which will be sort of
5 one or two paragraphs on the other issues. Jim.
6 Do we have one other person?

7 **DR. ANDERSON:** If it isn't today, I'll help.

8 **DR. ZIEMER:** Well, first attempt is going to
9 try to be today, Henry.

10 Notice how free he was to volunteer, knowing
11 he would be leaving shortly.

12 Okay, Gen Roessler.

13 **DR. ROESSLER:** Well, I have to leave kind of
14 like at 4:00 o'clock -

15 **DR. ZIEMER:** No, no, no. We want this all -

16 **DR. ROESSLER:** - but I'd be glad to work
17 with Jim.

18 **DR. ZIEMER:** All we want is just an early
19 rough draft. We will not act on it today. We'll
20 act on it by - on our - February 5th. I think
21 I'd like if - and see if you agree with this -
22 I'd like to sort of see what we have before us,
23 and then you can have something to take with you
24 and mull over between now and then. And we will
25 have some chance to polish in between by e-mail

1 exchange before we get to the final product, so
2 everyone will have a chance for input. I just
3 need two or three people. So we actually have
4 three, with Wanda's main assignment being those
5 sentences dealing - Tony, did you volunteer?

6 **DR. ROESSLER:** He's good at words.

7 **DR. ZIEMER:** Gen just volunteered you.

8 **DR. ANDRADE:** Thanks, Gen. I can work with
9 Wanda.

10 **DR. ZIEMER:** What I'd like to do is take
11 about a 15-minute break right now, allow you
12 three or four to sit in the corner and do that.
13 And then at 2:00, once Henry's gone -

14 **DR. ANDERSON:** Okay, rub it in.

15 **DR. ZIEMER:** We're scheduled at 2:00 o'clock
16 to have Dr. Neton's presentation on the technical
17 guidelines for dose reconstruction. And we'll
18 have a little - we have another session - we have
19 some time after that, at which time we might look
20 at this early draft. And that would pretty much
21 complete our agenda at that point.

22 **DR. MELIUS:** Are we going to go through,
23 comment on dose reconstruction?

24 **DR. ZIEMER:** We'll have an opportunity to -
25 to work - to do comments on dose -

1 **MR. ELLIOTT:** Yeah.

2 **DR. ZIEMER:** My understanding is that's not
3 quite as urgent. Is that -

4 **MR. ELLIOTT:** Well, we still have the same
5 public comment period, and then keeping the
6 record open till February 6th for the dose
7 reconstruction comments. But by statute, what
8 we're forcing to happen here is your comments
9 need to be in place in the docket on probability
10 of causation. That's a responsibility this Board
11 has before we can finalize that rule. We can
12 proceed and react on our dose reconstruction
13 comments as we take you through the technical
14 guidelines, okay? And if we have to reopen the
15 record for that to - you see, we've asked you to
16 look at the dose reconstruction guidelines.
17 You're required to look at the POC rule.

18 **DR. ZIEMER:** By statute.

19 **MR. ELLIOTT:** By statute. And we chose to
20 ask you to look at dose reconstruction. So what
21 we're trying to force here is your comments into
22 the record on probability of causation.

23 **DR. ZIEMER:** And that's the priority.

24 **MR. ELLIOTT:** That's the priority. If we
25 don't get through that on dose reconstruction,

1 we'll just proceed as we can to get those in.
2 But -

3 **DR. MELIUS:** Can you reopen the record,
4 though? That's -

5 **MR. ELLIOTT:** Yeah.

6 **DR. MELIUS:** Okay.

7 **MR. ELLIOTT:** We can reopen the record.

8 **DR. MELIUS:** I'm not sure it's necessary,
9 but it may be.

10 **MR. ELLIOTT:** I'm not sure it's necessary on
11 that, but it is necessary on a legalistic
12 viewpoint that we have the record open for you to
13 comment on POC.

14 **DR. ZIEMER:** We'll take a 15-minute recess
15 as a full committee, ask the working group to
16 pow-wow, and see what you can put together.

17 [Whereupon, a brief recess was
18 taken from approximately 1:50 p.m.
19 until 2:05 p.m.]

20 - - -

21 **DR. ZIEMER:** I'd like to call the committee
22 back to order again, or the Board back to order.

23 Just before we resume our deliberations,
24 it's a good point in our meeting to formally
25 recognize the work of three individuals who were

1 instrumental in doing all the ground work and
2 arrangements for this meeting - Cori Homer,
3 Nichole Herbert, and Martha DiMuzio. And here
4 they are over here, and let's thank them.

5 [Applause]

6 **DR. ZIEMER:** Very well done, ladies, and
7 you've set a high bar for future meetings to be
8 right up there like this. This is great. Thank
9 you very much.

10 Now the working group reports to me that
11 they have the wording really all ready, but
12 they're not going to share it with us today.
13 They actually are going to e-mail it out, get
14 some final word-smithing. But I understand they
15 have pretty much agreed on what they think we
16 should look at, but are not ready to sort of
17 distribute it yet. So that will occur - and Jim
18 is going to handle that. That's going to happen
19 like the minute you get home, right?

20 **DR. MELIUS:** Not - the minute I get back to
21 the office tomorrow morning.

22 **DR. ZIEMER:** Okay. It will happen soon, and

23 -

24 **DR. MELIUS:** It will happen tomorrow
25 morning, and then -

1 **DR. ZIEMER:** And then -

2 **DR. MELIUS:** - we should set a schedule.

3 **DR. ZIEMER:** - we'll each have an
4 opportunity to actually look at that and provide
5 some feedback. Let's agree to provide feedback.
6 Jim, again, if you would collect that and then
7 develop the final wording for us to use in our
8 conference call. Okay.

9 Any questions on that?

10 Yeah, Larry.

11 **MR. ELLIOTT:** And the conference call is
12 February 5th at 10:00 a.m. Eastern Standard Time.

13 **DR. ZIEMER:** Correct.

14 **MR. ELLIOTT:** And the purpose of this call -
15 we have to have a purpose when we announce it in
16 the *Federal Register*.

17 **DR. ZIEMER:** The purpose will be to approve
18 the recommendations to be forwarded to the -

19 **MR. ELLIOTT:** Secretary.

20 **DR. ZIEMER:** - Secretary of Health and Human
21 Services.

22 **DR. MELIUS:** Can you move that time? I'm
23 giving a talk at that -

24 **MR. ELLIOTT:** We can move that time if it's
25 the pleasure of the Board. You tell us what

1 time.

2 **MS. MUNN:** As long as it's later and not
3 earlier.

4 **DR. ZIEMER:** Do you have a conflict at that
5 hour? Is that -

6 **DR. MELIUS:** I'm giving a presentation -

7 **DR. ZIEMER:** Oh, well, I -

8 **DR. MELIUS:** - at that very moment.

9 **MR. ELLIOTT:** How does 1:00 p.m. Eastern
10 Standard Time sound for everybody? And we'll let
11 Dr. Anderson know.

12 **DR. ZIEMER:** Okay, so pencil that in for
13 1:00 p.m. Eastern Standard Time, then. Thank
14 you.

15 **MS. HOMER:** 1:00 to 3:00?

16 **MR. ELLIOTT:** You want 1:00 to 3:00, or -
17 and then we can - if we don't need the two hours
18 -

19 **DR. ZIEMER:** Block it off 1:00 to 3:00. If
20 we don't need the full time, we won't use the
21 full time.

22 Now we're going to hear from Jim Neton
23 again, and he's going to talk about the dose
24 reconstruction. Here he is.

25 Jim, please.

1 **DR. NETON:** Good afternoon. I'm here to
2 flesh out a little bit in somewhat more detail
3 our approach to dose reconstruction under 42 CFR
4 82, which is a little shift in gears from the
5 probability of causation, PC rule discussion
6 we've had thus far, which is the priority of this
7 meeting. But I'd like to try to lay the
8 groundwork for some future discussions at
9 meetings that are upcoming related to dose
10 reconstructions today.

11 So with that being said, let's see if I can
12 get this thing fired up there. So this is -
13 there is some redundancy built in here, partly
14 intentionally, just because the concepts are the
15 same. And like I said, in some cases I'm going
16 to elaborate a little bit more on the concepts,
17 and some places I'm just going to provide what I
18 believe to be some reasonable examples that might
19 help solidify in people's minds the groundwork
20 for the approaches we are taking.

21 I mentioned yesterday that we do have our
22 draft technical guidelines issued. I am
23 reviewing them now. I'm in the unfortunate
24 position at this point that the people in my
25 group are cranking out work faster than I can

1 read it, which is good, I guess. But by the time
2 the Board convenes next time, we should have
3 those draft guidelines available for review. Now
4 that I've committed to it, I can see Grady is
5 shrinking in his seat.

6 I'm going to start with external dosimetry,
7 primarily because it's somewhat of the more
8 analytically straightforward process. Internal
9 dose, as we'll see, and for those of you who have
10 been involved in internal dosimetry as a hobby or
11 a career, we'll see there's much more art
12 involved in that process. So I'll take what I
13 believe to be the easier approach to explain. I
14 can get warmed up at least with the external.

15 Not to demean anyone's intelligence in the
16 room, but I'd like to talk about what we mean by
17 external dose in terms of what we're talking
18 about for compensation, and it's of course dose
19 received from outside the body. But we do have
20 to consider both what we consider a deep dose, a
21 dose to the organs that are within the body that
22 are radiated, as well as the surface dose, the
23 skin dose, because as we're seeing already, a
24 skin cancer is a fairly common form of cancer.

25 And indeed a number of the claims that we've

1 received already are presenting with skin cancer.
2 In fact, much to my - not surprise, but I guess I
3 was a little bit surprised to see the number of
4 multiple primaries - you know, that formula that
5 we talked about early on for the PC rule. It's
6 not out of the ordinary to see a skin cancer
7 coupled with a future solid tumor down the line.
8 So we do need to concern ourselves with how skin
9 dose is calculated.

10 Three primary sources - gamma and
11 X-irradiation, photons and X-rays; neutrons are
12 definitely a source of exposure in the DOE
13 environment at many sites, and is something that
14 we are taking a long, hard look at, and I will
15 address that a little later in the presentation;
16 and beta particles, which are primarily from an
17 external exposure perspective only relevant for
18 skin dose. Anything greater than one centimeter
19 deep in the body, any irradiated tissue would not
20 be exposed to the energy deposited by a beta.

21 And for purposes of compensation and in
22 general for radiation protection, alpha radiation
23 is not considered as a source of external
24 exposure, although one can argue for certain -
25 the average range of an alpha is about 50 microns

1 in tissue, so it's not going to get down to
2 what's considered to be the 70 micron depth of
3 the basal cells of the skin that would be of
4 significance for the generation of skin cancer.
5 There are some higher energy betas from
6 (inaudible) case here is I think there's an 8.78
7 meV beta that I'll take a look at, just to make
8 sure we're not missing something there. It may
9 actually get down to 70 microns. Okay.

10 As we view it for compensation purposes,
11 there are four components related to external
12 dose that we need to at least evaluate for each
13 claim, and those are listed here: The measured
14 dosimeter dose, which we talked about yesterday,
15 the dose that the film badge or the TLD badge
16 receives, and some conversion that's required to
17 convert that into an organ dose for the cancer
18 that the claimant presents.

19 And then the missed dose, which we're going
20 to talk a little bit more about today, which is
21 the undetected dose that one needs to add back
22 into a claimant's dose to ensure that we've
23 adequately covered what his potential exposure
24 was in somewhat of a realistic fashion. I mean,
25 we're not going to blindly go back in and add

1 doses without doing some sanity checks here.

2 Occupational environmental dose is another
3 area where, when it's possible and when
4 available, we would like to consider the
5 environmental exposure. And what I mean by that
6 is exposures to workers who were not necessarily
7 monitored in the plants, but just generally in
8 the vicinity of the plants. This would be
9 emissions from the stack that, whether it's
10 particulate or noble gases that have photons
11 emitted, it would irradiate the workers. We need
12 to consider that. And this is particularly for
13 people who were never monitored. There is a
14 small component - I have an example later of what
15 we mean by that.

16 And I talked yesterday about occupationally
17 derived medical dose, which is these required
18 medical X-rays. So the simple algebraic equation
19 on the bottom is a total dose, is the summation
20 of those four different types.

21 The hierarchy of external exposure, I talked
22 about this yesterday. The personal monitoring
23 film badge or TLD, we would put highest priority
24 on using once it was evaluated for its adequacy
25 for the monitoring program involved.

1 Pocket ionization chambers that were
2 typically used at facilities that could – the
3 little pencil dosimeters that people wear, they
4 would wear in conjunction with a film badge
5 typically. But those would be read on
6 essentially on a daily basis, where you would go
7 into a area, zero it, look at it and record your
8 dose in some kind of a log book later on. Those
9 are useful for establishing ranges, although
10 their energy dependence is suspect, and we need
11 to take a very hard look at that if we're going
12 to use them for anything other than high energy
13 penetrating gamma.

14 Group dosimeters also have been issued
15 historically in the past, and that would be
16 people who were working in a similar exposure
17 environment. Historically in the past they would
18 pick one person as representative of the group,
19 and monitor – and look at the group's dose based
20 on that.

21 And then we get into the work place
22 monitoring, the area ambient air surveys. That
23 shouldn't actually be air surveys for external
24 exposure. Ambient area surveys is what's meant
25 there, which is the general – the radiological

1 technicians will go out and map out an area to
2 create a radiation work permit, or something to
3 that extent.

4 And then the last in all of these is the
5 source term analysis, which is - a simple example
6 is if you have a point source of cesium 137
7 sitting ten meters away and it has so much
8 activity, one can calculate what the bracketing
9 range of exposures might be in that environment.
10 And we can do some calculations using a computer
11 program such as Micro Shield or something like
12 that to come out with some estimates of dose
13 using source term analyses.

14 Okay, I went over a simpler example for
15 external dose yesterday, but I'd like to talk in
16 a little more detail. This is a Hanford worker
17 exposed from 1/3/51 to 12/19/51, so I think we
18 have a dozen reads throughout the year. And
19 these happen to be non-zero doses, so we're not
20 talking about dealing with missed dose here.
21 We're talking about things that were above the
22 detection limit, the stated detection limit of
23 the monitoring device, at least. And if we
24 accept this monitoring device, particularly in
25 the shielded window, which is the deep dose

1 equivalent on this dosimeter, these would be the
2 readings that we'd be concerned with for looking
3 at a dose to the organ.

4 We've taken and estimated the laboratory
5 uncertainty for this, and essentially this was
6 done based on an evaluation of what the Defense
7 Threat Reduction Agency is doing in their
8 program. The monitoring devices used back in
9 this 1951 time frame are very similar in nature.
10 This was a film badge packet that had similar
11 filtration and properties and processing
12 techniques. So our estimated uncertainty is
13 about 14 millirem in this range of these deep
14 dose equivalents, and the worker, if you add up
15 all of his positive results, ends up with a 415
16 millirem total dose for that monitoring year.

17 If we take each of these 14 millirem and we
18 run it through a Monte Carlo simulation program
19 such as Crystal Ball - there's a number of
20 commercially-available products out there - we
21 could actually generate an uncertainty
22 distribution about that. This is a fairly simple
23 case. One could argue that we should just
24 propagate the errors and come out with the
25 estimated uncertainty, but you'll see as we -

1 later on this is going to be folded into the
2 larger error structure of the external dose.

3 So if you put in each of those doses into a
4 Monte Carlo program, add them up, and then each
5 time sample this uncertainty distribution, you
6 end up with essentially a probability density of
7 what the potential doses were for that worker for
8 that monitoring year. And you can see in this
9 case the central tendency estimates, since this
10 is normally distributed, the mean is 415
11 millirem, and at the 95th percent confidence
12 interval the dose could have been as high as 513
13 millirem.

14 If this was the only uncertainty that we had
15 about a person's exposure, this is what would go
16 into the IREP program. It's a fairly simple
17 example, but there's going to be more to it than
18 this. But if this were the only uncertainty,
19 this would exactly be it. We would input into
20 IREP for 1951, high energy gamma, 415 millirem
21 with a standard deviation of down here, 50
22 millirem, and that would be sampled as such.

23 Okay. The missed dose again - and that was
24 for a person that has complete monitoring
25 history. Now we need to talk a little bit about

1 how we're going to handle the missed dose. I'm
2 going to talk a little bit more technical detail
3 of how that's going to be.

4 Yes, sure.

5 **DR. ANDRADE:** Excuse me, did you mean 99
6 percent?

7 **DR. NETON:** Actually, yeah, it's confusing.
8 For some reason we calculated for 95, and yeah,
9 it would be - well, in IREP you put in one
10 standard deviation, so this would be actually two
11 standard deviations. It would be half of that
12 which would go into IREP, right. It's one sigma
13 is 67 percent confidence interval, two sigma is
14 95. So I probably should have been a little more
15 consistent with the input on that. It's good
16 catch, thank you.

17 The missed dose, of course I talked about
18 yesterday, can be significant when the frequency
19 of exchange was great and a relatively high
20 detection limit. For instance, 30 millirem, .3
21 millisieverts, is not uncommon in the 1950s for a
22 number of sites, and with a 52-week badge
23 exchange, if a person works 50 weeks you end up
24 with something like one and a half rem.

25 In the area of neutrons it's even much more

1 significant than this. We've seen detection
2 limits for neutron monitoring. In the area of
3 neutron monitoring we've seen at the - I don't
4 want to pick on Hanford; we happened to look at
5 that data in somewhat more detail than other
6 sites so far - 80 millirem detection limit with a
7 50-week - a weekly badge exchange. There's a
8 very large potential missed dose there. We're
9 not suggesting that is the missed dose, but we
10 need to take a long, hard look at that and
11 determine what the exposure conditions really may
12 have been.

13 **DR. ZIEMER:** Jim?

14 **DR. NETON:** Yes.

15 **DR. ZIEMER:** Question. Many facilities have
16 a formal procedure for establishing missed dose -
17 interview the person, check - as a standard
18 operating procedure, and then they enter a number
19 into the record at the time. If you go back and
20 find those, does your group intend to accept the
21 missed dose values that are established at the
22 time, or will you still try to go through another
23 procedure?

24 **DR. NETON:** Okay. I think -

25 **DR. ZIEMER:** Or do you know yet?

1 **DR. NETON:** Well, yes and no. I think
2 there's two separate issues going on here. When
3 I'm talking about missed dose, I'm not talking
4 about a missed dose in which a worker, for
5 instance, claims that he did not wear his badge -

6 **DR. ZIEMER:** Oh. You're just talking about
7 the -

8 **DR. NETON:** The undetected dose -

9 **DR. ZIEMER:** - limited detection part of it.

10 **DR. NETON:** It's the design of the
11 monitoring program in general, when I say missed
12 dose. The other dose is unmonitored dose or some
13 incident dose.

14 **DR. ZIEMER:** Thank you.

15 **DR. NETON:** But the answer to that question
16 is we intend to interview the claimant, and where
17 his assertions seem reasonable and cannot be
18 refuted by other evidence, we would accept the
19 claimant's assertions. We've seen a couple of
20 cases already that there are some - it's going to
21 happen. There's no doubt about it.

22 But we need to do a check on it and make
23 sure that, for instance, if someone claims that
24 they were over-exposed to plutonium in a
25 facility, and the records indicate that that

1 plutonium did not exist at that facility until
2 ten years after that incident, then we would have
3 to question the veracity of that statement. So
4 there are certainly what I call sanity checks one
5 needs to do on this stuff. But it's going to be
6 a difficult process to go through each of these,
7 for sure.

8 For current day periods, it's relatively
9 insignificant with modern day programs. Typical
10 missed doses are less than 40 millirem a year, .4
11 millisievert. So we don't expect - we will
12 certainly consider it and put this, add this back
13 into the monitoring record, but it's not going to
14 be anywhere near as large.

15 And I've got a couple of examples here I
16 talked about. Missed dose can be one and a half
17 rem for early time periods - which is
18 interesting, ten percent of the occupational
19 limit in the 50's, and now it's down to about two
20 and a half percent of the current limit of five,
21 which was in the 70's. So it's come way down.
22 The technology has improved tremendously over the
23 time.

24 Again, critical components, we've talked
25 about this: The limit of detection, number of

1 badges. The central tendency of the distribution
2 is going to be estimated, as I indicated, using
3 this limit of detection divided by two
4 methodology, which is fairly standard
5 nomenclature in the literature for estimating
6 missed dose.

7 We do intend, though, not to assume that
8 this is a normal distribution, but our experience
9 base with worker data, particularly some of the
10 data that exists in the Health-Related Energy
11 Research Branch's files, indicates that a
12 lognormal distribution is more appropriate to the
13 distribution of these data.

14 So if we take a similar worker who was
15 exposed between '54 and '61, the limit of
16 detection - and he had a certain number of zero
17 doses recorded - 32, 52, 50 on his annual
18 summaries - if we can obtain these. Now this is
19 assuming we can obtain this information. The LOD
20 over two is such, and then the LOD is, of course,
21 twice that. But what I'm trying to indicate here
22 is that we are going to assume that the 95th
23 percent confidence level is the LOD. We've seen
24 this time and time again, that the LOD over two
25 in most circumstances is a biased estimate high

1 for the worker's exposure. And we believe that
2 the LOD is a fairly decent handle to fix the
3 upper limit of the possible exposure for that
4 monitoring period.

5 So one can establish, based on those
6 parameters, some lognormal distribution of the
7 missed dose - frequency distribution of the
8 missed dose in this particular case. And we see
9 here that the geometric mean would be 210
10 millirem with a 95th percent confidence interval
11 out at 4.2 millisieverts. So for this worker's
12 range of exposures, he had no positive badge
13 results whatsoever during these monitoring
14 periods, but we would estimate and input into his
15 IREP - input into the IREP file that would be run
16 for probability of causation a geometric mean of
17 210 millirem to account for the possibility that
18 he was exposed, or he or she were exposed to that
19 level, and put in a geometric standard deviation
20 based on the methodology I just described.

21 I'm real close to these analyses, so if I'm
22 not clear, please speak up.

23 Okay, the next area I'd like to talk about
24 is the environmental dose area, where it's
25 unmonitored dose received from stack emissions

1 typically at sites. And it can be significant in
2 the early years. Again, as the technology and
3 exposure limits and air monitoring standards
4 decreased, it's not as much a problem in the
5 current days.

6 But in early years when production for
7 weapons was high, they would do what they called
8 green fuel runs, which is instead of allowing the
9 fuel to decay for the short-term decay products
10 to go away, they would essentially start
11 dissolving these things fairly early to extract
12 the desired material, whether it was plutonium or
13 whatever. And that would result in a much higher
14 emission of fission products, the iodines and the
15 xenons, those kinds of materials that are
16 present. A short half-life, but fairly
17 significant dosimetrically shortly after
18 production.

19 And we do view this for some groups of
20 workers, such as construction workers, to be
21 maybe their primary source of exposure. If a
22 person's working out in an area of the plant
23 where there is no monitoring, it's not considered
24 a radiological area, this indeed may be their
25 only source of exposure, albeit in most cases

1 fairly small, but certainly need to be examined.

2 Here's an example of some real data that we
3 managed to pull out of the records from - again,
4 I'll pick on Hanford here - in 1947. The area -
5 this is a diagram of the Hanford facility or
6 site, and you can see the 100 area, the 200 area,
7 the plutonium processing areas. The doses in
8 white here - don't let the units confuse you.
9 These are old radiological units in millirep.
10 For all practical purposes, those can be
11 considered equivalent to millirem for our
12 demonstration.

13 But you can see that there's quite a
14 distribution of - this is the average 24-hour
15 dose rate at each of these locations as measured
16 in May of 1947. I believe it's for the entire
17 month, average. So knowing that the average
18 background radiation in the United States from
19 just standing on a spot of soil somewhere is
20 around ten microrem per hour, that equate, for 24
21 hours, to about .24 millirep for 24 hours.

22 So one can see that for some cases around
23 here, the 100 area, it's fairly close to
24 background. But here it's .7 millirep, so that's
25 quite elevated above background, not quite a

1 factor of ten - not ten, point - here's a higher
2 one, 2.2 millirep per hour. So there's a
3 distribution, and it's almost - this is almost a
4 factor of ten above what we would consider to be
5 ambient, natural background. So this would -
6 someone obviously working in this area
7 unmonitored has a potential for some
8 environmental exposure, would need to be added
9 back.

10 I don't know and don't expect that the
11 quality of data is going to be this good for
12 sites, but when we do know it we certainly have
13 to consider it and include it in the exposure
14 profile.

15 The medical dose, I'll just touch on briefly
16 again. Required medical X-rays, there are
17 examples in the case files - not case files, but
18 the dosimetry medical files of workers at some
19 facilities, particularly in the early years where
20 stereoscopic X-rays were taken - it's known as
21 photo-fluorography, which is essentially a
22 fluoroscopic examination of the chest with the
23 fluoroscopic image transferred to film. They
24 would take a picture of a fluoroscope,
25 essentially. And I believe that was primarily

1 because you could do screening a lot quicker, or
2 you could just take these pictures and then go
3 review them.

4 The doses from those procedures, since they
5 were fluoroscopically based, is quite large
6 compared to current day medical X-rays, which are
7 the order of 10, 15 millirem. There has been
8 some research done into this, and especially dose
9 to, for instance, red bone marrow has been
10 determined from a fluoroscopic - or photo-
11 fluorographic examination to be as high as 800
12 millirem.

13 So again, in some workers' cases, this may
14 be their dominant source of exposure,
15 occupational source of exposures, particularly if
16 this was considered - was required for them to be
17 employed at the site. So that's one of the main
18 reasons we want to add these back in, because
19 there are some out in the files, and we've seen
20 them, some large doses that need to be considered
21 and added back in from this means of exposure.

22 And as the little equation indicates, the
23 occupational medical exposures, just a summation
24 of the number of X-rays times N, although D_i may
25 be somewhat difficult to obtain. We are asking

1 from the Department of Energy to provide us -
2 most medical facilities won't know what the dose
3 was, but if they provide us the manufacturer and
4 the make of the X-ray machine and the kilovolt
5 potential, those type of pieces of information,
6 we should be able to get some sort of an estimate
7 from them. There just weren't all that many
8 types of machines out there.

9 Okay, conversion to organ dose. I talked
10 about yesterday the ICRP 74 methodology. So
11 we're going to either convert from ambient deep
12 dose equivalent or the deep dose equivalent, and
13 these are as defined in the ICRP terminology,
14 $H^*(10)$ and $H_p(10)$. It's just - the H is, of
15 course, dose equivalent, and the ten just refers
16 to a ten millimeter depth.

17 As we discussed, the ten millimeter depth is
18 not necessarily adequate to estimate the dose to
19 certain organs that may have been exposed that
20 are deeper in the body. And the factors that
21 will affect this conversion are what the target
22 organ is. An organ such as the thyroid that is
23 very close to the surface is going to be very
24 close to $H_p(10)$, or the breast tissue, especially
25 for high energies.

1 When you get into organs that are dense and
2 deep within the body such as red bone marrow,
3 which would be the organ we would calculate a
4 dose for leukemia induction, much significant
5 corrections may be required. And also it's
6 energy dependent, so the lower the energy, the
7 greater the effect. And the exposure geometry,
8 whether you are standing in a parallel beam of
9 radiation or moving around in a circle, it makes
10 a difference.

11 I just have a graph here that - it's a sort
12 of busy graph, but it does depict what I'm
13 talking about. And this is a specific example
14 for a bone marrow dose conversion factor as a
15 function of photon energy, and I've got it
16 sketched out for four different exposure
17 geometries.

18 So for example, if you look at the yellow
19 line - not yellow, the dotted line here, the
20 anterior-posterior, that's the AP. The beam is
21 coming from the front, and you're working in a
22 glove box or a fume hood or something like that,
23 and you're wearing the badge right here on your
24 lapel.

25 This is the ICRP 74 predicted conversion

1 factor that one would use as a function of
2 various photon energies. You can see that it
3 never really approaches unity, so it's always
4 going to be some reduction. And we need to
5 determine at what point we're going to not even
6 bother with the correction. But you can see that
7 if you get below 100 keV there's a dramatic drop-
8 off here, which you'd expect because lower energy
9 photons have less penetrating power through
10 tissue.

11 So you get down into here, and if you're
12 looking at 60 keV for americium - 20, 30, 40 -
13 it's going to be less than a quarter of the dose
14 that your badge had measured, particularly in the
15 early days when they didn't correct. Essentially
16 what the film badge was reading was roentgen air
17 exposure, which doesn't account for any tissue
18 depth penetration at all.

19 So we need to really be careful down in
20 here. Plutonium X-rays are down in here around
21 17 to 20 keV. In some cases we can say that the
22 badge probably can't even read what the bone
23 marrow - or the bone marrow dose is not even -
24 the badge may over-predict by a factor of 100
25 what the bone marrow dose is.

1 So we're going to be looking at this and
2 where to apply this correction factor. Right now
3 we've got it to be corrected across the board.
4 But there are some instances where I think,
5 especially in the efficiency approach that we
6 talked about adopting, we may not even bother -
7 like we'll over-estimate everything so we won't
8 make any corrections, and if the claim is below -
9 at a very low POC, we're not going to bother.

10 The good thing is this is all easily
11 computerized. These are standard formulas that
12 we can plug in and run.

13 The geometries that I presented here, the
14 anterior-posterior, rotational and isometric, I
15 don't expect that the posterior-anterior's going
16 to be that common. That would be radiation
17 coming only from your back. I can imagine
18 possibly a medical exposure or geometry where a
19 person's running a fluoroscopic machine with
20 their back to the beam, I'm not sure. But for
21 completeness it's in there. We can certainly
22 deal with it if we have to.

23 And again, these are examples of different
24 types of exposure geometries where - drum storage
25 in a warehouse, certainly a person is being

1 exposed, most likely in a four pie essentially
2 geometry; glove box or fume hood worker would be
3 AP; and a reactor worker may be some combination
4 of those two.

5 And the final uncertainty distribution is
6 going to be determined - I didn't have time
7 today, and I think at future meetings we can
8 discuss some of the uncertainties about those
9 other geometries. But the final uncertainty will
10 include - I showed you a sample of how that Monte
11 Carlo calculation would go for the dose for the
12 badge result itself, and then we will do a likely
13 - an uncertainty distribution as well for the
14 missed dose, the environmental dose, and the dose
15 conversion factor. And I've indicated here what
16 our best guess is, our best estimate is for the
17 distribution about those four types of components
18 of the dose calculation. Perhaps at a future
19 meeting we can go through those and some of the
20 logic behind the assignment of those various
21 distributions.

22 Let's switch gears a little bit now and get
23 into something a little less analytical and a
24 little more difficult to nail down analytically
25 with one's computer program, but I'd like to talk

1 to you about how we're going to deal with the
2 internal dose issues, which more than likely are
3 going to be - it would have the potential to be
4 the largest component of dose in the DOE work
5 force, particularly with the alpha emitters.

6 As I talked about, alpha emitters have a -
7 are of no consequence from an external dosimetry
8 perspective. It's the opposite. In the internal
9 dosimetry world they're everything. An alpha has
10 a quality factor of 20, so just by virtue of that
11 you're - they're five MeV type emission cell,
12 there's a lot of energy deposited, biologically-
13 damaging energy deposit per unit emission.

14 So again I'll start with a fundamental
15 definition, which is dose received from
16 radionuclides deposited in the body, and we are
17 considering four possible means of entry into the
18 body, as standard in dosimetry. We can either
19 inhale them, we can either ingest them, they can
20 be either injected or absorbed through a puncture
21 wound, or they can be absorbed through the skin,
22 such as gaseous tritium vapor.

23 Radon exposure is evaluated not using a dose
24 model within IREP. We didn't talk about this
25 earlier, but the IREP model itself is purely

1 based on exposure in working-level months. And
2 the National Cancer Institute has updated IREP to
3 include the radon model that was used
4 essentially, I think, for the - well, I don't
5 want to say something not correct here. A lot of
6 the uranium miner data was used to - the risk
7 values established with the uranium mining was
8 used to establish the working-level model in
9 exposure for radon. So in this case we're not
10 going to dose at all. We're going from exposure
11 using epidemiologic data and going directly to
12 risk. So what I'll be talking about today for
13 internal dose does not apply to radon daughters.

14 Okay. To do the calculation we divide it
15 into steps. One, the key component is to
16 determine the intake, how it's transferred
17 through the body, and then the excretion, because
18 the excretion is pretty much the only handle that
19 we have available to quantify internal dose after
20 the fact. The 66 model is used for inhalation,
21 and we intend to use ICRP 56, 67 and 69 that
22 include these updated specific biokinetic models
23 that I talked about. They're the recycling
24 models that are not single, first-order rate
25 kinetics with no recycling. They account for the

1 ability of material to be deposited in the organ,
2 go back into the bloodstream, and then be
3 redeposited. These new recycling models do not
4 exist, however, for all nuclides. This is new
5 technology, so where they don't exist we will use
6 the default ICRP 30 metabolic models.

7 From an internal dosimetry perspective, this
8 is what the human body looks like, a bunch of
9 boxes with little arrows. I'm trying to indicate
10 and make a little simpler by the things
11 highlighted in red are modes of entry into the
12 body. So as I discussed earlier, we can have an
13 ingestion, inhalation, or a puncture wound coming
14 into the body.

15 And we can also remove things from the body
16 by various means. We can either have - we can
17 either breathe something in, and some of it
18 doesn't get deposited. As a matter of fact, most
19 of what you breathe in doesn't become deposited.
20 It comes right back out. Or - I like this - this
21 is ICRP 66, has defined extrinsic removal, which
22 is essentially blowing your nose, kind of a fancy
23 way of saying nose-blowing. And of course we can
24 eliminate material metabolically that comes out
25 through the urine or material that passes

1 directly through the GI tract in the feces. This
2 is an error on my part. I indicated sweat as a
3 mode of input into the body. In fact, it is a
4 removal mechanism. So one can sweat out tritium
5 vapor, for example, tritiated material.

6 Yes.

7 **DR. ZIEMER:** Quick question. Likewise,
8 aren't there examples where you can ingest - if I
9 can use that word - tritium directly through the
10 skin?

11 **DR. NETON:** Yeah.

12 **DR. ZIEMER:** So it could be an input as well.

13 **DR. NETON:** Right. I didn't mean to imply
14 that that was the only means. It is a means.
15 Tritium can also be ingested, inhaled or
16 absorbed. It's one of the more metabolically
17 easy to model, but difficult to figure out the
18 entry mode.

19 So what we have here is the respiratory
20 tract model, which this would represent the ICRP
21 66 model that really is - I don't know, it's
22 about 20-something compartments. It's an
23 extremely complicated model. I didn't show it
24 for this meeting because I thought maybe we
25 wouldn't have enough time. But when material

1 goes into the respiratory tract, it can be
2 absorbed into what's called the transfer
3 compartment here, which is essentially the
4 bloodstream. So any material that gets into the
5 bloodstream then can be deposited in any of these
6 various compartments. And that in fact is what
7 we were doing with this IMBA program. We have 36
8 possible organs with which to calculate a dose
9 to.

10 One difficulty we have, though - I talked
11 about this a little bit yesterday - is that the
12 36 organs, unless the organ is metabolically
13 involved in the accumulation of the radionuclide,
14 it's very difficult to calculate a dose to that
15 organ. For example, the prostate gland does not
16 really concentrate plutonium, at least to any
17 extent that the ICRP would recognize.

18 So we are calculating the dose from adjacent
19 organs irradiating the prostate gland that have
20 material, but we are also considering this
21 transfer compartment, since this - radioactive
22 compounds are actually in the bloodstream and
23 circulate through the body, we can actually
24 calculate the dose to this transfer - the number
25 of transformations that occur in the bloodstream.

1 And if we know the volume of blood that's in any
2 of these other organs, then we can come up with
3 some estimate of the dose.

4 It's going to be small, but for completeness
5 sake, I think we probably ought to add that back.
6 It's intuitive to me that it's going to be small,
7 but I think we really need to document that, or
8 at least document why it's small. So we're going
9 to be adding that analysis in the future.

10 Okay, I've kind of beat this to death. It's
11 the 66 model that was developed in '94. It
12 really corrected some deficiencies. It allows
13 for a much larger particle size range than the
14 ICRP 30 model did. It allows for modeling the
15 deposition and movement of gases in and out of
16 the lung. It allows for much more latitude of
17 applying shape factors to particles. The title
18 volume of the worker can be modeled all the way
19 from resting to active. There are age adjustment
20 coefficients. I'm not sure that we're going to
21 use all those, but the flexibility is built into
22 the model. Thirty was the previous one, and I've
23 already talked about most of that.

24 Okay. There are still two models that we're
25 using, which is the gastrointestinal model, the E

1 model, which is a fairly old model. It is
2 essentially a three-compartment model with linear
3 first order rate kinetics through it, still works
4 well for our purposes.

5 And the bone model. The bone model allows
6 us to have two source organs, so essentially the
7 bone is considered two organs. There's
8 trabecular bone and cortical bone, and those both
9 metabolically behave very differently. And those
10 two source organs can irradiate two target
11 tissues within the bone, which is red bone marrow
12 and bone surface cells. And that allows us to
13 calculate the dose to the bone surfaces and the
14 dose to the red bone marrow, so therefore we can
15 actually estimate a dose for either osteosarcoma,
16 which would be a dose to the red bone cell
17 surfaces, or leukemia, which would be a dose to
18 the red bone marrow.

19 So it's a useful model. We certainly will
20 be doing a number of those kind of calculations,
21 and I don't see any reason why it needs to be
22 replaced at this point. There really is no
23 better model available, in my mind.

24 The absorption values specific for the GI
25 model have been updated. Even though we're using

1 the old E model for the gastrointestinal tract,
2 there's some newer information about what was
3 known in the field as the F1 value - that is the
4 amount of material that's absorbed across the
5 gastrointestinal tract as it moves through. For
6 some materials, such as plutonium, it's ten to
7 the minus fifth, a very small fraction, so almost
8 none becomes deposited in the body; whereas if
9 you actually ingest cesium, it's considered to be
10 100 percent absorbed in the gastrointestinal
11 tract. So those factors - we're going to be
12 using the newer factors for those models, even
13 though we're going to be using the old model.

14 The IMBA program, we're somewhat excited
15 about this. This is a new program. It's never
16 been used in the U.S. to my knowledge before. We
17 have the first, I think, working version in the
18 United States. It's a beta version, developed by
19 ACJ & Associates. Some of you may know Tony
20 James, who worked for a number of years out at
21 the Hanford site - worked at Battelle, not the
22 Hanford site, sorry - and in conjunction with the
23 NRPB, the National Radiation Protection Board in
24 England, specifically Alan Birchall, who is a - I
25 guess it's not an exaggeration - a world-renowned

1 internal dosimetrist in his own way. He's done a
2 lot of the modeling.

3 We've taken advantage of what's been done by
4 the NRPB in the past, and they've essentially
5 modified it for our compensation program's
6 specific needs. And we continue to work with
7 them to refine this model to make it more useful
8 for our needs. Most of those efforts are being
9 put into the area of automation. With these
10 number of claims that we need to process, it is
11 still a fairly manual entry process for us. And
12 when we can get the front end where we can
13 actually import bioassay files one after another,
14 it'll be a nice addition.

15 This is just an example of the IMBA screen,
16 and nothing new here other than just to
17 demonstrate that it does allow for a number of
18 different metrics. One can type in the - a
19 number of different analyses. One can type in
20 different measurement types. We're limited right
21 now in the number of radionuclides, but we've
22 targeted the ones that we feel are going to carry
23 the bulk of the DOE exposures, those being
24 radionuclides such as uranium, americium,
25 plutonium. We do have a few fission products

1 modeled, but we're working to expand that
2 distribution, the number of radionuclides that
3 we're modeling.

4 One can put in there measurement type, and
5 we can calculate the dose over a specified
6 interval, which is extremely important for us.
7 We can put in the date of initial employment and
8 the date of diagnosis, and it will provide an
9 annual dose, internal dose, for every of those
10 years and fractions of years thereof to the 36
11 individual organs. Because if you remember we
12 were doing multiple cancer - I mean, if there are
13 multiple primaries, we have to do a dose for each
14 primary.

15 And also, if the primary is unspecified, if
16 you remember that table, if you're guessing - not
17 guessing - if it's a secondary cancer you have to
18 estimate what the primary is. In some cases that
19 table in the IREP rule specifies six or seven
20 different organs. That means the dosimetrist
21 will have to calculate and provide the Department
22 of Labor the internal and external dose for six
23 or seven separate organs per case. So it's very
24 important that this prints out - it doesn't just
25 do one organ at a time. It'll do all of them,

1 and then we can work through that that way.

2 Some important features that we like about
3 this program, of course it does handle acute or
4 chronic exposure situations, and it's fairly
5 flexible. We can modify just about any of the
6 parameters we want to meet our specific needs,
7 and we do expect to encounter a number of
8 different scenarios. And it's also useful for us
9 establishing what I talked about as the missed
10 dose for the monitoring programs. We can put in
11 the detection limits for certain bioassay
12 frequencies and samplings, and run through this
13 multiple times and generate what we ought to call
14 missed dose profiles for a certain site over
15 certain periods of time. So it'll be very useful
16 for us to do that with acute chronic scenarios
17 and different solubility classifications.

18 Right now there's four types of bioassay
19 samples that are supported: That is a whole body
20 count, and partial body measurements as well -
21 whether you measure the lung or the whole body,
22 it can account for that; lung measurement;
23 urinary excretion; and fecal excretion. It
24 doesn't handle right now breathing zone air
25 samplers, which I'd like to add. A breathing

1 zone air sampler, in my mind, is essentially a
2 device that measures intake, 20 percent of your
3 intake that runs at one liter per minute.

4 And a little bit about the outputs. It
5 gives total intake. We don't really need it for
6 our purposes, but it will provide committed
7 effective dose equivalent. I mentioned the
8 committed dose for each of the 36 organs,
9 effective dose, and the dose to each organ. So
10 it certainly is capable of providing us what we
11 need.

12 **DR. ZIEMER:** Jim, you indicated this was in
13 the beta testing stage?

14 **DR. NETON:** Right. That's correct.

15 **DR. ZIEMER:** And when will it become
16 available, and will it be on-line?

17 **DR. NETON:** I'll answer the second question
18 first. I'm not sure we're going to be able to
19 put it on-line. We certainly will do that if
20 it's possible, but this - we are in an agreement
21 with ACJ, and somehow with the NRPB as well. I'm
22 not sure - our lawyers need to look into that
23 issue, as to whether we can put it on-line based
24 on our licensing agreement with ACJ.

25 When it'll be available in its full version,

1 I'm hoping that we have this available within the
2 next few months to have something that we can say
3 is ready to go, although that's not to imply that
4 this is not a working version. It is a
5 functional version. It does work. Most of all
6 of the testing that needs to be done has been
7 done on the modules themselves. There's been a
8 lot of independent review on the individual
9 modules. All that IMBA really does is assemble
10 the I/O, the input/output, and reformat. That's
11 one of the things we liked about it.

12 So I'm hoping in the next few months that we
13 can get the more production version going -
14 certainly before we have to do the - in the April
15 time frame when we have to start running - we can
16 start running them for probability of causation
17 calculations.

18 If we're going to do a dose reconstruction,
19 it is a detective game. It's somewhat different
20 than the external dose world, and here is why.
21 There's a number of reasons the red dots sort of
22 outline.

23 The detection limit for the measurements
24 vary all over the board. It's not as simple as a
25 badge read. The type of radioanalytical

1 technique used historically varies widely from
2 the early 50's to the 90's. There are now
3 techniques with thermal ionization mass
4 spectrometry that can measure plutonium that is
5 orders of magnitude below anything imaginable
6 even when I was in graduate school, which was
7 probably longer ago than I care to admit. So we
8 need to really find out the facility's specific
9 detection limits, and that's going to require
10 some detective work on our part.

11 We intend to go through and develop facility
12 profiles, and fortunately many facilities have
13 done this. Some of the larger facilities do have
14 historical documents that have been put together
15 that do outline a lot of this information.

16 We need to determine the exposure type. Was
17 it an acute, one-shot deal based on an incident,
18 or was this a chronic type exposure that occurred
19 to the worker? Of course, the exposure mode
20 makes a huge difference, whether it was inhaled,
21 ingested, or whether it was absorbed through a
22 wound.

23 The effect of previous intakes on results.
24 For example, what you're seeing today, is that
25 being influenced by something that was coming out

1 in the urine before that, and that needs to be
2 considered. And of course, the estimate of the
3 date that the intake occurred. If you have no
4 knowledge of when the intake occurred and you
5 have a positive bioassay result, almost the only
6 recourse you have to do an estimate is to go back
7 to the last time a sample was taken and it wasn't
8 detectable. That can result in some very large
9 missed doses, and so that all needs to be
10 considered as part of this little detective game.

11 And of course, the physical characteristics
12 of the source material. Just because you have a
13 bioassay sample does not mean that it's
14 interpretable because of the solubility of the
15 material. If it's very insoluble uranium and
16 it's in the lungs, a much smaller fraction's
17 coming out in the urine per day than if it's
18 extremely soluble uranium. So we need to develop
19 again these site-specific profiles, so we know in
20 which facility what type of solubility material
21 was being used.

22 I alluded to this a little before, but here
23 are the types of data that we have to determine
24 dose. Particularly in the bioassay world, we
25 have the in vivo results, the urinalysis, fecal

1 samples and breath samples. And by breath
2 samples, I'm specifically talking about breathing
3 - well, actually there's two - I mean two things
4 by breath samples. There's breathing zone air
5 samples that hang on the person's lapel that are
6 a fairly decent indicator of at least the
7 magnitude of the level of exposure.

8 But breath samples, of course there are some
9 time periods for radium body burden analysis. I
10 know at the Fernald site this was done where
11 people were measuring radon emanating in the
12 breath due to radium 226 imbedded in the
13 skeleton. And there's a similar technique called
14 thoron analysis that's analogous for measuring
15 thorium depositions. There aren't a lot of
16 those, but we certainly will look at those if
17 they're available.

18 So we have these four techniques available
19 to us -

20 **DR. ZIEMER:** I think we have a question
21 here, perhaps.

22 **DR. ANDRADE:** Real quick question here. For
23 your purposes and the way you're going to do your
24 analyses, how do you differentiate between acute
25 and chronic for internal -

1 **DR. NETON:** Right. It's going to be -

2 **DR. ANDRADE:** - for intake.

3 **DR. NETON:** It depends on what's available.
4 If we have a fairly good bioassay program record
5 - for instance, a person had a monthly bioassay
6 sample - one can determine based on the level
7 that's coming out in the urine over time whether
8 or not that person was chronically exposed. If
9 it was an acute exposure, one would see the
10 subsequent samples dropping off rapidly, fitting
11 - the drop-off consistent with the models that
12 you would employ. You do need to know, though,
13 facility-specific information.

14 **DR. ANDRADE:** Right, but again - and I think
15 you talked about it yesterday a little bit - when
16 you're talking about plutonium internal
17 dosimetry, you're talking about plutonium that's
18 going to be in your body for the rest of your
19 life.

20 **DR. NETON:** Right.

21 **DR. ANDRADE:** So therefore, you're going to
22 consider that a chronic exposure, is that
23 correct?

24 **DR. NETON:** Yes. Yeah, maybe I
25 misunderstood your question, but yeah. Once you

1 have a plutonium intake, it's going to be a
2 chronic exposure over the time period from the -
3 to the date of diagnosis, for sure.

4 **DR. ANDRADE:** Right. Now on the other hand,
5 take the case of iodine, biological half-life of
6 a few days. Is that what you consider an acute -

7 **DR. NETON:** No, that would also be chronic,
8 because as we talked about yesterday, the
9 definition of chronic for these risk models is
10 something that happened over - the definition of
11 acute is something that happened in less than a
12 couple of hours. Chronic is like more than a few
13 hours. And the half-life of iodine in the
14 thyroid, I think, is somewhere around eight days.
15 So that would also be a chronic exposure.

16 **DR. ANDRADE:** Chronic, okay.

17 **DR. ZIEMER:** But you distinguish between
18 acute and chronic intakes?

19 **DR. NETON:** Right.

20 **DR. ZIEMER:** Which is not the same as dose.

21 **DR. NETON:** Right. That's right, yeah.

22 That's what I thought your first question
23 was alluding to, which is an acute intake where
24 in the earlier production mode of operation at
25 uranium facilities, a certain amount of ambient

1 airborne uranium was acceptable. One could say
2 that as long as I stayed below ten percent of the
3 annual exposure, the limit or the maximum
4 permissible concentration in air, it was okay.
5 So one was breathing about ten percent of the
6 allowable concentration.

7 That would require us to use a different
8 model on that person to determine his intake than
9 if it were an acute exposure. Although one can
10 argue that a chronic intake is nothing more than
11 a series of continuous acute intakes, and it ends
12 up being that way, approximating that way in the
13 models. Either way you take it, it ends up the
14 same way. But the chronic allows you to bypass
15 some calculations.

16 Okay. We do intend to rely on incident
17 reports. These are valuable for pulling up a lot
18 of that detective information that we're talking
19 about. If a person was involved in an incident -
20 that was some off-normal event that happened
21 where he was required, more than likely would
22 have been required to leave a bioassay sample,
23 names of coworkers would have been potentially
24 recorded, what the person was doing at the time -
25 those types of pieces of information would be

1 extremely helpful in nailing down a specific
2 incident when they do happen. And we're hoping
3 that we can retrieve those things in the person's
4 monitoring files as we request them.

5 Airborne radioactivity concentrations,
6 lacking any other bioassay information, of course
7 are useful to a certain extent to reconstructing
8 exposure. And those can be of several different
9 types, whether it's breathing zone air samplers,
10 general area samplers, or just estimates derived
11 from gross contamination levels in a facility.

12 So I'm going to go through a couple examples
13 of what we would do for bioassay data, how we
14 would look at some airborne air sample data, and
15 how we might approach a estimate just based on
16 some first order - first principle source term
17 analysis.

18 Although before I do that - I jumped a
19 little bit ahead - I need to talk about missed
20 dose a little bit. And we actually talked about
21 this, is the dose that could have been received
22 and been undetected. And it's a function of a
23 number of different things as based on the
24 detection limit of the bioassay sample and the
25 monitoring frequency.

1 The solubility, as I talked about, is a
2 major factor in this dose. And we've done a
3 number of calculations using our IMBA program and
4 putting in hypothetical exposure scenarios for
5 what we believe to be the detection limits of the
6 monitoring programs at certain sites. And for
7 what's considered pure class S material - that's
8 solubility material that is removed from the lung
9 slowly. There's three classes of solubility: F,
10 M, S - fast, medium and slow. For the ICRP 30
11 types that's equivalent to D, W and Y. For pure
12 class S material, there could be a missed dose to
13 the lungs that results in greater than a 50
14 percent probability of causation without any
15 positive bioassay.

16 This is a serious limitation of bioassay
17 monitoring programs that we pretty much knew
18 going in. So it's possible that a person who
19 breathed in soluble material, who was exposed in
20 a facility with insoluble material, and was
21 monitored even monthly in the urine for urine
22 samples and never showed a positive sample, one
23 could estimate that there was a potential for
24 that person to have had a dose that was greater
25 than 50 percent POC for lung.

1 And this speaks to the issue of whether one
2 is monitoring an organ – one has a cancer of an
3 organ that is a source organ that deposits the
4 activity, or it's an organ that the activity
5 doesn't concentrate in. So in many facilities
6 solubility of material is really a mixture, and
7 we know that. We've done enough examination that
8 there really is no one type that fits all
9 facilities, and we need to consider that.

10 If you get down to this class M material
11 which is moderate solubility, it's going to be a
12 small contributor to the dose, more than likely,
13 or it is a small contributor. But it can result
14 in very large bioassay results, so – bioassay
15 samples. So really need to consider the
16 solubility of material.

17 Okay, I'm going to go now and talk a little
18 bit about this efficiency approach using bioassay
19 samples, and this is the same example I had
20 yesterday, but I have a little more detail on the
21 screen.

22 If you remember the flow chart we had, we
23 said, okay, let's pick the mode of exposure that
24 the person was most likely exposed. So here we
25 have a person who worked at a plutonium facility

1 and left bioassay samples between 1961 and '65 -
2 this is real data, it's not made up - and you can
3 see that after about '64 his bioassay samples
4 popped up and was well above the detection limit,
5 as I talked about yesterday, which was about .05
6 picocuries per liter, so it's down in here.

7 So he had some evidence of what I would call
8 chronic exposure in this time frame, but nothing
9 that really strikes you - what strikes out as
10 obvious is this bump here. So if we were to say
11 let us just assume that this intake that occurred
12 here, that the bioassay results that are coming
13 out in this time period were a result of an
14 intake that occurred back here in 1961, we can
15 estimate his dose using that intake scenario.
16 And it will be a wild, a very large overestimate
17 of dose. There's no doubt about it, because
18 we're way above any bioassay sampling in this
19 area.

20 And then we can calculate what his dose
21 would be. There's annual dose, and let's assume
22 that he started working in '61, and his cancer
23 was diagnosed in 1969, so we'll stop the analysis
24 there. And here the dose is to, say, three
25 separate organs that we might be evaluating as

1 primary cancers. It's very obvious for the lung
2 that there's pretty large doses in this column,
3 and it peaks at about 15 rem in 1962. So what
4 that represents is just a clearance of this
5 material out of the lung over time, based on this
6 ICRP 66 model. But if you look at - 89, I think
7 it was 89 in the first year, so these are very
8 large doses. And I'm fairly confident that when
9 I put this into a probability of causation model
10 I would have some fair confidence that this POC
11 is going to be greater than 50 percent,
12 particularly if the person were a non-smoker.

13 The liver's not as clear-cut, though. It
14 does not contain as much plutonium, obviously,
15 over this time period, but it still has fairly
16 large doses. I would say that's still a fairly
17 large exposure and a pretty high probability of
18 compensation to the - because the plutonium moves
19 out of the lung, and we know metabolically it
20 concentrates in either the liver or the skeleton.
21 Those are the three main deposition sites.

22 On the other hand, if the person presents
23 with urinary bladder cancer, the doses are orders
24 of magnitude lower for even this wild, high,
25 overestimate of his exposure. Ignoring this

1 material here, but saying that this intake
2 occurred way back in '61, this is well less than
3 a rem exposure over that period, so his
4 probability of compensation's going to be fairly
5 low. There's no real indication here.

6 So again we start with our approach, and we
7 say what did our overestimate look like? And
8 here we have three examples of how we might
9 proceed based on that analyses.

10 So then we said okay, let's do an over-
11 estimate; now let's go do the other way and do a
12 conservative - not an underestimate, but just
13 take a conservative approach. Let's take a
14 conservative approach and not include all his
15 dose. So this is a blow-up of that graph, but
16 I'm starting from '64, so this represents just
17 that increased time period where he popped up in
18 1964. So here we're saying I'm not going to
19 count any of these points, and I'm only going to
20 model the dose as if it were - started here in
21 1964. So let's say he was exposed in '64. What
22 is his dose, assuming this scenario? So this
23 would be a low estimate.

24 If we take the low estimate, we still see a
25 fairly large lung dose, which I suspect - and I

1 don't have the data to establish this yet, but
2 that would result in a fairly significant
3 probability of compensation. Or say it were a
4 liver dose that is not as clear-cut, and the
5 bladder, of course, is still low, and we in fact
6 would not have even evaluated the bladder on the
7 second pass because the high – the whole highest
8 (inaudible) would have not even made it.

9 So this is an example of how we would go
10 about using these bracketing estimates using
11 internal dose models that we've established.

12 Yeah.

13 **DR. ANDRADE:** On each of those examples for
14 input parameters into ICRP 60 methodology, do you
15 also use Monte Carlo to select – to pick it from
16 a distribution those solubilities or particle
17 sizes?

18 **DR. NETON:** Not in this particular example,
19 because these are our worst case upper limits.
20 Essentially they would be the Monte Carlo upper
21 end samplings without really going through a
22 Monte Carlo.

23 **DR. ANDRADE:** Okay. So that would be
24 equivalent to an ICRP 26 study methodology
25 assuming Y class material and one micron type

1 particle size?

2 **DR. NETON:** It's analogous to that, but
3 we're using the 66 methodology, which would be an
4 S class solubility and five micron particle size
5 default.

6 **DR. ANDRADE:** Thanks.

7 **DR. NETON:** Okay. If we don't have any of
8 this nice bioassay data to hang our hat on, we
9 need to go back and look at the work place data.

10 And this is a simple example of how we might
11 use work place air monitoring data to - this is a
12 simple example of say that we happen to have five
13 air sample results for a particular work scenario
14 and this is this red blob here. Here's an area
15 where a worker, Work Area A, could have worked
16 during his period of employment, and this other
17 blob up here, let's call that Work Area B. And
18 we're fortunate enough to find some facility
19 monitoring records which we do have, at least at
20 one facility, some pretty decent records of this
21 nature.

22 And we have these five air samples that are
23 distributed about the site - air sample one here,
24 air sample two, three, four and five. I suppose
25 I could have done a better job of numbering these

1 air samples, but nonetheless, we have five
2 samples represented by these little blue dots.
3 And these are the measurements that were received
4 or detected at each of these air sampling
5 locations in what's called like, say, 3 DAC,
6 which would be three times the derived air
7 concentration. Those values would be in
8 microcuries per milliliter or becquerels per
9 cubic meter. It sort of doesn't matter for this
10 conversation, but these are all relative values
11 of some level of the regulatorily allowable
12 exposure air concentrations.

13 So how would we go about, for instance,
14 estimating the intake, which is how much
15 material, radioactive material did this worker
16 breathe in in each of these operations? Well,
17 one option is to take all five of these air
18 samples and average them and apply them to each
19 scenario, but that doesn't necessarily make the
20 most sense. And in fact, if we looked at this -
21 if we took Work Area A, I think that we would
22 select half of the air samplers based on sample
23 one and half based on samples two and four.

24 So here's air sample one for Area A, so
25 we'll take half of the air concentration based on

1 that, and then we would half based on the average
2 of these two to assign it to this work area. And
3 in fact, we would end up using an average air
4 concentration in that work environment of about
5 one and a half DAC.

6 The other scenario -

7 **DR. ZIEMER:** Is that weighted also for the
8 size of that area, or is it -

9 **DR. NETON:** No, it's not. This is a simple
10 example. I'll agree with that, and I'm not sure
11 we can get that refined. But it's a good point.

12 This other sample, though, we have an air
13 sampler here and an air sampler here, and the
14 source of airborne radioactivity up here. So
15 clearly, if this is the source and it's pluming
16 out in this direction with the ventilation
17 direction in this manner, then we'd probably be
18 best off extrapolating backwards and taking some
19 interpolation of three, five - five, three and
20 one, and going back here.

21 So it was two at air sampler five, it was
22 four at air sampler three. And if we want to
23 predict back here at the source, I think we would
24 say - we extrapolate one, three and five back, we
25 would predict that the results would be five DAC

1 based on that location. So - one, three and
2 five, I'm sorry. So we go back this direction
3 and extrapolate, interpolate backwards. So I
4 don't know that we're going to have all this
5 level of detail, but I know at least we are going
6 to have some situations where we're going to have
7 to do this.

8 And of course we need to do something with
9 that result. We just can't report the worker's
10 dose in air concentration. So we're going to
11 convert the intake into - or convert that
12 measurement into some intake using this formula
13 that you see on the screen, which is the
14 concentration times the breathing rate in
15 milliliters per hour - that represents 20 liters
16 per minute breathing standard of reference worker
17 times the stay time - and apply any protection
18 factors as necessary.

19 Now I should say a word about use of
20 protection factors. It is our intent to be
21 somewhat skeptical of respiratory protection
22 factors. Historically they may have not either
23 been worn as instructed, or the fit-testing
24 program may have been adequate to qualify them as
25 protection devices for the workers.

1 I think in more current environments we may
2 be able to use that, and in fact we are going to
3 be required to use that in situations where air
4 sample results for breathing zone air samplers
5 are taken here, are reported already corrected
6 for respiratory protection factors. I know
7 that's a routine practice at facilities, to take
8 the BZ result and divide it by a factor of 50 if
9 a person's wearing a full-face air purifying
10 respirator, and record that as his intake.

11 So that's going to be in there. We need to
12 be aware of that, and then we need to evaluate at
13 that time whether or not that was appropriate.
14 So we just need to approach this with some
15 trepidation.

16 Okay. And the last of my examples is where
17 we have nothing as far as air concentrations, no
18 bioassay data. And this is a somewhat simplistic
19 example, but it serves to point out that there
20 are something we can do, given that if we know
21 how much material the worker was - what he was
22 working with and how much.

23 And let's take this example where there were
24 no air samplers in the area, and a person was
25 working in a hood and playing - working with

1 these uranium dioxide sintered pellets, and it
2 was a grinding operation where he's taking
3 certain amount of surface area off of the pellet,
4 and they're a half-inch diameter by a half-inch
5 high.

6 By the way, this is sort of an adaptation of
7 the approach that's used in - those of you
8 familiar with the new Reg 1400 document that
9 talks about the need for air sampling in the work
10 environment, we're kind of taking a backwards
11 approach and said if there's a need, let's
12 predict what - you have to predict what the
13 potential air concentrations are to determine if
14 you have a need for air sampling. So we've kind
15 of worked this process backwards to come up with
16 these type of examples.

17 Let's assume the fume hood has a face
18 velocity of about 150 linear feet per minute, and
19 the person's working with these pellets a couple
20 of feet from his face with a high-speed grinder,
21 and the velocity of these pellet - the grinding
22 material is faster than the hood can remove it
23 from his breathing zone, and the guy's average
24 rate is about 20 pellets an hour.

25 So he's grinding these pellets. He's doing

1 about 20 an hour, and there's some potential for
2 airborne generated in his work environment. So
3 again we've assumed that he's removing a 1000th
4 of an inch, and we know the density of the
5 material since it's uranium, and we can calculate
6 from that how much radioactivity is being
7 generated into this airborne sphere of two feet
8 diameter that's in his breathing zone
9 environment.

10 And the predicted - based on that
11 calculation, we can predict that the air
12 concentration - conservatively, because we're
13 assuming it's all ejected towards him - is 1.5
14 times 10^{-7} microcuries per milliliter. At 20
15 pellets per an hour, we come up with 5 times 10^{-8}
16 microcuries per milliliter. And if one compares
17 that to current - the derived air concentration
18 for insoluble uranium, which this is, it's three
19 orders of magnitude higher than what the
20 allowable limit is.

21 **DR. ZIEMER:** You didn't say anything,
22 however, about the particle size there. The fact
23 that it isn't captured in the air flow implies a
24 fairly heavy particle. What about the - sort of
25 the mass median aerodynamic diameter -

1 **DR. NETON:** That's correct. It would be
2 conservative for us to assume that this was a
3 five micron particle. It's probably more dense
4 than that. By definition, if it's five micron
5 diameter, the density would automatically make it
6 heavier than that, you're right. So this is a
7 bracketing estimate to try to determine if there
8 is a large potential for exposure in this case.
9 So since he's three orders of magnitude above the
10 limit, we could readjust the particle size and do
11 a little more careful analysis, that's right.

12 One nice thing about the IREP program,
13 though, is we are not constrained to point
14 estimates. In fact, one of the allowable inputs
15 is a uniform distribution, meaning I don't really
16 know what this is, but I know it's between A and
17 B. And when you sample the person's exposure,
18 sample all those possibilities uniformly, which
19 would be the most generous distribution one could
20 assign. I'm not suggesting we intend to do that
21 in all cases, but one could.

22 In a case like this - for example, if this
23 worst case analysis came out very low, and we
24 said it's very low, we're not really confident.
25 We know we're within an order of magnitude, and

1 we know it's from - pick two numbers - one and
2 ten. If that were used as the IREP input and the
3 value were still extremely low, then again we've
4 managed to make a determination regarding
5 compensability one way or the other without
6 really biasing the analysis.

7 **DR. ROESSLER:** I have a question about the
8 word "we." This is - the word "we." This has
9 kind of concerned me all the way throughout your
10 presentation, which again I don't think there's
11 any question about the science. What I'm trying
12 to determine is when you say - all these things,
13 especially with the internal, are going to be
14 done, I assume, on a very individual basis. A
15 lot of this, as you said, is art. It's
16 interpreting, making best decisions.

17 I'm concerned about objectivity. I don't
18 have any question about NIOSH, but I don't know
19 much about the contractor proposals and who is
20 going to be doing this, and who's going to be
21 doing what. And maybe that's too big a question
22 at this point, but that's it, is -

23 **DR. ZIEMER:** Maybe a preliminary answer
24 would be appropriate, and also some indication of
25 the degree to which you will be able to formalize

1 the methodologies that are used.

2 **DR. NETON:** Right. That was going to be in
3 part of my response, and maybe Larry can kick in
4 here at the end with some other discussion. But
5 "we," meaning NIOSH, intend to document as much
6 as possible how this process runs and provide
7 this to the contractor. That would be through
8 technical guidelines, and actually procedures as
9 to how one flows through these analyses.

10 That being said, though, you are right.
11 Internal dosimetry, we have to rely - allow for
12 some latitude in interpretation. But where
13 information is lacking and cannot be ascertained
14 definitively, one should - one is almost required
15 to default to some conservative assumption
16 without any other information available.

17 We also intend to have a fair level of
18 quality control involved over the contractor,
19 where a certain percentages of the dose
20 reconstructions that are performed will be done
21 separately by us and compared to what the
22 contractor does come up with. We intend to
23 review all dose reconstructions that come out of
24 there - not necessarily review all calculations,
25 but at least they will be issued under NIOSH

1 letterhead and have at least gone over some level
2 of review by a NIOSH representative.

3 I'm not sure I -

4 **DR. ZIEMER:** And likewise, is this not the
5 sort of thing that you want this Board to take a
6 look at, some of these actual reconstructions.

7 **DR. NETON:** Yeah. Oh, yeah.

8 **MR. ELLIOTT:** That was going to be my -

9 **DR. NETON:** And the Board, as well, I
10 forgot.

11 **MR. ELLIOTT:** That was going to be my
12 comment. It's NIOSH - when he's talking "we," he
13 means NIOSH. It's our responsibility to provide
14 oversight to the contractor who will be doing
15 these dose reconstructions.

16 But I hope through these examples that he's
17 shared with you this afternoon that you start to
18 get a sense of how you might develop your
19 sampling strategy in review of dose
20 reconstructions, because we certainly have
21 started thinking about that when we apply that,
22 not only for the Board but for our own quality
23 control interests that Jim mentioned - which ones
24 we're going to target, which we're going to spend
25 more time on, which we're going to spend less

1 time on. And he's right, we are going to look at
2 every one they do, and we will spend more time on
3 perhaps something like this until we're confident
4 that we've got it down right.

5 **DR. MELIUS:** I have a related question.
6 This probably jumps a little bit, but relates
7 back to the proposed regulation also. And that's
8 the issue of how is this going to be documented
9 and then communicated back to the people
10 involved.

11 You talk about what some of the steps are
12 involved, but it really is not clear to me from
13 the regulation, what I've seen so far, is what
14 will be the documentation that will be
15 communicated back to the worker or the claimant
16 that would have a concern, as there's an appeal
17 issue and so forth as the information goes
18 forward. You have put in place a mechanism where
19 the, quote, draft results would be shared and
20 discussed, but it's not clear the documentation
21 for that. And I'm particularly concerned in the
22 case where there is incomplete data. In fact,
23 the data can be so incomplete that you cannot -

24 **MR. ELLIOTT:** (Inaudible).

25 **DR. MELIUS:** So what will be the

1 communication in that case? And I think that
2 also goes to this whole issue of how we do
3 oversight on the process, and also deals with
4 some of these - the appearance of conflict of
5 interest issues in terms of people, potentially
6 some of the people involved or whatever.

7 **MR. ELLIOTT:** Sure. Well, we certainly
8 can't provide you an example of the communication
9 today, but in a general sense I think these are
10 going to be individualized.

11 And we are going to work with the claimant
12 throughout this whole dose reconstruction
13 process, from not only the point of the
14 interview, but once we approach the claimant with
15 what we consider to be a completed dose
16 reconstruction, we'll consider how we articulate
17 what was done in that dose reconstruction, what
18 the limitations of it were, what issues we want
19 them to be aware of associated with that.

20 So each one of these reports that goes back
21 to the claimant as a draft, before they sign off
22 and accept the dose reconstruction, is going to
23 require a considerable amount of effort on our
24 part to really communicate how we treated their
25 data, if there was data. If there wasn't data,

1 what did we do to come up with these numbers.

2 **DR. MELIUS:** I think that maybe there's two
3 separate issues. How do you document it?
4 Because from the point of view doing oversight or
5 sampling the documentation's important, and the
6 second issue is what part of that or does all
7 that documentation go back to the claimant? And
8 it's - I don't think that's clear from your
9 regulation.

10 **MR. ELLIOTT:** It's probably not clear. But
11 the claimant will of course have a right to view
12 their whole case file, which we will have added
13 to along this trail of dose reconstruction, and
14 we will walk them through that not only in the
15 report, but actually as we talk to them over the
16 interview and as we develop the dose
17 reconstruction.

18 But this is a good point you're raising, and
19 this is something we probably have not clearly
20 articulated, as you say, in the rule, and we need
21 to pay more attention to that; and when we
22 promulgate the final version, we should address
23 it.

24 **DR. NETON:** I think, if I could add a little
25 bit to that, I think it's the intent that the

1 claimant actually receive a copy of the technical
2 report, but on top of that technical report is
3 going to be a one- or two-page summary that is a
4 narrative of what was done in somewhat simpler
5 language, so that a non-technical person could
6 understand it. I do believe they have a right to
7 the technical report that we use to determine
8 their dose.

9 So two pieces of information actually will
10 go to the claimant: A summary report, and then
11 the actual dose reconstruction – not necessarily
12 all the raw data that we've used, but which data
13 that we ended up – we did end up using in the
14 dose reconstruction. He's certainly also going
15 to have a copy of his interview that we conducted
16 with him, because he is required to review that
17 and weigh in on that after we do the interview.
18 And he'll be able to see clearly to the extent
19 that we used the information that he provided
20 versus the information that was provided to us by
21 Department of Energy and monitoring programs, and
22 why it was or was not used in his dose
23 reconstruction.

24 **DR. MELIUS:** Is the claimant going to be
25 made aware of the information that was not

1 available? For example, records were missing or
2 unable to find that -

3 **MR. ELLIOTT:** Yes.

4 **DR. NETON:** Yeah, that'll be part of a
5 narrative discussion, as to how the - what
6 approach was taken.

7 Grady has a comment.

8 **MR. CALHOUN:** This is Grady Calhoun.

9 I just, in listening to some of this, in
10 82.26 it's somewhat detailed as to the type of
11 information that would be included in that report
12 and given to the claimant. And some of the very
13 things you're talking about are listed in there
14 as specific items that need to go in there. For
15 example, if data information is given and we, for
16 some reason, decide not to use that, we have to
17 state in there we received it, we didn't use it,
18 and here's why. So I just didn't know if you had
19 read that section or not.

20 **DR. MELIUS:** Well, no, my question was to
21 the - there was reference as to the documentation
22 of some of the information, but not how it would
23 be communicated to what extent would be
24 available.

25 And secondly, in the - and maybe I've missed

1 it - but in the issue where you're unable to have
2 adequate data in order to do a dose
3 reconstruction, it's not clear how that will be -
4 how your effort will be communicated back. What
5 will the documentation be that will say, sorry,
6 we couldn't do it, or we couldn't find it, or
7 this is what records from those years were
8 missing, or we have - it's a subcontractor, DOE
9 had no records of or the facility had no records
10 of your ever working there, things like that.

11 There's lot of possibilities there that I
12 think are going to be important not only for the
13 claimant, I think they're also to some extent
14 important to the committee in terms of us
15 figuring out - making recommendations for how
16 this program should go and be improved.

17 **DR. ZIEMER:** Certainly under 82.26, which is
18 really the guideline or will become the rule in
19 some form, there will have to be some sort of
20 SOP, standard operating procedures, as to how
21 they're actually going to carry out the details.
22 I wouldn't expect all the detail to be in the
23 rule itself.

24 **MR. ELLIOTT:** No. But your point is well
25 taken, Dr. Melius, on if we cannot do a dose

1 reconstruction, what happens? How do we
2 communicate that, and what happens next to that
3 individual claimant? And this proposed rule is
4 fairly silent on that, and the reason why is
5 you're going to see that come forward in this
6 Special Exposure Cohort petitioning guidelines,
7 in what we're suggesting there. You don't have
8 that in front of you, I know, but -

9 **DR. NETON:** But we do - there is an
10 inclusion in 82, though, that addresses if we
11 cannot do a dose reconstruction, we can inform
12 the claimant that it was not possible. And what
13 you're saying is we need to detail why. That's
14 not explained.

15 **MR. KATZ:** Can I interject, just because it
16 - in fact, if you look in that section in the
17 rule, it does explain exactly that we would be
18 explaining to the claimant what information was
19 necessary to do dose reconstruction and wasn't
20 available. So it would be.

21 **DR. MELIUS:** But explanation, which as I
22 read it was just part of the interview, is a
23 little different than a document that -

24 **MR. KATZ:** No, this is the report at the
25 end, not the interview. I'm talking about in the

1 report. It would be a documented explanation,
2 with the documentation of what data was required
3 to be able to complete a dose reconstruction and
4 wasn't available - isn't available. Is that - it
5 would explain how that information would be used,
6 as well as what the information is that's
7 missing.

8 **DR. ZIEMER:** Well, certainly the issue is
9 noted. It needs - attention needs to be given to
10 that as we proceed. I think it's an excellent
11 point.

12 **DR. NETON:** I don't want to belabor the
13 point, but there's another side issue to this,
14 and it points to the fact that this report has to
15 be fairly well crafted.

16 As we talk about doing these efficient -
17 applying the efficiency process to claims, it
18 works fine if a person presents with one cancer
19 and that's the end of their story. But a
20 claimant needs to be informed that if they
21 present with a second primary cancer five years
22 down the line, the dose reconstruction that was
23 performed and provided to him is not necessarily
24 the bottom line. If we run through and do this
25 efficiently and say yes for lung cancer, we're

1 done, we have to go back again and re-evaluate
2 how refined that lung cancer estimate, dose
3 estimate was, because it may not be obvious that
4 the person is qualified or not qualified for
5 compensation.

6 Do you follow my logic on that?

7 **DR. ZIEMER:** So maybe rather than fairly
8 well crafted, the report has to be very well
9 crafted.

10 **DR. NETON:** Very well crafted, okay.

11 **DR. ZIEMER:** Right.

12 **DR. NETON:** Exactly. These types of issues
13 need to be pointed out in this correspondence and
14 report as early as possible, so that there's no
15 mistake as to what happens down the line.

16 **DR. ZIEMER:** Jim, this has been a very
17 informative presentation. We thank you. I -

18 **DR. NETON:** No, I have one last slide to go
19 over.

20 **DR. ZIEMER:** Oh, I'm trying to turn you off
21 here, but finish up, please.

22 **DR. NETON:** Okay, sorry.

23 This is just the last slide that talks about
24 how we're going to handle the relationship
25 between primary cancer and organ doses, and we've

1 envisioned four different scenarios.

2 As indicated in the IREP program, we're
3 going to use ICD-9 codes to determine the primary
4 organ that we need to do the dose for, but
5 there's not always a one-to-one correspondence
6 between the ICRP 30 - the ICRP available organ
7 and the ICD-9 codes. In fact, there are many
8 more ICD-9 organs than there are cancers or doses
9 that we can calculate it for.

10 So what we intend to do is apply this
11 strategy where if there's more than one ICD-9
12 code for a region, we will calculate the dose to
13 the ICRP region that's described and assign a
14 dose. So for instance, in the nasal/pharyngeal
15 area we sometimes have more organs available to
16 calculate a dose than the ICD-9 code applies. Is
17 that right? Hang on. There's more than one ICD-
18 9 code for the ICRP region, yeah. So if there's
19 multiple codes, we'll just take that region and
20 apply it across the board. That's an easy one.

21 One ICD-9 code describes organs associated
22 with more than one region, that would be an
23 example of, say, the gastrointestinal tract. If
24 someone has intestinal cancer, we can calculate
25 the dose to the small intestine, the large

1 intestine, colon. We would calculate the dose to
2 all three, and then take the largest one and
3 default on a conservative side for that estimate.

4 If the organ is not contained in an ICRP
5 dose model, then we would take the dose from the
6 highest exposed organ that's not associated with
7 a known metabolic site. For example, for
8 plutonium, if it - the liver, the lung and the
9 skeleton are the organs that concentrate
10 plutonium. Then we would take the organ just -
11 the next highest organ that is not one of the
12 three sites that's described in the metabolic
13 model and use that. And that would imply that
14 it's an overestimate of the dose because it's -
15 of the 36 organs that ICRP has modeled, they're
16 presumed to be the 36 highest exposed organs
17 internally.

18 And when it comes to lymph cancer it's a
19 little less clear-cut, but we only have a lymph
20 node cancer model in the ICRP models. So if it's
21 clearly a lymph cancer that's associated with the
22 lung region we'd use the lymph model, but outside
23 of that we would use the approach that we just
24 described above for number three, and use that in
25 the remainder organs, take the next highest

1 exposed organ and assign it.

2 It's claimant-friendly, but as you see from
3 my earlier examples, organs that are not
4 metabolically involved in the metabolism of the
5 radionuclide are orders of magnitude below in
6 exposure levels. So more than likely those
7 organs will not be compensable cancers in those
8 scenarios, but it is claimant-friendly. We'll
9 pick the highest dose.

10 Okay, with that, I will conclude my
11 formal remarks.

12 **DR. ZIEMER:** Well, the comments I made
13 before your last slide still hold. We do thank
14 you for that.

15 Let me ask if any of the committee members
16 have additional questions before you're seated
17 here.

18 [No responses]

19 **DR. ZIEMER:** Okay, thank you, Jim.

20 **MR. ELLIOTT:** If I could ask -

21 **DR. ZIEMER:** Oh, yes.

22 **MR. ELLIOTT:** I want you to be aware that
23 we've kind of been feeding you information here,
24 information yesterday about this dose
25 reconstruction rule, a little more information

1 today about the technical aspects of internal
2 versus external dose reconstruction. And in the
3 next meeting in February it's our intent to
4 present to you, in advance of that meeting so
5 that you have time to review them, the technical
6 guidelines for both internal and external dose,
7 okay? So I just wanted to give you a sense of
8 how I see this as progressively tasking the
9 Board. So you're going to get more detail next
10 month on this.

11 **DR. ZIEMER:** Jim, comment?

12 **DR. MELIUS:** Can I ask just in terms of the
13 process here of obtaining the information, is
14 there a formal agreement between NIOSH and DOE in
15 terms of getting - making available the different
16 types of information that will be necessary for
17 this process?

18 **MR. ELLIOTT:** We are working on that. We
19 have a draft Memorandum of Understanding in our
20 department going through review. The Department
21 of Energy is waiting for that to be sent over for
22 their examination, and that's our intent. Much
23 of this MOU does address the need and issues
24 surrounding provision of data and information.

25 **DR. MELIUS:** Because I thought NIOSH had,

1 with the regulation, done a good job of outlining
2 the various sources of information and might be
3 used, but those are also going to have to be made
4 available in order to use it. And it's not an
5 inconsiderable burden to obtain this with a lot
6 of difficulty, even in the best of circumstances.

7 **DR. ZIEMER:** Now I call attention to the
8 agenda. We're overdue for a break, but I notice
9 if we take the break then we are rapidly at our
10 closing time.

11 I'd like to ask the committee - well, my
12 feeling at this point is that we probably are not
13 at a point where we want to or will be able to
14 spend any extended time on looking through the
15 rule itself this afternoon. We at best would
16 have about 15 minutes and barely get into it.

17 On the other hand, my plane leaves very late
18 today, so I can stay if there's just a great
19 urgency or urge on the part of the committee
20 members just to stay on for two, three more
21 hours, why we can do that. But we actually have
22 put in a lot of time today. I think it's been
23 productive. And if there's no objection, we will
24 continue the working session on dose
25 reconstruction at the next meeting, where we will

1 get into the rule itself in more detail.

2 I do want to -

3 **DR. MELIUS:** Just one question, not saying
4 this will be necessary, but I assume that Larry's
5 offer to reopen the rule for comment still holds,
6 the dose reconstruction portion of the rule?

7 **MR. ELLIOTT:** We're going to check on
8 whether or not we actually have to do that to
9 effect a reopening of the comment - the record to
10 incorporate your comments, or if we can just add
11 them to the record at the point in time they're
12 available.

13 **DR. MELIUS:** Okay. That would actually be
14 helpful, I think, for some of our future issues
15 between now and - particularly between now and
16 when the whole process becomes operational, when
17 the rule becomes final. I think it'd be easier -

18 **DR. ZIEMER:** Thank you.

19 **DR. MELIUS:** - if we didn't have to do that,
20 because I do think - the sneak preview of the
21 Special Exposure Cohort process, I think, may
22 affect how we want to say - it actually may
23 affect how the rule would work, too, I think.

24 **DR. ZIEMER:** I'd like to remind the members
25 of the Board to provide to Larry Elliott the

1 information on their preparation times.

2 **MR. ELLIOTT:** And your calendar for -

3 **DR. ZIEMER:** And your calendar, if you
4 haven't already done that.

5 **MR. ELLIOTT:** I need to know - just write
6 down on that little pad there, Jim, one page, how
7 many hours or how many days you spent -

8 **DR. ZIEMER:** Your name and the hours of
9 preparation time.

10 **MR. ELLIOTT:** - preparing. And don't be
11 embarrassed -

12 **DR. ZIEMER:** I also would like to give
13 members of the public, if there's anyone else
14 here that did not have an opportunity to make
15 public comment but wishes to do so, we can
16 accommodate that at this point.

17 [No responses]

18 **DR. ZIEMER:** They're as anxious to leave as
19 everybody else.

20 We do appreciate the input we've gotten from
21 members of the public. Appreciate the good work
22 of the NIOSH staff and others who have
23 participated and supported the work of the Board,
24 and certainly appreciate the effort of the Board.
25 I think we made good progress in the last two

1 days, and we're off to a good start, and we
2 commend you on that effort.

3 I'd like to ask if anyone else has any
4 comments for the good of the order before we
5 adjourn?

6 Okay, a comment from Larry.

7 **MR. ELLIOTT:** Unless you have one -

8 **DR. MELIUS:** Well, probably the same
9 comment. Certainly thank our Chairman in doing
10 an excellent job in -

11 [Applause]

12 **DR. MELIUS:** - doing this process and
13 guiding us through the first meeting.

14 **DR. ZIEMER:** Thank you.

15 **DR. MELIUS:** You have to abstain from the
16 vote, but -

17 **MR. ELLIOTT:** That was a little bit of my
18 thunder. I was going to extend my appreciation
19 to Dr. Ziemer, as well as to the Board members.
20 I appreciate your time and your effort and the
21 difficulty it was in getting you all here, and
22 glad that we've had these two days together. I
23 think it's been very productive, and it's been
24 that because of the staff preparation time as
25 well as your own preparation time. So I do

1 appreciate that. Thank you very much.

2 **DR. ZIEMER:** Thank you, and we then declare
3 the meeting adjourned.

4 [Whereupon, the meeting was
5 adjourned at approximately
6 3:44 p.m.]

7 - - -

8

9

10

11

12

13

14

15

16

17

18

19

