

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON

RADIATION AND WORKER HEALTH

NEVADA TEST SITE

The verbatim transcript of the Working Group Meeting of the Advisory Board on Radiation and Worker Health held in Cincinnati, Ohio on March 27, 2007.

C O N T E N T S

March 27, 2007

WELCOME AND OPENING COMMENTS DR. LEWIS WADE, DFO	6
INTRODUCTION BY CHAIR	10
ENVIRONMENTAL INTAKES AT NTS	12
COMMENTS 6 AND 7	57
COMMENT 23	59
TBD DISCUSSION	71
COMMENT 1: RADIONUCLIDE LISTS	72
COMMENT 2: REACTOR TEST RE-ENTRY	84
COMMENT 3: DOSES FROM LARGE PARTICLES TO GI TRACT	94
COMMENT 4: ORO-NASAL BREATHING	98
COMMENT 8: EXTERNAL DOSE DATA FOR 1963-1966	99
COMMENT 9: ENVIRONMENTAL EXTERNAL DOSE DATA FOR 1968-1976	103
COMMENT 10: PRE-1963 EXTERNAL ENVIRONMENTAL DOSE	105
COMMENT 11: CORRECTION FACTORS	105
COMMENT 12: RADON DOSES IN G-TUNNEL	110
COMMENT 13: ENVIRONMENTAL DOSES DUE TO I-131 VENTING	114
COMMENT 14: INTERNAL DOSE FOR PRE-1967	121
COMMENT 15: BLAST WAVE	128
COMMENT 17: INGESTION DOSES	130
COMMENT 18: ORAUT-OTIB-0002	145
COMMENT 19: PRE-1966 BETA DOSE	148
COMMENT 20: INTENTIONAL NON-USE OF BADGES	150
COURT REPORTER'S CERTIFICATE	186

**TRANSCRIPT LEGEND**

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis ( . . . ) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

**P A R T I C I P A N T S**

(By Group, in Alphabetical Order)

BOARD MEMBERS

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.

Senior Science Advisor

National Institute for Occupational Safety and Health  
Centers for Disease Control and Prevention  
Washington, DC

MEMBERSHIP

1 CLAWSON, Bradley

2 Senior Operator, Nuclear Fuel Handling

3 Idaho National Engineering & Environmental Laboratory

MUNN, Wanda I.

Senior Nuclear Engineer (Retired)

Richland, Washington

PRESLEY, Robert W.

Special Projects Engineer

BWXT Y12 National Security Complex

Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.

Professor Emeritus

University of Florida

Elysian, Minnesota

IDENTIFIED PARTICIPANTS

BEHLING, HANS, SC&A  
CHANG, CHIA-CHIA, NIOSH  
ELLIOTT, LARRY, NIOSH  
HOWELL, EMILY, HHS  
KOTSCH, JEFF, DOL  
MAKHIJANI, ARJUN, SC&A  
MAURO, JOHN, SC&A  
MCDONOUGH, ALEX, SEN. HARRY REID  
NETON, JIM, NIOSH  
ROLFES, MARK, NIOSH  
ROLLINS, GENE, ORAU  
SMITH, CHERYL, DADE MOELLER AND ASSCS.

## **P R O C E E D I N G S**

1 (9:30 a.m.)

2

## WELCOME AND OPENING COMMENTS

DR. LEWIS WADE, DFO

3 DR. WADE: This is the work group. We're  
4 about to begin. This is Lew Wade and as  
5 always I have the privilege of serving as the  
6 Designated Federal Official for the Advisory  
7 Board. And this is a meeting of a work group  
8 of the Advisory Board. This work group is  
9 focused on the Nevada Test Site site profile.  
10 It's ably chaired by Robert Presley. Members  
11 are Munn, Clawson and Roessler. They are all  
12 here with us in the room.

16 (no response)

17                           **DR. WADE:** Clearly, we don't have a quorum  
18                           of the Board, and that's a good thing. So we  
19                           can do our business.

What I'd like to do is our usual sort  
of marathon introductions. We'll start by

1 going around the table here, and then I'll ask  
2 for on the phone other members of the  
3 NIOSH/ORAU team, other members of the SC&A  
4 team, other federal employees who are on the  
5 call by virtue of their employment, members of  
6 Congress, their staff, workers, worker reps,  
7 and then anyone who would like to be  
8 identified.

When we do our introductions,  
particularly for Board members, for NIOSH/ORAU  
and for SC&A, please identify if you have any  
conflicts relative to the topic today, and  
that's the Nevada Test Site. Then we'll  
conclude the introductory comments with some  
discussion of phone etiquette although we're  
getting better. We had two meetings  
yesterday, and they were relatively background  
noise free.

19 So this is Lew Wade. Again, I work  
20 for NIOSH and serve the Advisory Board.

23                           **DR. ROESSLER:** Gen Roessler, Board member,  
24                           no conflicts.

25 DR. MAURO: John Mauro, SC&A, no conflicts.

1                   **MR. ROLFES:** Mark Rolfes, NIOSH health  
2 physicist, no conflicts.

3                   **MS. MUNN:** Wanda Munn, Board member, no  
4 conflict.

5                   **DR. BEHLING:** Hans Behling, SC&A, no  
6 conflicts.

7                   **DR. MAKHIJANI:** Arjun Makhijani, SC&A, no  
8 conflict.

9                   **MS. HOWELL:** Emily Howell, HHS, no conflict.

10                  **DR. NETON:** Jim Neton, NIOSH, no conflicts.

11                  **MR. PRESLEY:** Robert Presley, Board member,  
12 no conflicts.

13                  **MR. CLAWSON:** Brad Clawson, Board member, no  
14 conflicts.

15                  **DR. WADE:** Okay, let's go out to telephone  
16 land and look for other members of the  
17 NIOSH/ORAU team.

18                  **MR. ROLLINS (by Telephone):** This is Gene  
19 Rollins, O-R-A-U team, no conflict.

20                  **MS. SMITH (by Telephone):** Cheryl Smith, O-  
21 R-A-U team, no conflicts.

22                  **DR. WADE:** Other members of the NIOSH/ORAU  
23 team?

24                  (no response)

25                  **DR. WADE:** Other members of the SC&A team?

1 (no response)

2 **DR. WADE:** Other members of the SC&A team?

3 (no response)

4 **DR. WADE:** Other federal employees who are  
5 on the line by virtue of their employment?

6 **MR. KOTSCH (by Telephone):** Jeff Kotsch,  
7 Department of Labor.

8 **DR. WADE:** Welcome, Jeff.

9 **MS. CHANG (by Telephone):** Chia-Chia Chang,  
10 NIOSH.

11 **DR. WADE:** Okay, Chia-Chia, we spoke to you  
12 earlier.

13 Any other federal employees?

14 (no response)

15 **DR. WADE:** Members of Congress, their staff,  
16 workers, worker reps?

17 **MR. McDONOUGH (by Telephone):** Alex  
18 McDonough, office of Senator Harry Reid.

19 **DR. WADE:** Welcome, sir.

20 Members of Congress, staff, worker,  
21 worker reps?

22 (no response)

23 **DR. WADE:** Anyone else who would like to be  
24 identified for the record?

25 (no response)

1                   **MR. PRESLEY:** Could we go back and get the  
2 person for Congressman Reid's office to  
3 identify, please?

4                   **DR. WADE:** Our court reporter had trouble  
5 picking up your name, sir.

6                   **MR. McDONOUGH (by Telephone):** Alex  
7 McDonough, office of Senator Harry Reid.

8                   **DR. WADE:** Thank you for joining us. We  
9 appreciate your time.

10                  Okay, again, relative to phone  
11 etiquette, please, if you're not speaking, put  
12 the phone on mute, put your equipment on mute.  
13 If you are speaking, speak into a handset as  
14 opposed to using a speaker phone. Be mindful  
15 of background noises. And sometimes if you  
16 put people on hold, there's elevator music  
17 that plays, and sometimes we get to hear that.  
18 Just again, a bit of thought about it and this  
19 will be a productive vehicle for the work  
20 group to be able to use.

21                  With that, Robert, it's up to you.

22 **INTRODUCTION BY CHAIR**

23                  **MR. PRESLEY:** If it's all right with  
24 everybody, what I would like to do is have a  
25 copy, and everybody should have it on their

1 computer, of the NIOSH's response to SC&A's  
2 issues for five, six, seven and 23. What I  
3 would like to do is for us to spend the  
4 majority of our time going through this and  
5 saying yea or nay on what we approve or  
6 disapprove. And then after we get this done,  
7 go back and start with issue one in the  
8 comments and go back through the matrix and  
9 try to iron out any problems that we have with  
10 any ongoing problems. Is that all right?

11 **DR. MAKHIJANI:** Just a clarification, we're  
12 not starting with the matrix?

13 **MR. PRESLEY:** If y'all want to start with  
14 the matrix we can.

15 **DR. MAKHIJANI:** No, no, no, I just wanted to  
16 know what we're starting with.

17 **MR. PRESLEY:** I just wonder about going  
18 ahead and spending, if you want to start with  
19 one, I have no problem with that.

20 **DR. MAKHIJANI:** Oh, you're starting with  
21 certain matrix numbers.

22 **MR. PRESLEY:** Yeah, what I would like to do  
23 is start with five, six, seven and 23, and  
24 let's go through this first and take care of  
25 it.

1                   **MR. ROLFES:** Just for clarification I just  
2                   wanted to make sure that everyone had received  
3                   those two separate e-mails that I sent out.  
4                   One contained the matrix, and the second  
5                   contained a white paper discussing comments --

6                   **DR. NETON:** It's the one that came out over  
7                   the weekend, right, Mark?

8                   **MR. ROLFES:** Yeah.

9                   **DR. WADE:** Does anybody need a hard copy?

10                  **MR. PRESLEY:** The matrix we want to use is  
11                  the one that's got a note at the top that says  
12                  Notes from 3-21-07. Is that correct?

13                  **MR. ROLFES:** Yes, I believe so.

14                  **MR. PRESLEY:** Mark, do you want to kick us  
15                  off and have a, since it's you all's comments.

16                  ENVIRONMENTAL INTAKES AT NTS

17                  **MR. ROLFES:** Well, a lot of the issues that  
18                  we're trying to address are the issues of  
19                  environmental intakes at Nevada Test Site.  
20                  And we've gone back and forth. We realized  
21                  our initial model had some gaps in it and some  
22                  shortcomings. And so we were in the process  
23                  of updating our Technical Basis Document to  
24                  address those gaps. And also at the same time  
25                  we were receiving comments from SC&A and the

Advisory Board members.

So in order to address those gaps we began with a new model, a mass-loading model. And also concurrently we had received some comments from Dr. Lynn Anspaugh, pointing out additional shortcomings. So I believe Gene Rollins is on the telephone.

**MR. ROLLINS (by Telephone):** Yes, I'm here,  
Mark.

**MR. ROLFES:** Okay Gene, would you like to go through what you have done to address some of the issues with the environmental intakes at Nevada Test Site?

MR. ROLLINS (by Telephone): At SC&A's request we went back and evaluated using a mass-loading model, using actual dust-loading factors experienced in the Yucca Mountain NTS environment. And when those factors were applied, the maximum intakes increased significantly not unexpectedly. And we went back and I have adjusted the numbers for maximum intakes in the TBD.

And in addition, I have revised the  
TBD to provide instructions to dose  
reconstructors about how these maximum intakes

1                   should be applied. I hope everybody has had  
2                   an opportunity to read the attachment because  
3                   there's some very important words towards the  
4                   end about how these intakes should be applied.

5                   Simply put these intakes are really  
6                   only going to be important in terms of  
7                   probability of causation for a small number of  
8                   organs. And that would be mostly respiratory,  
9                   liver and bone surfaces. So what I have  
10                  proposed to do, even though these numbers can  
11                  get, these intakes can get fairly high doses  
12                  to these particular organs, what I propose to  
13                  do is we will apply the maximum intakes to all  
14                  cancers across the board, and then we will  
15                  determine whether or not those intakes are  
16                  affecting compensability.

17                  And if those intakes are affecting  
18                  compensability, then the dose reconstructor  
19                  will have to, as you will, sharpen his pencil  
20                  and to try to figure out whether they are  
21                  reasonable or not. And there are a number of  
22                  circumstances that are outlined in the  
23                  verbiage that I've added to the TBD that will  
24                  allow the dose reconstructor some discretion  
25                  as to how these intakes are applied.

1                   But I guess what we need to decide  
2 among us today is whether or not these maximum  
3 intakes as calculated by the mass-loading  
4 model are indeed bounding and whether  
5 additional adjustments need to be made. And  
6 so I guess I would like to open up what I've  
7 done to discussion to see what type, what your  
8 feelings are about how we're applying them  
9 now.

10                  I have provided some tables in the  
11 back, about page six that give you an idea of  
12 the magnitude of the doses. These numbers --  
13 you've seen these before by the way. They've  
14 been adjusted slightly. But these are 30-year  
15 organ doses resulting from ten years of intake  
16 at the maximum intakes that have now been  
17 adjusted as shown in Table 1 which is on page  
18 five of the white paper.

19                  And you can see, the first column  
20 there on Table 1, those were the maximum  
21 intakes that were in the original Rev. 0 of  
22 the TBD. And then the next column over is the  
23 maximum using the mass-loading model including  
24 Area 30 which I have given several reasons in  
25 this paper as to why we don't think it's

1                   appropriate to use Area 30. So the third  
2                   column there are the annual maximum annual  
3                   intakes without Area 30 included in the  
4                   weighting.

5                   Now, I'd like to point out that  
6                   there's some text in this white paper, I  
7                   didn't have a chance to go through it real  
8                   thoroughly, but on the second page under  
9                   Response 5, the first paragraph, there's some  
10                  discussion there about the use of average  
11                  intakes. And that will have to be removed.  
12                  That should not have been in this white paper.  
13                  I thought I'd gotten it out, but it somehow  
14                  crept back into this paper.

15                  **DR. MAKHIJANI:** How does the paragraph  
16                  start?

17                  **MR. ROLLINS (by Telephone):** The paragraph  
18                  starts Response 5 in bold on page two, and you  
19                  can just, if you would, please, --

20                  **DR. ROESSLER:** Gene, you're going awfully  
21                  fast. Are we on the just one document now?

22                  **MR. ROLLINS (by Telephone):** Correct.

23                  **DR. ROESSLER:** Okay, and I found Table 1,  
24                  and I found Table 2. Now where are you?

25                  **MS. MUNN:** Now he's gone back to page two.

1                   **DR. ROESSLER:** Page two.

2                   **MS. MUNN:** Response 5.

3                   **MR. ROLLINS (by Telephone):** Okay, I'm  
4 sorry.

5                   **DR. ROESSLER:** Response 5, is that correct?

6                   **MR. ROLLINS (by Telephone):** Yes, the last  
7 two sentences of that first paragraph should  
8 be deleted. We're not going to be dealing  
9 with average intakes anymore.

10                  **DR. MAKHIJANI:** So from, "It should be noted  
11 that average values ..."

12                  **MR. ROLLINS (by Telephone):** Correct, just  
13 delete that to the end of the paragraph.  
14 Although what I've said here is really still  
15 true because the average intakes because  
16 they're much smaller, they really don't impact  
17 compensability at all and so we don't have to  
18 consider them. That's why I'm going to  
19 simplify the TBD, and we're not going to be  
20 discussing the application of average intakes.

21                  **MR. PRESLEY:** Gene, Bob Presley, you're  
22 taking out the last three sentences in that  
23 first paragraph. Is that correct? Where it  
24 says, "However, average intakes...?"

25                  **MR. ROLLINS (by Telephone):** That's correct.

1                   **DR. ROESSLER:** That's three sentences or  
2                   lines?

3                   **MR. PRESLEY:** That's three lines. I'm  
4                   sorry.

5                   **DR. ROESSLER:** I think it's actually, and  
6                   get the sentence before that, too, Bob, where  
7                   it starts, "It should be noted..."

8                   **MR. PRESLEY:** Oh, okay, I'm sorry. I got  
9                   it.

10                  **MR. ROLLINS (by Telephone):** Just get all of  
11                  that out of there because that's really not  
12                  important to the discussion anymore.

13                  **MR. PRESLEY:** Thank you.

14                  **DR. ROESSLER:** Gene, this is Gen Roessler.  
15                  I'm getting up to speed here. You mentioned  
16                  Area 30, and I lost, I didn't catch why Area  
17                  30 is not included.

18                  **MR. ROLLINS (by Telephone):** Area 30 is a  
19                  very remote area of the site where they did  
20                  the PLOWSHARE, some of the PLOWSHARE projects  
21                  like basically digging trenches. It's a  
22                  relatively small area, inaccessible and  
23                  typically not inhabited by anybody. It's  
24                  where a lot of the soil contamination still  
25                  resides because of the nature of the tests

1                   that were done there.

2                   **DR. MAKHIJANI:** I had a question about that,  
3                   Gene. Are there job cards similar to Rocky  
4                   Flats at NTS that would allow you to determine  
5                   like who went out there to do the digging and  
6                   so on as opposed to who did not? I haven't  
7                   noticed such job cards, but then I haven't  
8                   gone through every DOE file in the claimant  
9                   files so I don't know.

10                  **MR. ROLLINS (by Telephone):** My  
11                  understanding is, and my experience in looking  
12                  over some of the records and doing the actual  
13                  dose reconstructions, people that were allowed  
14                  or approved to go into these areas of high  
15                  contamination, they would have gone in on a  
16                  radiation work permit, and they would have  
17                  entry cards issued by Nevada Operations.

18                  **DR. MAKHIJANI:** And that would be in their  
19                  DOE record that you would get when NIOSH  
20                  requested the DOE record, that entry permit?

21                  **MR. ROLLINS (by Telephone):** Yes.

22                  **MR. PRESLEY:** Gene, this is Bob Presley  
23                  again. Plus there ought to be dates where  
24                  they kept that area closed down. You know,  
25                  that was one of the areas where you just did

1                   not go in unless you had a valid reason to.

2                   Do you agree?

3                   **MR. ROLLINS (by Telephone):** Yes, I do  
4                   agree.

5                   **DR. MAKHIJANI:** And was there typically like  
6                   bioassay done after people went there or  
7                   that's the thing, I mean --

8                   **MR. ROLLINS (by Telephone):** I can't respond  
9                   what their, I don't know exactly what their  
10                  criteria was for bioassaying the people coming  
11                  in and out of areas of known contamination. I  
12                  can research that and get back to you, but I  
13                  don't know exactly what that criteria would  
14                  be.

15                  **DR. MAKHIJANI:** Because, I mean, if we're  
16                  excluding Area 30, the implicit assumption is  
17                  that whoever went in there was appropriately  
18                  monitored so it'd be in the record. So you  
19                  don't need to pay special attention to that  
20                  area in terms of the (unintelligible) dose.  
21                  So it would be good to see, I think it would  
22                  be good to just verify in a couple of examples  
23                  that that's actually the case unless there's  
24                  documentation otherwise or some procedure or  
25                  something like that.

1                   **MR. PRESLEY:** Gene, this is Bob Presley.

2                   Have you run up on any documentation on that  
3                   that shows when that area might have been  
4                   opened for entry and when it may have been  
5                   closed for entry?

6                   **MR. ROLLINS (by Telephone):** No, not  
7                   personally, but I'm sure it exists.

8                   **MR. PRESLEY:** Yeah, because I have never  
9                   been up there, but if my memory serves me  
10                  correctly, you had to come up with all kinds  
11                  of special permission and a real need to even  
12                  begin to get close to that place.

13                  **MR. ROLLINS (by Telephone):** That's my  
14                  understanding, also, Bob.

15                  **DR. MAURO:** Gene, this is John Mauro. I've  
16                  got a couple of questions that go into the  
17                  actual resuspension model and the assumptions.  
18                  And I do have a document in front of me called  
19                  Attachment B, Mass-Loading Model. I assume  
20                  that's the correct document to be working  
21                  from.

22                  **MR. ROLLINS (by Telephone):** Right.

23                  **DR. MAURO:** And first of all I think that  
24                  this is the strategy in my opinion that is the  
25                  most relevant, that is, a dust loading as

opposed to a resuspension model for the older radionuclides. Then in going into the key parameters I sort of circled three. And the first one is I see you've adopted -- and correct me if I'm wrong -- a default value of a dust-loading five milligrams per cubic meter as being, that's the assumed dust concentration.

**MR. ROLLINS (by Telephone):** Where are you, John?

**DR. MAURO:** I'm on the first -- see, I may not have -- I'm on a document called Appendix B, by Rollins, and the very first page has the equation in the middle of the page and then the definition of each of the terms.

**MR. ROLLINS (by Telephone):** You can also find this in Attachment 1 to the white paper. It's on page 12.

**DR. MAKHIJANI:** Oh, so that's the same as Attachment B that --

**MR. ROLLINS (by Telephone):** Same as  
Attachment B, correct.

**DR. MAURO:** Now, I just want to confirm, so your dust loading is five milligrams per cubic meter. For anyone where you applied this

1                   model, I understand that there were only  
2                   certain circumstances and people under which  
3                   you would apply the model, but when it is  
4                   being applied, it's assumed that for whatever  
5                   time period the person's out there in the  
6                   field doing his job, you're going to assume  
7                   that during that time period he's chronically  
8                   exposed to five milligrams per cubic meter of  
9                   dust loading?

10                  **MR. ROLLINS (by Telephone):** That's the  
11                  starting point. It's been pointed out to me  
12                  that that might be a little on the high side,  
13                  but I --

14                  **DR. MAURO:** I agree.

15                  **MR. ROLLINS (by Telephone):** -- that was for  
16                  what was termed an active environment.

17                  **DR. MAURO:** Yes, and I would agree certainly  
18                  there will be time periods when it could go  
19                  higher, but not for a protracted time period.  
20                  So I mean, my first reaction just for the  
21                  benefit is -- And in reading this over the  
22                  weekend getting ready for the meeting, my  
23                  first reaction was that's a good number.

24                  **MR. PRESLEY:** Claimant favorable.

25                  **DR. MAURO:** Yeah, a claimant favorable

1                   number. Here I'm showing some of my  
2                   ignorance. A relaxation length, one  
3                   relaxation length is E to the minus 1? Right?  
4                   And that number is what, 2.7? In other words  
5                   I'm trying to get to the depth of -- So in  
6                   other words --

7                   **DR. MAKHIJANI:** One over two lengths.

8                   **DR. MAURO:** One over two, so therefore,  
9                   you're saying -- let me see -- the average  
10                  activity, in other words, you're starting with  
11                  Becquerels per meter squared from an aerial  
12                  survey or some other data, and you're now  
13                  going to convert that to Becquerels per gram.  
14                  You have to get that conversion.

15                  So what you're saying is all those,  
16                  there is actually an exponentially declining  
17                  concentration vertically in the soil with a  
18                  relaxation length of 2.3 centimeters. Just to  
19                  help me out a little, that puts what  
20                  percentage of that total activity, that  
21                  Becquerels per meter squared, in what depth?  
22                  Could you help me out with that? I just want  
23                  a feeling whether or not you're putting the  
24                  activity --

25                  **MR. ROLLINS (by Telephone):** I believe,

1                   John, it puts most of it in the first three  
2                   centimeters.

3                   **DR. MAURO:** Good, that's what I thought. I  
4                   just wanted to, by the way, when I say good,  
5                   I'm giving you my own reaction. And certainly  
6                   other folks may not necessarily agree.

7                   **DR. MAKHIJANI:** Yeah, it'd be about 70  
8                   percent, I think the first three things, maybe  
9                   75.

10                  **DR. MAURO:** Especially if it's aged,  
11                  somewhat aged. In my opinion, my familiarity  
12                  with the subject, that's a good conservative  
13                  assumption.

14                  Now, the only place -- and then I'll  
15                  step back after this -- in looking at the  
16                  models I noticed that you have all these  
17                  different areas. You have sort of broken up  
18                  the whole site into 30 areas, each having its  
19                  own radionuclide concentration distribution.  
20                  But later on you had mentioned that you're  
21                  assuming that you're going to actually apply  
22                  this resuspension model to the activity  
23                  averaged over a 500 square mile area. Is that  
24                  correct?

25                  In other words the area, in other

1                   words the person that's being exposed, that is  
2                   out there, you're not going to say, well, he  
3                   was in Area number, you know, number eight,  
4                   for so many hours. You're basically saying  
5                   that, no, we're going to assume that whatever  
6                   he experiences is averaged over a 500 square  
7                   mile area. I'm getting that out of page five  
8                   of the Appendix B that the heading of the  
9                   paragraph is Spatial Variations in  
10                  Radionuclide Soil Concentrations. And I have  
11                  to say that 500 square miles, as I understand  
12                  the write up, is quite a large area to average  
13                  over, and it may not --

14                  **MR. ROLLINS (by Telephone):** Actually, John,  
15                  these maximum intakes that are provided in  
16                  Table -- what is it? Table 1 there or Table  
17                  4.2.2-3 of the Rev. 1 TBD, those are actually  
18                  maximum for any area.

19                  **DR. MAURO:** Okay.

20                  **MR. ROLLINS (by Telephone):** So it's not  
21                  really even averaged.

22                  **DR. MAURO:** Okay, so what is this 500 square  
23                  mile? I'll read the sentence. "Currently,  
24                  the area used in developing the concentrations  
25                  represent approximately one-third of the site

1                   or 500 square miles." I guess I misread that.

2                   **MR. ROLLINS (by Telephone):** Well, I  
3                   probably wrote it poorly which is why you were  
4                   confused.

5                   **DR. MAURO:** So you actually did work with  
6                   the smaller areas?

7                   **MR. ROLLINS (by Telephone):** That's correct.

8                   **DR. MAURO:** Excellent. Okay, I have no more  
9                   comments.

10                  **MS. MUNN:** I understood, the way that the  
11                  table was laid out, I understood we were  
12                  having an opportunity to look at those  
13                  dispersions including Area 30 which is highly  
14                  improbable. I doubt that there's more than a  
15                  dozen people that would be involved in that,  
16                  and without Area 30 which is the more logical  
17                  one. I had interpreted that as being the  
18                  reason we were making that, unless you can  
19                  identify that the individual was, in fact, in  
20                  Area 30, then Area 30 really should not apply.  
21                  Am I reading that correct, Mark?

22                  **MR. ROLFES:** Correct, yes.

23                  **MR. ROLLINS (by Telephone):** Maybe it will  
24                  help you a little bit if you start reading the  
25                  reasons that I have provided for why we

1                   believe it's claimant favorable. And number  
2                   one basically says the 39.3 Becquerels per  
3                   year, which is the maximum intake that we will  
4                   be applying, was calculated using the mass-  
5                   loading model only for Area 8 which happens to  
6                   be the area of highest soil contamination. So  
7                   when we give that individual 39.3 Becquerels  
8                   in a year what we're basically assuming is  
9                   that he was out there in Area 8 2,600 hours  
10                  for the year.

11                  **DR. MAKHIJANI:** Highest for what  
12                  radionuclide, Gene?

13                  **MR. ROLLINS (by Telephone):** Well, in this  
14                  particular case it was Plutonium-239.

15                  **DR. MAKHIJANI:** Now what, is there a time  
16                  cutoff closer than what you don't apply this?  
17                  That is, you're applying the mass-loading long  
18                  after deposition is there. I forgot whether  
19                  you defined that long or is this the model to  
20                  be applied whenever people go in?

21                  **MR. ROLLINS (by Telephone):** This is, we're  
22                  basically going to apply this. And you  
23                  remember the original resuspension basically  
24                  leveled out after about two years.

25                  **DR. MAKHIJANI:** Right.

1                   **MR. ROLLINS (by Telephone):** And that's when  
2                   it was pointed out to me that it would be  
3                   appropriate to move to a mass-loading model.  
4                   As it turns out, the way that I have applied  
5                   this mass-loading model, it will, in my  
6                   opinion, you could look at it or we could talk  
7                   about it, but my mass-loading model the way  
8                   it's designed right now will continue to  
9                   overestimate potential intakes even for  
10                  periods less than two years.

11                  Is that what you're asking?

12                  **DR. MAKHIJANI:** Yeah, that is what I'm  
13                  asking, and the reason I'm asking that is not  
14                  because of the mass-loading factor there in  
15                  your equation, but because of the radionuclide  
16                  list. I think Dr. Anspaugh pointed out when  
17                  you get close to the time of the tests, you  
18                  have to worry about the short-lived  
19                  radionuclide.

20                  **MR. ROLLINS (by Telephone):** I would like to  
21                  make an observation on that. As you can  
22                  imagine, those calculations can become quite  
23                  complex when you get into short times after  
24                  time zero. Even Dr. Anspaugh and others have  
25                  agreed that dose from fission and activation

1                   products is bounded by external exposure. And  
2                   so it's my belief that anybody that was near  
3                   these areas, especially after 1957, would have  
4                   had external dosimetry; and therefore, they  
5                   would have measured this exposure to the  
6                   fission and activation products.

7                   **DR. MAKHIJANI:** I don't know that I agree  
8                   that, I mean, the whole problem in that  
9                   initial period as I read it is that that was  
10                  the assumption then. That is, the external  
11                  exposure's the main thing. And then when we  
12                  went back to try to look at that assumption,  
13                  it turned out that in many cases it wasn't  
14                  right, but internal exposure potential was  
15                  important which is why we have to go through  
16                  all this stuff. And so that's the question  
17                  that I'm raising.

18                  **DR. ROESSLER:** From the very short-lived  
19                  things? Isn't that what you're talking about  
20                  now?

21                  **DR. MAKHIJANI:** Maybe not, maybe not from  
22                  the short-lived.

23                  **DR. ROESSLER:** Yeah, I think that was the  
24                  point here.

25                  **MR. PRESLEY:** I don't know how you're going

1                   to get an ingestion on those short-lived  
2                   things because, I mean, there was very few  
3                   people around the thing, and there was, at  
4                   that time there was nothing in the air or  
5                   ingestion or anything like that to get. It  
6                   would have to be an external exposure.

7                   **DR. MAURO:** By way of orientation for me  
8                   now, my understanding was this model is being,  
9                   was developed and is going to be used, for  
10                  post-'62 time period.

11                  **MR. ROLLINS (by Telephone):** That's correct.  
12                  That's correct.

13                  **DR. MAKHIJANI:** So actually this is --

14                  **DR. MAURO:** So in other words, what we're  
15                  saying is all the tests have been completed so  
16                  therefore, what we really have here is  
17                  residual radioactivity on the ground from  
18                  previous tests. And we're making an  
19                  assumption that by and large it's aged to the  
20                  degree that it has commingled to some degree  
21                  with the soil. As a result, a dust-loading  
22                  model makes sense. Certainly, if it was  
23                  during the test period where you have fresh  
24                  fallout then one could question whether you  
25                  would use dust-loading.

1                   **DR. MAKHIJANI:** No, I agree. It's the post-  
2 atmosphere.

3                   **DR. MAURO:** For pre that's a different  
4 problem.

5                   **DR. MAKHIJANI:** And I think that caveat just  
6 has to be up front or I woke up too early or  
7 something.

8                   **MR. CLAWSON:** This is Brad Clawson. I have  
9 a question here. It says, "therefore this  
10 intake does not apply to miners or tunnel  
11 workers". I guess my question is when we were  
12 in Nevada, we heard many people discuss their  
13 question of their classification because they  
14 were actually a mechanic out of the central  
15 facility out there that if they needed a  
16 mechanic or whatever, he would go up to the  
17 tunnels, work on that, but he worked  
18 throughout the whole test site.

19                  And is there a very distinguishing  
20 between the miners and the tunnel workers as  
21 far as this overall workforce that they had.  
22 I understand why you feel the miners and the  
23 tunnel workers wouldn't be there, but I think  
24 they kind of had a commingling of people that  
25 went in and out of there.

1                   **MR. ROLLINS (by Telephone):** My experience  
2                   in looking over the records with the entry  
3                   logs it's fairly easy to tell those that were  
4                   working underground and those that were not.

5                   **MR. CLAWSON:** Okay.

6                   **DR. MAKHIJANI:** John, has Lynn looked at  
7                   this, Lynn Anspaugh?

8                   **DR. MAURO:** No, I don't recall him  
9                   specifically.

10                  **DR. MAKHIJANI:** I don't think he's had a  
11                  chance to come. Have you all sent it to Dr.  
12                  Anspaugh?

13                  **MR. ROLFES:** We've sent it out probably  
14                  about four times and didn't get any comments  
15                  on it.

16                  **DR. MAKHIJANI:** I guess we have to call him.

17                  **DR. MAURO:** Well, I'll give him a call. I'd  
18                  like to hear what he has to say, but as I  
19                  said, my reaction was just fundamentally  
20                  exactly what I sort of had in mind when I made  
21                  the comment originally.

22                  **DR. MAKHIJANI:** Right, because basically,  
23                  this is your comment that --

24                  **DR. MAURO:** That was my comment from the  
25                  beginning.

1                   **DR. MAKHIJANI:** It would be good to have,  
2 since a lot of this started, since a lot of  
3 this started with Dr. Anspaugh's paper, and  
4 the interpretation of the paper I think would  
5 kind of close that circle. It would be good  
6 to have his comments so maybe I can --

7                   **DR. MAURO:** I'll take care of it.

8                   **MS. MUNN:** You've seen it. Please say  
9 something.

10                  **MR. PRESLEY:** Go ahead. I'm sorry.

11                  **DR. MAKHIJANI:** No, Mr. Presley, I was just  
12 telling John that since a lot of the, this  
13 questioning of the resuspension model started  
14 with the interpretation of Dr. Anspaugh's  
15 paper, that it would be good to close the loop  
16 on this to get a response from him about this.  
17 Because if you'll remember, in our review of  
18 the site profile we had a different  
19 interpretation of Dr. Anspaugh's paper than  
20 what NIOSH had. And so we asked Dr. Anspaugh  
21 to comment on it, and he had some criticisms.  
22 And so this came out of that. So I thought it  
23 would be good if we got some kind of answer  
24 from him, if you agree.

25                  **MS. MUNN:** So whose action is that?

1                   **DR. MAURO:** Mine, point of clarification  
2                   though, originally the model was a  
3                   resuspension-factor model where resuspension  
4                   factor as low as ten to the minus nine per  
5                   meter was one of the parameters. And so our  
6                   reaction was that's awful low, and perhaps,  
7                   especially if we're talking about age, this  
8                   sort of sets the perspective for age fallout.  
9                   You wouldn't use a resus -- So but at that  
10                  point we said let's talk to Lynn and see what  
11                  he thinks, and that's when we brought him in.

12                  So what really started out was how do  
13                  you best use his resuspension-factor model for  
14                  this kind of situation. And the answer was,  
15                  well, you really don't use it. You use the  
16                  dust-loading model. So I think that, I mean,  
17                  I won't speak for him, of course, but I think  
18                  that the very fact that that we converted to a  
19                  dust-loading model is going to be a very  
20                  favorable.

21                  Now, of course, he may have some  
22                  commentary on the five milligrams. He may  
23                  have some commentary on the vertical profile  
24                  depth. I don't know. I gave you my response.  
25                  I suspect that he'll have an opinion on that

1                   and also interesting because he knows the site  
2                   so well, his perspective on the data that was  
3                   used to characterize different contamination  
4                   areas, the different Areas 1 through 30, and  
5                   whether or not, yeah, that's probably good  
6                   numbers.

7                   And so, yeah, it'd be great to have  
8                   him. I will take it as an action item to  
9                   forward this on to him and just ask him if he  
10                  had, because I don't think it'd take very much  
11                  time for him to read it and give us his  
12                  impressions if that's okay.

13                  **DR. ROESSLER:** It looks to me like the  
14                  numbers you're looking at in that model were  
15                  taken from his paper.

16                  **DR. MAURO:** Oh, is that right?

17                  **DR. ROESSLER:** The reference is right above  
18                  there, and I'm assuming those were Lynn's  
19                  numbers.

20                  **MR. ROLFES:** Yeah, we referenced quite a few  
21                  of his documents.

22                  **MR. PRESLEY:** I won't speak for the whole  
23                  working board. I have no problem with this,  
24                  but I would like to have his comments back  
25                  ASAP to the Board and to Mark. So if there's

1                   anything that we need to work with and change  
2                   and we can do this. What say you, Board?

3                   **MS. MUNN:** If we need to talk about this  
4                   particular point again, I'd like for us to be  
5                   able to do it at least on a conference call  
6                   before our next meeting.

7                   **MR. PRESLEY:** Right, because I mean, this is  
8                   something right here that's about as claimant  
9                   favorable as you can possibly get.

10                  **MS. MUNN:** Yeah, that's as far over backward  
11                  as you can go without turning back flips.

12                  **MR. ROLLINS (by Telephone):** This is Gene  
13                  Rollins. I would encourage everyone to read  
14                  the reasons provided for why we believe the  
15                  model to be claimant favorable. I think I've  
16                  numbered them there, one, two, three, four,  
17                  five.

18                  **DR. MAKHILJANI:** What page are you on?

19                  **MR. ROLLINS (by Telephone):** Any part of  
20                  those discussions that you don't understand or  
21                  I haven't explained adequately, please get  
22                  back with us and give us a chance to explain  
23                  it better.

24                  **DR. ROESSLER:** This is page two of the mass-  
25                  loading --

1                   **MR. PRESLEY:** Yeah, about halfway down where  
2 it starts.

3                   **DR. ROESSLER:** "NIOSH believes this guidance  
4 to be claimant favorable for several reasons:"

5                   **DR. NETON:** Gene, this is Jim Neton. Now,  
6 you did say earlier though that this would be  
7 applied as a worst case analysis for a  
8 claimant unless he's gotten in the position  
9 where there was, there needed to be a better  
10 estimate, right?

11                  **MR. ROLLINS (by Telephone):** That's correct.

12                  **DR. NETON:** And is that guidance in here  
13 somewhere?

14                  **MR. ROLLINS (by Telephone):** If you go back  
15 to the attachments where I've actually  
16 inserted, the attachment is actually the  
17 proposed revision to Section 4.2.2 of the  
18 Technical Basis Document.

19                  **DR. NETON:** That's Attachment 1 to this  
20 white paper that came out over the weekend,  
21 correct?

22                  **MR. ROLLINS (by Telephone):** Correct. And  
23 if you go back, the discussions and the advice  
24 and the directions to the dose reconstructors  
25 starts on about 14 and gets into the meat of

1                   the situation about page 15.

2                   **DR. NETON:** I think that's important for  
3                   people to look at because, again, this model  
4                   is very claimant favorable and is not  
5                   necessarily going to be applied to all  
6                   claimants. So I think a good look at the  
7                   rationale in Attachment 1 would be appropriate  
8                   as well.

9                   **DR. MAKHIJANI:** Would you clarify that, Jim,  
10                  that this would be applied only in the so-  
11                  called worst case denial or also for  
12                  compensability?

13                  **DR. NETON:** No, I believe what Gene said was  
14                  this would be a worst case analysis for  
15                  denials.

16                  **MR. ROLLINS (by Telephone):** And in cases  
17                  where it affects compensability which will be  
18                  for the organs of the respiratory tract and  
19                  possibly liver and possibly bone surfaces, the  
20                  instructions allow dose reconstructors  
21                  discretion as to how these intakes are to be  
22                  applied.

23                  **DR. NETON:** Could be applied, but there's  
24                  some discretion there.

25                  **DR. BEHLING:** Could I ask a quick question

1                   regarding Table 2, the particular tissues?

2                   What is LN? Is that lymph node?

3                   **MR. ROLFES:** Yes.

4                   **DR. MAKHIJANI:** So in principle a dose  
5                   reconstructor could look at a claim and apply  
6                   this 39 Becquerel intake, and if you get over  
7                   50 percent, the person could be compensated?

8                   **DR. NETON:** In principle they could, but I  
9                   guess, I haven't read through this attachment  
10                  yet, but I'm assuming that there are factors  
11                  that come into play like 2,600 work hours and  
12                  the area and that sort of thing.

13                  **DR. MAURO:** Yeah, Gene, this is John Mauro  
14                  again. It sounds to me that when you use the  
15                  worst-case assumptions, for example, regarding  
16                  where he was located, duration of exposure,  
17                  the five milligrams per cubic meter, you're  
18                  placing what I would call a plausible upper  
19                  bound. I wasn't quite sure whether you're  
20                  going to be using this exclusively for denials  
21                  or possibly use it for a compensation also.  
22                  And that's what you mean by leaving it up to  
23                  the discretion of the dose reconstructor?

24                  I see there was some language in here  
25                  whereby there was judgment by the dose

1                   reconstructor on how he will apply this. It  
2                   will be up to him. And I guess at that point  
3                   it's really on a case-by-case basis then. And  
4                   I wasn't quite sure of how much leeway, you  
5                   know, how that would be done if, in fact, he  
6                   decides to do something different than what's  
7                   in Appendix B.

8                   **MR. ROLLINS (by Telephone):** John, the only  
9                   situation that I could envision where these  
10                  intakes would be applied in a compensable case  
11                  would be one that the job description would  
12                  indicate that the individual spent a majority  
13                  of the time outdoors and either he was in Area  
14                  8 the entire time or we don't know where he  
15                  was. I don't think those situations are going  
16                  to present themselves very often, but they  
17                  could.

18                  **DR. MAKHIJANI:** At least that clarifies it.

19                  **MS. MUNN:** And thank you for that language  
20                  on page 14. Until I got to that part I was  
21                  particularly concerned about how these  
22                  extraordinarily over-favorable numbers were  
23                  going to be applied. So thank you for that.

24                  **MR. ROLLINS (by Telephone):** What this will  
25                  allow us to do is to efficiently process a

1                   large number of claims as far as these  
2                   environmental intakes are concerned.

3                   **MS. MUNN:** That's good.

4                   **DR. MAKHIJANI:** I had one other question.  
5                   You have under Table 1, I guess that's 4-point  
6                   -- I've got two different documents open, and  
7                   you see under the assumption that 50th  
8                   percentile expected intakes are those in  
9                   Tables 2 and 3, the 95<sup>th</sup> percentile value would  
10                  be (unintelligible) by a factor of plus or  
11                  minus ten. And I just wondered where that  
12                  plus or minus ten came from.

13                  **MR. ROLLINS (by Telephone):** There really is  
14                  not much technical basis in that. It was just  
15                  professional judgment, but in fact, the way  
16                  these intakes are currently being applied  
17                  since they are bounding --

18                  **DR. MAKHIJANI:** It's on page 12.

19                  **MR. ROLLINS (by Telephone):** I understand  
20                  what you're asking, but the way these intakes  
21                  are typically being applied now is because  
22                  they are bounding upper, they are upper  
23                  bounds. They are being applied as constants.  
24                  So the geometric standard deviation doesn't  
25                  come into play.

1                   **DR. MAKHIJANI:** So which is the upper bound?  
2                   Is it the 95<sup>th</sup> percentile and you already  
3                   multiplied by ten or is it the 50<sup>th</sup> percentile  
4                   that's the upper bound? I'm a little confused  
5                   here.

6                   **MR. ROLLINS (by Telephone):** There has not  
7                   been a statistical evaluation performed on  
8                   this data. If you're looking for the  
9                   variability in the data, that has not been  
10                  done.

11                  **DR. MAKHIJANI:** Yeah, I kind of gathered  
12                  that, you know, from reading this that there  
13                  wasn't, that this was a kind of a judgment  
14                  number.

15                  **MR. ROLLINS (by Telephone):** It is.

16                  **DR. MAKHIJANI:** But we've got to have  
17                  something that underpins the judgment, and now  
18                  I don't know whether the numbers that we're  
19                  talking about in intakes are your 50<sup>th</sup>  
20                  percentiles, which are those in Tables 4.2.2-2  
21                  and dash-3, and the 95<sup>th</sup> percentile values so  
22                  upper 95<sup>th</sup> percentile would be ten times that.  
23                  So you're not proposing to use that 95<sup>th</sup>  
24                  percentile --

25                  **MR. ROLLINS (by Telephone):** I would not

1                   characterize those intakes the way you're  
2                   trying to characterize them.

3                   **DR. MAKHIJANI:** I'm just reading from here  
4                   so I'm just, I guess, not understanding that  
5                   sentence.

6                   **DR. ROESSLER:** But you're jumping from a  
7                   table in Appendix B back to a table in, of the  
8                   document I think.

9                   **DR. MAKHIJANI:** No, I am in that document on  
10                  page 12.

11                  **MR. ROLLINS (by Telephone):** I am probably  
12                  going to have to rework that sentence because  
13                  I don't think that's appropriate to have that,  
14                  the way that I have presented this data, it's  
15                  not an average. It's not a mean. It's not 50  
16                  percentile. It's actually, what I'm  
17                  presenting here are maximums. And average,  
18                  those really aren't true averages because they  
19                  aren't even weighted averages. So I need to  
20                  go back and look over that language again  
21                  because I don't think it's correct, and I'm  
22                  glad you pointed that out to me.

23                  **DR. MAKHIJANI:** Where is equation 4-1?  
24                  Because you say you're going to calculate your  
25                  GSD from that so I'm just trying to find it

1 here.

2           **MR. ROLLINS (by Telephone):** That's in the  
3 early part. That's a pretty standard  
4 equation. That's in the early part of the  
5 TBD.

6           **DR. MAKHIJANI:** Oh, 4-1 in the TBD.

7           **MR. ROLLINS (by Telephone):** Right. See,  
8 what you're reading is actually an insert  
9 that's going into the TBD.

10          **DR. MAURO:** Gene, this is John Mauro again.  
11 I have a quick observation. I'm just looking  
12 at the combination of assumptions. The way I  
13 look at it is you pick the dust loading, which  
14 right off the bat, which is a chronic five  
15 milligrams per cubic meter, you've already  
16 capped it off. From then on all the other  
17 parameters that you might want to use, such as  
18 Becquerels per square meter, probably should  
19 be your best estimates because you don't want  
20 to have an upper bound, you don't want to use  
21 an upper -- in other words, a five milli --  
22 basically, I'm backing off in terms of the  
23 degree of conservatism. I'm saying that if I  
24 understand the model correctly, you have come  
25 up with a deterministic model which places a

1                   plausible upper bound for screening purposes.  
2                   Because by adopting five milligrams, you've  
3                   capped it. Then after that if you're going to  
4                   say, well, what do I use for my Becquerels per  
5                   meter squared, it seems to me in keeping with  
6                   the philosophy that has been embraced by NIOSH  
7                   and we've agreed with, is you don't pick, if  
8                   you have four or five parameters that go into  
9                   your equation, you don't pick the upper 95<sup>th</sup>  
10                  percentile for each one of those. You may  
11                  pick one and say we're going to go with a  
12                  bounding one such as the five milligram, and  
13                  then the others we're going to try to be  
14                  realistic. And that brings you to a place  
15                  where you want to be. You want to be at the  
16                  upper end of the distribution and use that as  
17                  a constant. So I guess I'm not quite sure  
18                  where the uncertainty comes in in this  
19                  analysis. What I'm hearing is that you will  
20                  be applying some kind of distributions when  
21                  you use your input to do these dose  
22                  calculations, and I guess, I don't see where  
23                  it would be. You know, where would the  
24                  uncertainty be?

25                  **DR. MAKHIJANI:** This whole thing confuses me

1                   because I looked at the site profile and  
2                   equation 4-1 is just the ratio of the 95<sup>th</sup>  
3                   percentile and 50<sup>th</sup> percentile which are going  
4                   to have those numbers to use the equation.  
5                   It's just a standard statistical equation for  
6                   lognormal distribution and --

7                   **DR. MAURO:** Am I correct that --

8                   **DR. MAKHIJANI:** -- and we don't have the  
9                   numbers to put into it.

10                  **MR. ROLLINS (by Telephone):** That's correct,  
11                  and I'm going to go back and revisit that  
12                  language because it's probably not  
13                  appropriate. It's an artifact from the other  
14                  TB -- from the web zeros. I just need to  
15                  update that language.

16                  **MR. ELLIOTT:** What you're seeing here is  
17                  Gene's proposed draft of a revision to the  
18                  Technical Basis Document that hasn't even gone  
19                  through NIOSH comment resolution yet. So --

20                  **DR. MAKHIJANI:** I mean, it's just --

21                  **MR. ELLIOTT:** -- these are good things to  
22                  talk through --

23                  **DR. MAKHIJANI:** -- just going through it the  
24                  question came up, and I didn't see, so I  
25                  presume it's fair.

1                   **MR. ELLIOTT:** Yes.

2                   **MR. ROLLINS (by Telephone):** John, I  
3                   appreciate your input on conservatism in the  
4                   deterministic model. And there are a number  
5                   of areas that I'd identified, reasons one  
6                   through five, and methods that we could use to  
7                   reasonably reduce these intakes. I might be  
8                   interested in having your input of those five  
9                   identified, claimant favorable assumptions.

10                  And don't answer me now. Maybe you  
11                  can get back to us a little bit later about  
12                  which of those do you think would be areas  
13                  that we should consider providing additional  
14                  guidance or additional information to the dose  
15                  reconstructors for potentially coming up with  
16                  a best estimate. I'd like for you to look  
17                  through those five items that we've identified  
18                  as conservatisms, and I'd like your input,  
19                  your thoughts on where we might be able to use  
20                  some of those to provide a best estimate.

21                  **DR. MAURO:** I appreciate that, and I will  
22                  take up that offer. I look forward to doing  
23                  it, working with you on that.

24                  **DR. MAKHIJANI:** My only other comment, Gene,  
25                  is going back to the Area 30 thing. It would

1                   be good to, I mean, I trust these things will  
2                   be in the records of the workers because  
3                   otherwise it becomes almost impossible,  
4                   especially for places like the Test Site, for  
5                   a survivor claimant because they have no idea  
6                   what their family member did. And they could  
7                   never specify what happened if their family  
8                   member obeyed the law.

9                   So I think it would be good to go, I  
10                  don't know if there are claimants whose  
11                  records we could look at. But if you know of  
12                  a couple, it would be nice to see the work  
13                  permits and the monitoring following the  
14                  outside work. And that's my only other  
15                  comment.

16                  **DR. MAURO:** In a way -- this is John Mauro.  
17                  What I'm seeing here is that you've built a  
18                  method to place a plausible upper bound for  
19                  that first cut, and in my mind it certainly is  
20                  an upper bound. But then I also noticed that  
21                  you are going to leave quite a bit of  
22                  discretion to the dose reconstructor on when  
23                  to back off from that and what data.

24                  So I guess the only place where, there  
25                  are certain judgments that are going to be

1                   made based on a case-by-case basis whereby  
2                   that dose reconstructor is going to say, well,  
3                   based on the information I have it appears  
4                   that he was really never in Area 8. Or it  
5                   appears that he only was out there for a  
6                   certain amount of time. I suspect that  
7                   certainly if you have a high level of  
8                   confidence in those records, you could use  
9                   those assumptions.

10                  But my guess is that sometimes these  
11                  records are, you can't have that much  
12                  confidence, in fact, we had this conversation  
13                  during breakfast this morning with Brad. That  
14                  is, all I would offer is when you're doing a  
15                  case, and you're really not quite sure, you  
16                  may find yourself always regressing to the  
17                  more conservative assumption, as simple as  
18                  that.

19                  **DR. NETON:** Even under these very  
20                  conservative assumptions, I'm looking at Table  
21                  2, there are about four or five organs, as  
22                  Gene pointed out, that are fairly high. But a  
23                  30-year dose to the lung is only one rem. I  
24                  mean, so even under those conditions unless  
25                  there's some other extraneous exposure, that's

1                   not even going to be close to 50 percent.

2                   Those would be down in the --

3                   **MR. ROLLINS (by Telephone):** For example, in  
4                   the case of the lung, just for your  
5                   information, most of the workers that we've  
6                   evaluated have a smoking history, and we  
7                   typically are seeing lung doses in the range  
8                   of 45-to-70 rem before we have a compensable  
9                   situation. So, and that's a good point that  
10                  you've made. One rem to the lung usually is  
11                  not going to be important.

12                  **DR. NETON:** Right, so I think that the idea  
13                  here is, as John pointed out, is almost like a  
14                  screening value that would be applied. And  
15                  even under these conditions you're not going  
16                  to bother to look at the areas that the worker  
17                  was in. You're going to assume he was in Area  
18                  8 the whole time. And almost in all of these  
19                  situations, with the possible exception of  
20                  some of the lymph nodes and maybe, I can't see  
21                  too many of these going over 50 percent even  
22                  under these extreme conditions.

23                  **MR. CLAWSON:** This is Brad Clawson again.  
24                  But still we come back to one underlying  
25                  factor and that is data reliability. And so

many times in a lot of the interviews and the people have made the comments in there that if you go back to their work area they say they only worked in this one area, where they worked throughout the whole site. That was where they were based out of.

They've got a central facility there at NTS, and they went out throughout all of the site and were working all this. But when you look in their records, it said that was their normal place. I take myself, for example. If you were to look at my records, it would say C-P-P-6-6-6, but it doesn't take in P-B-F-10, M-T-R-749, Three Mile Island. It doesn't take into account any of those. So we need to be very careful when we classify this person, well, he couldn't have been in this area because a lot of times they could have been.

**DR. NETON:** Yeah, I agree.

**MS. MUNN:** But, Brad, I think the argument that's being made is those people were badged. It isn't that they weren't badged. So I think what's being said here is their badge would have indicated any unusual exposure from

1                   having been above ground more than their job  
2                   description indicated.

3                   **MR. CLAWSON:** Well, we're looking at a mass-  
4                   loading out here. Let's take a mechanic or  
5                   whatever like that. There's a lot of times  
6                   they may be out there, right, well, we need  
7                   you to go out to this place in the area and  
8                   take and stuff. We're looking at mass-  
9                   loadings of dust and everything else. I know  
10                  the wind never blows in Nevada, but guess  
11                  what, it, this is the point --

12                  **MS. MUNN:** Which means none of this would  
13                  ever have been covered up. It would all have  
14                  been laying on top.

15                  **MR. CLAWSON:** Or dug up and blown  
16                  everywhere, too. So this is the point that  
17                  I'm trying to get to because so many times we  
18                  use a worker's, well, he was only in this area  
19                  and this is only going to affect, and I think  
20                  that's an assumption that we use. And I don't  
21                  really feel that comfortable with it because I  
22                  know from experience of where we get around  
23                  to.

24                  **MS. MUNN:** Well, and even I with my  
25                  magnificent memory, could not tell you where I

1                   was 50 years ago.

2                   **DR. NETON:** Right, but I think the approach  
3                   to be taken here is these Area 8 values, 2,600  
4                   hours, five milligrams per cubic meter, would  
5                   be used if, almost as a default. If you  
6                   didn't use these values, then it has to be  
7                   fairly well documented in the dose  
8                   reconstruction why that was not used. And  
9                   then presumably we'd have some pretty good  
10                  evidence to put in there that would make that  
11                  fact. And, of course, the claimants have the  
12                  right to look at that and --

13                  **MR. ROLFES:** In the absence of information  
14                  for a specific claim, we would default to  
15                  maximum intakes for that person.

16                  **DR. BEHLING:** Will this be converted into a  
17                  workbook if it's adopted?

18                  **DR. NETON:** I don't know what ORAU's plans  
19                  are, but I would assume it might be, yes.  
20                  Actually, it wouldn't be that, it'd almost  
21                  have to be at some point because these 30-year  
22                  doses wouldn't be applicable. We'd have to go  
23                  back and do the annual dose by year. So  
24                  there'd be some sort of a spreadsheet  
25                  workbook.

1                   **DR. BEHLING:** Yeah, that's quite complex if  
2 you try to do this manually, by hand.

3                   **DR. NETON:** Oh, yeah, I don't think the dose  
4 reconstructors would be doing this by hand.  
5 There would be a spreadsheet of some type  
6 adopted.

7                   **MR. PRESLEY:** I guess the only action item  
8 we have on this is that Gene Rollins is going  
9 to re-do the resuspension model write up. And  
10 then John's going to have -- I'm having a  
11 senior moment -- Lynn Anspaugh give us his  
12 comments.

13                  **DR. MAURO:** Yeah, I have two action items.  
14 Let me make sure I've got it right. One is to  
15 check in with Lynn, and the other is to work  
16 with Gene on the five reasons for why this is  
17 conservative and deterministic business.

18                  **MR. CLAWSON:** Wasn't NIOSH going to look at  
19 this? You guys have -- have you been able to  
20 look at this?

21                  **MR. ELLIOTT:** Well, what will happen, I  
22 think, here in this particular instance, the  
23 Board's working group thoughts on this  
24 particular draft are going to be addressed by  
25 Gene, and then they'll be put into our review

1                   process. So it's kind of an interesting  
2                   anomaly we see here. Typically, we produce  
3                   something and put it on the table and you  
4                   react to it as a final. Here we have a closed  
5                   approach in draft form. So that's  
6                   interesting. We'll see how this goes.

7                   **MR. PRESLEY:** Hopefully, we don't muddy the  
8                   water up.

9                   **DR. WADE:** Yes, Brad, NIOSH is going to take  
10                  this discussion and modify their document  
11                  based upon what was said here. We can't  
12                  forget Brad's point that, make sure if we  
13                  don't know where a worker was or if there's  
14                  some question, then we need to default to the  
15                  maximum.

16                  **MR. ELLIOTT:** The benefit of this as I see  
17                  it will knock out an issue here on  
18                  environmental dose from resuspension. So if  
19                  that gets us to the end game faster in  
20                  producing something in final form for you to  
21                  react to that's all well and good.

22                  **DR. NETON:** Well, a lot of working groups  
23                  have gone this way. I mean, Bethlehem Steel  
24                  went on for a year where we negotiated, maybe  
25                  that's not the right word, but we discussed

1                   internally quite a number of options, and  
2                   until we got to the point where we were all  
3                   comfortable with the approach, then we adopted  
4                   it. So we kind of --

5                   **MR. ELLIOTT:** I don't think we produced  
6                   draft section language for TBDs though.

7                   **DR. NETON:** No, this has gone a little bit  
8                   further what is a draft. The concepts were  
9                   there. I mean, we --

10                  **MR. ELLIOTT:** We discussed the concepts,  
11                  didn't discuss the language.

12                  **DR. NETON:** The language was not nailed  
13                  down. You're right.

14                  **DR. WADE:** Language in this case was just  
15                  used as a mechanism to convey the thought.

16                  **DR. MAKHIJANI:** And that's the spirit in  
17                  which I took it anyway. We're not nitpicking  
18                  the grammar, word-smithing for you.

19                  COMMENTS 6 AND 7

20                  **MR. PRESLEY:** Mark, do you want to talk  
21                  about Comment 6, 7?

22                  **MR. ROLFES:** Did we cover a little bit of  
23                  those?

24                  **MR. PRESLEY:** I think we did.

25                  **MR. ROLFES:** Our response to Comment 6 was

1 see Response 5.

2 **MS. MUNN:** That's good.

3 **MR. PRESLEY:** Did you have the same thing on  
4 seven?

5 **MR. ROLFES:** Seven was referring to the  
6 short-lived radionuclides which would be  
7 primarily during the atmospheric weapons  
8 testing, and that has been designated as an  
9 SEC for the prior to 1963 time period. So we  
10 don't feel that a resuspension model needs to  
11 account for the short-lived radionuclides  
12 associated with the atmospheric weapons  
13 testing time period.

14 **DR. MAKHIJANI:** The comment, Mark, is about  
15 the early re-entry tunnel.

16 **MS. MUNN:** Early re-entry work.

17 **DR. MAKHIJANI:** Oh, okay, so this, this, I  
18 have to go to the original review.

19 **DR. MAURO:** My understanding of -- we're on  
20 seven now -- is that this deals with a time  
21 period prior to 1963.

22 **DR. MAKHIJANI:** I'm just checking what our  
23 finding was. Sometimes from the very short  
24 comment there in the matrix, it's very hard to  
25 figure out what all is said in the findings.

1                   **MS. MUNN:** It's expanded a little underneath  
2 that, the original comments are there.

3                   **DR. MAKHIJANI:** I'm just trying to find  
4 22.6. Oh, here it is. Yes, I believe Finding  
5 6 is about that in the review. That's right.  
6 It's the same as Finding 5.

7                   **COMMENT 23**

8                   **MR. ROLFES:** That will take us on to 23.  
9 SC&A's comment was the adequacy of soil data  
10 for estimating resuspension doses needs to be  
11 evaluated, for instance, in relation to hot  
12 spot detection and plutonium soil data. And I  
13 believe we've alluded to this as well in  
14 Response 5 with our discussion of the mass-  
15 loading model. So using the maximum intakes  
16 from Nevada Test Site and excluding Area 30,  
17 unless that person worked specifically in Area  
18 30.

19                  **DR. MAKHIJANI:** Now, as I recall Lynn had  
20 made some comments in the site profile. We  
21 also made some comments about the crudeness of  
22 the grid for sampling. And also in the, the  
23 areas that were designated as not hot areas,  
24 but I guess you've taken care of that by  
25 focusing on Area 8. So I guess the remaining

1                   comment from that in terms of 23 would be the  
2                   variance within Area 8 and how the average  
3                   relates to that.

4                   Gene, was your plus or minus ten  
5                   related to that by any chance?

6                   **MR. ROLLINS (by Telephone):** No.

7                   **DR. MAKHIJANI:** Then how do we deal with a  
8                   sort of inside area variability?

9                   **MR. ROLLINS (by Telephone):** I touch on that  
10                  in those responses one through five. There's  
11                  going to be a certain amount of dispersion and  
12                  averaging going on just through natural  
13                  processes. I just don't think it likely that  
14                  someone would have extended exposure to hot  
15                  spots.

16                  **DR. MAKHIJANI:** But these areas are pretty  
17                  big. I don't know how big Area 8 is. I don't  
18                  remember. Mr. Presley might remember.

19                  **MR. ROLLINS (by Telephone):** The procedures  
20                  at the site require that areas of known high  
21                  contamination are barricaded and usually  
22                  fenced. And entry into those areas requires  
23                  permits from Nevada Operations Office. And  
24                  they know where those areas are. And so I  
25                  just believe that we should be taking some

1 credit for dispersion and environmental  
2 attenuation.

3 **DR. MAKHIJANI:** Area 6 is 36 million square  
4 meters.

5 **MR. ROLLINS (by Telephone):** It's pretty  
6 big.

7 **DR. MAURO:** This is John Mauro.

8 **DR. MAKHIJANI:** I mean Area 8, sorry.

9 **DR. MAURO:** I can help out a little bit  
10 here. I remember originally the reason for  
11 this comment was I believe you were averaging  
12 over the whole site. In other words, there  
13 was very little texture to the, how you were  
14 breaking the site up. No, is that --

15 **DR. MAKHIJANI:** No, no, I think in the  
16 original also it was broken down by area.

17 **DR. MAURO:** I can help out in terms of I ran  
18 into this problem, I did some work with EPA  
19 when they were concerned with the clean up of  
20 sites and where there was soil contamination.  
21 And they would have adjustment factors. And  
22 said, okay, well, listen, if a person is  
23 standing on contaminated soil, and he's  
24 breathing, he's inhaling airborne  
25 radioactivity, the air that he's breathing

1                   reflects the average activity over some area.

2                   Certainly, it does not reflect the activity  
3                   under his feet.

4                   So it's some area where it's realistic  
5                   to say, okay, what is the integrated, what's  
6                   the area of what you really want to average.  
7                   And there's literature on that. Now I guess,  
8                   you folks may have already looked into this,  
9                   but it may not be a bad idea to take a look at  
10                  this Area 8 and its size. And then there is  
11                  this literature on what the averaging area  
12                  should be when you're dealing with this kind  
13                  of problem because it's been looked at a lot.

14                  And it may turn out that maybe Area 8  
15                  is very large, and you may have enough, I  
16                  guess I don't know if you have enough  
17                  information to break it up into sub-areas or  
18                  whether you need to do that or not. But I  
19                  think Arjun's right, and if it's that large,  
20                  it's probably something that needs to be at  
21                  least explored a little bit, whether or not  
22                  we're averaging over too large an area.

23                  **MR. ROLFES:** I don't find it credible to  
24                  find a person standing in the hottest spot  
25                  within that area --

1                   **DR. MAURO:** I agree.

2                   **MR. ROLFES:** -- for 2,600 hours per year.

3                   **DR. MAKHIJANI:** No, no, I'm not saying that.

4                   That's not the construct. In a very large  
5                   area when you've done a survey with a crude  
6                   grid, then you have some variability. And the  
7                   question is, is the number that you're using  
8                   for site contamination, what is the  
9                   variability in that, and how well is that  
10                  represented in the intake. So the question is  
11                  not are we putting a person at the hottest  
12                  spot within a factor of a hundred more than  
13                  the average, is not that for 2,600 hours.  
14                  That's not the comment that I'm making. It's  
15                  just for clarity. The idea was related to how  
16                  the survey was originally done and what that  
17                  implies for how comfortable we are with the  
18                  number that we're using and what the  
19                  variability of that is.

20                  **MS. MUNN:** (Unintelligible) compared to the  
21                  other areas?

22                  **DR. MAKHIJANI:** No, but all these, I mean,  
23                  the Test Site is huge so (unintelligible) huge  
24                  areas.

25                  **MS. MUNN:** I know the Test Site itself is

1                   huge, but Area 8 isn't really.

2                   **DR. MAKHIJANI:** No, Area 8 is not one of the  
3                   larger areas.

4                   **MR. ROLLINS (by Telephone):** No, Area 8 --  
5                   this is Gene Rollins -- Area 8, the  
6                   contaminated area that was identified by  
7                   McArthur is 13.3 square miles, and that's out  
8                   of a total contaminated area of 510 square  
9                   miles. And the total NTS area is like 1,350  
10                  square miles.

11                  **DR. MAURO:** So how many miles? I think in  
12                  terms of three-by-three. What is it, four-by-  
13                  four?

14                  **DR. MAKHIJANI:** Yeah, well, three-and-a-half  
15                  by three-and-a-half. Yeah, that's 36 million  
16                  square meters.

17                  **DR. MAURO:** In the level of information that  
18                  you have in terms of -- I'm just thinking  
19                  through the problem. If I were asked to look  
20                  at this problem, I would say, okay, I've got  
21                  this area that's three miles by three miles,  
22                  and I know that a person spent some of his  
23                  time there. Now certainly, there's reason to  
24                  believe that he spent a few hours here, a few  
25                  hours there, all over the site, yeah, then you

1           would work with the, you'd do it exactly the  
2           way you did it.

3           If there's reason to believe that no,  
4           there's reason to believe that, no, that there  
5           is quite a bit of variability within that  
6           three-by-three, let's say it would be a  
7           tenfold difference, and there's a couple of  
8           square miles over here that are ten times  
9           higher than over here. I feel as if I owe it  
10          to myself to say, okay, is it reasonable to  
11          say that, well, maybe a person could have  
12          spent quite a bit of time in that section.

13          But at the same time you've got to ask  
14          yourself when the wind is blowing and re-  
15          suspending it is an integrating factor. What  
16          is really in operation here? Does the wind  
17          pick up and you inhale dust that may be blown  
18          from two, three miles away? I seem to believe  
19          that's the case by the way.

20          I seem to recall that we're talking  
21          about when you're working in an area, and  
22          you're inhaling dust, the dust you're inhaling  
23          is not only the dust that's being re-suspended  
24          from your immediate vicinity, it's also the  
25          stuff that's being blown from a mile or so

1                   away. So it may turn out that everything is  
2                   just where it should be, but all I'm saying is  
3                   I would sort of explore it a bit and air it  
4                   out.

5                   **DR. NETON:** I'm sorry. I stepped out for a  
6                   second. It seems you're now questioning or  
7                   discussing the appropriate value to use for  
8                   the Area 8 dust-loading model.

9                   **DR. MAURO:** Yes.

10                  **DR. NETON:** How does that bounce against  
11                  your previous comment though that we've  
12                  already taken five milligrams per cubic meter  
13                  as a very large number, and you just said five  
14                  minutes ago we need to go back and look at the  
15                  extra conservatism we built into these things.

16                  **DR. MAURO:** I'm not saying --

17                  **DR. MAKHIJANI:** That has not to do with the  
18                  dust loading. For the five milligrams okay,  
19                  but the radionuclide content per milligram of  
20                  that is what we're talking about.

21                  **DR. NETON:** No, but what John was saying  
22                  though is if you already start at five  
23                  milligrams, and you've made a very  
24                  conservative assumption at that point then, I  
25                  heard John, I think, say then you might want

1                   to consider what you pick for your  
2                   representative values for these other factors  
3                   because you're already at the high end with  
4                   the dust loading. And so if you pick the high  
5                   end dust loading and then maybe the high end  
6                   of the concentration is maybe a little bit of  
7                   overkill.

8                   **DR. MAKHIJANI:** No, Jim, that wasn't the  
9                   spirit of the comment.

10                  **DR. NETON:** Okay, I stepped out --

11                  **DR. MAKHIJANI:** -- a couple of times. So  
12                  the idea wasn't that you place somebody in a  
13                  hot spot for 2,600 hours. That wasn't the  
14                  comment that was made. The comment, I think  
15                  the matrix item is essentially what was the  
16                  nature of the grid that was used in the  
17                  sampling. It wasn't (unintelligible). What  
18                  is the variability in this number that we're  
19                  looking at and what do we know about it?

20                  So it's not that we should use a  
21                  higher number or place somebody there for  
22                  2,600 hours. If we're going to use this,  
23                  especially in denial cases and worst cases,  
24                  that we should have some idea of the  
25                  relationship of these numbers since it's a 36

1 million square meter area.

2           **DR. NETON:** I think that's fair, and that  
3 sort of falls into that category where John  
4 agrees to work with Gene on these other  
5 factors is what's appropriate.

6           **DR. MAURO:** I'm just thinking it through; in  
7 fact, while I was talking it out I tried to  
8 visualize. The impact is within miles. So I  
9 think maybe it's right where it should be. In  
10 other words, I wasn't saying you should use a  
11 conservative assumption. I just want to  
12 demonstrate that, yeah, the assumption we're  
13 using by averaging over the entire Area 30  
14 area is certainly a reasonable, appropriate,  
15 realistic assumption. And I would want to  
16 convince myself that that's the case.

17           **DR. NETON:** Sure.

18           **DR. MAKHIJANI:** Okay, so is this going to be  
19 thrown into that pot where you --

20           **DR. MAURO:** Yes.

21           **DR. MAKHIJANI:** -- where you kind of look at  
22 the degrees of conservatism?

23           **DR. MAURO:** Yes, yes. That's what I  
24 suggest.

25           **DR. NETON:** It seems appropriate.

1                   **MR. PRESLEY:** How about a seven-and-a-half  
2                   or ten-minute break here?

3                   **DR. NETON:** Start your stopwatches.

4                   **DR. WADE:** Is there another document that's  
5                   going to come out that's going to be discussed  
6                   there are any copies of?

7                   **MR. ROLFES:** No, there's not. I apologize  
8                   for the confusion.

9                   **DR. WADE:** But you're saying the matrix?  
10                  You said after we do these items --

11                  **MR. PRESLEY:** What we're going to use is the  
12                  matrix that Mark put out.

13                  **DR. WADE:** Does anybody need a copy of that?

14                  **MR. PRESLEY:** Ray does, he says.

15                  **DR. WADE:** Ray does. So I need a copy to  
16                  copy. We're going to take a break for ten  
17                  minutes, however long ten minutes is in this  
18                  time zone. We'll find out, but we'll be back  
19                  to you.

20                  (Whereupon a break was taken from 10:47 a.m.  
21                  until 11:02 a.m.)

22                  **DR. WADE:** Okay, we're getting ready to  
23                  begin again. Might I ask who's on the line?

24                  **MR. ROLLINS (by Telephone):** Gene Rollins is  
25                  here.

1                   **DR. WADE:** Hello, Gene.

2                   **UNIDENTIFIED (by Telephone):** Kathleen from  
3 Senator Reid's office.

4                   **DR. WADE:** Thank you.

5                   **MS. SMITH (by Telephone):** Cheryl Smith.

6                   **DR. WADE:** Welcome.

7                   Okay, that just gave me a sense of  
8 who's out there. We are ready to begin.

9                   Mr. Presley?

10                  **MR. PRESLEY:** What I would like to do, we've  
11 gone through items five, six, seven and 23.  
12 Are there any more questions about item 23,  
13 Comment 23?

14                  (no response)

15                  **MR. PRESLEY:** I guess what I'd like to do  
16 now is start with item one, and let's go back  
17 through the matrix. I think Lew was printing  
18 everybody a copy. And we'll just start going  
19 through each comment, and what I would like to  
20 do is where we are working on the TBD for  
21 completeness, Mark is prepared to give us an  
22 update on where we stand on that.

23                  And unfortunately or fortunately, we  
24 have added to their problems by putting a  
25 couple more things in there that they have to

1                   look at before this TBD can come to us. So,  
2                   Mark, do you want to talk about the TBD first  
3                   since it pertains to probably 60 or 70 percent  
4                   of these comments?

5                   **TBD DISCUSSION**

6                   **MR. ROLFES:** A lot of the issues that we  
7                   discussed today were at the heart of the  
8                   issues being discussed. The mass-loading  
9                   model took up quite a bit of the, many of the  
10                  comments were addressed or related to the  
11                  mass-loading model and the environmental  
12                  intakes at Nevada Test Site.

13                  We had attempted to provide that to  
14                  the Advisory Board for discussion prior to it  
15                  being an official approved document. This was  
16                  just done to try to expedite things rather  
17                  than present our research and findings and  
18                  then receive comments after we had approved  
19                  the document. This is just to try to simplify  
20                  things and try to get everything, try to  
21                  expedite things and get comments addressed  
22                  before we have an official document that we're  
23                  using for dose reconstructions.

24                  A lot of the comments we have resolved  
25                  with draft methodologies that have not been

1                         approved in a TBD yet. We are trying to  
2                         address as many of these issues as we can  
3                         before we put that TBD out and use it for dose  
4                         reconstructions. So we can provide some  
5                         updates to you on where we stand with these  
6                         various issues and indicate whether we have  
7                         the work completed and whether it's ready to  
8                         use.

9                         **MR. PRESLEY:** Okay, thank you.

10                         COMMENT 1: RADIONUCLIDE LISTS

11                         Why don't we start with Comment 1. It  
12                         had to do with the list of radionuclides and  
13                         looking back at that the documents were  
14                         changed. I believe everything is complete on  
15                         that, and the working group is waiting on the  
16                         TBD to go through. Is that correct? Anybody  
17                         have any more comments on that?

18                         **DR. MAKHIJANI:** I wondered why Sodium-24 and  
19                         Neptunium-239 were not added for tunnel re-  
20                         entry workers, and why that addition was  
21                         restricted to those three.

22                         **MR. ROLFES:** Well, for the short-lived  
23                         radionuclides, because we have a special  
24                         exposure cohort from the time period covering  
25                         1951 through the end of 1962, we will not be

1                   reconstructing internal doses from the short-  
2                   lived radionuclides.

3                   **DR. MAKHIJANI:** Yeah, I know. I was talking  
4                   about the tunnel re-entry workers.

5                   **MR. ROLFES:** Okay, Gene? Gene?

6                   **DR. MAKHIJANI:** Or maybe the -- was the  
7                   review comment only for atmospheric testing?  
8                   I don't remember.

9                   **DR. WADE:** Gene, are you with us?

10                  **MR. ROLLINS (by Telephone):** Yes, I'm here.

11                  **DR. WADE:** Okay, Mark would like to prime  
12                  you.

13                  **MR. ROLFES:** Gene, could you tell me whether  
14                  we have incorporated any internal dose  
15                  approach or description for tunnel re-entry  
16                  workers post-1963 into our TBD?

17                  **MR. ROLLINS (by Telephone):** We have  
18                  provided some instruction.

19                  **DR. MAKHIJANI:** Our finding did relate to  
20                  both atmospheric and (unintelligible).

21                  **DR. WADE:** Arjun had a question about two  
22                  radionuclides. What were they again, Arjun?

23                  **DR. MAKHIJANI:** Sodium-24 and Neptunium-239.  
24                  We had an original list in Table 1 of our site  
25                  profile review on page 26, and of those, I

1                   thought the three that you added -- let me  
2                   just cross-check here.

3                   **MR. ROLLINS (by Telephone):** This is Gene  
4                   Rollins. We have, or are in the process of  
5                   developing, tables based on Hick's data that  
6                   show the relative abundance of various  
7                   radionuclides time after detonation. And we  
8                   will be evaluating whether Sodium-24 or some  
9                   of the other short-lived radionuclides  
10                  represent radionuclides that would be  
11                  important to dose.

12                  **DR. MAKHIJANI:** Yeah, but you included  
13                  Aluminum-2 at 28 which has a half life of only  
14                  2.24 minutes. And you didn't include Sodium-  
15                  24 which has a half life of 15 hours. So that  
16                  kind of raised the question in my mind as to  
17                  why you picked these three out of the list in  
18                  Table 1, and left out the Sodium-24 15 hours,  
19                  and 279-Neptunium 2.36 days. So it seemed a  
20                  little backward, but 2.2 minutes would seem  
21                  not so relevant for tunnel re-entry workers.

22                  **MR. ROLLINS (by Telephone):** We will go back  
23                  and look at these lists once again in terms of  
24                  the Hick's data. And we will decide which  
25                  ones need to be considered.

1                   **DR. MAURO:** Gene, regarding the Hick's  
2                   Tables, I recall using them in the past, and  
3                   sometimes in some tests they included  
4                   activation products. And sometimes they were  
5                   limited to just the fission products. And I  
6                   know Hans is pretty familiar also with the  
7                   Hick's Tables. I guess the only thing is it  
8                   sounds like your set of Hick's Tables include  
9                   activation products, and that's good.

10                  **DR. BEHLING:** Well, they will include things  
11                  like cobalt and iron and others, but the key  
12                  element here I believe is Neptunium-239  
13                  because it's produced in large quantities at  
14                  least for some of the detonations that I've  
15                  looked at. It's one of the most prominent  
16                  radionuclides in the immediate aftermath of a  
17                  detonation.

18                  **DR. MAKHIJANI:** And it could also affect  
19                  quite a number of workers because it has a  
20                  half life of --

21                  **DR. BEHLING:** It's 2.6 days.

22                  **DR. MAKHIJANI:** You go out a week or two  
23                  with this.

24                  **DR. BEHLING:** And I don't believe Sodium-24  
25                  is included in the Hick's Table.

1                   **MS. MUNN:** That's the issue. How  
2 significant is --

3                   **DR. MAKHIJANI:** I don't know, Wanda.

4                   **MS. MUNN:** My memory which could be flawed.

5                   **MR. PRESLEY:** Gene? Gene, this is Bob  
6 Presley. Are you there?

7                   **MR. ROLLINS (by Telephone):** Yes.

8                   **MR. PRESLEY:** How significant is the sodium?  
9 I don't recall using that much of it or seeing  
10 that much of it used.

11                  **MR. ROLLINS (by Telephone):** I really can't  
12 respond quantitatively to that question, but  
13 qualitatively I would be surprised if it was  
14 very important.

15                  **DR. MAKHIJANI:** This is just a raised here  
16 as an activation product from natural sodium  
17 which you would expect to be present in the  
18 geologic environment.

19                  **MR. PRESLEY:** Yeah, a geological  
20 environment.

21                  **DR. MAKHIJANI:** So that's why it was raised  
22 in the context of the tunnel re-entry workers.  
23 Because you would expect an activation just  
24 like you do with sea water.

25                  **MS. MUNN:** Yeah, but it's such a small

fraction, well, it's worth looking at to see if it's --

**DR. MAKHIJANI:** I think this list that was in our review was from the National Academy report in '89, but that one was in the context of atmospheric testing. So, yeah, it may be that neptunium is important and sodium is not, but it's worth checking.

**MS. MUNN:** Are there any other radionuclides you're concerned with, Arjun, that haven't been covered by these tables?

**DR. MAKHIJANI:** I don't remember what's in the TBD, but I have in front of me what we had in our review which was Neptunium-239, Sodium-24, Manganese-56. We picked up Chlorine-38, Aluminum-28. They're very short-lived, and Scandium-46?

**MS. MUNN:** Scandium-46, those three --

**DR. MAKHIJANI:** And 134-Cesium and Cobalt-60.

**MS. MUNN:** Cesium and Cobalt-60 are surely in there, aren't they? I'm trying to think --

**DR. MAKHIJANI:** Now, I don't know why I included them in this table if they were not, they must have not been in the TBD. I'd have

1                   to go back and check if they were, but I  
2                   presume that they were not in the TBD; that's  
3                   why they were in this table.

4                   **MS. MUNN:** So Cobalt-60 and --

5                   **DR. MAKHIJANI:** Cesium-134.

6                   **DR. BEHLING:** Are they short-lived?

7                   **DR. MAKHIJANI:** This is on page --

8                   No, no. Cesium-134 is two years and -

9                   -  
10                  **DR. BEHLING:** No, no, I was going to ask  
11                  about the short-lived radio-iodides included  
12                  in the TBD, 132, three, four and five.

13                  **DR. MAKHIJANI:** No, this is activation, the  
14                  title of the table is "Activation Products  
15                  Important for (unintelligible)". We raised  
16                  the iodine issue separately.

17                  **MS. MUNN:** And the real question then  
18                  becomes how significant are they, and do they  
19                  need to be included, correct?

20                  **DR. MAKHIJANI:** Yes. I think this was  
21                  raised at the time before the SEC petition as  
22                  a combination that would apply to all workers  
23                  potentially, but some of them may be only  
24                  relevant for atmospheric testing workers. And  
25                  we haven't gone back after the SEC petition

1                   and actually checked which one would be  
2                   relevant. But I presume that NIOSH would be  
3                   checking that.

4                   **MS. MUNN:** Yeah, I would think so. Well, my  
5                   question is because if there are issues with  
6                   respect to the table, it would be beneficial  
7                   for all of us to cover any issues that exist  
8                   without bringing more up later.

9                   **DR. MAKHIJANI:** Well, my memory's a little  
10                  bit dim from having researched this a year and  
11                  a half ago, but I can remember we raised all  
12                  the activation products that we had concerns  
13                  about in this table.

14                  **MR. PRESLEY:** That's why I bother about  
15                  bringing this up because a year ago we said  
16                  that that list of nuclides that was put out  
17                  there was fine, no problems. Everybody was  
18                  agreed that we would go with what we did about  
19                  a year ago. So if we've got new things that  
20                  we need to put up here, we need to make sure -  
21                  -

22                  **DR. WADE:** These were not raised in the  
23                  original SC&A review. Now the question is --

24                  **DR. MAKHIJANI:** These are not new, Mr.  
25                  Presley. These were raised as omissions from

1                   the site profile in the original review that  
2                   we filed. That's what Comment 1 is. Exactly  
3                   from the table that I'm reading, Comment 1 is  
4                   about the table that I'm reading which was  
5                   from August, from December 2005.

6                   **MR. PRESLEY:** Okay, I thought we were --

7                   **MS. MUNN:** And if they're insignificant, we  
8                   should say so.

9                   **DR. MAKHIJANI:** No, we're not adding  
10                  anything, but we just don't, we did not parse  
11                  at the time what was important for atmospheric  
12                  or underground. And that's the thing that we  
13                  did not do. It's all mooshed in there in one  
14                  set.

15                  **MS. MUNN:** We wanted to make sure we were  
16                  covering them all.

17                  **MR. ELLIOTT:** As we revise the Technical  
18                  Basis Documents to address, not in presumptive  
19                  cancers for the class, we'll have to factor  
20                  this into that figure as well as the post-  
21                  class periods.

22                  **MS. MUNN:** If it's insignificant, it's easy  
23                  to say so.

24                  **DR. MAKHIJANI:** Yeah, actually I have some  
25                  explanation here that the TBD actually has a

1                   matrix, if I remember, of which radionuclides  
2                   are relevant and which circumstances, and  
3                   Cobalt-60 is listed as being relevant for  
4                   tunnel re-entry and mine back operations. So  
5                   I think Cobalt-60 is not an issue because it's  
6                   already covered in the TBD.

7                   **MS. MUNN:** It's already covered.

8                   **DR. MAKHIJANI:** So the others --

9                   **MS. MUNN:** At least manganese and cesium,  
10                  neptunium and sodium.

11                  **MR. PRESLEY:** What I've got here is that  
12                  NIOSH will go back and look at sodium and  
13                  neptunium and see if they need to be added to  
14                  the list.

15                  **DR. MAKHIJANI:** And there are a couple of  
16                  others perhaps.

17                  **DR. BEHLING:** Is (unintelligible)-67  
18                  included?

19                  **DR. MAKHIJANI:** I didn't have that  
20                  originally, no.

21                  **DR. BEHLING:** I don't know if that's an  
22                  important in an aqueous environment only or it  
23                  was a very important radionuclide in the  
24                  Pacific.

25                  **MR. PRESLEY:** If we need to be adding it,

1                   let's add it now instead of waiting for the  
2                   next time we have a meeting.

3                   **DR. MAURO:** This is John Mauro. I guess I  
4                   see this as an area of vulnerability. I'll  
5                   explain what I mean by that. The list of  
6                   radionuclides that are associated with these  
7                   things are very, very long. And the  
8                   activation product list is often incomplete.  
9                   And I guess I just caution that, you know,  
10                  there's always going to be, I can see it down  
11                  the road. There's always going to be  
12                  something that's going to pop up that we  
13                  didn't look at. All I'm just saying is that  
14                  we are dealing with something that, a complete  
15                  list to make sure we captured all --

16                  **DR. MAKHIJANI:** Zig\*-67 is stable.

17                  **DR. MAURO:** Pardon me?

18                  **DR. MAKHIJANI:** Zig\*-67 is stable.

19                  **DR. BEHLING:** Only has a couple year half  
20                  life.

21                  **DR. MAURO:** We ran into it as a big deal at  
22                  the Marshall Islands.

23                  **DR. BEHLING:** Or 65, maybe it's 65. I don't  
24                  remember which number. It's relatively long-  
25                  lived. It does concentrate at least in the

1                   marine environment.

2                   **DR. ROESSLER:** Two hundred and forty-three  
3                   day half life. It has 67 stable.

4                   **DR. BEHLING:** Okay, stable.

5                   **DR. MAURO:** You may want to look into some  
6                   of the research and work done in the DTRA  
7                   world, the Defense Threat Reduction Agency  
8                   world. They have an incredible amount of  
9                   information on this subject. That is, the  
10                  radionuclide inventory. You probably have  
11                  already done that. But that is a resource  
12                  that will -- see, they've been struggling with  
13                  this problem of veterans of activation  
14                  products, making sure they had a complete  
15                  list. And it may be helpful just to look  
16                  under that rock.

17                  **DR. MAKHIJANI:** Yeah, at this stage, I think  
18                  you know, maybe geological data on the Nevada  
19                  Test Site and which activation products may be  
20                  important might be the best way to narrow this  
21                  down quickly and things that are very short  
22                  half life can be omitted and screened out. I  
23                  think 67 clearly has a long half life.

24                  **DR. BEHLING:** No, it's 65.

25                  **DR. ROESSLER:** At least it's stable. That's

very long.

**DR. MAKHIJANI:** Now you've got like a proton, right?

**MR. PRESLEY:** Okay, Mark, you going to take that as an action item, please?

**MR. ROLFES:** Okay, we'll look into the radionuclide and verify that it is complete.

**MR. PRESLEY:** Okay, the list.

**COMMENT 2: REACTOR TEST RE-ENTRY**

Comment 2 has to do with the guidance for dose estimation for gonads, skin, gastrointestinal tracts of the early reactor test site personnel for large hot particles.

**MR. ROLFES:** All right, Gene. Gene?

**MR. ROLLINS (by Telephone):** Yes.

**MR. ROLFES:** Could I have you speak about hot particles, ingestion of hot particles and skin deposition of hot particles, please.

**MR. ROLLINS (by Telephone):** We have provided a response to the concerns to the issue of using NRDL techniques, and our conclusion has been, as we have stated in this matrix that we sent to you, is that the factual information necessary to employ the NRDL methodology is limited to a very small

1 dataset.

2 And to try to extrapolate that to  
3 other situations is intractable. And I  
4 believe we said here that in those cases where  
5 we do have the data available, we will employ  
6 them as appropriate, but we don't know how to  
7 move that methodology to other environments.

8 **DR. MAKHIJANI:** I actually, you know, the  
9 question had arisen for skin deposition in the  
10 context of how you average from a very small  
11 hot particle to a larger area how you actually  
12 calculate a probability of causation from a  
13 very high but very local dose. And that was  
14 the question about the VARSKIN model as  
15 related to what the NRDL said.

16 And then so a more complex version of  
17 that would be for the GI tract and how you,  
18 how do you, what kind of guidance do you give  
19 as to when this model is to be used? Because  
20 you suggest that the NRDL model might be used  
21 sometimes, but I didn't see anything specific  
22 as to how the dose reconstructor would decide  
23 how that would be translated --

24 **DR. NETON:** That issue has been put onto the  
25 overarching issues list. That's one of the

1                 ones that we're working on and specifically  
2                 the skin and the GI tract model. I presented  
3                 a brief on that somewhere. I forgot where I  
4                 discussed that, but --

5                 **DR. MAKHIJANI:** I think you did.

6                 **DR. NETON:** So our recollection there is no  
7                 special requirement, no special dosimetry  
8                 required for transport of hot particles  
9                 through the GI tract. And I pulled out some  
10                relevant literature to discuss that. And the  
11                hot particle model for deposition on the skin,  
12                VARSKIN, of course, would model anything you  
13                give it, and I think we had some default  
14                language we were working on to put in there  
15                would only go down to average over no less  
16                than one square centimeter.

17                **DR. MAKHIJANI:** I remember there was some  
18                question of averaging, and I could not  
19                remember what it is, and where we are about  
20                that.

21                **DR. NETON:** That's wrapped up in this  
22                overarching issues list. It's not done yet.  
23                We're working on that. Maybe this would be  
24                noted in here as an issue that NIOSH is  
25                addressing. Don't lose it from the context of

1                   this review, but possibly table that to our  
2                   addressing this on a complex-wide basis, just  
3                   a suggestion.

4                   **DR. MAKHIJANI:** The reason I guess I got  
5                   confused and I forgot that it was in a  
6                   different list is because here it says TBD  
7                   will add guidance to Chapter 5, but doesn't  
8                   mention that other paper.

9                   **DR. NETON:** Yeah, we need to make sure  
10                  that's --

11                  **DR. MAKHIJANI:** And so I kind of did not  
12                  know what was happening there. And I did  
13                  forget that you had added that.

14                  **MR. PRESLEY:** So what you're saying this is  
15                  going to be complex wide?

16                  **DR. NETON:** There will be complex-wide  
17                  guidance on how to deal with hot particles  
18                  from skin contamination and ingestion prepared  
19                  by NIOSH outside of this TBD. But we'll need  
20                  to, I guess, make sure that that issue doesn't  
21                  get lost from this matrix so when we close out  
22                  this complex-wide issue, it will be back  
23                  through here.

24                  **DR. MAURO:** Given that the technical issues  
25                  certainly are tractable, that is, VARSKIN, we

1           can come up with something, I guess I view the  
2           tougher question is okay, now that we have  
3           tools, how do you apply it them to, let's say,  
4           a particular claimant that may have been  
5           exposed to hot particles. How do you, you  
6           know, that's --

7           **DR. NETON:** That's a different subject.  
8           That's the implementation of it, and I'm not  
9           sure where we go with that.

10          **MR. PRESLEY:** Okay.

11          **MS. MUNN:** This brings up another issue with  
12           respect to timing, Jim. How are we going to  
13           deal with the overarching issues issue? Is  
14           the timing, are we going to be able to address  
15           those one at a time? We had, what, six or  
16           eight of them as I recall.

17          **DR. NETON:** Eight now, eight to nine.

18          **MS. MUNN:** And are we going to be able,  
19           what's the plan --

20          **MR. ELLIOTT:** They're going to come forward  
21           as we see the complete development of the  
22           position that we're going to take. And so it  
23           may be that, I think Jim's probably close in  
24           May, at the May meeting, to present two or  
25           three.

1                   **DR. NETON:** Two or three are going to --

2                   **MR. ELLIOTT:** And then once we get your  
3                   input in those, we'll finalize those and the  
4                   site profile that is affected here will be so  
5                   referenced and others as well.

6                   **DR. NETON:** But the answer is we're working  
7                   on these in parallel, not serially. It's just  
8                   as we can.

9                   **DR. WADE:** And I think the tracking  
10                  mechanism is that Larry in his report at each  
11                  face-to-face Board meeting will give an update  
12                  of status on these. Hopefully, that update of  
13                  status will trigger Jim presenting a product,  
14                  but you'll see the full list at every Board  
15                  meeting.

16                  **MS. MUNN:** Yeah, my concern was the timing  
17                  concern with respect to whether or not the hot  
18                  particle issue is going to be fully addressed  
19                  in time for us to incorporate it into what  
20                  we're doing at NTS or since we clearly have an  
21                  issue --

22                  **DR. WADE:** I don't think there's any  
23                  guarantee of that.

24                  **MR. ELLIOTT:** They'll come forward as  
25                  they're developed. Some may be sooner than

1                   others.

2                   **DR. NETON:** We'd love to put together a list  
3                   that says here's the delivery date on all of  
4                   these, but the nature of our business these  
5                   days --

6                   **MS. MUNN:** I know that's impossible --

7                   **DR. NETON:** -- is difficult.

8                   **MS. MUNN:** -- I was grasping for whether or  
9                   not hot particle was close enough for us to be  
10                  thinking --

11                  **DR. NETON:** I think the guidance that we  
12                  could put out there is not that difficult.  
13                  John alluded to that. I mean, we can  
14                  reference what we're going to do and how we're  
15                  going to do it technically. The difficult  
16                  part comes into how we implement it and how do  
17                  you know when a person's been exposed to a hot  
18                  particle. I think I see some verbiage in here  
19                  that says, well, when we do know it, we'll use  
20                  it.

21                  **MS. MUNN:** Yes.

22                  **DR. NETON:** But it gets to that situation of  
23                  how you deal with a negative. How do you that  
24                  people weren't exposed to hot particles? Are  
25                  you going to default and give everyone a hot

1                   particle dose? These are the kind of issues  
2                   that --

3                   **MR. ELLIOTT:** Or do we have an indication  
4                   that certain activities or jobs were more  
5                   likely to have --

6                   **DR. NETON:** Yeah, it looks like --

7                   **MR. ELLIOTT:** -- found themselves in those  
8                   circumstances.

9                   **DR. NETON:** -- like the rocket experiment  
10                  here seems to be a prime candidate for hot  
11                  particles.

12                  **MR. ELLIOTT:** Yes, we're not necessarily  
13                  able to capture this level of detail in our  
14                  CATI interview, especially with survivors. So  
15                  then do we go forward and ask for medical  
16                  reports? In many cases you're not going to  
17                  find those.

18                  **DR. NETON:** You might not have even known  
19                  you had a hot particle.

20                  **MS. MUNN:** Yeah, it still may not be helpful  
21                  even if you have the medical report.

22                  **DR. NETON:** I'm pretty sure the GI tract  
23                  issue will go away from a technical  
24                  standpoint. I've looked at this and the  
25                  dosimetry is not that different. The skin

1                   dose, of course, the smaller you make the  
2                   surface area or activity per unit surface  
3                   area, the larger the dose. I don't know where  
4                   we can end up defaulting on that.

5                   **MR. ELLIOTT:** Now in a worker outreach we  
6                   can ask these kinds of questions, you know,  
7                   are there activities where splinters were  
8                   found all the time and people got sent to  
9                   Medical to get the splinters taken out. We  
10                  can assist ourselves that way, but it's still  
11                  not going to be straightforward. We're still  
12                  going to have to apply it, I think, in a  
13                  general context rather than in an individual  
14                  context.

15                  **DR. WADE:** But the tracking issue, Wanda, is  
16                  an interesting one. I mean, it's possible  
17                  that this work group could close its work but  
18                  with the caveat that that is contingent upon  
19                  how the particle issue is being resolved. I  
20                  mean, there has to be a way that we keep this  
21                  alive until it's actually done.

22                  **MS. MUNN:** And that's really my concern is  
23                  when we can say we're good to go with NTS.

24                  **DR. WADE:** And I would think closing it, if  
25                  it's on the complex-wide list, I think closing

1                   the review with the caveat that it's  
2                   contingent upon that issue being resolved, I  
3                   think is not an unreasonable way for the Board  
4                   to conduct its business.

5                   **DR. NETON:** That's the approach we took at  
6                   Bethlehem Steel. It was closed given that  
7                   NIOSH was going to develop an overarching  
8                   approach for oro-nasal breathing. But we  
9                   determined that that was an issue larger than  
10                  just that one site profile. And this, in  
11                  fact, is one I hope to be able to present in  
12                  May at the Board meeting in Denver.

13                  **MS. MUNN:** That would be great.

14                  **DR. MAKHIJANI:** Actually, Jim, for Bethlehem  
15                  Steel we agreed that oro-nasal breathing  
16                  wasn't very important to the dose, and so we  
17                  closed it --

18                  **DR. NETON:** Closed it --

19                  **DR. MAKHIJANI:** -- for that site.

20                  **DR. NETON:** --- for that site, right.

21                  **DR. MAKHIJANI:** But that's not the case  
22                  here.

23                  **DR. WADE:** On the fifth call I have a sort  
24                  of a curious agenda item that goes to the  
25                  completeness of the Board reviews, and that's

1                   part of it where we have to be careful that we  
2                   don't put something to bed here with the  
3                   understanding it's going to be dealt with  
4                   somewhere else and do the same thing there and  
5                   then wind up without closing the review. So I  
6                   think we need to talk a little bit about that  
7                   methodology.

8                   **COMMENT 3: DOSES FROM LARGE PARTICLES TO GI TRACT**

9                   **MR. PRESLEY:** Comment 3 is essentially the  
10                  same thing, dose from large particles of the  
11                  GI tract and skin of the workers in early  
12                  atmospheric testing period. Would this  
13                  comment not fall under the two?

14                  **MR. ROLFES:** Correct.

15                  **DR. MAKHIJANI:** The only new thing in the  
16                  response here, Mr. Presley, is in the second  
17                  sentence in the second paragraph which is  
18                  historically measurement of hot particles was  
19                  not conducted at NTS. So that kind of raises  
20                  this issue we were just talking about. And it  
21                  says that although insufficient or non-  
22                  existent hot-particle data from NTS makes dose  
23                  calculations intractable, any documented hot-  
24                  particle external exposures can be addressed.

25                  So I think what NIOSH has said here is

1                   kind of making the identification problem very  
2                   acute. So if there is some, I've heard  
3                   informally that in the testing program at NTS  
4                   in contrast to, say, Pacific Proving Grounds,  
5                   it was not a hot-particle issue, but that's  
6                   being an informal kind of observation that  
7                   people say these things. I haven't seen any  
8                   documentation or measurements or some  
9                   radiological evaluation. Have you all come  
10                  across anything like that?

11                  **MR. ROLFES:** Gene, have you seen anything to  
12                  answer Arjun's inquiry?

13                  **MR. ROLLINS (by Telephone):** As to whether  
14                  there were surveys for hot particles?

15                  **DR. MAKHIJANI:** Yeah, or any comment that it  
16                  was in an official or health physics or  
17                  radiological survey document that, you know,  
18                  this had happened at PPG, but it's not a  
19                  problem at NTS. An informal opinion is  
20                  sometimes offered about that, but I've never  
21                  seen any documentation to that effect.

22                  **MR. ROLLINS (by Telephone):** I have not  
23                  either.

24                  **DR. MAKHIJANI:** So I guess this kind of goes  
25                  back to the earlier problem of how you

1                   identify the workers. So it is in that  
2                   respect the same as item two.

3                   **MR. ROLLINS (by Telephone):** But kind of on  
4                   the other hand, we don't have documentation,  
5                   or I haven't seen documentation that suspects  
6                   hot particles might be a problem at NTS.

7                   **DR. MAKHIJANI:** Yeah, this is what Jim was  
8                   saying.

9                   **DR. WADE:** It's a conundrum.

10                  **MR. CLAWSON:** Well, I thought odd in talking  
11                  about it. You know, they talked earlier about  
12                  the early propulsion systems and if that was a  
13                  hot particle problem there because some of the  
14                  surrounding areas would be closed down during  
15                  those processes until the buildings could be  
16                  washed down and so forth like that because of  
17                  the hot particles.

18                  **DR. MAKHIJANI:** Now that was a documented  
19                  hot-particle problem. There were measurements  
20                  made post-reactor tests, and they did quite a  
21                  lot of studies about that. So I guess you  
22                  could say the absence of studies in the  
23                  testing might say something. I don't know how  
24                  you would argue that, but it's an issue.

25                  **DR. NETON:** Yeah, it's something we're going

1                   to have to deal with. It's almost more of a  
2                   policy issue than a science issue.

3                   **DR. MAKHIJANI:** Yeah, maybe a policy issue.  
4                   I agree. If you don't find any documentation,  
5                   and you had it at Pacific Proving Grounds,  
6                   then, which is, you know, not exactly the same  
7                   type of test site obviously, it raises a  
8                   question for NTS, and then I guess it becomes  
9                   a policy issue which takes out, maybe, out of  
10                  our, SC&A's realm.

11                  **DR. WADE:** I think the Board would care  
12                  about how it was addressed.

13                  **DR. NETON:** I mean, this is post-atmospheric  
14                  testing we're talking about now, so we're not  
15                  talking about raining down of the immediate  
16                  shot. So then one wonders how much, how far  
17                  you'll be exposed to from the resuspension  
18                  pathway and possibly in the tunneling and  
19                  drill backs.

20                  **DR. MAKHIJANI:** In the drill backs is where  
21                  I'm thinking because that's when you're  
22                  resuspending significant sized particles, not  
23                  in the resuspension as in relation to  
24                  breathing fine particles in the suspension of  
25                  large particles.

1                   **DR. NETON:** Right, we have to look at that  
2 and see. I don't have a feel for that at all  
3 right now.

4                   **MS. MUNN:** You must be talking about a very  
5 small number of workers.

6                   **MR. PRESLEY:** Yes, yes, very small.

7                   Okay, what I've got on this is it will  
8 be addressed in the site-wide report the same  
9 as Comment number 2. Is that correct?

10                  (no response)

11                  COMMENT 4: ORO-NASAL BREATHING

12                  **MR. PRESLEY:** Go to Comment 4, ingestion.  
13 It has to do with reactor testing and the  
14 nuclear weapons testing workers for oro-nasal  
15 breathing. It says it needs to be evaluated.

16                  **MS. MUNN:** It's one of the overarching  
17 issues.

18                  **MR. PRESLEY:** That's what I remember. I've  
19 got a note here that says included in the  
20 Board's meeting schedule.

21                  **DR. NETON:** It's similar to the ingestion  
22 issue where hot particle oro-nasal breathing  
23 is being addressed, and that's hopefully the  
24 one that's going to come up in May, I hope.  
25 We never promise any more but --

1                   **MR. PRESLEY:** We can say that this will be  
2 coming up --

3                   **DR. WADE:** Say Jim Neton promised it'd be.

4                   **DR. NETON:** Checks will be in the mail by  
5 Christmas, I remember being quoted as saying.

6                   **COMMENT 8: EXTERNAL DOSE DATA FOR 1963-1966**

7                   **MR. PRESLEY:** We've done five, six, seven,  
8 eight. There's the external dose data from  
9 '63 to '66 not claimant favorable. I've got a  
10 notation on this that the TBD will address  
11 some guidance to the Chapter Six revision.

12                  **DR. MAKHIJANI:** You've published a revised  
13 TBD, right?

14                  **MR. ROLFES:** That's correct. We did update  
15 the TBD with a page change revision so that  
16 has been addressed and an approved document  
17 that's available for dose reconstruction at  
18 this time.

19                  **MR. PRESLEY:** Can we say that Response 8  
20 then is complete and off of our table?

21                  **DR. MAKHIJANI:** Mr. Presley, I don't know  
22 what the procedure is if NIOSH has completed  
23 and the revision of the review are we review  
24 that and make sure that the comment was  
25 addressed or if the TBD has been published

1                   then a separate action reviewing that is  
2                   required by the Board. Or I'm not clear what  
3                   happens in a circumstance like that.

4                   **MR. PRESLEY:** Lew, you got any?

5                   **DR. WADE:** Yeah, I think it's up to the  
6                   discretion of this work group. I mean, NIOSH  
7                   was instructed to do something. NIOSH reports  
8                   it's done that. The work group can (a) take  
9                   it on faith, (b) review it itself or (c) ask  
10                  its contractor to review it.

11                  **DR. MAKHIJANI:** Because we, pending  
12                  instruction from you, we haven't done, and I  
13                  sent you an e-mail about that I think. We  
14                  have not done any reviews of changes that have  
15                  been published pending instruction from the  
16                  working group.

17                  **MR. ROLFES:** It'd be a simple one to review.  
18                  It's really just one or two pages.

19                  **DR. MAKHIJANI:** Yeah, I mean, to be formal  
20                  about what we do I wanted to be --

21                  **DR. WADE:** It's up to the work group.

22                  **MR. PRESLEY:** Do I have a consensus that we  
23                  need to let SC&A review this and get back with  
24                  us with their comments?

25                  **MS. MUNN:** Actually, as Mark points out,

1                   it's not that big a thing, but I had expected  
2 personally to have time to review both Section  
3 Five and Section Six, which have been re-done,  
4 and shamefully, have done neither. And so my  
5 personal preference would be to have an  
6 opportunity to go over those two chapters  
7 myself. My feeling is that probably if the  
8 issues have been addressed appropriately, then  
9 it's difficult for me to evaluate whether they  
10 have or have not since I have not read those  
11 two chapters which are now available for  
12 everybody. They're up on the web, and I just  
13 have not read them. Have all the other Board  
14 members reviewed them?

15                  **MR. PRESLEY:** No.

16                  **DR. ROESSLER:** You're putting us in a  
17 corner.

18                  **DR. WADE:** Don't ask me to join her in the  
19 corner.

20                  **MS. MUNN:** Welcome to my corner.

21                  **MR. PRESLEY:** I'm with you in the corner,  
22 too. At this point I would suggest that we  
23 let SC&A review this, get back to us with  
24 their comments.

25                  **DR. MAURO:** It sounds like the issue was

1                   that external doses from '63 to '66 were not,  
2 basically, are being reconstructed using 1967  
3 data. And our concern was can they do that.  
4 I guess you folks have answered that, yes, you  
5 can. Can you just give us a quick, 30-second  
6 sound bite on the strategy?

7                   **MR. ROLFES:** Sure. Yes, I will refer to the  
8 change that we made in the Technical Basis  
9 Document. We received a master dosimetry  
10 gamma dose sheet for individuals monitored  
11 from 1945 so there were some individuals that  
12 were at the Trinity site, but beginning in  
13 1951, these would have included the people at  
14 Nevada Test Site all the way up, I believe we  
15 have, this sheet just has through '83, but I  
16 believe we do have more recent dose  
17 information.

18                   What we did, we were able to get  
19 information on the number of people that were  
20 monitored at Nevada Test Site, and the number  
21 of people that fell into various dose  
22 categories and had doses between one and 50  
23 millirem, 50 to 100 millirem, 100 to 150  
24 millirem and on up all the way from 7,500  
25 millirem up to 10,000 millirem. So we have

1                   incorporated this into the Technical Basis  
2                   Document I believe.

3                   Is that correct, Gene? I want to make  
4                   sure that I'm referring to the correct thing  
5                   that we incorporated this master dosimetry  
6                   table that we received for assigning  
7                   unmonitored doses for 1963 through 1966.

8                   **MR. ROLLINS (by Telephone):** Yes, that has  
9                   been incorporated.

10                  **MR. ROLFES:** Okay.

11                  **DR. MAURO:** So let me see if I understand.  
12                  You do have dosimetry data from '63 to '66  
13                  upon which to do dose reconstructions or at  
14                  least build a coworker model --

15                  **MR. ROLFES:** Correct.

16                  **DR. MAURO:** -- for those who weren't  
17                  monitored from '63 to '66.

18                  **MR. ROLFES:** Correct.

19                  **DR. MAURO:** And the data is in your  
20                  amendment.

21                  **MR. ROLFES:** Yes, that's correct.

22                  **DR. MAURO:** So I can look at that. It's  
23                  easy.

24                  **COMMENT 9: ENVIRONMENTAL EXTERNAL DOSE DATA FOR 1968-**  
25                  1976

1                   **MR. PRESLEY:** Comment 9, and it's the same  
2 response as Comment 8. It has to do with the  
3 environmental external dose '68 to '76.  
4 Anybody have any problems with what we have  
5 there to be taken care of in Response 8?

6                   **MR. ROLFES:** Same issue, same response.

7                   **MR. CLAWSON:** Let me ask one question. When  
8 you do a change to the TBD like that, you  
9 change the one on the web, right?

10                  **MR. ROLFES:** Yes, that's correct. The one  
11 on the web will have an indication that  
12 there's a page change revision, and it'll have  
13 the date that the revision was made.

14                  **MR. CLAWSON:** Okay, so I need to keep  
15 updating my TBDs because I'm just looking at  
16 mine, and it's a year or so old there. That's  
17 what I need --

18                  **MS. MUNN:** You also have to look under NTS.

19                  **MR. ROLFES:** There's a lot of information  
20 out there. It's overwhelming.

21                  **DR. MAKHIJANI:** As a reviewer let me say  
22 that it's very helpful when you revise  
23 something that in the beginning of the revised  
24 document you have a notation of the changes  
25 that have been made, the sections and if there

1                   are specific changes. That's very helpful.  
2                   Or if the whole document has been changed,  
3                   then you need, then you know you've got to go  
4                   through the whole thing again. But otherwise  
5                   it really is very efficient to know what to  
6                   review the second time around. Thank you.

7                   **MS. MUNN:** Mark in the margins.

8                   **COMMENT 10: PRE-1963 EXTERNAL ENVIRONMENTAL DOSE**

9                   **MR. PRESLEY:** Comment 10. It has to do with  
10                  pre-'63 external environmental dose relating  
11                  to unmonitored workers. And again, that has  
12                  been addressed or will be addressed in the  
13                  TBD.

14                  **MR. ROLFES:** Correct. And this will be  
15                  addressed by the Comments 8 and 9. Our  
16                  response is the same information will be used,  
17                  the master dosimetry gamma dose table that  
18                  we've incorporated into the Technical Basis  
19                  Document.

20                  **MR. PRESLEY:** Pardon me. I want to make  
21                  sure I get the right response on here.

22                  **COMMENT 11: CORRECTION FACTORS**

23                  Comment 11, correction factor for the  
24                  external environmental dose, and that also has  
25                  to do with the TBD review.

1                   **MR. ROLFES:** Yes, that's correct. I'll give  
2 a brief description and then let Gene make  
3 comments if necessary.

4                   We did evaluate, this was an issue  
5 about correction factors for external dose  
6 from environmental contamination. There was a  
7 concern that correction factors needed to be  
8 developed specific to these unique geometries  
9 associated with contamination disbursed in the  
10 soils. It was more of a geometrical  
11 correction I believe.

12                  But what we did, we did go through and  
13 evaluate various correction factors and found  
14 that these were typically less than what we  
15 are currently using in our Technical Basis  
16 Document. So we didn't feel that it would be  
17 appropriate to reduce the dose that we're  
18 assigning based on these new numbers that we  
19 had developed. Everything was pretty much  
20 close to unit, roughly one, a dose conversion  
21 factor of one.

22                  Is that a correct description of what  
23 we did, Gene?

24                  **MR. ROLLINS (by Telephone):** I think you  
25 captured it, Mark.

1                   **MR. ROLFES:** Okay. So we did evaluate these  
2 numbers and come up with new dose conversion  
3 factors that could be used. However, many of  
4 them were less than one so we didn't think it  
5 was appropriate to use a lower number than  
6 what we already had.

7                   **DR. MAKHIJANI:** I had two questions about  
8 this response, one of which was -- what, are  
9 you done with the whole thing or just the  
10 first part of that?

11                  **MR. ROLFES:** No, I'm finished. Go ahead.

12                  **DR. MAKHIJANI:** Referring to the second  
13 paragraph, the energy ranges, I understand the  
14 minimum and maximum assumptions, but you  
15 don't, say, give any guidance for best case  
16 estimates there.

17                  **MR. ROLFES:** Gene, for, well, I take that  
18 back, when minimizing or providing a best  
19 estimate --

20                  **DR. MAKHIJANI:** Oh, or providing, sorry.

21                  **MR. ROLFES:** -- the photon energy range  
22 assumption is 25 percent, 30 to 250 and 75  
23 percent greater than 250 keV. And this was  
24 already added into the TBD.

25                  **DR. MAKHIJANI:** And there is a technical

1 basis for that in the TBD?

2           **MR. ROLFES:** Gene, do we have measured data  
3 for the 25/75 split?

4           **MR. ROLLINS (by Telephone):** Yes, if you go  
5 to Attachment B. We did an evaluation of  
6 Table B-1 in the revision.

7           **DR. MAKHIJANI:** Oh, B as in boy?

8           **MR. ROLLINS (by Telephone):** B as in bravo.

9           **DR. MAKHIJANI:** Okay. Is this in the same  
10 set of revisions as Comment 8, 9, 10 or in a  
11 different set of revisions?

12          **MR. ROLFES:** Let me refer back to this.

13           Gene, was this incorporated into the  
14 approved Technical Basis Document with the  
15 dose table with the recorded gamma dose table?  
16 I'm not certain. I don't --

17          **MR. ROLLINS (by Telephone):** I'm not sure  
18 what you're asking, but I'm sitting here  
19 looking at the approved revision. Are we  
20 still talking about energy ranges?

21          **MR. ROLFES:** Yes, that's correct.

22          **MR. ROLLINS (by Telephone):** That's in the  
23 revision.

24          **MR. ROLFES:** Okay, great. So SC&A can  
25 verify that it's in there.

1                   **DR. MAKHIJANI:** We can just look at it.

2                   **MS. MUNN:** I must be looking at the wrong  
3                   thing.

4                   **MR. ROLLINS (by Telephone):** Actually, it  
5                   occurs on page 94 of 113.

6                   **MR. ROLFES:** Okay, so we have addressed that  
7                   as well. That's in the approved Technical  
8                   Basis Document that was recently put out with  
9                   the page change.

10                  **DR. MAKHIJANI:** All right. And then the  
11                  last question is I guess it says TBD work  
12                  completed, but I guess this still remains to  
13                  be done? Oh, workers job category job  
14                  matrices added, but the correction factors  
15                  haven't been developed. Is that right?

16                  **MR. ROLFES:** We did evaluate the correction  
17                  factors, and we determined that they were  
18                  roughly unity or less than unity.

19                  **DR. MAKHIJANI:** Including for the geometry  
20                  of exposure from --

21                  **MR. ROLFES:** That's correct, for  
22                  environmental contamination, that's correct.  
23                  We didn't want to lower the dose estimates any  
24                  more than necessary. It didn't add much to  
25                  the Technical Basis Document. There was a lot

1                   of volume and there wasn't really any  
2                   significant change.

3                   **DR. MAURO:** Does SC&A have an action item on  
4                   this in terms of checking --

5                   **DR. MAKHIJANI:** It's all the same I think.  
6                   All in the same revisions.

7                   **COMMENT 12: RADON DOSES IN G-TUNNEL**

8                   **MR. PRESLEY:** Comment 12, radon dose in G-  
9                   tunnel. It also has to do with the Gravel  
10                  Gertie radon dose. They are not discussed,  
11                  could be substantial. That is also to be  
12                  reviewed in Chapter Four of the TBD.

13                  **MR. ROLFES:** And we did speak with some  
14                  people from Nevada Test Site, and we did  
15                  determine that they did not routinely use the  
16                  Gravel Gerties at Nevada Test Site. They were  
17                  limited to the tests for the design of the  
18                  Gravel Gertie back in 1957.

19                  And they basically had put some high  
20                  explosives into it, into the Gravel Gerties to  
21                  determine whether they would be able to  
22                  contain any radioactivity with an explosion or  
23                  detonation of high explosives. We haven't  
24                  found any indications that there was continual  
25                  occupants of the Gravel Gerties. But if we do

1                   in the future find someone that did routinely  
2                   work in Gravel Gerties, then at the time we  
3                   could assign the radon intakes.

4                   **MR. CLAWSON:** What about G-tunnel?

5                   **MR. ROLFES:** The G-tunnel? Radon intakes, I  
6                   do believe we have updated the information.

7                   Gene, could you --

8                   **MR. ROLLINS (by Telephone):** Yes, yes, yes,  
9                   there was a -- I went back and you were  
10                  correct. It wasn't claimant favorable the way  
11                  it had originally been constructed. So I had  
12                  gone back and revised the wording so that  
13                  we'll be using the G-tunnel concentrations,  
14                  the higher concentrations.

15                  **MR. CLAWSON:** Okay, so that's going to be a  
16                  part of the review that SC&A, it's the same  
17                  chapter --

18                  **DR. MAKHIJANI:** Isn't this, Brad, I don't  
19                  think this would need any review because  
20                  there's already a specific recommendation on  
21                  our part as to what they should do. So I  
22                  think it has been done. I mean, we could go  
23                  back and read the page, but I don't think  
24                  there's any new technical review to be done  
25                  because what's done is part of the review

1                   already.

2                   **MS. MUNN:** I believe that one's complete.

3                   **MR. ROLFES:** Okay, great.

4                   **MR. CLAWSON:** So number twelve would be  
5                   complete? I'm filling in for Bob for a second  
6                   here by the way.

7                   **DR. ROESSLER:** Why don't you call for a  
8                   lunch break, Brad?

9                   **MR. CLAWSON:** I don't think it's lunchtime  
10                  right yet.

11                  **DR. WADE:** It's five of 12:00.

12                  **MR. CLAWSON:** Oh, is it?

13                  **DR. WADE:** You can do that. You've got the  
14                  authority.

15                  **MR. CLAWSON:** Why don't we break for lunch  
16                  then. Let's go to a lunch break here then and  
17                  Bob can pick up --

18                  **DR. WADE:** Back at 1:00?

19                  **MR. CLAWSON:** Back at 1:00.

20                  **DR. WADE:** Okay, we're going to go to lunch.  
21                  We're going to be back at 1:00. We're going  
22                  to break the contact with the line and then  
23                  call back in when we get back here. Okay,  
24                  enjoy your lunch.

25                  (Whereupon a lunch break was taken from

1                   11:55 a.m. until 1:10 p.m.)

2                   **DR. WADE:** Okay, we're going to go back to  
3 our deliberations. I guess I would only ask  
4 if there are any members of the Board joining  
5 us by telephone, I'd like them to identify  
6 themselves. Any members of the Board?

7                   Okay, someone's speaking. We can hear  
8 you. I don't think you realize we can hear  
9 you. Someone is speaking about contract  
10 value, and we can hear you. There's somebody  
11 out there who's having a discussion about  
12 contract value and billing, and we can hear  
13 you. Hello?

14                  (no response)

15                  **MS. MUNN:** They must not care.

16                  **DR. WADE:** Well, we can't hear it. Let's  
17 begin.

18                  **MR. PRESLEY:** We stopped at 12; we finished  
19 with 12. Let's start with Comment 13.

20                  **DR. WADE:** Just a brief report on Brad's  
21 leadership. He completed an item, and he  
22 called for lunch. Very well done.

23                  **MR. PRESLEY:** He did a good job. Number 12  
24 is completed.

25                  **DR. WADE:** Let the record show.

1           **COMMENT 13: ENVIRONMENTAL DOSES DUE TO I-131 VENTING**

2           **MR. PRESLEY:** Number 13, Comment 13 has to  
3           do with the environmental dose due to venting,  
4           needs to be taken into account non-monitored  
5           workers. Again, this is an item which the TBD  
6           has addressed in Chapter Five revision. Does  
7           anybody have any comments one way or the other  
8           on this?

9           **DR. MAKHIJANI:** I guess as I read it, it  
10          hasn't been done yet?

11          **MR. ROLFES:** Well, Cheryl, are you on the  
12          line?

13          (no response)

14          **MR. ROLFES:** Gene or Cheryl?

15          **MR. ROLLINS (by Telephone):** Yes, I'm on the  
16          line, Mark.

17          **MR. ROLFES:** I'm going to see if we can, I  
18          believe Cheryl had gone through some  
19          calculations for our bounding environmental  
20          intake scenario, and that bounding scenario  
21          was the Baneberry venting. And I believe she  
22          was putting together some calculations in a  
23          white paper or in some spreadsheets.

24          **MR. ROLLINS (by Telephone):** I have those.  
25          I can speak to those.

1                   **MR. ROLFES:** Okay, great.

2                   **MR. ROLLINS (by Telephone):** Let me get my  
3                   papers straightened out here. What we did was  
4                   to go back and look at the actual measured  
5                   concentrations of iodine that occurred after  
6                   several of the ventings. And the highest one  
7                   that was measured was from the Baneberry  
8                   event, and it was measured on the plume center  
9                   line a few hours after the event. But we  
10                  corrected, actually, a few days after the  
11                  event, but we corrected -- no, no, no.

12                  We did decay corrections, but the  
13                  highest concentration that was measured that  
14                  someone theoretically could have been exposed  
15                  to was  $1.85 \times 10^{-12}$  microcuries  
16                  per cc at Camp 12. And what we did was  
17                  postulate a two-hour exposure to that  
18                  concentration. And the doses are very small  
19                  to the thyroid, actually less than a millirem.  
20                  So we don't deem that to be important to dose  
21                  reconstruction, the worst case scenario.

22                  **DR. MAKHIJANI:** Are these calculations  
23                  incorporated or, I guess they're not  
24                  incorporated in the TBD.

25                  **MR. ROLFES:** They haven't been incorporated

into an approved version of the TBD, but the draft calculations have been completed. I don't believe they've been provided to anyone other than internally within ORAU and NIOSH right now. This is one of those things that we will be incorporating into the approved document when it's --

**DR. MAKHIJANI:** Did you look at the other iodine, short-lived --

MR. ROLLINS (by Telephone): Yes.

**MS. MUNN:** He looked at 131, 32 and 33 and 35.

**MR. ROLLINS (by Telephone):** Yes, we have methods to handle those, and they have been included in the calculations. All of them added together I should say resulted in less than a millirem of a dose to the thyroid for a two-hour exposure to the maximum concentration.

**MS. MUNN:** I read some of that in the Chapter Five revision that's already out.

**DR. MAURO:** Excuse me, where is Area 12 in relative to where the release occurred?

**MR. ROLLINS (by Telephone):** It's Camp 12.

**DR. MAURO:** Area 12, Camp -- okay.

1                   **MR. PRESLEY:** It's up on the mesa.

2                   **DR. MAKHIJANI:** Is that where the people  
3                   were caught in the plume? There were a bunch  
4                   of workers at Baneberry who were caught in the  
5                   plume.

6                   **MR. ROLLINS (by Telephone):** I can't speak  
7                   to that.

8                   **MR. PRESLEY:** I can't either.

9                   **DR. MAURO:** The question becomes is that  
10                  where the people are? If that's not the case,  
11                  that's the case.

12                  **DR. MAKHIJANI:** I thought that must be where  
13                  the --

14                  **MR. ROLLINS (by Telephone):** Let me make  
15                  this comment. Let me make this comment. As  
16                  we all know, atmospheric conditions were  
17                  closely monitored. Of course, they didn't  
18                  expect a loss of containment at Baneberry, but  
19                  they typically waited until atmospheric  
20                  conditions were favorable so that anything  
21                  that might be released would not be blowing  
22                  towards populated areas. So although I don't  
23                  know this to be a fact, it seems to me that  
24                  what they tried to do here was measure center  
25                  line concentrations which may or may not have

1                   been where people were expected to be.

2                   **DR. MAKHIJANI:** Yeah, I mean, Baneberry was  
3                   obviously an unplanned venting, and as I  
4                   understand it there was a group of several  
5                   dozen workers who were caught in the plume  
6                   inadvertently, of course. And so that's why  
7                   the question is were the doses evaluated for  
8                   them. Obviously, that was shortly after the  
9                   venting. I don't remember the time.

10                  **MR. ROLLINS (by Telephone):** I'm speaking  
11                  from memory now, but it seems to me that I  
12                  have seen one or two of those cases -- well, I  
13                  better not say, but it seems to me I remember  
14                  seeing bioassay results on those individuals.  
15                  But I can't say for certain.

16                  **MS. MUNN:** That was going to be my next  
17                  question. Wouldn't that have been known?

18                  **MR. ROLLINS (by Telephone):** Typically,  
19                  those people that were involved in that type  
20                  of incident would have been --

21                  **MS. MUNN:** I would think that --

22                  **DR. MAKHIJANI:** It's quite possible. I  
23                  don't remember actually. We raised that as a  
24                  question in the review, and I can tell you  
25                  what we said. Baneberry test in December 1970

1                   was the last unplanned venting. TBD has not  
2                   specified any approach to estimating external  
3                   environmental dose during those years.

4                   **MR. ROLFES:** Okay, external?

5                   **DR. MAKHIJANI:** That's this particular  
6                   finding. I mean, we have a number of places  
7                   where we mention Baneberry.

8                   **MR. ROLFES:** The external doses would  
9                   obviously be recorded by a person's film  
10                  badge. And if a person were hypothetically  
11                  unmonitored in that area, we have coworker  
12                  information now. We have the gamma dose table  
13                  that we referred to earlier that we could also  
14                  use as well.

15                  **MR. ROLLINS (by Telephone):** There should be  
16                  no one unmonitored externally.

17                  **DR. MAKHIJANI:** I guess we also had raised  
18                  an internal question. Oh, yes, here it is.  
19                  Area 12 Camp personnel who were  
20                  decontamination -- they had decontamination  
21                  showers -- personnel were instructed to  
22                  provide urine samples. So okay, they did have  
23                  urine samples.

24                  **MS. MUNN:** And then they recorded what the  
25                  limits of detection for both urine and fecal

1 analysis were.

2           **DR. MAKHIJANI:** So I guess that's why we  
3 raised that external dose.

4           **MR. ROLFES:** Okay, so I think the bottom  
5 line is that we need to incorporate just some  
6 of our bounding calculation or a description  
7 of the bounding scenario for exposures to  
8 radio-iodines associated with venting from  
9 Baneberry, and that will result. Does that  
10 sound correct?

11          **MR. PRESLEY:** Yes.

12          **DR. WADE:** You'll do that and then the work  
13 group can decide if they want SC&A to --

14          **MR. PRESLEY:** Fourteen.

15          **MR. ROLLINS (by Telephone):** And is that the  
16 decision that we'll include a summary of this  
17 discussion in the TBD?

18          **DR. MAKHIJANI:** No, I was understanding  
19 you'll include your calculation, not this  
20 discussion.

21          **MR. ROLFES:** Okay, would the Advisory Board  
22 like for us to show a sample calculation --

23          **MR. PRESLEY:** Yes, I think so.

24          **MR. ROLFES:** -- in the TBD? Okay.

25          **MR. ROLLINS (by Telephone):** This is Gene

1 Rollins again. I'm trying to understand if  
2 the Board is asking that sample calculations  
3 be put into the Technical Basis Document.

4 **MR. PRESLEY:** One, Gene.

5 **MS. MUNN:** A single example, Gene.

6 **MR. PRESLEY:** Did you get that?

7 **MR. ROLLINS (by Telephone):** Okay.

8 **COMMENT 14: INTERNAL DOSE FOR PRE-1967**

9 **MR. PRESLEY:** We'll move on to 14. There  
10 are no internal monitoring data available  
11 until 1955 or '56, some plutonium from then,  
12 some tritium from '58, plutonium, tritium,  
13 mixed fission products from '61, and full  
14 radionuclide coverage established in 1967. It  
15 says that the TBD does not provide sufficient  
16 evidence for estimating internal dose for the  
17 pre-'67 period for many radionuclides. And  
18 SC&A has said that once the mass-loading model  
19 is approved that we as a working group would  
20 get this back for comment.

21 Is that correct, Mark?

22 **MR. ROLFES:** Yeah, this issue can be  
23 resolved by the mass-loading model as well.  
24 So when we get that reviewed by the Advisory  
25 Board and SC&A, we'll incorporate that into

1                   the Technical Basis Document. We feel that  
2                   will address this issue.

3                   **DR. MAKHIJANI:** Well, I didn't understand  
4                   that actually because the internal doses for  
5                   the tunnel workers -- so the atmospheric  
6                   testing thing is resolved by the SEC.

7                   **MR. ROLFES:** Yes, correct.

8                   **DR. MAKHIJANI:** The internal doses for the  
9                   tunnel workers are more than resuspension  
10                  doses, correct?

11                  **MR. ROLFES:** Uh-huh, uh-huh.

12                  **DR. MAKHIJANI:** Because you would be going  
13                  in and working in a contaminated environment  
14                  and exposed to tritium, for example, or a  
15                  number of other radionuclides. And I don't  
16                  see how resolution of Comment 5 covers the  
17                  internal exposure, which is an environmental  
18                  dose, it covers the internal exposures for the  
19                  workers in tunnels.

20                  **MR. ROLFES:** All right. We typically see  
21                  for people that are entering -- I'm sorry,  
22                  entering tunnels, we do typically see those  
23                  are the people that are typically bioassayed.  
24                  Those were obviously the people that were in  
25                  higher exposure categories, both from external

1                   dose as well as internal dose. And we  
2                   typically see higher recorded results or more  
3                   frequent positive doses for bioassay sampling  
4                   with those people.

5                   Gene, do you have anything to add  
6                   about the tunnel re-entry workers during this  
7                   time period? Is my explanation an accurate  
8                   one?

9                   **MR. ROLLINS (by Telephone):** The individual  
10                  that was talking was breaking up a little bit,  
11                  and we have several issues related to tunnel  
12                  re-entry, but could you please restate what  
13                  the concern is?

14                  **MR. ROLFES:** There's a concern about  
15                  unmonitored intakes, I guess, with the tunnel  
16                  re-entry workers, and my explanation was that  
17                  we typically see a larger portion of these  
18                  employees participating in a bioassay program.

19                  **MR. ROLLINS (by Telephone):** That's correct.

20                  **MR. ROLFES:** So these are the people that  
21                  were in radiation zones that were, that had  
22                  the potential for higher internal exposures,  
23                  and hence, they were the ones that were  
24                  monitored.

25                  **MR. ROLLINS (by Telephone):** That's correct.

1                   The security officers and the radiation  
2                   workers.

3                   **DR. MAKHIJANI:** Well, the specific content,  
4                   you know, as you look at the periods into  
5                   which the comment is divided, it was that  
6                   there wasn't a full radionuclide coverage for  
7                   the monitored people. So this comment was  
8                   directed only partly at the non-monitoring  
9                   which has been resolved by the atmospheric  
10                  testing SEC.

11                  But for the underground testing it was  
12                  directed not at non-monitoring but partial  
13                  monitoring because there wasn't full  
14                  radionuclide coverage until 1967. So the  
15                  thing, I guess, that I was looking for was  
16                  what's the guidance for converting, say, mixed  
17                  fission product results which might be  
18                  available to, into a dose.

19                  **MR. ROLFES:** Gene, correct me if I'm wrong,  
20                  but in those cases where we have a person that  
21                  was, say, bioassayed for gross fission  
22                  products, I believe it's our policy to use one  
23                  of the most claimant favorable or the  
24                  radionuclide that results in the highest dose  
25                  --

1                   **MR. ROLLINS (by Telephone):** That's correct.

2                   **MR. ROLFES:** -- of the potential  
3                   radionuclides that might be encountered.

4                   **MR. ROLLINS (by Telephone):** That's correct,  
5                   and the same is for gross alpha.

6                   **DR. MAKHIJANI:** So I guess that's guidance  
7                   that, I guess that's the thing that, that was  
8                   the reason for the comment.

9                   **MR. ROLFES:** Okay, great.

10                  **DR. MAKHIJANI:** Is there some rule for what  
11                  you do?

12                  **MR. ROLFES:** Yes, I do believe we have a  
13                  description of that in the TBD.

14                  Gene, do we have directions to the  
15                  dose reconstructor for --

16                  **MR. ROLLINS (by Telephone):** We have those  
17                  written in a document called "Approach to NTS  
18                  Dose Reconstruction". It's my understanding  
19                  that that text was going to be included in the  
20                  next revision of the TBD.

21                  **MR. ROLFES:** Okay, great.

22                  **MR. ROLLINS (by Telephone):** And it  
23                  basically provides instructions as to what the  
24                  dose reconstructor should do when they come  
25                  upon gross beta, gross gamma, gross alpha.

1           And we see that quite frequently at NTS, but  
2           we do have instructions, claimant favorable  
3           instructions as to how to handle those types  
4           of analyses.

5           **DR. MAKHIJANI:** Okay, and we haven't  
6           reviewed this, this is a separate document  
7           that we haven't reviewed.

8           **MS. MUNN:** I think that's correct. But also  
9           much of this information is contained in  
10           Section Five of this new revision to the TBD  
11           that we discussed earlier that I haven't had  
12           an opportunity to review myself.

13           **DR. MAKHIJANI:** I think Gene said that it's  
14           not in Section Five as yet. Did I understand  
15           that?

16           **MR. ROLFES:** Correct. He said it's --

17           **MS. MUNN:** It's not.

18           **MR. ROLFES:** -- like a dose reconstructors'  
19           guidance document.

20           **MS. MUNN:** That's a different document.

21           **MR. PRESLEY:** Yeah, it's a totally different  
22           document.

23           **DR. WADE:** Will that be included in the --

24           **MR. ROLFES:** Yes, it will be included in the  
25           revised Technical Basis Document.

1 | Correct, Gene?

2                           **MR. ROLLINS (by Telephone):** Yes, that's  
3 correct.

4 DR. WADE: So the Technical Basis Document  
5 will be revised to include these instructions.

6 MR. ROLFES: Yes.

**DR. WADE:** At which case the Board can review and ask SC&A if it wishes to --

9 MR. PRESLEY: Okay.

MS. MUNN: Do you have any idea of when?  
Are we almost down to that?

12                           **MR. ROLFES:** Gene, how do we stand as far as  
13                           the timing --

18                   **MS. MUNN:** So that will include the workbook  
19                   instructions?

20 MR. ROLLINS (by Telephone): That's correct.

21 MS. MUNN: Thank you.

22                           **MR. ROLFES:** As we've been discussing  
23                           already, I know that we do want to wait until  
24                           we get a couple of comments from SC&A before  
25                           we do approve the Technical Basis Document so

1                   that we don't have to go back and change an  
2                   approved document once again. So we'd like to  
3                   get as much done as possible before we approve  
4                   a new document rather than going back and  
5                   having to re-review it, update it and approve  
6                   it again.

7                   **MS. MUNN:** Good.

8                   COMMENT 15: BLAST WAVE

9                   **MR. PRESLEY:** Comment 15 has to do with  
10                  resuspension of radionuclides by the blast  
11                  wave. Again, our response has to do with  
12                  Comment 14, and I presume this is going to be,  
13                  fit into the work going into Chapter Five of  
14                  the TBD on this.

15                  **MR. ROLFES:** Yes, and we've indicated that  
16                  the work is completed, and I think it's --

17                                   Gene, I can't recall. Has this been,  
18                  is this in an approved Technical Basis  
19                  Document, our response to the resuspension of  
20                  radionuclides by the blast wave?

21                  **MR. ROLLINS (by Telephone):** The  
22                  resuspension by blast wave we're back into the  
23                  atmospheric time period.

24                  **DR. MAKHIJANI:** This is no more an issue.

25                  **DR. MAURO:** I do have a question. We're at

1                   an interesting confluence of the 250 workday  
2                   issue and the site profile. I know that as  
3                   part of the 250 workday issue where this is an  
4                   issue. And one of the things that's happening  
5                   is I believe NIOSH is looking into the new  
6                   DTRA methodologies for estimating intakes.  
7                   And that's part of the process that's going on  
8                   right now with regard to the 250 workday  
9                   issue. Now does that have any, I mean, is  
10                  there a place where these two come together  
11                  now all of a sudden? No. So the answer is  
12                  no. So for the purpose of the site profile  
13                  what I'm hearing is the issues related to  
14                  exposures during above ground testing are  
15                  just, even though their --

16                  **DR. MAKHIJANI:** Internal, internal dose.

17                  **DR. MAURO:** Just internal dose, right, are  
18                  completely off the table. I just want to make  
19                  sure I understand that.

20                  **DR. MAKHIJANI:** Well, Mr. Presley, that  
21                  would be my understanding that if there's  
22                  anything we covered in the 250 day, and we  
23                  copy everything we do in regard to the Nevada  
24                  Test Site to this working group. I mean,  
25                  those, as I understand it, are our

instructions.

**MS. MUNN:** That's what I thought they were going to do.

**MR. PRESLEY:** So we can mark this complete, not an issue.

**DR. MAKHIJANI:** Yeah, I think that's right.

**MR. PRESLEY:** Okay, now, what about 16 then?

**DR. MAKHIJANI:** It's the same thing.

**MR. PRESLEY:** And it's the same thing on that one. So we can mark this?

Eighteen.

**DR. MAKHIJANI:** Seventeen.

**MR. PRESLEY:** I'm sorry.

**MS. MUNN:** That was, the TIB's 18.

## **COMMENT 17: INGESTION DOSES**

**MR. PRESLEY:** I'm sorry, missed a header.

Investigate doses needed to better evaluate findings 11, 12, issues 5.5.6 and 5.6.5. And again, we go back to the mass-loading model.

**MS. MUNN:** We have or have not revised OTIB-18?

**MR. ROLFES:** We have a --

**MR. ROLLINS (by Telephone):** OTIB-18 did not need a revision. OTIB-18 contains a 20 percent addition for ingestion pathways.

1                   **DR. MAURO:** John, maybe I can help out a  
2 little bit. OTIB-18 is a default method to  
3 reconstruct inhalation doses based on the  
4 maximum permissible concentrations that were  
5 in effect at the time, and the expectation  
6 that there was a health physics program in  
7 place. So basically it's a default way to  
8 come up with a what we consider to be a  
9 realistic upper bound on the inhalation  
10 exposures.

11                  Now it was also included doses, okay,  
12 once you have an idea of what the inhalation  
13 exposures might have been, you could estimate  
14 what the ingestion dose is by a rule of thumb  
15 whereby if the rule of thumb is saying that  
16 the ingestion doses are 20 percent of the  
17 inhalation doses.

18                  And that's based on certain  
19 assumptions that I believe are being  
20 revisited, mainly, inherent in that  
21 relationship is assumptions regarding the  
22 deposition velocity of airborne particulates  
23 from the air onto surfaces and the fraction of  
24 the material that might be on surfaces that's  
25 inadvertently ingested. I believe that that

1                   approach, we'll call the 20 percent rule, that  
2                   has been widely used and is continuing to be  
3                   used is being revisited.

4                   Jim is here. He can probably help us  
5                   out a bit. I don't know if anyone else is  
6                   familiar. I know it was revisited on behalf  
7                   of Bethlehem Steel. Whether or not it's being  
8                   revisited on a more broad basis and a  
9                   different strategy being applied for deriving  
10                  ingestion doses, I guess that's the question.

11                  The response here I believe is that  
12                  you are adopting what I call the 20 percent  
13                  rule, and that's what you can plan to use.  
14                  And that's fine, but our understanding is that  
15                  approach is being revisited, and whether or  
16                  not you're going to revise it for this  
17                  application also is the question. It was  
18                  revised at Bethlehem Steel, but maybe you feel  
19                  that it doesn't need to be revised here  
20                  because it's a different setting. I guess  
21                  we'd like to hear a little bit more about  
22                  that.

23                  **MR. PRESLEY:** Well, we've got a note in here  
24                  that says that this activity is contingent on  
25                  the resolution of Comment 5.

1                   **DR. MAURO:** Oh, I didn't see that.

2                   **MR. PRESLEY:** And I'm just wondering if  
3                   that's not one of --

4                   **MS. MUNN:** Well, my understanding from the  
5                   Bethlehem Steel discussion was that this OTIB,  
6                   this particular issue, was one of the  
7                   overarching issues. And because Bethlehem  
8                   Steel certainly is not the only place where  
9                   deposition is an issue.

10                  **DR. MAURO:** And they came up with a fix.  
11                  Okay, so then what I'm hearing is that this  
12                  aspect of the -- is filled, that aspect, the  
13                  ingestion portion, really is going to wait  
14                  until there is a facility-wide approach for  
15                  dealing with ingestion?

16                  **DR. MAKHIJANI:** At this time I don't think  
17                  so.

18                  **DR. MAURO:** I'm not sure.

19                  **DR. MAKHIJANI:** As I remember -- this is  
20                  also from long-time memory, but there was,  
21                  because Bethlehem Steel had rolling only, part  
22                  of the time there was an ad hoc model  
23                  developed for that that accounted for mixtures  
24                  of non-radioactive, increasing mixtures of  
25                  non-radioactive and radioactive dust.

1                   **MS. MUNN:** Very short periods of time.

2                   **DR. MAKHIJANI:** Yes, so the pure uranium was  
3                   only once a month or twice a month, whatever  
4                   the rolling was.

5                   **DR. MAURO:** That was part of it, but there  
6                   was a more fundamental part which established  
7                   an empirical relationship between what's on  
8                   the surface and what's ingested. And it's an  
9                   empirical relationship which basically  
10                  replaced the other method which started from,  
11                  what's in the air, the original, if you know  
12                  what the dust loading in the air is, we'll  
13                  assume it's five micron AMAB and will fall at  
14                  a rate of .000. I remember the number, 7 5  
15                  meters per second, and you somehow could get  
16                  to what's on the surface.

17                  **MS. MUNN:** That was to come from this.

18                  **DR. MAURO:** Yeah, so what I'm getting at is  
19                  there is a, in my opinion, you've come up with  
20                  a very sound approach. NIOSH has come up with  
21                  a very sound approach based on empirical  
22                  information. If you know what's on the  
23                  surface, you could predict what might be  
24                  ingested which divorces itself from what's in  
25                  the air which is good.

1                         Now my question is, is that, right now  
2                         OTIB-18 doesn't do that. In other words OTIB-  
3                         18 still has the old method imbedded.

4                         **DR. MAKHIJANI:** I think so.

5                         **DR. MAURO:** Yeah, so I guess that's my  
6                         question to NIOSH whether or not there's any  
7                         consideration to revisit that aspect of OTIB-  
8                         18 as it pertains to ingestion.

9                         **MR. ROLFES:** At this time I don't think  
10                         there is. If we have indication that  
11                         ingestion was a larger player in internal  
12                         doses, then I think it would be appropriate at  
13                         that time to consider higher ingestion doses  
14                         or higher ingestion intakes. I haven't seen  
15                         any indication of ingestion being a great  
16                         concern. Typically, for internal dose  
17                         reconstructions inhalation is the most  
18                         important pathway and ingestion is a fraction  
19                         of the internal dose concern in comparison to  
20                         inhalation.

21                         **MR. ROLLINS (by Telephone):** This is Gene  
22                         Rollins. A question for John.

23                         John, were you involved, we had these  
24                         similar discussions for SRS.

25                         **DR. MAURO:** I'm not sure. We have had this

1                   discussion before on other sites. I'm not  
2                   sure whether it was SRS.

3                   **DR. MAKHIJANI:** Nevada Test Site is a little  
4                   bit particular because of ingestion dose would  
5                   be highly time dependent.

6                   **MR. ROLLINS (by Telephone):** I'm sorry, I  
7                   didn't --

8                   **DR. MAKHIJANI:** Because ingestion doses  
9                   would be highly time dependent, and you could  
10                  have other than hot-particle doses, you could  
11                  still have GI tract doses and so on that are  
12                  very different than what you would, say, get  
13                  in a place like Rocky Flats or Fernald or Y-  
14                  12.

15                  **MR. ROLFES:** I would agree that the  
16                  ingestion doses might be important during like  
17                  an atmospheric weapons test period when a  
18                  person would be exposed to some of the short-  
19                  lived fission products.

20                  **DR. MAKHIJANI:** How about re-entry?

21                  **MR. ROLFES:** Okay, that could be an issue,  
22                  but for the majority of the claims that we're  
23                  seeing I don't believe that the ingestion  
24                  pathway is that significant. I really don't  
25                  see that many people being exposed to fresh

1                   fission products where it would be an over,  
2                   there's not very many scenarios that I've seen  
3                   that ingestion intakes and the internal doses  
4                   resulting from those ingestion intakes would  
5                   exceed that which we're assigning from  
6                   inhalation pathways.

7                   **MR. ROLLINS (by Telephone):** This is Gene  
8                   Rollins again. I think the example that we  
9                   did for Savannah River if I can remember it  
10                  was we basically had someone standing on  
11                  contaminated soil. We used the EPA typical  
12                  ingestion, soil ingestion, and with the dose  
13                  conversion factors, the calculation that we  
14                  ran out showed that ingestion would typically  
15                  be only one percent of the dose that you would  
16                  expect from inhalation.

17                  **DR. MAURO:** I'm not disagreeing with you at  
18                  all that ingestion is going to be a small  
19                  contributor compared to inhalation. All I'm  
20                  saying is the fundamental model that is  
21                  currently in the OTIBs and many of the site  
22                  profiles uses the .2 rule of thumb, not the  
23                  approach that you just described, for example.  
24                  But I think that in other words you'd  
25                  basically be adopting something like 50 to 100

1                   milligrams per day as a default ingestion rate  
2                   which is an EPA number. But even that, as Jim  
3                   has pointed out, has some deficiencies. All  
4                   I'm saying is that I think that the -- it's  
5                   really a question -- I believe that the  
6                   ingestion point portion of OTIB-18 that's  
7                   referred to here in your response, I believe  
8                   that approach is no longer being used, or the  
9                   intention is to no longer use that. It may  
10                  still be being used in carryover because it  
11                  has a certain amount of inertia, but I believe  
12                  that NIOSH -- and this is really a question  
13                  for NIOSH -- is there going to be a general  
14                  change in approach for ingestion?

15                  **MS. MUNN:** That gets back to my original  
16                  question. Have we made any revision to OTIB-  
17                  18? Because there's been discussion about  
18                  incorporating an entirely different approach.  
19                  If we have not, then it seems to me this work  
20                  group has to decide whether or not we would  
21                  recommend that revision or whether we would  
22                  recommend that NIOSH incorporate words in the  
23                  TBD that Mark just gave us that justifies the  
24                  utilization of the current process.

25                  **MR. ROLFES:** It sounds to me like it's more

1                   of a TIB-18 issue than a Nevada Test Site  
2                   issue, and that's, if the Advisory Board  
3                   thinks it's appropriate to review TIB-18 and  
4                   the methodology used to assign ingestion  
5                   intakes in TIB-18 that can be reviewed. But  
6                   and then at that time we can apply it to  
7                   intakes for Nevada Test Site, but I don't see  
8                   that that being a site-specific or a site  
9                   profile issue right now.

10                  **MR. PRESLEY:** Well, that's more of a general  
11                  issue.

12                  **DR. MAURO:** OTIB-18 is on the agenda for as  
13                  one of the procedures that will be, we didn't  
14                  review it as part of our last round of, in our  
15                  procedure reviews. So it's sitting on the  
16                  shelf, on your shelf, but we have not yet had  
17                  an opportunity to have a working group work  
18                  that particular set of procedures. And I'd  
19                  like to add that OTIB-18 is going to be a very  
20                  interesting one where there's going to be a  
21                  lot to talk about because it's come up time  
22                  and again.

23                  **MR. CLAWSON:** I thought this was kind of  
24                  part of the overarching issue.

25                  **DR. MAURO:** It is an overarching issue.

1                   **MS. MUNN:** That puts us back in the same  
2 area we brought up this morning.

3                   **MR. CLAWSON:** That's why we brought up OTIB-  
4 18 to be reviewed by SC&A after it being  
5 completed.

6                   **DR. MAKHIJANI:** In the review -- I'm just  
7 going back to see where these matrix entries  
8 came from in our review. And on page 47  
9 there's finding 11 on soil ingestion pathways  
10 in which we affirm for the most part what Mark  
11 and Gene have been saying is right, but for  
12 the higher actinide plutonium and so on, your  
13 uptake from the gut is so small that  
14 inhalation will dominate the dose.

15                  But because you have a mix of  
16 radionuclides not confined to higher actinide,  
17 some radionuclides could have greater bio-  
18 availability from the gut. And in those cases  
19 it's a competition whether inhalation would  
20 dominate or ingestion would dominate.

21                  And I think, I mean, the comment is in  
22 the context that there may be a crossover for  
23 some radionuclides, not higher actinides, that  
24 needs to be evaluated. And so as I said there  
25 is a site-specific aspect to the Test Site for

1                   the ingestion comment because of that problem.

2                   Because normally you wouldn't see  
3                   ingestion dominating, but we raised the  
4                   question that in the case of some  
5                   radionuclides, it may dominate. We didn't do  
6                   the calculations.

7                   **MR. ROLFES:** I'm trying to picture a  
8                   scenario when ingestion might be a larger  
9                   contributor, and I can't think of anything  
10                  other than during like an atmospheric testing  
11                  time period.

12                  **DR. MAKHIJANI:** Cesium.

13                  **MR. ROLFES:** Cesium, okay.

14                  **DR. BEHLING:** The only thing it doesn't have  
15                  to be metabolically significant. For  
16                  instance, in the case, and I did a lot of dose  
17                  reconstructions in the Marshall Islands. The  
18                  bulk of the GI tract dose was due to the  
19                  simple passage of the bolus as opposed to the  
20                  metabolic uptake. So you have to be careful.  
21                  It doesn't have to be soluble as long as it's  
22                  there and doing, and usually it's the colon or  
23                  rectum that is the limiting tissue, the  
24                  epithelial tissue. So it doesn't have to be  
25                  metabolically taken up to deliver a GI tract

1                   dose.

2                   **DR. MAURO:** For a GI tract cancer, this  
3                   might be a limiting pathway.

4                   **DR. BEHLING:** And also we would raise the  
5                   question about the relationship between  
6                   inhalation dose because if the pathway is one  
7                   of simple transfer, you can have radioactivity  
8                   on the table here, and without resuspension or  
9                   dust loading, the intake from transfer from  
10                  surfaces to your mouth has nothing to do with  
11                  the air. And so the blanket assumption of the  
12                  20 percent value has no relationship to  
13                  transfer from surface contamination to  
14                  airborne inhalation. There's no connection  
15                  really.

16                  **DR. MAURO:** I think that's what we're saying  
17                  is that I think it's been accepted that there  
18                  are circumstances under which the 20 percent  
19                  rule doesn't work. And when that happens --

20                  **DR. MAKHIJANI:** We did that for Bethlehem  
21                  Steel.

22                  **DR. MAURO:** And we did that there, and there  
23                  are other places. This might be one of them.  
24                  In my opinion I think we would be best served  
25                  to deal with this when we get to OTIB-18.

1           This is going to apply across the board to  
2           everything.

3           **DR. MAKHIJANI:** Including GI tract for these  
4           specific --

5           **DR. BEHLING:** Especially if you talk about  
6           neptunium which has a 2.6 day half-life.  
7           It'll have no metabolic value because it's too  
8           short-lived. Usually the bolus will have a  
9           transit time to the GI tract of about 48 hours  
10          which is already approaching the half-life of  
11          neptunium. So you have to be careful in not  
12          excluding non-metabolic active nuclides.

13          **MS. MUNN:** Hans, do I hear you saying that  
14          the in vitro information data that we have  
15          then is --

16          **DR. BEHLING:** Yeah, you won't measure, for  
17          instance, if the material isn't taken up, a  
18          subsequent whole body count days later will  
19          not reveal anything that's already been  
20          excreted. And so --

21          **MS. MUNN:** I'm thinking about fecal samples  
22          and urine samples. But even though you passed  
23          the half-life, you still have detectable  
24          quantities there. So it seems to me that  
25          perhaps what we're discussing may be a little

1                   bit academic if you have in vitro analyses.

2                   **DR. MAURO:** There's empirical data that  
3                   establishes the robust relationship between  
4                   what's on surfaces and what's ingested. And  
5                   that's been documented. Jim's documented it.  
6                   And I think it probably applies here.

7                   **DR. BEHLING:** In vitro if you incorporate  
8                   urinalysis, you will not see. So for  
9                   urinalysis to be indicative of an uptake, you  
10                  have to decide what has to be metabolized. In  
11                  fecal samples the only other option for in  
12                  vivo analysis that would reveal a transitory  
13                  exposure that is not metabolically involved.

14                  **MR. ROLFES:** When you're referring to  
15                  cesium, you had mentioned cesium would be one  
16                  of those contributors for ingestion of --

17                  **DR. MAKHIJANI:** Cesium would be taken up.

18                  **MR. ROLFES:** That's exactly the point --

19                  **DR. MAKHIJANI:** You're talking about things  
20                  that pass through.

21                  **DR. BEHLING:** Yeah.

22                  **DR. MAKHIJANI:** This may be more important.

23                  **DR. BEHLING:** Especially when you're talking  
24                  about oxides of, high temperature oxides that  
25                  are inside of a definition, the transuranics,

1                   and so forth, but cesium would be a marginal  
2                   one anyway.

3                   **MR. PRESLEY:** Can we go ahead and say then  
4                   that we're going to wait on OTIB-18 review to  
5                   discuss this? Because right now I don't see  
6                   us going anywhere.

7                   **DR. MAKHIJANI:** Well, OTIB-18 has to be  
8                   revised before it can be reviewed. I think.

9                   **MR. PRESLEY:** John's going to have a --  
10                  OTIB-18.

11                  **DR. MAURO:** And this is part of the concern.  
12                  So eventually we're going to get there. But  
13                  maybe that's the best place to do it.

14                  **DR. WADE:** There is a work group that, well,  
15                  Wanda's the Chair on Procedures Review, so  
16                  that --

17                  **DR. MAURO:** We're going to get there.

18                  **DR. WADE:** -- your review of OTIB-18 should  
19                  come before that work group.

20                  **MR. PRESLEY:** What I've got here is awaiting  
21                  OTIB-18 review on this subject.

22                  **COMMENT 18: ORAUT-OTIB-0002**

23                   Recommended use of OTIB triple O two  
24                   for post-1971 tunnel re-entry workers, and I  
25                   have this marked as complete. When we get the

1                   Technical Basis Document, we are to review it  
2                   for completeness. Is that -- Anybody have any  
3                   comment on this?

4                   **MR. ROLFES:** I think the issue that we had  
5                   just been speaking about, number 17, can be  
6                   addressed by the application of OTIB-0002  
7                   intakes. I think this --

8                   **DR. MAKHIJANI:** Inhalation intakes.

9                   **MR. ROLFES:** Well, inhalation as well as,  
10                  well, this is inhalation intakes but you're  
11                  referring to ingestion. I apologize, so thank  
12                  you.

13                  **MS. MUNN:** And I have a question about the  
14                  wording of that comment. When I read that  
15                  second sentence, I wasn't sure what I was  
16                  reading. It's use may not be satisfactory  
17                  even with restrictions. For instance, for  
18                  reactor testing and? or? early re-entry  
19                  workers? I wasn't really --

20                  **DR. MAKHIJANI:** No, this, the early re-entry  
21                  workers involved in reactor testing, not and.

22                  **MS. MUNN:** Okay. So for early re-entry  
23                  workers involved in reactor testing.

24                  **DR. MAKHIJANI:** Right, this was, that  
25                  comment was too compressed from the finding.

1                   **MS. MUNN:** I looked at that and couldn't  
2 make sense of it.

3                   **DR. MAKHIJANI:** I guess basically NIOSH  
4 agrees with the comment, right?

5                   **MR. ROLFES:** We feel that the intakes that  
6 we're assigning are bounding intakes.  
7 However, I think it was a concern about the  
8 discussion of dates associated with TIB-0002.  
9 Now, TIB-0002 had some information in it  
10 precluding its use prior to 1970, I believe,  
11 unless there's specific justification within a  
12 dose reconstruction. And I think that the  
13 issue was more along those lines, but wasn't  
14 necessarily a technical issue. It was more of  
15 an issue with what had been documented in TIB-  
16 0002. But I believe --

17                   Gene, could you comment on that, Gene?  
18 How did we resolve that --

19                   **MR. ROLLINS (by Telephone):** I believe the  
20 original concern was that OTIB-0002 was being  
21 used prior to 1971 where there was specific  
22 instructions within OTIB-0002 that said not to  
23 do that. So what we have done is added  
24 information into the Technical Basis Document  
25 that says basically you must follow all

1                   restrictions of all TIBs, OTIBs, and that  
2                   includes OTIB-0002. And so what we're doing  
3                   more of now is applying OTIB-18 to those  
4                   situations as opposed to OTIB-0002. But we  
5                   have added those cautions to the TBD.

6                   **MS. MUNN:** So are we okay, Arjun?

7                   **DR. MAKHIJANI:** Yeah, I think that's fine.

8                   **MS. MUNN:** We're done.

9                   **DR. MAKHIJANI:** If this was just a  
10                  procedural comment that restrictions are not  
11                  being followed so if there's guidance that it  
12                  should be followed, then it's resolved.

13                  **MR. PRESLEY:** What I had marked on this  
14                  then, this item is complete, and we should see  
15                  OTIB-18. Is that correct? That should take  
16                  care of that.

17                  COMMENT 19: PRE-1966 BETA DOSE

18                  Nineteen, there are no beta dose data  
19                  until 1966. The Technical Basis Document does  
20                  not specify procedures for estimating pre-'66  
21                  beta dose. And again, we have marked that  
22                  work complete, and the working group will  
23                  review for completeness.

24                  Mark, do you have anything?

25                  **MR. ROLFES:** I believe this is in our

1                   approved Technical Basis Document now. We  
2                   have some, I think, SC&A had recommended some  
3                   specific -- I'm trying to recall the  
4                   gentleman's name, the author of the document.  
5                   Was it -- it started with a B. There was a  
6                   document that you had referred us to, and I  
7                   believe we --

8                  **DR. BEHLING:** And I think that the person  
9                  involved was the person who was doing dose  
10                 reconstruction for DTRA?

11                 **MR. ROLFES:** Yes, that's correct. I can't  
12                 think of the gentleman's name. It starts with  
13                 a B.

14                 **DR. ROESSLER:** John (unintelligible)?

15                 **DR. BEHLING:** No, he recently published an  
16                 article in Health Physics Journal that talks  
17                 about the relationship between beta dose and  
18                 gamma dose various distances above the  
19                 contaminated surface. And much of that work  
20                 involves the Pacific Proving Ground dose  
21                 reconstruction for beta. Neal Barrs (ph).

22                 **DR. ROESSLER:** Barrs, yes. Yes.

23                 **MR. ROLFES:** But anyway I do believe we have  
24                 incorporated some methodology based on the  
25                 Barrs' reference into the approved Technical

1                   Basis Document which is now available on the  
2 website, too.

3                   **MR. ROLLINS (by Telephone):** That's correct.  
4                   That went into Attachment C.

5                   **MR. PRESLEY:** This item should be complete.  
6                   Is that correct?

7                   **MR. ROLFES:** That's correct.

8                   **MR. CLAWSON:** That's still got the hot  
9 particle issue, but we're taking care of that  
10 and OTIB's taking care of, it's --

11                  **DR. MAKHIJANI:** This is in volume six.

12                  **MR. ROLFES:** Gene that's -- yes, correct,  
13 volume six. And that was added as part of the  
14 page change I believe with the dose table that  
15 we inserted as well.

16                  **DR. MAKHIJANI:** Is this covered by the  
17 earlier kind of that we take care of, review  
18 the page change or not, review the page change  
19 or --

20                  **MR. ROLFES:** You'll be reviewing the page  
21 changes I believe. So this is part of the  
22 page change that was made to the Chapter Six  
23 of the Nevada Test Site TDB.

24                  **COMMENT 20: INTENTIONAL NON-USE OF BADGES**

25                  **MR. PRESLEY:** Item 20, one of their more

1 popular items.

2                           **MR. ROLLINS (by Telephone):** Actually, Mark,  
3                           let me qualify that. Actually, the  
4                           Attachments A, alpha through delta, they went  
5                           in as Revision 1-A.

6                           **MR. ROLFES:** Okay, so it was prior to the  
7 page change.

10                           **MR. ROLFES:** Okay, so it's been out there  
11                           awhile then.

12 MR. ROLLINS (by Telephone): Correct.

13                   **DR. MAKHIJANI:** So I guess, Mr. Presley, I  
14                   guess we need a specific direction from you  
15                   whether to leave it because this is different  
16                   than the page change. Direction from you as  
17                   to whether to leave it alone or review it.

18                   **MS. MUNN:** Well, I guess it would be a good  
19                   idea for you to agree if this has not been  
20                   resolved adequately to your --

21 DR. MAKHIJANI: Yeah, one of the, the  
22 original comment was that there were no beta  
23 monitoring data at all until '66. So I think  
24 it's a pretty big issue in terms of gaps in  
25 monitoring specially for skin cancer. And so

1           I think in my just, from a technical point of  
2         view -- and the working group may want to  
3         review it by themselves. But I think someone  
4         should look at what NIOSH has done in regard  
5         to addressing the skin dose.

6           **MS. MUNN:** I agree, yeah, and in my view  
7         SC&A ought to review that. Is there any  
8         reason why not?

9           **MR. PRESLEY:** I have no problem with that.  
10       When can we expect a review on this back to  
11       the working group?

12          **DR. MAKHIJANI:** Mr. Presley, can I consult  
13       with John on that tomorrow and get back to  
14       you? It should not be long because I think we  
15       have people who can review external dose  
16       fairly straightforward.

17          **MR. PRESLEY:** Lew, is this within the  
18       guidelines?

19          **DR. WADE:** Yes.

20          **MR. PRESLEY:** Okay. I'm going to put on  
21       here that SC&A will review.

22          **DR. MAKHIJANI:** Yes, and I'll get back to  
23       you with a suggested deadline to see if it's  
24       acceptable to you.

25          **MR. PRESLEY:** Okay.

1                   **MR. CLAWSON:** I guess I'm a little confused  
2 here. When SC&A has brought up this issue and  
3 NIOSH has changed it, I thought in the process  
4 that we would automatically review the  
5 comments that came back on that to agree or  
6 disagree. I guess I'm wondering how it got  
7 changed to that document. We haven't reviewed  
8 it.

9                   **DR. MAKHIJANI:** Well, I wasn't, you know,  
10 each working group has adopted a, you know --

11                  **MS. MUNN:** Slightly different --

12                  **DR. MAKHIJANI:** -- different, and so I, and  
13 this discussion has come up before as to  
14 whether we're doing things that have been  
15 explicitly authorized by the working group.  
16 So I just wanted to be sure that if NIOSH has  
17 made changes corresponding to our comments,  
18 that if the working group wants to review  
19 those changes themselves, I mean, that's  
20 clearly your prerogative and then we wouldn't  
21 be involved. But if, since the issue has come  
22 up, in the beginning we just automatically  
23 reviewed everything and resolved comments.  
24 Like at Bethlehem Steel I think we did that.  
25 But in Rocky Flats there were some issues that

1                   came up as to whether we'd been explicitly  
2                   authorized by the working group to do some  
3                   things. And so I thought it better not to  
4                   proceed until we received authorization from  
5                   the working group.

6                   **DR. WADE:** There are two issues. Brad, I  
7                   think, may be even raising a slightly  
8                   different issue. If, in the course of the  
9                   work group process, NIOSH hears that there  
10                  needs to be a change to a site profile, NIOSH  
11                  can go ahead and make that change, and then  
12                  the Board review the change.

13                  It's also possible in some cases we  
14                  had this morning, that the work group might be  
15                  reviewing drafts that NIOSH is proposing  
16                  before they've actually made the change. And  
17                  it happens both ways. I think NIOSH does what  
18                  it thinks it needs to do expeditiously so that  
19                  the dose reconstruction can proceed as  
20                  appropriate.

21                  In some cases that might mean there's  
22                  a TBD change that the Board has to review  
23                  after the fact. And the Board can do that and  
24                  then comment and NIOSH might have to modify it  
25                  again. In some cases they're reviewing it as

1                   a draft. We haven't decided that one  
2                   methodology is preferable to the other. It  
3                   really just depends upon the timing.

4                   **MR. CLAWSON:** And I know that each one of  
5                   these sites has their own little special twist  
6                   to it, and I know how difficult it is. But it  
7                   seems like to me that when SC&A makes a  
8                   comment, and there's an issue and NIOSH  
9                   addresses this issue, that there ought to be  
10                  something, they ought to be able to review  
11                  before it gets put into the TBD.

12                  **DR. WADE:** That has not always been the way.  
13                  And again, it's a matter of --

14                  **MR. CLAWSON:** How we're doing.

15                  **MS. MUNN:** How straightforward is it?

16                  **DR. WADE:** How straightforward, and again,  
17                  we want to move forward and see the dose  
18                  reconstructions are done correctly and now  
19                  hold that process up while we go through this  
20                  process. So in some cases the cart is before  
21                  the horse. In some cases it's the other way  
22                  around. In any case if the work group decides  
23                  that NIOSH's modification isn't sufficient,  
24                  then NIOSH will have to modify it again.

25                  **MR. CLAWSON:** Okay.

1                   **MR. PRESLEY:** Twenty, like I said, is our  
2 non-use of badges. NIOSH had a response that  
3 says coworker -- sorry about that.

4                   Mark, have you got the one's that got  
5 the, y'all's --

6                   **MR. ROLFES:** Yes, yes, I do.

7                   **MR. PRESLEY:** Go ahead. Let me get my  
8 computer back up.

9                   **MR. ROLFES:** I can discuss this a little  
10 bit. If we encounter, we really didn't have  
11 an approach to assign any kind of dose to a  
12 person that could have been unmonitored or  
13 intentionally took off their badge because  
14 they were asked to do so. Now in our review  
15 you would have had to have had someone that  
16 was approaching an administrative dose limit  
17 or a regulatory dose limit and that would  
18 really be the only reason for someone to have  
19 to work in an area.

20                  I'm sorry, yes, if you have a person  
21 that's approaching the administrative dose  
22 limit, that would really be the only time that  
23 I could imagine a person would be asked to  
24 take off their badge.

25                  **MS. MUNN:** What if they would opt to take

1 off their badge?

2           **MR. ROLFES:** Right, but these are a case-by-  
3 case type of situation that we would have to  
4 look at the work that was being done, the  
5 amount of dose that the person was routinely  
6 receiving in this job category. And we'd have  
7 to go into the records, look through that case  
8 specifically in order to make a determination  
9 whether someone could have been in such a  
10 situation where they were approaching  
11 regulatory dose limit or would have been in a  
12 situation where they were asked to remove  
13 their badge.

14           Then in that case we have an approach  
15 to address any unmonitored dose that they  
16 could have received. And we can add the  
17 coworker dose tables that we received in, I  
18 believe the current page change only accounts  
19 for the time period prior to universal badging  
20 which was in April of 1957. So we can extend  
21 those dose tables from 1957 forward if  
22 necessary.

23           **MR. PRESLEY:** I think that that would be  
24 necessary.

25           **MR. ROLFES:** Okay.

1                   **DR. MAKHIJANI:** There were, I don't know  
2 whether NIOSH checked the couple of people who  
3 spoke before the Board on specific instances,  
4 publicly, about their own pains, and whether  
5 their cases were checked for problems.

6                   **MR. ROLFES:** I don't know what I can say as  
7 far as Privacy Act concerns are, but I have  
8 looked into some cases. And from an external  
9 dose standpoint I haven't seen this issue.  
10 I'd be happy to discuss a specific claimant's  
11 scenario outside of this conference call if  
12 necessary. I'm not sure exactly what  
13 precautions I need to protect. I don't want  
14 to discuss someone's specific case right now.

15                  **DR. WADE:** If you're talking in generalities  
16 as you are, that's fine.

17                  **MR. ROLFES:** Okay, all right. I don't know  
18 if I get into speaking about the types of dose  
19 and the job categories and such without  
20 mentioning a person's name. I'm not sure if I  
21 would be --

22                  **DR. WADE:** Well, you've looked at individual  
23 claims that have been raised that this  
24 practice took place, and you've seen no  
25 evidence in the data to support that?

1                   **MR. ROLFES:** As far as the, I've seen  
2                   certain workers exceed dose limits, but they  
3                   were not external dose limits. It was a  
4                   combination of both external as well as  
5                   internal dose. And that's a different  
6                   scenario than what we are discussing here.  
7                   This is related, this Comment and our response  
8                   is related only to the external dose that a  
9                   person would have received. I can answer this  
10                  offline if we'd like to go into a discussion  
11                  of a specific claim.

12                  **DR. MAKHIJANI:** But actually, you don't  
13                  expect, it's the opposite of what you said,  
14                  you don't expect to see external dose exceeded  
15                  because the claim is that people took off  
16                  their badges when they were approaching the  
17                  limit. They were told to, or decided  
18                  themselves, that they wanted to do that. And  
19                  I thought that NIOSH was going to develop  
20                  some, look into the data to see if there were  
21                  cases where people that, where there were many  
22                  people, say, in certain situations like tunnel  
23                  work or ground zero entry work or certain  
24                  kinds of work, were approaching dose limits  
25                  and then did not overstep those dose limits.

1           I thought that that was --

2           **DR. WADE:** Is that what you remember?

3           **DR. MAKHIJANI:** -- if I remember correctly,  
4           that was the action item that was to be done.  
5           And apparently, that was not deemed feasible.  
6           I don't know how to read this.

7           **MR. PRESLEY:** I've got TBD work completed on  
8           this thing.

9           **MS. MUNN:** It was my understanding that  
10          these specific cases were going to be looked  
11          at individually to see whether it was feasible  
12          to assume that any claim of removed badge  
13          looked realistic. I don't know how else you  
14          can approach it. When the claim is before  
15          you, then that's one of the items that must be  
16          addressed.

17          **MR. PRESLEY:** I don't think that you can go  
18          out here and paint a big old picture with a  
19          paintbrush and say we're going to do the whole  
20          group this way at all. It has to be  
21          individually taken into consideration.

22          **MR. ROLFES:** It depends on the specific  
23          case, the scenario, the job category of the  
24          worker, the job being done, the time period.  
25          There's many factors that would be very

1 difficult to encapsulate, I guess, every  
2 unique scenario within a broad guidance  
3 document that we're using. These issues are  
4 related to specific claims that need to be  
5 evaluated carefully on a case-by-case basis  
6 rather than as a large guidance document  
7 that's attempting to cover thousands of  
8 people.

15 MR. ROLFES: Sure.

**DR. WADE:** So that's what you're doing?

17                   **MR. ROLFES:** One might expect that if a  
18                   person were to take off their badge, they  
19                   obviously wouldn't do it if they only had,  
20                   say, 50 millirem recorded for that -- we would  
21                   expect to see this if it occurred at a person  
22                   that, say, had 4,900 millirem and was trying  
23                   to stay below five rem per year. If we have  
24                   indication that a person was approaching a  
25                   regulatory dose limit, then at that time if we

1                   have indications that the person was not  
2                   wearing their badge into a radiation zone, and  
3                   they were doing the same job that they had  
4                   previously been doing when they received that  
5                   large amount of dose, then we would need to  
6                   address that in some manner.

7                   **DR. BEHLING:** I think you can really only  
8                   approach that with a CATI report statement  
9                   that says I was asked to do this or even I may  
10                  have voluntarily done this. Because in the  
11                  absence of such a statement you don't know if  
12                  the person was perhaps reassigned anywhere to  
13                  avoid this overexposure in which case there  
14                  was a legitimate reason for him to approach  
15                  the dose limit or admin limit and not exceed  
16                  it. And for all the right reasons he didn't  
17                  receive it because a supervisor said you're  
18                  off the job for the duration.

19                  **MR. ROLFES:** And even for a person that's  
20                  monitored, a person, an individual, is not  
21                  going to know when they are approaching the  
22                  administrative limits. They're not going to  
23                  be able to --

24                  **DR. BEHLING:** Well, they could know if they  
25                  used concurrent air ionization chambers that

1                   they carried with them, and in those days they  
2                   used to track it that way so as to monitor  
3                   throughout the wear period where they are in  
4                   order to, if there was a quarterly, there was  
5                   a time when there was three rem per quarter,  
6                   they might have been only assigned a quarterly  
7                   badge.

8                   But they were tracking it by way of a  
9                   pocket air ionization chamber and thereby  
10                  realizing that as they're approaching the  
11                  limit, you may have to take this person off  
12                  this particular job and reassign them. Or as  
13                  some of the claimants, might be right. They  
14                  might have simply said take off your badge.  
15                  But it would have to be indicative of comments  
16                  made in the CATI report that would legitimize  
17                  that particular issue.

18                  **DR. MAKHIJANI:** The difficulty I've always  
19                  had with this at the Nevada Test Site issue  
20                  compared to, say, a general statement is the  
21                  following. So there's been this kind of  
22                  allegation at many sites, and this has been  
23                  brought up, but I think there's some  
24                  particularities at the Nevada Test Site that  
25                  are very special that I don't feel are being

captured by this discussion. And that particularity is that the senior health physics staff have independently said that this happened.

So in both sets of interviews which were done, that we did, it came up independently. So the interviews that Kathy and Tom Bell did, apart from what I did, it came up. And then in the interview that I did it came up independently. The documentation about employment practices with references to the documentation at the time shows that there was economic incentive.

And then the usual, what we normally call allegations or assertions in a CATI or by claimants that this was happening which may require more proof actually supplemental to that. So they're happening in a different context than, say, somebody giving an affidavit saying my supervisor asked me to do this. And then you wonder whether you can accept that. So here you're starting from documentation about employment practices and interviews from senior health physics personnel.

1                   So I think if interview data from  
2 health physics personnel such as at Rocky  
3 Flats is to be accepted when there is no  
4 documentation, for instance, we know that  
5 large quantities of magnesium-thorium alloy  
6 did not arrive at --

7                  **MS. MUNN:** Were not there.

8                  **DR. MAKHIJANI:** -- okay, there's no  
9 documentation. So we have contrary  
10 information actually, but it's senior  
11 management, and we're leaving it there.

12                 **MR. ROLFES:** Uh-huh.

13                 **DR. MAKHIJANI:** Okay. So that's a problem  
14 that I'm having with this is if this is not to  
15 be accepted as having occurred in a fairly  
16 pervasive manner, at least for certain groups  
17 of workers that were at high risk, not for  
18 everyone --

19                 **MR. ROLFES:** We're not saying that it didn't  
20 occur, but it would be very limited.

21                 **DR. MAKHIJANI:** That's the thing I'm  
22 questioning. These certain groups of workers  
23 were represented by claimants were in  
24 situations that can verify were at risk of  
25 high exposure like to the workers at ground

1                   zero. And these are the same workers that  
2                   we're considering in the less than 250-day  
3                   question for atmospheric testing that also  
4                   applies.

5                   For this group of workers I think it's  
6                   very hard for me to think of rejecting, or not  
7                   accepting this as a base hypothesis without  
8                   some justification that somehow the senior  
9                   health physics personnel here are different  
10                  than the senior personnel elsewhere whose sort  
11                  of verbal memories and expert testimony we  
12                  accept generally when there's no contrary  
13                  evidence. So I think it's going to raise an  
14                  issue of consistency that's pretty serious.

15                  **MS. MUNN:** But it seems to me that there's  
16                  no rejection of the senior health physicists'  
17                  comments. Item 2 here in the response under  
18                  Response 20 is key. That cohort dosimetry is  
19                  probably not available because the entire  
20                  cohort is likely to have adopted the same  
21                  practice at the same time.

22                  That's essentially the type of thing  
23                  that the senior health physics staff was  
24                  relating. That being the case what this  
25                  response says, I believe, is that in those

1           cases where this is a possibility, you have to  
2           be particularly careful because you don't have  
3           cohort information that you can rely on. It's  
4           doubly important that you look at the  
5           individual case and the circumstances  
6           surrounding it.

7           Am I misinterpreting what I think I'm  
8           reading?

9           **MR. ROLFES:** We're not saying that this  
10          practice didn't occur, and I don't want to  
11          imply that in any manner. It very well could  
12          have occurred. And if we have health  
13          physicists saying that it occurred, people  
14          that were in a position to know that this  
15          occurred, then we accept that.

16           However, we need to look at on a case-  
17          by-case basis, there would be no reason for a  
18          person to remove their badge if they weren't  
19          approaching some sort of regulatory dose  
20          limit. There simply wouldn't be any reason to  
21          remove their badge if they're not going to  
22          exceed dose limits. I could understand if the  
23          badge was going to get damaged, they might  
24          have a replacement badge or a temporary badge  
25          to use.

1                   But what we would need to do is to  
2                   look to see, on a case-by-case basis, if a  
3                   claimant had dosimetry that was approaching  
4                   regulatory limits. And in that case if a  
5                   person said that they removed their badge to  
6                   do the work because they were approaching dose  
7                   limits, then we would need to address that for  
8                   that case.

9                   **DR. MAKHIJANI:** Well, I think -- there are a  
10                  number of issues there. (A), you don't always  
11                  know when you're very close, and so you're  
12                  going to have a problem of what's close. Is  
13                  it 4.9 or in the case of three rem per quarter  
14                  is it 2.8 or is it 1.9 or what it is.

15                  Secondly, most of the claimants are  
16                  survivors. You cannot discover this  
17                  information in a CATI. There are rare cases  
18                  where a claimant -- and there are cases where  
19                  a survivor claimant is thoroughly well  
20                  informed, and they have presented to the Board  
21                  in public meetings. But for the most part and  
22                  from what I understood from interviewing,  
23                  talking to lots of claimants and survivors is  
24                  that they have no clue what went on in the job  
25                  generally, much less into the details of the

1                   practices.

2                   So I think if you accept that this  
3                   practice happened, then the guidance doesn't  
4                   correspond to, and, you know, to some extent  
5                   this is a generic issue because the question  
6                   of survivors from our procedure review has  
7                   never really been addressed because NIOSH has  
8                   said we can't do anything about this inequity.

9                   And now we're confronting it in a very  
10                  specific situation where that item which was  
11                  resolved supposedly by NIOSH by saying we  
12                  can't do anything about this inequity, you  
13                  know, that life is not fair. And now we have  
14                  a situation where you're saying that, you're  
15                  relying on the CATI for dose reconstruction  
16                  when in most cases you can't discover the  
17                  information in a CATI.

18                  **MR. ROLFES:** That's not necessarily true  
19                  because if we see someone, if their dose of  
20                  record is routinely approaching the  
21                  administrative limits or the regulatory  
22                  limits, that would be something that would be  
23                  a flag to us to say, well, this is one of the  
24                  individuals that might have been affected,  
25                  might have been asked to remain in the

1                   radiation area and continue work on the job to  
2                   get the job done. And I understand. I have  
3                   heard accounts during the time period right  
4                   before the, excuse me, in the late '50s right  
5                   before the test ban -- I'm trying to think --

6                   **DR. MAKHIJANI:** The moratorium.

7                   **MR. ROLFES:** Yeah, the moratorium, thank  
8                   you.

9                   Right before the moratorium we were  
10                  rushing to get in as many tests as we could.  
11                  And so there was a limited number of staff  
12                  that were able to complete the job. And so we  
13                  did have some staff at Nevada Test Site or  
14                  some of the employees go in, and there were  
15                  some people that exceeded the regulatory dose  
16                  limits, combined regulatory dose limits.

17                  And that is very well documented  
18                  within those people's files. So I haven't  
19                  seen any cases where a person has routinely  
20                  been approaching those regulatory limits and  
21                  has no documentation. Like I said, it's a  
22                  case-by-case basis that we would have to look  
23                  at.

24                  Gene, are you on the line there? Do  
25                  you have anything to add to this discussion?

1 (no response)

2 MR. ROLFES: No?

3 DR. WADE: So if you were to see a worker's  
4 file that had a worker approaching a  
5 regulatory limit, and then there is no data,  
6 then that's a pattern that should, in our  
7 mind, signal the fact that this could be a  
8 case where someone was told to or volunteered  
9 to remove their badge. And then you would  
10 have to generate dose for them using some  
11 methodology.

12 MR. ROLFES: Yes.

13                           **MS. MUNN:** Especially if this individual  
14                           were a worker who received consistently high -  
15                           -

16 MR. ROLFES: Exactly.

17                   **MS. MUNN:** -- near limit doses and previous  
18                   or following --

19                           **MR. ROLFES:** That would be something that  
20                           would trigger us.

21 MS. MUNN: -- periods.

1                   someone that was routinely receiving five rem  
2                   per year or whatever the administrative  
3                   control was at the time. That would be the  
4                   indicator. If we routinely saw someone that  
5                   was receiving 4.9 rem each year, and they  
6                   indicated that they had been asked to remove  
7                   their badge in order to continue working or  
8                   get the job done, that would set up a flag to  
9                   us when we do a dose reconstruction.

10                  **DR. WADE:** Mark, just let me stop you there.

11                  Even if they didn't say they removed  
12                  their badge, if you see this pattern develop,  
13                  and it's a survivor, then you have reason to  
14                  say this could have happened. And then you  
15                  need to take appropriate steps to assign dose.

16                  **MR. ROLFES:** Yes, uh-huh.

17                  **MS. MUNN:** The individuals who would be most  
18                  likely to fall in that category would be the  
19                  well-trained individuals who were trained for  
20                  those specific jobs and who would be  
21                  anticipated as the leaders in that activity.  
22                  You would not send an untrained worker who had  
23                  no idea what was going on in to do one of  
24                  those setup jobs or for that matter follow-up  
25                  jobs.

1                   **DR. MAKHIJANI:** So then what do you do? You  
2 don't have coworker data, and you don't have  
3 the worker's data.

4                   **MR. ROLFES:** Well, we do have coworker data,  
5 this datasheet. And that's what we've  
6 proposed is to add this table. Right now our  
7 page change revision to Chapter Six only  
8 incorporates the years from 1951 through April  
9 of 1957 because that was the time period that  
10 universal badging was not in place at the  
11 time. Now, we have data from '45 all the way  
12 up through '83 on this sheet, but I do believe  
13 '83 forward is available to us as well. And  
14 there are indications of individuals, let's  
15 see, in 1962 there's individuals, there were  
16 15 individuals that received in between five  
17 rem and 7,500 millirem during 19 --

18                  **DR. BEHLING:** Would you conclude that some  
19 of those people may have been guilty of this  
20 issue? And my experience has been the people  
21 who are most prone to do this are contract  
22 workers who are being potentially washed out  
23 from overtime. That used to be the biggest  
24 incentive. They wanted work to come to an  
25 outage. They wanted to work as many hours,

1               60, 70 hours a week, and in order to avoid  
2               being washed out they'll take off their badge  
3               or do something. And unfortunately, those  
4               cases you don't have any documentation because  
5               it was a voluntary decision on their part as  
6               opposed to a supervisor. In other cases there  
7               may be a supervisor who encourages.

8               **MR. CLAWSON:** And that's true, Hans, because  
9               we've got to look at this, and we've got to  
10               look at the mindset of the people. You talk  
11               to any of the survivors or whatever like that,  
12               and they feel that they were as much at war as  
13               anybody. And for them to be able to complete  
14               this, as the gentleman that gave us the tour,  
15               I'm not going to let my badge get in the way  
16               of me completing.

17               **MS. MUNN:** Yeah, this is the job I had to  
18               do.

19               **DR. WADE:** So there are two parts to it.  
20               One is you have to identify where this might  
21               have happened, and then Arjun's question, what  
22               do you do about it.

23               **DR. BEHLING:** Yeah, what do you do about it.

24               **DR. WADE:** And those are your questions that  
25               have to be answered.

1                   **DR. MAKHIJANI:** The problem you have, you  
2 know, even accepting your first part of your  
3 diagnosis which I really have some problems.  
4 But accepting that for the moment, the problem  
5 you have when you have a set of data where  
6 your highly exposed workers tail off, and  
7 there's a piece of the exposure that you don't  
8 know for the whole cohort, you have no idea  
9 what the upper limit is, because you can't  
10 fill that. By definition you look at your  
11 Item 2 in their own statement, or dosimetry  
12 probably not available. That means whatever  
13 coworker data you have, the high doses among  
14 that will share this limitation so you can't  
15 fill the gap. So this --

16                  **MR. ROLFES:** That's very possible. We don't  
17 know that for a fact though.

18                  **DR. MAKHIJANI:** No, we do know that for a  
19 fact because it arises from the nature of the  
20 problem. We can define the problem. Maybe we  
21 cannot define the solution, but I think we can  
22 define the problem. If this was a pervasive  
23 practice, then, as you say, you're not going  
24 to have cohort dosimetry for the very workers  
25 who are approaching their dose limits.

1           Whether, how you define approaching is a  
2 different matter and solvable. But by the  
3 very nature you don't have a coworker database  
4 to fill that gap because it's a systemic  
5 problem. It's not an individual problem.

6           **MR. PRESLEY:** Can I say something. I've got  
7 to go. I'm sorry. I apologize. We scheduled  
8 this meeting for two o'clock. The only flight  
9 that I can get back is the one after four.  
10 I've got to get to the airport. We've beat  
11 this -- I hate to say it -- to death, and we  
12 can continue to beat it death for the next  
13 five or six years.

14           What I would like to do is to ask Mark  
15 to come up with a solution to this from NIOSH,  
16 and let's go back to SC&A with the solution.  
17 And we've done this half a dozen times, but  
18 there's got to be a simple solution to this.

19           The other thing is when you get all of  
20 the paperwork done to the OTIBs and to Chapter  
21 Five, I believe, could you make sure that the  
22 people on the working group all get a copy of  
23 that and the pertinent data that goes with it.  
24 And also send Arjun a copy?

25           **MR. ROLFES:** Sure.

1                   **MR. PRESLEY:** And I would like to have that  
2 hard copied because there's going to be a  
3 tremendous amount of it.

4                   **MR. ROLFES:** All right.

5                   **MR. PRESLEY:** And that way we will have a  
6 copy. Everybody's got the same thing, and  
7 then we will sit down and talk about a phone  
8 call maybe before our May meeting.

9                   Is that all right, Lew?

10                  **DR. WADE:** Yes.

11                  **MR. PRESLEY:** Try to come back with these  
12 issues, and I'm going to ask Brad to continue.  
13 I cannot miss this plane. I've got some stuff  
14 at home that I've got to do.

15                  **DR. BEHLING:** Can I make a recommendation of  
16 how you might want to look at the data?

17                  **MR. ROLFES:** Sure.

18                  **DR. BEHLING:** Obviously, the dose limits are  
19 usually defined by yearly limits, either five  
20 to the minus 17 for those that can go more  
21 than the five rem per year. And what you want  
22 to do is look at first quarter, second  
23 quarter, third quarter. If you see first  
24 quarter one rem or one and a half rem, and  
25 second quarter, and then as you approach the

1                   regulatory limit, the questionable problem  
2                   comes into play in the third and fourth  
3                   quarter.

4                   And they realize they're now  
5                   approaching the (unintelligible). And so what  
6                   I would do is look at high dose workers and  
7                   compare first quarter. They're doing the same  
8                   job, hopefully. First quarter, second  
9                   quarter, third quarter, and if you see  
10                  something trailing off on the fourth quarter,  
11                  all of a sudden there's nothing and the guy is  
12                  still on the job, then you have to be  
13                  suspicious.

14                  **MR. ROLFES:** Sure, exactly, I agree.

15                  **DR. BEHLING:** Because it's usually a yearly  
16                  limit that dictates whether or not you get  
17                  kicked off your job in the third or fourth  
18                  quarter. And this would be a trigger for you  
19                  to say I think there's reason to be suspicious  
20                  here.

21                  **DR. WADE:** For many triggers.

22                  **MR. CLAWSON:** Actually, all I was going to  
23                  say, Hans, is it would be more of a quarterly  
24                  limit because I know I monitor --

25                  **DR. BEHLING:** Yeah, a quarterly limit would

1                   be then obviously also a trigger to --

2                   **MR. CLAWSON:** Now, if you come up and hit a  
3                   plateau every quarter, it's something to be  
4                   able to throw up there.

5                   **MR. ROLFES:** Maybe that would be the best  
6                   resolution to this, this is something that has  
7                   to be done on a case-by-case basis. It's not  
8                   something that you can --

9                   **MR. CLAWSON:** Do for everybody.

10                  **MR. ROLFES:** Exactly. And so maybe what we  
11                  should do is put a little bit of discussion  
12                  referring to what you're discussing -- I'm  
13                  sorry, a little bit of description if a person  
14                  does routinely receive say one or two rem on  
15                  his badge each quarter, and then all of a  
16                  sudden has zero dose, and he does indicate  
17                  that he was removing his badge, then at that  
18                  time then I think we should put some  
19                  discussion in the Technical Basis Document  
20                  that we're aware of this practice that  
21                  potentially occurred, and we will come up with  
22                  some, an approach to address this.

23                  **DR. BEHLING:** The approach could be then to  
24                  say, well, if he's getting one rem every  
25                  quarter and the fourth quarter is nothing,

1           say, well, you're on the same job, the average  
2           of your previous quarters were --

3           **DR. WADE:** The highest of the previous  
4           quarters.

5           **DR. BEHLING:** The highest, it is a  
6           reasonable approach to filling in those gaps.

7           **MR. ROLFES:** Yes, exactly.

8           **DR. ROESSLER:** It would be interesting to  
9           note, too, how many people this might apply  
10          to. Is this a very pervasive situation or is  
11          it just two individuals? I mean, you can look  
12          at the records and look at some of the numbers  
13          and --

14          **DR. BEHLING:** It would only be the high dose  
15          workers.

16          **DR. ROESSLER:** And I mean from my point of  
17          view, I'd be interested in knowing just what  
18          is the population that we're talking about.

19          **DR. BEHLING:** And it's small. It's small.

20          **DR. MAKHIJANI:** It's a minority.

21          **DR. BEHLING:** As Arjun pointed out clearly  
22          the coworker data is exactly missing those  
23          people, and so you can't rely on this.

24          **DR. MAKHIJANI:** It's clearly a minority of  
25          workers.

1                   **DR. WADE:** But there are three, so there are  
2                   three things I think you need to do. One is  
3                   you develop sort of a litmus test to say that  
4                   this is a problem. And you know, Hans has  
5                   talked about it. There are many logical  
6                   models you could develop to say I think  
7                   there's something wrong here. So what are  
8                   those? You can explain that to the working  
9                   group and SC&A.

10                  Then the next question is what do you  
11                  do about it. You don't have coworker data.  
12                  You give them high dose. How do you determine  
13                  what high dose is to give them. And then  
14                  Gen's question could you also then in that  
15                  document share, from a statistical point of  
16                  view, evidence you have as to how prevalent  
17                  this might be based upon what you've looked at  
18                  to this point. And I think then you may have  
19                  a starting point to move on.

20                  **MR. CLAWSON:** Okay, since Mr. Presley put me  
21                  in charge, how about a break?

22                  **MS. MUNN:** I think that's --

23                  **DR. MAKHIJANI:** We have actually scheduled a  
24                  meeting with a petitioner at three  
25                  anticipating the meeting. Now we can call

1                   them, but I think it's going to be all very  
2                   crazy.

3                   **DR. BEHLING:** And they may have already  
4                   left, and you don't want to disappoint them.

5                   **DR. MAKHIJANI:** Yeah, we meet them at three.  
6                   So this is a --

7                   **DR. WADE:** How far do you have to go to get  
8                   there?

9                   **DR. MAKHIJANI:** I think it's about half an  
10                  hour, 40 minutes.

11                  **MR. CLAWSON:** Okay, can we conclude by  
12                  adjourning this?

13                  **DR. WADE:** We could adjourn. I think we put  
14                  that action item on, and then I think you'd  
15                  need to look at following up possibly with a  
16                  phone call in the near future to finish this  
17                  list.

18                  **MR. CLAWSON:** So we'd need to finish  
19                  Comments 21 through 24.

20                  **DR. ROESSLER:** Except for 23.

21                  **MS. MUNN:** We have five comments.

22                  **DR. ROESSLER:** Twenty-three we finished.

23                  **DR. WADE:** And I think the work on 20 is  
24                  important work, and then SC&A also has its  
25                  task to begin to look at the page change and

1                   the other work that's been done. I think we  
2                   can adjourn.

3                   **MR. CLAWSON:** We can adjourn.

4                   **MS. MUNN:** Have we established a time for a  
5                   phone call?

6                   **DR. WADE:** Well, we better check with  
7                   Robert. I'll try and do that this week. We  
8                   could do it, so the rest of you if you want to  
9                   pick a time you'll have to notify Robert.

10                  **MS. MUNN:** Why don't we do that?

11                  **DR. WADE:** Okay, let's pick a time for a  
12                  phone call.

13                  **DR. MAKHIJANI:** Sorry for the multi-tasking  
14                  schedule.

15                  **DR. WADE:** Okay, let's look at an  
16                  opportunity. Robert said before the May  
17                  meeting. So let's start with that as a  
18                  solution space.

19                  **MS. MUNN:** What if we do, how about giving  
20                  ourselves a couple of weeks and say the Monday  
21                  after Easter, the 9th of April?

22                  **DR. WADE:** Would that give you enough time,  
23                  Mark, or do you want --

24                  **MR. ROLFES:** I'm sorry, what was the -- I  
25                  didn't hear what you said.

1                   **MS. MUNN:** The 9th of April?

2                   **MR. ROLFES:** Ninth of April.

3                   **DR. WADE:** This would be a call to complete  
4                   the matrix, so you really wouldn't have to  
5                   have anything done.

6                   **MR. ROLFES:** Yeah, I think that's fine. I'm  
7                   just trying to think. I do have some travel  
8                   coming up in the next week or two and that's  
9                   what I was trying to think about.

10                  **DR. ROESSLER:** I have another conference  
11                  call at noon.

12                  **MS. HOWELL:** The only thing about the 9th is  
13                  that you have meetings here scheduled the 10<sup>th</sup>  
14                  and 11<sup>th</sup>. If any of the Board members or Ray  
15                  are traveling then on the 9<sup>th</sup> we could get into  
16                  a problem.

17                  **MR. ROLFES:** Yeah, I do have a meeting on  
18                  the 10<sup>th</sup> here. The Chapman Valve Working Group  
19                  is meeting on the 10<sup>th</sup>.

20                  **MS. HOWELL:** And the subcommittee on the  
21                  11<sup>th</sup>.

22                  **MS. MUNN:** I'm traveling on the 10<sup>th</sup>.

23                  **DR. WADE:** What about the 18<sup>th</sup>?

24                  **MS. MUNN:** What about the 18<sup>th</sup>? The 18<sup>th</sup>  
25                  would be fine with me. That's the day before

1                   then.

2                   **DR. WADE:** Right, there's a Rocky Flats call  
3                   on the 19<sup>th</sup>.

4                   **MS. MUNN:** Yeah, uh-huh, the 18<sup>th</sup> would be  
5                   okay for me.

6                   **DR. WADE:** Okay, 18<sup>th</sup> okay for you?

7                   **MR. CLAWSON:** I will make it where it'll  
8                   work.

9                   **DR. WADE:** So I'll check with Robert as soon  
10                  as I can, and we'll say 11:00?

11                  **DR. ROESSLER:** So this is April 18<sup>th</sup>.

12                  **MS. MUNN:** April 18<sup>th</sup>.

13                  **DR. WADE:** Eleven a.m., probably two, three  
14                  hours to finish the matrix.

15                  **MS. MUNN:** Eleven eastern time?

16                  **MR. ROLFES:** We may not even need that much  
17                  time, maybe only an hour.

18                  **DR. WADE:** Tentatively, I'll get an e-mail  
19                  out, check with Robert and get an e-mail out  
20                  before the end of this week.

21                   And now I think we're adjourned.

22                   Thank you on the phone. We're adjourned.

23                   (Whereupon, the working group meeting  
24                  concluded at 2:38 p.m.)

25

1

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of March 27, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 19th day of June, 2007.

---

**STEVEN RAY GREEN, CCR****CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**