

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

CHAPMAN VALVE SEC

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held in Cincinnati, Ohio on
April 10, 2007.

C O N T E N T S

April 10, 2007

WELCOME AND OPENING COMMENTS DR. LEWIS WADE, DFO	6
NIOSH REPORT	11
COURT REPORTER'S CERTIFICATE	131

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.

Senior Science Advisor

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, DC

BOARD MEMBERSHIP

1

CLAWSON, Bradley

2

Senior Operator, Nuclear Fuel Handling

Idaho National Engineering & Environmental Laboratory

GIBSON, Michael H.

President

Paper, Allied-Industrial, Chemical, and Energy Union

Local 5-4200

Miamisburg, Ohio

GRIFFON, Mark A.

President

Creative Pollution Solutions, Inc.

Salem, New Hampshire

POSTON, John W., Sr., B.S., M.S., Ph.D.

Professor, Texas A&M University

College Station, Texas

ROESSLER, Genevieve S., Ph.D.

Professor Emeritus

University of Florida

Elysian, Minnesota

IDENTIFIED PARTICIPANTS

BLOOM, CINDY, NIOSH/ORAU
BROEHM, JASON, CDC WASHINGTON
CHANG, CHIA-CHIA, NIOSH
DOWNS, AMIA, NIOSH
ELLIOTT, LARRY, NIOSH
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MELO, DUNSTANA, SC&A
NETON, JIM, NIOSH
ROLFES, MARK, NIOSH
WU, PORTIA, SEN. KENNEDY

P R O C E E D I N G S

(9:30 a.m.)

1
2WELCOME AND OPENING COMMENTSDR. LEWIS WADE, DFO

3 **DR. WADE:** Okay. This is Lew Wade and -- and my role
4 is serving as the Designated Federal Official
5 for the Advisory Board, and this is a meeting
6 of a workgroup of the Advisory Board. This
7 particular workgroup is focused on the Chapman
8 Valve SEC petition. This workgroup is chaired
9 by Dr. Poston, who is here in the meeting room.
10 Members are Griffon, Clawson, Roessler and
11 Gibson. And I've identified all of their
12 presence on the telephone.
13 What I'd like to do is start around the table
14 here and do introductions. I'll start with
15 members of the NIOSH team and extended team,
16 then we'll look for representatives of SC&A's
17 team, then we'll look for other federal
18 employees that are on the call, we'll look for
19 worker/worker representatives, members of
20 Congress or their staff who are with us, and
21 then anyone else who would like to identify

1 themselves.

2 As we do the introductions, particularly the
3 NIOSH team, the SC&A team and the Board
4 members, I'd like you to identify whether you
5 have any conflicts relative to this site --
6 that is Chapman Valve.

7 **MR. ROLFES:** My name is Mark Rolfes. I'm a
8 health physicist with NIOSH. I have no
9 conflict of interest.

10 **DR. NETON:** I'm Jim Neton. I'm with NIOSH. I
11 have no conflict of interest.

12 **DR. POSTON:** John Poston, Texas A&M. I have no
13 conflicts.

14 **DR. MAURO:** John Mauro, Sanford Cohen &
15 Associates. No conflicts.

16 **MS. HOMOKI-TITUS:** Liz Homoki-Titus with HHS.
17 No conflicts.

18 **DR. MELO:** Dunstana Melo, SC&A. No conflicts.

19 **MR. ELLIOTT:** Larry Elliott, NIOSH. No
20 conflicts.

21 **DR. WADE:** It's back to Lew Wade. Other than
22 Ray, who's here and working, no one else is in
23 the room.

24 Let's ask on the line for other members of the
25 NIOSH team to identify.

1 (No responses)

2 Other members of the NIOSH team?

3 (No responses)

4 Other members of the SC&A team?

5 (No responses)

6 Board members, starting with workgroup members,
7 Mark Griffon?

8 **MR. GRIFFON:** Mark Griffon, no conflicts.

9 **DR. WADE:** Brad Clawson?

10 **MR. CLAWSON:** Brad Clawson, no conflicts.

11 **DR. WADE:** Gen Roessler?

12 **DR. ROESSLER:** Gen Roessler, no conflicts.

13 **DR. WADE:** Mike Gibson?

14 **MR. GIBSON:** Mike Gibson, no conflict.

15 **DR. WADE:** Are there any other Board members on
16 the call, other than the workgroup members?

17 (No responses)

18 Okay. Other federal employees who are on the
19 call by virtue of their employment?

20 **MS. HOWELL:** Emily Howell, HHS.

21 **DR. WADE:** Welcome.

22 **MR. BROEHM:** Jason Broehm, CDC Washington
23 office, no conflicts.

24 **DR. WADE:** Other federal employees?

25 **MS. CHANG:** Chia-Chia Chang, NIOSH Director's.

1 **DR. WADE:** Any other federal employees?

2 **MS. DOWNS:** Amia Downs, NIOSH.

3 **DR. WADE:** Welcome. Any workers, worker reps,
4 representatives of petitioners?

5 (No responses)

6 Members of Congress or their staff?

7 (No responses)

8 Portia, I know you're with us.

9 (No response)

10 Is Portia still with us?

11 **MS. WU:** Yes, I am.

12 **DR. WADE:** Okay, good. Thank you for joining
13 us.

14 Anybody else who would like to be introduced
15 for the record?

16 **DR. MAKHIJANI:** This is Arjun Makhijani from
17 SC&A. I just joined.

18 **DR. WADE:** Welcome, Arjun.

19 **MS. BLOOM:** And Cindy Bloom is on the line --

20 **DR. WADE:** Okay.

21 **MS. BLOOM:** -- from the ORAU team, and I have
22 no conflicts.

23 **DR. WADE:** And Arjun, you're not conflicted at
24 this site, I assume?

25 **DR. MAKHIJANI:** No, I have no conflicts.

1 John, you want to start or -

2 NIOSH REPORT

3 **DR. NETON:** We can do that, then there are
4 actually --

5 **DR. POSTON:** Or, Jim.

6 **DR. NETON:** -- three -- two issues I guess that
7 we -- in my mind that we need to talk about.
8 One is the adequacy of the exposure -- internal
9 exposure model matrix and there's been at least
10 two correspondences back and forth between us
11 and SC&A. Then the second issue is that -- is
12 related to the document that we sent out
13 yesterday afternoon. It talks about sort of a
14 reasonableness evaluation of the exposure model
15 itself and does that bound most or all jobs in
16 the machining operations in the uranium
17 facility.

18 **DR. POSTON:** Well, that one of course has the
19 most interest because some of us haven't read
20 it.

21 **DR. NETON:** Right, and I -- I -- we're prepared
22 to provide a brief summary of some of the
23 salient features in here. Maybe we can start
24 with the exposure model, SC&A's evalu--

25 **DR. MAURO:** Yeah, perhaps I could sort of set

1 the stage and then we'll mature into the more
2 recent material that I think addresses some
3 very focused issues.

4 And when you step back, when all is said and
5 done, the -- the fundamentals of this exposure
6 matrix that was developed is -- is based on
7 approximately 40 bioassay samples, and also
8 quite a bit of film badge data. I don't
9 believe there is any issue -- now please,
10 anyone chime in -- regarding external dose
11 reconstruction. There's lots of data. Just
12 about everyone was badged. We do know there
13 were some individuals that were not badged, but
14 by and large there's a -- a complete record --
15 a fairly complete record for external so I --
16 unless anyone feels that that needs to go on
17 the agenda for some discussion, I feel as if
18 that is -- has been taken care of.

19 The -- with regard to internal exposure, we
20 have these 40 bioassay samples. We believe
21 that the -- the -- and they're expressed in
22 terms of mass, milligrams per liter. By and
23 large, one of the issues that emerged during
24 the course of this -- these discussions was
25 well, are we certain that we're dealing with

1 only natural uranium, and there was some
2 discussion whether or not it was possible,
3 based on some sampling that was done in 1990,
4 that there might be some enriched uranium that
5 was also there.

6 I think we, SC&A -- and again I please ask --
7 like for example, Arjun on the line, if he has
8 any perspective on this. We believe that
9 though there was some -- some -- a couple of
10 samples that showed possibility that there was
11 some enriched uranium in some of the sa-- one -
12 - at least two of the -- in two of the samples,
13 we don't believe that that -- it -- the weight
14 of evidence appears to indicate that -- that if
15 those are real values, they did not occur as a
16 result of the activities that took place during
17 the contract period, 1948 to 1949. We believe
18 that if -- the evidence appears that -- that
19 during that time period the only thing --
20 materials that were being handled by Chapman
21 was natural uranium to support the Brookhaven
22 reactor. If there was some residual levels of
23 enriched uranium, it was -- it may have been
24 associated with work that occurred previously
25 for various reasons that we don't need to go

1 into right now but occurred before the contract
2 period, or perhaps after. So I think that that
3 issue is -- by and large meets our
4 satisfaction. That is, it no longer is an
5 issue that we're concerned with.
6 Finally is -- is the matter of -- and this is
7 the -- the issue that I think that the -- the
8 petitioners were especially concerned with, and
9 that is we have 100 workers -- approximately
10 100 workers -- that worked at the facility for
11 about a year and -- the period -- the period
12 covers about a year and a half as the -- the
13 area mo-- time period of most importance, and
14 we have 40 bioassay samples. And the question
15 becomes whether or not those 40 bioassay
16 samples by -- in and of themselves are adequate
17 to allow NIOSH to build an exposure matrix to
18 place and upper bound on the -- all workers so
19 that we could be sure that no workers'
20 exposures are -- are underestimated. And the -
21 - and when we looked into this, we -- we broke
22 that issue up into two questions, is -- one is,
23 the matrix itself makes use of a chronic intake
24 -- assumes that all workers are chronically
25 exposed at a given level. But there's one set

1 of workers in the -- that also works -- in
2 addition to the chronic exposures to airborne
3 uranium, they're also exposed to a -- a spike
4 associated with a fire.

5 Now the issues that emerged during the course
6 of our review had to do with -- I think that
7 we've all bec-- are very comfortable with the
8 chronic portion; that is, the baseline intake
9 that was assumed that occurred over
10 approximately a ye-- over a year. In fact, we
11 consider that to be a con-- very conservative.
12 That is, basically the intake rate that
13 everyone is assumed to experience chronically
14 is assu-- is -- is a relatively high value
15 based on the bioassay results and other data
16 that Jim is probably going to talk about a
17 little more later. And al-- in addition, it's
18 assumed that that exposure occurred -- not only
19 the time period between January and November
20 when -- well, really April and November when
21 the machining was going on. It was assumed to
22 extend from January of '48 right through April
23 of '49, so -- so the ge-- the basic model, in
24 our opinion, extends the period over which
25 exposures occur to a period that probably is --

1 is quite conservative. So in the end, where
2 we're coming down is that the -- for the
3 chronic exposure time period -- exposure
4 scenario, the -- the -- or the consensus at
5 SC&A is that yes, that is quite claimant
6 favorable.

7 Which leaves us with the last piece, which is I
8 think the reason we need -- which has to do
9 with short-term acute exposures, and there are
10 two aspects to that that have been a matter of
11 quite a bit of discussion and exchange recently
12 of e-mails, work that Dunstana has done, work
13 that Jim and NIOSH has done, and the two issues
14 have to do with -- one, I call it the issue
15 related to fires, fire -- or fires. And the
16 other has to do with issues rela-- whereby some
17 acute exposures could have occurred.

18 And the other issue has to do with the
19 possibility that there was -- and we believe
20 there was -- an incinerator that was used to
21 roast the turnings and other material --
22 uranium and oxidize it. Both of those types of
23 activities or occurrences have the potential to
24 cause a spike in the exposure, some short-term
25 period.

1 There -- the -- so perhaps the best way to deal
2 with this is to first talk a little bit about
3 the fire and where we stand right now regarding
4 that and some of the issues. And then perhaps
5 we could then move on to the incinerator, if
6 that's acceptable.

7 With regard to the fire, the issue that arose -
8 - and this is an issue that I brought up and
9 that Dunstana helped out with -- is that there
10 was a urine sample -- set of urine samples
11 collected on July 11th, 1948. Tho-- for seven
12 people. And those urine samples were collected
13 because there was a fire. In other words --
14 and it seems clear that that was the reason
15 they went and collected those urine samples.
16 The problem we ran into is that well, when did
17 the fire occur? Because, you know, if you know
18 what the -- and if -- and amongst those seven
19 individuals where those seven samples were
20 taken, the highest one was .08 milligrams per
21 liter. So on that basis you could say well, if
22 you observe .08 milligrams per liter on June
23 11th, 1948, you could probably back-calculate
24 what was the acute exposure from the fire that
25 must have occurred in order to cause the .08.

1 And here's the point -- first place where we,
2 you know, had some discussion.

3 NIOSH has adopted the assumption that the
4 exposure occurred on June 10th. Our point was
5 well, you know, from the literature, it looks
6 like the exposure could have occurred earlier,
7 maybe as early as June 1st. And we -- we very
8 simply looked at it and said well, what would
9 the intake have had to have been if the intake
10 occurred on June 1st -- or the 2nd or the 3rd -
11 - as opposed to June 10th. And if -- if you
12 say that that's plausible, then all of a sudden
13 the intake -- the acute intake associated with
14 the fire -- if it was on June 1st, for example,
15 would have had to have been 50 times higher
16 than if the intake acute occur-- occurred on
17 June 10th and still get .08 milligrams per
18 liter in urine on June 11th.

19 Now Jim has provided us with material -- I'm
20 almost through and I'll let you take it over --
21 I'm sort of just trying to set the table and --
22 so everybody's on the same page.

23 Now Jim has provided material in the -- a
24 previ-- the one before the one that came out
25 last night -- that said okay, we have three

1 reasons why we believe June 10th is the right
2 date and therefore the intake for the acute
3 intake from the fire is -- is the correct
4 value. And the -- the one that -- the three
5 reasons, and they're really independent
6 reasons, as I see them, is that -- one is if
7 that intake occurred earlier and it was 50 --
8 for example, June 1st, and it was 50 times
9 higher, the dust loading would have had to have
10 been in the hundreds of milligrams per cubic
11 meter and these fire fighters would have had --
12 would have experienced dust loadings for an
13 extended period of time, perhaps eight hours --
14 on that order -- on the order of several
15 hundred milligrams per cubic meter. And we
16 agree that that is a very unlikely scenario.
17 That is, you can't -- a person -- from the
18 literature that we've reviewed, you really
19 can't have a -- persons in a room for eight
20 hours with the dust loading of 200, 300, 400
21 milligram per cubic meter. You can't -- just
22 from a respiratory distress point of view. So
23 that was the first argument that was made, and
24 we agree with that argument.
25 The second one that Jim pointed out and we

1 followed up and looked into was that in
2 addition, if the person let's say was exposed
3 to this acute exposure on June 1st or 2nd or
4 3rd as opposed to the 10th, that means on the
5 next day -- you know, the -- let's say it was
6 the 1st, then on the 2nd, the concentration of
7 -- of uranium in urine would have been there.
8 It wasn't measured, but it would have been
9 there, would have been very high. Would have
10 been above one milligram per liter. Now -- and
11 Jim's point being that well, we don't see
12 people very often getting above one milligram
13 per liter, and when we do it's usually
14 associated with highly soluble uranium -- you
15 know, the UF₄s and UF₆s -- not -- not oxides.
16 And we went into the literature -- you know,
17 like you did -- and said yeah, that's true.
18 I've got -- in fact, I've got a stack of papers
19 here. I -- I got in touch with [Name Redacted]
20 and I said [Name Redacted], what have you got,
21 and he sent me some stuff and I said son of a
22 gun, there you go.
23 So bottom line is, we accept those two lines of
24 arguments why it really could not have been
25 much earlier than June 10th.

1 But then there was a third reason, and to this
2 day -- and to this day I don't understand it,
3 and Dunstana and I have been looking at it --
4 and Dunstana's here because she's been doing
5 some IMBA runs to try to come to grips with
6 something, and I guess the -- those two reasons
7 alone are probably enough to save the day, so
8 to speak, in terms of supporting your position,
9 but I'd very much like to get a better
10 appreciation of what -- what NIOSH refers to as
11 the curve-fitting issue. And I -- in -- in
12 brief, the re-- if -- see, to me, if you have
13 just one sample from a worker and you get a .08
14 mil-- milligrams per liter, and you don't have
15 any more after that, you really don't know --
16 you know, I don't see where the curve-fitting
17 comes in. And -- and with that -- and now
18 maybe we sh-- and at that point I'll turn it
19 over to Jim and then we'll get to the -- the
20 other issue, the -- the incinerator issue a
21 little bit later 'cause I'd like to air that
22 out a little bit, although I don't think it's -
23 - it is center -- is as center -- it is as
24 center stage as it was before because your two
25 other arguments are very compelling, but I'd

1 still like to go over it.

2 **DR. NETON:** Okay.

3 **DR. MAKHIJANI:** John -- John, before you turn -
4 - I haven't talked to you for -- this is Arjun
5 and I have not talked to you for several days,
6 but I -- I have a question about our conclusion
7 or the conclusion that you stated. The several
8 hundred milligrams per cubic meter depends on
9 the assumption that it's type S.

10 **DR. MAURO:** Yes.

11 **DR. MAKHIJANI:** Whereas if it is type M, the --
12 and we don't know which type it is because both
13 assumptions are used in those calculations, so
14 it isn't an actual idea of what was present in
15 the air, and -- and actually the type M would
16 be the more common used in dose reconstructions
17 because it would be used for all -- essentially
18 all -- or most organs other than lung or
19 respiratory tract. So we're not actually
20 talking about an assumption of several hundred
21 milligrams per cubic meter. There's a range,
22 depending on solubility. So -- so I don't
23 think it is as physically implausible as you've
24 stated it. So I -- I think the evidence is
25 much more ambiguous.

1 **DR. POSTON:** I disagree with you, Arjun. You
2 and I and others went to Chapman Valve and
3 talked to the people. We had testimony from
4 the people that the ro-- that the uranium came
5 in in the form of rods --

6 **DR. MAKHIJANI:** Right.

7 **DR. POSTON:** -- that it was machined in the
8 form of rods --

9 **DR. MAKHIJANI:** Right.

10 **DR. POSTON:** -- that it exited in the form of
11 rods, and I find it a little difficult to say
12 that all of a sudden it's going to go into some
13 other kind of class.

14 **DR. NETON:** I think particularly if there's a
15 fire.

16 **DR. MAKHIJANI:** No -- no, the -- I --

17 **DR. POSTON:** I don't think there's much basis
18 for what you said.

19 **DR. MAKHIJANI:** No, I -- I didn't say that it
20 came in the form other than rods and went out
21 in the form of rods. I'm saying that when
22 there is a fire, there's normally a mixture of
23 solubilities. It won't be type F, certainly,
24 but it could very well be a mixture of type M
25 and type S. If you look -- if you try to fit

1 the air concen-- or compare the air
2 concentration data at Fernald with bioassay
3 data, and there is plenty of both for uranium,
4 you actually don't come up with a uniform --
5 with a uniform idea that somehow there is type
6 S in the metal area at Fernald. On the
7 contrary, there seem to be quite a lot of type
8 M or in the old, you know, class W and class Y
9 mixtures at Fernald --

10 **DR. NETON:** Well --

11 **DR. MAKHIJANI:** -- so I -- I think that -- that
12 there isn't a -- a clear measurement that when
13 you have fires it's always all type S. I don't
14 agree with that -- with that implication of
15 what you said.

16 **DR. NETON:** Well, I think I can -- I might be
17 able to clear something up because that might
18 become less relevant if I can try to explain
19 our position on -- on this issue. We've been
20 talking past each other, I think, and I hope
21 that today we can get this resolved.

22 **DR. MAURO:** But before you do that, I -- I
23 guess I -- I have been operating on the premise
24 that it's a fire. If it's a fire, it's got to
25 be an oxide --

1 **DR. NETON:** I would say that --

2 **DR. MAURO:** -- always an oxide is an S.

3 **DR. NETON:** Arjun's --

4 **DR. MAURO:** But that's not correct, you know --

5 **DR. NETON:** Arjun -- Arjun has some -- some
6 merit in his argument, but I think the
7 overwhelming majority of this material would be
8 insoluble material in a fire, and I -- I've
9 done this at Fernald where we've taken air
10 samples of oxides in the plant. We published
11 an article in *Health Physics* several years back
12 where it was -- my recollection is 90 percent
13 type -- it would have been type class Y at that
14 point. Any time you're dealing with uranium
15 oxidized surface -- surfaces, it's -- it's more
16 insoluble than -- than M, so the overwhelming
17 majority would be an insoluble form, in my
18 opinion.

19 But -- but he has -- it may -- let me explain
20 to you what we're doing here and this may make
21 that argument unnecessary.

22 The first thing I -- I think we've mentioned
23 this before is that the chronic exposure model
24 where we modeled in the acute fire is only
25 applied to people who were not sampled as part

1 of the fire. In other words, we're assuming --
2 this will apply to all workers except the fire
3 workers. So if a person has a sam-- a urine
4 sample from the fire, one of those seven
5 samples, we would model that as an acute
6 exposure and give them the highest intake that
7 would result -- plausibly result from that
8 bioassay sample. In other words, we -- we
9 could go back to June 1st. That's not
10 precluded. And if you read closely, the site
11 profile says that.

12 **DR. MAKHIJANI:** Okay.

13 **DR. NETON:** So you know, if you have seven
14 people who were exposed in a fire, and we
15 believe those were the people that were working
16 the cleanup of the fire -- we don't think they
17 were actually fighting it, they were cleaning
18 it up -- you can go back to June 1st and give
19 them an exposure.

20 What we're trying to cover with this chronic
21 model is a situation where a person is working
22 on the line, machining and such, and happened
23 to be involved in the fire, we didn't know it,
24 so he got a chronic exposure model with an
25 acute exposure scenario thrown on top of it.

1 **DR. MAURO:** Uh-huh.

2 **DR. NETON:** And when you model it that way, the
3 highest overall intake for the worker is a
4 result of the chronic model with an intake on
5 June 10th.

6 **DR. MAURO:** Uh-huh.

7 **DR. NETON:** Because as you move that acute
8 intake further and further back, it lowers the
9 chronic exposure model to such a degree that
10 the overall intake is smaller. And that's --
11 that's the whole point of our analysis.
12 So you really have two scenarios here. You
13 have one -- okay, I'm a fire fighter, I could
14 have been exposed, you would model that as an
15 acute intake and give them credit to go back to
16 June 1st.

17 **DR. MAURO:** Not -- not a problem, 'cause we
18 have a bioassay point.

19 **DR. NETON:** We -- we would use a default model.
20 A default model is for people who we have no
21 bioassay from the June fire, and we also
22 believe were line workers who were chronically
23 exposed. And that's where this best fit comes
24 in. The best fit constrains you to use the .03
25 values at -- I've forgotten how many points

1 now. We've assumed that there were -- Cindy,
2 help me out here. How many bioassay points did
3 we model, three?

4 **MR. ROLFES:** Four.

5 **DR. NETON:** Four? Thanks, Mark.

6 **MS. BLOOM:** Four, yeah.

7 **DR. NETON:** So there were four bioassay points.
8 We assumed that for each of those sampling
9 points, the highest measured value of all those
10 four urine samples was -- was measured. So
11 you've given everybody .03 --

12 **DR. MAURO:** Right.

13 **DR. NETON:** -- and so if you're excreting
14 continuously .03 milligrams per cubic meter,
15 and you superimpose this spike on top of it --

16 **DR. MAURO:** Uh-huh.

17 **DR. NETON:** -- and I think Dunstana's last
18 report agreed with that, that the best fit
19 model for that scenario is June 10th. It gives
20 them the highest overall --

21 **MR. GRIFFON:** Hey, Jim --

22 **DR. NETON:** Yeah.

23 **MR. GRIFFON:** -- this is Mark Griffon. I -- I
24 have no problem with that approach in general.
25 I even stated that at the last meeting. I'm

1 looking at the IMBA runs now, though, and the
2 one thing -- as we're discussing M and S, the
3 one thing I'm not sure of is I would have
4 probably modeled the acute as an -- more of an
5 S anyway and the chronic as an M, and it looks
6 like it's not done that way in these models.
7 Is that -- am I incorrect on that? I'm just
8 glancing at these now, so --

9 **MS. BLOOM:** These were both modeled as type S
10 based on the fact that that was what the
11 concern was in the SC&A report, but you could
12 certainly do it for type M and you'd come out
13 with a similar fit.

14 The other thing is that of the 40 bioassay
15 results, that represents the highest result
16 from the fire and the highest result from --
17 from the workplace, and most results were much
18 lower than that.

19 **MR. GRIFFON:** Right, right, right, no, I --

20 **MS. BLOOM:** So I think that, you know --

21 **MR. GRIFFON:** I understand that, but I don't
22 see a mix of solubi-- I was thinking, you know,
23 of the chronic one as a type M and the -- and
24 the acute spike would be a type S, and I don't
25 even know how to do that in IMBA, quite

1 frankly. I -- but, you know --

2 **MS. BLOOM:** You can actually separate --

3 **MR. ROLFES:** You can certainly do that.

4 **MS. BLOOM:** -- the models in there, there --

5 **MR. GRIFFON:** Okay.

6 **MS. BLOOM:** -- are ways to do it, but --

7 **MR. GRIFFON:** Yeah.

8 **MS. BLOOM:** -- I --

9 **MR. ROLFES:** But we're choosing the -- the
10 solubility class that results in the highest
11 dose to the organ of interest, so --

12 **MS. BLOOM:** Right.

13 **MR. ROLFES:** -- if we were choosing two
14 separate solubilities, that wouldn't
15 necessarily be claimant favorable.

16 **MR. GRIFFON:** I -- I -- I'm looking at the way
17 the data fits, though, if you're talking about
18 how this -- the -- anyway, yeah, okay.

19 **DR. NETON:** I understand what you're saying,
20 Mark, and --

21 **MR. GRIFFON:** Yeah.

22 **DR. NETON:** -- we can certainly --

23 **MR. GRIFFON:** I understand. I'm not sure it's
24 going to make any difference, I was just
25 curious, the way it was modeled here. You

1 know, if you're talking about best fit and
2 you're -- and you're saying that likely the
3 fire is supposed to result in more type S
4 material --

5 **DR. NETON:** Yeah.

6 **MR. GRIFFON:** -- then arguably the acute spike
7 should have been modeled as a type S. That's
8 all I'm saying.

9 **MS. BLOOM:** And the acutes are -- but I -- but
10 I think what we're looking at is claimant-
11 favorable models of -- of type M or type S that
12 result in the highest dose to the worker.

13 **MR. GRIFFON:** Yeah, I -- I agree with wi-- that
14 the --

15 **MS. BLOOM:** And so I don't think that we're --
16 this isn't really looking at best fit. If I
17 was going to do a dose --

18 **MR. GRIFFON:** Right.

19 **MS. BLOOM:** -- reconstruction in modern day, I
20 would use the date that gave me the -- I --
21 that -- that the information indicated was the
22 best answer.

23 **MR. GRIFFON:** Yeah.

24 **MS. BLOOM:** I wouldn't try to be giving the
25 largest dose. Because our program's a little

1 bit different, my goal is to give the -- the
2 largest dose within the realm of possi-- of
3 reasonable possibilities.

4 **MR. GRIFFON:** And you're --

5 **MS. BLOOM:** And so -- so there's a number of
6 ways that are reasonable to fit the data.

7 **MR. GRIFFON:** Okay. But you looked at that com
8 -- all I'm asking is did you look at that
9 combination idea --

10 **MS. BLOOM:** Did I --

11 **MR. GRIFFON:** -- 'cause I don't see that as one
12 of the --

13 **MS. BLOOM:** -- combine type M and type S --

14 **MR. GRIFFON:** Yeah.

15 **MS. BLOOM:** -- to see if I could get even
16 larger doses?

17 **MR. GRIFFON:** Right, larger -- would that have
18 resulted in a larger dose, you know --

19 **MS. BLOOM:** I did not do that.

20 **MR. GRIFFON:** -- 'cause I could see it would
21 affect the intake, but then you're running an S
22 for the dose as well so that would be lower
23 doses and --

24 **MS. BLOOM:** Yeah, I don't --

25 **MR. GRIFFON:** -- you didn't do that? I'm --

1 **MS. BLOOM:** -- I don't believe --

2 **MR. GRIFFON:** -- just curious 'cause that would
3 definitely change things. I'm not sure it
4 would be -- this might be the most claimant
5 favorable --

6 **MS. BLOOM:** Yeah, I don't believe that --

7 **MR. GRIFFON:** -- and I agree with your approach
8 in general. I'm not -- I'm not arguing the
9 approach.

10 **MS. BLOOM:** Yeah, Mark, I don't believe it
11 would because when you model the type S as an
12 acute intake, you're going to be taking --
13 you're go-- your predicted urine is going to be
14 -- well, it'll be a little bit lower, I guess,
15 from the type M --

16 **MR. GRIFFON:** Yeah, yeah.

17 **MS. BLOOM:** -- it might be a little bit higher,
18 but --

19 **DR. NETON:** It's -- it's not intuitive right
20 now to me as to which way it would go.

21 **MR. GRIFFON:** Yeah, not intuitive to me, ei--

22 **DR. NETON:** 'Cause you would -- you would have
23 a larger intake of type S for sure, based on
24 the -- this -- the .08 -- eight microgram
25 sample, but then the dose delivered to the

1 metabolic organs --

2 **MR. GRIFFON:** Exactly, the dose will be lower.
3 Right? Yeah, right.

4 **DR. NETON:** -- will be lower and I don't -- I'm
5 not exactly sure how those -- those would off-
6 set.

7 **MR. GRIFFON:** Right, right.

8 **DR. NETON:** It may end up being about the same,
9 I don't know, but I -- I think we can certainly
10 look at that. I mean if that's something that,
11 you know, is -- is a -- recommended for us to
12 do, I guess --

13 **MR. GRIFFON:** Yeah, and -- and again, I don't
14 think that takes away from the overall appro--
15 I agree with the --

16 **DR. NETON:** Right.

17 **MR. GRIFFON:** -- nature of your approach, so...

18 **DR. NETON:** I guess that's what I'm thinking is
19 that that doesn't invalidate our approach and -
20 -

21 **MR. GRIFFON:** No.

22 **DR. NETON:** -- does that all of a sudden make
23 it an SEC issue. I think not, but --

24 **DR. POSTON:** Yeah, I just want to make sure
25 that we're all -- that I understand what's

1 going on, Mark. This is not a showstopper in
2 terms of us moving on. This is just a
3 curiosity?

4 **MR. GRIFFON:** Well, it -- it's not -- yeah, I
5 just want to -- you know, if -- if the argument
6 is that you're trying to generate the most
7 claimant-favorable doses from what I would
8 argue is fairly minimal urinalysis data, then I
9 think we'd better check this and make sure that
10 this -- you know, it seems like this is
11 probably the most claimant-favorable approach,
12 but you might want to check that combined
13 solubility question and -- and make sure, but
14 not a showstopper, no.

15 **DR. POSTON:** Okay. Thank you.

16 **DR. MAKHIJANI:** This is Arjun. I think you'd
17 also get a better fit for type M for an earlier
18 -- for an earlier assumption of a date for the
19 fire rather than for type S.

20 **MS. BLOOM:** You don't.

21 **DR. MAKHIJANI:** You don't? Oh, you checked
22 that?

23 **MS. BLOOM:** No, I looked at the chi squared
24 values, I looked -- and you know, it -- it's
25 not a huge difference in this, but you don't.

1 **DR. MAKHIJANI:** Okay. Thank you.

2 **MS. WU:** This is Portia Wu from Senator
3 Kennedy's office. Would it be all right if I
4 asked a couple of questions in here at this
5 point?

6 **DR. WADE:** Surely.

7 **MS. WU:** And I -- I apologize, I actually need
8 to leave at 11:00 to brief the Senator so I
9 wanted to get these points in now.
10 You know, just as a lay person, obviously, I --
11 I don't understand some of these -- these
12 rationales, and particularly on the -- you
13 know, sort of the three justifications, it sort
14 of seemed like well, the first two were -- were
15 deemed dis-positive because you were saying
16 well, it's very unlikely that people would have
17 really high exposures, we just don't see that.
18 And you know, just because something happens
19 infrequently doesn't mean that it couldn't have
20 happened here, and so I don't quite understand
21 why, you know, there -- it must be the case
22 that the fire was June 10th and it couldn't
23 have been -- not June 1st because those values
24 would have been off the charts, why couldn't it
25 have been June 5th or June 6th.

1 Knowing how a lot of workplaces work often, you
2 -- you know, you say okay, then these people
3 need to be sampled, but it doesn't always
4 happen immediately, the next day. When that --

5 **MS. BLOOM:** We're not saying that the fire
6 couldn't have happened a different date. This
7 is the coworker model. This is not the model
8 that you would necessarily choose for the
9 individual.

10 **MS. WU:** Okay. Well, that's what -- another
11 question I had is I -- I sort of got confused
12 when we seemed to shift from one on the acute
13 exposures to the -- to the more -- more general
14 one, so I -- I wasn't -- it did seem to me,
15 though, that you -- it was being resolved that
16 it was unlikely that the fire was on an earlier
17 date. Is that not true?

18 **MS. BLOOM:** I actually believe that we've seen
19 a new reference that indicates that the fire
20 might have occurred earlier.

21 **DR. NETON:** It could have been at the very end
22 of May. This is Jim Neton, Portia. I
23 apologize, maybe I -- I caused the confusion
24 here.

25 **MS. WU:** Yeah.

1 **DR. NETON:** But it -- it is our position -- I
2 think that's been the source of some confusion
3 between --

4 **MS. WU:** Yeah.

5 **DR. NETON:** -- NIOSH and SC&A that we could go
6 back earlier, we will go back earlier if we
7 know the person was a fire -- involved in
8 cleaning up or fighting the fire, and assign
9 the most favorable date we -- we can find.

10 **MS. WU:** Uh-huh.

11 **DR. NETON:** But when it comes to someone who
12 was -- was -- was working on the lines, you
13 know, machining, we have routine bioassay
14 samples. We would use those and assume they
15 were chronically exposed, then also give them
16 credit for having participated in the cleanup
17 of the fire. Since you have this chronic
18 bioassay sample data, it makes a little
19 different scenario as to what their intake
20 would have been from the fire.

21 **MS. WU:** And -- and why is it that these other
22 people would not also have some level of acute
23 exposure? I mean if they were around, even if
24 they weren't fighting the fire.

25 **MS. BLOOM:** We've used the largest bioassays to

1 account for -- for all the exposures, but it's
2 obvious that most of the bioassays are less
3 than ten micrograms per liter -- I think 35 of
4 them are ten or less. We're using 30s and 80s
5 to derive this coworker model, so I think we've
6 been very generous already in assigning
7 intakes.

8 The other thing is that it's pretty clear that
9 the operational period was probably a little
10 bit shorter than we've assumed, and probably on
11 the order of a factor of two shorter than we've
12 assumed in the site profile, although there's -
13 - there's no black and white evidence, it's
14 only in dark grays and light grays.

15 **MS. WU:** Uh-huh.

16 **MS. BLOOM:** So -- so we're giving the benefit
17 of the doubt to the claimant, and by the time
18 you add up all these benefits of the doubts, it
19 becomes a very generous model to assign intakes
20 with.

21 **MS. WU:** I -- I understand that and I recognize
22 that, you know, there are -- there are a lot of
23 vagaries and one has to make the best
24 assumptions possible.

25 If you wouldn't mind if I asked one or two

1 questions about the other pieces that were
2 raised, one is this sort of -- the enriched
3 versus -- versus not uranium, and I know that -
4 - that you -- you said that it seemed like you
5 were satisfied that basically the enriched
6 uranium was not from work in the contract
7 period. I just know that, you know, there were
8 -- I was trying to figure out what has been
9 done to track down where that probably came
10 from? I don't know if that's NIOSH's
11 responsibility, not SC&A's, but I just want --
12 was hoping someone could flesh out a little bit
13 more because I know people have been looking
14 into this, you know, what sources were looked
15 through, what documents were retrieved, what --
16 you know, if people talked to the people who
17 did the cleanup as -- as well as everything
18 else, so...

19 **DR. POSTON:** I think Jim has an answer.

20 **DR. NETON:** Portia, this is Jim Neton. There's
21 two things that we've done. One is -- I don't
22 remember if you were on the call the last
23 working group meeting, but we --

24 **MS. WU:** I had to jump off. I was on for the
25 first part.

1 **DR. NETON:** Okay, the fir-- one of the first
2 pieces was that it -- the covered exposure
3 period is defined by Department of Labor in
4 conjunction with Department of Energy. We --
5 NIOSH does not make that determination as to
6 what the covered period -- time period is. And
7 we agreed at that last working group meeting to
8 send a letter to Department of Energy and the
9 Department of Labor to inform them of our -- of
10 the discussion that we had with workers at --
11 you know, during our worker outreach meetings
12 at Chapman Valve, that there appears to have
13 been some other activities that may have
14 resulted in this enriched contamination. That
15 letter was written by Larry Elliott to Pat
16 Worthington of the DOE and Mr. Pete Turcic of
17 Department of Labor on March 1st of this year,
18 and we sent it to them asking them -- that --
19 you know, providing them this information,
20 asking them to re-evaluate the covered period
21 in light of these -- these -- this information.
22 The second piece, and Mark Rolfes, sitting to
23 my left here, is prepared to talk about what
24 we've done internally to try to track down some
25 information.

1 **MS. WU:** What was your response? What was the
2 response you got from the first one?

3 **DR. NETON:** I'm not aware that we've had any --

4 **MR. ELLIOTT:** They're meeting -- this is Larry
5 Elliott. They're -- they're meeting at DOL
6 today. We have an OCAS representative at the
7 meeting where they'll be discussing the covered
8 period for this facility and several others,
9 and we await the outcome of that discussion
10 today.

11 **MS. WU:** Thanks, that's helpful. Now, I'm
12 sorry, the second piece you mentioned?

13 **MR. ROLFES:** Yes, Portia, this is Mark Rolfes
14 from NIOSH, and we've on several occasions sent
15 correspondence to Bechtel National's legal
16 department requesting information during the
17 remediation time period in the '90s, and we
18 were also requesting other pieces of
19 information such as dosimetry records and
20 laboratory analyses and such. And we are
21 awaiting a response from Bechtel National's
22 legal department at this time.

23 **DR. NETON:** Okay. We've also gone back to
24 ORAU, who is our main contractor on this
25 program, and actually generated these results -

1 - a different part of their program -- to try
2 to pull out the -- any available records that
3 would give us a hint as to what these samples
4 represented, and there's nothing that they
5 could track down that would help us flesh out
6 this issue any better. That's where we're at
7 in our own internal review.

8 **MR. ROLFES:** We've -- we've tried to put
9 together as many pieces of information to -- to
10 -- we -- we've evaluated this in quite a bit of
11 detail to look to see if there was any
12 possibility of enriched uranium being on-site.

13 **MS. WU:** Right.

14 **MR. ROLFES:** However, it's really this only --
15 only the single sample that we found that
16 indicates that it could have been 2.16 percent
17 enriched uranium. Because given the design of
18 the Brookhaven National Laboratory's graphite
19 pile, everything supports that it was run on
20 natural uranium during the time period that
21 Chapman Valve produced -- I'm sorry. It was --
22 it was running on natural uranium in the early
23 '40s. At a later date, in the late '50s, it
24 was switched to an enriched uranium. However,
25 there's no indication that that enriched

1 uranium was produced by Chapman Valve, so...

2 **DR. MAKHIJANI:** Mark, this is Arjun. There
3 were two -- in SC&A's report we argued that
4 there were two samples indicating enriched
5 uranium, one with a 2.16, but the other was
6 called consistent with natural uranium. We
7 don't believe it's consistent with natural
8 uranium, so I think that that is a point on
9 which we do have a disagreement.

10 **DR. NETON:** Right, Arjun, but at the same time
11 I don't think either of us (unintelligible) --

12 **DR. MAKHIJANI:** No, it's just -- just a point
13 of clarification.

14 **DR. NETON:** Okay.

15 **DR. MAKHIJANI:** I think -- I think it -- NIOSH
16 consistently said that there was only one
17 sample when there's actually -- I -- I believe
18 that NIOSH's own publication off the sampling
19 results indicate there were two enriched
20 uranium samples. I mean we've -- we've -- so I
21 -- I think, just in the interest of accuracy of
22 the record, there should be something said
23 about that.

24 **DR. NETON:** Well, we don't know that it's
25 enriched, either. I think there's some debate

1 about that. If this --

2 **DR. MAKHIJANI:** That's what the sample
3 indicates in what SC&A has written, and I'm
4 just reiterating that position in order to say
5 that there's not an agreement about it.

6 **DR. NETON:** Right. We did try to go back and
7 get the original analytical datasheets for this
8 because we don't know whether this was alpha
9 spectroscopy or some germanium -- germanium or
10 sodium iodide measurement and, you know, the
11 uncertainty of that -- we don't know what the
12 overall uncertainty of that measurement is.
13 And it certainly could be considered natural
14 uranium if the uncertainty's fairly large.

15 **MR. GRIFFON:** And Mark, this is Mark Griffon, I
16 just wanted to -- did -- did you say you were
17 waiting a response from -- from Bechtel?

18 **MR. ROLFES:** Yes, that's correct.

19 **MR. GRIFFON:** Okay, okay. So you don't have
20 anything either way. Did you contact, by any
21 chance, Envirocare?

22 **MR. ROLFES:** No, we have not.

23 **MR. GRIFFON:** The waste was shipped there and I
24 was curious -- you know, it was only ten years
25 ago. They may have a record of the volume

1 shipped and the enrichment levels on the
2 manifesting, which may be of interest here, and
3 I don't think that'd be that difficult to run
4 down, but --

5 **DR. NETON:** Again, I guess I -- I'd point out -
6 - I mean I -- that's something that we can
7 track down, but --

8 **MR. GRIFFON:** Yeah.

9 **DR. NETON:** -- I think we -- we were in
10 agreement, at least last time, that the covered
11 period as defined by Department of Labor I
12 think is -- represents natural uranium
13 exposure. These other pieces, if they
14 (unintelligible) --

15 **MR. GRIFFON:** The other pieces will be
16 considered separately? Is that --

17 **DR. NETON:** -- considered -- yeah, considered
18 in --

19 **MR. GRIFFON:** Okay.

20 **DR. NETON:** -- a separate evaluation.

21 **MR. GRIFFON:** Okay, okay.

22 **DR. WADE:** Portia, you had another point?

23 **MS. WU:** Yes, and it's -- and I appreciate and
24 -- and thank you for the work that you've done
25 thus far to try to get to the bottom of this

1 enriched uranium thing. I think that is
2 something we're going to be interested to keep
3 following and we'll follow up with DOL and --
4 about what -- their discussions.
5 But the final point which, you know, we've
6 raised exhaustively in our member letters has
7 been this kind of disconnect with the -- some
8 of the external sampling and the -- and the
9 urine samples, and we remain concerned that,
10 you know, we don't have actual bioassay data
11 for some of the people who might have been the
12 highest exposed. I know that you have all said
13 that well, we're making a lot of other
14 assumptions about people's exposure and
15 therefore we can, you think, make very
16 claimant-favorable estimates, but just so you
17 know that that continues to be a source of
18 concern for us and for our constituents, that
19 that -- you know, that's a gap there that shows
20 maybe you really can't accurately estimate these
21 things accurately. So -- so those are sort of
22 our main concerns. I'll obviously continue to
23 listen in on the discussion, but I appreciate
24 everyone's taking the time to hear our
25 concerns, and I know that Senator Kerry and the

1 delegation has raised these with you as well.

2 **DR. WADE:** Thank you. We appreciate your being
3 with us.

4 **DR. MAURO:** Jim, there was one aspect to this
5 that we really haven't talked about yet, and
6 that has to do with perhaps more than one fire.
7 I think that the -- I think that this issue of
8 the date of the fire I now understand. But
9 then there was some feed-- well, there's
10 literature that says that fires are fairly
11 commonplace in these types of facilities, and -
12 - and -- and I know that Dunstana has made a
13 few runs just to see is it possible that could
14 have had -- because they were separated out in
15 time -- these four samples were separated out,
16 and I guess it was Aug-- there were several
17 months in between each sampling period, these
18 four sampling periods, and in theory there
19 could have been a number of spikes and then go -
20 - go away and you would not have picked them up
21 in the next sample round, so -- and then -- so
22 one of the questions we raised, and when we met
23 with the representatives, was were there any
24 other fires. And the answer we received from
25 the folks we talked to was they don't recall

1 any, but as Arjun has correctly pointed out,
2 you know, these weren't people that were there
3 all the time and would have -- and also the
4 evidence seems to be that the only large fire
5 that was memorable was the one that occurred in
6 June of '48, early June '48. But we did raise
7 this question regarding, you know, there could
8 have been other fires that could have sneaked
9 in, delivered a high intake and then the
10 activity in the urine would have gone away and
11 you would not have picked it up in the next
12 sampling.

13 **DR. NETON:** I disagree.

14 **DR. MAURO:** Okay.

15 **DR. NETON:** It might not be above the detection
16 limit, but it would certainly add to the
17 baseline --

18 **DR. MAURO:** Uh-huh.

19 **DR. NETON:** -- of what's coming out. I mean
20 you're going to have something there. And I
21 would agree that it would not maybe be above
22 .010 detection limit, but you -- this happens
23 all the time. You can -- you'll get some
24 baseline there and it might be .03, and that
25 will be added to the chronic exposure itself,

1 so I don't --

2 **MR. ROLFES:** Sure, any --

3 **DR. MELO:** Yeah, but actually the contribution
4 of acute intake after certain while is -- is
5 nothing compared to the --

6 **MS. BLOOM:** Could you speak up, please?

7 **MR. GRIFFON:** We can't hear you.

8 **DR. MELO:** Sorry. The cont-- the contribution
9 of the urine -- the contribution of acute
10 intake in urine after certain while is mainly
11 for type S is --

12 **MR. GRIFFON:** Excuse me, John?

13 **DR. MAURO:** Yeah.

14 **MR. GRIFFON:** Whoever's speaking, we -- on the
15 phone we're not hearing very well at all. It's
16 very low.

17 **DR. WADE:** Hold on a second, we're going to
18 make an adjustment.

19 **MR. GRIFFON:** Okay, thank you.

20 (Pause)

21 **DR. MELO:** So thank you. So this is Dunstana
22 Melo speaking. My point is, the contribution
23 of acute intake in urine samples after certain
24 while, especially for type S compound -- so
25 this contribution is very small and -- compared

1 to the -- the contribution of chronic intake,
2 so if you have -- and my point is on that time,
3 in '48 -- in the '48 years, the -- the
4 uncertainty on the measurements, on urine
5 measurements -- urine -- measuring in urine
6 samples were very large. We can -- we can
7 believe in that. And also to use this IMBA
8 code to -- to -- to be -- to design a model of
9 -- of exposure, you need -- well, you need
10 several measurements in order to have a
11 reliable model or matrix of exposure. And I
12 was looking at the files that you sent to us
13 and so you are using a linear distribution and
14 actually when you have a large uncertainty you
15 need to use the lognormal distribution. And
16 also you -- you are using a scattering factor
17 equal to one, and actually you have a large
18 uncertainty in the measurements and you are
19 dealing with measurements of different people,
20 and so the -- the recommendation -- the ICRP
21 recommendation and all the recommendation for
22 use of IMBA in these situations when you don't
23 have the measurements of ju-- of one pers-- one
24 -- all the measurements from one worker and
25 also -- and we don't have the -- the 24 hours

1 excretion and -- well, all the uncertainties
2 related to the -- to the analysis and sample
3 preparation and sample measurement and the
4 enter -- and enter the individual variations,
5 you need to use a scattering factor equal to
6 two, so --

7 **DR. NETON:** Well, let me stop you there real
8 quick 'cause I think -- I agree with you if we
9 were doing an individual dose reconstruction
10 for a person exposed at a plant and we had
11 their bioassay data. We would need multiple
12 samples, we'd have to take all this into
13 consideration. But in dose reconstruction it's
14 a little different. We took, of the 40
15 samples, the highest sample recorded in all the
16 people and used that, and I think that
17 represents -- it's clear in my mind -- bounding
18 value.

19 **DR. POSTON:** You applied that to every --

20 **DR. NETON:** We applied that to everyone. So --
21 so this is a much different application of a
22 dose assessment than you would have if you were
23 doing an individual dose reconstruction at a
24 plant and he had exposure. Sure, you'd take
25 two, three, four, five (unintelligible) samples

1 and look at the variability in the scatter.
2 When you take the highest sample ever recorded
3 on the 40 and -- and most of them -- I think
4 there were only three or four above detection
5 limit, of the 40. We took the highest one of
6 .03 and applied it to every person and came up
7 with a 70 MAC -- 70 times the Maximum Allowable
8 Air Concentration product model, and then it --
9 and we'll get into this later. If you start
10 comparing that to what is really experienced in
11 machining operations at DOE facilities, I think
12 -- we think that's a fairly reasonable
13 approximation and assumption that we've
14 applied. So what you're saying is very -- is
15 valid for individual dose reconstructions. We
16 try to get the exact number for a person.
17 That's not what we've done here.

18 **DR. MELO:** Yeah, I know, but why -- sorry. Why
19 you didn't use the scattering factor equal to
20 two if -- since we have a large uncertainty?

21 **DR. NETON:** Well, we took the highest value --

22 **DR. MELO:** I understand -- I understand that --
23 that it's for non-- non-monitored workers, but
24 even though, it's a large uncertainty in this
25 matrix (unintelligible).

1 **DR. NETON:** I understand, but we didn't even
2 take the 95th percentile, we just took the
3 highest sample, so it's a bounding analysis is
4 what we would call that. And if you start
5 putting scattering factors on top of -- of the
6 highest value and such, you get -- I think you
7 put yourself into a -- an unreasonable
8 estimate.

9 **DR. POSTON:** You get the opposite question:
10 Why did you use the two?

11 **DR. MAURO:** What -- when I think about this and
12 I say okay, what I'm hearing is -- or yeah,
13 maybe there were -- in fact, there's no doubt
14 it was assorted, the types of exposure. You
15 know, no one was exposed in a flat line. So
16 what are you -- but if you integrate -- let's -
17 - let's put aside now the -- the fire, the
18 single fire, that's taken care of. What I'm
19 hearing you're saying, and I think this is
20 really where the judgment comes in -- it's a
21 judgment call -- you take the integrated
22 exposure, total number of atoms -- you know, of
23 becquerels inhaled over that year and a half,
24 you get -- you get a number, they're not --
25 it's in the reports, you get a number. Now

1 you're saying that listen, we're going to
2 assign that number, that intake, to everybody.
3 Now -- and -- and you're saying that -- and
4 that's -- that's assuming that for that one
5 person out of 30 that got the .03, it back-
6 calculates -- that -- that's the chronic
7 intake, so you -- in effect, what you're saying
8 is that even if there were these occasional
9 spikes, if you were to -- you know, the
10 integrated exposure is still not in real-- in
11 other words, you don't really expect that the
12 integrated exposure for anyone is going to be
13 greater than that integrated exposure. That's
14 notwithstanding the fact that there might have
15 been some other fires.

16 **DR. NETON:** Yeah, we're not saying that
17 everybody was exposed to 70 MAC the whole time.

18 **DR. MAURO:** Yeah.

19 **DR. NETON:** We're saying that -- essentially
20 it's a time-weighted average, if you want to
21 look at it that way.

22 **DR. MAURO:** Yeah, in fact, I'd prefer thinking
23 in terms of we're going to assign to everyone a
24 certain number of becquerels inhaled over that
25 year and a half period and give it to

1 everybody. We don't know how they got it. We
2 don't know if they got it like this -- you
3 know, everyone got it the way they got it. But
4 you're saying the weight of the evidence and
5 looking at the literature, looking at the
6 operation, looking at the bioassay data is that
7 by giving everyone that -- even if there were
8 intermittent small -- small fires, it -- it's
9 not going to result in a time-integrated intake
10 over the course of that year and a half greater
11 than that -- and this is a judgment call.

12 **DR. NETON:** Right.

13 **DR. MAURO:** This is a place where all the
14 people sitting around the table, all the folks
15 involved have to feel about yeah, we're doing
16 the right thing by these workers by making that
17 assignment 'cause I don't think there's a
18 definitive answer to this except the weight of
19 the evidence and the judgment of the scientists
20 that looked at it. And I guess, you know, when
21 I talked to Dunstana, I guess from the last e-
22 mail I received -- is it your sense that that
23 intake -- that time-integrated intake is in
24 fact an estimate that would prop-- that would
25 cover some individual spikes that may have

1 occurred all along the way? Other words,
2 there's enough conservatism built into that
3 that if there were some additional small fires
4 that may have gone unnoticed and unrecorded,
5 that there's enough I guess excess in the
6 chronic that would accommodate those occasional
7 spikes. And I think that's the heart of the
8 matter here.

9 **DR. NETON:** Yeah, well -- well, let Dunstana
10 answer first I guess.

11 **DR. MELO:** Yeah, I think that's -- it's -- it's
12 -- yeah, it's a puzzle for us because if -- if
13 we -- if there is some -- if there are some
14 additional fires, additional intakes, I think
15 it would be very hard to -- to be reflect in
16 those urine samples, urine measurements.

17 **DR. NETON:** I disagree. I think -- chronic --
18 chronic exposure is nothing but a series of
19 intermittent acute exposures, you know, and the
20 more intermittent exposures you have, the
21 better approximation the chronic model is.

22 **DR. MAURO:** Uh-huh.

23 **DR. NETON:** Now if you're saying --

24 **DR. MELO:** Yeah, I a-- I ag--

25 **DR. NETON:** -- you've shown that for one is

1 okay --

2 **DR. MELO:** -- I ag-- I agree with you, because
3 I think you are very -- in this matrix, the
4 chronic intake is very conservative, and if you
5 have some small acute intakes -- well, actually
6 the chronic intake takes into account small
7 acute --

8 **DR. NETON:** Yeah.

9 **DR. MELO:** -- everyday small acute intakes, but
10 small acute intakes. I'm not sure about fires
11 and large intakes. I think that the number of
12 measurements are not enough for us to -- to --
13 to be so confident to say that these acute
14 intakes didn't occur and that was my point, to
15 -- to design that -- that route to simulate
16 those acute intakes since the -- in the SEC
17 petition the petitioners were saying that
18 during the operation of Chapman Valve there are
19 some additional acute intakes, so that was the
20 reason, just to show that it would not be
21 reflect in the urine measurements. It was not
22 the -- I --

23 **DR. NETON:** Did you -- did you model it,
24 though, with the chronic exp-- on top of the --
25 I agree with you that the amount coming out in

1 the urine is going to be small, and -- and
2 actually even below the detection limit. I'll
3 agree with that. But what you're going to have
4 to do is -- is at some point suppress the
5 chronic intake for the -- that small
6 incremental value, and then when you multiply
7 that times 20 years, you -- you're going to --
8 you're going to -- that small suppression of
9 chronic intake will result in a -- in a fairly
10 large reduction in intake if you assume it
11 happened over a long period of time. You know
12 what I -- understand what I'm saying, is a very
13 small reduction in a chronic intake will result
14 in a very large -- could result in a very large
15 reduction in overall intake because you have to
16 -- and have mass balance. You can't inhale two
17 sources of intake and then have the chronic
18 model still being the same. It has to go down.
19 Unless you've done that calculation, I haven't
20 seen that result. I mean I -- I understand
21 what you're saying, but if you -- those
22 calculations, I would suspect that you're going
23 to end up at the same point. You can only
24 excrete so much out in the urine from those
25 intakes, and -- and (unintelligible) --

1 **MR. ROLFES:** Any previous intakes are going to
2 contribute to future uranium excretion, so what
3 we're doing is what -- what NIOSH is assigning
4 results in the highest internal dose that we
5 can interpret from these bioassay data. We're
6 assuming that a person is chronically exposed
7 for 16 -- I can't remember off the top of my
8 head -- 16 months. Rather than to try to do a
9 best estimate of someone's dose, we're
10 assigning a more claimant-favorable dose by
11 assuming that they were in fact chronically
12 exposed for several months rather than
13 evaluating two separate acute intakes.
14 Now if we were trying to do a best estimate, I
15 would agree with your concern. But we're not
16 trying to do a best estimate. When we would do
17 a best estimate, that would result in a lower
18 internal dose. We're assigning a claimant-
19 favorable internal dose that is much higher,
20 so...

21 **DR. POSTON:** I -- this -- this is all very
22 interesting from a scientific standpoint. I
23 could sit here and listen to all this all day.
24 But I guess the question is, and you said it in
25 your report, is -- is what NIOSH has done

1 claimant favorable, and it seems to me that's
2 the answer -- the question that needs to be
3 answered. In your report, regardless of your
4 criticism of what was done, that was your --
5 your -- your answer. As far as I know, John
6 has the same answer. So we can argue about or
7 discuss how one would do these reconstructions
8 on an individual basis or a group basis or so
9 forth, but maybe I'm the one who's off base,
10 but it seems to me that the question that needs
11 to be answered, is what NIOSH is doing claimant
12 favorable, and if the answer is yes I think we
13 should move on.

14 **MR. GRIFFON:** Well, I -- actually this -- this
15 discussion's given me some deja vu. Jim --
16 Jim, we've done this before.

17 **DR. NETON:** Yes.

18 **MR. GRIFFON:** And I think I'm fine with the
19 model approach. The only question I have wi--
20 with Chapman here, and I raised it at the last
21 meeting, was -- it's more of a -- a question of
22 are -- do you have enough sampling information
23 to be confident, and I think, you know,
24 possibly with the other references that you're
25 going to discuss --

1 **DR. NETON:** Right.

2 **MR. GRIFFON:** -- on top of -- on top if this
3 urine data, then I think we can be convinced.

4 **DR. NETON:** Right, that was a --

5 **MR. GRIFFON:** But right now, I mean you're --
6 you're hanging your hat on -- I -- I know you
7 took the highest values, but they're -- they
8 are from different people and in fact, if I
9 look at the data right, there were only three
10 machinists that were ever sampled and I -- and
11 I do think they have low samples, but
12 nonetheless --

13 **DR. NETON:** To tell you the truth, Mark, if you
14 look through the -- the log-in entry sheets, I
15 don't know how many more machinists there were.
16 Looking at this (unintelligible) --

17 **MR. GRIFFON:** Yeah, there -- there weren't a
18 lot of pe-- I -- I agree, there's not a lot --
19 if you look at the log sheets -- the external
20 log sheets, anyway -- I -- I didn't see --

21 **DR. NETON:** Well, if you look at the log sheets
22 -- the external log sheets that have job
23 descriptions --

24 **MR. GRIFFON:** Right.

25 **DR. NETON:** -- I'd be hard-pressed to find more

1 than a couple more machinists.

2 **DR. POSTON:** Mark and other members of the
3 workgroup --

4 **MR. GRIFFON:** Yeah.

5 **DR. POSTON:** -- would -- is there objection to
6 moving on and having -- listening to what Jim
7 has to say about his evaluation?

8 **MR. GRIFFON:** Yeah, I mean if you -- I just
9 wanted to put that -- that question on the
10 table, the representativeness. The model I
11 think I'm -- I'm -- I'm satisfied with if -- if
12 SC&A is. I'm --

13 **DR. POSTON:** Well, unless there's objection,
14 then I'd like to move on and have Jim --

15 **MR. GRIFFON:** You can --

16 **DR. POSTON:** -- review his report. Do I hear
17 any objections?

18 (No responses)

19 Jim? Okay.

20 **DR. NETON:** I -- I understand what Mark is
21 saying and I agree with that, and that was the
22 whole point of this next phase, which was okay,
23 we have a model built on 40 bioassay samples
24 and if we look -- this is sort of backwards of
25 how you normally do this, is you have air

1 sample data, then com-- you bioassay and use
2 that as the gold standard. We've taken
3 bioassay and developed a model and now we're
4 saying does this model pass the reasonableness
5 test in terms of what happens at uranium
6 machining facilities in general, because there
7 are only so many processes that can happen when
8 you work with uranium metal.
9 We know that at Chapman Valve they worked with
10 four-inch-long by one-inch-diameter slugs.
11 That's what they worked with. They did a lot
12 of it, thousands of these, but they machined
13 them. They -- they came in and they put slots
14 in -- drove little buttonholes and that sort of
15 thing. So what's -- and Cindy Bloom has put
16 this together, and I apologize for the late
17 delivery, but there's a lot of information in
18 here and it took some time to put together.
19 So what we have here in -- in this report, and
20 I'm sure most people haven't had a chance to
21 read it, is a summary of what could be gleaned
22 from the literature that we have in our site
23 research database. And it summarizes air
24 concentration data, for the most part, and some
25 bioassay data for 14 Atomic Weapons Employer

1 facilities, if you count Chapman Valve, and six
2 DOE facilities where machining of uranium
3 occurred. There's a lot of data here. I don't
4 want to go through all of it. I'll -- I'll
5 kick it off and then maybe Cindy can chime in -
6 - and Mark, as well -- as to their opinions of
7 this. But I've reviewed this and I -- I think
8 -- a -- a few key things to look at are the
9 Chapman -- I mean the NUMEC analysis that was
10 done -- it's an expansion of what was in the
11 original site profile, that starts on page 6 of
12 this document -- where there was a NUMEC
13 incinerator. It was in the '60s time frame.
14 And this data -- Cindy, was this data provided
15 to us at the Chapman Valve worker outreach
16 meeting? I believe it was.

17 **MS. BLOOM:** It was.

18 **DR. NETON:** That's right, so this was provided
19 to us by workers or some former workers from
20 Chapman Valve who -- at least in their mind, I
21 think -- thought that this would be
22 representative or reasonable to use as a
23 comparison to what might have happened at the
24 furnace at Chapman Valve. And there's no doubt
25 that roasting of uranium occurred, and this was

1 a similar operation here at Chapman. And I
2 think there -- there are bioassay samples as
3 well as air samples on page 7, and the
4 incinerator operators' urinalyses ranged from
5 37 to 68 micrograms for normal uranium, which
6 is in the same ball park as what we look-- what
7 we look-- we used for Chapman Valve. And in
8 addition, the Breathing Zone samples that were
9 collected, as you see in this table from -- in
10 the 1966-'67 time frame, the average values are
11 not that out of line or somewhat consistent
12 with the value of 5,000 dpm per cubic meters
13 that we would assign for type S for Chapman
14 Valve, remembering that what we are assigning
15 is a time-weighted average, essentially,
16 exposure. But we don't -- we -- we don't -- we
17 would expect that there would be excursions
18 where changing the incinerator glovebox
19 filters, for example, is 15,000 dpm per cubic
20 meter here. Okay, but how oft-- how long does
21 that operation occur and how long does it exist
22 and that sort of thing.

23 **DR. POSTON:** Are we focusing on the last column
24 on the right? Is that where --

25 **DR. NETON:** The second column in on the right

1 would be the 14,798 -- I'm just pointing out
2 that there are excursions above what we would
3 assign on a time-weighted average basis, but
4 the trick here then is to figure out what the
5 duty factor is and how often did someone -- how
6 long did it take to change a filter and -- and
7 that sort of thing. These values are not out
8 of line with what we are using in our model --
9 this is what I'm saying -- we based that on
10 bioassay samples.

11 The next one that I would point to is the one
12 that John Mauro brought up. That's the -- I'm
13 starting on page 10, I think, is the famous --
14 now-famous Adley document. It was the Hanford
15 operations where they -- they did a lot of
16 processing of uranium in the remelt -- what
17 they called the remelt facility.

18 Cindy has done a good job summarizing the data
19 on page 12, and here we see almost all the
20 samples are consistent with -- with our
21 operations, except when you get down into the
22 oxide burning area about two-thirds of the way
23 down you see some fairly high excursions in
24 terms of microgram per cubic meter. Now those
25 values are larger than the 5,000 -- let's see,

1 we would assign -- 3,300 would be our
2 assignment. So if you look at the 98,600 value
3 -- you know, it's roughly 30 times what we
4 would -- we would assign. However, again, this
5 is a -- this is the high end value we defined,
6 and this is shoveling oxides that is still red-
7 hot. And again, how long would that occur. I
8 would argue that, you know, this is where --
9 this is where some judgment comes into play.
10 How long did a person actually shovel this --
11 per week. And if we can assume a one-hour per
12 week shoveling period, then that comes right in
13 line with what we would use for our time-
14 weighted average exposure.
15 None of these are hard and fast. Again, you
16 know, we don't have a time-weighted average
17 amount that Chapman Valve has said that they
18 shoveled. Now one thing to keep in mind is
19 that these operations produced much more
20 uranium than Chapman Valve, as far as the
21 source term -- much larger source term. If you
22 look at the Chapman Valve source term -- you
23 see these calculated later in this document --
24 we actually know -- we can actually -- based on
25 the lo-- the loading of these slugs into the

1 Brookhaven reactor, it -- it appears that
2 something around 56 tons of uranium were
3 actually processed at Chapman Valve.
4 Now that sounds like a lot of uranium. The
5 reality is, that would fit into about 60 drums.
6 You know, a drum -- a drum of UL3 will hold
7 about a ton of uranium, at least in my
8 experience. Now this is metal, so there's
9 nothing -- but it's not -- you know, it's not
10 massive quantities of uranium like were
11 processed at Fernald or at this Hanford
12 facility, so the source term is much smaller,
13 meaning that the number of turnings and
14 roasting operations will have been more
15 limited. But if -- we're going to eventually
16 have to come to some sort of agreement as to
17 how long this person actually was involved in
18 doing this. In my mind, this report -- if you
19 look through it, this 98,000 number is -- is
20 sort of an upper ceiling. I have not seen much
21 higher than that. If one looks on page 15,
22 this represents -- one thing I would point out
23 is there's a fair amount of data out there.
24 These -- these are not made-up values, these
25 are real data samples taken at facilities that

1 were machining uranium and -- and processing
2 and -- and roasting the chips. If you look at
3 the Fernald dataset you will see a fairly
4 similar distribution where many of the values
5 fall within our model. But again, there's a
6 90,000 dpm repairing the inside of the furnace,
7 there's a 50,000 over the front of the furnace.
8 Again, if one can come up with a duty factor of
9 how long someone did this operation, I think
10 it's -- it's not inconsistent with our model.
11 We have not come up with that factor yet, but I
12 think based on some inferences, we should be
13 able to do that.

14 At any rate, we have some bounding values here.
15 There are bounding values here for furnace
16 operations, and I just pointed out a few of
17 these that are there that don't necessarily,
18 you know, demonstrate that our model is
19 inconsistent with what happened at uranium
20 facilities.

21 **DR. MAURO:** What I see here is -- and I
22 understand where you're going with this and --

23 **MS. BLOOM:** Can you speak up, please?

24 **DR. MAURO:** Certainly. This is John Mauro.
25 What I -- what we have here is the chronic

1 intake that's been adopted is certainly
2 conservative, and the argument that's being
3 made here is -- you know, as a time-integrated
4 exposure, yeah, we -- you know, we can just
5 about capture anything that might have
6 occurred, and we -- like for example, the
7 previous conversation -- well, if --

8 **MS. HOWELL:** I'm sorry, this is Emily. I think
9 that wherever the telephone mike is placed on
10 the table, we're having trouble -- when
11 conversations shift from one person to another
12 -- picking up what's going on.

13 **DR. MAURO:** My apologies. This is --

14 **MS. CHANG:** Actually -- this is Chia-Chia
15 Chang, it sounds like there's another voice in
16 the background. I don't know if it's in the
17 room or in the hotel, but --

18 **DR. WADE:** No, it's not in the hotel. Some --
19 someone -- each of you consider your
20 situations. Someone's engaged in background
21 discussion, sort of over their shoulder, and we
22 can hear that. It's a woman's voice. So
23 please, mute your -- your telephone. Don't be
24 involved in -- in side discussions. We're
25 hearing a woman engaged in background

1 discussions and it's not in this room. Thank
2 you.

3 **DR. MAURO:** This is John Mauro. I'm speaking
4 directly into the mike now. Does that help?

5 **MS. BLOOM:** Not really.

6 **DR. MAURO:** No? Then --

7 **MS. BLOOM:** It's hard to hear all of a sudden.

8 **UNIDENTIFIED:** Is it on?

9 **DR. WADE:** Well, wait a minute, we're going to
10 have a technical person look at this.

11 **MS. BLOOM:** We can hear Lew really well.

12 **DR. MAURO:** Is that a little bit better?

13 (No responses)

14 No? No. Is it on?

15 (Pause)

16 I'm trying again. Testing. Is that better?

17 **MS. BLOOM:** That's better.

18 **MS. HOWELL:** Much better.

19 **DR. MAURO:** Okay, we found -- we found a live
20 one. Let me see if I can explain -- and here
21 is, again, a judgment call. Okay? What we're
22 saying here is that the chronic intake is of
23 such a magnitude that it really takes into
24 consideration an awful lot of the variability
25 and the uncertainty and places sort of like an

1 upper bound on just about -- most scenarios.
2 And the only place where special consideration
3 is given is that early June fire.
4 Now are -- I didn't -- now this is a judgment
5 call again. Are we pushing the boundaries of
6 that all-encompassing chronic by saying -- and
7 in fact, not only does it capture all of the
8 sawtooth variability that may be occurring on a
9 day to day basis for all workers -- all 100
10 workers, but it al-- but it also captures the
11 possibility that there may have been some
12 workers there that were handling this ash, and
13 I think this is a tough call. Is the ash-
14 handling, which we know was there, we -- now
15 granted that we don't know the magnitude of the
16 exposures and the duration of the exposures,
17 but also granted that we do know that it could
18 have been a substantial -- at least for some
19 short period of time, and this is more of a
20 question for everyone around the table. Does
21 this warrant another special treatment the way
22 we gave the fire a special treatment, because
23 it may be such a nature that it might kick us
24 over. I don't have an answer to that.
25 By the way, I do not consider that to be an SEC

1 issue. This is -- in other words, you have the
2 data, and it's really a judgment call now, do
3 we feel that we've done an adequate job in
4 placing an upper bound by assuming that if
5 there was ash-handling that the chronic
6 exposure assumption is more than adequate to
7 account for it, or do we feel that no, maybe we
8 better add in another spike or a few -- you
9 know, to deal with that. I see that as a
10 judgment call that is what I would call a site
11 profile or exposure matrix question as opposed
12 to something that is -- you know, what I would
13 call an SEC issue. Again, that's my judgment
14 of the situation, but from looking at the
15 numbers, Jim, some of them are very high --

16 **DR. NETON:** Right.

17 **DR. MAURO:** -- and I don't know if you were to
18 add in a few of those -- that's saying with a
19 duty factor that is consistent with the level
20 of activity that took place at Chapman, but I
21 think it's worth -- worth looking into and --
22 and -- and coming to a sensibility that you
23 feel is right.

24 **DR. NETON:** I think we're on the same page
25 here. I present this -- this information more

1 from a -- you know, let's stimulate discussion
2 here, because we obviously are not coming to
3 conclusion that this is -- this is definitive.
4 But what it does do, in my mind, is show that
5 there are plenty of data sample points out
6 there that one can generate bounding analysis -
7 - bounding scenarios, at least, then how
8 bounding, you know, are these scenarios that
9 we've provided. It's -- it's open for
10 discussion, I'll agree with that.

11 **MS. BLOOM:** My apologies for getting this out
12 so late and for not clearly answering that
13 question, but I did look at a lot of this
14 information. There's some in the -- the Adley
15 document, John, that you mentioned in terms of
16 the amount of time that people spent shoveling
17 ash in various programs. For the smaller
18 programs, I've seen numbers on the order of
19 three minutes spent in shoveling ash. In
20 larger programs, NUMEC had dedicated
21 incinerator operators who spent half their time
22 with the incinerators. The -- in the Adley
23 document, my recollection is that the numbers
24 were less than an hour -- well less than an
25 hour a day --

1 **DR. MAURO:** Yeah, I think it was .3.

2 **MS. BLOOM:** Right, and when you consider the
3 volumes that we're talking about at Chapman,
4 you know, you just can't -- it can't take that
5 much time. I used to load an incinerator at a
6 hospital where we -- we -- as a technician we
7 burned low level radioactive waste and we would
8 load on the order of ten, 20 2-gallon drums and
9 boxes that were 1.5 cubic feet each and -- and
10 various other things in there that were
11 incinerable, and that was maybe an -- a one-
12 hour operation, if that. And I have a feeling
13 that the volume that I was dealing with was
14 much larger. Cleaning out the incinerator, it
15 was all a hand operation. It wasn't, you know,
16 a chute operation or anything like that, and it
17 didn't take that long. So I -- I would ha--
18 based on my experience both from a personal
19 nature and looking at all the records that are
20 available, I can't imagine that this was more
21 than a one-hour a week operation.

22 **DR. MAKHIJANI:** This is Arjun, I -- I don't
23 have a personal judgment about, you know, how
24 long the chip burner loading and unloading
25 operations might have -- might have lasted, but

1 I do know -- or if I'm -- I'm doing this from
2 memory because I'm not in my office, but the
3 Fernald 98 probable-plus MAC operation is
4 documented -- if I'm remembering correctly --
5 as having lasted five hours, and 98 probable
6 MAC was an average over the five hours. It was
7 not the maximum measurement so (unintelligible)
8 --

9 **DR. NETON:** Wait a minute, which -- which value
10 are you talking about, Arjun?

11 **DR. MAKHIJANI:** No, I'm not talking about a
12 fire, I'm talking about a maintenance operation
13 that happened periodically with a burnout
14 conveyor, and there was a 98,000 MAC --

15 **MS. BLOOM:** It's not MAC, I think you're
16 talking dpm per cubic meter.

17 **DR. MAKHIJANI:** Maybe it was dpm per cubic
18 meter (unintelligible) --

19 **DR. NETON:** Yeah, it could -- 98,000 MAC --

20 **DR. MAKHIJANI:** No, no -- well, I -- I don't --
21 I don't remember. I'm not -- I may have it --
22 I mean the document's actually in the -- in the
23 SEC petition and I'll try to bring it up. I
24 have that with me. But I believe it was 98,000
25 MAC. We've talked about this before.

1 **MS. BLOOM:** 98,000 -- you don't see anything
2 much above 300 MAC for --

3 **DR. MAKHIJANI:** Well --

4 **MS. BLOOM:** -- even maybe -- maybe an outlier -
5 -

6 **DR. MAKHIJANI:** Well --

7 **MS. BLOOM:** -- would hit 1,000 MAC.

8 **DR. MAKHIJANI:** -- I -- I can check all this
9 pretty quickly. I believe it was 98,000 MAC --

10 **DR. NETON:** But Arjun, was this --

11 **DR. MAKHIJANI:** -- (unintelligible) an average
12 over five hours. I think we brought this up a
13 number of times.

14 **DR. NETON:** What was this operation?

15 **MS. BLOOM:** I mean that would be grams per
16 cubit meter.

17 **DR. MAKHIJANI:** Well, yes, it'd be -- the-- as
18 I remember, I calculated the annual exposure at
19 70 dpm per cubic meter was achieved in a little
20 over one minute, so I think that was it. The -
21 - the --

22 **DR. NETON:** Well --

23 **DR. MAKHIJANI:** That was a Fernald measurement.
24 I -- it's not my number. It was their number -
25 -

1 **DR. NETON:** Well, but what I --

2 **DR. MAKHIJANI:** -- and it was their document.
3 It wasn't a highest measurement.

4 **DR. NETON:** But is it a comparable operation?
5 What kind of operation was this?

6 **DR. MAKHIJANI:** As I said, it was -- in my mem-
7 - according to my memory, it was the cleanout
8 of a burnout -- it was maintenance operation,
9 cleaning out burnout conveyor in a particular -
10 -

11 **DR. NETON:** Okay, the burn-- the burnout
12 vessels are somewhat different than the
13 furnace. We have --

14 **DR. MAKHIJANI:** I -- I'm -- I'm just -- I'm
15 just putting it on the table because a lot --
16 you've compiled in the 20-odd pages a lot of
17 different operations and presented data from a
18 lot of different operations to argue for the
19 plausibility --

20 **DR. NETON:** Right, but --

21 **DR. MAKHIJANI:** -- of a number.

22 **DR. NETON:** -- we're trying to make --

23 **DR. MAKHIJANI:** And so I'm -- I'm trying --
24 just -- just a minute. I'm -- I'm -- I'm
25 putting this number on the table in that same

1 spirit is that you -- you -- there is -- there
2 are very high measurements that are higher than
3 the ones we're talking about here, and if we're
4 going to say that we've compiled and done a
5 survey of the data, including Fernald, then we
6 ought to have a complete list of these things.
7 As I said, I don't have a personal opinion
8 about --

9 **DR. NETON:** Arjun, you --

10 **DR. MAKHIJANI:** -- about how long these
11 operations lasted.

12 **DR. NETON:** -- you need to consider the thrust
13 of this document. The title is "Review of
14 Internal Exposure Related to Uranium Machining
15 Operations" --

16 **DR. MAKHIJANI:** Yeah.

17 **DR. NETON:** -- and we -- we expanded that to
18 include the roasting operations because --

19 **DR. MAKHIJANI:** Right.

20 **DR. NETON:** -- that's part of machining.

21 **DR. MAKHIJANI:** Right.

22 **DR. NETON:** If it's a manufacturing of dingots
23 or -- or derbies or something, I -- I would
24 suggest that it may or may not be relevant. We
25 need to consider that.

1 **DR. MAKHIJANI:** Yeah.

2 **DR. NETON:** 'Cause we do have here a complete
3 page of air dust samples taken at a uranium
4 furnace at Fernald over a several-month period,
5 and the largest sample we have here is 92,984
6 dpm per cubic meter --

7 **DR. MAKHIJANI:** Uh-huh.

8 **DR. NETON:** -- by far the highest sample. So -
9 - and we -- we can certainly consider it. I
10 mean I'm not saying we shouldn't look at it.
11 But we need to consider the relevance of -- of
12 that particular sample to what we're trying to
13 accomplish here, which is the sam--

14 **DR. MAKHIJANI:** I'm not -- I'm not stating that
15 it is relevant or not relevant. I'm just
16 saying so long as you're doing a survey and
17 saying that some things are credible or not
18 credible, that -- and -- and using Fernald
19 measurements I think we've brought up a number
20 of times, and it at least ought to be part of
21 the deb-- (broken transmission).

22 **DR. NETON:** It would help if you could --

23 **MS. BLOOM:** I -- I do think that -- that while
24 I tried to find everything that could possibly
25 be relevant to this issue, I think it really is

1 important to consider the -- the information in
2 terms of whether it's a reasonable estimator
3 for Chapman or whether it gives us an idea of
4 how high these types -- you know, how high
5 types -- incinerating -- incineration types of
6 operations can be. But I think when you're
7 talking about burnout furnaces and other
8 things, you're talking about sizes that are
9 much longer -- larger. You're talking about
10 source terms that are much higher, and -- and
11 so that has to be taken into consideration. So
12 -- so in that light, I would take what you say,
13 Arjun, certainly with -- that's an example of
14 something that has to be definitely bounding.
15 I think -- you know, the number you're --
16 you're stating is on the order of ten grams per
17 cubic meter of uranium, which to me seems an
18 inconceivable amount to be exposed to for any
19 extended period of time --

20 **DR. MAKHIJANI:** Well, then we have to throw all
21 the Fernald measurements out because this is
22 part of their documentation.

23 **MS. BLOOM:** Well -- and I would like to --
24 Arjun, I'm not saying that. It seem-- I'm
25 telling you --

1 **DR. MAKHIJANI:** If it's inconceivable -- if
2 it's inconceivable, then everything at Fernald
3 is inconceivable.

4 **DR. POSTON:** No, Arjun, that's --

5 **MS. BLOOM:** No, no, no, wait, wait, wait. I'm
6 saying that -- that in the argument that SC&A
7 has presented they're saying a -- an exposure
8 on the order of hundreds of milligram is a
9 large exposure. So you're talking a factor of
10 100 higher than that.

11 **DR. MAKHIJANI:** Not my number.

12 **DR. POSTON:** Arjun, it would be very helpful if
13 you would provide that document. You said you
14 --

15 **DR. MAKHIJANI:** I have -- I have provided it
16 before to NIOSH and I will do so again.

17 **DR. POSTON:** Okay, because you're --

18 **DR. MAKHIJANI:** It's part -- it's part of --

19 **DR. POSTON:** -- because what you're saying is
20 you're --

21 **DR. MAKHIJANI:** -- the Fernald petition.

22 **DR. POSTON:** What you're saying is that this is
23 your recollection, and that's -- it seems
24 inconceivable, so --

25 **DR. MAKHIJANI:** Well, I (unintelligible) to

1 bring it up.

2 **DR. POSTON:** -- it would be nice to have that
3 documented.

4 **MR. ROLFES:** Yes, Arjun, I think it would be
5 more appropriate to provide the document to us
6 before the meeting so that we can discuss it.

7 **DR. MAKHIJANI:** I have provided it before and
8 I've cited this number during Mallinckrodt and
9 I will do so again.

10 **MS. BLOOM:** It's the Fernald petition that it's
11 included with, Arjun?

12 **DR. MAKHIJANI:** I be-- yes, I believe so, and
13 I've also provided it independently of the
14 Fernald petition.

15 **MS. BLOOM:** Okay. Thank you.

16 **DR. NETON:** Yeah, I -- I don't deny you
17 couldn't stir up some very large con-- I
18 remember -- I remember when I was at Fernald
19 when they would actually vacuum the inside of a
20 dust collector. I mean you got huge values in
21 there. But you know, it was an instantaneous
22 type exposure. Uranium settles out fairly
23 quickly. As a matter of fact we have, in this
24 document, a fire that happened over a drum at
25 Fernald cited. And if I recall, within 40

1 minutes those samples dropped down from
2 thousands of dpm per cubic meter to hundreds of
3 dpm per cubic meter once the fire was
4 extinguished. Uranium being a heavy metal, of
5 course, it drops out of the air fairly quickly.
6 To sustain something like that for five hours
7 just -- it would have to have some mechanical
8 agitation going on to do that. We'll look at
9 the document.

10 **DR. MAURO:** This is John.

11 **DR. MAKHIJANI:** I may be wrong. I -- I'm just
12 -- I'm going to bring it up and then we can
13 talk -- come back to it.

14 **DR. WADE:** We got it.

15 **DR. POSTON:** We understand.

16 **DR. NETON:** It's on the record. Okay. I'm not
17 sure much what else to say other than I -- I
18 agree with John's take on this, that we -- we
19 have values here that -- that provide what we
20 believe to be bounding estimates. The matter
21 is then, in our professional judgment, is --
22 are the values that we've assigned in the
23 matrix, do they encompass those bounding values
24 or not, and it's going to come down to figuring
25 out and making a -- a judgment as to how long a

1 person worked with briqueting operations or
2 furnace operations.
3 I will say, in light of -- the spirit of full
4 disclosure, that we are -- there's another
5 document out there that we're waiting on. I
6 really don't like springing documents on
7 people, but I ran across in the last week or so
8 -- there was a *Nuclear Science and Technology*
9 article that was published in the -- the early
10 '50s I think that talked about the Brookhaven
11 reactor and the loading of the slugs. There
12 was a nice little piece in there about what
13 Chapman Valve did for that operation. And
14 that's where, you know, you read about the 4-
15 inch slugs and what they did. They milled
16 those little grooves in the side and -- and
17 that sort of thing. But in that document was
18 cited a H. K. Ferguson report issued in 1948 --
19 I think it was '48 -- that talked about a 95-
20 page report that they wrote that covered the
21 Chapman Valve piece of the operation, including
22 health and safety requirements and things of
23 that nature. We tried to get that document.
24 It's of course hard to get, but so far they've
25 not said no. I mean it does exist somewhere,

1 and that may shed some more light on it. But I
2 think, even without that document, we can make
3 some -- some bounding valuations here, so -- I
4 -- I would agree that I -- I don't know that
5 this is an SEC issue. It's a matter of how --
6 how adequate the model is as a bounding
7 document and whether we incorporate some of
8 these pieces that are in Cindy's review to add
9 a separate exposure piece for uranium
10 operations -- uranium burning operations or
11 not. It's -- it's (unintelligible) --

12 **MS. WU:** This is Portia Wu again from Kennedy's
13 office. I guess the question we have from the
14 Hill is sort of -- you know, I know some of
15 these are SEC issues and some of them are not,
16 but to the extent that there are outstanding
17 requests for information with some other
18 departments or these other reports, I think
19 it's important to have as much of this be
20 considered by the working group as possible,
21 just from a perspective of that makes people on
22 the Hill and also the claimants feel most
23 comfortable. I understand the working group
24 wants to move ahead and we appreciate that,
25 trying to move ahead and get to it quickly.

1 But at the same time we'd like to feel like
2 everything's being considered. I've got to go
3 brief my boss now, but -- but appreciate
4 everything that's been said.

5 **DR. WADE:** Thank you. Give our regards to the
6 Senator.

7 **MS. WU:** Will do.

8 **DR. MAKHIJANI:** I have -- I have the document.
9 It is on page 294, starting -- of the Fernald
10 petition. It is from Kline* to Starkey*,
11 December 7th, 1960. It -- it has burn--
12 operator cleaning under burnout conveyor in dpm
13 per cubic meter, the high was 3.1 million, the
14 low was 500,000, and the average was 1.3
15 million. That was one set of readings. And
16 the year earlier readings that I was finding
17 were a high of 9.3 million dpm, low of 4.6
18 million, and an average of 6.8 million. So the
19 average was 97,000 times MAC. And so I -- my
20 memory was correct. I'm not growing as old as
21 fast as might be imagined.

22 **DR. NETON:** And I guess I'd ask the question,
23 is that -- is a cleanout under a burnout
24 conveyor relevant to Chapman --

25 **DR. MAKHIJANI:** That's a separate question. I

1 wasn't asserting that it is relevant. I'm just
2 saying that -- I just want to, for the record,
3 since there was a question as to whether this
4 is conceivable or not, these are -- these -- in
5 the millions of dpm per cubic meter are Fernald
6 measurements a -- a dozen years later than the
7 period in question.

8 **DR. NETON:** Okay.

9 **MR. ROLFES:** The source term is several orders
10 of magnitude different and --

11 **DR. MAKHIJANI:** That's not the point.

12 **MR. ROLFES:** -- the operations are not similar,
13 so...

14 **DR. MAKHIJANI:** The source term for -- I have
15 argued many times that it is wrong to consider
16 a production source term for a population and
17 mix it up with the potential for individual
18 exposures unless you can show that the times of
19 operation for individuals are lower. I know
20 that is being argued, but I think the basis to
21 say (unintelligible) hour per week, half an
22 hour per week, two hours per week appears to me
23 to be pretty slender. Whether it's an SEC
24 issue or not is not a question, but I think it
25 should be clear that there are no data from

1 Chapman Valve itself. And to infer from
2 medical incinerator personal experience from, I
3 don't know, the 1990s and back-extrapolate into
4 1948 as -- as one of the first (unintelligible)
5 arguments appeared to me to be pretty thin
6 gruel.

7 **MS. BLOOM:** I don't think I was making an
8 argument that that was a -- the same operation,
9 but I certainly would make the argument that
10 loading an incinerator, which this was a -- the
11 -- the operation is not very different since
12 the 1940s. I don't know about you, but when I
13 was growing up my family had an incinerator
14 that they routinely loaded and unloaded. That
15 was a smaller one. The hospital I worked in
16 was a much larger one. I think the time for
17 that amount of work, even though it's a
18 personal experience, certainly is relevant to
19 an operation like this where -- where this
20 particular incinerator we're talking about was
21 described as a small chip burner.

22 **DR. MAKHIJANI:** No, I agree the time is
23 relevant. It's just a question of how it shall
24 be established, and I leave -- you know, I -- I
25 just -- I'm putting -- I'm not saying how it

1 should be established. I'm just saying I'm
2 putting that -- that question is on the table
3 (unintelligible) --

4 **MS. BLOOM:** And I agree with that, and -- and
5 what I was trying to say is that I'd looked
6 through many documents and looked at -- at
7 times which I have not summarized here, but
8 there are -- there is information about the
9 time it took to load some of these things and
10 to -- to clean out the ashes, and that
11 information is in records. It's not
12 particularly for Chapman, but -- but I think
13 that, based on what we know about the operation
14 at Chapman, I think there's some reasonable
15 assumptions that can be made.

16 **DR. WADE:** Okay.

17 **DR. POSTON:** I have -- I have -- I guess I'm
18 the person that has questions as to what's the
19 path forward here. We have a situation where
20 we've had some new documents that we haven't
21 had a chance to review. We have a potential of
22 having another document that may shed light.
23 We now have a statement from people on the Hill
24 that they want us to consider all the data and
25 so forth and -- after they have also said they

1 wanted us to get on with it, so conflicting
2 instructions based on the situation. So I'm
3 not sure how to proceed. Maybe someone with
4 more experience -- this is my first experience
5 -- if someone can help.

6 **DR. WADE:** I can, but go ahead, Jim.

7 **DR. NETON:** I think -- you know, I only brought
8 up that other document because I thought it
9 might, you know, eventually help refine this
10 and I don't want to spring that out at the last
11 -- 11th hour. But I think, even without that
12 document, there's enough information here to --
13 to have a -- to show that we have an ability to
14 bound these exposures. And -- and I agree with
15 John -- I think John still might agree with
16 this, that it's not an SEC issue at that point.
17 It's just how much more refined we can make it.

18 **DR. MAURO:** The only thing I'd like to add for
19 this discussion is when we see very high
20 airborne dust loadings, the extent to which at
21 about the same time there may be some bioassay
22 data -- now I don't know whether or not some of
23 the-- in fact, Arjun, this is a question for
24 you -- and when you were looking at the records
25 for Fernald where you observed some very, very

1 high uranium dust loadings, are there
2 concurrent bioassay samples? Because one of
3 the things I ran into in reviewing -- and this
4 is interesting -- some other sites, they often
5 refer to air samples where they captured what
6 they called a brick in the sample, a brick
7 being a relatively large particle -- not a
8 brick, but a relatively large particle -- that
9 has a lot of activity and it -- so all of a
10 sudden you've got this very high number in
11 terms of becquerels per cubic meter, but it's
12 on a particle that's large --

13 **DR. MAKHIJANI:** Right.

14 **DR. MAURO:** -- and it's not respirable. Now I
15 don't -- now -- and I think that -- and I'm not
16 saying that's what happened. I'm saying,
17 though, if there's some bioassay data that's
18 coupled up with the same time period, the same
19 activities, that would help get a -- a richer
20 insight into -- into this number.

21 **DR. NETON:** Well, first, I'd be surprised if
22 they weren't wearing respirators in an
23 operation like that where they knew there were
24 large dust loadings, so the bioassay might not
25 be very informative. But I did

1 (unintelligible) --

2 **DR. MAKHIJANI:** Yeah, I -- I -- I don't know --
3 the individuals are not identified in this
4 memo. There is a date of the operation and a
5 plant number, so it may be possible to go and -
6 - and check. I -- I can't see that it says
7 whether people were wearing respirators or not.
8 It may. I have-- I haven't reread the whole
9 thing.

10 **DR. NETON:** But my point is, even if we saw low
11 urine values, we couldn't -- the argument could
12 easily be made they were wearing respirators
13 and they're not relevant if we knew or not. We
14 do have those urine samples that I pointed out
15 earlier on from NUMEC, which was a -- a furnace
16 operation. Those values are within the realm
17 of what we defined.

18 **DR. MAKHIJANI:** Jim, was that a ventilated
19 operation at NUMEC?

20 **DR. NETON:** It was described as primitive. Now
21 I'm not sure what that means.

22 **DR. MAKHIJANI:** It was in the mid-'60s.

23 **DR. NETON:** It was in the '60s, that's correct.

24 **DR. MAKHIJANI:** Yeah, I -- I think that it
25 might be useful, since it is an important part

1 of this argument, to determine whether it was a
2 ventilated operation or not.

3 **DR. NETON:** We could certainly do that -- or
4 try to determine that.

5 **DR. WADE:** This is Lew. Let me talk a little
6 bit in response to the Chairman's sort of
7 query, that --

8 **DR. POSTON:** Our fella needs a break. No? Is
9 that okay? Okay, sorry. Go ahead.

10 **DR. WADE:** So this is Lew, so -- again, and
11 I'll try and present my thoughts, in no way
12 trying to force an outcome or a judgment
13 because that's really for the working group.
14 There -- there are really two options that you
15 face. We have a workgroup meeting coming up in
16 May, early part of May. Following the normal
17 procedures of a workgroup, it is possible that
18 this workgroup could go to that May meeting and
19 present its thoughts and findings to the Board,
20 with a recommendation that the Board vote on
21 the Chapman Valve SEC petition at that meeting.
22 Now again, remember that the way this Board has
23 done business, the workgroup is not bringing a
24 recommendation. The workgroup is bringing its
25 findings, presenting them, the full Board

1 debates them and then the full Board takes --
2 takes a vote. So you could go to that meeting,
3 present your findings, reports made available,
4 and encourage the Board to vote on this SEC
5 petition in May.

6 Second point is that you could feel there are
7 enough loose ends -- and you know, you've
8 identified two or three of them now -- you
9 could feel there's enough loose ends that you
10 want to tie up those loose ends before you come
11 to the Board, present and suggest a vote. You
12 could try and tie up those loose ends before
13 May. If not, then you'd be looking at the
14 likelihood of July, the July meeting, for this
15 workgroup to present its findings.

16 Now again, that's up to you. We always face
17 the pressure of 100 percent completeness versus
18 timeliness. You know, we've heard the
19 arguments, the impassioned arguments that there
20 are people affected by this who will die
21 between now and July, in all likelihood. And
22 you know, we have to -- we have to feel that
23 pressure. On the other hand, these people have
24 a right for a complete and an appropriate
25 decision. So I mean the workgroup faces that

1 now. It'd be wise to talk about that now. But
2 again, your options really are to bring a
3 concluding discussion to the workgroup in May
4 or to continue to work to -- to wrap up these
5 loose ends and look at a date later than May,
6 likely July.

7 **DR. POSTON:** Do we have any opinions from the
8 other working group members as to how they feel
9 we should proceed?

10 **DR. ROESSLER:** This is Gen. I've been
11 listening to a lot of comments here, and I
12 think a lot of it, in my view, has been a
13 little off-track. I'm not quite sure -- I
14 don't have a good idea in my mind as to where
15 we really stand. I feel like Jim didn't get a
16 chance to completely finish his report, and I
17 don't know whether there's time to do that
18 today.

19 **DR. WADE:** Yeah, there is. Other workgroup
20 members want to comment at this point? We're
21 not necessarily trying to reach conclusion, but
22 get a sense of the workgroup.

23 **MR. CLAWSON:** Yeah, this is Brad. I've been
24 listening to all this and it seems like -- you
25 know, we -- we get into this all the time. We

1 have a lot more information that comes in and
2 stuff, but the most important thing we've got
3 to remember is when we give a finished product
4 before the Board we want to make sure that we
5 have all of our bases covered. That's just my
6 personal opinion, but --

7 **DR. WADE:** Your personal opinion matters.

8 **MR. GIBSON:** This is Mike. You know, I know we
9 need to be timely and -- and give our -- our
10 findings to the Board and so the petitioners
11 can have a timely, you know, vote on their --
12 their petition. But it just seems to me that
13 this -- from the beginning and in every case,
14 this coworker data modeling from different
15 sites and different operations -- I just feel
16 we almost have to go down every path. I mean,
17 you know, it seems to me every -- every
18 different site does things differently. They
19 may do preventative maintenance at different
20 frequencies. They may do operations different,
21 and I think it's important to get every issue
22 on the table so we can make sure that we do
23 really find a -- a true upper bound and
24 something that's fair to people at a different
25 site.

1 **DR. WADE:** Okay. Mark?

2 **MR. GRIFFON:** Yeah, I'm not sure -- I'm sorry,
3 I'm not sure that I'm quite ready for the path
4 forward discussion, but I think -- I think
5 there's a few more things to discuss today.
6 And I -- I -- you know, a couple of them
7 involving -- I still have follow-ups on my
8 representativeness question. I'm looking at
9 this report today that was -- you know, that's
10 on the O drive and -- and you know, I -- I
11 think I just have a few follow-up questions on
12 that. I'm -- I -- I do appreciate Jim's
13 statement about the -- the enriched uranium.
14 That -- that issue may go away for me because
15 my -- my real issue on that is are we missing
16 the boat on what was done at Chapman. Was
17 there a wider window of production involving
18 other materials, and if that's being kicked to
19 DOL and outside of our purview at this point,
20 that -- that satisfies me, so that's a big
21 thing that's off the table, I think maybe. And
22 with that in mind, I think we may be able to --
23 you know, after this meeting we may -- we may
24 have a fair amount on the table. I think that
25 that -- that document that Jim mentioned would

1 be -- might be very helpful if we can get out
2 hands on it before the May meeting because that
3 may put everything into context. The only --
4 one question I had on the document you posted
5 or -- and that Cindy put together was, you
6 know, it looks to me, glancing at it, that a
7 lot of this stuff was done in the '52 through
8 '55 time frame and are we confident that the
9 operations would have been -- you know, that
10 the operations would have been, you know,
11 consistent with Chapman operations in '48. I
12 imagine there was a learning curve in those
13 early years and might -- you know, arguably
14 might have been a little sloppier in the early
15 years as far as, you know, exposures. So that
16 would be my only question on that end.

17 **MS. BLOOM:** I -- I think as I looked through
18 the data, Mark, there was a variety of use of
19 safety practices and it wasn't necessarily
20 consistent for any particular time period or
21 any particular facility. It seemed more to be
22 -- you know, if I had to tie it to something,
23 if the job appeared to be a one-time job where
24 they were doing machining on a depleted uranium
25 casket which wasn't part of their typical

1 operations, it looked like there wasn't
2 ventilation that was -- was employed for that
3 particular operation, which occurred in later
4 years -- I believe in the either late '50s or
5 early '60s. But I -- at some of these sites
6 the material that was being machined was
7 enriched uranium, so the -- so the --
8 somebody's got two phones going on?

9 **MR. GRIFFON:** I don't know what --

10 **MS. BLOOM:** So -- so in that case, the
11 activities are going to be higher unless --
12 unless the data's presented in mass
13 concentrations. You know, anywhere from a
14 factor of two to 100 higher than we'd be
15 talking about here, just to try to get into the
16 same unit. So ther-- there's -- this is -- is
17 to give people an idea of what was happening
18 out there.

19 **MR. GRIFFON:** Right.

20 **MS. BLOOM:** Not any one site is absolutely
21 identical to what was happening at Chapman.

22 **MR. GRIFFON:** Right.

23 **MS. BLOOM:** I would be the first to say that.
24 But I -- my feeling as I went through all these
25 documents is that the preponderance of evidence

1 indicates that the Chapman Valve exposure
2 matrix is reasonable and favorable to the
3 claimants, that -- and that definitely there's
4 a certain amount of professional judgment that
5 goes into that call. And we --

6 **MR. GRIFFON:** Yeah.

7 **MS. BLOOM:** -- we all come from different
8 backgrounds and --

9 **MR. GRIFFON:** Well, I gue-- I guess the one --
10 the one thing that I would say is this -- going
11 back to my question on representativeness of
12 the urinalysis -- I mean I -- I still look at
13 this and say, you know, machining operation and
14 we've got three machine -- machinists ever
15 sampled out of your 40 or whatever urine
16 samples, and when I look at that list, Jim,
17 that we were talking about, the external badge
18 data, I look at the -- what I would call
19 operational jo-- now this is very -- you know,
20 just doing it here while we're on the phone
21 call --

22 **DR. NETON:** Right.

23 **MR. GRIFFON:** -- and not counting inspectors, I
24 was counting, you know, foremen, helpers, all
25 operational jobs that I could find, and it

1 looked to be about 30. I -- I agree it's not a
2 -- you know, it's not a huge population from
3 what we have here for the data. But you know,
4 thi-- this question that came from -- I think
5 it originally came from the -- the Senator's
6 letter, was the highest externally exposed were
7 not monitored for urinalysis, and the
8 hypothetical argument was that well, you know,
9 they -- it wouldn't necessarily be true that
10 the highest external exposed person was likely
11 to receive the highest internal exposure, but
12 when you look at the -- these sheets and the
13 job types, you -- you do tend to ask yourself
14 'cause they include the brusher, the centerless
15 grinder, other machining type operations. And
16 so the only question I would have is okay, we
17 got three machinists out of these 40 samples,
18 you know, are we really -- you know, I know
19 that we're -- every -- all the data you have,
20 Cindy, I agree you've -- you've been claimant
21 favorable -- you know, I think very claimant
22 favorable. The question is, were they not
23 monitoring, you know, the six or seven
24 machinists that were in the dirtier -- dirtier
25 operations. And I have no reason to believe

1 that, except for this external data that kind
2 of suggests that some of these individuals that
3 have high externals were -- and -- and jobs
4 which I would think, at least looking at the
5 job titles only, seem to imply a high poten--
6 or at least as high as these others, potential
7 for internal exposures and they weren't
8 monitored. So then I would say well, okay,
9 we've got this other set of data and, to the
10 extent we can, I think we -- you know, I -- I
11 still think it's probably something that we can
12 bound --

13 **DR. NETON:** Right.

14 **MR. GRIFFON:** -- 'cause you've got all this
15 other -- other facility data. But I would
16 argue this H. K. Ferguson report that you just
17 mentioned, Jim, if that's going to give us an
18 insight into not only operations but although -
19 - although -- also health and safety during
20 that time period of 1948.

21 **DR. NETON:** (Unintelligible)

22 **MR. GRIFFON:** Then you can -- then you can
23 bridge the gap back to '48 and say okay, I've
24 got urine data, got all this data from the
25 early '50s that suggests we're in the right

1 ball park. On top of that we've got this other
2 report that says, you know, this is what they
3 were doing and how they were doing it and it's
4 consistent with what we see in this -- in these
5 reports from the '50s. That would --

6 **DR. NETON:** I can't guarantee we're going to
7 produce that report --

8 **MR. GRIFFON:** Right, right, right.

9 **DR. NETON:** -- and I only brought it up because
10 I didn't want to spring it out in a -- in a
11 week or so --

12 **MR. GRIFFON:** Yeah.

13 **DR. NETON:** -- and say well, you knew about
14 this -- when did you know about it kind of
15 thing.

16 **DR. POSTON:** Mark --

17 **MR. GRIFFON:** But --

18 **DR. POSTON:** -- and the -- and for the working
19 group, I didn't intend asking the question to
20 bring a determination to this. What I was
21 trying to ascertain is how to use the time that
22 we have remaining today most effectively, and I
23 -- I have no problem delaying any kind of
24 decision, those kinds of things, so that we can
25 explore -- Gen pointed out that she didn't feel

1 like that Jim had a chance to finish his
2 presentation. Mark, you indicated that you had
3 some questions that you would like to ask. And
4 so I would like to proceed along those paths so
5 that we make sure that the working group has
6 all the information they feel like they need.
7 And if the H. K. Ferguson report should surface
8 and -- and we can review it, then I think that
9 would be a huge asset to --

10 **MR. GRIFFON:** Yeah.

11 **DR. POSTON:** -- this whole thing, so that's
12 where I'm coming from. I was just sort of --
13 since I'm -- this is my first time, I was
14 asking for help.

15 **DR. WADE:** Which now you have, so I think now
16 you know how to use your time.

17 **DR. POSTON:** So I think we prob-- even though
18 it's -- it's 11:15 and lunch is almost over the
19 horizon, we probably need to take a break.

20 **DR. WADE:** Going to try to take ten minutes and
21 then be back. Okay? We're going to mute the
22 phone and un-mute it back in ten minutes, which
23 by my watch is 25 after 11:00, Eastern.

24 **DR. MAKHIJANI:** John -- John, I'll be signing
25 off.

1 copy of that document that I mailed out
2 yesterday afternoon?

3 **MR. CLAWSON:** No, I did not. I was going to
4 ask you for that.

5 **DR. NETON:** Well, I -- I sent it to you and I
6 looked at my e-mail quickly this morning and I
7 got a message undeliverable thing, so I'll try
8 to send it to you again. It is out there on
9 the O drive if you can access it, but when I
10 get back to the office I'll resend it.
11 Hopefully your address is valid, there's just
12 some hiccup in the system.

13 **MR. CLAWSON:** Okay, yeah, if -- yeah, I'd
14 appreciate that. I've also got a -- I'll sit
15 down with Larry, but maybe at another time.
16 I've got to be able to get access to the O
17 drive. I haven't been able to do that yet.

18 **DR. NETON:** Okay.

19 **MR. CLAWSON:** Thanks.

20 **DR. WADE:** Mark, are you with us?

21 (No response)

22 Mark? Gen?

23 **DR. ROESSLER:** I'm here.

24 **DR. WADE:** Okay. Only missing Mark. Mark
25 Griffon, with us, possibly on mute?

1 (No response)

2 We'll wait another moment.

3 (Pause)

4 Mark Griffon?

5 (No response)

6 John, you can begin. I'm sure Mark will be
7 with us shortly.

8 **DR. POSTON:** Well, I'd like for Jim to continue
9 to summarize his report. I think it's
10 important that everybody have a chance to read
11 it, so I guess that's going to dictate some
12 delay in some of our decisions, but we
13 certainly want to have him summarize what --
14 what's in the report for us, so we'll finish
15 that up.

16 **DR. NETON:** Okay. Well, it wasn't my intention
17 to go over the entire report today because
18 frankly this just came out yesterday and I --
19 I've read it and I -- I really intended this
20 morning to just highlight what I did, which was
21 the NUMEC and the Fernald and -- and some of
22 the particulars about Chapman Valve. Unless
23 Cindy might have more that she wants to say, as
24 the author, that she can fill in some of the
25 holes that I may have -- may have left. But I

1 really don't have a lot more to say about the
2 specific other, you know, 12 or 13 sites that
3 we've covered. I guess I would leave it to the
4 -- to the working group to -- to read the
5 document and -- and come to their own
6 conclusions rather than me lead them down that
7 path.

8 **DR. POSTON:** If we have questions is it
9 appropriate to address them to you or to Cindy?

10 **DR. NETON:** Well -- well, both. I'll try to
11 answer them as best I can, but Cindy is -- is
12 the author who will -- will have to help me in
13 certain parts, I'm sure.

14 **DR. WADE:** If an individual workgroup member
15 wanted to ask a question to Jim, that'd be
16 fine.

17 **DR. NETON:** Oh, you mean after the meeting
18 or...

19 **DR. WADE:** Right.

20 **DR. NETON:** Oh, yeah, please forward them to me
21 and then I'll make sure that they get answered.
22 I thought you meant right now.

23 **DR. POSTON:** No. No, I was thinking --

24 **DR. WADE:** Some people --

25 **DR. NETON:** I'm good, but not that good.

1 know, looking at the -- the urine data for the
2 .08 and this business of the .03 and somehow if
3 you assume it's all type S or maybe a combo of
4 type M and type S, you could actually come up
5 with an intake scenario that might be a little
6 larger than the one that you guys elec-- folks
7 selected. Is that a correct characterization
8 of the conversation that I overheard?

9 **DR. NETON:** I think that's correct. We need to
10 investigate that and, you know, right now we
11 have two scenarios, pure type S, pure type M.
12 We could look and see if -- if a combination of
13 type S for the fire, M for the machining
14 operations resulted in some higher dose. We
15 can -- we can do that and we may end up -- if
16 it did, we might -- likely would end up adding
17 a third exposure scenario. If it's lower, then
18 we would just stick with what we have.

19 **DR. MAURO:** The second item I have is the
20 degree to which that M. K. Ferguson report may
21 enrich our understanding of the history of the
22 site. To the extent that become available, that
23 would be great.

24 And the third item I have is of course whether
25 or not it is prudent to factor in an additional

1 spike for the incinerator contribution or -- or
2 whether the current base-- baseline chronic
3 exposure is more than sufficient to accommodate
4 that potential spike.

5 Those are the only three items that I -- that I
6 wrote down as requiring some action, none of
7 which is an SC&A action item, as I understand
8 it. But I -- am I correct that those are --
9 are there other items that I might have missed?

10 **DR. WADE:** Well, I think we have Mark's issue.

11 **DR. MAURO:** Yeah.

12 **DR. WADE:** Mark, are you with us?

13 (No response)

14 Still not.

15 **DR. POSTON:** I have -- I have a question, that
16 I probably should know but don't. Mark was
17 focusing on the machinists, but based on the
18 information I read about fabrication of the
19 rods for -- the fuel rods for Brookhaven and
20 their encapsulation and so forth, it seems to
21 me that the people with highest potential
22 exposure were not the machinists but the
23 centerless grinder people.

24 **DR. NETON:** Correct, I think -- I think on
25 machinists he would -- he would include the

1 centerless grinders and --

2 **DR. POSTON:** He would include them?

3 **DR. NETON:** I would think so.

4 **DR. POSTON:** Because those are the people that
5 have generated --

6 **DR. NETON:** Right, and we've -- we've run into
7 that before, and our opinion is 70 MAC air
8 continuous exposure for a centerless grinder,
9 based on what we've seen at other sites, is not
10 unreasonable.

11 **DR. POSTON:** So that was a -- would be a
12 chronic exposure?

13 **DR. NETON:** It would be -- we would assign them
14 a chronic exposure of 70 -- it essentially ends
15 up being a time-weighted average exposure of 70
16 times the Maximum Allowable Air Concentration.

17 **MR. ROLFES:** And we do have bioassay data, as
18 well as film badge data --

19 **DR. NETON:** We have --

20 **MR. ROLFES:** -- for the centerless grinder and
21 (unintelligible) operator (unintelligible).

22 **DR. NETON:** Yeah, Mark's -- I think Mark's
23 position was that we don't know that we've
24 captured all of the site -- all of the
25 machinist operations and there may be some out

1 there that are dirtier -- this has become --
2 this has been an issue across a number of sites
3 is when you have a set of bioassay data, do
4 they represent the upper end of the exposure
5 scenario. In modern era, I would say yes. I
6 mean it -- normally when you sample workers,
7 you don't go and try to sample the low end.
8 You sample either the high end or what you
9 would believe to be a representative
10 distribution. That's a scenario that, with
11 these old sites, we're never going to be able
12 to definitively prove one way or the other.

13 **DR. WADE:** Mark, are you with us?

14 **MR. GRIFFON:** Yeah.

15 **DR. WADE:** Okay. Mark, what -- what happened
16 is we were just sort of binning issues and John
17 Mauro went through a put three on the table --
18 and John, I might ask you to do that again,
19 briefly -- and then we were waiting for you to
20 define your issue. John.

21 **DR. MAURO:** Yes, just as a -- keeping track, I
22 wrote down a minimum -- minimum of three items.
23 One I'm calling the type M versus type S
24 combination scenario, which may very well
25 result in some organ exposures that are higher

1 than the current exposure matrix, and it's my
2 understanding that NIOSH is going to look into
3 that.

4 The second one is the M. K. Ferguson report,
5 the degree to which it can be obtained and
6 provide further information that could help us
7 get a richer understanding of the -- what was
8 going on at Chapman in those early years.
9 And the third one I have is the potential for
10 the exposures from incinerators or incinerator
11 use to have enough of a potential contribution
12 as a spike or occasional spike that warrants it
13 being added to the scenario, similar to the way
14 in which the June fire was added to the
15 scenario. That's something that -- that I
16 think we left as worthy of further exploration.
17 So I -- I only had those three, but certainly
18 there might be more and -- that need to be
19 added to the list.

20 **DR. WADE:** Mark, and people were looking at
21 your issue of representativeness of the data
22 and starting to debate it, but best
23 (unintelligible) --

24 **MR. GRIFFON:** Yeah, I think I heard Jim Neton
25 as I -- as I just came on was --

1 **DR. WADE:** He was talking about centerless
2 grinders versus machinists, and so do you want
3 to define your issue and -- and possibly
4 trigger some discussion?

5 **MR. GRIFFON:** I -- I think it's pretty well --
6 I think it's pretty well defined. I -- I think
7 -- I haven't had a lot of chance really to look
8 at this paper, and I apol-- I looked on the O
9 drive last night. It wasn't there, and then I
10 think it took a day to get on or whatever, but
11 it -- it's here this morning, but if -- you
12 know, my -- my question -- and I think Jim was
13 -- was framing it well, was that, you know, it
14 doesn't -- you know, am I convinced that they
15 were monitoring the highest exposed people for
16 internal exposures. I'm -- I'm not necessarily
17 convinced.

18 And then the second part of that is, however,
19 can we, with other data that we have relevant -
20 - you know, applicable to this time period -- I
21 would -- I would throw that on top -- and it --
22 it might be that you've covered that in this
23 report. You know, with that oth-- other data,
24 can we still bou-- nonetheless still bound --
25 bound the -- the, you know, potential exposures

1 here for the Chapman employees. And I -- I
2 think -- you know, all -- I -- I think the
3 evidence is leaning toward the -- the fact that
4 you -- you have enough information to be able
5 to bound that -- those internal exposures based
6 on, you know, representative machining sampling
7 from various facilities over this time period.
8 So -- but I would leave myself a little -- I --
9 I would like to reflect on this paper a little
10 more. I haven't had -- I've been looking at it
11 while you've been talking, but --
12 intermittently.

13 **DR. WADE:** You'd -- you'd ask --

14 **MR. GRIFFON:** And -- and tha-- I -- I guess
15 that's where I would stop this, is that -- I
16 mean for me, that would -- that would -- and
17 the -- the H. K. Ferguson report would really I
18 think be very -- well, we may get it and say
19 oh, boy, we thought this was going to be
20 something --

21 **DR. NETON:** Yeah.

22 **MR. GRIFFON:** -- it's not, but it -- it could
23 really be helpful in making sure that, you
24 know, yes, we're -- we're -- we're correct that
25 -- you know, we're correct in assuming that

1 these other facilities are -- were doing pretty
2 much things the same way as we thought Chapman
3 was doing them, you know, and therefore we --
4 we're confident that these samples are
5 bounding. But that -- you know, I think -- I
6 think we might have enough. My concern was
7 that the Chapman data itself I think -- you
8 know, I wasn't convinced that the highest
9 exposed people were monitored for internal
10 exposures.

11 **DR. WADE:** Okay, so you would add a fourth to
12 John's list and the fourth is that more of an
13 in-depth review of the white paper provided by
14 Cindy last night.

15 **MR. GRIFFON:** I suppose so, or just a chance
16 for us to -- you know, I think that -- I think
17 a lot's there and I think I -- at least for
18 myself, I would like an opportunity to look at
19 it a little closer.

20 **DR. WADE:** Okay.

21 **MR. GRIFFON:** But I also would a-- you know, as
22 I said, I -- I think it seems to support their
23 -- their conclusions, so...

24 **DR. WADE:** If possibly I could have just a
25 brief terminology discussion. I was talking to

1 John before and there are relatively new Board
2 members involved, and those of you who have
3 been around this table having these debates
4 throw around the term "is it an SEC issue or
5 isn't it," and I think a little bit of clarity
6 there would be in order as you sort of look at
7 your path forward. And -- and without being
8 too wordy, you know, people come and petition
9 the government to say you should add the -- a
10 class of workers to the Special Exposure
11 Cohort. That means they would be paid -- if
12 they could show that they worked at the
13 facility for sufficient amounts of time, if
14 they had one of the covered cancers. There'd
15 be no need for dose reconstruction. The
16 premise is because you can't do dose
17 reconstruction, and the -- the bar that's set
18 by the regulation is can the government
19 demonstrate that it can bound dose with
20 sufficient accuracy, or go beyond that. And
21 there are always two parts to that. It's the
22 bounding dose, but then it's tempered with the
23 sufficient accuracy. This -- this Board has --
24 has come to find itself in situations where the
25 bounds were so unreasonable that they didn't

1 pass the test, so it's bound with sufficient
2 accuracy.

3 If it can be demonstrated that you can bound
4 dose with sufficient accuracy, then
5 theoretically it's not an SEC question. You --
6 you deny the SEC petition and you get on with
7 doing dose reconstructions.

8 Some of these more esoteric issues you're
9 talking about would have to be ironed out as
10 you would do dose reconstruction, and John has
11 defined the term that we call those "site
12 profile issues," instructions to dose
13 reconstructors.

14 So the big question a group like this faces is
15 can doses be bound with sufficient accuracy for
16 the workers covered by this petition. If the
17 answer to that is yes, then the recommendation
18 logically would be to deny the petition and get
19 on with doing dose reconstructions, which would
20 require you to work these more detailed issues
21 to closure. But that's what you face, and I'm
22 sure that's boring to most of you but may be
23 enlightening to -- to some. Okay?

24 **MR. CLAWSON:** Lew, this is Brad.

25 **MR. GRIFFON:** Also -- oh.

1 **DR. WADE:** Yes, Brad.

2 **MR. CLAWSON:** I -- I was just wondering, you
3 know, and I read this in reading through this
4 Chapman report and stuff, I've heard that we've
5 got one sample with high enriched uranium and
6 one -- now I'm hearing today that we don't.
7 Have we come to closure on that?

8 **DR. WADE:** I would say yes. I -- I think
9 everyone would agree that there are -- there is
10 one, two samples -- the terminology might be
11 debated, but I think everyone agrees that those
12 samples represent an exposure outside of the
13 time period involved in this petition, outside
14 of the time period for which this is a covered
15 facility. I don't think there's any debate
16 about that.

17 **MR. CLAWSON:** Okay, I just wanted to make sure
18 that I was clear where we were going with that.

19 **DR. WADE:** Okay. Thank you, Brad.

20 **DR. POSTON:** Mark, did you have additional
21 comments, questions?

22 **MR. GRIFFON:** Yeah, ju-- just -- after Lew's
23 discussion of SEC and site profile issues, I
24 think John Mauro's list that you just raised, I
25 think that first one, the M and S solubility

1 thing, since I brought that up, I believe
2 that's a site profile issue, not an SEC issue.
3 I mean that might -- it might or might not
4 affect intakes and, you know, the site profile,
5 but it's not going to affect whether you can
6 bound a dose, so --

7 **DR. WADE:** John is nodding his head.

8 **MR. GRIFFON:** -- just to put that on the table.
9 Okay.

10 **DR. WADE:** And we don't know the M. K. Ferguson
11 report -- I mean there's reason to believe that
12 we won't be surprised by it, but until we see
13 it, we don't know it. And I think the spike
14 for incinerators, the question is if -- if it's
15 determined that you need a spike for
16 incinerators, then there does appear to be
17 information available on which to base -- to
18 make that exposure matrix determination.

19 **DR. POSTON:** That would require some sort of
20 assumption -- how often the furnaces were
21 cleaned out, those kinds of things. We don't
22 know that.

23 **DR. NETON:** But we -- we don't know that for
24 Chapman Valve. We have evidence from other
25 facilities -- similar facilities -- to what

1 that time frame is. But I would suggest that
2 the time is somewhere between almost zero and
3 full time, and we ha-- we know what -- we know
4 what the concentrations are.

5 **DR. POSTON:** That's quite bounding.

6 **DR. NETON:** Well, what I'm saying is they could
7 be bounded and it -- it's -- probably full time
8 is overkill, but you know, I think we could
9 certainly get to a point where we'd all agree
10 that a certain value was a reasonable amount of
11 time, whether it's half-time, one hour or 30
12 hours. I think -- I'm confident that we could
13 come to some agreement as to what a reasonable
14 length of time would be, and then we would
15 apply that air concentration that we observed
16 at these other facilities, maybe the high end,
17 and see if our model -- current model would
18 bound that. If not, we could make adjustments.

19 **DR. MAURO:** I -- I think it also would be
20 worthwhile addressing Arjun's point regarding
21 this -- this outrageously high --

22 **DR. NETON:** Yeah.

23 **DR. MAURO:** -- outlier, sort of like -- you
24 know, something has to be said when -- you
25 know, when you are confronted with a scenario

1 like that to understand it within the broader
2 context and where it's applicable and where
3 it's not --

4 **DR. NETON:** Exactly.

5 **DR. MAURO:** -- it's going to be very important.

6 **DR. NETON:** Yeah, I agree.

7 **MS. BLOOM:** I just looked at that number that
8 Arjun had quoted and it looks like the person
9 was removing the plate beneath the burnout
10 conveyor belt, and the dust was falling on
11 their head when they removed the plate and
12 that's where the breathing zone was taken. So
13 certainly in that scenario, somebody's pouring
14 uranium oxide dust down on you, you could
15 envision a concentration that high.

16 **DR. WADE:** That said, it needs to be looked at
17 and put in context, that's all.

18 **DR. POSTON:** Anything else?

19 **MS. BLOOM:** The only other thing is that report
20 is H. K. Ferguson, not M. K.

21 **DR. MAURO:** Oh, it's H. K.? All right --

22 **DR. NETON:** Right, it's H. K. Ferguson.

23 **MR. GRIFFON:** On the H. K. Ferguson report, do
24 you have any sense, Jim -- I know you said that
25 you were still trying to loca-- I mean you have

1 some leads on this? Is this something that we
2 might actually get before May or -- no way to -
3 - no way to figure that out, I guess. Right.

4 **DR. NETON:** Difficult to -- to say.

5 **MR. GRIFFON:** Yeah.

6 **DR. NETON:** What we've -- what we've seen so
7 far is there was an initial citation on -- on
8 the OSTI database that suggested that the
9 report was available through NTIS. We were
10 working at it from two ends, and NTIS -- it's
11 not available at NTIS right now, but I guess --
12 the inference I got was that the report may be
13 available, but some other database holder has
14 to release that report -- has to agree to
15 release the report. I'm not sure why, but --

16 **MR. GRIFFON:** Okay.

17 **DR. NETON:** -- I'm -- I'm hoping that we can
18 get it, but if not, you know, that's the way it
19 works.

20 **MR. GRIFFON:** Okay. All right.

21 **DR. WADE:** So one thing you could do is you
22 could pick a date two weeks out from today and
23 say let's plan on getting together, the
24 workgroup, on the phone. Jim can report on the
25 Ferguson report. Mark will have had a chance,

1 and others, to review Cindy's report. Jim can
2 talk about M versus S and spikes for
3 incinerators and -- and then make a judgment.
4 But there's something for the -- for the sake
5 of completeness and information, Dr. Poston
6 will not be available at the May meeting, so if
7 the workgroup decides to bring its conclusions
8 forward -- which I think should still be on the
9 table -- then the workgroup members will have
10 to decide how that would be done. You've all
11 participated in the discussions. You're all
12 capable people. That decision would have to be
13 made.

14 **DR. POSTON:** Does that make sense to the
15 working group, the members, that we'll try to
16 find a time two weeks roughly from now to have
17 another meeting and -- it'd have to be by
18 telephone, I think.

19 **DR. ROESSLER:** It's good for me.

20 **MR. CLAWSON:** Yeah, that'd be fine with me,
21 John. This is Brad.

22 **DR. POSTON:** Okay.

23 **DR. WADE:** Let's try and do it right now while
24 we're -- all the -- the parties are here.

25 **DR. POSTON:** Get the Blackberries out.

1 **DR. WADE:** 'Cause if it doesn't happen now, it
2 gets tough. So -- okay. So you know, you're
3 looking at two weeks from today is the 24th of
4 April. That's a week before the -- the Board
5 meeting.

6 **DR. ROESSLER:** I'm clear.

7 **MR. GRIFFON:** Good for me.

8 **MR. CLAWSON:** What day was it?

9 **DR. WADE:** The 24th of April, that's a Tuesday.

10 **DR. NETON:** Morning would be better for me than
11 the afternoon, but the day is okay with me.

12 **MR. CLAWSON:** I'm -- I'm in union negotiations,
13 but if it wasn't going to take too long, if we
14 could do it first thing in the morning, I could
15 probably be able to spend a couple of hours
16 there.

17 **DR. WADE:** Well, given your time zone, I think
18 we could work. Is Tuesday okay for you or
19 would you rather Monday, John?

20 **DR. POSTON:** I'd rather Monday. I have a class
21 on --

22 **DR. WADE:** What about the 23rd? John has a
23 class on Tuesday. The 23rd?

24 **DR. ROESSLER:** I'm clear as long as it's in the
25 morning.

1 **DR. WADE:** Okay, so the morning of the 23rd,
2 what if we were to say 9--

3 **MR. GRIFFON:** (Unintelligible) me, morning's
4 good.

5 **DR. WADE:** -- 9:00 o'clock Eastern time, which
6 is God knows what time out there in your part
7 of the world -- are you two hours or three
8 hours behind Eastern, Brad?

9 **MR. CLAWSON:** Two -- two hours, that'd be 7:00
10 o'clock my time. That'd be fine.

11 **DR. WADE:** So 9:00 a.m. Eastern Daylight Time
12 on April 23rd for a phone call of the
13 workgroup, and I think we know the agenda.

14 **MR. GRIFFON:** Okay.

15 **DR. WADE:** Seems like a very reasonable path
16 forward.

17 **DR. POSTON:** Okay, we're going to say for two
18 hours -- I'm sorry, Lew -- did you say?

19 **DR. WADE:** Well, we'll -- we'll say three hours
20 because sometimes two hours is three hours, but
21 no more.

22 **DR. POSTON:** Okay. At that time then what
23 we'll do is we'll discuss the -- hopefully the
24 H. K. Ferguson report, have further discussion
25 on the report that was sent out yesterday by

1 Cindy Bloom and Jim Neton, and then decide how
2 we're going to go forward in terms of the May
3 meeting. If we decide to bring something to
4 the Board, then we would need a volunteer with
5 more experience than me since I'm -- have
6 another commitment.

7 **DR. WADE:** Or several. Or several
8 (unintelligible).

9 **DR. POSTON:** Anything else we need to discuss?

10 **DR. WADE:** I don't think. I think it was very
11 productive and very focused. I appreciate
12 everyone's time and effort, particularly your
13 travel here, Dr. Poston. I think it was good
14 that you were here to -- to lead this
15 discussion.

16 Anything for the good of the order that needs
17 to be said?

18 (No responses)

19 Okay.

20 **DR. POSTON:** Thanks for your time and -- talk
21 with you in two weeks.

22 **DR. WADE:** Thank you.

23 (Whereupon, the meeting was concluded at 11:53
24 a.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of April 10, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 12th day of June, 2007.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**