

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

NEVADA TEST SITE

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held in Cincinnati, Ohio on August 7,
2007.

*STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTERS
404/733-6070*

C O N T E N T S

August 7, 2007

WELCOME AND OPENING COMMENTS DR. LEWIS WADE, DFO	6
INTRODUCTION BY CHAIR	12
INCOMPLETE RADIONUCLIDE LISTS	12
AMBIENT ENVIRONMENTAL INTAKES DOCUMENT	25
MATRIX DISCUSSION	105
ORAU DOCUMENT 0008-6	176
COURT REPORTER'S CERTIFICATE	209

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERS

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.

Senior Science Advisor

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, DC

MEMBERSHIP

1
2
3

CLAWSON, Bradley

Senior Operator, Nuclear Fuel Handling

Idaho National Engineering & Environmental Laboratory

MUNN, Wanda I.

Senior Nuclear Engineer (Retired)

Richland, Washington

PRESLEY, Robert W.

Special Projects Engineer

BWXT Y12 National Security Complex

Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.

Professor Emeritus

University of Florida

Elysian, Minnesota

IDENTIFIED PARTICIPANTS

ANSPAUGH, LYNN, SC&A
BRANCHE, CHRISTINE, NIOSH
ELLIOTT, LARRY, NIOSH
HARRISON-NAPLES, MONICA, ORAU
HOWELL, EMILY, HHS
KATZ, TED, NIOSH
KOTSCH, JEFF, DOL
MAHATHY, MIKE, ORAU
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
NETON, JIM, NIOSH
ROLFES, MARK, NIOSH
ROLLINS, GENE, ORAU

P R O C E E D I N G S

(9:00 a.m.)

1

2

WELCOME AND OPENING COMMENTSDR. LEWIS WADE, DFO

3

Hello, this is the work group conference room.

4

This is Lew Wade. John Mauro, can you hear

5

me?

6

DR. MAURO (by Telephone): Yes, I can.

7

DR. WADE: We'll begin the meeting now.

8

Hold on for a second. So the court reporter

9

is here and functioning. This is a meeting of

10

the work group that is focusing on the Nevada

11

Test Site site profile. It's ably chaired by

12

Mr. Presley; members Munn, Clawson and

13

Roessler. All of the work group members are

14

here in the room.

15

Might I ask if there are any other

16

Board members who are on the telephone? Do I

17

have any other Board members participating on

18

the telephone?

19

(no response)

20

DR. WADE: Any other Board members?

21

(no response)

22

DR. WADE: I take it that we do not have a

1 quorum of the Board which is appropriate. We
2 wouldn't be able to have a work group meeting
3 if we had a quorum of the Board. So again, we
4 can begin.

5 What I'll do is we'll go through
6 introductions. And the way we'll do that is
7 we'll go around the table here, and then we'll
8 go to those people participating. I'll start
9 by asking for members of the NIOSH and ORAU
10 team who are on the line.

11 I'll then ask for members of the SC&A
12 team who are on the line, then any members of
13 Congress or their representatives who are
14 participating. Then if there are workers,
15 worker representatives, petitioners, claimants
16 who are on the line, and then anyone else who
17 would like to be identified.

18 When I ask for Board members, NIOSH,
19 ORAU, SC&A folks to identify themselves, I'll
20 need you to identify whether or not you have
21 any conflicts relative to the Nevada Test
22 Site. That's the technical area that we're
23 looking at today. So we'll start here going
24 around the table.

25 My name is Lew Wade. I have the

1 privilege of being the designated federal
2 official for the Board. I work for NIOSH, and
3 I have no conflicts relative to this site.

4 **MR. PRESLEY:** Robert Presley, NTS Work Group
5 Chairman, no conflict.

6 **DR. MAKHIJANI:** Arjun Makhijani, SC&A, no
7 conflicts.

8 **MS. HOWELL:** Emily Howell, HHS, no
9 conflicts.

10 **MR. ROLFES:** Mark Rolfes, NIOSH Health
11 Physicist, I have no conflicts.

12 **DR. ROESSLER:** Gen Roessler, member of the
13 Board and the NTS work group, no conflict.

14 **DR. NETON:** Jim Neton, NIOSH, no conflicts.

15 **MS. MUNN:** Wanda Munn, Board, no conflicts.

16 **MR. CLAWSON:** Brad Clawson, Board member, no
17 conflicts.

18 **MR. ELLIOTT:** Larry, Elliott, NIOSH, I have
19 no conflicts for NTS.

20 **DR. BRANCHE:** Christine Branche, NIOSH, no
21 conflicts.

22 **DR. WADE:** That's all of us in the room. I
23 would hope that you could hear each of us as
24 we spoke. Again, if you had any problems, let
25 us know when you have that problem.

1 I'm sorry. Now we're going to go to
2 the back of the room.

3 **MS. HARRISON:** Monica Harrison-Naples, ORAU.
4 I have no conflicts for NTS.

5 **MR. MAHATHY:** Mike Mahathy, ORAU, no
6 conflicts for NTS.

7 **DR. WADE:** Now we're going to go out to the
8 telephone and ask for members of the NIOSH or
9 ORAU team who are on the line to identify
10 themselves. Any other NIOSH or ORAU people on
11 the line?

12 (no response)

13 **DR. WADE:** Any members of the SC&A team on
14 the line?

15 **DR. MAURO (by Telephone):** Yes, John Mauro,
16 SC&A, no conflicts.

17 **DR. ANSPAUGH (by Telephone):** This is Lynn
18 Anspaugh, consultant to SC&A. When I was
19 employed at Lawrence-Livermore, I did spend a
20 lot of time doing experiments at the Nevada
21 Test Site.

22 **DR. WADE:** Thank you.

23 Other members of the SC&A team?

24 (no response)

25 **DR. WADE:** What about other federal

1 employees who are on the call by virtue of
2 their federal employment?

3 **MR. KOTSCH:** Jeff Kotsch, Department of
4 Labor.

5 **DR. WADE:** Welcome, Jeff.

6 **MR. KATZ:** Ted Katz, NIOSH.

7 **DR. WADE:** Other feds?

8 (no response)

9 **DR. WADE:** Members of Congress or their
10 representatives?

11 **MS. (UNINTELLIGIBLE):** Hi, this is Cathy
12 (unintelligible), representative for Senator
13 Harry Reid.

14 **DR. WADE:** Thank you for joining us.

15 Any other congressional staff or
16 members?

17 (no response)

18 **DR. WADE:** Are there any workers, worker
19 representatives, petitioners, claimants who
20 would like to be identified?

21 (no response)

22 **DR. WADE:** Anybody else from the Nevada Test
23 Site who would like to be identified?

24 (no response)

25 **DR. WADE:** Is there anybody on the line who

1 hasn't given an introduction that would like
2 to be identified for the record?

3 **MS. GLENN:** This is (unintelligible) Glenn.

4 **DR. WADE:** Thank you.

5 **DR. NETON:** I'm going to mention that Gene
6 Rollins from the ORAU team will be joining us
7 on the line around 9:30.

8 **DR. WADE:** Thank you.

9 Anyone else who would like to be
10 identified?

11 (no response)

12 **DR. WADE:** Before we begin let me talk to
13 you a little bit about phone etiquette.
14 Again, it's important that the work group does
15 its business with the possibility of others
16 joining by telephone. That increases the
17 capability of the Board to conduct its
18 business. But for that to work it's important
19 that you on the line exercise a bit of phone
20 etiquette. If you're not speaking, mute your
21 phone if at all possible so that we don't hear
22 background noises.

23 If you are speaking, speak into a
24 handset and not a speaker phone. Be mindful
25 of the fact that background noises can be very

1 distracting to others when they might be
2 second nature to you. So think about your
3 situation and try and manage it so that all of
4 us can have as productive a meeting as
5 possible.

6 Anything else that needs to be said on
7 introduction?

8 (no response)

9 **DR. WADE:** Robert, it's all yours.

10 **INTRODUCTION BY CHAIR**

11 **MR. PRESLEY:** What I would like to do is
12 start out and go through the matrix. I'm
13 going to ask Mark Rolfes if he would kick it
14 off, and let's start with one and go through
15 each one. I realize it's going to take some
16 time, and that way we can go through and mark
17 the ones that are complete. If SC&A has
18 comments or if CDC has comments, we can
19 discuss those, but what I would like to do
20 today is when we come to a conclusion on each
21 one of these issues, mark it complete, and
22 let's move on.

23 **INCOMPLETE RADIONUCLIDE LISTS**

24 **MR. ROLFES:** The first comment that we
25 received from SC&A's review was that some

1 radionuclide lists are not complete. Our
2 response, NIOSH's response to this was that
3 the Nevada Test Site TBD Table 2.2 was revised
4 to include Chlorine-38, Aluminum-28 and
5 Scandium-46. Other tables that identify
6 radionuclides of concern were reviewed, but no
7 additional changes were made to the TBD.

8 We've added a note to chapter 5
9 indicating that REECo reported radionuclides
10 for identification or dose concern versus the
11 time test for various operations. These
12 radionuclide lists may not be comprehensive,
13 but the lists have been reproduced, and this
14 TBD is published by REECo because they reflect
15 REECo's historical account of the
16 radionuclides of concern during the testing
17 era.

18 Table 2.8 has been removed from the
19 TBD because of the special exposure cohort
20 designation for workers involved in
21 atmospheric testing from the early 1950s
22 through the end of 1962. NIOSH believes that
23 adding this additional information to Tables,
24 2, 3 and 5d-13 is not appropriate at this
25 time.

1 And also, NIOSH has completed or the
2 ORAU team has completed a working draft of the
3 chapter 5 revision and incorporated these
4 updates. And this is currently in review at
5 this time.

6 **MR. PRESLEY:** Does anybody have any comments
7 or questions about Comment 1?

8 **DR. ANSPAUGH (by Telephone):** I would like
9 to make one comment about that. It seems like
10 this draft material that we have on ambient
11 environmental intakes has greatly expanded
12 this list well beyond what is stated here.

13 **MR. PRESLEY:** Sir, can you give your name?

14 **DR. ANSPAUGH (by Telephone):** I'm sorry.
15 This is Lynn Anspaugh.

16 And I would also like to make a
17 general comment that I think given the
18 extensive revision on this ambient
19 environmental issues that perhaps this table
20 of comments and so forth, the matrix, may not
21 be appropriate any more.

22 **MR. ROLFES:** True, much additional
23 information has been compiled in the ambient
24 environmental intakes at Nevada Test Site
25 based on air sampling and soil contamination

1 data. And this was a paper that was put
2 together by Gene Rollins, and I'm going to
3 have to defer to him. He should be available
4 in about ten or 15 minutes with us on the
5 phone. I'd like to go through in detail what
6 was done if we could just delay it for
7 approximately 15 minutes.

8 **MR. PRESLEY:** Let's do that, please.

9 **DR. MAURO (by Telephone):** This is John
10 Mauro. In a related matter, I noted over the
11 weekend that the external dosimetry section
12 has also been revised. I haven't read through
13 it all, but I did notice that it does
14 represent a substantial change. So one of the
15 things that might be worth discussing is, from
16 the big picture, the fact that Gene Rollins
17 has the new report that, I guess, dated July
18 29th and the revision to the external dose
19 dated July 30, both documents of which we did
20 our best to review.

21 And in fact, Arjun and myself and Dr.
22 Anspaugh did have a chance yesterday to sort
23 of collect our thoughts. So I think this may
24 have this perspective of what this means with
25 respect to the matrix might be important. So,

1 yeah, I'd like to second that.

2 **DR. MAKHIJANI:** John and I talked yesterday
3 morning. This is Arjun. John and I talked
4 yesterday morning about what comments we could
5 make at this meeting given there's a lot of
6 very complicated paperwork and a lot of new
7 information. Like the external dose
8 information is completely redone. And so one
9 had a chance to really bring a considered
10 opinion on a lot of these issues. So I don't
11 know, Mr. Presley, how you might want to
12 proceed in that light in case we have, if you
13 want us to look at this material then we might
14 have comments at a later time or -- so we're a
15 little bit unclear as to what the process is
16 going to be.

17 **MR. PRESLEY:** Well, my thought on a lot of
18 this stuff is we beat it to death. If the
19 rest of the working group thinks that we need
20 to spend more time on this, we can, but I
21 would like to see what you all think about
22 your comments.

23 **MR. CLAWSON:** I'd like to weigh in on this.
24 We've got a ton of new information that's come
25 in. They've completely redone this whole

1 thing, and to tell you the truth, I haven't
2 even had an opportunity to be able to review
3 even a portion of this.

4 And for me to be able to make a
5 decision on something like that -- this is
6 Brad by the way -- I don't feel good about it.
7 They've -- we've done everything. So I'd say
8 that we need to have a chance for them to be
9 able to make their comments, look back at it
10 and go from there.

11 **DR. MAURO (by Telephone):** Brad, this is
12 John Mauro. One of the things that we talked
13 about yesterday -- I say we, Arjun, Lynn
14 Anspaugh and myself -- is that one of the
15 things that could be very valuable, and that
16 we could accomplish today in addition to
17 closing out items, of course, going through
18 the matrix, and taking things that we can take
19 care of, is to make sure that we do understand
20 the new material that came out, the genomes
21 write-up and what it is, and does it, in fact,
22 I guess, replace previous material that we
23 discussed before.

24 For example, previously we were
25 talking about mass loading approach, and now

1 we're talking about a different strategy,
2 whether or not they complement each other. So
3 there's a lot for us to talk about I think
4 with respect to the new material that just so
5 we understand it.

6 Not that we're in a position right now
7 to be critical or to make any informed
8 commentary, but I think the thing that we feel
9 would be valuable is for all of us to fully
10 appreciate what the new material is,
11 understand what the new direction is that is
12 being taken in this new material.

13 **MR. ROLFES:** As far as the update that was
14 made to the external dose TBD, much of the
15 information came directly in response to
16 SC&A's previous comments, and we've
17 incorporated information into the TBD in order
18 to directly address the comments that we
19 received previously at previous working
20 groups. I believe a couple of the additions
21 were approaches for addressing personnel that
22 might not have been monitored during certain
23 time periods and performing certain job
24 duties.

25 And in order to address that issue, we

1 have incorporated a coworker dose table with
2 some instructions to the dose reconstructor on
3 identifying personnel that were potentially
4 unmonitored and how to assign dose to those
5 personnel. That was the biggest change that I
6 recall in the external dose TBD.

7 **MS. MUNN:** This is Wanda. John and Arjun,
8 my understanding as I was reading through
9 these documents and going back to our most
10 recent review of the matrix, which I have
11 dated 4/18/07, my understanding was that these
12 were actions that were in response to the
13 original comments in the original issues that
14 we had discussed when we were going through
15 that matrix for about the third or fourth time
16 back in April. So I guess I don't see this as
17 a new approach necessarily. I thought these
18 were in response to your comments and requests
19 for inclusion.

20 **DR. MAKHIJANI:** Let me just give you an
21 example of there was no beta monitoring or
22 rather there was no measurements from the
23 badges that were worn up to 1966. So there's
24 no beta dose information in individual worker
25 records, and we pointed that out. That's an

1 item in the matrix.

2 And now in response to that NIOSH has
3 proposed a quite complex method for beta dose
4 calculations. It's in this TBD in Section
5 6.4. This is the first time that NIOSH has
6 actually proposed a beta dose model because it
7 was not there in the original TBD.

8 Now at the pleasure of the working
9 group you could accept just the model without
10 review or ask us to review it or review it
11 yourselves, and that's always been my
12 understanding anyway that when NIOSH puts more
13 material on the table that the working group
14 would have the option of just accepting it,
15 reviewing it or asking us to review when there
16 were major new items. And the difficulty is
17 that there are major new technical elements in
18 the new work. I don't know if Mark would
19 agree or Jim would agree.

20 **DR. WADE:** This is Lew Wade. Let's sort of
21 categorize what we have here. We have our
22 normal process where, I mean, SC&A reviews a
23 body of work and offers comment. The work
24 group discusses it. Based upon the work group
25 comment, NIOSH makes some modifications and

1 the process continues. It seems that what we
2 have here is that, and there's no bad people
3 involved in here. What we have is a major
4 quote-unquote response by NIOSH to the work
5 group process that has resulted in certain
6 documents that are relatively fresh.

7 My sense is that we should discuss
8 those documents today, understand what they
9 are, and then the work group can decide
10 whether or not they want SC&A to actively
11 review them as part of this iterative process
12 or whether the work group is satisfied with
13 what it has. And I think that's just where we
14 are, and it's where we're supposed to be.
15 Does anyone disagree with that categorization?
16 Is that correct, Mark?

17 **MR. ROLFES:** That's fine with me.

18 **DR. WADE:** So just so I understand, there
19 are two major documents. We have the ambient
20 environmental intakes dated 7/29.

21 **MR. ROLFES:** Yes, sir.

22 **DR. WADE:** And then the external dose TBD,
23 and the date on that is?

24 **MR. ROLFES:** 7/30/2007.

25 **DR. WADE:** So these are both extremely fresh

1 documents.

2 **MS. MUNN:** And I do not have a copy of the
3 external dose document. I don't know why I
4 don't.

5 **MR. PRESLEY:** I don't either.

6 **MS. MUNN:** So if we don't have a --

7 **DR. WADE:** External dose TBD.

8 **MR. ELLIOTT:** Didn't we send that out e-
9 mail?

10 **MR. ROLFES:** I'm sorry?

11 **DR. WADE:** External dose TBD.

12 **MR. ROLFES:** I don't believe it has been
13 sent out by e-mail, no.

14 **MS. MUNN:** So that's why we don't have it.

15 **DR. WADE:** Can I get it printed out and
16 distributed?

17 **MR. ROLFES:** I don't have it with me. We do
18 have a person with, Mike Mahathy has a copy of
19 it in electronic format, and he can print it
20 out if we can --

21 **DR. MAKHIJANI:** I can also e-mail it to
22 everybody, or you can.

23 **MR. ROLFES:** I have a computer but no
24 internet access.

25 **DR. WADE:** What's the work group's pleasure?

1 Do you want to receive it electronically, hard
2 copy or both right now?

3 **MS. MUNN:** We'd like to receive it hard copy
4 right now and electronically.

5 **DR. ROESSLER:** I'd like it electronically
6 right now if you have it.

7 **DR. MAKHIJANI:** It's about 120 pages.

8 **DR. WADE:** That's okay. So I'm going to set
9 out to get how many hard copies made? One,
10 two, three, four, six copies.

11 **MR. ELLIOTT:** I wonder if it would be better
12 if we used the electronic version from Mike
13 instead of breaking that apart. I'm worried
14 they're going to break that apart on you,
15 Arjun.

16 **DR. NETON:** It should be out there on our
17 website.

18 **MR. PRESLEY:** You say it's on the website?

19 **DR. NETON:** It should be.

20 **DR. WADE:** So then with documents in hand we
21 can have a brief presentation of the two
22 documents so people could understand what's in
23 front of them, and then you can go through the
24 matrix and look at items and decide whether or
25 not you want to have those documents reviewed

1 by your contractor or just what your pleasure
2 is as it relates to each item. Is that a
3 plan?

4 **MS. MUNN:** That would be much appreciated.
5 Whenever we have a document that is in
6 response to specific questions that have been
7 asked by our contractor, it's helpful if we
8 can simply identify whether or not the
9 question has been answered. Having it re-
10 reviewed to pursue further questions that
11 might arise is impossible to make any judgment
12 about without actually looking at the document
13 itself.

14 **DR. WADE:** Now does everyone who needs it
15 have the document, Ambient Environmental
16 Intakes at the Nevada Test Site? That we all
17 have?

18 **MS. MUNN:** Yes.

19 **DR. NETON:** Now I did say Gene Rollins is
20 the document owner, and he was getting on the
21 phone shortly. So he should be able to
22 communicate pretty clearly what changes have
23 been made to that document.

24 **DR. WADE:** He has really two roles to play.

25 **MS. MUNN:** Yeah, maybe we can do Gene's

1 document before we do all the other --

2 **DR. WADE:** Gene, are you on the phone? That
3 sounded like your cough.

4 **MR. ROLLINS (by Telephone):** Yes, Gene
5 Rollins on here.

6 **DR. NETON:** I didn't want to throw you into
7 the fire there, Gene, but since you are the
8 document owner of that external profile, I
9 assume you should be able to discuss both
10 documents then, the external document and the
11 resuspension one.

12 **MR. ROLLINS (by Telephone):** I'll certainly
13 try.

14 **AMBIENT ENVIRONMENTAL INTAKES DOCUMENT**

15 **DR. WADE:** We would prefer that you start,
16 Gene, with the ambient environmental intakes
17 document as the other is being copied for our
18 work group members. So take a moment and
19 collect yourself and sort of walk them through
20 that document. Everyone has a copy of it in
21 front of them.

22 **DR. ANSPAUGH (by Telephone):** This is
23 Anspaugh again. I'd just like to ask the
24 question though what the status of this
25 document is. Is it now part of the TBD? Is

1 it intended to be part of the TBD? Or is it
2 just for information purposes?

3 **MR. ROLLINS (by Telephone):** When this
4 document is going to be incorporated into the
5 Technical Basis Document or into a separate
6 technical information bulletin for use in dose
7 reconstructions completed by NIOSH.

8 **DR. ANSPAUGH (by Telephone):** Okay, thanks.

9 **DR. WADE:** Gene, the stage is yours.

10 **MR. ROLLINS (by Telephone):** As I think
11 we're all aware we've been through several
12 iterations including a resuspension model, a
13 mass loading model trying to come up with a
14 method to estimate intakes by workers as they
15 moved about the site. Being a dusty
16 environment we thought that that might be an
17 important pathway, and there was also a
18 question about ingestion.

19 So this paper attempts to address
20 that. And the way I decided to go about it
21 was rather than build a model, be it a
22 resuspension or be it a mass loading model, I
23 felt it was better to fall back on the
24 plethora of air sampling data that we have
25 available to us.

1 As you notice in the first part of
2 chapter four, there's a summary in there of
3 air sampling monitoring that was reported in
4 the annual environmental reports from 1971
5 through 2001. And these data include air
6 monitoring for Plutonium-239, -238 in some of
7 the later years. Tritium, I went through the
8 tritium and there was nothing of any dose
9 consequence there so you don't see too much
10 about the tritium in chapter four.

11 But I did summarize the plutonium
12 data, and it was provided for most of the
13 areas, and this data was actually gathered to
14 estimate what workers in the field might have
15 been exposed to it. So I thought the data was
16 useful in that it was an attempt to monitor
17 the atmosphere that the workers would have
18 been exposed to. That was the reason they
19 were collecting most of this data. There were
20 some control stations, but this data that was
21 summarized in the chapter four was mostly
22 involved with working conditions.

23 So in response to one of your comments
24 that we needed to go back -- well, let me just
25 continue on with the plutonium for right now.

1 So what I did was I went through in this
2 paper, and I looked at all the areas and
3 determined maximum concentrations. These are
4 annual averages. In some cases it was maximum
5 values that were averaged. In other cases it
6 was average values that were averaged over
7 each of the years for each of the areas. That
8 information is summarized in Table 2-1, and
9 that's picocuries per cubic meter.

10 The next step would be just to take
11 that to 2,600 cubic meters per year which is
12 what we used for annual ventilation rate. And
13 you can come up with Becquerels per year that
14 someone might have been exposed to. That
15 information's provided in Table 2-2. This was
16 information that was previously provided in
17 the TBD for information and for comparison at
18 that time to the other models that I developed
19 subsequently in a check.

20 **DR. MAKHIJANI:** Two-dash-one is average or
21 maximum?

22 **MR. ROLLINS (by Telephone):** Well, it's
23 both. It's both. If you read, let's see,
24 from 1989 through the year 2001, those values,
25 the concentration values, represent average of

1 average concentrations that were reported. It
2 was just a way that they changed how they
3 recorded the values in the annual
4 environmental report. There's no slight of
5 hand going on here. It's just how the data
6 was presented.

7 **MS. MUNN:** And, Gene, this is Wanda.
8 There's nothing in there any higher than the
9 third power, right? The highest dosages that
10 I saw.

11 **MR. ROLLINS (by Telephone):** Well, I think
12 you'll find that the highest concentration,
13 and therefore, the highest intake occurs in
14 Area 9 in 1972.

15 **MS. MUNN:** Right, that's what I see. Thank
16 you.

17 **MR. ROLLINS (by Telephone):** For 1971
18 through 1988, excuse me, from 1971 through
19 1988, those are averages of maximum values,
20 and then from 1989 through 2001, they started
21 reporting average values for each of the
22 areas. And so what you see there for those
23 years is average of the average value. But as
24 it turns out, the way I'm going to apply this
25 or proposing to apply it, we're going to be

1 using that value for Area 9 in 1972, which is
2 an average of maximum values for the year.

3 **DR. MAURO (by Telephone):** Gene, this is
4 John Mauro. So that number in Table 2-1 for
5 Area 9 for 1972, which is 4.3 times 10 to the
6 minus 3 picocuries per cubic meter, if I am,
7 if I understand this, that's one of the things
8 I was hoping to accomplish here is so there
9 were a number of measurements that were made
10 in Area 9 in 1972.

11 I guess if we can go into the dataset,
12 we'd see them. Are we talking about these are
13 continuous measurements that were made, are
14 these short-term measurements? And were they
15 made at the same time and same location that
16 the workers were working?

17 **MR. ROLLINS (by Telephone):** They were made
18 at the same time in the same location where
19 workers were working, and, John, I don't have
20 the information available to me, but I'm sure
21 I can find it. Typically, when you do area
22 monitoring like this outdoors, it's
23 continuous, and they change the filter papers
24 out on some kind of schedule.

25 **DR. MAURO (by Telephone):** And so out of

1 those, let's say there were 56 samples
2 collected or whatever, each one was separately
3 evaluated, and this is the highest of all the
4 ones that were collected?

5 **MR. ROLLINS (by Telephone):** Right, that's
6 what the annual environmental report, the way
7 they reported it and talked about in the text,
8 that's what it leads me to believe.

9 **DR. MAURO (by Telephone):** That's very
10 helpful to me because I just wanted to make
11 sure I understood that number.

12 **MS. MUNN:** Now the highest inhalation intake
13 for 239 anywhere is 1972, Area 9, less than
14 half a Becquerel, right?

15 **MR. ROLLINS (by Telephone):** Correct.

16 Now, here comes the problem that we've
17 been wrestling with for some time. We know we
18 have measured radionuclide persistent in the
19 soils at NTS, and those radionuclides include
20 Strontium-90, Cobalt-60, Plutonium-238, -239,
21 Europium-252, 54 and 55 I believe, Americium-
22 241, the two plutoniums, Cobalt-60, Cesium-
23 137, Strontium-90, Europium-152, Europium-154
24 and 155. Those are what was considered to be
25 the radionuclides important to dose that are

1 persistent in the soils at NTS.

2 So what I wanted to try to do was to
3 take the air monitoring data and somehow
4 relate it to what intakes of these other
5 radionuclides may have occurred
6 simultaneously. As you pointed out it's not
7 appropriate to use the McArthur data to
8 estimate what was going on back in 1963 or
9 that timeframe. So the first thing I did was
10 take the soil concentrations provided in Table
11 3-1 for the various areas, and I corrected it
12 back to 1963.

13 Now Table 3.1 shows the inventory.
14 Table 3.2 shows the aerial soil deposition
15 which is just the inventory divided by the
16 area that was contaminated and then decay-
17 corrected back to '63. And those values are
18 shown in Table 3-3.

19 Now we get into where I start
20 developing scaling factors. This is Section
21 Four starting on page 11. I wanted to
22 normalize everything to Pu-239 because that's
23 where I had my air sampling data, the most air
24 sampling data available. You'll see in Table
25 4-1 the scaling factor, of course, for Pu-239

1 would be one.

2 But when you compare the ratio of 239
3 to all of the other radionuclides, you can see
4 that the ratios vary depending on what area
5 you're in. So to be conservative I went
6 through for each of the other radionuclides
7 and picked the highest ratio of any of the
8 area and from that developed the scaling
9 factor that I could multiply the intake of the
10 plutonium by to give me derived intakes for
11 the other radionuclides. And that's shown at
12 the bottom of Table 4-1.

13 Now relating all of the intakes to the
14 plutonium, what you said a little bit less
15 than a half a Becquerel, now I've got values
16 for intakes for all of the other
17 radionuclides.

18 **DR. MAURO (by Telephone):** And that would be
19 for 1963?

20 **MR. ROLLINS (by Telephone):** Well, it would
21 be the highest value for any of the time
22 periods that we have measurements for.

23 **DR. MAURO (by Telephone):** Yes, I
24 understand.

25 **MR. ROLLINS (by Telephone):** I mean any of

1 the areas, but yeah, it's all based on the
2 highest value which happened to be in 1972.

3 **DR. MAURO (by Telephone):** No, I understand.
4 No, I'm with you. This is very helpful. Keep
5 going.

6 **MR. ROLLINS (by Telephone):** Okay.

7 **MS. MUNN:** All done based on the next to the
8 last test or the last test, right?

9 **MR. ROLLINS (by Telephone):** Correct.

10 Okay, actually the next thing I wanted
11 to investigate, and Dr. Anspaugh, I'm glad
12 you're on the phone because this is where your
13 formula or your model comes in for
14 resuspension, and I want to make sure that I
15 understand it correctly. But we seem to all
16 agree that resuspension of the phenomenon that
17 occurs early after deposition or during plume
18 passage and that over time the material that
19 is brought back up into the atmosphere versus
20 what's deposited will slowly decrease and
21 approach as shown in Dr. Anspaugh's formula
22 here. It will approach a value of ten to the
23 minus nine. So it's long time after
24 deposition we're approaching the one-time ten
25 to the minus nine.

1 **DR. ANSPAUGH (by Telephone):** With an
2 uncertainty of a factor of ten.

3 **MR. ROLLINS (by Telephone):** Okay, but as I
4 go on with this I think you'll see where this
5 uncertainty is going to drop out. Well, it
6 may propagate; it may drop out. Let me just
7 continue with this and show you what I'm
8 trying to do with this.

9 I wanted to account for the fact in
10 1963 and maybe 1964 about the fact that what
11 we're seeing out there in the air monitoring
12 data in 1972, for example, may not be
13 representative of what was going on in 1963
14 which was six months after the last
15 atmospheric test.

16 And so what I did was I took Dr.
17 Anspaugh's model here, and I integrated it
18 over the time period, basically six months
19 from the beginning since -- the last
20 atmospheric test was in July of '62. So I
21 basically truncated out the first six months
22 of his curve there shown in Figure 5-1, and I
23 integrated it for 365 days starting six months
24 after the detonation.

25 And then I compared that to an

1 interval of the constant, one times ten to the
2 minus nine, to determine what the ratio would
3 have been. How much more would you have been
4 expected to see in the atmosphere over that
5 first six month period as opposed to what you
6 would see in 1972.

7 And I came up with these scaling
8 factors that you'll see, well, actually, it
9 was one factor, and that factor --

10 **DR. MAURO:** 3.69.

11 **MR. ROLLINS (by Telephone):** Right, you've
12 got it, John. Okay, there it is, 3.69. So
13 what I did there to account for this early
14 resuspension phenomenon was for 1963, I would
15 recommend increasing the intakes that I just
16 calculated in the previous section increasing
17 them all by a factor of 3.69. And that
18 instruction is provided in Table 5-1 where you
19 see I've increased the potential intakes for
20 1963 versus those for all subsequent years.
21 And that, hopefully, is helping me get my arms
22 around the early resuspension.

23 **DR. MAURO (by Telephone):** Could we talk
24 about that a little bit?

25 **MR. ROLLINS (by Telephone):** Sure.

1 **DR. MAURO (by Telephone):** Or do you want to
2 continue and finish your description?

3 **MR. ROLLINS (by Telephone):** Well, we can
4 talk about that. It's probably a good time to
5 talk about that before we go further.

6 **DR. MAURO (by Telephone):** Because in
7 reviewing, reading this carefully, that was
8 the one place where I was thinking about how
9 well this will serve us in terms of the
10 resuspension model. And in effect when you
11 look at Dr. Anspaugh's curve, we effectively
12 go from ten to the minus fifth to ten to the
13 minus nine, covering four orders of magnitude
14 over that time period. And I understand what
15 you did. You sort of truncated off of the
16 front end the 180 days which really took off
17 three orders of magnitude.

18 So in other words during those first
19 180 days is when you really get a precipitous
20 drop in the resuspension factor so really the
21 difference between the 180-day period after
22 the test, and then, of course, 1972 is really,
23 according to the curve, about a factor of ten.
24 And you integrated and you get the 3.69 as
25 being what I would say the integrated

1 difference over between I guess, the 180 day
2 and 1972. Is that correct?

3 **MR. ROLLINS (by Telephone):** Yes.

4 **DR. MAURO (by Telephone):** So now --

5 **MR. ROLLINS (by Telephone):** Just for your
6 information if you integrated it from time
7 zero, the factor would be more like 400.

8 **DR. MAURO (by Telephone):** Yes, I
9 understand. But I understand why you did not
10 do that because we're picking it up 180 days
11 after the last test.

12 **MR. ROLLINS (by Telephone):** Correct.

13 **DR. MAURO (by Telephone):** So I understand
14 that.

15 Now, and these are more by the way of
16 understanding the processes. But if you're in
17 1963, let's say 180 days after, a person's
18 working, and it's -- they're out there in the
19 field, and it's 180 days after. For that
20 particular year would I be correct to say it
21 would be more likely for that person in that
22 year it was probably more like a factor of ten
23 as opposed to 3.69?

24 **MR. ROLLINS (by Telephone):** No.

25 **DR. MAURO (by Telephone):** No?

1 **MR. ROLLINS (by Telephone):** No.

2 **DR. MAURO (by Telephone):** I don't quite
3 understand.

4 **MR. ROLLINS (by Telephone):** Looking at the
5 curve, if you go out to 180, like I said, you
6 backed off -- I've got my notes here, John. I
7 did it several different ways trying to be as
8 reasonable, but not being overly conservative.
9 The 3.69 would represent -- and I'm probably
10 going to use incorrect terminology, but for
11 lack of a better term, as I said in the paper,
12 the one times ten to the minus nine is a value
13 that I would relate to a mass loading factor,
14 something that occurs long after deposition.

15 So if you take the constant and you
16 integrate under that for 365 days, then you
17 get a value. And if you take the early part
18 of this curve starting at 180 days, and then
19 integrate that out to 180 plus 365 -- I can't
20 do that math right now without a little
21 calculator, but it's -- then you compare those
22 two values, you get 3.69.

23 Which tells me that if you knew how
24 much an individual inhaled in 1972, then you
25 can estimate what he might have inhaled in

1 1963 once you do the decay correction, of
2 course, based on this curve and the interval
3 of the various areas in comparison to one
4 another. So you've got one interval divided
5 by another interval. That's why I think some
6 of the uncertainties cancel out.

7 **DR. MAURO (by Telephone):** So somehow that
8 accounts for this plus or minus factor of ten.
9 That's where I sort of tripped up, and I was
10 hoping to get some clarification.

11 **MR. ROLLINS (by Telephone):** It seems to me
12 that both of these intervals would have that
13 same uncertainty in it. In other words our
14 inability to predict exactly what the value
15 might be. But if it's lower, if the actual
16 values are lower than we thought then it would
17 be below on both the numerator and
18 denominator. And if it's higher than what we
19 actually thought, it would be higher in both
20 the numerator and the denominator. So the
21 ratio should stay about the same.

22 **DR. MAURO (by Telephone):** Oh, I think I got
23 it. Yeah, I see what you're saying.

24 **DR. ANSPAUGH (by Telephone):** I think that's
25 a bit of a leap of faith.

1 **MR. ROLLINS (by Telephone):** Dr. Anspaugh,
2 there's always going to be uncertainties in
3 anything that we do.

4 **DR. ANSPAUGH (by Telephone):** I wouldn't
5 argue with that, I guess, but how do you best
6 express the uncertainty and still retain your
7 mandate to be claimant favorable?

8 **MR. ROLLINS (by Telephone):** I think I
9 started by taking starting with the intakes to
10 begin with and the highest actual value that
11 was ever measured.

12 **DR. ANSPAUGH (by Telephone):** Yeah, I
13 understand that if that's the appropriate
14 source term, and we'll get to that later.

15 **MS. MUNN:** Well, being claimant friendly
16 doesn't mean that we need to be scientifically
17 unreasonable. There has to be a reason to
18 adapt a philosophy in going forward here, and
19 if your uncertainty is the same in both the
20 numerator and denominator, then I think I
21 understand what Gene's saying.

22 **DR. ANSPAUGH (by Telephone):** Right, I
23 believe, Gene, you're saying that if the
24 uncertainty is high early, the uncertainty is
25 also, it's high in the up direction early, it

1 has to be high in the up direction late, and I
2 don't think that's the way uncertainties
3 necessarily operate.

4 **MS. MUNN:** How would you say?

5 **DR. ANSPAUGH (by Telephone):** Well, I would
6 treat uncertainty as a random variable. In
7 other words I don't think the, was it 3.69?

8 **DR. MAURO (by Telephone):** Yes.

9 **DR. ANSPAUGH (by Telephone):** I don't think
10 that really includes the uncertainty in that
11 number, but I don't want to belabor that too
12 long because I think there are far bigger
13 problems.

14 **DR. NETON:** I think, at any rate, we could
15 propagate that uncertainty through if need be.

16 **DR. MAURO (by Telephone):** If I may, this is
17 John Mauro. I think really right now all
18 we're really trying to do is get a full
19 appreciation of the rationale of why that was
20 done so that we ourselves can, I guess,
21 discuss it a little bit more. And we have
22 read it and had a chance to talk, but this
23 very helpful because it's starting to clarify
24 exactly what was done and rationale behind it.
25 So I'm right now more interested maybe so I

1 understand what was done.

2 **MR. ROLLINS (by Telephone):** Okay, I
3 appreciate that, John. We can, I would
4 appreciate it if you folks would take some
5 time and think about it. We can discuss how
6 these errors would be reasonably propagated.
7 It might be better to do that after we've had
8 a chance to think about and maybe at a later
9 date. But let me, if it's okay, I can move on
10 with how I handled the early fission and
11 activation products.

12 **DR. MAURO (by Telephone):** I'm sorry, this
13 is John Mauro. Before we leave Dr. Anspaugh's
14 curve, one of the questions I asked Dr.
15 Anspaugh yesterday was what does this curve
16 represent? In other words, and I can
17 understand, and certainly, Lynn, you can jump
18 in any time you want.

19 These are measurements empirically
20 measurements made under a certain sets of
21 conditions where, as I understand it, there
22 was a mild amount of disturbance of the soil.
23 So if you were looking, in other words, if you
24 were trying to say, well, in general, what is
25 a reasonable resuspension factor as a function

1 of time following initial deposition, this
2 curve, plus or minus a factor of ten, would
3 sort of represent it.

4 But I also understand that the types
5 of activities that may have been taking place
6 at any one of these locations at any given
7 point in time were very variable and in some
8 cases may have generated quite a large dust
9 loading. And for any given job action that
10 this curve would really not represent that
11 situation.

12 And I'm not quite sure, I thinking
13 about does everything sort of average out
14 though over the long term so it's okay. But
15 certainly in any given year, let's say at any
16 given location, depending on what they were
17 doing, a given worker in that year in that
18 location could very well have experienced
19 resuspension factors that -- I mean, I'm just
20 going to throw a number up -- that could have
21 been a factor of a hundred times higher for
22 some period of time.

23 And I'm not quite sure how to deal
24 with that because I'm starting to see the
25 mechanism that you used and how they link.

1 But then I think about the reality of the
2 worker in the field and whether or not somehow
3 that might have, that kind of transient
4 situation that may have extended for a short
5 or a long period of time at a given location
6 where the activity may have been quite a bit
7 higher for the radionuclides, but perhaps not
8 because you did go with that max number. So
9 that may take care of that.

10 So bear with me. I'm just trying to
11 understand that if you do have this kind of
12 very erratic dust loading going on during work
13 activities whether or not this curve is going
14 to serve you well.

15 **MS. MUNN:** John, this is Wanda again. Do
16 you have an indication that there's a mass
17 loading factor for that area during that
18 period of time that is higher than what's been
19 considered by the work that's been done so
20 far?

21 **DR. MAURO (by Telephone):** Yes. I have lots
22 of data on resuspension factors and mass
23 loading factors for a whole broad range of
24 different kinds of activities that take place
25 either outdoors or indoors. And for example,

1 a resuspension factor of ten to the minus
2 eight, in general, is a pretty low
3 resuspension factor especially if they are
4 even ten to the minus seven is a pretty low
5 value in a place where, let's say, where a
6 vehicle might be driving by, someone may be
7 digging, you know, people are disturbing the
8 soil. And there's empirical data that shows
9 under those circumstances, resuspension
10 factors of ten to the minus four, you know,
11 are not unusual, but, of course, not for very
12 long periods of time.

13 **MS. MUNN:** Very brief, sporadic periods.

14 **DR. MAURO (by Telephone):** That's correct.
15 So what we got is this interesting dilemma,
16 and I'm trying to come to grips with it is
17 that perhaps over the long term, if a person's
18 working there for ten years -- I'm going to
19 say '63 to '72 -- maybe that doesn't matter,
20 especially since you're assuming that he
21 continuously exposed for 2600 hours per day.
22 So, I mean, all I'm going is trying to settle
23 in to make sure that I understand what was
24 done, and then think about it from the point
25 of view.

1 Maybe over the long term these
2 differences really all sort of average out and
3 that fact that you're operating off
4 resuspension factor curve that Lynn developed
5 may be appropriate even though over some short
6 periods of time it could be off by orders of
7 magnitude. I guess that's what I'm struggling
8 with, and I'm not quite sure where --

9 **DR. NETON:** John, if I remember -- this is
10 Jim. This is exactly where we were at the
11 last meeting.

12 **DR. MAURO (by Telephone):** Yes.

13 **DR. NETON:** I mean the model's slightly
14 changed here, but this was exactly the issue
15 that we were dealing with the last time we
16 met.

17 **DR. MAURO (by Telephone):** And that's when
18 you came up with the five milligrams per cubic
19 meter, and I was real happy with that. In
20 fact, I was the first to say, wow, that's up
21 there because I know five milligrams per cubic
22 meter is a very high dust loading. And to
23 assume that you're operating at that level for
24 2600 hours per year. It's right in the
25 record. I would say that's certainly up

1 there, if not off the charts.

2 **MR. ROLLINS (by Telephone):** John, what
3 everybody needs to keep in mind is I'm not
4 using resuspension factors to estimate
5 intakes. I'm using empirical data. So don't
6 get too wrapped around the axle about what the
7 absolute resuspension values are because I'm
8 not using those. I'm only using the ratios.

9 **DR. MAURO (by Telephone):** Yeah, you're
10 right. That's good. You're right. It's good
11 that you remind us of that.

12 **DR. WADE:** Before we move on just for
13 clarification, the only profession would bill
14 for 2600 hours in a day are attorneys.

15 **MR. PRESLEY:** Gene?

16 **MR. ROLLINS (by Telephone):** Yes.

17 **MR. PRESLEY:** This is Bob Presley. We need
18 to keep in mind that in the earlier years at
19 the test site they did not go right in behind
20 another shot and shoot a shot right on top of
21 it. They would go to a clean area and shoot
22 the shot. One of the things that they did out
23 there was they did keep the dust down to a
24 point where a lot of time you'd be working in
25 mud. I think you can agree with me on that.

1 But, you know, as far as the area
2 being dirty all the time where the people were
3 working or where the bulldozers might be
4 scraping the top layer off to where you could
5 do something, that was not done in a dirty
6 area all the time. Do you agree?

7 **MR. ROLLINS (by Telephone):** I wasn't there
8 so I really can't comment, but it's
9 information.

10 **DR. ANSPAUGH (by Telephone):** I think what
11 you say, Mr. Presley, is correct, but I would
12 also add that a lot of people have expressed
13 concern about what the shock wave from a shot
14 some distance away and even under ground might
15 have done to temporarily increase the
16 resuspension to a dramatic amount.

17 **MR. ROLFES:** Dr. Anspaugh, this is Mark
18 Rolfes. Because of the SEC that was
19 designated for years prior to 1963, NIOSH is
20 no longer going to be reconstructing internal
21 dose for personnel that were not monitored.
22 So the issue of resuspension from a blast wave
23 from an atmospheric detonation is no longer
24 and issue for NIOSH to come up with a solution
25 to.

1 **DR. ANSPAUGH (by Telephone):** I'm sorry, you
2 have shock waves from underground shots just
3 as well.

4 **MR. ROLLINS (by Telephone):** But would not
5 any resuspension from those have been captured
6 in the air monitoring data?

7 **MR. PRESLEY:** Should have been.

8 **DR. ANSPAUGH (by Telephone):** Well, maybe,
9 maybe not. You know that's difficult to say.
10 It certainly would not have been captured in
11 '63 through 1970.

12 **MS. MUNN:** Why not?

13 **DR. ANSPAUGH (by Telephone):** Because there
14 weren't any air samplers.

15 **MR. ROLLINS (by Telephone):** He's correct.
16 The air sampling that I have started in '71.

17 **MS. MUNN:** But I though we had just been
18 through an exercise where we explain how
19 extrapolation back from all of the areas
20 following that time were defensible.

21 **MR. ROLLINS (by Telephone):** We had
22 underground shockwave effects post-1971 that
23 would have been captured by the monitoring
24 data.

25 **MS. MUNN:** Yes.

1 **MR. ROLLINS (by Telephone):** Would that have
2 been remarkably different than what occurred
3 after 1962?

4 **MR. PRESLEY:** It would have been a whole lot
5 less.

6 **DR. ANSPAUGH (by Telephone):** Well, probably
7 not if your air sampler was placed in a
8 location where it might have received the
9 benefit of a shock wave, and I doubt if
10 anybody put an air sampler there.

11 **MR. ROLLINS (by Telephone):** But it was put
12 in a location where it would measure what
13 people were exposed to which is what we're
14 really interested in.

15 **DR. ANSPAUGH (by Telephone):** Well, that's
16 another issue. Where were these samplers
17 place and why? Was it truly because that's
18 where the people were? I really don't know.
19 It's just an issue.

20 **MR. ROLLINS (by Telephone):** That's what
21 they said in the environmental report. In
22 fact, we produced some of the language at the
23 very beginning of this report.

24 **MS. MUNN:** That would be the logical reason
25 for place them.

1 **DR. MAKHIJANI:** Just one technical point in
2 regard to differences in tests between 1963
3 and 1970 and the post-Baneberry tests is that
4 as I understand it from the Office Technology
5 Assessment report that was done on this and
6 the venting, the test protocols were revised
7 so as reduce the chance of venting because
8 there were a number of major ventings in the
9 early periods.

10 So that in regard to shock waves and
11 any surface effects from post-'70 tests, they
12 may be different in the early tests because
13 the formulae that were used to calculate the
14 depth of tests and the depth of tests were
15 changed so as to reduce the chances of
16 venting. So I think the tests were conducted
17 at greater depth in the post-'71 period.

18 Is that right, Mr. Presley?

19 **MR. PRESLEY:** Yes.

20 **DR. MAURO (by Telephone):** John Mauro, one
21 more question. When these measurements were
22 made of the air concentrations such as the 4.3
23 times ten to the minus three picocuries per
24 cubic meter, did they also -- because I know
25 we used to do this -- also measure the mass?

1 would just be interested in seeing that data
2 if it exists.

3 **MR. ROLLINS (by Telephone):** I can inquire
4 and find out whether or not that type of data
5 would be labeled. I agree with you; it would
6 be very interesting.

7 **DR. ANSPAUGH (by Telephone):** I think that
8 there are research data on, not part of this
9 routine surveillance monitoring, but there are
10 research data on this issue and the long-term
11 average mass loading at the Nevada Test Site
12 is not nearly as high as you might think.
13 It's less than 50 micrograms per cubic meter.

14 **MR. ROLLINS (by Telephone):** Dr. Anspaugh,
15 wasn't the development of your model based on
16 empirical measurements?

17 **DR. ANSPAUGH (by Telephone):** It was based
18 on empirical measurements made not only at the
19 Nevada Test Site but at many location
20 following Chernobyl although at late times.

21 **MR. ROLLINS (by Telephone):** So your data
22 should include some of what John's asking
23 about.

24 **DR. ANSPAUGH (by Telephone):** Well, we have
25 data that looks at mass loading and at the

1 same time Becquerels per cubic meter, yeah.

2 **MR. ROLLINS (by Telephone):** Well, maybe we
3 could ask if you could share some of that with
4 us or point us where we could go find it.

5 **DR. ANSPAUGH (by Telephone):** Okay, it's
6 been, that data's been published, and I can
7 certainly give you some pointers where to find
8 it, sure.

9 **MR. ROLLINS (by Telephone):** Thank you.

10 **MR. PRESLEY:** Gene?

11 **MR. ROLLINS (by Telephone):** Yes, sir.

12 **MR. PRESLEY:** Bob Presley. Do you want to
13 continue?

14 **MR. ROLLINS (by Telephone):** All right. Do
15 you want to move on to the corrections for
16 early fission and activation products?

17 **MR. PRESLEY:** Yes, sir.

18 **MR. ROLLINS (by Telephone):** This part was
19 particularly intriguing to me and the results
20 were interesting. What we did here we took
21 the McArthur data which first of all we
22 corrected it for the refractories. Dr.
23 Anspaugh pointed out that we needed to do
24 that, and so according to the formulas
25 provided by Hicks, I put the refractories back

1 in. They'd been taken out. It would have
2 been appropriate for the offsite to make the
3 data applicable to onsite conditions.

4 What I wanted to do there was 177
5 radionuclides that were calculated as a
6 function of time after detonation, I wanted to
7 see how important each of those would have
8 been to total dose. And to do that I set up a
9 screening spreadsheet that allowed me to do
10 that. And by using the ICRP '68 organ doses,
11 I could determine the relative importance of
12 each of those radionuclides as a function of
13 time after detonation.

14 Now that in and of itself would not
15 have been very helpful unless I had something
16 I could compare it to, and since information
17 was provided for strontium in the Hicks data,
18 and because I've already calculated what the
19 scale intakes of strontium would be -- those
20 were done in the first five sections of the
21 report -- then I could determine what the
22 relative contributions of all the other 176
23 radionuclides would be as it compares to the
24 dose delivered by Strontium-90.

25 If you go to Figure 6-1, the first

1 couple figures in this section, 6-1 and 6-2,
2 6-3 -- I have several datasets that were
3 pretty close to one another back in the middle
4 of 1962, and I wanted to determine which of
5 those would likely be the most claimant
6 favorable. And there's a discussion in there,
7 I won't go into the details, but it appears
8 that Small Boy, if we could use that data to
9 normalize the doses to using the Small Boy
10 data is going to give us the most claimant-
11 favorable doses. And I looked at Little
12 Feller One and Turk in comparison to Small
13 Boy.

14 What we did here, the spreadsheet was
15 developed, and it basically gave me fraction
16 of the total dose provided by Strontium-90 as
17 a function of time after detonation. Now
18 something else that I needed to do because
19 americium -- and these are, these dose
20 factors, multiply the quantity given by Hicks
21 times the dose diversion factor which in this
22 case they have 50-year committed doses.

23 And so for many of these short-lived
24 fission products, the one year annual dose is
25 not remarkably than the 50-year committed

1 dose. But there are some exceptions, and one
2 of them most notably is Americium-241. So one
3 thing I had to do was go in and develop an
4 annual dose for Americium-241 and use that in
5 these calculations because using the 50-year
6 committed skewed everything out.

7 I also did the same thing for
8 strontium because we're using strontium to
9 base everything else on so I wanted to get a
10 good annual dose for strontium. And strontium
11 does linger in some of the organs, and so for
12 some of the organs a 50-year committed is
13 remarkably different than the annual.

14 So I went in and corrected the dose
15 conversion factors, the ICRP, and for those
16 two radionuclides I actually used annual dose
17 conversion factors. And those dose conversion
18 factors are just for Becquerel. So we would
19 take the relative quantity given by Hicks for
20 each of the radionuclides, multiply it times
21 its organ dose conversion factor. And then we
22 would sum all those up and figure out from
23 that how much of the total dose would be
24 provided by Strontium-90.

25 And you can see how those factors

1 change if you go to Figure 6-4 through 6-10.
2 And what I did in each case, and I could group
3 some of these organs together because you can
4 see the way the curves run. Some of them need
5 to be singled out, but basically I wrote an
6 expression for each of these curves and then
7 integrated it from zero to ten years, and I
8 could determine from that the correction
9 factors that I would need to apply to account
10 for all the other radionuclides.

11 **DR. MAKHIJANI:** Gene, just a question. The
12 days after detonation is when the intake
13 occurs? Is that, what does it represent?

14 **MR. ROLLINS (by Telephone):** This really
15 does not have anything to do with intakes.
16 What I'm trying to develop here is an
17 adjustment to take into consideration all
18 those other radionuclides that were providing
19 dose to the various organs.

20 **DR. MAKHIJANI:** Thank you.

21 **MR. ROLLINS (by Telephone):** So this really
22 has nothing to do with intakes. This is just,
23 I'm trying to determine the relative
24 importance of all those other fission and
25 activation products.

1 **DR. MAKHIJANI:** Thank you, yes.

2 **MR. ROLLINS (by Telephone):** Ones that we
3 have not accounted for.

4 **MS. MUNN:** And that really is a key issue,
5 how important are they.

6 **MR. ROLLINS (by Telephone):** Right, and
7 that's one of the things we've been grappling
8 with for awhile here. And it all depends on
9 what organ you're talking about. If you go to
10 Table 6.1, you could see the relative
11 importance. Now these factors that are given
12 in the right-hand column over there, those are
13 the factors that you would multiply the dose
14 from strontium, the dose that a person
15 received from strontium, to get the total
16 dose. So to get the strontium dose, you go
17 back to Section Five, Table 5.1, and you
18 calculate the dose for the strontium intakes
19 provided in Table 5.1. Then you would
20 multiply, depending on which organ, by these
21 values in Table 6.1 to account for all the
22 other fission and activation products.

23 **DR. MAKHIJANI:** Now I'm confused. Won't
24 this correction factor be a time-dependent
25 correction factor? If you look at your chart,

1 there's the --

2 **MR. ROLLINS (by Telephone):** Yes, but I've
3 integrated it over ten years.

4 **DR. MAKHIJANI:** Oh. What happens if
5 somebody just worked for two years?

6 **MR. ROLLINS (by Telephone):** Well, then you
7 give him two years and then you multiply it by
8 these factors in Table 6.1.

9 **DR. MAKHIJANI:** Oh, no, I think your
10 correction factor will vary depending on which
11 two years you integrate it over. At least
12 that's, I may be wrong, but that's just a
13 quick comment. But just looking at that,
14 looking at Figure 6-4, because your fraction
15 of a total dose varies from very small to, you
16 know, you've got fractions of one percent, and
17 then you've got five percent. So those ratios
18 could change by orders of magnitude depending
19 on when you're actually doing the integration.

20 **MR. ROLLINS (by Telephone):** Okay, but at
21 the same time you've got another, this where
22 we come back to John Mauro's concern about the
23 episodic nature. Because if you give a person
24 ten years of intake and multiply it by this
25 factor, say the factor's ten, are you giving

1 one year of intake and multiply it by a
2 hundred, you get the same answer for the one
3 year.

4 **DR. MAKHIJANI:** Well, that's just
5 hypothetical because if you look at 300 days,
6 and you figure 6.4, you've got something like
7 .002. If you look at 3,000 days, you've got
8 something like .03 or .04. And the ratio of
9 that, you know, the answer is going to depend
10 on when you do the integrations. So if
11 somebody worked there for a couple of years,
12 you could have a much lower or much higher
13 correction factor for many of the workers.

14 **MR. ROLLINS (by Telephone):** Yeah, but
15 you're going to have a much lower intake
16 because he's only going to be there for two
17 years.

18 **DR. MAKHIJANI:** Yes, but it's not a given
19 that it would balance out. So this is kind of
20 a revisiting that earlier that you do the
21 integration, the correction factor
22 uncertainties will cancel out. In this
23 particular case I don't believe they would
24 cancel out because if you're dealing with
25 orders of --

1 **MR. ROLLINS (by Telephone):** Okay, in
2 response to your concern we could easily chop
3 this up into one-year increments.

4 **DR. MAKHIJANI:** Just a minute. If you're
5 short-term intakes can overwhelm annual
6 average intakes depending on the nature of the
7 episode. So if you're dealing with three,
8 four, five orders of magnitude, you could have
9 a one-hour intake that's greater than an
10 annual average intake under normal conditions.
11 That's the whole problem with episodic
12 intakes, and this seems to me to be a similar
13 kind of problem.

14 **MR. ROLLINS (by Telephone):** It's exactly
15 the same kind of problem. It's exactly the
16 same kind of problem. But what we're trying
17 to do, what I'm trying to do here is come up
18 with a method that we can approach this in a
19 reasonable fashion. Now we can easily chop
20 this into one-year increments.

21 **MS. MUNN:** Would that resolve your --

22 **DR. MAKHIJANI:** I don't know. I mean, this
23 was just a comment because I couldn't relate
24 constant factors to the variable fraction so
25 I'm not sure. I mean, it may. I'd just have

1 to study this a little bit.

2 **MS. MUNN:** Do you think if you were working,
3 if you were dealing from the year where the
4 empirical data was highest, then you should be
5 able to reasonably bound the dose of an
6 individual for that year. Is that not true?

7 **DR. MAKHIJANI:** Yeah, for that year. I
8 guess we're discussing many different things
9 at once, but this was just a comment on this
10 particular piece as to how you do the
11 integration. The earlier piece of using the
12 1972 value if it is representative of what
13 people were actually breathing, then, of
14 course, if we use that maximum, you'd be
15 claimant favorable.

16 But in that case there's the problem
17 of backward extrapolation into a period when
18 there were no measurements and where the
19 nature of the activities may have been
20 different and the nature of the resuspension
21 landscape would have been different.

22 So I think, you know, as John said in
23 the beginning that we don't have a considered
24 response to this. We just read it, and so
25 we're just asking some questions as to how

1 this was done just to understand it. And my
2 comment about this was simply that the
3 variable nature of the fraction that doesn't
4 correspond to the constant nature of the
5 correction factor, that's all.

6 **MS. MUNN:** But an annual breakout would come
7 closer to what you would anticipate being
8 acceptable?

9 **DR. MAKHIJANI:** Well, as I said, obviously,
10 an annual would be more accurate than doing a
11 ten year integration, but I don't know, I
12 haven't studied this to be able to give you a
13 considered response as to what would work
14 because it depends on going through the whole
15 method.

16 And I think the main job of doing this
17 is being done by John and Bob Barton and Lynn,
18 and I'm kind of just a reviewer in this that I
19 make this comment and that. So I think
20 basically John is going to sign off on this
21 and not me because from the beginning he's
22 been doing this.

23 **MR. ROLLINS (by Telephone):** Keep in mind
24 this time equal zero on this graph is really
25 about July of 1962, and so your point is well

1 taken. The integration over the first ten
2 years into 1972 may not be necessarily
3 claimant favorable for somebody who only
4 worked a couple of years in the middle '60s.
5 But it's going to overestimate for anybody
6 after that.

7 **MS. MUNN:** Yes, we will make a large number
8 of other individuals compensable.

9 **MR. ROLLINS (by Telephone):** Well, that's
10 why I decided it was time to run some numbers
11 and see what kind of dose we're talking about.
12 If you go to Appendix A, I've done some of
13 that. I don't seem to have Appendix A in my
14 copy. I'm going to have to remember that I
15 guess, what I did there.

16 **MS. MUNN:** Don't you have Table A?

17 **MR. ROLLINS (by Telephone):** I've got it
18 around here somewhere. I've just got to
19 locate it now.

20 Yeah, this is the dose from 30 years
21 of intake shown in Table 5-1 with the
22 correction for fission and activation
23 products.

24 **MR. PRESLEY:** Are you talking about Table A-
25 1, Gene?

1 **MR. ROLLINS (by Telephone):** No, yes, it'd
2 be Table A-1. No, Table A-1 is not corrected
3 for fission and activation products.

4 **DR. MAKHIJANI:** There is no other table in
5 the appendix.

6 **MS. MUNN:** But you're saying there should be
7 a table in Section Five?

8 **MR. ROLFES:** Gene, there was a table --

9 **MS. MUNN:** I only have scaled intakes, Table
10 5-1.

11 **MR. ROLLINS (by Telephone):** You don't have
12 an appendix in your copy?

13 **MS. MUNN:** Yeah.

14 **DR. NETON:** We do. There's only one table.

15 **MR. ROLLINS (by Telephone):** And that's
16 Table A-1?

17 **DR. NETON:** Yeah.

18 **MR. PRESLEY:** Goes through '63 to 2003 on
19 the first page and then it's alpha, and then
20 on the second page it picks up at '67 through
21 electrons.

22 **MR. ROLLINS (by Telephone):** These are the
23 doses, and these have been corrected for
24 short-lived fission and activation products
25 using those correction factors that we were

1 just discussing.

2 **MS. MUNN:** And they barely reach a millirem.

3 **MR. ROLLINS (by Telephone):** Correct, and
4 I've only provided those organs that do reach
5 a millirem.

6 **MS. MUNN:** Which indicates a lack of
7 significance essentially.

8 **MR. ELLIOTT:** Inconsequential I think is his
9 finding as he's proposed it, but we'd have to
10 see the rest of it.

11 **MR. ROLLINS (by Telephone):** Well, except
12 for possibly bone surfaces I would agree with
13 you.

14 **DR. MAKHIJANI:** Now this is only, this is
15 not correct. This doesn't include the
16 multiplication.

17 **MR. ROLLINS (by Telephone):** No, this is
18 corrected for fission and activation products
19 and for early resuspension. All the
20 correction factors are in this.

21 **DR. MAKHIJANI:** So the table that we were
22 just looking at, 6.1 was it? Was Table 6.1
23 fission and activation product correction
24 factors in there?

25 **MR. ROLLINS (by Telephone):** Yes, it has

1 been. It's in there. I thought this would be
2 helpful for you to put it in perspective.

3 **DR. NETON:** Gene, it looks like all of the
4 dose is due primarily to the alpha which would
5 be the americium? Because the electron doses
6 are very small.

7 **MR. ROLLINS (by Telephone):** Right, the
8 americium and the plutonium.

9 **MR. PRESLEY:** Hey, Gene?

10 **MR. ROLLINS (by Telephone):** Yes, sir.

11 **MR. PRESLEY:** It's Bob Presley. We quit
12 testing in '91, yet the bone surface data
13 continues to climb through '95, drops off six
14 and seven, and then starts dropping off to
15 2003. Can you explain to me why that climbed
16 after we --

17 **MR. ROLLINS (by Telephone):** Because I
18 postulated that we had 30 years of intake, so
19 the years of intake would be 1963 through
20 1992.

21 **MR. PRESLEY:** Okay, thank you.

22 Anybody have anything else on this
23 one?

24 **DR. ANSPAUGH (by Telephone):** I'd just like
25 to mention that I think Gene is on the right

1 track here, but there are a couple of
2 technical glitches, if you will.

3 **MR. ROLLINS (by Telephone):** Oh, and I
4 forgot to mention, we haven't gone over the
5 ingestion model yet, but that Table 7-1 also
6 includes the ingestion of 100 milligrams of
7 soil per day.

8 **DR. MAURO (by Telephone):** And you use the
9 same basic approach in terms of prorating by
10 radionuclide?

11 **MR. ROLLINS (by Telephone):** Correct, except
12 this time I used --

13 **DR. MAURO (by Telephone):** Except that
14 you're keying in on the --

15 **MR. ROLLINS (by Telephone):** -- ingestion
16 dose factor.

17 **DR. MAURO (by Telephone):** So if we're okay
18 with the inhalation, we should be okay with
19 the ingestion. They're really the same thing.

20 **MR. ROLLINS (by Telephone):** Correct.

21 **DR. ANSPAUGH (by Telephone):** Let me --

22 **MR. ROLLINS (by Telephone):** And I will
23 mention to you that the ingestion at 100
24 milligrams per day, the ingestion dose turns
25 out to be limiting in many cases. I found

1 that an interesting result. And that 100
2 milligrams per day is twice what the EPA
3 recommends, so there's another safety factor
4 there.

5 **MS. MUNN:** So I have, I noted only one
6 action item out of that. Bob, I know that Dr.
7 Anspaugh's going to get data on number of
8 Becquerels per square meter.

9 **MR. ROLLINS (by Telephone):** Actually, dust
10 loading I think is what he's going to help us
11 with.

12 **MS. MUNN:** Right, right, and then it was my
13 understanding that you, Gene, were going to
14 take a look at that and indicate somewhere in
15 the final issuing of the ambient air intakes
16 paper that you have here whether that
17 reference in any way changes your conclusions
18 that you've reached here.

19 **MR. ROLLINS (by Telephone):** To the best of
20 my ability, but I could use some help, Bob.

21 **MS. MUNN:** Did I understand that action item
22 correctly or not?

23 **MR. PRESLEY:** Well, I've got two things so
24 far, dust in the air and we need them to go
25 back and look at mass loading.

1 **MS. MUNN:** Well, I thought that's what the
2 dust in the air was going to do for us.

3 **MR. PRESLEY:** Okay.

4 **DR. ANSPAUGH (by Telephone):** Mr. Presley,
5 if I might, I'd like to just mention that a
6 couple of key issues that I believe need some
7 investigation or consideration. One is that
8 the Nevada Test Site as you've all seen as a
9 nice map has some definite boundaries, but the
10 reality is these boundaries were pretty fuzzy
11 and in 1963, for example, we had some major
12 plutonium dispersal experiments that were just
13 barely offsite. Those are not included in the
14 McArthur and the papers because they were
15 evaluated separately by the Nevada Ecology
16 Group --

17 **MR. PRESLEY:** Can you speak up, please?

18 **DR. ANSPAUGH (by Telephone):** Three of these
19 tests produced plutonium detected offsite,
20 whatever that means. And I think that it's
21 likely that plutonium was also detected onsite
22 in 1963 from these plutonium dispersal
23 experiments. And also, of course, they were
24 NTS workers who participated in these
25 experiments, and this kind of a source term is

1 not considered in this evaluation.

2 The other problem with the source term
3 that at least needs some evaluation is that we
4 have hundreds of the underground tests that
5 vented. And these produced the traditional,
6 largely short-lived source terms that have not
7 been evaluated in this evaluation. And the
8 most dramatic of these was Baneberry in 1970,
9 and this was a particularly difficult
10 situation because people had to be diverted to
11 discard their clothing and take showers. In
12 some cases vehicles were confiscated --

13 **MR. ROLLINS (by Telephone):** And did they
14 participate in bioassay at that time?

15 **MR. PRESLEY:** Yes.

16 **MR. ROLFES:** Yes, they did.

17 **MS. MUNN:** Must have.

18 **DR. ANSPAUGH (by Telephone):** That remains
19 to be seen. I don't know that they did, and I
20 don't know that they didn't, but I think we
21 need some clarification on that.

22 **MR. ROLFES:** Dr. Anspaugh, NIOSH has done
23 some bounding calculations with the bioassay
24 data for the people that were involved in the
25 Baneberry event. We've done some bounding

1 intakes of radioiodines for the people that
2 were directly involved. And so that could be
3 used to bound the environmental intakes for
4 personnel that were not monitored.

5 **DR. MAURO (by Telephone):** Along these lines
6 -- this is John Mauro -- in all of these, this
7 almost goes back to the beginning going full
8 circle, for your intakes that I believe are in
9 Table 2-2, your annual intakes which, of
10 course, are based on this picocuries per cubic
11 meter dust loading that's in Table 2-1, are
12 there any bioassay records at all for any of
13 these time periods for plutonium in urine that
14 can help to demonstrate that, yes, those
15 intakes are, in fact, upper bounds?

16 You know, in the past it's always been
17 very helpful, we know that air sampling has
18 its problems in terms of being representative
19 of what the person actually inhaled. And
20 there's a premise that we're operating on here
21 is that if you take the highest dust loading
22 observed -- this happened to be in Area 9 in
23 1972 -- and assume that everyone gets that all
24 the time, that was certainly on first
25 inspection that absolutely looks reasonable.

1 But if there are bioassay data that go along
2 with these measurements, that would enrich
3 your argument greatly.

4 **MR. ROLLINS (by Telephone):** John, Gene
5 Rollins, my experience doing dose
6 reconstructions is that intakes of the
7 magnitude shown in Table 2-2 could not have
8 been detected.

9 **DR. MAURO (by Telephone):** Oh, I see. Okay,
10 thank you. That answers my question.

11 **MS. MUNN:** And again, we're back to the, so
12 how significant is it.

13 **DR. MAURO (by Telephone):** Let me ask you
14 this then. Yes, so there are bioassay -- let
15 me see, I'm stepping out of the box that I put
16 myself in. There's all this air sampling
17 data. There is a lot of bioassay data. But
18 you're saying there really is no relationship
19 between this model and the people you have
20 bioassay for. In other words I may need a
21 little help here.

22 So we have a number of people that
23 have bioassays for a variety of reasons. And
24 then we have these models, but there is no
25 confluence of the two.

1 **DR. NETON:** John, I think what he's saying
2 is even if we had bioassay samples for these
3 people, the missed dose would be probably
4 almost an order of magnitude higher than what
5 the doses are that were calculated in this
6 table.

7 **DR. MAURO (by Telephone):** So the bioassay
8 data you do have, by and large, you're saying
9 for plutonium for all intents and purposes
10 shows nothing above any detectable levels.

11 **MR. ROLLINS (by Telephone):** Correct.

12 **DR. MAURO (by Telephone):** That's important
13 to know. I didn't know that.

14 **DR. NETON:** But it still would be
15 potentially an order of magnitude higher in
16 its missed dose, so it wouldn't really be
17 informative to say that these calculations
18 were bounding. You know what I'm saying?

19 **MS. MUNN:** The missed dose is bounding.

20 **DR. MAKHIJANI:** Jim, that's the thing that
21 we were discussing yesterday is, is there any
22 way to benchmark this model with individual
23 measurements?

24 **DR. NETON:** Probably not for plutonium
25 anyway.

1 **DR. MAKHIJANI:** I mean, there is a variety
2 of radionuclides here, and they did do
3 bioassay for a number of radionuclides at the
4 Nevada Test Site after '67, right, as I
5 understand it. So we were wondering whether
6 it would really -- there's a lot of constructs
7 in this model, a really very large number,
8 unusual number of constructs that are hung on
9 measurements other than being back
10 extrapolated, and --

11 **MR. ROLLINS (by Telephone):** Let me make an
12 observation here based on my experience doing
13 missed dose calculations, I've done over a
14 thousand dose reconstructions now, the intakes
15 shown in Table 5-1, those typically would not
16 have been detectable either in vitro or in
17 vivo bioassay.

18 **DR. MAKHIJANI:** Well, we understand that. I
19 mean, there are, when you're talking fraction
20 or picocuries for, small fraction or
21 picocuries per cubic meter, you wouldn't get
22 detectable amounts. We're just wondering
23 whether the final result, whether the model
24 can be validated in some way because there are
25 so many layers of assumptions that go into the

1 final result. We understand the final result
2 shows a very low dose, but is there some thing
3 that you can hang your hat on in terms of
4 saying that this final result is reliable
5 given the number of assumptions that have gone
6 into it.

7 **DR. NETON:** I think one thing to point out
8 is the conservatism built at every step along
9 the way, and it tends to hopefully ensure that
10 the model is bounding in that respect. And
11 given that most of the dose from what I see in
12 the final table comes from alpha intakes,
13 those are the ones that you're really going to
14 have to nail.

15 Some fission product measurements that
16 show low values would not necessarily be
17 informative because most of the dose, 90-plus
18 percent of the dose is coming from plutonium
19 and the americium. And as we know, the missed
20 dose from those measurements is quite large.

21 So, I don't know, I think it would be
22 interesting to hear additional perspectives on
23 this, but I think it sounds like for our
24 discussion here that there's a lot of
25 conservatism built in here that maybe needed

1 to be pointed out more directly.

2 **MR. ROLFES:** The data that we can hang our
3 hat on is the air monitoring data that we
4 started with as the basis for this model. And
5 all the hypothetical things that are subject
6 to discussion are the correction factors that
7 we have applied which result in higher doses
8 essentially.

9 **DR. MAKHIJANI:** Yeah, we understand that,
10 obviously, if you use the highest measurement
11 from the highest area that that gives you a
12 large amount of conservatism. But, you know,
13 we've had extended discussions over years
14 about indoor where we had an idea of where the
15 air monitor is, and we had an idea of where
16 the worker is.

17 And the uncertainties involved in even
18 using indoor air monitoring data and the
19 correction factors that need to be
20 incorporated in terms of actual inhalation.
21 And with outdoor air monitoring data not even
22 from the period where we're actually applying
23 it, if that is the base of the calculation
24 model, I mean, I don't know how reliable can
25 be said to be in light of the discussions

1 we've had.

2 **DR. NETON:** I think the key here is to go
3 back to this dust loading comparison because I
4 think I heard something very interesting from
5 Lynn Anspaugh had talked about the long-term
6 average dust loading, I think, is something
7 around 50 micrograms per cubic meter.

8 **DR. MAKHIJANI:** Right.

9 **DR. NETON:** And if you remember the previous
10 model John Mauro pointed out was allowing for
11 5 milligrams per cubic meter. And even under
12 those conditions the doses were very low.

13 **DR. MAKHIJANI:** So why was that abandoned,
14 and we've got back to the first model that's
15 more refined rather than -- if we go back --
16 now I'm remembering that, you know, this was
17 really the initial model proposed by NIOSH
18 that we criticized in the site profile review.
19 And I remember referring to Lynn Anspaugh's
20 paper and saying the way, what the paper says
21 is not the use that has been made of it by
22 NIOSH. When you went to a mass loading model
23 and now we've gone back to square one in a way
24 that's more refined.

25 **DR. NETON:** Gene might be in the best

1 position to answer that.

2 **MR. ROLLINS (by Telephone):** Well, we really
3 haven't gone back -- well, we really have gone
4 back to square one because when I was trying
5 to reconcile the intakes that my effort at a
6 mass loading model with the uncertainty
7 factors were resulting in, I couldn't
8 reconcile those intakes with anything that had
9 been measured out there from orders of
10 magnitude and higher.

11 **DR. MAURO (by Telephone):** I'm not
12 surprised.

13 **MR. ROLLINS (by Telephone):** But I wasn't
14 comfortable going there because you could see
15 these doses can get quite high for certain
16 organs.

17 **DR. NETON:** Right, I (unintelligible) the
18 bones surfaces ended up being the limiting
19 organ --

20 **MS. MUNN:** It appears to be.

21 **DR. NETON:** -- in even the other model, but
22 I don't recall how high they were. I've
23 forgotten now.

24 **MR. ROLLINS (by Telephone):** Well, they
25 would be probably a hundred times higher than

1 what you see in A-1.

2 **MS. MUNN:** But the question still is how
3 significant is that?

4 **DR. MAKHIJANI:** So I'm a little bit puzzled.
5 (Unintelligible) were a hundred times higher,
6 I mean, it would be a dose that may make a
7 difference in a few cases that would be worth
8 calculating, but I don't understand how we
9 went --

10 **MR. ROLLINS (by Telephone):** Every lung
11 cancer and every respiratory cancer would
12 probably be compensable.

13 **DR. NETON:** I'm not sure about that. The
14 lung doses are very small. I mean, you're at
15 five millirem.

16 **MR. ROLLINS (by Telephone):** Well, okay, I
17 take that back.

18 **DR. NETON:** I think the bone surface doses
19 were the ones in my recollection that were
20 pretty high.

21 **MR. ROLLINS (by Telephone):** Yeah, you're
22 right, bone surfaces and --

23 **DR. NETON:** Possibly liver in the later
24 years because you could get up to -- well,
25 you're into three rem range.

1 **MS. MUNN:** That's low.

2 **DR. NETON:** I think somewhere in between
3 maybe it appears --

4 **MR. ROLLINS (by Telephone):** The red bone
5 marrow would go up remarkably, and that means
6 leukemia is --

7 **DR. NETON:** Yeah, leukemias.

8 **MR. ROLLINS (by Telephone):** -- would be
9 compensable.

10 **DR. NETON:** I think it sounds to me that
11 this look-see at the dust loading data that
12 might be available could help bound this
13 model. So almost sort of a hybrid of the
14 first model and this one which is based on
15 resuspension and look at the dust load and see
16 if it makes sense.

17 **DR. MAURO (by Telephone):** This is John
18 Mauro. I'm starting to get a full
19 appreciation of what was done here, and it was
20 quite an undertaking by the way, Gene. I have
21 to commend you for the effort --

22 **MR. ROLLINS (by Telephone):** Thank you.

23 **DR. MAURO (by Telephone):** -- and the making
24 use of the of the vase array of tools and
25 approaches. And what I see here is the rock

1 that you're standing on is this .4131
2 Becquerels, Table 2-1 for Area 9. I guess
3 it's the picocuries per cubic meter, 4.29 ten
4 to the minus three Becquerels per cubic meter.
5 That's the rock you're standing on.

6 And now what happens from there I
7 think the links that occur from there on are
8 all what I would say valid theoretical
9 processes. That is, you go to the Hicks
10 Tables to see the mix of radionuclides. You
11 go back in time, and you correct for the
12 changing resuspension factors. And I
13 understand what you did there, and I certainly
14 we're going to look to Lynn because that is in
15 effect a 3.69 adjustment factor.

16 And each step starting from that rock
17 you're standing on, the plutonium, everything
18 builds from there. And from what I'm hearing
19 all those steps you took from there seem to be
20 within the range of a reasonable strategy
21 that's scientifically valid in the literature
22 in terms of the way in which you applied the
23 Hicks Tables.

24 And then from there, once you know the
25 Hicks Tables, you've got the ratio of

1 radionuclides, and then you have the
2 adjustment factors that I guess keyed back to
3 the Strontium-90. So that everything's really
4 linked to this dust loading and then buying in
5 on the Hicks models, buying in on and making
6 proper use of Lynn Anspaugh's model to take
7 into consideration this change in time and to
8 take into consideration the changing mix of
9 radionuclides as you go back in time and the
10 change in the resuspension factor.

11 Now that being the case you ask
12 yourself, okay, I think I see what we've got
13 here. Is there anything that we can do to
14 validate this. And I think one of the things
15 we talked about is the dust loading. Is any
16 information there that will give us a hook to
17 say, yeah, and understanding where the air
18 samples were taken.

19 In other words the rock you're
20 standing on, that rock has got to be
21 bulletproof. I mean, that's really what it
22 comes down to. The 4.29 minus three needs to
23 be bulletproof for the plutonium in the air
24 at, again, location number nine in 1972. That
25 is, we all have to be confident that, yes,

1 that, in fact, represents a reasonable upper
2 bound on what the dust loading was where
3 people were working were breathing.

4 And there was no situation where the
5 dust loadings could be a prolonged exposure to
6 significantly higher dust loadings could have
7 at all be plausible. I mean, we have to make
8 sure, if we can say that, we've really locked
9 this up.

10 And then, of course, there's the step
11 in terms of the applicability, the way in
12 which you applied Lynn's model seems a little
13 fuzzy right now, and certainly I can talk to
14 Lynn about that and fully appreciate whether
15 that 3.69 is the appropriate value because
16 that used to be a real listing. In other
17 words after you leave the picocuries per cubic
18 meter plutonium, from there on everything else
19 seems to be realistic.

20 That is, all the ratios are based on
21 Hicks which is the real world numbers. There
22 may have been this business of the
23 refractories dropping out. Lynn had pointed
24 out there may be a little error there that we
25 may want to bring up.

1 **MR. ROLLINS (by Telephone):** I put them back
2 in.

3 **DR. MAURO (by Telephone):** Yeah, you did,
4 but I think you have to put more in.

5 Lynn, you explained to me very nicely
6 yesterday why you felt a factor of two wasn't
7 enough.

8 **DR. ANSPAUGH (by Telephone):** Well, what
9 Gene did was multiply by a factor of two which
10 would bring the refractories up to the level
11 that they were even both onsite and offsite.
12 But remember, Hicks dropped them out in order
13 to calculate the refractories offsite. And so
14 the question is where were they, the ones that
15 dropped out. Well, they were onsite. So the
16 correction factor should be more like a factor
17 of three because you have 1.5 onsite and .5
18 offsite.

19 **MR. ROLLINS (by Telephone):** That's a good
20 point, Dr. Anspaugh, and I can easily do that.

21 **DR. ANSPAUGH (by Telephone):** And one other
22 point --

23 **MR. ROLLINS (by Telephone):** I think what
24 you're going to see if you do that though, the
25 doses are actually going to go down.

1 **DR. ANSPAUGH (by Telephone):** That could
2 well be.

3 **MR. ROLLINS (by Telephone):** Because you're
4 working backwards.

5 **DR. ANSPAUGH (by Telephone):** The other
6 problem with strontium, by the way, you're
7 absolutely right. Strontium is a refractory
8 element, but it has two precursors that are
9 not. One's krypton, and one is rubidium. And
10 in Hicks, it allows for the fact that
11 strontium itself was refractory, but its
12 precursors were not. So that correction is a
13 little bit more difficult than indicated.

14 **MR. ROLLINS (by Telephone):** Well, I would
15 certainly be receptive to more defensible
16 methods of putting those refractories back in.
17 If you could provide that support, I'd be most
18 grateful.

19 **DR. ANSPAUGH (by Telephone):** Okay, and
20 also, I'd like to make a few more comments
21 about the mass loading. I can send you some
22 mass loading data which would more or less go
23 with --

24 **DR. WADE:** Could you speak a little closer
25 to the handset, please?

1 **DR. ANSPAUGH (by Telephone):** I can send you
2 some mass loading data and those mass loading
3 data represent ambient conditions at the test
4 site which would go along with the ambient
5 environmental radiation measurements, but
6 neither is going to be representative of the
7 guy driving a bulldozer across the field. So
8 it's important to remember that the mass
9 loading data is what it is, but it doesn't
10 necessarily represent what the person would
11 have experienced in doing soil disturbance.

12 **MR. ROLLINS (by Telephone):** Again, if it's
13 true what I was led to believe and what the
14 environmental reports say that these air
15 sample results are where the people are
16 driving bulldozers.

17 **DR. MAURO (by Telephone):** Yeah, I think
18 it's important that we look, that needs to be
19 really nailed down. Because if the air
20 samples were taken right there in the heart of
21 where the action was, you know, where the
22 people were digging and working at the time
23 they were doing it, well, you certainly have a
24 real strong argument.

25 **MR. ROLLINS (by Telephone):** Well, that's

1 the point that they made. I've talked to the
2 people that were involved in those
3 measurements out there, and that was the whole
4 point of doing it. It doesn't make any sense
5 to pull an air sample that's not
6 representative of what anybody's exposed to.

7 **MS. MUNN:** Well, any Health Physicist I've
8 ever known in my life would want to be taking
9 the measurements where the activity was
10 occurring. They wouldn't take them somewhere
11 else.

12 **DR. NETON:** I think one of the things we
13 need to look at though is if there were
14 continuous, 24-hour-type samples. You have
15 sort of a dilution effect going on where the
16 activity would increase the airborne, but then
17 while the sample's being collected over the
18 next 20 hours, it's collecting somewhat
19 cleaner air. So we need to look at that
20 pretty carefully.

21 **DR. ANSPAUGH (by Telephone):** I do not
22 believe that these samples were taken for
23 radiation protection purposes, but it's
24 important to know exactly why they were taken.

25 **DR. NETON:** Exactly.

1 **MR. CLAWSON:** This is Brad talking again.
2 Something else we need to look at is the very
3 time when these samples started to be taken.
4 As Mr. Presley put it, everything was being
5 watered down, but then they were coming out
6 the next day, and from what I understood from
7 these people, there was contamination there.

8 The one big factor in that is wind,
9 and that was moving tons and tons of soil,
10 topsoil, everything else, and this is what
11 initially started putting them into a lot of
12 these air samples. This air data that came
13 out of that was trying to track what was
14 blowing and what was going on. A lot of it
15 wasn't for protection of the individuals.

16 **DR. MAKHIJANI:** Could I raise a minor
17 question? On page two, the annual breathing
18 rate implying that only about 1.04 cubic
19 meters per hour. That's less than what we
20 normally assume of 1.2, and I wondered why
21 that was done.

22 **MR. ROLLINS (by Telephone):** I can't address
23 that. I've just been, the project as a whole
24 moves 2,600 cubic meters per year. That's a
25 value that we've been using in all these TBDs

1 to my knowledge, and I didn't calculate that.
2 I was handed that.

3 **DR. MAKHIJANI:** Jim?

4 **DR. NETON:** For onsite environmental, which
5 is a little different than onsite, this is
6 sort of like onsite occupational if you want
7 to look at it that way. The environmental one
8 is essentially people walking around the site
9 with light activity.

10 **DR. MAKHIJANI:** Right.

11 **DR. NETON:** But I can see a case could be
12 made in this particular situation that these
13 are really onsite light workers.

14 **DR. MAKHIJANI:** Yeah, we use 1.2 for light
15 activity normally.

16 **DR. NETON:** Yeah, but that's for a worker
17 who was actually physically in a plant doing a
18 job for light activity. Whereas, someone,
19 normally your environmental measurements are
20 people who onsite working but just in the
21 general environs of the plant, maybe
22 administrative personnel and people walking
23 about, that sort of thing.

24 **DR. MAKHIJANI:** Yeah, it just didn't match
25 with what I understand that NIOSH normally

1 does, but --

2 **DR. NETON:** We'll need to take a look at
3 that.

4 **DR. MAKHIJANI:** It's just a minor point.

5 **DR. MAURO (by Telephone):** This is John
6 again. There was, awhile back we talked about
7 something that had to do with, it was some
8 clean up activity at the site prior to the
9 time periods when your air sampling data are
10 here. Is there any reason to believe that the
11 concentrations of radionuclides in the air
12 might have been much higher some time between
13 '63 and '71 because of the clean up that may
14 have taken place at some of these locations,
15 you know, prior to 1971?

16 So therefore, we might be
17 underestimating the exposures. You see,
18 everything's linked to this 1972 number, and
19 if it turns out that that reflects some degree
20 of clean up that had taken place prior to that
21 date, then also the rock doesn't look so good.
22 Is Area 9 one of the areas that were cleaned
23 up?

24 **MR. ROLLINS (by Telephone):** Dr. Anspaugh
25 could probably answer that better than I

1 could.

2 **DR. ANSPAUGH (by Telephone):** I really don't
3 know the answer to that, but the question is
4 answerable by going back to the people in
5 Environmental Management at Nevada Operations,
6 I believe.

7 **MR. PRESLEY:** That ought to be something
8 that would come to light. My -- this is Bob
9 Presley. My recollection, you know, when we
10 got through with something out there,
11 unfortunately, we moved off and left it. And
12 I don't know how much clean up was done in the
13 early days. The clean up that I would be
14 involved with was after '91.

15 Why don't we take a break for about
16 ten, 15 minutes, come back at 15 after 11.

17 **DR. WADE:** We're going to mute the phone
18 now.

19 Now I have given out to Board members
20 and selected others a copy of the TBD on
21 occupation external dose, so now work group
22 members have that.

23 **MR. ELLIOTT:** And that's on our website, and
24 it's not a draft.

25 **DR. WADE:** Does anyone need a copy of the,

1 hard copy of the ambient air intakes, the
2 document we were just discussing?

3 So we'll take our break.

4 (Whereupon, the working group took a break
5 from 11:00 a.m. until 11:23 a.m.)

6 **DR. WADE:** This is the work group with Lew
7 Wade. We're going to start up again. I'd
8 like to make a couple of sort of observations
9 before we begin based upon the talk in the
10 hall here, and I had a very productive
11 discussion with Brad Clawson.

12 But before we begin, Dr. Anspaugh,
13 your comments are most important to us, and
14 we'd like for you to do what you can to
15 project a bit louder in the room here. So I
16 don't know what that means, if you're speaking
17 into a handset or if you're using a speaker
18 phone, but if you could give some thought to
19 how we could hear you more clearly. People
20 are hanging on your words, and they're not
21 getting every word you deliver, okay?

22 **DR. ANSPAUGH (by Telephone):** Okay, I'll do
23 my best.

24 **DR. WADE:** That's pretty good. Shouting is
25 acceptable. But thank you.

1 So let's just take a pause as to where
2 we are. I know that there can be great
3 frustration in meetings like this for a
4 variety of reasons, and let me talk about two
5 or three things.

6 The process is always changing. NIOSH
7 puts out a document, a work group reviews it,
8 asks SC&A for comments, SC&A makes comments,
9 the work group endorses those comments, NIOSH
10 sets off to change the document, and a new
11 document exists. And the timing of that
12 relative to work group meetings, in spite of
13 all of our best efforts, it's hard to control
14 precisely.

15 So I think there are two very
16 important things that can happen at this
17 meeting, and I think they're both happening to
18 a degree. As Jim mentioned, I think it's
19 important that the work group goes through the
20 matrix and, where possible, closes issues or
21 issues very specific instructions as to the
22 next step. And I think there's a lot of that
23 in this matrix that lends itself to that.

24 We have these two big things that have
25 appeared as a result of good scientific

1 process of the work group, and I think it's
2 important that the work group understands
3 what's contained in them, not debate them to
4 closure, and decides if it wants its
5 contractor to look at them. And if you want
6 your contractor to look at them, then it's
7 important that your contractor is able to ask
8 clarifying questions while everybody is
9 together so that they can go back and do a
10 meaningful review. Otherwise, we'll come to
11 the next meeting, those clarifying questions
12 will be asked, and we'll be a step further
13 behind.

14 So that's what's going on here. Two
15 big documents have recently appeared. No
16 one's a bad person because of that. The
17 question is the work group needs to understand
18 it. Your contractor needs to understand it if
19 they're going to be asked to review them, and
20 that's time well spent here. And trying to go
21 to closure for those things in the matrix that
22 are a bit more mature and don't have these big
23 items looming I think is also appropriate.

24 So the ultimate Pollyanna I am, and
25 that is this is good. We're doing the right

1 kinds of things. I appreciate the
2 frustration, and you know, it would be nice if
3 this was perfect, but it's not going to be
4 perfect because we're doing this in real time
5 and things are evolving. And that's the
6 nature of the process we're in, and I think
7 that's okay.

8 So Robert, comment or critique to
9 that? Anybody else?

10 **MR. PRESLEY:** The only comment that I have
11 is the same one that Jim and Wanda and Brad
12 probably had, too, is they would like to see
13 us go through this matrix and say what's
14 complete and what's not complete on it and put
15 that aside. And then come back with some
16 action items for SC&A and CDC or NIOSH, and
17 let's move on with what needs to be done
18 rather than hash this out over and over and
19 over and over again.

20 **MR. ELLIOTT:** If I could add an observation.
21 What I also think is in the balance here on
22 the issue that we just talked about, a
23 component of dose which is actually a very
24 minor component of dose. If you look at the
25 broad spectrum of dose, it gets reconstructed

1 for these claims. And in that I think you
2 have to ask yourselves how much time, effort
3 and resources are we going to expend on
4 researching, analyzing and discussing,
5 debating and attempting to resolve a very
6 minor component of dose that may only affect a
7 limited, very limited, maybe a handful of
8 claims that are best estimate cases?

9 And so we have to take this into
10 consideration in the program with our
11 resources that we have. How far do we pursue
12 something? And so I'd just ask you to think
13 about that in the balance of deliberations.

14 **MR. PRESLEY:** You know, if what we're
15 deliberating about is going to help the total
16 program, or if it's maybe one-tenth of one
17 percent, then is it worth going in and really
18 deliberating this for one-tenth of one percent
19 of outcome?

20 **MR. ELLIOTT:** I don't want to see one
21 claimant not get --

22 **MR. PRESLEY:** No, I don't either.

23 **MR. ELLIOTT:** -- compensated if this is the
24 dose that prevents them from that. But at the
25 same time, we have to make hard decisions in

1 the program about how much effort to extend on
2 a given issue.

3 **MR. PRESLEY:** Thank you, Larry.

4 Lynn?

5 **DR. ANSPAUGH (by Telephone):** Yes.

6 **MR. PRESLEY:** Do you want to continue going
7 through your document?

8 **DR. ANSPAUGH (by Telephone):** I'm not quite
9 sure what you mean by my document.

10 **MR. PRESLEY:** I'm sorry, not Lynn. Gene,
11 Gene Rollins. I'm sorry.

12 **DR. WADE:** Well, I think we've done this.
13 You've had your discussion on this document I
14 think.

15 **MR. PRESLEY:** Are we complete? Everybody
16 satisfied?

17 **DR. ROESSLER:** I think it would be helpful
18 if Gene were to make a concluding remark about
19 the significance of the numbers that are being
20 generated.

21 **MR. PRESLEY:** Did you hear that, Gene?

22 **MR. ROLLINS (by Telephone):** Yes. We didn't
23 go through the ingestion, but that's pretty
24 straightforward. I basically used the,
25 developed the intakes of Becquerels per year

1 ingestion based on the most contaminated area
2 at NTS to assure that we're not
3 underestimating potential ingestion dose.
4 Then I applied the same type of correction
5 factors that I did for the inhalation intakes.

6 And what was interesting to me was
7 that by assuming 100 milligrams per day, in
8 many cases those ingestion doses came up
9 higher than the inhalation doses. But in
10 Table A-1 you see the combination of both
11 ingestion and inhalation with all the
12 correction factors applied. And I did that to
13 help everyone gain perspective as to the
14 magnitude of the doses we are talking about.

15 Having said that I guess that
16 concludes my remarks.

17 **DR. MAURO (by Telephone):** Gene, this is
18 John Mauro. Before we broke, I raised one
19 question that was sort of left on the table,
20 and that is to explore a little bit this idea
21 of whether or not there was some clean up.
22 And I guess that's one area that I think --
23 remember, my main concern is that that one
24 number, the 1972, Area 9, that we're standing
25 on seems to be really important and very good,

1 very good strategy. We have to make sure that
2 it's solid.

3 And one thing that, one issue that I'm
4 concerned with is that this clean up question
5 does not somehow undermine the validity of
6 that number. And we really did not explore
7 that or discuss whether or not there's
8 anything that needs to be done to make sure
9 that the clean-up issues that may have
10 occurred between '63 and '71 somehow doesn't
11 undermine that number.

12 **MR. ROLLINS (by Telephone):** I hear what
13 you're saying, John.

14 **MR. ELLIOTT:** Gene, this is Larry Elliott.
15 Let me answer this. I guess is it a matter of
16 determining if and when the clean up activity
17 occurred? Is that what you're after, John
18 Mauro?

19 **DR. MAURO (by Telephone):** Maybe it's even
20 simpler. I just want to make sure that the
21 fact that there may have been some clean up at
22 some of the locations does not undermine the
23 fact that that number that was selected as the
24 rock we're standing on may not be the
25 reasonable upper bound.

1 In other words, there may have been
2 some -- for example, let's say Area 9 had some
3 clean up in the late 1960s. I'm making this
4 up now. And therefore, the numbers of the
5 1960s for air dust loadings may have been much
6 higher than the number that we're looking at
7 in Table 9. I know that this question has
8 come up before, and that there was some clean
9 up. And it would be nice to put that to bed.

10 **MR. ELLIOTT:** Well, let us take that as a
11 constructive comment. We'll consider it as we
12 move forward with trying to finalize this
13 particular document, and we'll let you know.
14 We'll let the working group know what NIOSH's
15 reaction is, and how we attempt to address
16 this. We'll take it as a constructive
17 comment, and we'll work from there, and get
18 back to you. I'm not ready to commit today
19 that we're going to go try to pursue this to
20 the nth degree. But I want to talk with staff
21 and with Gene about how they feel about this
22 and how solid that number is.

23 **MS. MUNN:** And with respect to the data on
24 when and where the air samples were taken, do
25 we already have that?

1 **MR. ELLIOTT:** I think we need to look into
2 that. I think we need to look into the
3 strategy used to employ collecting air
4 samples. And that needs to be developed, I
5 think, over time, not just looking at a
6 specific year and saying that's the way it was
7 done. And I do believe, Brad pointed out very
8 appropriately that in many instances they were
9 looking at what left the site, not so much as
10 what people were working in on the site.

11 So let's just look at that. And I
12 think we also need to come back with a better
13 understanding about the mass loading effect
14 here. But at the end of the day I still say
15 that, you know, we need to consider this as
16 the component of dose that it is. It's not a
17 huge contributor here. And so in that balance
18 we'll figure out what we're going to do here,
19 and we'll report back to you.

20 **MS. MUNN:** Thank you.

21 **DR. WADE:** Now it would be the pleasure of
22 the work group to go to the matrix and start
23 going through it. You have one other document
24 that is new to you. So it's up to you, Mr.
25 Chairman, how you want to proceed.

1 **MR. PRESLEY:** Do you want to take the time
2 to go through this now or do you want to go to
3 step through the matrix?

4 **MS. MUNN:** Maybe the matrix is something
5 we're more familiar with, but I haven't had a
6 chance to look at this.

7 **MR. PRESLEY:** Why don't we do that?

8 **DR. ROESSLER:** And then if somebody
9 identifies something that will relate to this
10 document, then we can do that next.

11 **DR. NETON:** I think a number of the matrix
12 items indicate that the TBD will be modified.
13 And where that modification has been maybe it
14 can be pointed out.

15 **MATRIX DISCUSSION**

16 **MR. PRESLEY:** I'm just going to start then
17 with comment one. I have that marked from
18 earlier meetings that comment one was complete
19 and that we were going to put the business
20 about the radionuclides to bed.

21 **MR. ROLFES:** Yeah, that's correct. We have
22 incorporated those additional radionuclides
23 into the TBD, and let's see, this is chapter
24 five. We have a drafted version of chapter
25 five that has been sent informally to NIOSH

1 for review, I believe.

2 Gene?

3 **MR. ROLLINS (by Telephone):** Excuse me?

4 **MR. ROLFES:** Gene, this is Mark.

5 **MR. ROLLINS (by Telephone):** Okay, Mark.

6 **MR. ROLFES:** We have incorporated the
7 radionuclide list into the drafted version of
8 chapter five, correct?

9 **MR. ROLLINS (by Telephone):** Correct.

10 **MR. ROLFES:** And that will undergo internal
11 review, and if we have any comments on that,
12 we will provide those to ORAU and the work
13 group. And that should be published shortly
14 after.

15 **DR. WADE:** And the work group will see that,
16 and so the work group can't close this issue
17 until it sees that.

18 **MR. PRESLEY:** Work group will review for
19 completeness. Is that still in the --

20 **MS. MUNN:** Will review chapter five
21 essentially, right?

22 **DR. WADE:** And this is the internal?

23 **MR. ROLLINS (by Telephone):** That chapter
24 has not been signed off to my knowledge.

25 **MR. ROLFES:** Correct, it hasn't been

1 approved by OCAS yes, but I believe Cheryl had
2 provided an informal draft to us.

3 **DR. WADE:** So NIOSH is saying basically it
4 heard the message of the work group, and it
5 has acted consistent with that. It believes
6 it will provide the work group with evidence
7 of that once it's publicly available.

8 **MR. PRESLEY:** Comment two --

9 **MR. ELLIOTT:** Just for clarity here, I hate
10 us to commit to a timeframe, but I think it's
11 that question hanging there. I'll ask it if
12 nobody else is going to ask it. How soon do
13 we expect to see comment resolution done on
14 this and it'll be a final?

15 **MR. ROLFES:** I believe the document was
16 going to be provided to Document Control
17 sometime this week as well, and so it should
18 be approximately two weeks is the normal
19 turnaround time for these.

20 **MR. ELLIOTT:** So what we're talking about
21 here in government-speak, folks, is an
22 informal document draft was sent to us so that
23 we'd have courtesy advance view of it so that
24 we might be able to speak to it in some degree
25 here.

1 A final draft will come forward and
2 get put into our comment resolution process,
3 and that's two weeks to achieve addressing
4 those comments, receiving those comments, and
5 then another two weeks to address the
6 comments. So it's probably two months down
7 the road.

8 **MR. ROLFES:** I'd say that's an upper bounds.
9 It should hopefully be sooner than that.

10 **MR. PRESLEY:** We can say first of October?

11 **MR. ELLIOTT:** We'll strive for that.

12 **MS. MUNN:** Hopefully, we will be able to see
13 it and say something about it at our October
14 meeting.

15 **MR. PRESLEY:** That's what I wanted to do.
16 Let's see, the October meeting is, some of us
17 are going to be out there on the second.

18 **MS. MUNN:** Yeah, some of us will be there
19 afterwards, too.

20 **MR. PRESLEY:** But you know, if you could
21 strive to get it to us a day or two before the
22 meeting, at least where we've got something.

23 **MR. ELLIOTT:** That provides you discomfort,
24 Mark? Gene, do you feel a chain being pulled?
25 Gene?

1 **MR. ROLLINS (by Telephone):** My
2 understanding is one of the things that was
3 holding this up was the resolution of this
4 white paper that we've just finished talking
5 about. Because there are some internal dose
6 implications in this that are touched on in
7 chapter five. And so she was waiting for the
8 outcome of our discussions to put the
9 finishing touches on that.

10 **MR. PRESLEY:** Do we have enough information
11 for you to put the finishing touches on it
12 now? Or do SC&A and NIOSH need to go back and
13 do some discussions and come to some kind of
14 agreement on some of these issues?

15 **MR. ELLIOTT:** Again, I think we're back to
16 what I said earlier. We had very good
17 discussion here today about, we've heard some
18 good constructive comments and input. We need
19 to react to those, address those and tell you
20 how we've done that. I think we should be
21 able to come to you with a finalized document.

22 **DR. WADE:** Again, just being the keeper of
23 the keys here, if this works according to
24 plan, then the work group is likely to get
25 this document the week before the October

1 meeting. And again, you're going to be under,
2 it'll be the same discussion. If we just got
3 this, you're going to have to anticipate that
4 and decide on how you want to hold your
5 discussion. But NIOSH is looking to try to
6 get you something before the October meeting,
7 but I don't hear them getting it to you months
8 before the October meeting.

9 **DR. ROESSLER:** Does that imply that we might
10 have a work group meeting associated with the
11 next Board meeting?

12 **DR. WADE:** I took that from the Chair's
13 comments, but I --

14 **MR. PRESLEY:** Now, we have a, that's
15 something we're going to have to discuss
16 because right now the Procedures Work Group
17 has a meeting before. The Procedures Working
18 Group has a meeting on the second all day
19 long. And that's already tying that up.
20 Where we can get back together in the next two
21 months, whether we're going to have enough
22 information to get back together sometime in
23 the next two months probably will come out of
24 this meeting today.

25 **DR. WADE:** Let's even take a moment and look

1 at the sort of big perspective here. What's
2 going on in the world that you live in is that
3 an SEC petition for the Nevada Test Site
4 underground test phase is working its way to
5 you. When will that petition likely be
6 presented to the Board?

7 **MR. ELLIOTT:** At the October meeting, I
8 believe, is what we're targeting.

9 **DR. WADE:** So at the October meeting the
10 Board will see the underground test phase of
11 Nevada Test Site petition in front of it. At
12 that point the Board is likely to take up a
13 review of that petition evaluation report.
14 It's possible these materials will be germane
15 to that, so you're going to have to start to
16 coordinate. Now, it doesn't seem that the
17 timeframe is unreasonable, but this work
18 group's reports will be quite influential to
19 the Board's deliberations of the SEC petition.

20 **MS. MUNN:** It's also a concern to me that
21 we're developing action items on some of the
22 material that's necessary to be incorporated
23 into chapter five before we can move forward,
24 and it muddies the water.

25 **DR. WADE:** I think sometimes, and maybe this

1 is one of them, we just have to say let NIOSH
2 present its chapter five based upon what it's
3 heard here today in a review able form to the
4 work group. Otherwise, I think we're just
5 getting more and more delay built upon delay.
6 So if Larry's comfortable saying we've heard
7 the discussion as it relates to Gene's
8 document. We will complete our chapter five
9 and share it with you. I think that's the way
10 to go.

11 **MR. PRESLEY:** I have no problem with that
12 whatsoever.

13 **MS. MUNN:** Good.

14 **DR. WADE:** That's one.

15 **MR. PRESLEY:** Next one, comment two, TBD
16 does not provide adequate guidance, for dose
17 estimates to the gonads, skin and
18 gastrointestinal (sic) tracts for early reactor
19 test and re-entry personnel. We talked about
20 hot-particle doses to the skin. I have that
21 also marked complete. You all were going to
22 address that in another document as I
23 understand it.

24 **MR. ROLFES:** Correct. There's certain areas
25 of the Site such as the Nuclear Rocket

1 Development Station where this is a
2 possibility, so we're aware of that. And when
3 we have factual information for a claim, we
4 would adequately, we would assign that dose to
5 that claimant. And we have a path forward for
6 doing that based on information that was
7 suggested to us by SC&A, the NRDL report.

8 The other issue is the science issue
9 of addressing hot-particle exposures, and Jim?

10 **DR. NETON:** Yeah, that's more of a generic
11 issue. I think as Mark says there's two
12 phases here. One is do we, is it appropriate
13 that we address these hot particles at the
14 nuclear test stations. And I think we agree
15 with that. How they're calculated is guidance
16 that needs to be added into the external dose
17 implementation guide, and specifically, that
18 will address using VARSKIN to calculate dose
19 to small areas of skin. I think I addressed
20 this at a meeting several meetings ago where I
21 talked about using the VARSKIN model to do the
22 doses to one square centimeter of skin if
23 that's appropriate.

24 And secondly, the ingestion hot-
25 particle issue, we had researched that and

1 determined that not to be, we would not do our
2 dose calculations any differently for
3 ingestion of a hot particle versus ingestion
4 of any other sized particle. There's just no
5 support for it scientifically at this time
6 that we can find.

7 **DR. MAKHIJANI:** Was there a debate with
8 Joyce around that if I remember?

9 **DR. NETON:** I don't recall that
10 specifically.

11 **DR. MAKHIJANI:** It's been awhile.

12 **DR. NETON:** It's been awhile that we
13 discussed this, and I don't recall, I think
14 Joyce may have suggested that the new GI tract
15 model that's coming out might have some
16 relevance here, but I think my position at
17 that time was it was not available as a
18 standard model so we wouldn't use it until it
19 was official.

20 **DR. MAKHIJANI:** I recall some kind of
21 discussion, but I'm not sure what --

22 **DR. NETON:** But those were sort of separate
23 and apart from this issue here because the
24 NRDL report does have some very good data in
25 there about particle sizes and doses as a

1 result of the fires and reactors.

2 **MS. MUNN:** So my only question is -- I agree
3 with your assessment. Have words been added
4 to chapter five and six to indicate that that
5 has been taken into consideration and that
6 this is the conclusion? That's my only
7 question about the action item. Is it
8 incorporated yet?

9 **MR. ROLFES:** Gene, do you know if this
10 wording was incorporated in the draft? I
11 haven't had the opportunity to review the
12 draft at this time. Gene, do you know if
13 chapter five contains information on the fact
14 that we will not be changing our internal dose
15 calculation methodology?

16 **MR. ROLLINS (by Telephone):** I was told that
17 it was. I'm almost certain. I'm trying to
18 find it right now as I'm going through this
19 thing, but it's --

20 **MR. ELLIOTT:** That'll be one thing for us to
21 check.

22 **DR. NETON:** This is not a draft document by
23 the way. This one is a released, signed
24 document. But there are separate sections in
25 here that address the nuclear reactor

1 personnel. I don't recall the exact wording
2 that went into it, but it addresses several
3 issues. One is planer contamination, and one
4 is worker contamination. Well, we'll have to
5 go through it.

6 **DR. MAKHIJANI:** Yes, the volume six does --
7 I agree, it's as I said. I read parts of it
8 quickly, but it does have new material on this
9 particular question. So to some extent, at
10 least, is responsive to the comment that was
11 made. What's in there we don't have an
12 assessment.

13 **DR. NETON:** And it's true, the working group
14 will review that section for adequacy.

15 **DR. MAURO (by Telephone):** I'm looking at
16 chapter six right now, and I notice on page 36
17 they talk about the nuclear and ramjet engine
18 tests and the different exposure scenarios.
19 And I'm looking for anything related to -- I
20 see beta particle. So, I mean, certainly that
21 is addressed to some degree in that chapter,
22 the new chapter.

23 **MR. PRESLEY:** What I've got down for our
24 action item that the working group will review
25 for completeness, but NIOSH will verify that

1 the information has been added to the TBD. Is
2 that correct?

3 (affirmative responses)

4 **MR. PRESLEY:** Comment three, doses from
5 large, non-respirable particles to the GI
6 tract and skin for workers in the early
7 atmospheric test periods have not been
8 evaluated. And that one I also have marked
9 complete with the fact that the working group
10 needs to go back and look at chapter five and
11 six.

12 **MR. ROLFES:** This is essentially the same
13 issue as number two, and I think we discussed
14 both of those. And I believe it's the same
15 response that we'll just verify that we do, in
16 fact, have the statements to address these
17 findings within our approved technical basis
18 document.

19 **DR. NETON:** One thing that I think I would
20 like to bring up here though is that it's sort
21 of implied here that outside of the nuclear
22 reactor test areas there are the existence of
23 these large hot particles sort of potentially
24 throughout the site. We're not necessarily
25 aware of that condition existing at Nevada

1 Test Site.

2 If SC&A or others could provide
3 evidence or documentation if that's the case,
4 we'd certainly be interested in looking at it.
5 But at this point it's sort of one of those
6 prove a negative issues. Where were these
7 other particles that could have potentially
8 added hot-particle doses? Right now I don't
9 know that we've uncovered any existence of any
10 sort of particles.

11 That being said, however, the same
12 principles do apply. If we become aware
13 through a CATI interview or some other means
14 that there were these isolated pockets, we
15 would certainly address them just as we would
16 do for the nuclear reactor test personnel.

17 **MR. CLAWSON:** I need just a little bit of
18 clarification. This is Brad. On this nuclear
19 test, you're going to be covering all the
20 different tests that went on, but you're also
21 going to be covering the ROVER explosion?

22 **MR. ROLFES:** I'm sorry, did you say the
23 ROVER?

24 **MR. CLAWSON:** ROVER, when they took care of
25 the reactor.

1 **MR. ROLFES:** Sure, ROVER would have been
2 part of the nuclear rocket development
3 station.

4 **MR. CLAWSON:** So it's covered in that?

5 **MR. ROLFES:** Yes.

6 **MR. CLAWSON:** It's not going to be covered
7 as an incident or anything like that.

8 **MR. ROLFES:** Exactly, that would be one of
9 the primary areas where the concern about how
10 critical exposures would be involved. The
11 ROVER test at Area 25 at NRDS, I believe that
12 that was one of the things that was documented
13 in the NRDL report. And so NIOSH is aware of
14 that, and basically, we are going to be
15 considering hot-particle exposures primarily
16 for that location.

17 We don't have any information to
18 indicate that there were hot-particle
19 exposures in other parts of the site at this
20 time. However, if we do have new information
21 that comes available, then at that time we
22 could address those exposures.

23 **MR. PRESLEY:** Something might come out of
24 say where we had a tunnel shot then, or
25 something like that, we might have a hot

1 particle. Somebody might bring that up in an
2 interview or something like that. That's the
3 only place I could see where you might have
4 one.

5 **DR. MAKHIJANI:** Or some, when the people
6 went through the Baneberry cloud by accident,
7 it could have had hot particles. So there's
8 certainly --

9 **DR. NETON:** Some scenarios --

10 **DR. MAKHIJANI:** -- scenarios that you know
11 are plausible for the events that happened
12 there that could result in hot-particle
13 exposure. I haven't come across a document
14 that says here's a person with --

15 **DR. NETON:** Exactly.

16 **DR. MAKHIJANI:** Maybe Lynn has something,
17 some light to shed on this.

18 **MS. MUNN:** Doesn't sound like it.

19 **MR. ROLFES:** The large hot-particle issue as
20 Jim mentioned is not going to be a
21 considerable internal dose issue for us.
22 However, for external dose it could be
23 significant for the skin, and still that's
24 going to have a very limited scope because
25 it's not going to significantly affect doses

1 to other organs besides the skin. So, at
2 least I'm not aware of any significance for
3 other organs.

4 **DR. MAKHIJANI:** It's important for a common
5 cancer.

6 **MR. ROLFES:** Important for a skin cancer
7 possibly.

8 **DR. MAKHIJANI:** Very common cancer.

9 **MS. MUNN:** I think you just answered comment
10 four.

11 **MR. PRESLEY:** Yes.

12 **MS. MUNN:** So it should be in there, word
13 should be there.

14 **DR. MAKHIJANI:** Comment four was oronasal
15 breathing.

16 **DR. NETON:** Well, I think this is sort of a
17 confusing comment to me because it talks about
18 oronasal breathing, but then it talks about
19 actually hot particles and ingestion due to
20 the impaction of a particle and then
21 swallowing it. But outside of the nuclear
22 reactor test personnel, which we agree we're
23 going to cover using the NRDL -- I don't want
24 to say methodology, but approaches or data,
25 it's not clear to us that there are other hot

1 particles that are going to contribute
2 significantly to the dose. We've just gone
3 through Gene's bounding attempt here at
4 internal doses from resuspension, and they're
5 very small. So whether that particle is large
6 or small, it's a small dose.

7 **DR. MAKHIJANI:** This has nothing to do with
8 resuspension. This would be an initial,
9 initial deposition.

10 **DR. NETON:** Right. Again, a similar
11 argument, it certainly applies to the nuclear
12 test personnel, but the general workers at the
13 site outside of a few isolated pockets would
14 not be affected by this to our knowledge.

15 **MR. PRESLEY:** Four, I've got, it's noted in
16 here that this is would come out in a complex-
17 wide guidance.

18 **DR. NETON:** Well, again, this is a slightly
19 different issue though. Oronasal breathing
20 has to do with apportionment of a general dose
21 from a person breathing through their mouth
22 versus breathing through their nose and
23 supplementing with their mouth. That's a
24 generic issue that we're addressing, and that
25 issue has been resolved, addressed by us in

1 draft form at least. I'll more than likely be
2 presenting that at the next Advisory Board
3 meeting on that issue. But that really is
4 not, oronasal breathing happened to be in the
5 sentence here or this comment. But it's
6 really sort of an ancillary --

7 **DR. MAKHIJANI:** You're right, you know. I
8 wrote those words, and I think I didn't use
9 the felicitous phrase that what was meant
10 here, because we're dealing actually with non-
11 respirable particles. And so I think I
12 should, looking back on it I should have used
13 different words. It's really swallowing of
14 non-respirable products --

15 **DR. NETON:** That's exactly it.

16 **DR. MAKHIJANI:** -- is what it should say.
17 And so let me make a correction to the
18 original words.

19 **MS. MUNN:** Let's use your felicitous
20 language.

21 **DR. MAKHIJANI:** Swallowing of non-respirable
22 particles. I put in the correct.

23 **DR. NETON:** Then I think we remove the fact
24 that this is addressed on a project because
25 it's really not. It's a unique issue related

1 to the swallowing of non-, large non-
2 respirable products.

3 **DR. MAKHIJANI:** That's what I meant.

4 **DR. NETON:** And we agree that we will deal
5 with that as part of the NRDL report language.

6 **DR. MAKHIJANI:** Sorry about that.

7 **MR. ELLIOTT:** Thank you for that
8 clarification.

9 **DR. MAKHIJANI:** Yeah, I know. It suddenly
10 struck me just listening to Jim that it's not
11 used the right words.

12 **MR. PRESLEY:** But still we want to have a
13 presentation on that at the next meeting.

14 **DR. NETON:** Well, oronasal breathing, but it
15 really is not necessarily related to this
16 comment.

17 **DR. MAKHIJANI:** So this will be in your
18 volume five of the internal, this will be
19 addressed in the volume five revision of the
20 internal dose. But this is a site-specific
21 issue.

22 **DR. NETON:** Yes, this is a site-specific
23 issue at this point.

24 **MR. ROLFES:** Ingestion of particles will be
25 addressed in chapter five.

1 **MR. PRESLEY:** Comment five, resuspension.

2 **DR. NETON:** This is all related to Gene's --

3 **MS. MUNN:** Resuspension model, mass loading
4 approach. This is all what we've just been
5 talking about this morning.

6 **MR. PRESLEY:** My comment on that is we're
7 going to address it today.

8 **MS. MUNN:** We have three action items to
9 close it, right?

10 **MR. PRESLEY:** Let's see. I have two action
11 items, mass loading and dust sampling. That's
12 all going to be rolled into one. Larry's
13 going to look into the problem and get back to
14 us on clean up of Area 9.

15 **MS. MUNN:** And where and when the air
16 samples were taken.

17 **DR. ROESSLER:** Why.

18 **DR. WADE:** And why.

19 **MS. MUNN:** Where, when, what.

20 **DR. NETON:** I'll assign that to NIOSH staff
21 and not Larry.

22 **MR. PRESLEY:** Why don't I put down NIOSH?

23 **MS. MUNN:** As we requested, NIOSH.

24 **MR. PRESLEY:** And then when and where the
25 air samples were taken?

1 **DR. ROESSLER:** Why.

2 **MR. PRESLEY:** Where, when and why.

3 **DR. ROESSLER:** I think we know when, but
4 verify it.

5 **MR. PRESLEY:** Air samples were taken. Looks
6 like three action items.

7 **MR. CLAWSON:** What were they again? I want
8 to get them.

9 **MS. MUNN:** No, it was all, Dr. Anspaugh was
10 going to get the data on dust loading.

11 **MR. PRESLEY:** He's supposed to get back with
12 Mark on the data.

13 **MS. MUNN:** Back to Mark and Rollins. And
14 Rollins will include his conclusions in the
15 reference to the final ambient intake.

16 **MR. CLAWSON:** Review this document after
17 they've got that all down?

18 **MR. PRESLEY:** We need to.

19 **MS. MUNN:** They'll let us know when it's
20 there, and then we have to ask NIOSH.

21 **DR. WADE:** Then the document will be ready
22 for review.

23 **MR. PRESLEY:** I put down that working group
24 will review for completeness. How's that?

25 **MS. MUNN:** Uh-huh.

1 **MR. PRESLEY:** Okay, comment six, the use of
2 site average air concentration values where
3 worker location is not known, and there was a
4 comment there about claimant favorability.
5 And I also marked that complete.

6 **MR. ROLFES:** This is also no longer really
7 an issue because we're using the highest
8 documented air concentration.

9 **DR. MAKHIJANI:** This, yeah, this relates to
10 the same paper.

11 **MR. ROLFES:** Exactly.

12 **MR. PRESLEY:** Okay, comment seven, again,
13 resuspension dose to monitored workers,
14 especially in the early years. I've got that
15 marked complete with a question mark. We have
16 added neptunium. I have a note on here that
17 we want to add a couple of radionuclides.

18 **MR. ROLFES:** Correct. NIOSH has
19 incorporated those two additional
20 radionuclides into the draft of chapter five,
21 and that will be a revised, the revision will
22 be approved shortly, I believe. We also did
23 make a note in there that Sodium-24 was
24 potentially important to internal dose during
25 the re-entry the first two weeks after an

1 event.

2 **MR. PRESLEY:** Is this going to be done under
3 chapter four or chapter five?

4 **MS. MUNN:** Chapter five.

5 **MR. ROLFES:** This will be chapter five.
6 Gene?

7 **MR. ROLLINS (by Telephone):** We've
8 specifically talking about the potential
9 contributions from Sodium-24 and Neptunium-
10 239?

11 **MR. ROLFES:** Correct.

12 **MS. MUNN:** Yes.

13 **MR. ROLLINS (by Telephone):** When I ran the
14 calculations in section six of my paper, what
15 they indicated was that in the first maybe
16 several weeks after detonation Sodium-24 did
17 play a relatively important role. As I
18 recall, it may have been in the 15 to 20
19 percent of the total dose, but its importance
20 diminished pretty quickly. But Neptune-239
21 did not contribute anything significant to the
22 dose.

23 **DR. MAKHIJANI:** Just so I'm understanding,
24 the comment was about re-entry workers in the
25 tunnels. This is no longer an outdoor

1 environment, you know, resuspension. We're
2 talking about resuspension in an inside tunnel
3 environment. We're not talking about what's
4 covered in the white paper that we've been
5 talking about this morning. This is a
6 different issue.

7 **MR. CLAWSON:** This was the tunnel workers.

8 **DR. MAKHIJANI:** Yes.

9 **MR. ROLLINS (by Telephone):** My information
10 that I have learned about the tunnels at NTS,
11 I've never been in a tunnel at NTS. I have
12 been in tunnels at Yucca Mountain. And my
13 experience is unless there's a great deal of
14 ventilation involved, those are wet
15 environments. Water actually drips onto you
16 from the top of the tunnel.

17 **MR. ELLIOTT:** No.

18 **MR. CLAWSON:** No, it's very, very dry.

19 **MR. PRESLEY:** Yeah, super dry.

20 **MR. ROLLINS (by Telephone):** Well, at NTS
21 it's not. The alcoves at NTS are dripping
22 water.

23 **DR. MAKHIJANI:** You mean Yucca Mountain.

24 **MS. MUNN:** You mean Yucca Mountain.

25 **MR. ROLLINS (by Telephone):** Yucca Mountain,

1 correct.

2 **MS. MUNN:** Yeah, that's true, but at NTS it
3 certainly looks different now. It looks very
4 dry, and I've been both places, too, Gene.
5 Yeah, they're very different.

6 **DR. ANSPAUGH (by Telephone):** When the
7 tunnels were operating at NTS, they went to a
8 great deal of effort to get the water out of
9 there. In fact, the tunnels themselves were
10 quite dry.

11 **MR. PRESLEY:** That's correct.

12 **DR. ANSPAUGH (by Telephone):** They tended to
13 get quite contaminated because some shots
14 vented and contaminated the tunnel while they
15 were trying to drill a new drift for the next
16 test.

17 **MR. CLAWSON:** This is Brad. This is also
18 when they came into the ponds that were
19 outside of the tunnels, and the contamination
20 was coming from that.

21 **DR. NETON:** It seems to me that if anyone
22 that was monitored for bioassay samples, were
23 the tunnel workers. Is that not right?

24 **MS. MUNN:** I would think so.

25 **DR. NETON:** I knew we had plenty of tritium

1 data on tunnel workers, lots of it.

2 **MR. ROLFES:** The great majority of the data
3 that we do have were tunnel workers.

4 **DR. NETON:** So I think this is a case where
5 we could do some evaluation using bioassay
6 data to help establish bounds, verify,
7 validate, whatever the words are.

8 **DR. MAKHIJANI:** I just wanted to point out
9 that this, we're no longer talking about the
10 white paper, and to make sure that --

11 **DR. NETON:** Good point.

12 **DR. MAKHIJANI:** -- there's not a confusion
13 about what we're doing.

14 **MS. MUNN:** Yes, it's a different thing and
15 requires different words.

16 **DR. NETON:** I think we probably need to go
17 back and look at that in light of that and
18 look at the bioassay records that may be
19 available to help bound that. I know there's
20 lots of tritium data, and I'm sure at least
21 some data for other -- keeping in mind that
22 this is all after 63 years which is when
23 bioassay started.

24 **MS. MUNN:** Still appropriate for that to be
25 in chapter five or was the original notation

1 about being in chapter four?

2 **MR. ROLFES:** The comment initially from SC&A
3 was that the TBD does not specify procedures
4 for estimating environmental internal doses in
5 such cases. So it appears that we addressed
6 it as an environment internal dose issue
7 addressed by the white paper that was
8 assembled by Gene.

9 Gene, do you know if there's any
10 indication or any discussion of this issue
11 within chapter five in the internal dose
12 section?

13 **MR. ROLLINS (by Telephone):** No, I don't
14 know. I was just trying to think through this
15 for a moment.

16 **MR. ELLIOTT:** Well, we'll take it up, and
17 we'll look at it in chapter five and make sure
18 that, in light of Arjun's correction here for
19 us, if we do address it properly.

20 **MS. MUNN:** Well, chapter five's the right
21 place for it.

22 **MR. PRESLEY:** Okay, I've got this marked
23 NIOSH will look at the data after 1963 for
24 bioassay and --

25 **MS. MUNN:** Correct chapter five accordingly.

1 **MR. PRESLEY:** Okay. Moving right along,
2 comment eight, use of 1967 external dose data
3 for 1963 through '66 is not, was not claimant
4 favorable. I've got that marked complete that
5 guidance would be added to chapter six.

6 **DR. MAKHIJANI:** I didn't get that far in
7 volume six, I guess, Mark.

8 **MR. ROLFES:** What we have done is
9 incorporated -- let's see. Everybody was
10 monitored after 1957 at Nevada Test Site by
11 the universal badging and dosimetry program.
12 If there is an issue, it appears that the
13 external dose data for an individual for 1963
14 to 1966 is inadequate for dose reconstruction.
15 What we would do is use the coworker doses to
16 assign dose to that person. And we've
17 incorporated a coworker dose table into
18 chapter six into the external dose technical
19 basis document which we do have copies in
20 front of us now, I believe.

21 **DR. MAKHIJANI:** So you're not back
22 extrapolating anymore?

23 **MR. ROLFES:** No, we have measured dosimetry
24 information.

25 **MR. PRESLEY:** So we can mark comment eight

1 complete to be reviewed by the working group.

2 **DR. NETON:** I think what we might want to do
3 is identify somehow in the document which
4 sections pertain to which response.

5 **MR. PRESLEY:** Boy, that would really help.

6 **DR. NETON:** It would help facilitate --

7 **MR. ELLIOTT:** Doesn't this response number
8 eight do that, 6.3.2.1.5.3.1?

9 **DR. NETON:** Yeah, well, this particular one
10 does.

11 **MR. ELLIOTT:** How much more specific do we
12 need to get here?

13 **MR. PRESLEY:** You can do it do all of them.

14 **MR. ELLIOTT:** I see, okay, I got the point.

15 **MS. HOWELL:** I thought that was somebody's
16 social or something.

17 **DR. NETON:** I sort of envision like a little
18 yellow highlight.

19 **MR. ELLIOTT:** Oh, we could highlight.

20 **DR. WADE:** Everybody's doing the right
21 thing.

22 **MS. MUNN:** You only have seven points.

23 **DR. NETON:** And it overlaps quite a bit.

24 **MR. PRESLEY:** Okay, comment nine, lack of
25 environmental external dose data for '68

1 through '76. We had that marked see response
2 eight, and I had that complete a long time
3 ago. Anybody have a problem with that?

4 **MS. MUNN:** Nope.

5 **MR. PRESLEY:** Nine, the TBD does not provide
6 any data pre-'63 external environmental dose.

7 **MR. ELLIOTT:** This is ten.

8 **MR. PRESLEY:** I mean, this is ten. I'm
9 sorry. I have that marked also complete.
10 Somebody has gone in and added a statement
11 down here at the bottom for unmonitored
12 workers badged in April 1957. And then
13 coworker external dose information has been
14 added to the TBD. TBD page change approved
15 1/11/07. We have that marked complete.
16 Anybody have a problem? We will review that
17 when it comes down.

18 **MR. CLAWSON:** Bob, when you say complete
19 then the work group still needs to review --

20 **MR. PRESLEY:** I've got it in red here that
21 the work group needs to do reviews, and NIOSH
22 will mark the appropriate sections we need to
23 review in this document.

24 **MR. ROLLINS (by Telephone):** If you want to
25 make a mark, that's section 6.4.1.2, Table 6-

1 11.

2 **MR. ELLIOTT:** We'll just see that it gets
3 added to the matrix.

4 **MR. PRESLEY:** Thank you, that will help.
5 Use anything but red, green or purple. That's
6 what everybody else is using.

7 Comment 11 is a correction factor for
8 external environmental dose due to the
9 geometry of organ relative to badges and the
10 angle (sic) of the dose.

11 **MR. ROLFES:** Now, awhile back we did prepare
12 some various dose correction factors for
13 external environmental dose, and what we
14 determined is that all those factors were, in
15 fact, less than one or less than the actual
16 dose conversion factor that we use in dose
17 reconstructions.

18 And so we didn't think it would be
19 claimant favorable to use a lower dose
20 conversion factor. So we basically are not
21 going to be using the environmental external
22 dose conversion factors in dose
23 reconstructions.

24 **MR. PRESLEY:** Is that going to be addressed
25 in chapter five or --

1 **MR. ROLFES:** I don't think it warrants an
2 update to the TBD.

3 **MR. PRESLEY:** So just no change?

4 **MR. ROLFES:** Exactly.

5 **MR. PRESLEY:** We don't need to do any
6 review?

7 **DR. NETON:** It does say this guidance has
8 been added to the TBD.

9 **MR. PRESLEY:** Category.

10 **DR. MAKHIJANI:** So this should be in the --

11 **DR. NETON:** That's what it says, yeah.

12 **MR. PRESLEY:** Just it ought to be in this
13 right here?

14 **DR. NETON:** Included in Attachment C.

15 **MR. PRESLEY:** We'll need to talk about that
16 at the meeting down the road.

17 **MR. ELLIOTT:** It's just not an artifact,
18 that sense is it, Mark? I mean, it kind of
19 seems contradictory to --

20 **MR. ROLFES:** Sure. I guess since SC&A asked
21 us to do this, I believe they asked us to
22 document it. And I believe since the work was
23 done it may, if it, in fact, was incorporated
24 into the TBD, it may have just been done to
25 put this issue, to address this issue.

1 Gene?

2 (no response)

3 **MR. ROLFES:** Gene?

4 **MR. ROLLINS (by Telephone):** Yes.

5 **MR. ROLFES:** Do you know if the dose
6 conversion factors that were calculated by
7 Rich were incorporated?

8 **MR. ROLLINS (by Telephone):** That discussion
9 has been added.

10 **MR. ROLFES:** So we didn't incorporate the
11 actual dose conversion factors, but we
12 document it in the site profile that the dose
13 conversion factors were, in fact, less than
14 one for the actual dose conversion factor that
15 we would use from our implementation guide.
16 Is that --

17 **MR. ROLLINS (by Telephone):** Correct.

18 **DR. MAKHIJANI:** This is not Attachment C.
19 Attachment C is something else, beta photon
20 ratio estimate. I think that you must have
21 changed where you decided to put it. So
22 what's in the response in the matrix, I think
23 it's some place else in this revision.

24 **MR. ELLIOTT:** I agree. I think we need to
25 correct our response in this matrix and

1 provide the exact location of the guidance
2 that's given in the document.

3 **MR. ROLFES:** This was from a previous
4 meeting, and we had several attachments that
5 we had for discussion. So the attachment is
6 probably incorrect, and it's not referring to
7 the approved technical basis document now.

8 **MR. ROLLINS (by Telephone):** It's actually
9 in 6.4.1.6 now.

10 **DR. ROESSLER:** What page?

11 **MR. ROLLINS (by Telephone):** Forty-four
12 depending on how your machine paginates.

13 **MS. MUNN:** Yeah, it's 44.

14 **MR. PRESLEY:** It's not in a TBD right now.

15 **MS. MUNN:** No.

16 **DR. NETON:** Well, it is on page 45,
17 correction factors for external environmental
18 dose. It's discussed in there. And
19 essentially the language in the comment
20 resolution matrix is lifted right out of this
21 write up.

22 **MS. MUNN:** Operation dependent photon
23 fractions.

24 **DR. NETON:** I think it's the same issue the
25 working group to review for.

1 **MR. ROLFES:** Yeah, on page 46 as Jim has
2 indicated it says that the results of these
3 calculations show that the correction factors
4 for external exposure from environmental
5 radiation fields found at the Nevada Test Site
6 are not significantly different from unity, or
7 one, for most organs. These values are less
8 than one. The new DCFs would not have a
9 significant impact.

10 **MR. PRESLEY:** Mark this one complete.

11 Response 12 has to do with radon dose
12 in G-tunnel are not claimant favorable so it
13 has to do with Gravel Gerties' radon dose.
14 And I marked this complete a long time ago
15 because we went back and discussed it, the use
16 of the Gravel Gerties. Any anybody have
17 anything else on that, Mark, with regard --

18 **MS. MUNN:** Did those words go in with
19 respect to the non-use of the Gravel Gerties.

20 **MR. PRESLEY:** They were going into chapter
21 four.

22 **DR. MAKHIJANI:** Volume four is also being
23 revised and we'll see one or --

24 **MR. ROLFES:** If it's not currently in there,
25 we will make sure that it is put in there as

1 well.

2 **MR. PRESLEY:** I'm going to mark this then
3 the working group will review that you'll give
4 us a copy.

5 **MS. MUNN:** It almost seems that that last
6 paragraph that's been added to the response
7 here is almost --

8 **MR. PRESLEY:** And we're going to mark that
9 complete.

10 **MR. ELLIOTT:** I'm sorry. I'm lost. Did we
11 put some guidance in chapter four to this
12 effect that it's --

13 **DR. NETON:** We don't know. They're still in
14 draft form. When we issue it, we'll make sure
15 it's --

16 **MR. ELLIOTT:** Okay, chapter four is still in
17 draft, okay. So the working group is going to
18 review that.

19 **DR. NETON:** We'll make sure when it comes
20 out that it's in there. Point out somehow
21 where it is.

22 **MR. PRESLEY:** Review. NIOSH will provide a
23 copy of the document. Everybody agree to
24 that?

25 (affirmative responses)

1 **MR. PRESLEY:** Comment 13 has to do with
2 environmental dose due to Iodine-131 venting.
3 It needs to be taken into account of non-
4 monitored workers. And I have that marked
5 complete with a bunch of question marks. Did
6 you all get your results as provided? Does
7 everybody have --

8 **MR. ROLLINS (by Telephone):** The results of
9 the sample calculations that I think we
10 discussed last time?

11 **MR. PRESLEY:** Yes, sir.

12 **MR. ROLLINS (by Telephone):** That's been
13 added to chapter five.

14 **MR. PRESLEY:** Okay, so we need to mark that
15 and review it.

16 Fourteen, there are no internal
17 monitoring data until late 1955 or 1956, some
18 plutonium from then on, some tritium, mixed
19 fission products. I have this marked as
20 complete, and Mark has added a note here that
21 the TBD team will evaluate the issue on
22 conjunction with the model identified in
23 response five, the resuspension model.

24 **MS. MUNN:** That's what we just worked on
25 this morning.

1 **MR. PRESLEY:** So there again it should come
2 to us for review and that ought to be
3 complete.

4 **DR. NETON:** It does point out in here that
5 prior to '63 the SEC was granted because of
6 the lack internal data. So we're really
7 focusing here on '63 through '67.

8 **MS. MUNN:** Right.

9 **MR. PRESLEY:** Fifteen has to do with
10 resuspension of radionuclides by the blast
11 wave, and I have it was. And as I see it that
12 would be complete, and we need to review after
13 you all have had your chance to go back
14 through the data. Is that correct?

15 **DR. MAKHIJANI:** Most of this is actually not
16 germane anymore because of the SEC
17 designation.

18 **MR. PRESLEY:** So that had to do with what?
19 Sixteen, use of photon dose that was
20 done by DTRA. That was the basis for our
21 estimating internal dose, where there are no
22 data. I've got that marked as addressed
23 today.

24 **DR. ROESSLER:** We did that a long time ago.

25 **MS. MUNN:** Yeah, it's done.

1 **MR. PRESLEY:** Mark complete?

2 **MR. ROLFES:** This initial comment, I
3 believe, was for the atmospheric time period,
4 and during the earlier '63 as we said we now
5 have an SEC designated for those workers
6 because of the lack of internal exposure
7 information.

8 **MR. PRESLEY:** I've got that marked complete.
9 Seventeen, ingestion doses need to be
10 better evaluated, and that was covered. It
11 was complete.

12 **DR. MAKHIJANI:** This maybe a little bit
13 different than -- oh, no, I'm sorry. I take
14 that back. The only point here that you
15 separately submitted review of TIB-0018 to
16 you. I was not involved in that, and I
17 actually haven't read our review. That's on a
18 separate track.

19 John?

20 **DR. MAURO (by Telephone):** Yeah, I guess I'm
21 a little bit confused here. Ingestion doses,
22 as I understand it, is very much part of
23 Gene's most recent report and --

24 **DR. MAKHIJANI:** It is, but to the extent
25 that --

1 **DR. MAURO (by Telephone):** -- and in effect
2 that's the proposed remedy.

3 **DR. MAKHIJANI:** Right, but --

4 **DR. MAURO (by Telephone):** And that remedy
5 is subject to review and approval by the
6 Board. The fact that we have -- now reference
7 here is made to OTIB-0018, I don't think that
8 no longer has any standing. Is that correct?

9 **DR. MAKHIJANI:** That's what I'm confused by.
10 I don't know, since I wasn't involved with
11 that, I don't know what, you know, whether
12 that belongs here or not. You're more
13 familiar with it than I am.

14 **MS. MUNN:** I think that the appropriate word
15 used earlier was artifact, isn't it, from when
16 we first started this matrix where we were
17 then as opposed to documents that have been
18 issued specifically for NTS since then.

19 **DR. MAKHIJANI:** And that's fine. I mean, I
20 just, then OTIB-0018 should be removed --

21 **MS. MUNN:** Yeah, I think so.

22 **DR. MAKHIJANI:** -- from here. It's not
23 relevant.

24 **MS. MUNN:** I think so.

25 **DR. MAKHIJANI:** I mean, I'm not, I haven't

1 dealt with it so I just don't know.

2 **MR. PRESLEY:** So we need to take that out,
3 and I've marked this complete. This is going
4 to be discussed again through comment five's
5 discussion, and it should be added.

6 Okay, 18, recommended use of ORAU,
7 Technical Basis Document 0-0-0-2 for post-1971
8 tunnel re-entry workers. And I have that
9 marked complete. That's been done.

10 **DR. MAKHIJANI:** This TBD work is for volume
11 five?

12 **MR. ROLFES:** I have a note in here that says
13 that we have stated -- let's see, the
14 limitations of the application within section
15 six of the document. And, let's see, I'm not
16 --

17 **DR. MAKHIJANI:** Section six of OTIB-0002.

18 **MR. ROLFES:** Yes.

19 **DR. MAKHIJANI:** Yeah, so we agreed, I think,
20 that that was not applicable to the tunnel re-
21 entry workers, right?

22 **MR. ROLFES:** Yes, and I believe that we have
23 alternate approaches such as OTIB-0018 that we
24 would use rather than OTIB-0002.

25 **DR. MAKHIJANI:** Yeah, so, I mean, is that

1 specified somewhere? So I'm a little puzzled
2 that says TBD work completed. But I would
3 imagine that this would go in your volume five
4 revision which is still in draft.

5 **MR. ROLFES:** Sure, it has been drafted.

6 Gene?

7 **MR. ROLLINS (by Telephone):** The original
8 problem with that was that OTIB-0002 was being
9 used where, in situations where the OTIB
10 itself prohibited its use. And so the fix for
11 that was to reiterate within chapter five to
12 be diligent in the application of OTIB-0002.
13 And the limitations are spelled out, and we
14 took the limitations that were in OTIB-0002
15 and specifically put them into chapter five.

16 **MS. MUNN:** It says revised guidance to
17 observe limitations has been included.

18 **MR. PRESLEY:** I've got down here it's been
19 included in chapter five, and we will review
20 it.

21 **DR. MAURO (by Telephone):** This is John
22 Mauro. Just for my own edification, from our
23 previous discussions my understanding was that
24 the primary approach for reconstructing
25 internal doses to workers involved with tunnel

1 entry is based on bioassay data as opposed to,
2 say, some generic OTIB? Am I correct in that
3 assumption?

4 **DR. NETON:** Well, we have bioassay data,
5 yes.

6 **DR. MAURO (by Telephone):** Right, and where
7 you don't have bioassay data the approach
8 might use OTIB-0002?

9 **MR. ROLLINS (by Telephone):** Well, that's
10 correct, but OTIB-0002 was an efficiency
11 method that we developed early on.

12 **DR. MAURO (by Telephone):** Yeah, I recall
13 it, and that was for, if I remember, wasn't
14 that placing upper bounds of denial?

15 **DR. NETON:** Right.

16 **MR. ROLLINS (by Telephone):** Right.

17 **DR. MAURO (by Telephone):** Now so I guess my
18 question, you know, it's probably because I
19 haven't read these things in awhile, so for
20 tunnel entry workers who may have an internal
21 exposure, there is at least some bioassay data
22 that you would use to reconstruct the doses of
23 those workers. But I presume that there are
24 some tunnel entry workers who do not have
25 bioassay data and that there's some protocol

1 to be followed for those workers to evaluate
2 their internal exposures. Could you just give
3 me a 30-second sound byte on that strategy?

4 **MR. ROLLINS (by Telephone):** Typically,
5 where OTIB-0002 became very helpful was like
6 in the case of Hanford and SRS where you had
7 individuals with a great deal of bioassay
8 data, but it was all below MDA. So by
9 applying OTIB-0002 we could say we provided an
10 upper bound because OTIB-0002 provides
11 intakes, I think if I remember correctly, 28
12 radionuclides.

13 **DR. MAURO (by Telephone):** Yes, sure, no,
14 I'm very familiar with it, and it's for the
15 purpose of denial.

16 **MR. ROLLINS (by Telephone):** Correct.

17 **DR. MAURO (by Telephone):** I guess my
18 question goes toward, okay, we have a worker
19 in a tunnel, no bioassay data, and you want to
20 evaluate. In theory, you could apply OTIB-
21 0002 for the purpose, and you (inaudible) a
22 dose for denial. I may be a little bit
23 confused here, but how do you go about if you
24 decide he needs to be compensated? I mean,
25 are you saying OTIB-0002 will always, there

1 are any circumstances where you have a worker
2 that's in a tunnel, was not bioassayed, and
3 it's possible he should be compensated?

4 **MR. PRESLEY:** Say that again, John.

5 **DR. MAURO (by Telephone):** I might be a
6 little confused here, but I'm envisioning
7 something very simple. You've got a worker in
8 a tunnel. He worked in a tunnel. You know
9 that there was some airborne activity, in
10 fact, you may have added some other workers
11 that worked with him that bioassay data were
12 collected, and you reconstruct his doses, best
13 estimates, using his bioassay data. But these
14 other workers don't have any bioassay data,
15 and I guess I'm not quite sure what do you do
16 about that worker.

17 Let's say you run, now what I'm
18 hearing is, well, in that case you would run
19 OTIB-0002, but is it possible that you'd run
20 OTIB-0002 and find out that you need to
21 compensate this person using OTIB-0002 or that
22 would never occur?

23 **MR. ROLLINS (by Telephone):** We could not
24 reach a compensation decision based on OTIB-
25 0002.

1 **DR. MAURO (by Telephone):** That was my,
2 that's exactly where I'm headed now. So you
3 run OTIB-0002, and you find out, my goodness,
4 if we, you know, we're getting doses that
5 result in something we need to compensate, but
6 we can't do that because OTIB-0002 was never
7 intended for that purpose. At that point in
8 the process what do you do?

9 **MR. ROLLINS (by Telephone):** Well, we have
10 another tool out there called OTIB-0018, which
11 is a method that's in some ways like OTIB-
12 0002, but it's based on air monitoring data.

13 **DR. MAURO (by Telephone):** So basically,
14 you're going to assume that the person may
15 have been exposed at some fraction of an MPC.

16 **MR. ROLLINS (by Telephone):** Correct, but we
17 can't come to a compensation decision on the
18 use of that tool either.

19 **DR. NETON:** John, I think a lot of it gets
20 down to the specifics of the case. I mean,
21 what the guy was doing, how often they were in
22 there, how many re-entries, that kind of
23 stuff. So --

24 **DR. MAURO (by Telephone):** So this is all
25 laid out in one, as I said, your protocol, it

1 may all be laid out there. I haven't read it
2 in some time. I just wanted to get an idea.
3 So what I'm hearing is that for those workers
4 that were tunnel workers, you have a sequence
5 of events.

6 One, we have the bioassay data. You
7 do, great. You make use of that. You could
8 then at that point go to OTIB-0002 and find
9 out, okay, he doesn't exceed, you're done if
10 he doesn't exceed a POC of .5, you're
11 finished. If he exceeds a POC of .5, what I'm
12 hearing is you may resort to OTIB-0018 which
13 is a more realistic version that keys into
14 MPCs. Is that the protocol that's laid out
15 right now in your dose reconstruction for
16 tunnel workers?

17 **MR. ROLLINS (by Telephone):** Correct.

18 **DR. MAURO (by Telephone):** Okay, that's all
19 I really need to understand because we will
20 come to a point where we will be talking about
21 OTIB-0018 when we get into the procedures.
22 That's going to be our next meeting at the end
23 of this month, I believe. So I guess the use
24 of OTIB-0018 in that capacity and for that
25 purpose, I guess is best discussed when we

1 discuss these procedures.

2 **DR. WADE:** We're at a break point for lunch?

3 **MR. PRESLEY:** Let's break for lunch and come
4 back no later than 1:30.

5 **DR. WADE:** And we're going to break contact
6 with the line and dial back in. So we'll dial
7 back in a couple minutes before 1:30. Enjoy
8 your lunch.

9 (Whereupon, the work group broke for lunch
10 from 12:37 p.m. until 1:37 p.m.)

11 **DR. WADE:** This is the work group conference
12 room. We're just about ready to begin. Could
13 I ask if there are any Board members on the
14 call not present here at the table? Any Board
15 members?

16 (no response)

17 **DR. WADE:** Okay, ready to go.

18 **MR. PRESLEY:** We will kick back off with
19 comment 19. There are no beta dose data until
20 1966, the TBD does not specify a procedure for
21 estimating pre-'66 beta dose. And I've got
22 that marked complete because I believe the SEC
23 takes care of that. Is that correct?

24 **MR. ROLFES:** No, we have developed some
25 beta/gamma ratios, and we have added those to

1 the TBD so I guess it would be up to --

2 **DR. NETON:** It's fairly extensive beta
3 dosimetry in the new TBD.

4 **MR. PRESLEY:** So that needs to be we will
5 get a notice on that.

6 **DR. MAKHIJANI:** It's in here.

7 **MR. PRESLEY:** Is that in this one? Mark
8 that complete.

9 Twenty, there appears to have been
10 internal (sic) non-use of badges --

11 **DR. ROESSLER:** Intentional

12 **MR. PRESLEY:** -- or intentional non-use of
13 badges in some circumstances. We have looked
14 at that. NIOSH, not NIOSH, but SC&A has
15 looked at that. Mark has comments, and I have
16 that marked that we need to address that
17 today.

18 **MR. ROLFES:** And there should be a statement
19 in the TBD, let's see, this would be
20 incorporated as a page change into the
21 external TBD basically documenting the
22 prevalence of the intentional non-use of
23 dosimetry, how to identify it in an individual
24 that might have removed their badge, and how
25 to address the non-use.

1 So what we had proposed to do is we
2 could use coworker information or take a look
3 for a person that was approaching regulatory
4 limits. And if he had consistently for the
5 first three quarters of the year been
6 receiving, say, in his first three quarters if
7 he was approaching the five rem dose, total
8 dose for that year, and suddenly dropped off
9 for the fourth quarter, what we would do or
10 propose is to assign the highest recorded dose
11 in the first quarter, second quarter or third
12 quarter to the fourth quarter. And we feel
13 that that would be a claimant-favorable
14 approach to address this issue when
15 appropriate.

16 **DR. MAKHIJANI:** Mark, you're going to do
17 some tests of actual data to see how prevalent
18 it was, and is there any kind of compilation
19 of that information?

20 **DR. NETON:** I don't know that we've tested,
21 we did those tests for Rocky Flats where we
22 tried to show the curvature of the probability
23 distribution as you approach the regulatory
24 limit. And we certainly did find that.

25 **DR. MAKHIJANI:** You didn't find that?

1 **DR. NETON:** We did. We did. But then, you
2 know, the problem with that test is that you
3 don't know whether that's an effect of them
4 removing their badge or whether it's just
5 prudent protection control saying, well,
6 you're reaching a limit, quit working. So I
7 think what Mark proposed here, something much
8 simpler, which is for those, this would only
9 pertain to those who are fairly high-dose
10 individuals to begin with. But if they did
11 tail off in the certain quarter, we would
12 propose as you suggested to consider using the
13 highest quarter prior to the dose tailing off.
14 I think those probability plots are just not
15 sufficiently robust to give you a good sense.

16 **DR. MAKHIJANI:** Okay.

17 **MS. MUNN:** I can't imagine anyone could
18 argue that as being anything other than
19 claimant favorable. I would argue that it
20 flies in the face of good judgment in terms of
21 good radiation protection practice.

22 **DR. NETON:** And to some extent I think this
23 needs to be evaluated almost on a case-by-case
24 basis because you run the situations -- I
25 think I pointed this example out before. The

1 first NTS case we did was a tunneler who had
2 huge amounts of tritium in his bioassay
3 samples, and then he quit having external
4 badge result readings yet his tritium bioassay
5 samples continued to be elevated.

6 As you know, tritium clears very
7 rapidly from the body. And so that was very
8 positive evidence that that person was still
9 continuing to work in the environment even
10 though he was leaving his badge on the rack.
11 And in fact, we found letters to congressional
12 staff from his supervisor requesting that the
13 exposure limits be raised because they were,
14 would impede national security work and that.

15 **DR. MAKHIJANI:** I've seen some of them.

16 **DR. NETON:** So, but those are kind of easy
17 to spot when you see things like that. It's
18 the issue where someone just, many people will
19 state maybe that they did this, and there's no
20 reason for them to do that if they have very
21 low doses. You know what I'm saying? So it
22 really, in these cases, I think applies
23 primarily to people with the doses that are
24 approaching the exposure limit, regulatory
25 limits.

1 **DR. ROESSLER:** So when it says here in red
2 if it is indicated in the claim that the
3 worker removed his dosimeter, so you're not
4 actually going to do it that way then?

5 **DR. NETON:** Well, we would have to look at
6 it from several different perspectives. I
7 think just an assertion might not be taken a
8 face value if there were other mitigating
9 factors, and you have to look at the whole
10 picture.

11 **DR. ROESSLER:** Or you may do it for some
12 that where they don't necessarily have it in
13 the claim but looking at the records it would
14 show that it's suspicious.

15 **DR. NETON:** Right, look if the bioassay
16 continued to be sampled and remained high or -
17 -

18 **DR. ROESSLER:** So that maybe is not --

19 **DR. NETON:** So his work assignment certainly
20 would have to be consistent with receiving
21 exposure. There's a number of things one can
22 look at, but this is a sort of a problem that
23 we've had at many sites as you know. Rocky
24 Flats this issue came up. And if a person, if
25 it was convincing that they didn't wear their

1 badges for whatever reason, then a coworker
2 model as Bob suggested would be, we would
3 treat them essentially as an unmonitored
4 worker at that point.

5 **MR. PRESLEY:** We will address this then when
6 section six comes out.

7 **MR. ROLFES:** I believe the documented
8 information that we have on this issue was
9 primarily during the SEC time period at Nevada
10 Test Site in the late '50s, and this was for
11 people that were critical to the functions.
12 They needed these people to complete the job
13 prior to the moratorium that was fast
14 approaching. And so they didn't have time to
15 train new people to complete the jobs.

16 And this is the time period where we
17 have documented evidence. If we find evidence
18 like that or a compilation of various pieces
19 of information that indicate that this
20 occurred, then that would be evaluated on a
21 case-by-case basis, and we will incorporate
22 some instructions on how to address that
23 issue.

24 **DR. MAKHIJANI:** Is the documentation that
25 you talked about the same as what Jim was

1 referring to prior to the moratorium?

2 **DR. NETON:** Yeah.

3 **MR. ROLFES:** Yes, it was, in fact, I believe
4 in 1959.

5 **DR. MAKHIJANI:** I didn't remember it as
6 before the moratorium.

7 **DR. NETON:** Well, the tunneling was very
8 early. I don't --

9 **DR. MAKHIJANI:** No, the moratorium was in
10 1958, and it extended into 1960. No, I'm sure
11 about that.

12 **MR. ROLFES:** It could have been '58 then. I
13 believe it was 1950-something.

14 **DR. MAKHIJANI:** No, the document that you're
15 referring to, if we're talking about the same
16 one, is from '59.

17 **MR. ROLFES:** I believe the Advisory Board
18 has the same set of documents.

19 **DR. MAKHIJANI:** Yes, I mean, we've talked to
20 this person, and I think this person made a
21 presentation to the Advisory Board actually.

22 **DR. NETON:** I might have missed the Las
23 Vegas one.

24 **DR. MAKHIJANI:** In Las Vegas. So it's
25 actually part of the public record. The

1 reason I mention this is that in the
2 interviews that we did, this problem seemed to
3 extend beyond the SEC period into the mid-'60s
4 or '70s. I mean, the people that had
5 different dates when this problem was no
6 longer a big issue. And in two different
7 interviews we got different answers, but both
8 of them were beyond the SEC period.

9 **MR. ROLFES:** That's very possible, but what
10 we would have to do is take a look at the
11 claim and look at the facts of the case on a
12 case-by-case basis. We're not saying that it
13 didn't occur. It could have occurred and --

14 **DR. MAKHIJANI:** No, no, I mean, it's
15 interesting that you actually have found
16 documented evidence of this, and that you've
17 gone through it and so on. So that settled
18 that issue, and I just wanted to make sure
19 that we're talking about the same period.

20 **MS. MUNN:** To be resolved on a case-by-case
21 basis.

22 **MR. PRESLEY:** Twenty-one has to do with the
23 TBD does not contain information about
24 extremity dosimetry. I marked this one
25 complete. It has to do with bomb workers,

1 assembly workers.

2 **MS. MUNN:** There's an OTIB out on them.

3 **DR. NETON:** It's in the TBD; it's addressed
4 in the TBD now.

5 **DR. MAKHIJANI:** It's in volume six.

6 **MR. ROLFES:** I guess I didn't get that part.

7 **DR. NETON:** I'm reading the comment. I
8 thought it was. I need to go look and see.

9 **MR. ROLLINS (by Telephone):** It's on page
10 30.

11 **DR. NETON:** Thirty? Thank you.

12 **DR. MAKHIJANI:** 6.3.2.3.

13 **MR. PRESLEY:** Comment 20, we got anybody on?
14 There are no neutron dose data.

15 **DR. ROESSLER:** Twenty-two.

16 **MR. PRESLEY:** Twenty-two, there are no
17 neutron dose data until 1966 and partial data
18 until 1979. I have this marked complete with
19 some question marks, make sure that we have --

20 **MS. MUNN:** The information has been
21 incorporated.

22 **DR. NETON:** Attachment D discusses the
23 neutron issues starting on page 117. There's
24 additional neutron discussion within the text
25 of the document. Document D has been added.

1 **MR. PRESLEY:** Twenty-three, adequacy of soil
2 data for estimating resuspension, and that
3 should be in Gene's thing with the data to
4 come back to us from NIOSH after they have had
5 a chance to look at that, correct?

6 **MS. MUNN:** Uh-huh.

7 **MR. PRESLEY:** Twenty-four, presence of high-
8 fired oxides resulting from atmospheric
9 weapons testing and reactor testing needs to
10 be investigated. And I have this marked
11 complete.

12 **MS. MUNN:** Yup, the TIB is out.

13 **DR. NETON:** And the Department of Labor has
14 been notified of which cases we want to re-
15 look at based on Super-S including those at
16 Nevada Test Site.

17 **MR. PRESLEY:** We're waiting on the TBD then.

18 **MR. ELLIOTT:** No, no, the TBD is done.

19 **DR. MAKHIJANI:** Are there any NTS cases?

20 **DR. NETON:** That's a good question. I mean,
21 if there were, they went over. I have not
22 seen --

23 **MS. MUNN:** It's all done.

24 **MR. PRESLEY:** It's all done?

25 **MR. ELLIOTT:** This is under Technical

1 Information Bulletin for Super-S for highly
2 insoluble compounds.

3 **MR. PRESLEY:** TIB, okay.

4 **MR. ELLIOTT:** It's already, it's out there.

5 **DR. NETON:** I'm not saying the cases have
6 changed, just --

7 **DR. MAKHIJANI:** Just as a curiosity which,
8 whether there were any that you thought needed
9 --

10 **DR. NETON:** Any case at the Nevada Test Site
11 that was denied that would be re-looked at if
12 it's not SEC.

13 **MR. PRESLEY:** NIOSH documentation on site
14 expert review is inadequate. And we have
15 worked with that. SC&A, I think, has looked
16 at the data and --

17 **DR. MAKHIJANI:** I sent you a memo on that.
18 I interviewed Mark. I looked at the data on
19 the O drive, and basically, I found that the
20 documentation was incomplete. Mark had an
21 explanation for that. I documented that in
22 the interview, and we have, the explanation
23 was that things that were not relevant were
24 not written down.

25 But there's been a kind of a little

1 bit of a difficulty as to how you define that,
2 you know, on the spot. And in any case I
3 didn't find documentation relating to several
4 hours of interviews with Mr. Brady. And I
5 sent you the memo. I don't know what the
6 status of that memo is or whether other
7 working group members have seen it or -- I
8 don't remember. I think I just sent it to
9 you.

10 **MR. PRESLEY:** As far as I'm concerned it's
11 complete. You all --

12 **DR. MAKHIJANI:** Yeah, it is what it is.

13 **MR. PRESLEY:** And it's there.

14 **DR. MAKHIJANI:** Perhaps just as sort of a
15 procedural suggestion since Mr. Gibson is
16 considering worker interviews and
17 documentation that might be passed on, there's
18 a whole bunch of comments we've made on this
19 issue that might be passed on to him for his
20 working group's consideration.

21 **MR. PRESLEY:** Because I don't think there's
22 any action, nothing to be reviewed or anything
23 else.

24 **DR. MAKHIJANI:** No, no, there's no further
25 action on this. I think on both sides we're

1 complete. It'd done, and whether it had to be
2 reviewed, it's reviewed.

3 **MR. ELLIOTT:** Could I just ask for a little
4 clarification though, Arjun? Am I hearing
5 that there were interviews conducted but were
6 not reflected or accounted for in the
7 documentation of who we respond?

8 **DR. MAKHIJANI:** Yes, there was quite a bit
9 of confusion about interviews with one person
10 who happened to be quite important. He was a
11 pretty senior person in Health Physics at NTS,
12 and SC&A, I had interviewed him at some
13 length. And that interview published in our
14 review, and I interviewed him in 2005, just
15 prior to, as we were preparing.

16 He was ill but very lucid and
17 excellent memory, and so he said some very
18 important things. And so the question, and he
19 said that NIOSH had contacted him or contacted
20 him very briefly about one question only,
21 rads, different rads, Roentgens and rads,
22 something like historically.

23 And then there was quite a bit of
24 confusion as to who had contacted him. And
25 then NIOSH said that there were five hours of

1 interviews conducted with him if I remember
2 correctly, right, Mark?

3 **MR. ROLFES:** Sure, that's correct.

4 **DR. MAKHIJANI:** And then so the question
5 was, well, where's the documentation of the
6 interviews and whatever documentation was
7 there was posted on the O drive. And I did
8 not find more information than that in terms
9 of documentation. It seemed --

10 **MR. ELLIOTT:** So it's in the O --

11 **DR. MAKHIJANI:** That is on the O drive.

12 **MR. ELLIOTT:** It's the O drive, but it
13 wasn't evidently referred to in our technical
14 basis document or any, I guess I'm lost or if
15 it's in the O drive, what's the problem?

16 **DR. MAKHIJANI:** There wasn't a substantial
17 account of five hours of interviews. It was a
18 reflection that there was a discussion of
19 what's the difference between rads, rems and
20 Roentgens and that was it. And it seemed a
21 little surprising, and the contract, you know,
22 when I interviewed him, he was -- it's no more
23 a statement than that.

24 There was five hours of interviews
25 conducted, but -- which he did not remember,

1 and there's -- wasn't a substantial record of
2 that. The difficulty was that there had been
3 prior interviews in which the documentation
4 had not been very good in other reviews that
5 he did. So we just completed this item and
6 submitted it to the chairman of the working
7 group.

8 **MS. MUNN:** But we had your notes of your
9 interviews with him, did we not?

10 **DR. MAKHIJANI:** Yes.

11 **MS. MUNN:** I seem to recall --

12 **DR. MAKHIJANI:** Yes, we do.

13 **MS. MUNN:** -- recall that a long time ago.

14 **DR. MAKHIJANI:** Yes, it's in the site
15 profile review.

16 **MS. MUNN:** That's what I thought. So it is
17 on the record, right?

18 **DR. MAKHIJANI:** What he said to me and as
19 reviewed by him, we went two rounds just to
20 make sure that I got what he said down
21 correctly, and then we published it, yes.

22 **MS. MUNN:** So we have it.

23 **DR. MAKHIJANI:** Yes, we do.

24 **MS. MUNN:** And it's part of the public
25 record.

1 **DR. NETON:** It's .149 of the site profile
2 review.

3 **DR. WADE:** Back to Arjun's question of that
4 information coming to the working group
5 looking at the efficacy of interviews, I think
6 it's a good suggestion. How will that happen?

7 **DR. MAKHIJANI:** I don't know. I mean,
8 that's your pleasure. I have, Kathy DeMers
9 and I have worked, we've interviewed from our
10 side most of the -- there've been a few other
11 people involved from time-to-time. We could
12 go back and gather up the diverse information
13 and simply give a little bibliography of what
14 we've got to that working group or the various
15 chairmen of the working groups could
16 communicate with Mr. Gibson. I don't have a
17 process in mind, but --

18 **MS. MUNN:** I think we have the information
19 already. I don't think there's anything more
20 that needs to be done other than perhaps our
21 group may need to review that one more time.
22 I may need to take another look at it.
23 Personally, I don't --

24 **MR. ELLIOTT:** You're speaking as the Chair
25 of the Procedures Working Group?

1 **MS. MUNN:** Well, yes, partly, because --

2 **DR. WADE:** But there is a work group, a
3 newly appointed work group to look at the
4 efficacy of the interview process.

5 **MR. ELLIOTT:** Yeah.

6 **MR. CLAWSON:** Perhaps Mike Gibson is the --

7 **DR. WADE:** Now who, is anybody on that
8 working group?

9 **MS. MUNN:** Not here I don't think.

10 **DR. WADE:** So at a minimum, Robert, if you
11 could let Mike Gibson know that this issue has
12 come up and then he could pursue it with SC&A
13 that would be fine.

14 **MR. CLAWSON:** Well, and I think what Arjun
15 was trying to get to is how when we do these
16 interviews and worker outreach how we make
17 sure that it gets to Mark and that group.

18 **DR. WADE:** If we let Mike know that it's
19 there, I'm sure Mike will contact SC&A and
20 make use of it. We just need to make sure
21 that the alert is given.

22 **DR. ROESSLER:** I have one question on this.
23 The other people who are listed here who have
24 been interviewed, who interviewed them and
25 where are the records for that?

1 **DR. MAKHIJANI:** All of the records, I found
2 all the records posted --

3 **DR. ROESSLER:** On the O drive.

4 **DR. MAKHIJANI:** -- that were there. There
5 are quite a few records. I personally -- and
6 many of them were provided to us before, and
7 there's no question that NIOSH did -- just for
8 the record it's important to say there's no
9 question that NIOSH did extensive interviews
10 with Health Physics personnel at the Nevada
11 Test Site and documented many of them.

12 We have, we do have much of that
13 documentation. We re-interviewed many of the
14 same people, and so there wasn't a question
15 about all the interviews at the Nevada Test
16 Site. It was just --

17 **DR. ROESSLER:** This was Mr., the one that
18 you mentioned?

19 **DR. MAKHIJANI:** No, the one interview we did
20 had information that became quite central to,
21 have been central to our discussions including
22 this question of non-wearing of badges and so
23 on which also came up in the other interviews.
24 And so it became a little bit important --

25 **MR. ROLFES:** I think the issues was --

1 **DR. MAKHIJANI:** -- to kind of figure out
2 what the documentation was that was available.
3 That's why we looked into it.

4 **MS. MUNN:** Well, my concern here with the
5 wording of the original comment and where we
6 went with that. The comment that the site
7 expert interviews is inadequate. My question
8 then becomes is it inadequate? Was that word
9 chosen simply because one individual was, the
10 reports of interviews with one individual were
11 not as extensive as you expected them to be?
12 Or are you saying that the interviews, is
13 there an inference here that the interviews
14 that were made were inadequate? I didn't get
15 that feeling when I had read this two years
16 ago.

17 **DR. MAKHIJANI:** Well, you're pushing my
18 memory now because it is almost two years ago.
19 I will have to, we did an interview with, we
20 did, you know, a question and answer exchange
21 with NIOSH about this, and I believe some of
22 NIOSH's response is documented in that. I
23 don't, I think it is in the context of this
24 site profile which is why this comment is here
25 but may have been some other context.

1 **MS. MUNN:** I think it was this one.

2 **DR. MAKHIJANI:** But NIOSH informed us that
3 they wrote down things only if they considered
4 them relevant.

5 **MS. MUNN:** If they were pertinent.

6 **DR. MAKHIJANI:** And we believe that when
7 you're interviewing, I mean, there may be
8 something, you know, personal about their
9 family life or something which you won't write
10 down, but we normally document whatever is
11 said about that site and operation whether we
12 feel it is important or relevant. And then we
13 make a separate judgment about whether to
14 include that in our analysis.

15 The documentation of the interview is
16 there as to what the person said in its
17 entirety. It's a summary, but we don't omit
18 things on the spot because they're not -- in
19 our judgment it becomes inadequate if you're
20 making judgments on the spot about what's
21 relevant to your analysis and not including it
22 even if the interviewee thinks it's relevant.
23 Because the interview is not about what you
24 think is relevant, but about what the
25 interviewee thinks is relevant. Otherwise,

1 there's not much point in interviewing them.

2 **MS. MUNN:** Well, I am not sure I'd go that
3 far, but what I think I'm hearing is a
4 difference of opinion on what constitutes an
5 interview and how it should be done. And I'm
6 not at all sure that we can, we, either as a
7 working group or as a Board, can devise that
8 kind of characterization.

9 **DR. WADE:** But the Board has put forward a
10 work group to look at the issues related to
11 the interview process and how it's used. And
12 they should consider this.

13 **MS. MUNN:** Yeah.

14 **MR. CLAWSON:** Part of this came out in the
15 meeting in Las Vegas when any of the
16 petitioners and so forth said, yes, they'd had
17 interviews done, but a small fraction of it
18 was put in there. And this is part of why we
19 started this work group is to make sure of how
20 you interview, the workers outreaches, and how
21 it is implemented that it's being done
22 correctly.

23 **DR. WADE:** And that's where it belongs with
24 that work group. For this work group, your
25 group's on the interview of the individuals

1 are included and are now part of the record of
2 deliberations of this group.

3 **DR. MAKHIJANI:** That's right. And our
4 closure for the NTS process, this item is
5 closed in the sense that there's no further
6 work to be done here. We've reviewed what
7 needed to be reviewed. NIOSH put up the
8 documentation that they have, and so there's
9 no, I mean, whether it was good or not, good
10 or adequate or not, whatever was done is done
11 and cannot be remedied unless you go and re-
12 interview people who are dead.

13 **MS. MUNN:** So this really has gone to the
14 other work group.

15 **DR. WADE:** Well, we need to make sure it
16 gets there.

17 **MS. MUNN:** This was what, Bill Brady's
18 interview that was the primary concern?

19 **DR. MAKHIJANI:** Yes. But there was a more
20 general concern, and I will pull up as we
21 discuss. I can pull up, not the whole thing
22 up, but I will pull up the information for you
23 just to let you know as to where the general
24 comment came from if I can find it. I'll try.

25 **MS. MUNN:** Well, that's okay. I don't need

1 it. I just wanted to make sure I understand
2 just exactly what the language promoted there
3 and exactly what we needed to do with it which
4 sounds like it needs to be referred to Mike's
5 group.

6 **MR. PRESLEY:** Got a note to do that.

7 **DR. MAKHIJANI:** Yes, I believe it is just
8 for the record. It's on page 109 of SC&A's
9 site profile review, the comment. So there
10 was a more general issue that we raised, and
11 we felt was important that we raised.

12 **MR. PRESLEY:** Anybody have any more
13 questions about the matrix?

14 **MS. MUNN:** No, I just have one that I didn't
15 get a note on, on comment 18. I noted
16 everything else, but I was too eager to get to
17 lunch I think. What was that action on 18? I
18 think it was just we're going to read section
19 six.

20 **MR. PRESLEY:** Work group to review for
21 completeness, chapter five, marked complete.

22 **DR. MAKHIJANI:** This one I believe that
23 would be volume five rather than --

24 **ORAU DOCUMENT 0008-6**

25 **MR. PRESLEY:** Now, Jim has to depart in

1 about 20 minutes, but I would like for us to
2 start, if everybody would like to, go through
3 and see who has comments on the ORAU document,
4 0008-6, at least start it. You, I believe,
5 said you hadn't had a chance to go through
6 this?

7 **DR. MAKHIJANI:** Well, I've begun going
8 through it on a very preliminary reading, and,
9 as I mentioned in the morning, this is a very
10 substantially new document with lots of the
11 information that's responsive to the points
12 that were raised. And I did some in
13 preparation for this meeting. I haven't done
14 an analysis of it or anything because the
15 working group hasn't authorized it. I just
16 did a little bit to prepare for this meeting.
17 I believe John's gone through it somewhat.

18 **DR. MAURO (by Telephone):** Yes, I have, I've
19 read through all of it except, I think, the
20 attachments. If I recall there was about a
21 little over 100 pages, and so I got through
22 the main body. And it addresses a broad range
23 of issues that we talked about in the past
24 that needed to be addressed. So it's very
25 responsive to a lot of the issues that, when I

1 say response, it addresses many of the issues
2 that we raised regarding external dosimetry.

3 However, I guess the meat of it and
4 exactly, okay, the method that they're
5 recommending to use to deal with various
6 external dosimetry issues, a lot of that
7 material is provided in the appendices, and I
8 have to say I didn't get that far, ran out of
9 time. But my reaction when I made my initial
10 read was that it did cover a lot of topics or
11 addressed a lot of topics that we raised in
12 the past which is good. And the degree to
13 which the methodology is adopted to deal with
14 those topics we really haven't had a chance to
15 look very closely at.

16 **MR. PRESLEY:** Working group, what do you
17 want to do with this?

18 **MS. MUNN:** Well, John, are you going to be
19 able to address those attachments inside the
20 purview of your current requirements?

21 **DR. MAURO (by Telephone):** Only if so
22 authorized by the working group. Right now
23 the only action item I have for SC&A is to, is
24 Lynn Anspaugh looking into this dust loading
25 issue. Right now as far as action items as a

1 result of this meeting for SC&A, that's it.
2 So I really am looking to you as to what you'd
3 like us to do.

4 **DR. WADE:** Let's talk a little bit about it.

5 **MS. MUNN:** Let's do.

6 **DR. WADE:** On two levels. First, before we
7 get specifically to that question, it's always
8 beneficial with a document like this that
9 needs to be reviewed by work group members, if
10 there are any clarifying questions, this would
11 be the time to ask them. Maybe there's no
12 time for that in terms of your preparation,
13 and that's fine. But now look at the path
14 you've laid out for yourself as a work group.

15 And again, you're pretty far along in
16 terms of the maturity of this work group.
17 You've raised a lot of, SC&A's raised a lot of
18 issues. You've raised a lot of issues. NIOSH
19 has addressed those issues either in this
20 document or in chapter five that you will have
21 soon. And then it's to the work group to
22 review those documents to see if, indeed, the
23 closure you think you have in the matrix has
24 been realized.

25 So the question is what do you want

1 SC&A to do in the interim. They could sit
2 idle and do other things, they have much work
3 to do, and wait for the work group to review
4 it, and then you could say to SC&A we think
5 there is still a need for you to review this
6 subset of the items. Or you could ask SC&A to
7 begin now to review all of the items in the
8 matrix as they appear in these documents.

9 It's up to you as to how you want to
10 proceed, but do you expect to use your
11 contractor again? If you do, when would you
12 like to activate them to the task at hand?
13 And that's up to the work group entirely.

14 **MS. MUNN:** I would like for us to consider
15 the possibility since I'm one of the
16 individuals who hasn't had an opportunity to
17 go through this ORAU document, I'd like to
18 have an opportunity to do that. But I'd also
19 like to have an opportunity to try to solidify
20 some of the questions that might exist in it
21 before we have another face-to-face meeting if
22 it's feasible to do so since it appears that
23 John has gotten most of the way through the
24 document and is just now getting into the meat
25 of it.

1 It seems logical for us to try to
2 identify what lack of agreement still exists
3 after John has gotten through the appendices
4 here and all of us have had an opportunity to
5 review this and chapter five. Perhaps the
6 reasonable thing then is for us to
7 individually bring up any issues that we feel
8 and have a phone conference at some juncture a
9 month down the road, sometime in perhaps early
10 September, something of that sort.

11 And at least then define what the
12 issues remain. Because if we don't define
13 what the issues are that remain, it's almost
14 impossible for us to say whether or not we
15 want the contractor to do anything else.

16 **DR. WADE:** That's a reasonable path forward.
17 You could, the work group could take it upon
18 itself to review the document in front of you,
19 chapter six, relative to the open questions in
20 the matrix. And then have a discussion in
21 about a month's time that each of you would
22 say I would like our contractor to look at
23 matrix item 16 and see if, indeed, they agree
24 with the NIOSH approach relative to the SC&A
25 comment. That's fine. That's a path forward.

1 **DR. ROESSLER:** I guess before Wanda
2 mentioned that I had another thought. And
3 that's if SC&A has the time and the budget to
4 do it, it seems they're the ones who could
5 more efficiently do, as someone mentioned,
6 identify the areas that, where there's,
7 agreement has not been achieved, where we have
8 a lack of agreement. And then we could zero
9 in more quickly on what we still need to
10 resolve.

11 **DR. WADE:** That's also a reasonable
12 approach.

13 **MR. CLAWSON:** This is Brad. You know,
14 looking at this, and I'm not going to pull
15 anybody's leg, a lot of this is pretty... I
16 can sit there and look at these numbers all
17 day, and they're not meaning anything to me.
18 But most of these comments that are coming out
19 here, just looking at it over a third of this
20 stuff that's in our matrix here pertains to
21 portions of this, and they're SC&A's issues.
22 Now I think we've had plenty of discussion
23 today, and we've got a fairly well defined of
24 what the issues are. Myself, I'd like to see
25 them get taken care so that we could come to

1 closure with this.

2 **DR. WADE:** So you're advocating that for
3 every item in the matrix that currently says
4 that has now been addressed in chapter six,
5 you would like SC&A to look at that and offer
6 their opinion as to whether or not it, indeed,
7 has been addressed in chapter six to their
8 satisfaction?

9 **MR. CLAWSON:** Correct.

10 **DR. MAURO (by Telephone):** Can I ask a
11 question? Does this also apply to Gene
12 Rollins' piece dealing with resuspension? In
13 other words --

14 **DR. WADE:** It could.

15 **DR. MAURO (by Telephone):** -- so in effect
16 we have a matrix which responds by either
17 making reference to a new chapter six or
18 making reference to the new Gene Rollins'
19 report. So are we, right now -- I'm not
20 writing this down so I've got to get an
21 appreciation for what our mandate is.

22 **DR. WADE:** We haven't come quite to that
23 yet, but your mandate could be chapter six and
24 Gene Rollins' report. It could be one or the
25 other. It could be neither. The work group

1 has to sort of now talk about that and decide.

2 **MR. PRESLEY:** This is Bob Presley. One of
3 the things that I'm worried about if we do
4 this is SC&A will take this document, and
5 we'll come up with another 30 or 40 items that
6 we've got to go through back through a matrix
7 and check. I have no problem with SC&A
8 looking at this and commenting, but I don't
9 want to come back in here a month down the
10 road with another matrix and 25 more items
11 that we need to go back and re-do for this.

12 **MS. MUNN:** My charge would be -- John, if I
13 were writing the charge to you, my charge
14 would be that you be asked to compare, as Lew
15 had said earlier, the matrix items against the
16 two documents that have now been offered as
17 solutions to that and simply respond whether
18 they do or do not meet your criteria for the
19 original matrix item. That would be my charge
20 if I were writing it.

21 **DR. MAURO (by Telephone):** Yeah, and within
22 that context, I guess it would be, we would
23 just offer up a perspective, for example, when
24 we discussed some of the matters earlier, I'll
25 give you an example. One of the things we

1 talked about with regard to Gene Rollins'
2 report is this high-end value.

3 The way I see it right now is all we
4 would do is say, okay, we reviewed Gene's
5 report. We noticed that a great deal depends
6 on this one particular measurement made in
7 Region 9 in 1972. And SC&A's perspective is
8 that it's important that we, you know, fully -
9 - perhaps the commentary would go something
10 like this. We believe that a fuller
11 understanding of the degree to which that
12 particular sample is, in fact, representative
13 of the working environment that people were
14 exposed to, that any clean up that may have
15 taken place may not somehow undermine the
16 validity of that being the bounding value.

17 In other words we would not really do
18 very much except to, I guess, write down many
19 of the things that we already talked about on
20 the phone as being, well, we think that this
21 might be important. Maybe that's just the
22 extent, and get that -- by the way, that's not
23 a, we wouldn't do any research. In other
24 words we would just write down -- because
25 we've been doing this on the run right now.

1 We read the report. Lynn, myself and
2 Arjun talked about it. We actually may in
3 fact made a very nice list of some of the
4 things, some of his perspectives on this many
5 of which have been clarified as a result of
6 this conversation. What might be helpful is,
7 you know, for us to finish reading both
8 documents and, within the context of the
9 matrix, point out places where some
10 clarification might be helpful.

11 And I understand that there's a gray
12 line. Does that mean we're going to create a
13 whole bunch of new issues. And I understand
14 that concern, too. So I'm just trying to find
15 the right balance whereby we could provide the
16 working group with a perspective very quickly,
17 within a matter of, say, a couple of weeks, a
18 week or two so that that would part of the,
19 your contractor's perspective on these two
20 documents as they relate to the matrix.

21 And then as NIOSH, I guess, is in the
22 process right now of looking into many of the
23 matters we talked about, and also finalizing
24 these chapters, that would be part of the
25 material that they have before them.

1 **MS. MUNN:** John, in your view wouldn't the
2 discussion between Gene and Lynn with regard
3 to the mass loading help resolve the major
4 part of the question that you have with
5 respect to the not quite half Becquerel
6 reading in '73?

7 **DR. MAURO (by Telephone):** Yeah, that would
8 go a long way toward dealing with that and
9 this issue of clean up and the fact that the
10 air sampling was, in fact, taken for the
11 purpose of understanding what the exposures to
12 the workers might have been as opposed to some
13 other purposes. Very often these air
14 samplings are taken to see if, in fact,
15 there's anything moving offsite. Were they
16 taken while the people were working? Now we
17 wouldn't look into that.

18 I think that as a result of our
19 conversation today it became clear that that's
20 an important, it's important that that number
21 be shored up in terms of, yes, we have
22 documentation that, you know, there was no
23 clean up prior to the time that was taken.
24 Too, we have documentation it was taken at a
25 location where people were actually working so

1 that it does reflect anthropomorphic
2 activities that might have resulted in
3 elevated levels of airborne dust.

4 So all of these questions regarding
5 being assured -- I'm using this as one
6 example. So in other words these are, in a
7 way what I'm saying now is this is some of the
8 observations we've made as we read these
9 documents. And they are all, you know, they
10 can all be given a home. Where do they come
11 in? Where do they fit in within the matrix?
12 And they can be made almost as a list.

13 Where I'm going with this I'm not
14 talking about analysis. We're not going to
15 answer the questions. We're just going to
16 lend areas where we feel there may be some
17 softness in the material we've seen and that
18 might, you know, if it were addressed a little
19 more thoroughly with regard to X, Y and Z,
20 would make for a stronger position. I guess
21 that's what I had in my head.

22 **MR. ELLIOTT:** I think that you have clearly
23 before you a finalized technical basis
24 document that responds to the comments that
25 SC&A provided on the original site profile. I

1 would say to you that the, is it chapter five
2 or the environmental ambient dose and the
3 resuspension model, you know, need to wait
4 until we come back to you with the final
5 document that is similar to this one you have
6 on the table today. And then you can examine
7 how we have addressed the comments that have
8 been provided earlier and from today's
9 conversation.

10 **DR. MAURO (by Telephone):** Okay, I
11 understand and that's even better.

12 **DR. MAKHIJANI:** Yeah, I think the positions
13 of the white paper and the external dose, I
14 agree with Larry. I think I get the spirit of
15 what he was saying are quite different because
16 first of all the white paper is a step in the
17 long discussion we've had about the same issue
18 and the fine technical points that need to be
19 raised for amending and finalizing that paper
20 have already pretty much been put on the
21 table. There are one or two more things that
22 can be done in an exchange of e-mails.

23 This external dose document is
24 responsive to a whole list of issues, and as
25 Dr. Roessler said earlier, on those issues the

1 matrix can be put to bed in the sense that it
2 says the issues have been addressed. And the
3 question I think is that this is a complicated
4 document. I don't know if John's going to get
5 back to you in two weeks, but I can assure you
6 I'm not going to get back to you in two weeks
7 because I think this is a, there are three
8 different beta dose models in here.

9 Each one of them is, I'm sure took a
10 lot of thought, and I think if we're going to
11 look at it, we should do it the respect and
12 not shoot from the hip and say this is a
13 problem; that's a problem and create 25 new
14 issues that will go away. We need to, if you
15 want us to look at it, I think it should be a
16 considered look that will, otherwise, you
17 know, Ms. Munn has put forward, you know, an
18 alternative approach that you should raise the
19 issues for us to look at, or we can look at
20 the whole document.

21 But I don't think that this volume,
22 volume six, can be covered in terms of what
23 the response is in a hurry. I think John was
24 more talking about the white paper which I
25 think is a different game altogether.

1 **DR. ROESSLER:** I guess I'm, it sounds more
2 open-ended the way you put it. What I was
3 specifically thinking is that we have the
4 matrix today. We went through it, and we said
5 this is closed if. And I think it's those
6 points on the matrix where we said if NIOSH
7 has adequately dealt with this particular
8 item. That's what I'm thinking of is that you
9 concentrate specifically on the matrix and
10 specifically check the items in the new
11 documentation that NIOSH said they were going
12 to do. Make it very specific.

13 **DR. MAKHIJANI:** I agree with you. I heard
14 you. All I'm saying is for instance, is one
15 very brief item in the matrix that says there
16 are no beta dose measurements for 196. And a
17 very good portion of this document deals with
18 that one line because there's not
19 measurements, quite an elaborate amount of
20 thought had to be put into what NIOSH was --

21 **DR. ROESSLER:** But you direct it to that
22 particular item because that's what the
23 question was.

24 **DR. MAKHIJANI:** It was a non-trivial job I'm
25 sure to produce it. And all I'm saying it'll

1 be a non-trivial job to just look at that one
2 item. If you want us to go through and say,
3 yes, there's some text in here that covers it,
4 I think that can be done in a day. Is there a
5 section number that you can point to that
6 addresses a matrix --

7 **DR. ROESSLER:** Evaluate it.

8 **DR. MAKHIJANI:** -- item, yes or no. But to
9 actually tell you whether we think it's
10 adequate is going to take some time.

11 **DR. WADE:** I think we're closing on the
12 intellectual territory, and I don't think
13 we've agreed at all on the timeframe, but
14 let's, so let's sort of review it.

15 What we have in front of us is this
16 document which is chapter six. So if you go
17 through the matrix, there are a number of
18 items, say, 12 -- I don't know how many --
19 that basically say item closed; issue
20 addressed in chapter six. So I think SC&A
21 should start with those items and do the
22 detailed analysis Arjun is talking about and
23 see if SC&A agrees that the item has been
24 dealt with in chapter six and addressed to
25 their satisfaction in chapter six. If the

1 answer is yes, put a big check. If the answer
2 is no, then you say, no, these questions
3 remain. So that's done.

4 Now you're waiting then for chapter
5 five. And when chapter five is officially
6 released, then you can do the same thing for
7 chapter five. But that you can't do until
8 chapter five is in front of you. And dealing
9 with the white paper might not be the most
10 effective way to do that.

11 **MR. ELLIOTT:** That's right.

12 **MR. PRESLEY:** And on chapter five we may be
13 able to sit down as a Board, everybody have a
14 copy and say this is addressed; this is
15 addressed; this is addressed.

16 **DR. ROESSLER:** Or the work group.

17 **MR. PRESLEY:** Or the work group. I'm sorry,
18 work group.

19 **DR. WADE:** So John and Arjun, you understand
20 the charge. That you're to take every item in
21 the matrix that claims that its resolution is
22 contained in chapter six. And you're to
23 review those items to see if you agree that
24 the item is closed based upon what's in
25 chapter six. Say, yes, you agree or, no, you

1 don't agree. These are the concerns that
2 remain. Is that clear? And you need to take
3 as much time as you need to do a thorough job.

4 **DR. MAURO (by Telephone):** That's very
5 clear. And the other half is really not to
6 take any action right now related to the white
7 paper because, and just sit tight until the
8 official --

9 **MR. ELLIOTT:** It's a moving target, John.

10 **DR. MAURO (by Telephone):** -- and then we'll
11 get our mandate or not after the official
12 version is issued.

13 **DR. WADE:** Once chapter five is released,
14 then you can do, the work group, I assume,
15 will ask you to do exactly the same thing for
16 chapter five.

17 **DR. MAURO (by Telephone):** Am I correct that
18 Gene's report is for all intents and purposes
19 a draft, early draft of what --

20 **MR. ELLIOTT:** A working draft.

21 **DR. MAURO (by Telephone):** A working draft
22 of chapter five, okay. So it would be
23 premature for us to be looking at that. I
24 understand. So we're really limiting
25 ourselves right now to matrix items related to

1 chapter six.

2 **MR. ROLLINS (by Telephone):** This is Gene
3 Rollins. I need to clear something up I
4 think. The white paper was to assist in the
5 revision of chapter four.

6 **DR. WADE:** We have three chapters in play,
7 four five and we have six.

8 **MR. ELLIOTT:** So there are two more chapters
9 to be produced for you. And not to queer the
10 deal here or confuse, but if it would be
11 helpful, we can insert into the matrix the
12 specific text location in the document that we
13 produce. And then if you have that, you may
14 look at it as a working group and say to your
15 satisfaction on an individual basis it reads
16 to your liking or doesn't. Or you may choose
17 that if it's the beta dose analysis modeling
18 that you need to have SC&A look at, you might
19 choose to go different ways with an issue. So
20 if that's helpful, we can put that into the
21 text of the matrix where our treatment of an
22 issue resides in the document.

23 **MS. MUNN:** That kind of specification would
24 be enormously helpful. Thank you.

25 **MR. PRESLEY:** Yes.

1 **MR. ELLIOTT:** So we'll strive to toward that
2 then.

3 **DR. NETON:** I would offer in the spirit of
4 efficiency that we can have technical working
5 group exchanges during this if SC&A has issues
6 that they want to discuss that are, need
7 clarification or confusing.

8 **MR. PRESLEY:** I had thought you would do
9 that.

10 **DR. NETON:** Those have worked well in the
11 past for getting things through a log jam if
12 it becomes an issue rather than wait a month.

13 **DR. MAKHIJANI:** You and I have had the most
14 efficient calls.

15 **DR. NETON:** We do well.

16 **DR. WADE:** Everybody understand?

17 **MR. PRESLEY:** SC&A will review chapter six
18 and will get back to the working group on
19 items that pertain to the NTS matrix is what I
20 have here.

21 **DR. WADE:** I would state it the other way.
22 That SC&A will look at the subset of matrix
23 items that are answered in chapter six
24 purported to be answered in chapter six and
25 will answer the question are they adequately

1 addressed in chapter six.

2 **DR. MAURO (by Telephone):** To further on
3 that, I assume we sit tight until we see this
4 revised version of the matrix where, you know,
5 it's more explicitly points to the sections of
6 chapter six as was just mentioned earlier --

7 **DR. MAKHIJANI:** John --

8 **DR. MAURO (by Telephone):** -- on that as
9 opposed to taking the action now using the
10 current version of the matrix.

11 **DR. WADE:** That's open for discussion.

12 **DR. MAKHIJANI:** It's not necessary, John. I
13 think it's quite clear. I mean, this will be
14 forthcoming relatively soon I presume.

15 **MR. ELLIOTT:** My offer was for chapter four
16 and five, but you know, if it's helpful to the
17 Board, I think we could go in --

18 **DR. WADE:** Yeah, you should go on chapter
19 six with what you've got. Four and five, it
20 would be good to start that.

21 **DR. NETON:** Because starting with chapter
22 six is if we start pointing out individual
23 sentences, then you're going to lose the
24 totality of what we said in there because it
25 may exist in several places now.

1 **DR. MAURO (by Telephone):** Oh, okay. That's
2 why I asked the question. So what I'm hearing
3 it's not going to be that much more help to
4 try to identify all the different places.
5 Just a matter of here's the issue. It's
6 answered in chapter six. We're just going to
7 take a look at chapter six.

8 **DR. NETON:** Right, there's a neutron
9 section, a neutron appendix. I mean, it's
10 going to be in there if it's a neutron issue.

11 **DR. MAURO (by Telephone):** Gotcha, okay, I
12 understand.

13 **DR. WADE:** And then pending the receipt of
14 that and then the completion of chapters four
15 and five, then the work group can decide when
16 it next wants to get together, possibly by the
17 phone or possibly face-to-face.

18 **MR. PRESLEY:** It looks to me like it's going
19 to be maybe some time near the end of
20 September.

21 **MS. MUNN:** Well, that's getting us awful
22 close to the October meeting.

23 **MR. PRESLEY:** Larry's already stated that
24 he's up against the wall right now on some of
25 this stuff.

1 **DR. WADE:** Well, again, there are two
2 pathways. On chapter six SC&A can start right
3 away, and they can let you know. Once
4 chapters four and five are done then the work
5 group needs to decide how it wants to engage
6 SC&A on that. It might be able to do that on
7 a phone call, might want to get together. I
8 don't know. That's up to you.

9 **MS. MUNN:** But there's not, if the work that
10 needs to be done on six is not going to be any
11 more overwhelming than what we've identified
12 that it will be, then it would seem beneficial
13 to be able to have a phone call getting the
14 input of the respective individual members of
15 the work group with respect to their view on
16 whether or not their concerns are addressed
17 her and getting an update on where SC&A and
18 NIOSH are with that. It would be very helpful
19 if we could do that midway between now and the
20 next meeting. I don't know whether that's --

21 **MR. PRESLEY:** But to do that NIOSH has to
22 get that --

23 **MS. MUNN:** I guess the bottom line question
24 is --

25 **MR. PRESLEY:** -- complete?

1 **MS. MUNN:** No, no, I'm just talking about
2 six. I'm just talking about six. It would be
3 nice if we could get that off the table before
4 the next, at least get identified clearly
5 whether there are any remaining issues on
6 that.

7 **DR. WADE:** So as always, John, the question
8 comes to you now of when do you think you'll
9 be prepared to report on the task you've been
10 given today?

11 **DR. MAURO (by Telephone):** Well, I would
12 like to caucus with Arjun and our other
13 external dosimetrists to finish reading the
14 report, and that may take a day or two just to
15 read it, and so that we get a sensibility of
16 the scale of the problem. And then I will get
17 back to the working group let's say toward the
18 end of -- today is Tuesday?

19 If I can get back to the working group
20 toward the end of this week to lay out when we
21 think we'll be able to send in our
22 commentaries on chapter six and give you a
23 date. I'd hate to try to set a date right
24 now. I notice I mentioned two weeks, and I
25 got a reaction from Arjun which is I

1 understand. I really don't know until we
2 finish reading it what we're about to take on.

3 **DR. WADE:** Okay, so if you do that, then the
4 Chair of the work group can look at that, and
5 if it looks reasonable to schedule a call a
6 week after that date within the timeframes
7 Wanda mentioned, then I would say do it.

8 **MR. PRESLEY:** How many of us are going to be
9 up here for that Procedures meeting in
10 Cincinnati on the 29th?

11 **MS. MUNN:** Me.

12 **MR. PRESLEY:** And I am.

13 **DR. MAKHIJANI:** I'm going to be here.

14 **DR. MAURO (by Telephone):** I'll be at the
15 29th meeting.

16 **DR. WADE:** Brad could call in.

17 **MR. PRESLEY:** Brad could call in and the
18 same way with Jim. We're going to be here.
19 Wanda and I have to be here for that
20 Procedures group.

21 **DR. WADE:** That presupposes that John's
22 material will be to you before then.

23 **MR. PRESLEY:** Either the 28th or 29th.

24 **MR. CLAWSON:** That will all depend on John,
25 what he brings out the end of this week, but

1 we can, after what John says, we can shoot for
2 that.

3 **DR. NETON:** It would be nice if NIOSH would
4 have a chance to react as well because what
5 will happen is SC&A will present something,
6 and then we'll say, well, we just read this.

7 **MR. PRESLEY:** I want to make sure that you
8 all, I don't want to come up here like we did
9 today and --

10 **DR. MAKHIJANI:** Mr. Presley, part of the
11 goal is to have as many items resolved without
12 further work and further revisions. And it is
13 most helpful to have the greatest clarity
14 between us as to what was being said. And in
15 the past Jim has mentioned that we've had some
16 good luck with just resolving issues without
17 even having to bring them up because it was
18 something that we thought was being said that
19 wasn't being said, but it was something else.
20 There was more data some place else that we
21 hadn't seen or something like that.

22 And there is one external dosimetrist
23 that we haven't even seen this document. We
24 haven't touched based with him on his
25 schedule. So I think it's a, it's your

1 pleasure, but I just -- this is, from my half,
2 look at half of it, the reason I didn't,
3 normally, I turn the pages and at least try to
4 reach the end before. But this thing is a
5 complex thing, and I couldn't turn the pages
6 to reach the end because I wouldn't be able to
7 say anything about any page. So it's an
8 unusually difficult document.

9 **DR. WADE:** Let me propose this. What about
10 at a certain time next Wednesday, we have a
11 mini-conference call between John Mauro, the
12 Chairman, Jim and I. We assess the situation
13 and decide what would be the appropriate
14 action in what timeframe.

15 **MR. PRESLEY:** That Wednesday, the 15th?

16 **DR. WADE:** I was picking a day to give
17 everybody a chance to, Wednesday, the 15th.
18 Does that work?

19 I didn't hear that.

20 **DR. MAURO (by Telephone):** That's fine with
21 me. By that time we certainly should have a
22 pretty good idea of what our, the level of
23 effort that's going to be necessary to provide
24 you with our commentaries.

25 **DR. WADE:** And who do you want us to use as

1 a NIOSH point of contact, you or Jim, Larry?

2 **MR. ELLIOTT:** Jim is fine.

3 **DR. WADE:** Okay, so let's say at one o'clock
4 eastern time on the 15th. One o'clock eastern
5 time on the 15th. At a minimum the Chairman,
6 John Mauro, myself and Dr. Neton will have a
7 call.

8 **MR. ELLIOTT:** And Mark, I'd like Mark.

9 **DR. WADE:** And Mark. And at that point
10 we'll say how's it looking. And based upon
11 that say let's try for a phone meeting on the
12 29th or --

13 **MR. PRESLEY:** Can you send a thing out on
14 that?

15 **DR. WADE:** Yes.

16 Could I ask you, Jim, to do that?

17 **DR. NETON:** Sure.

18 **MR. ELLIOTT:** Call-in number.

19 **DR. NETON:** Do you want me to send an e-mail
20 to the work group? Let me get the attendees
21 down. I wasn't --

22 **MR. ROLFES:** I can take care of that.

23 **DR. NETON:** Mark's got it.

24 **DR. WADE:** Well, that's good.

25 **MS. MUNN:** Don't set your upcoming date on

1 the 29th. I can assure you the work group is
2 going to take the entire day.

3 **DR. WADE:** Well, then Robert can then
4 communicate. Once he decides he can
5 communicate to the work group his proposal.

6 **MR. PRESLEY:** If somebody wants to sit in or
7 listen on to what's going on.

8 **DR. WADE:** So, Mark, if you would put out,
9 give the rest of the work group the
10 information as well, but with no requirement
11 that they call in unless they're curious.

12 **MR. ROLFES:** Okay, all right. I'll cc the
13 work group.

14 **MS. MUNN:** My calendar says that we have a
15 full Board call scheduled the 4th of September.

16 **DR. NETON:** Correct.

17 **MS. MUNN:** In any case, I have no feel for
18 how full that dance card's going to be.

19 **DR. WADE:** Not too full. I'm thinking
20 that's not going to be too full. So I think
21 the afternoon of the fourth, though we
22 probably wouldn't start until 11:00. But I
23 would say by one or two we should be done
24 because the agenda isn't looking full to me
25 for a call. There's lots of things we can do,

1 but we can't do many things on a call.

2 **MS. MUNN:** That's right.

3 **DR. WADE:** So that's a possibility of using
4 some time then afterward.

5 **MR. PRESLEY:** What I have then is action
6 items is mass loading and dust sampling.
7 Comment on the clean up of Area 9. NIOSH will
8 look at the problem and get back with the
9 working group. And when, where and why air
10 samples were taken at NTS.

11 **MR. ELLIOTT:** Yeah, we're going to address
12 all of those in our chapter four, five, four
13 and five.

14 **DR. NETON:** Resuspension goes to four.

15 **MR. PRESLEY:** And the matrix goes away
16 except for Arjun has to look at the --

17 **MR. ELLIOTT:** There are issues in the matrix
18 that go to chapter four and five.

19 **MR. PRESLEY:** We'll keep an eye on them.

20 **DR. MAKHIJANI:** Just going through it today
21 I'm pretty confident that whatever items we've
22 raised --

23 **DR. NETON:** There's something in there.
24 It's just whether or not it's --

25 **DR. MAKHIJANI:** --there's some text in here.

1 Just reasonably clear so basically it's the
2 review that remains. So from that point of
3 view the matrix items will get closed in that
4 there's some text in there.

5 **MR. PRESLEY:** And I'll let Mike Gibson know
6 about the interviews and the clarification of
7 the NTS interview data.

8 Anybody else have anything else?

9 (no response)

10 **MR. PRESLEY:** Mark?

11 **MR. ROLFES:** I have nothing else. I know
12 there's many issues that are debated, you
13 know, that we've put on the table. And
14 there's different approaches to complete a
15 dose reconstruction. We are trying to get the
16 claimants a timely answer. That's the bottom
17 line. And we want to make sure that the
18 compensation decision is correct. Many of the
19 issues that we are discussing can be discussed
20 for years to come, and we are trying to
21 address these, you know, as expeditiously as
22 possible so that we are providing timely
23 responses to claimants. Many of the issues
24 that we're discussion are not going to affect
25 compensation decisions, so there is always

1 going to be, you know, a person that is
2 reviewing each claim to make sure that we have
3 been claimant favorable so that we are
4 verifying that the compensation decision is
5 correct. And I want to keep that in mind
6 with, you know, a good path forward for this
7 document so that we can be expeditious and
8 make correct scientific decisions.

9 **DR. WADE:** Well said.

10 **MR. PRESLEY:** I appreciate that.

11 **MS. MUNN:** Are we done for the day?

12 **MR. PRESLEY:** I have nothing else.

13 **DR. NETON:** Are we going to sign off here?

14 **MS. MUNN:** I think so.

15 **DR. WADE:** Goodbye out there.

16 (Whereupon, the work group meeting adjourned
17 at 3:50 p.m.)
18

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of August 7, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 17th day of October, 2007.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**