

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

BLOCKSON CHEMICAL

TECHNICAL CALL

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held telephonically on
November 2, 2007.

*STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING
404/733-6070*

C O N T E N T S
November 2, 2007

WELCOME AND OPENING COMMENTS DR. LEW WADE, NIOSH	6
TECHNICAL DISCUSSION	7
THORIUM-230	12
COURT REPORTER'S CERTIFICATE	59

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^"/((inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSEXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

1 CLAWSON, Bradley
2 Senior Operator, Nuclear Fuel Handling
Idaho National Engineering & Environmental Laboratory

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

3 MELIUS, James Malcom, M.D., Ph.D.
4 Director
5 New York State Laborers' Health and Safety Trust Fund
6 Albany, New York

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

IDENTIFIED PARTICIPANTS

ANIGSTEIN, BOB, SC&A
ELLIOTT, LARRY, NIOSH
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
KATZ, TED, NIOSH
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
NETON, JIM, NIOSH
TOMES, TOM, NIOSH

NOVEMBER 2, 2007

P R O C E E D I N G S

(11:00 a.m.)

WELCOME AND OPENING COMMENTS

1
2
3 **DR. WADE:** So is Gen Roessler on?

4 (no response)

5 **DR. WADE:** Jim Melius?

6 (no response)

7 **DR. WADE:** Mike Gibson?

8 **MR. GIBSON:** Yeah, Lew, I'm here.

9 **DR. WADE:** Hi, Mike, how are you?

10 **MR. GIBSON:** Fine, how are you?

11 **DR. WADE:** So we have Munn, Gibson, Clawson

12 --

13 **DR. ROESSLER:** And Roessler just checked in.

14 **DR. WADE:** Hi, Gen, how are you?

15 **DR. ROESSLER:** Good.

16 **DR. WADE:** We are missing only Dr. Melius.

17 We do have Ray up and functioning, right?

18 **COURT REPORTER:** Yes, sir.

19 **DR. WADE:** Well, Wanda, do you want to wait
20 a moment or do you want to start, do the
21 introductions and move on?

22 **MS. MUNN:** I really want to go ahead and
23 start because I want to make clear -- Ray,

1 we're on the record now, okay?

2 **COURT REPORTER:** Yes.

3 **TECHNICAL DISCUSSION**

4 **MS. MUNN:** I want to make clear that this is
5 not a standard meeting of the work group. It
6 is instead a technical discussion of NIOSH for
7 our contractor with respect to the last couple
8 of items that are involved in Blockson site
9 review. So we have these items discussed at
10 our last full working group meeting.

11 SC&A was asked to provide written
12 documentation of their position with respect
13 to some additional reviews that had been done
14 of the materials. They have done that for us
15 in a document dated October 24, a review of
16 the white paper prepared by NIOSH entitled,
17 "(Unintelligible) of Outstanding Issues on the
18 Review of the Blockson Chemical Company, Basis
19 Document and SEC Petition Evaluation Report."

20 This is intended to be specifically a
21 technical discussion between SC&A and NIOSH
22 with respect to these two points. But if we
23 don't have NIOSH on the line right now --

24 **DR. NETON:** NIOSH is here.

25 **MS. MUNN:** Hi, how are you, Jim?

1 **DR. NETON:** Good, good morning, I got here a
2 couple minutes late.

3 **DR. WADE:** So maybe we'll just do a real
4 brief set of introductions so we can be sure
5 who's with us and who's not. And then, as
6 Wanda said, this is a technical call. It is
7 being transcribed. It is open to the public.
8 So Board members Munn, Roessler, Gibson,
9 Clawson are with us. Are there any other
10 Board members on this call?

11 **UNIDENTIFIED SPEAKER:** No.

12 **DR. WADE:** Okay, so we don't have a quorum
13 of the Board, and we can proceed. Let's have
14 the NIOSH/ORAU team that's on the line
15 identify themselves and as well as specifying
16 if you have a conflict relative to the
17 Blockson site.

18 **DR. NETON:** Jim Neton, no conflict at
19 Blockson.

20 **MR. TOMES:** Tom Tomes, I also have no
21 conflict at Blockson.

22 **DR. WADE:** How about the SC&A team?

23 **DR. MAURO:** This is John Mauro, SC&A, no
24 conflict.

25 **DR. MAKHIJANI:** Arjun Makhijani, SC&A, no

1 conflict.

2 **DR. ANIGSTEIN:** Bob Anigstein, SC&A, no
3 conflict.

4 **DR. WADE:** Is that the sum of the SC&A team
5 and the NIOSH/ORAU team on the line?

6 (no response)

7 **DR. WADE:** Is there anyone else who would
8 like to be identified for the record as being
9 on this call?

10 **DR. MELIUS:** Jim Melius.

11 **DR. WADE:** Hi, Jim, thank you for joining
12 us.

13 **MS. HOMOKI-TITUS:** Lew, this is Liz Homoki-
14 Titus with HHS.

15 **DR. WADE:** Welcome, Liz.

16 **MS. HOWELL:** And Emily Howell with HHS.

17 **MR. KATZ:** Ted Katz with NIOSH.

18 **DR. WADE:** Okay, Wanda, you can proceed as
19 you will.

20 **MS. MUNN:** As I said earlier, this is
21 specifically a technical call, a working group
22 discussion, as we had intended it to be. We
23 sent a note to Stu earlier asking that NIOSH
24 lead off on this. I see the logical path
25 forward, the NIOSH response to the information

1 of October 24th.

2 Is that all right with you, Jim?

3 **DR. NETON:** You sent a note to Stu you said?
4 I didn't see a note on this, but --

5 **MS. MUNN:** I'm sorry about that. Perhaps I
6 didn't copy you.

7 **DR. NETON:** That's fine. We can lead this
8 off or SC&A can put forth their position. It
9 doesn't matter to me one way or the other.
10 Whichever is your pleasure.

11 **MS. MUNN:** Since we have SC&A's white paper
12 as we had requested at the last meeting of the
13 work group.

14 (Whereupon, loud classical music ensued.)

15 **DR. WADE:** I'm going to have to -- there's
16 music playing in the background on this call.
17 Someone has to consider the fact that there's
18 music playing in the background, and we can't
19 continue if that remains.

20 **MS. HOMOKI-TITUS:** I think somebody put us
21 on hold, Lew.

22 **MS. MUNN:** Let's just call back in again.

23 **DR. WADE:** I hate to impose. Let's wait one
24 more minute, and if that doesn't work, I'm
25 going to have to ask you to call back in.

1 Who's answering my questions when I
2 ask them, if I might ask?

3 **MS. HOMOKI-TITUS:** Lew, this is Liz. I'm
4 the one that's been, they might have put us on
5 hold. But I'm not sure who's been answering
6 questions.

7 **DR. WADE:** Okay. Yeah, I understood that,
8 but someone is answering my questions.

9 Okay, I guess with apologies to all,
10 could you please call back in, and then I'll
11 do a little speech when we start. Thank you.

12 (Whereupon, the working group ended the call
13 and called in again.)

14 **DR. WADE:** I'm sorry about that. So I
15 assume the offending party is not on the line,
16 but to any and all please be mindful of
17 background noises. And if at all possible
18 mute the instrument that you're using so we
19 can conduct our business.

20 Wanda, are you back with us?

21 **MS. MUNN:** Yes.

22 **DR. WADE:** Let me do a quick roster check.
23 Gen Roessler?

24 **DR. ROESSLER:** Here.

25 **DR. WADE:** Mike Gibson?

1 **MR. GIBSON:** Here.

2 **DR. WADE:** Brad Clawson?

3 **MR. CLAWSON:** Here.

4 **DR. WADE:** Dr. Melius?

5 **DR. MELIUS:** Here.

6 **DR. WADE:** So please proceed, Wanda.

7 **MS. MUNN:** As I had said earlier when we
8 were interrupted, our expectation is that this
9 will be specifically a technical discussion
10 and not necessarily a meeting and discussion
11 of the work group per se. We're asking that
12 NIOSH ^ up on this because we have before us a
13 white paper document that was provided by
14 SC&A, a response made to a request made during
15 our last working meeting.

16 Go ahead, Jim, it's all yours.

17 **THORIUM-230**

18 **DR. NETON:** I think there's a number of
19 things I'd like to discuss, but I guess I can
20 just sort of bracket our feeling and thoughts
21 on this, the review of our position on the
22 Thorium-230, which I think is the main issue
23 we want to talk about today.

24 **DR. MELIUS:** Excuse me, Jim, one quick
25 question. Is this being transcribed? Or how,

1 what is the procedure for this particular
2 call?

3 **DR. WADE:** Yes, Jim, it is being
4 transcribed. But while Wanda has defined it
5 as not a normal work group meeting, we did put
6 out notice of it, and it is being transcribed.

7 **DR. MELIUS:** Okay, thank you.

8 **MS. MUNN:** Because we are so near to the end
9 of our discussions, because the last standing
10 items that we had, we felt that it was
11 necessary for us to have a permanent record of
12 the discussion that took place.

13 **DR. MELIUS:** I'm not objecting. I was just
14 asking.

15 **DR. NETON:** I'll continue then. I should
16 mention that Larry Elliott has joined us at
17 the table here in Cincinnati.

18 In reviewing SC&A's paper, it appears,
19 you know, they've taken (telephonic
20 interruption) some issues related to the
21 bounding nature of the Thorium-230
22 calculation. And I believe that we have
23 sufficiently demonstrated that we've bounded
24 it for all raffinate streams. And my take on
25 this document is that they're specifically,

1 and I think it specifically discusses
2 raffinate streams that occurred in Building
3 55. I think, I don't sense from this paper
4 that they're insisting that there were other
5 raffinate streams in the general plant that
6 could have been higher in Thorium-230. If
7 that's the case, I think we're on the same
8 wavelength.

9 **DR. MAURO:** Yeah, Jim?

10 **DR. NETON:** Yes.

11 **DR. MAURO:** This is John. Yes, you could
12 tell by, we basically took off from the
13 Elzermann report where he made reference to
14 two filter locations in Building 55 which he
15 calls Filter One and Filter, I guess, Step.
16 He calls them Steps One and Step Four. And I
17 think we felt that he did a good job in
18 characterizing where the thorium might have
19 gone. So we felt that those were the areas
20 that were the most of concern.

21 **DR. NETON:** Right, so there are essentially
22 two steps, two other steps to look at here,
23 and one is Step Four. And I think in our
24 original white paper we wrote that we believe
25 that Step Four by all accounts was sort of a

1 liquid step. That is, that there was no, it
2 was a raffinate step, but it was a liquid that
3 was just pumped out of the building, I
4 believe, into a holding pond of some type. So
5 there really was no sort of traditional
6 filtrate at that step.

7 **DR. MAKHIJANI:** Jim, this is Arjun. I think
8 there were three places where there were,
9 well, in one place the raffinate was actually
10 the uranium. And that's the place where the
11 filtrate was the waste. And that's not the
12 place that I think we're talking about.

13 **DR. NETON:** I think it is, Arjun.

14 **DR. ANIGSTEIN:** Can I --

15 **DR. MAKHIJANI:** I don't have the diagram in
16 front of me, but I can get it, but --

17 **DR. ANIGSTEIN:** -- this is Bob Anigstein.
18 The step in particular that you're referring
19 to is on the, ^ Elzermann report ^. The
20 simpler diagram is in the Figure 2 of the TBD.
21 And there is the, at the very beginning in the
22 upper right-hand corner of the diagram, there
23 is a, I believe that's what Arjun referred to
24 as Step One, but there is -- (classical music
25 interruption) here we go -- where it says heat

1 to 60 degrees Centigrade, and just below that
2 it says filter cake going to waste. And then
3 at the very bottom of the diagram, just before
4 the end, there is another place where it says
5 filter. So filter certainly applies to dry
6 material, I mean, a solid material, not
7 liquid.

8 (Whereupon, classical music continued, and
9 louder.)

10 **DR. WADE:** This is Lew again. We're going
11 to have to take some additional steps.

12 Liz, could you do what you did before?

13 **MS. HOMOKI-TITUS:** I would be happy to.
14 Hang on one second.

15 **DR. WADE:** And with the possibility of then
16 limiting calls onto this line. (Music stops.)
17 And now it went away.

18 Someone, if you're still on the line,
19 someone is putting this call on hold and is
20 disrupting this call, and it really needs to
21 stop. You need to disconnect from the call if
22 you can't conduct your business any better
23 than that. So there is someone who's putting
24 this call on hold. It just stopped again. If
25 that's you, then please don't do that because

1 we can't continue this call if you do.

2 **DR. NETON:** Okay, so we're getting into this
3 discussion about the different filters. And
4 there are two filters listed. Let's ^ right
5 now is that we believe that was a liquid
6 waste. Let's just talk about that.

7 There are three points here where
8 Thorium-230 could concentrate. And I think
9 Bob Anigstein raised a good issue here. We
10 consider the uranium product sort of as an
11 extension of the raffinate stream in itself.
12 And what we've done in this document is dumped
13 all of the thorium into that raffinate stream,
14 and as it's well known by now, we assume that
15 that's somewhere around 140 nanocuries per
16 gram if it's in equilibrium with the original
17 uranium product.

18 So our contention still remains, and
19 aside from these calculations that SC&A has
20 made about 23 millicuries per gram specific
21 activity Thorium-230, that we are still
22 unconvinced that there is any raffinate
23 streams that could be generated here or
24 anywhere in the DOE complex that exceeds a
25 raffinate concentration of 140 nanocuries per

1 gram. I'm not sure that SC&A has agreed to
2 that.

3 **DR. MAURO:** Jim, let me give you
4 conceptually why we, when we originally
5 discussed this -- this is John -- I think your
6 two arguments were very compelling. And, in
7 fact, we even said that in our meeting on
8 October 2nd, I believe it was. I'm not sure if
9 it was the 2nd or 3rd. And basically the
10 argument being the 140 nanocuries per gram.
11 Certainly it's a high number when you look at
12 other raffinate streams. And also the fact
13 that it's likely that other raffinate streams
14 are going to be primarily moist.

15 Now what happened was when we
16 regrouped after that meeting, and we said,
17 well, let's take a little closer look at it,
18 maybe do some what-if calculations. What I
19 did was I said to myself, well, if, in fact,
20 what you're saying is they've got this
21 material that contains Thorium-230 at 142
22 nanocuries per gram, and you're also
23 effectively saying that the dust loading that
24 a person might be experiencing is on the order
25 of 30 micrograms per cubic meter. In other

1 words, that results in your 41 picocuries per
2 day.

3 **DR. NETON:** But, John, you've got to
4 remember that's a time-weighted dust loading ^
5 with that.

6 **DR. MAURO:** My write-up acknowledges that.

7 **DR. NETON:** And so it could be much, much
8 higher than that if there was an episodic
9 exposure of a half hour duration once a week
10 or something like that.

11 **DR. MAURO:** Yes, and I agree with that.

12 **DR. NETON:** ^ to a hundred times higher.

13 **DR. MAURO:** And I agree with that, and I
14 actually support that. But in effect what
15 happens is so these people, in other words,
16 your bounding scenario effectively means that,
17 well, we're really not going to experience an
18 intake of greater than 41 picocuries per day
19 of Thorium-230. It's very unlikely that other
20 locations where there might be some raffinates
21 containing some thorium could be higher than
22 that. And then so what we did is we said,
23 well, is that a compelling argument. And our
24 first review said, yeah, it looked reasonable.
25 But then we did some scoping calculations. In

1 fact, Bob Anigstein did one. We didn't put it
2 in the report because it was just that. It
3 was just a what-if. And we found out we could
4 easily come up with some numbers that could be
5 higher than that.

6 Bob, perhaps you can just give a quick
7 rundown of --

8 -- really, this is the trigger that
9 said that maybe we need to take a closer look
10 at this.

11 **DR. ANIGSTEIN:** Well, first of all let me
12 answer Jim's comment. If you have a filter, I
13 mean, excuse me if I'm being didactic. If you
14 have a filter, the filter is removing solid
15 material. So if you have, according to the
16 diagram and according to the Elzermann Report
17 which we think is very definitive, he said
18 there was a 30 percent chance of the thorium
19 parting ways with the uranium during Step One,
20 which I identify on the TBD diagram as the
21 first filtration step in the upper right-hand
22 corner. There is also something like an 80
23 percent chance that the thorium may be
24 separated from the uranium in Step Four, which
25 I think is that last filtration step.

1 **DR. MAKHIJANI:** No, Bob, it's the second
2 last. I think that's what's confusing the
3 thing. There are four filtration steps.

4 **DR. ANIGSTEIN:** And he refers, Elzermann
5 refers to four steps. And Step Four is the
6 one where there is another likelihood of
7 taking out the thorium. There are four
8 filters, and he has four steps, so I believe
9 that's it. But actually, it's immaterial,
10 Arjun.

11 **DR. MAKHIJANI:** No, no, because of what Jim
12 was saying, both those filters on the right
13 side of the diagram --

14 **DR. ANIGSTEIN:** Arjun, I'm talking about the
15 one on the bottom. I believe that's Step Four
16 because it's the last step, last filtration
17 step.

18 And in both cases it's being removed
19 as a solid. Now maybe I grant you it would
20 be, we all agree, it would be a wet solid.
21 But nevertheless it's being taken away and in
22 a very simplistic way, we think of it what if
23 it's loaded into a wheelbarrow and taken off
24 to the waste dump. Being in an open area, not
25 being treated as if it were a toxic material,

1 there is going to be some spillage. There's
2 very likely to be some spillage. And we
3 calculated, I calculated that if you spill as
4 little as one percent of it, and the spill --
5 and this is just again a scoping calculations.
6 I'm just using powers of ten. So if you spill
7 one percent, and if you then, say, you have
8 100 kilograms --

9 **DR. NETON:** What concentration is the
10 thorium, Bob?

11 **DR. ANIGSTEIN:** Well, we're assuming that --

12 **DR. NETON:** Is it pure thorium?

13 **DR. ANIGSTEIN:** No, that's the thing. We're
14 not dealing with concentrations.

15 **DR. NETON:** That makes a difference.

16 **DR. ANIGSTEIN:** Because if we simply say
17 there is 3,000 pounds of uranium, of the
18 equivalent, it's not really that chemical
19 form, but the equivalent of U-308, it's being
20 produced ^ . So 85 percent of that is uranium
21 which has specific activity of the uranium in
22 that U-308 is approximately 10,000 Becquerel
23 per gram. So therefore, you have 1.4 of ten
24 to the tenth Becquerels per month of uranium
25 being produced.

1 So at the same time by the same token
2 you have 1.4 times ten to the tenth Becquerels
3 of Thorium-230 being produced. But when I say
4 uranium, I mean Uranium-238. So then if we
5 spill one percent, we're spilling 1.4 times
6 ten to the eighth Becquerels per month onto
7 this floor. And again in round numbers, let's
8 say the floor has an area of 100 square
9 meters, a big room ten-by-ten meters, 30-by-30
10 feet. So now you will have an average surface
11 activity of 1.4 times ten to the sixth
12 Becquerels per square meter. And if we assume
13 a resuspension of ten to the minus five per
14 meter, then you end up with an airborne
15 concentration of 14 Becquerels per cubic
16 meter.

17 **DR. NETON:** This is in picocuries. I'm not
18 --

19 **DR. ANIGSTEIN:** I'm sorry. I did this in
20 Becquerels.

21 **DR. NETON:** I know, but I prefer picocuries.
22 It's about three-tenths of a picocurie --

23 **DR. ANIGSTEIN:** I'm looking at my
24 spreadsheet.

25 **DR. NETON:** It's about four-tenths of a

1 picocurie per cubic meter.

2 **DR. MAKHIJANI:** No, 14 would be times 27,
3 Jim, so it would be about 300 or 350. It
4 would be about 350.

5 **DR. MAURO:** Bob, certainly --

6 **DR. ANIGSTEIN:** Just a second. I'll happily
7 give you picocuries, 385 picocuries per cubic
8 meter. And then if we go again factors of
9 ten, let's say there's a, over the month
10 there's an average occupancy of ten percent.
11 Ten percent of the time there's a, a worker is
12 in that area. Then he could have an intake of
13 300 based on a normal breathing rate of 370
14 picocuries per day. Now, I'm not saying that
15 this is an exact number. I'm just saying that
16 conceptually it could be higher than the 41
17 picocuries per day assumed in the TBD. That's
18 our point. ^ opportunity here.

19 **DR. NETON:** I think you've sort of ignored
20 the dilution of this material. Now I
21 understand what you did.

22 **DR. ANIGSTEIN:** We're not talking anything
23 about dilution. We're talking normal
24 concentration.

25 **DR. NETON:** I understand that, Bob --

1 **DR. ANIGSTEIN:** We have so many Becquerels
2 or picocuries if you wish being spilled, a
3 certain fraction goes into the air.

4 **DR. NETON:** I understand that, Bob, but if
5 it's diluted in a large matrix of material,
6 the availability of it for resuspension is a
7 lot less. I mean if you threw five million
8 pounds, let's take a crazy example, on the
9 floor in that same area, the availability of
10 it for inhalation is not as if it's in its
11 pure form.

12 **DR. ANIGSTEIN:** I agree with that.

13 **DR. NETON:** The dust loading is related to
14 the amount of material that's there to be re-
15 suspended, so --

16 **DR. ANIGSTEIN:** If you have --

17 **DR. MAKHIJANI:** Jim, Bob, I have to go in a
18 minute, so let me just put this on the table,
19 and then I'll have to leave. I did some
20 scoping calculations on that, Jim, and I agree
21 that if there is a huge, if you're producing
22 ten, twenty thousand tons of raffinate every
23 year, then the dilution would be very great.

24 But if you're producing hundreds of
25 tons I think the intake could easily exceed,

1 easily exceed 41 picocuries per day. So there
2 is, that's why if you look at the white paper,
3 you'll actually see one of the things that we
4 thought needs to be investigated is the amount
5 of raffinate produced. That's why that is
6 there. I have to go, thanks.

7 **DR. MAURO:** Arjun, thank you. I think the
8 team ^ calculations that Arjun's. You could
9 see why we're sort of holding onto this issue.
10 We think that it needs to be explored further.

11 **DR. NETON:** Let me ask another question
12 here. You take a ten to the minus fifth of
13 the thorium, but is that really a
14 resuspension, ten to the minus fifth of the
15 material that's deposited on the floor?

16 **DR. ANIGSTEIN:** Yeah, it is.

17 **DR. NETON:** And so if it's being diluted by
18 a factor of a thousand --

19 **DR. ANIGSTEIN:** No, no, no, no. Even -- I
20 agree. Typically, a resuspension is the top
21 millimeter. I know there's been some,
22 according to -- I forget who's the expert on
23 from PNL that wrote on resuspension.

24 **DR. NETON:** Bob, let me finish here. I
25 think what you're doing is you're re-

1 suspending ten to the minus fifth of all the
2 thorium that went on the floor.

3 **DR. MAURO:** Yes, no, ten to the minus five
4 per meter.

5 **DR. NETON:** Per meter, right.

6 **DR. MAURO:** Yeah, in other words it's ten to
7 the minus five picocuries per meter cubed --

8 **DR. NETON:** But don't you re-suspend ten to
9 the minus five of the material that was
10 deposited, not of the pure thorium?

11 **DR. ANIGSTEIN:** Of course.

12 **DR. NETON:** Well, then if it's --

13 **DR. ANIGSTEIN:** But you don't dilute it.
14 We're not talking, we're not giving you grams.
15 We're simply saying whatever is on the floor,
16 whatever mix, of course, it's not pure
17 thorium, but whatever mix is on the floor as
18 long as it doesn't exceed a millimeter
19 thickness, and, of course, it wouldn't because
20 it would be stepped on -- (telephonic
21 interruption) oh, oh, here we go.

22 **DR. WADE:** Yes, just hold on for one minute
23 and see if it ends.

24 Liz, could you make the call again?

25 **MS. HOMOKI-TITUS:** Certainly.

1 ten minutes I'll speak to the issue because
2 right now it probably wouldn't work, but okay,
3 please continue.

4 **DR. ANIGSTEIN:** What we're talking about is
5 that we have an area of 100 square meters
6 which means one million square centimeters.
7 So if you had like one millimeter, let's give
8 it a bulk density of one which is probably not
9 a bad number, so what we're really talking
10 about is you could have ten to the fifth grams
11 on the floor, meaning 100 kilograms on that
12 floor at any one time. And it would still all
13 be subject to resuspension. It would not be
14 such a deep layer that, you know, we're not
15 talking about knee deep in raffinate. So I
16 think it's a commonsense. I'm not defending
17 it as mathematically accurate. It's just
18 conceptually such a situation could happen.

19 **DR. NETON:** And it never would have been
20 cleaned up in the --

21 **DR. ANIGSTEIN:** I didn't say, I would say
22 like let's say it accumulates until it's about
23 a millimeter deep at which point somebody
24 says, hey, this floor is dirty. Let's clean
25 it. But I'm assuming once a month rather than

1 once a day cleaning. And talking to some, you
2 know, I remember thinking about Blockson where
3 they said the floor did not get cleaned on a
4 daily basis.

5 **DR. NETON:** That was a site-wide requirement
6 I've been told that they hosed down the floor
7 after every shift.

8 **DR. ANIGSTEIN:** Well, that -- at Blockson?

9 **DR. NETON:** At Blockson Chemical, yes.

10 **DR. ANIGSTEIN:** I see, well, then the number
11 would be smaller, but it still could be,
12 particularly if it's intermittent, and
13 particularly if we had ten to the minus five
14 is probably a low, John will know what the
15 resuspension factor is. He said it could be
16 as much as ten to the minus four in an area
17 which has traffic, not a dormant area, but a -
18 -

19 **DR. NETON:** Well, exactly. I have trouble
20 believing that calculation only because if you
21 look at other areas, say, in the phosphate
22 industry where they were dealing with radium
23 and such, where there were large amounts of
24 alpha activity in the filtrates, you don't see
25 this.

1 If you look at that Florida
2 Institution of Phosphate Research Report where
3 there are air samples throughout the chemical
4 process area including one that was taken
5 right at the filter change-out area, they have
6 trouble getting air concentrations over a
7 picocurie per cubic meter. So in practice I'm
8 not seeing this in the literature. I
9 understand your scoping calculations. They're
10 good for, I guess, some purposes, but it just
11 doesn't ring true with what we've been seeing
12 in the literature.

13 **DR. MAURO:** Jim, if you're working with
14 radium, I guess the radium is contained in a
15 much larger bulk. Where I'm going with this -
16 -

17 **DR. NETON:** This is a filter. One percent's
18 being spilled as you're speculating here.

19 **DR. MAURO:** Okay, but you're saying, but I
20 guess what it comes down to is that we see
21 your argument, but these kind of scoping
22 calculations where you assume something very
23 simple. If there are some other places where
24 raffinate is accumulated, where thorium is
25 accumulating in raffinate, and I think there's

1 good reason to believe Bob Elzermann's report
2 that that could very well be happening. In
3 fact, it's likely. I mean, I got the
4 impression that his sense -- and by the way
5 our own independent sense is similar that it's
6 likely. And I think the main dilemma here is,
7 and Bob did it based on a resuspension factor
8 approach. Namely, okay, if only one percent
9 of the material or one percent of the thorium
10 that's going through the system finds its way
11 onto the floor, I mean, that's basically what
12 we're saying, and that's just a number we
13 picked out of the air.

14 **DR. NETON:** That's assuming 100 percent
15 efficiency in one of those filter places.

16 **DR. MAURO:** Right, and there's one other
17 steps, but we could assume one percent. We
18 could assume something less than one percent,
19 or we could assume ten percent. I mean, we
20 just picked one percent --

21 **DR. NETON:** Yeah, I know.

22 **DR. MAURO:** -- just to ask ourselves the
23 question if we do this calculation, scoping
24 calculation, and if we were to come back with
25 a number that was a very small fraction of 41

1 picocuries per day intake, we would say, yeah,
2 we've convinced ourselves that this is just
3 not a scenario that's plausible. But when we
4 did this for just the way we said it, one
5 percent of the thorium that's being handled in
6 we'll say a wheelbarrow, fell down on the
7 floor. It's spread out, and then it has a
8 resuspension factor of ten to the minus five.
9 All of a sudden we have an intake rate that
10 could posit that could easily be ten times
11 higher than the 41 picocuries per day.

12 And that left us a little bit off
13 balance. And now we realize that the reality
14 is that if you were to come out on a rather
15 than a resuspension factor approach but on a
16 mass-based approach where the volume of the
17 raffinate is substantial; and therefore, the
18 number of nanocuries per gram in the raffinate
19 for the thorium is well below 140. It's
20 contained in much larger volume which might
21 very well be the case.

22 And then arguments could be made,
23 well, even if the dust loading were on the
24 order of a -- see, then I would take a
25 different approach. If there's some way to

1 place, let's say, an upper bound or a
2 reasonable estimate of what the filter cake,
3 for example -- let's go with the filter cake
4 and the diatomaceous earth step. That would
5 be Step One.

6 Let's just assume that somehow we
7 could come up with what the quantity of that
8 material is produced each year or each month
9 or each change out. Right now, of course, we
10 don't have that information --

11 **DR. NETON:** But what if we just use this 140
12 nanocuries per gram though? That's our
13 position is that it's no more concentrated
14 than that.

15 **DR. MAURO:** Well, here's the problem --

16 **DR. NETON:** They could still fill a
17 wheelbarrow full of this stuff.

18 **DR. MAURO:** Just for a minute there's
19 another way to come at it. Let's assume that
20 some other place has 140 nanocuries per gram,
21 but then we ask ourselves what dust loading do
22 we assume that the person's exposed to. Do we
23 go with the 30 micrograms? Do we go with one
24 milligram?

25 **DR. NETON:** No, no, see, I think you take

1 the same wheelbarrow full, stick 140
2 nanocuries per gram material, spread it out
3 and see what you get.

4 **DR. ANIGSTEIN:** You know, the argument is
5 that there is a large raffinate stream all
6 told in the entire process, but at each
7 particular filtration step I don't know what
8 the raffinate, how much filtrate, not
9 filtrate, precipitate is being removed by
10 these filter steps. And I'm thinking that
11 there could be some filter steps where there
12 is relatively little solid material and a high
13 concentration of thorium.

14 **DR. NETON:** I'd be surprised because we've
15 not seen this anywhere else in the DOE complex
16 as we reported. There would have to be some
17 magical chemical processing step that would
18 produce this --

19 **MR. ELLIOTT:** ^ search for thorium then that
20 would have been the obvious --

21 **DR. MAKHIJANI:** I was able to get back onto
22 the call. This is Arjun.

23 I don't think the concentration has to
24 be 142 nanocuries per gram actually. The 142
25 nanocuries per gram is an inferential

1 concentration that isn't directly related to
2 the 41 picocuries. The 41 picocuries is the
3 number that you're trying to compare it to,
4 and a lot of it will depend on how much
5 raffinate you have. You can have a
6 concentration that's quite a bit less than 142
7 nanocuries per gram and still have intakes
8 greater than 41 picocuries per day.

9 **DR. NETON:** We're not saying that the
10 intakes are episodic intakes as we've
11 calculated them. You're --

12 **DR. MAKHIJANI:** Quite right, no, we agree --

13 **DR. NETON:** -- you're assuming a chronic, a
14 chronic --

15 **DR. MAKHIJANI:** No.

16 **DR. NETON:** -- intake of -- let me get this
17 straight though. You guys have calculated
18 that it could be 350 picocuries per cubic
19 meter for 365 days a year based on that
20 spillage?

21 **DR. ANIGSTEIN:** No, I was saying there would
22 be a ten percent occupancy of that room.

23 **DR. NETON:** What was that?

24 **DR. ANIGSTEIN:** I was assuming ten percent
25 occupancy, a one-tenth of the day average --

1 **DR. NETON:** And that came out to 350?

2 **DR. ANIGSTEIN:** And that came out to, yeah,
3 that came to an intake of 370 picocuries per
4 day.

5 **DR. NETON:** At a ten percent occupancy?

6 **DR. ANIGSTEIN:** Yeah.

7 **DR. NETON:** I really have trouble. I'd like
8 to -- and I wish you would have put this
9 calculation in your report because I'd like to
10 see all the assumptions that you're --

11 **DR. MAURO:** Bob, why don't you e-mail it?
12 Then they have a chance to look -- remember,
13 what this is --

14 **DR. ANIGSTEIN:** No, it's just a spreadsheet.

15 **DR. NETON:** Well, I'd like to get all the
16 assumptions down here because this does not
17 ring true with industry practice that I've
18 seen. I've worked in plants. I've looked at
19 phosphate reports. I mean, I understand what
20 you've done, but the assumptions just seem to
21 be off base somehow in reality.

22 **DR. MAURO:** Yeah, Jim, and I understand your
23 position. The way I see it is we went through
24 this process, and we asked ourselves these
25 questions, did some scoping. Arjun did some

1 scoping calculations. Bob did some scoping
2 calculations, and they made a very -- in other
3 words, they didn't say that this was
4 definitely happening, but it raised enough of
5 a question that we just could not set aside
6 the possibility that these scenarios could
7 occur.

8 And there was really nothing in your
9 report that would basically defeat these lines
10 of calculations or assumptions. And so the
11 way we wrote our white paper was I think these
12 areas need to be explored. And we'll
13 certainly send you both Arjun's and Bob's
14 what-if calculations, and if you could show
15 why that just can't happen, that's great.

16 But right now we walked away with the
17 idea that, well, these really can't be ruled
18 out right now. And my sense is the best way
19 to rule these out is to get a handle on what
20 the mass volume might be, production rate, on
21 these various filters and get what the
22 concentrations of thorium might be in that
23 material.

24 And then do some analyses that
25 demonstrate if some of that material somehow

1 became airborne did it dry out because it
2 spilled or became airborne. Or whether you
3 use a resuspension factor approach as Bob did
4 or you use a mass loading approach where you
5 assume a certain number of micrograms per
6 cubic meter and demonstrate that under no
7 circumstances would it even approach 41
8 picocuries per day, I would then say yes, and
9 you made your case. But right now we don't
10 have that.

11 (Whereupon, loud radio music ensued.)

12 **DR. WADE:** Oh, we did it again, didn't we?

13 **MS. MUNN:** It looks like we missed our
14 opportunity to catch the thief.

15 **DR. WADE:** I wonder what it is. Liz, one
16 more time, please. I'm sorry.

17 **MS. HOMOKI-TITUS:** Certainly.

18 **DR. WADE:** I'm not sure I understand the
19 mechanics that would lead to this.

20 **MS. MUNN:** Well, I think it's a person who's
21 putting us on hold.

22 **DR. ANIGSTEIN:** My guess would be that
23 somebody has an incoming call, and they put it
24 on hold so they can take the incoming call.

25 **DR. WADE:** But this is a message of someone

1 they're calling.

2 **MS. HOMOKI-TITUS:** The operator's going to
3 take care of it.

4 **DR. WADE:** So I'll try now, and I'll try in
5 five minutes. I assume you're not on the
6 line, but someone in Arizona is putting this
7 call on hold and disrupting the call. If you
8 hear this, please don't do that, and I'll set
9 my watch for five minutes and come back and do
10 this again, so please continue.

11 **DR. MAURO:** Anyway, I was hoping that you
12 did hear before --

13 **DR. NETON:** Yeah, I heard. I think we can
14 get that write-up because we're not going to
15 answer the question here, and I'd just like to
16 look at the assumptions because this is new to
17 me. I mean, basically, the report I read said
18 that it could happen. Now I see your basis
19 that you didn't provide.

20 **DR. MAURO:** Yeah, well, because it was as I
21 would say, what I would call hypothetical
22 what-ifs. But what-ifs in a way that say, you
23 know, it's not unreasonable to assume that
24 this could happen. Quite frankly, the reason
25 we did it was to see if we go through this

1 kind of what-if, are we going to come up with
2 something well below 41 picocuries per day.
3 And that would have really convinced us, but
4 it didn't happen that way.

5 **DR. ANIGSTEIN:** I'll volunteer to have it ^
6 write up in a few hours.

7 **DR. MAURO:** Arjun had something also. Arjun
8 came out a little differently. He did an
9 independent, another approach that was equally
10 informative.

11 Arjun, are you still on the line?

12 **DR. MAKHIJANI:** Yeah, I'm on the line, but
13 I'm on the road unfortunately. I can try to
14 do something this weekend while I'm traveling
15 and then send it to you early next week or I
16 won't --

17 **DR. MAURO:** We'll get you Arjun's as soon as
18 we can, but certainly we'll get you Bob's.
19 And that will at least get the thinking going,
20 and you could see where we maybe have gone
21 afoul.

22 **DR. NETON:** My original comment here, I was
23 going to say that all of this is pure
24 speculation in your report, but it sounds like
25 you've got some, at least some scientific

1 basis behind it because I didn't see any of
2 that coming out in this report.

3 **DR. MAURO:** Oh, yeah, like I said, we didn't
4 want to put this kind of material in here. In
5 retrospect I'm sorry we didn't. We could have
6 put an attachment and characterized it
7 appropriately that it was purely a
8 hypothetical just to explore what the possible
9 intakes might be. Yeah, that would have been
10 more helpful. But we'll get it to you. We'll
11 get it to you.

12 **DR. NETON:** Okay. There's one other thing
13 that I'd like to go over. I might have
14 misunderstood. Let me just see here. There's
15 this one paragraph on page four. I really
16 just don't get what you're trying to say here
17 when you calculate the specific activity of
18 the thorium material could be greater than
19 what was in the barrel. I mean, I don't even
20 see why that's relevant to be honest with you.
21 I mean unless you're assuming somewhere in
22 here that you're going to have pure thorium.

23 **DR. MAURO:** Let me tell you why this is
24 here. One of the steps we went through is to
25 say, okay, what's the worst situation that

1 could arise, including Thorium-232 was
2 isolated all by itself. And during --

3 **DR. NETON:** Well, I don't think that's
4 possible but --

5 **DR. MAURO:** I'm with you. Now, the reason
6 we did that was my original thought was, well,
7 if that comes in at a concentration that's
8 comparable to 142 nanocuries per gram, I think
9 that solves the problem.

10 **DR. NETON:** You know that wasn't going to
11 happen.

12 **DR. MAURO:** You know, it almost did. It was
13 only 20 fold higher because Thorium-230 is a
14 very low specific activity. And quite
15 frankly, I walked away from that saying even
16 if the pure thorium, I was at the point where
17 I said I think when we did this we came in
18 about 20 times higher. And I actually wrote a
19 paragraph that says if this happens, and
20 you're only 20 times higher, well, we know
21 that there's going to be some solid
22 diatomaceous earth that's going to
23 substantially reduce the concentration, and
24 we're going to get well below.

25 **DR. NETON:** Right.

1 **DR. MAURO:** That's where I came in on. And
2 but then, quite frankly, we realized, based on
3 this Koppinger* report, well, there's a real
4 possibility that Thorium-232 and Thorium-230
5 are going to go their separate ways and not be
6 entirely, so quite frankly, that left me in a
7 place where, hmmm.

8 So it's possible that the Thorium-230
9 is sort of on its own commingled with this
10 diatomaceous earth. And the only way to get
11 the concentration on the Thorium-230 in the
12 matrix down is to have some sense of what the
13 volume of the diatomaceous earth stream might
14 be or whatever the raffinate stream is.

15 And without that I felt as if we were
16 sort of, it's difficult for us to walk away
17 and say this just can't happen. So I was
18 looking for ways in which I could convince
19 myself that the 41 picocuries per day was, in
20 fact, a plausible upper bound, and I have to
21 say that I couldn't based on these kinds of
22 calculations and the kinds of things we're
23 talking about.

24 **DR. NETON:** We'll look at your write-up when
25 it comes out.

1 Finding 3 I was reading, and I'm not
2 exactly sure what the point is of this 15
3 percent versus 50 percent. Honestly, it makes
4 no difference in the actual dose calculations
5 whether we assume ten or 100 percent because
6 it's based specifically on bioassay data.

7 **DR. MAURO:** Yes.

8 **DR. NETON:** It makes no sense to me what
9 this is talking about.

10 **DR. MAURO:** I understand what you're saying.
11 And when we put this together, we just looked
12 at the assumption regarding the uranium
13 concentrations, but you base it on the
14 bioassay, it's irrelevant.

15 **DR. NETON:** Yeah, this is an irrelevant
16 finding.

17 **DR. MAURO:** We could delete Finding 3.

18 **DR. NETON:** We'll delete Finding 3.

19 **DR. ANIGSTEIN:** Excuse me, but doesn't this
20 feed into the 41 picocuries per day? Wouldn't
21 that be influenced?

22 **DR. MAKHIJANI:** Right, I think we'll take
23 Jim's comment and go back and look at it.

24 **DR. WADE:** Let me just pause for a minute
25 just in case. If there's someone on the phone

1 happens on a conference call. I think Jim is
2 right though. We should look at it.

3 **DR. MAURO:** We'll look at it, but I
4 understand what you're saying, Jim.

5 **DR. NETON:** Well, I think that's all that we
6 can, unless Tom Tomes sitting here or Larry
7 has anything else to offer. I don't think we
8 can really do any more until we get a hold of
9 some of the assumptions and look at them.

10 **DR. MAURO:** Well, we still have the fourth
11 item which has to do with Type M.

12 **DR. NETON:** Yeah, that's another issue that
13 --

14 **DR. MAURO:** Well, let me just go ahead and
15 say one point. If the working group, we would
16 fully concur that the Type M-Type S issue
17 would not normally be characterized as an SEC
18 issue. But nevertheless, we do have some
19 question as to whether or not going strict
20 Type M is the most claimant favorable strategy
21 for the reasons given here.

22 If this is something that the working
23 group would like to explore or discuss further
24 even though probably, and, of course, that's
25 your judgment to make, but it doesn't appear

1 to be something that, it's certainly something
2 that's resolvable in terms of which model do
3 you think is the most appropriately
4 conservative. But in the end it's certainly
5 tractable.

6 (Whereupon, a personal, private phone
7 conversation ensued.)

8 **DR. WADE:** Yeah, someone's on the phone
9 talking right now. You need to hang up. You
10 don't belong on this call.

11 Can you hear me speaking? No, you're
12 not on hold. You're disrupting this call.
13 You need to hang up. Who's ever speaking now,
14 whoever they're speaking to, this call is
15 being disrupted by you. You need to hang up.
16 We're telling you to hang up.

17 You're disrupting the call. If you
18 hear me whoever's just been speaking, you're
19 disrupting the call. You're talking over the
20 call. You're putting the call on hold and
21 disrupting it with music. You're destroying
22 the ability of this work group to conduct its
23 business. You need to hang up.

24 Okay, let's try again.

25 **MS. MUNN:** We're on Finding 4.

1 **DR. MAURO:** Yeah, I was just asking the
2 working group whether or not you'd like to
3 discuss the Type M-Type S issue. I think we
4 do have some differences of opinion on the
5 degree of conservatism imbedded in the model
6 selected. But at the same time I'd say this
7 is a tractable question. It's certainly one
8 we could decide to go with the more liberal
9 approach whereby you would have the dose
10 reconstructor use either Type M or Type S, or,
11 of course, NIOSH could make a case why Type S
12 is really ruled out. What I mean by
13 tractable, it's something that could be
14 resolved, and I guess in my opinion I don't
15 see that as an SEC issue.

16 **MS. MUNN:** I thought we had put this to bed
17 at our last meeting. I was a little surprised
18 to see this. I would have to ^ what was
19 actually said, but I thought we had agreed
20 that NIOSH had a process that was agreeable to
21 SC&A. Am I the only one who had that
22 impression?

23 (no response)

24 **MS. MUNN:** No one else is speaking to it.

25 **DR. MELIUS:** It wasn't my impression. I

1 can't say that you were the only one, Wanda.

2 **DR. ROESSLER:** I thought that we had agreed
3 that it was not an SEC issue.

4 **DR. MAURO:** No, I think we did agree to
5 that, but we still had, we did look at it
6 after the meeting as something that, to see
7 whether or not we felt that using M alone is
8 defensible. And I guess we still had some
9 reservations for the reasons explained in the
10 write-up.

11 And again, I'll say this, if you feel
12 that it's something that really don't need to
13 discuss, it would be what we'd call more of a
14 site profile issue than an SEC issue, then
15 there really is no need to go further on it,
16 but that's your choice.

17 **MS. MUNN:** My only concern would be that
18 this question resurrect itself at some other
19 point, leaving us in a lurch in another part
20 of the forest rather than clearing it here.
21 What's the feeling of the other work group
22 members?

23 **DR. ROESSLER:** Wanda, I have a question,
24 kind of a general question, of John Mauro.

25 John, are you on?

1 **DR. MAURO:** Yes, I'm here, sure.

2 **DR. ROESSLER:** With regard to all of this
3 but in particular the thorium issue, I'm
4 wondering if you had Chick Phillips review
5 this new information, the new hypothetical
6 calculations that you've made?

7 **DR. MAURO:** The last set of, yes, Chick did
8 review the -- I guess the answer to your
9 question is no. What Chick did review though
10 was the Elzermann Report, and he did concur,
11 he concurred that the Elzermann Report was a
12 fair characterization. However, the scoping
13 calculations that we just talked about he was
14 not involved in that.

15 **DR. ROESSLER:** I think I'd feel more
16 comfortable if, since there are two different
17 approaches in the hypothetical calculations
18 and since we're asking questions about it, I'd
19 feel more comfortable if Chick did take a look
20 at it. I know he has the appropriate
21 background and could also report.

22 **DR. MAURO:** Absolutely, I'd be glad to do
23 that.

24 **MS. MUNN:** With respect to Finding 4.

25 **MR. CLAWSON:** This is Brad, Wanda. One of

1 my questions that I worry about, you know,
2 we're bringing this question up now and at
3 some point we've got to put this to bed
4 because what I don't want to see is we get
5 down the road, and then all of a sudden it
6 rears its head again. I'd like to be able to
7 make sure that we're doing as thorough a job
8 as we can on this. That's just my opinion
9 though.

10 **DR. NETON:** This is Jim Neton. If I could
11 chime in though. If we can't get past this
12 Thorium-230 issue, the solubility issue is not
13 an issue. I mean, it all goes away. I would
14 prefer from a resource management point of
15 view if NIOSH could focus on the Thorium-230
16 issue to put this to rest and then it's a
17 matter of picking one side or the other on the
18 M or S. But for us to go back and do more
19 literature research and such at this point
20 would take away from our ability to respond to
21 this most latest --

22 **MR. ELLIOTT:** Let me echo Jim. This is
23 Larry Elliott. The solubility issue is a site
24 profile-related issue. It is not an SEC-
25 related issue. The working group has been

1 down this road, I think this is by my count
2 the third time where I've heard those words
3 stated by John or others at SC&A as far as
4 work group members. And we really need to
5 focus on what are the SEC-related issues so
6 that we can move to closure on this SEC
7 petition.

8 **MS. MUNN:** John, is SC&A comfortable with
9 removing Finding 4 at this time based on the
10 fact that we have earlier agreed that it's not
11 an SEC?

12 **DR. MAURO:** That's certainly fine. I agree
13 100 percent that it would be more
14 appropriately categorized as a site profile
15 issue, but I just wanted to make sure that
16 everyone, that I'm not making that judgment.
17 If everyone's comfortable with that, that's
18 fine. I do believe it's a site profile issue
19 and not an SEC issue, and I believe all of
20 SC&A feels the same way.

21 **MS. MUNN:** And I feel quite sure though
22 memory is sometimes tricky that we agreed to
23 that at our early October meeting in
24 Naperville. I believe that's in the
25 transcript that we all concurred it would be

1 an SEC issue. Although like Brad I have some
2 concern that the entire thing will rise again
3 and create some problems. I hope that doesn't
4 occur.

5 Nonetheless for our current purposes
6 and especially based on what Jim and Larry are
7 saying right now, resources being of the
8 essence, am I hearing correctly that your
9 focus now needs to be on item three?

10 **DR. NETON:** That would be our preference.

11 **MS. MUNN:** Items one and two actually, item
12 three --

13 **DR. NETON:** I'm sorry, yeah, the Thorium-230
14 issue.

15 **MS. MUNN:** Can we, an overriding concern
16 here, we get this wrapped up in time for us
17 for our next full Board?

18 **DR. WADE:** Wanda, I think you might have cut
19 out, so please repeat.

20 **MS. MUNN:** An overriding concern is that we
21 put this thorium issue to bed prior to our
22 next full Board call which I believe is in
23 early December. Is that correct?

24 **DR. WADE:** The next Board call is November
25 27th.

1 **MS. MUNN:** November 27th. Is the timing such
2 that we can take care of the technical issue
3 here and get back together very briefly to
4 verify that we are ready for a report to the
5 Board?

6 **DR. NETON:** This is Jim. We can certainly,
7 once we receive Arjun and Bob Anigstein's
8 write ups, we'd be prepared to turn this
9 around as quickly as we could. And I'm
10 thinking somewhere on the order of two weeks
11 or less.

12 **MS. MUNN:** Given the fact that Chick
13 Phillips is involved here do we have any --

14 **DR. MAURO:** Yes, I'll get Chick, I will
15 certainly have Chick Phillips look at this
16 immediately. In other words when we send this
17 out to Jim, this material that Bob prepared,
18 and I guess later, perhaps over the weekend or
19 Monday, we will forward Arjun's. He had a
20 separate calculation which was completely
21 independent but of the same ilk to explore the
22 same kind of question. We'll send that to
23 you, also, Jim, as soon as it's ready.

24 Unfortunately, Arjun is on travel, but
25 I think you'll get it by Monday. And we will

1 simultaneously send it off to Chick and have
2 Chick look at it. I suspect, I know what
3 these calculations are. I suspect, at least
4 on our end, Chick will be able to review it.
5 It won't take very long, and we will be
6 prepared for the next round of discussions
7 whenever it's convenient for NIOSH.

8 **MS. MUNN:** It's agreed then to tentatively
9 say we will have another call like this one to
10 be the 16th of November? Is that a reasonable
11 timeframe for everyone?

12 **DR. WADE:** Let me check calendars. That
13 will not work for me although that's not
14 essential. I can have someone else fill in
15 for me.

16 **DR. NETON:** I'm out of town that week,
17 Wanda.

18 **MS. MUNN:** Monday, the 19th?

19 **DR. ROESSLER:** It works for me.

20 **DR. MAURO:** I hate to be a problem, but I do
21 have a doctor appointment that day, and I
22 would like to be on the call.

23 **DR. WADE:** Is there a time on that that
24 would work for you, John?

25 **DR. MAURO:** Yes, I would be available in the

1 afternoon like after two o'clock.

2 **DR. NETON:** I'm sorry, what date are we now
3 talking about?

4 **DR. WADE:** November 19th, a Monday at 2:00
5 p.m. is what's on the table.

6 **DR. NETON:** I think we're okay from NIOSH's
7 end.

8 **MS. MUNN:** Good. If that's okay with NIOSH,
9 then that's okay with SC&A at issue here so
10 2:00 p.m. eastern time, Monday, the 19th, same
11 time, same station?

12 **DR. WADE:** I'll set it up if that's
13 agreeable with the work group.

14 **DR. ROESSLER:** Wanda, then you're expecting
15 the work group to be listening in?

16 **MS. MUNN:** I hope so.

17 **DR. ROESSLER:** Okay.

18 **MS. MUNN:** I'd like to follow this pattern
19 that we had of being ^ work group ^. I think
20 it's crucial at this point that the work group
21 members hear these deliberations so we don't
22 have to go through this entire thing.

23 **DR. MAURO:** Wanda and Lew, would it be
24 inappropriate if for any reason Jim and I or
25 some of the other folks at NIOSH and SC&A

1 exchange information or even talk to each
2 other over the phone in the interim while
3 we're exchanging this -- my guess is we'll
4 send some material to Jim. Jim will have some
5 observations. And some iteration might be
6 useful to get us to a point where it would be
7 more productive on the 19th or would you rather
8 us not do that?

9 **MS. MUNN:** Oh, I encourage that. We really
10 would like to have as much technical exchange
11 as is necessary so that we can come as close
12 to a meeting of the minds as possible by the
13 time we have this phone call.

14 **DR. MAURO:** Okay, thank you.

15 **MS. MUNN:** Other comments?

16 (no response)

17 **MS. MUNN:** We have a request for the good of
18 the Order.

19 **DR. WADE:** Well, thank you for your
20 perseverance. I'll schedule something for
21 November 19th at 2:00 p.m. eastern time.

22 **MS. MUNN:** Wonderful, thank you all.

23 (Whereupon, the working group adjourned at
24 12:05 p.m.)
25

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of November 2, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 19th day of May, 2008.

STEVEN RAY GREEN, CCR, CVR-CM
CERTIFIED MERIT COURT REPORTER
CERTIFICATE NUMBER: A-2102