

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON

RADIATION AND WORKER HEALTH

SEC ISSUES

The verbatim transcript of the Working Group
Meeting of the Advisory Board on Radiation and
Worker Health held at the Holiday Inn Airport,
Erlanger, Kentucky, on November 17, 2006.

C O N T E N T S

November 17, 2006

WELCOME AND OPENING COMMENTS DR. LEW WADE, DFO	7
"PARSING HEALTH ENDANGERMENT CRITERIA"	12
"HEALTH ENDANGER ASSESSMENT FOR NEVADA TEST SITE SPECIAL EXPOSURE COHORT"	124
COURT REPORTER'S CERTIFICATE	187

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERS

CHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

1 MELIUS, James Malcom, M.D., Ph.D.
2 Director
3 New York State Laborers' Health and Safety Trust Fund
Albany, New York

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elyrian, Minnesota

ANNOUNCED PARTICIPANTS

BARRIE, TERRIE, ANWAG
BEHLING, HANS, SC&A
ELLIOTT, LARRY, NIOSH
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
KATZ, TED, NIOSH
KOTSCH, JEFF, DOL
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MCFEE, MATT, ORAUT
NETON, JIM, NIOSH
ROBERTSON-DEMERS, KATHY, SC&A
RUTHERFORD, LAVON, NIOSH

P R O C E E D I N G S

(8 : 00 a.m.)

WELCOME AND OPENING COMMENTS

DR. LEW WADE, DFO

1 **DR. WADE:** Okay. Good morning, all. This is Lew
2 Wade, and I have the privilege of serving as
3 the Designated Federal Official for the
4 Advisory Board, and I welcome you to a meeting
5 of the working group on SEC issues. That group
6 is ably chaired by Dr. Melius. Members include
7 Dr. Ziemer, Gen Roessler and Mark Griffon.
8 Drs. Melius and Ziemer and Gen Roessler are
9 here in the room. Mark, are you on the phone -
10 - Mark Griffon?

(No response)

12 I think Mark intends to join us, so we'll ask
13 Mark when he gets on line to mention that.
14 Again what I'll do is we'll go around the table
15 here and identify individuals, and then we'll
16 go out onto the telephone and identify
17 individuals. I'll start by asking, are there
18 any Board members on the call at this point?
19

20 (No response)

Okay. This is Lew Wade, I work with NIOSH and serve the Advisory Board.

MS. HOWELL: Emily Howell with HHS.

DR. BEHLING: Hans Behling, SC&A.

DR. NETON: Jim Neton with NIOSH.

DR. MAKHIJANI: Arjun Makhijani, SC&A.

DR. MELIUS: Jim Melius, the Advisory Board.

DR. ROESSLER: Gen Roessler, Advisory Board.

DR. ZIEMER: Paul Ziemer, Advisory Board.

DR. WADE: Now let's go out to the telephone and I'll ask any members of the NIOSH or ORAU team to identify themselves.

MR. RUTHERFORD: LaVon Rutherford, NIOSH.

DR. WADE: Good morning, LaVon.

MS. ROBERTSON-DEMERS: This is Kathy Robertson-Demers, SC&A.

DR. WADE: Thank you, Kathy.

DR. MAURO: John Mauro, SC&A.

DR. WADE: Welcome, John. Any other NIOSH, ORAU or SC&A people on the line?

MR. MCFEE: Matt McFee with the ORAU team.

DR. WADE: Good morning, Matt. Any -- anyone else?

(No responses)

Any government employees who are on this call

1 by virtue of their employment?

2 **MR. (UNINTELLIGIBLE):** Steve (Unintelligible),
3 CDC.

4 **DR. WADE:** Morning.

5 **MR. KOTSCH:** Jeff Kotsch, Department of Labor.

6 **DR. WADE:** Morning, Jeff. Anyone else?

7 (No responses)

8 Is Mark Griffon with us yet?

9 (No response)

10 Is there anyone on -- on the telephone who
11 would like to be identified, would like to be
12 on the record?

13 **MS. BARRIE:** Terrie Barrie with ANWAG.

14 **DR. WADE:** Good morning.

15 **MS. BARRIE:** Morning.

16 **THE COURT REPORTER:** I'm sorry, who was that?

17 **DR. WADE:** Could you repeat your name and
18 affiliation, please?

19 **MS. BARRIE:** Terrie Barrie with ANWAG.

20 **DR. WADE:** Thank you. Now I'd like members of
21 the NIOSH/ORAU team, and I'll ask members of
22 the SC&A team, to identify if they have any
23 conflict. This meeting is really going to
24 focus on Nevada Test Site and Pacific Proving
25 Grounds. So if there are any conflicts in

1 those areas, I'd like members of the NIOSH/ORAU
2 team and then SC&A to identify.

3 Are there any NIOSH/ORAU team members on this
4 call who are conflicted at NTS or Pacific
5 Proving Grounds?

6 **MR. MCFEE:** Matt McFee. I'm corporately
7 conflicted through MJW and their work at NTS.

8 DR. WADE: Thank you. Anyone else on the
9 NIOSH/ORAU team?

10 (No responses)

11 Anyone on the SC&A team conflicted?

12 **DR. MAURO:** John Mauro, no conflict. However,
13 as -- as you folks know, there -- we have put
14 up a firewall to separate work that SC&A is
15 doing on support of the Advisory Board and a
16 contract that SC&A is currently performing for
17 the Defense Threat Reduction Agency, so we are
18 operating under the special firewall. I just
19 wanted to inform the working group of that
20 situation.

21 **DR. WADE:** And that firewall is in place and
22 functioning, and there's no prohibition on the
23 people representing SC&A on this call in
24 participating fully.

I don't think there's anything else that we

need to do. I'd ask one more time, is Mark Griffon on the phone?

(No response)

THE COURT REPORTER: Dr. Wade, could I get the name of the person, Steve somebody, I couldn't hear his last name -- on the phone?

MS. HOWELL: From CDC.

DR. WADE: Steve from CDC, could you identify yourself again, please?

(No response)

You might be on mute.

(No response)

You might have decided you were on the wrong call and gone away.

THE COURT REPORTER: He was right before Jeff Kotsch.

DR. WADE: There was a gentleman, first name Steve, with CDC who identified himself.

(No response)

Anyone who identified themselves as being an employee of CDC?

(No response)

THE COURT REPORTER: Well...

DR. WADE: Okay. It wasn't a familiar name to me.

1 **DR. MELIUS:** Maybe he was on the wrong call.

2 **DR. WADE:** Could have been the wrong call, but
3 we welcome even wrong-callers.

4 Okay. Dr. Melius.

5 **DR. MAKHIJANI:** John, did you get the report?

6 **DR. MAURO:** I'm sorry, I was on mute. Not yet,
7 but I'll be fine. Don't worry. I'll get it
8 eventually.

9 **DR. ROESSLER:** It didn't come through? It said
10 it went out.

11 **DR. WADE:** Okay, we're all -- the preliminaries
12 are done.

13 "PARSING HEALTH ENDANGERMENT CRITERIA"

14 **DR. MELIUS:** Okay. This working group is set
15 up to review the -- particularly the issue of
16 the people that were exposed during Nevada Test
17 Site, Pacific Proving Ground for less than 250
18 days and hence didn't qualify for the Special
19 Exposure Designation for those two sites. In
20 order to work in this area, we've had
21 discussions and had SC&A do some work, and we
22 have two reports from them that we will be
23 discussing this morning. The first one is
24 called "Parsing Health Endangerment," which I
25 think everybody here got earlier in the week --

1 past couple of days, Arjun sent out.

2 The second one is called "Health Endanger
3 Assessment for Nevada Test Site Special
4 Exposure Cohort," which is a -- sort of a draft
5 of a paper that Arjun and -- and others at SC&A
6 have been working on and was sent to us last
7 night and most of us got it as a hard copy this
8 morning for the first time to -- to look at.

9 **DR. ZIEMER:** Could I ask a question? Was that
10 distributed also to the Nevada petitioners?
11 For example, did Terrie Barrie get a copy of
12 that?

13 **DR. MAKHIJANI:** No, I -- I did not have their
14 e-mails on the distribution list. I guess I
15 should have thought of that.

16 **DR. ZIEMER:** Well, we probably should get a
17 copy of that to the petitioners, should we not?

18 **DR. WADE:** Did you send a copy to Jason Broehm?
19 You would not have done that.

20 **DR. MAKHIJANI:** No, I just sent it to the
21 working group and to Larry and Jim, Stu
22 Hinnefeld, and I believe to you, Dr. Wade.

23 **DR. WADE:** Yes, I have it. Okay, let me --

24 **DR. ZIEMER:** Well, Terrie is on the line. We
25 can probably get her e-mail address --

1 **DR. ROESSLER:** Get her e-mail address and I'll
2 send it.

3 **DR. ZIEMER:** We can send it, Terrie, if that's
4 agreeable.

5 **MS. BARRIE:** Yes, Doctor.

6 **DR. WADE:** Terrie is willing to put it on the
7 line?

8 **MS. BARRIE:** (Unintelligible)

9 **DR. WADE:** Terrie, would you be willing to give
10 your e-mail address to us so we could send you
11 a copy of this?

12 **MS. BARRIE:** Sure, it's T-as-in-Tom B-as-in-boy
13 a-r-r-i-e@yahoo.com.

14 **DR. ROESSLER:** I'll try to send it as an
15 attachment.

16 **MS. BARRIE:** Okay, that would be perfect.

17 **DR. ZIEMER:** It's 60-some pages -- 67 pages, so
18 it's fairly lengthy.

19 **DR. WADE:** Now does Terrie have the first of
20 the two documents, the "Parsing Health
21 Endangerment Criteria"?

22 **MS. BARRIE:** No, I don't.

23 **DR. WADE:** So, Gen, if we could impose?

24 **DR. ROESSLER:** I'll send them in two separate
25 e-mails because they're both rather large.

1 **DR. WADE:** Uh-huh. It's on its way, Terrie.

2 It might take time because it's big and it's
3 cold here.

4 **MS. BARRIE:** Okay, thank you.

5 **DR. MELIUS:** I thought how we would proceed
6 would -- is to first have Arjun sort of walk us
7 through the first paper, the "Parsing Health
8 Endangerment Criteria," 'cause it was -- and my
9 plan was that -- what I wanted to -- hoped we
10 could -- is to have some discussion of sort of
11 the general approaches that might be used for
12 addressing this issue and how we might make
13 decisions, and then move into talking about
14 individual sites. But it's not clear that
15 we'll have time this morning to deal with
16 individual sites. And some extent, how we deal
17 with individual sites will depend on how we
18 look at criteria and how -- how we approach the
19 problem. So I think most of the focus will be
20 sort of more in a general sense and seeing what
21 we can accomplish there. So I thought that
22 Arjun did a good -- very good job of putting
23 together sort of a background for how to think
24 about that and focus based on -- on the -- on
25 the current regulation that NIOSH has for

1 health endangerment.

2 So Arjun, if you don't mind --

3 **DR. MAKHIJANI:** Sure.

4 **DR. MELIUS:** -- you want to take over and walk
5 us through that?

6 **DR. MAKHIJANI:** Well, I -- what I did was I
7 started with -- with the paragraph in 42 CFR 83
8 that -- that deals with health endangerment, or
9 two paragraphs, but there's one specifically
10 that deals with those who have worked less than
11 250 days. And I broke that up into -- the
12 reason I called it parsing is I broke that up
13 into -- one, two, three, four, five -- five
14 bullets, five separate items for analysis.
15 And the five items that I looked at in that
16 paragraph that might make people that worked
17 less than 250 days eligible for inclusion in
18 the Special Exposure Cohort were that they
19 would have exposure to radiation during
20 discrete incidents.

21 The other one was they were likely to have
22 involved exceptionally high exposures, such as
23 nuclear criticality incidents or other events
24 involving similarly high levels of exposures.
25 Then there was a question of that the exposures

1 would result from the failure of radiation
2 protection controls.

3 And the rule also says that any duration of
4 unprotected exposure could cause a specified
5 cancer.

6 And then the criterion for whether an
7 individual should be included was not a dose
8 criterion 'cause you're already past that stage
9 where NIOSH has said they cannot calculate a
10 dose, and health endangerment is the second
11 step to that, was presence during the incidents
12 where the potential exposures could have
13 happened.

14 So the accent is not on exposures, but on
15 potential exposures, and I tried to indicate
16 that throughout by -- by italicizing potential
17 exposures --

18 **DR. ZIEMER:** Can I ask a question here?

19 **DR. MAKHIJANI:** Yeah.

20 **DR. ZIEMER:** When you're separating this out --
21 for example, the fourth bullet, "any duration"
22 -- but are -- you're not suggesting, are you,
23 that that be taken by itself? It's linked in
24 the regulation to the discrete event part, so -
25 -

1 **DR. MAKHIJANI:** Yes, it is. Yeah, yeah.

2 **DR. ZIEMER:** -- so when we talk about these we
3 -- we need -- yeah, we can't talk about them
4 exclusively of the other. I just want to make
5 sure that.

6 **DR. MAKHIJANI:** You have to put Humpty Dumpty
7 back together in that kind of --

8 **DR. ZIEMER:** Taken by itself, the statement
9 "any duration of unprotected exposure" is very
10 different than any duration in a -- in a
11 discrete event.

12 **DR. MAKHIJANI:** Right. Right. I think -- I
13 think you have to -- you have to take them
14 together. The "any duration" -- well --

15 **DR. ZIEMER:** But we'll get a chance -- we'll
16 get a chance to discuss --

17 **DR. MAKHIJANI:** -- Jim might elaborate on that
18 more, but I think any duration within the
19 context of the exposure.

20 **DR. ZIEMER:** Yeah. Yeah, obviously.

21 **DR. MAKHIJANI:** If there's no exposure, then
22 there's no eligibility.

23 So the first thing I tried to do was to
24 interpret the term "exposures," and -- and so I
25 tried to separate the question of exposure from

1 probability of causation, because once you pass
2 the stage of not being able to calculate dose,
3 then you're past the stage of talking about
4 probability of causation. So mainly what I did
5 in that section was to argue that what we're
6 talking about is potential for exposure, and
7 then that would be linked to the other clauses,
8 potential for exceptionally high exposures such
9 as during criticality accidents. But so far as
10 individuals are concerned, you're talking about
11 whether they -- they had a potential to be
12 exposed to those kinds of incidents or not.
13 And I suggested that the 250-day problem --
14 exposure potential might be considered in two
15 categories: Exposure potential that arises
16 from facilities where sources -- sources are
17 present during the entire work day and
18 throughout the year without incidents. So
19 here, if -- if there are no incidents, then
20 presumably you would not fall within the rule.
21 You're just in a uniform radiation working
22 environment where there might be a source, and
23 your working there is a normal part of your
24 employment. And then there's a question of
25 exposure potential that arises from incidents,

1 and what I suggested is that health
2 endangerment within the context of the rule is
3 really only in that second context, within the
4 context of incidents.

5 We -- I think we had handed out previously a
6 paper by Mike Thorne which drew on a Los Alamos
7 summary of criticality accidents throughout the
8 world. That paper is appended in full, but I
9 had Bob Barton in the SC&A office reorganize
10 all that information into tables that would be
11 more directly applicable to the way the rule is
12 written, and those tables are shown in Table 2,
13 U.S. criticality accidents and Russian
14 criticality accidents and accidents in other
15 countries.

16 I did this to be able to get a sense of what is
17 the range of doses. You know, what does it
18 mean, exceptionally high exposures such as
19 those during criticality accidents? It turned
20 out that this actually, in my opinion -- in our
21 opinion -- we discussed this in SC&A quite a
22 bit. It didn't seem as useful as it might be
23 at first sight. You think criticality
24 accidents always had very high exposures, but
25 they don't. They might be exposures from less

1 than a rem to 10,000 rem, so you've got
2 exposures all over the map. So then you're
3 left with a significant problem of how to
4 define exceptionally high exposures, since
5 that's the only example that's given in the
6 rule and everything else is similar to
7 criticality exposures. And I think I made a
8 table -- Table 1 on page 4 is a very brief
9 summary. It talks about the duration of the
10 incident.

11 Now the duration of the accident itself is
12 usually longer than the duration of the
13 exposure, because workers would usually
14 evacuate. And so the -- the ranges of doses in
15 U.S., Soviet and incidents in other countries -
16 - again you can see it's -- it's -- typically
17 the ranges have been similar, independent of
18 geographic location or whether it's here or in
19 some other -- other nuclear establishment. And
20 the duration of the accidents are from very
21 brief to over 30 hours, so nearly a -- a
22 working week.

23 So that gives some idea of the range. Now --
24 so you're left with the problem of then
25 discovering what high exposure might mean, and

1 then exceptionally high exposure. And so I
2 argued that you eliminate less than one rem or
3 less than a few rem as high exposures, because
4 the ICRP standards for workers are two rem per
5 year. They've not been adopted here in the
6 United States. The then-prevailing standards
7 were three rem per quarter and five rem per
8 year.

9 So I think -- part of the suggestion for
10 discussion is is that the kind of frame of
11 reference that should be suitable for high
12 exposures. At the time, for instance, at the
13 Nevada Test Site when people exceeded three rem
14 per quarter, they asked for special permission
15 to exceed that dose. So whether a few rem,
16 five rem or something more than that ought to
17 be considered as high exposures -- well, we
18 question -- it certainly is within the range of
19 criticality. Then there's a question of what
20 does "exceptionally" mean. And in the context
21 of the nuclear weapons context, certainly I
22 think most people were not exposed to five rem
23 or more, and so it would be exceptional in that
24 sense. But whether it's exceptional in the
25 context of high exposures, I don't know. So

1 it's kind of -- it's -- it's really --

2 **DR. ZIEMER:** At what point do you want to
3 discuss that?

4 **DR. MELIUS:** Let's -- yeah.

5 **DR. ZIEMER:** Well, whether you're talking about
6 the two rem or the five or the three per
7 quarter, those are in the context of
8 controlling lifetime exposures.

9 **DR. MAKHIJANI:** Right.

10 **DR. ZIEMER:** For example, the three rem per
11 quarter, typically you could get that as long
12 as your lifetime exposure -- they used to use
13 the five times n minus 18. So a single
14 exposure of three rem -- at least in the health
15 physics field -- you'd -- I'd be hard-pressed
16 to find anyone who would consider that high
17 exposure. It would be the issue of if you got
18 that three rem every quarter for a certain
19 number of years and -- and there the concept
20 was you don't want to do that every quarter,
21 which would be 12 rem a year, for years. But
22 you could do it until you reach that point
23 where you caught up with the five times n minus
24 18 curve, and then that dropped you back.
25 But -- and now I think probably -- we've become

1 more conservative, obviously, on this. And now
2 the two rem per year and now some people use
3 your age as a lifetime limit. You don't want
4 to -- which really, in a sense, comes down to
5 one rem per year. But whatever it is -- but --
6 but exceeding it for one year, I think -- to
7 me, conceptually, you'd be -- it would be hard
8 to argue that that's a high dose in terms of
9 contribution to a probability of some health
10 effect. It's -- it's -- if a person's getting
11 two per year for lifetime, certainly compared
12 to old standards that still is awfully low. I
13 mean -- what, used to be 15 a year and people -
14 - I don't know if we argue. Now very few
15 people reach that, but in the early days a lot
16 of them did. And I think by today's standards
17 we would say that 15 a year for ten years is
18 high.

19 The other part of it is that, talking to
20 radiobiologists, I think they still -- most of
21 them argue that in any event, to show health
22 effects below about ten rem is pretty
23 speculative.

24 **DR. ROESSLER:** In fact, the Health Physics
25 Society has a position statement out stating

1 that below five rem there is no evidence in any
2 scientific studies of any health effects. And
3 I think that's a general consensus among
4 scientists in the field is that there --
5 there's no evidence below five rem of any
6 health effects.

7 **DR. MAKHIJANI:** Well --

8 **DR. ZIEMER:** Five or ten, but it's ball park,
9 it's --

10 **DR. ROESSLER:** Yeah.

11 **DR. MAKHIJANI:** I have a question about that.
12 This is -- this is the first controversy that
13 spans the decades, and the question that's been
14 examined by the ICRP, by the NCRP and the
15 National Academy in the last five years. I
16 think NCRP in 2002, ICRP a little bit after
17 that -- I don't know if that rep-- the draft
18 was finalized or not, I don't remember -- and
19 the BEIR VII report of course came out in 2005,
20 last year, and -- and was published actually
21 this year. So this -- this position of the
22 Health Physics Society is really at variance
23 with -- with those findings.

24 When -- when people say "evidence" in the
25 Health Physics Society context, I think they

1 mean epidemiological evidence. And when I talk
2 to epidemiologists who are frank about their
3 profession and tell you that you can't be sure
4 in epidemiology until people are dropping like
5 flies and -- otherwise it's a statistical art.
6 And the way I understand BEIR VII is they've
7 taken into account cellular level evidence that
8 -- there's a lot of evidence. Now -- so it
9 depends on -- then you're -- you're not into
10 the what's a high exposure; then you're into
11 the meaning of what's evidence. And for me, I
12 think -- I find it surprising that industry and
13 Health Physics Society would consistently
14 reject what have been the most thorough
15 international investigations into the health
16 risks -- cancer risks of radiation, which all
17 adopt a linear -- it's not that it's certain
18 there's a linear no-threshold, but it's
19 regarded as the best working hypothesis under
20 the circumstances. So I -- I find it -- I find
21 it kind of --

22 **DR. ZIEMER:** Well --

23 **DR. MAKHIJANI:** -- difficult to put this
24 discussion in that context.

25 **DR. ZIEMER:** Right. The linear hypothesis

1 really is a practical thing to use for
2 radiation protection work. And I think it's
3 quite true in epidemiology -- you know, they
4 say that a biological catastrophe is one that's
5 so bad that even an epidemiologist can see it.
6 And epi studies don't answer either the -- the
7 linear no-threshold question. And I think the
8 ten or five rem, whatever it is --

9 **DR. ROESSLER:** It is ten, I just looked at
10 that.

11 **DR. ZIEMER:** -- yeah, it's very -- it's very
12 hard -- it's very hard to see that, even in epi
13 studies. And you -- you really end up saying
14 are there effects, can -- even in biological
15 experiments, and special sensitive groups and
16 so on. And yeah, there are some special cases
17 where you can actually observe them, but if you
18 can't observe the effect in a human population
19 -- if you can't observe it, then it's pretty
20 hard to argue -- it's pretty hard to argue that
21 it's -- that it's there. And there -- you
22 know, even -- even with the linear no-threshold
23 thing -- with the linear no-threshold thing, it
24 -- there's some point at which you -- you
25 simply can't observe it. I mean otherwise you

1 get into the one gamma ray issue business, you
2 know, if you want to carry it all the way --

3 **DR. MAURO:** This is John Mauro. I'd like to
4 sort of step in a little here also.

5 **DR. ZIEMER:** Yeah.

6 **DR. MAURO:** I notice we're -- we're thinking
7 about this criteria within the context of what
8 levels of exposure where there's clear and
9 unambiguous evidence of some adverse effect. I
10 certainly agree that's one line of -- of attack
11 on how do you develop criteria. And certainly
12 five, ten rem is in the appropriate area, not
13 only for the acute effects of radiation where
14 you start to see drop in white blood cell
15 count, but also in terms of the chronic effects
16 where you start to see a statistically
17 significant increase. But the other side -- I
18 mean another tack, and I don't know whether or
19 not it is an appropriate tack, but is asking
20 the question if an individual were exposed --
21 let's use the number ten rem -- is it -- is it
22 possible that that type of exposure could
23 result in a probability of causation in excess
24 of .5 for a given exposure -- condi-- condition
25 -- a given scenario and cancer. So I mean that

1 -- that's sort of like another way to come at
2 this; that is, thinking in terms of well, what
3 -- what types of doses does it take to end up
4 with a PC of .5 -- and that seems to be the one
5 that is, in my mind, at play here, only because
6 of parity issues. That is -- the way I'm
7 looking at it is if you have an individual
8 that's exposed to a level over the course of
9 greater than 250 days and -- and you
10 compensate, why would you discriminate against
11 the person who gets the same dose in less than
12 250 days and not compensate? That -- that's
13 another tack that I think is worthy of
14 discussion.

15 **DR. ZIEMER:** And I agree with that issue, and I
16 was simply making the argument that if -- if
17 we're trying to isolate a number and sort of
18 argue from that point of view that there's
19 inherently some effects and therefore we should
20 use that as a criteria, I think it may make a
21 lot of sense to take a number and see what you
22 get in the probability of causation
23 calculation. Now -- keeping in mind that when
24 you do that and -- and I don't know, when you
25 assume this theoretical number, what you do

1 about -- are you going to take a point value
2 and what are you going to do about a
3 distribution --

4 **DR. NETON:** There's the problem.

5 **DR. ZIEMER:** -- because in -- in the -- in the
6 case of those individual workers who are put
7 through the system with a dose reconstruction,
8 we're typically saying, in effect, their dose
9 may have been as high as some number which is
10 very much above whatever that value is because
11 of the distribution of uncertainty.

12 **DR. MAURO:** Right.

13 **DR. ZIEMER:** I mean their official number may
14 be ten rem, but if you go out to the tail, that
15 POC that we look at probably is associated with
16 -- who knows, 40, 50 rem probably.

17 **DR. WADE:** Jim.

18 **DR. ZIEMER:** So what do we do on the point
19 values.

20 **DR. NETON:** I think the -- we hit right exactly
21 very quickly on the basic issue here. We
22 debated early on in this regulation as to is
23 there a number, is there ten rem, 15, 20, what
24 is it. And you quickly run into an issue that
25 is what number do you use to -- to bracket that

1 50 -- if you use 50 percent as a PC. And the
2 way the regulation is structured, if you cannot
3 reconstruct dose for any particular cancer,
4 then they're all in. That's the way it's
5 worked.

6 **DR. ZIEMER:** Yeah.

7 **DR. NETON:** So essentially what you end up
8 having to do is establish a three-dimensional
9 matrix where all cancers or all the variables
10 in the probability of causation calculation
11 against all variable of dose to try to find
12 what the lowest possible dose one could arrive
13 at to get to 50 percent. Well, then you've got
14 variables in the probability of causation
15 calculation -- latency, date of diagnosis, you
16 know, sex, all these other variables -- and
17 then the variables in the dose calculation.
18 And it's -- it's, in my mind, a very -- it's
19 not practical. It cannot be practically
20 implemented in that way. You just -- I don't
21 know that you can solve it. You can't say like
22 leukemia you would think a priori is probably
23 one of the lower ones. You can -- we've
24 compensated people in this program for two,
25 three rem exposures to leukemia. On the other

1 hand, solid tumors are in the multiple tens --
2 50, 60 rem, 100 rem for lung cancer. But what
3 combination of age and sex and exposure and --
4 and solubility type -- you know, I don't know
5 how you could optimize that to come out with
6 the answer on a particular case, but -- and
7 then you run into the issue of now -- now you
8 know I've got the dose.

9 Say we could even solve that, now you've got
10 the exposure time. If you take a chronic
11 exposure like an internal dose, am I going to
12 make the cut point one hour of exposure, two
13 hours, five hours? I mean I -- it's a very
14 difficult problem.

15 So what we ended up with in the regulation was
16 sort of a dichotomous situation; 250, which
17 very much parallels the original SEC. And then
18 the intent was that you could identify -- it
19 was fairly obvious, if these large exposures
20 were so far out of the realm of this chronic,
21 250-day type situation, that we would treat
22 them separately. And that would be a -- a
23 criticality, say, for example; and
24 exceptionally high, where radiologic controls
25 failed, were -- were meant to be fairly

1 obvious, that even anyone looking at that would
2 say the PC is clearly over 50 percent without
3 even running a calculation. That was sort of
4 the way it was structured.

5 So we're back to where we were three or four
6 years ago now, trying to figure out possibly a
7 way to come up with a finite number that one
8 can use as a litmus test. And I -- I'm all
9 ears. It's a -- it's a difficult problem.

10 **DR. MELIUS:** But -- but I'm not sure we can --
11 can do that, partly because, number -- the test
12 for getting in here, the first step is that you
13 can't reconstruct dose. So even if we come up
14 with a number, how close are we going to be
15 able to, you know, calculate that we're at that
16 number or above that number or below that
17 number for a particular individual? And we're
18 going to -- and some of the variables about how
19 they were exposed and how long they were
20 exposed and so forth is going to be also very
21 unknown, or we're going to be -- you know,
22 have very limited information on that. And I
23 think some of the situations that we've heard
24 about at Nevada Test Site and other places is
25 just there's a lot of uncertainty -- how much

1 time did they spend there, exactly where they
2 were in relationship to -- to exposures and so
3 forth. We're not going to have either and --
4 and you know, I just wonder if we're better off
5 trying to come up with some sort of qualitative
6 way of -- of making those assessments. But
7 even that's going to be difficult because, you
8 know -- well, does that only apply to people
9 that, you know, were there for a certain time
10 period or, you know, two days, five days, what
11 -- whatever. I mean it's very (unintelligible)
12 a real mix and we may not have the records to
13 even support that kind of distinction.
14 But -- but I do think what Arjun's getting at
15 is helpful in the sense that we need to figure
16 out what do we mean by "exceptionally high
17 exposures" and -- and maybe in retrospect, did
18 we mean all criticality incidents, in which
19 case we're talking about a --
20 **DR. NETON:** This -- this was intended to be a
21 qualitative definition.
22 **DR. MELIUS:** Yeah.
23 **DR. NETON:** I mean, you know, exceptionally
24 high is sort of qualitative. It
25 (unintelligible) --

1 **DR. MAURO:** This is John Mauro. Let's say for
2 the moment we agree that it's a qualitative --
3 and to pi-- to try to pick a -- a specific
4 number is -- is difficult, and perhaps not what
5 we would li-- are really trying to do. Then
6 the alternative would be is -- well, then let's
7 -- in this particular case, well, let's look at
8 what exposures did occur and -- I mean it's
9 almost as if rather than look-- it's almost an
10 iterative way to look at it, say well, you
11 know, we'll -- we'll just -- we -- we -- we're
12 starting to understand the challenges of trying
13 to pick some numerical criteria. And then we -
14 - then you go back to well, in this particular
15 case, Nevada Test Site, what are the kinds of
16 potential doses that were experienced? For
17 example, are we talking about situations where,
18 by and large, no one got much more than one rem
19 in a short period of time, ten rem, 15 rem? I
20 guess what I'm getting at is I'm not sure if --
21 if -- if we do move into a qualitative
22 approach, then it really becomes a matter of a
23 collective judgment for this particular site
24 under these particular conditions, does it meet
25 the qualitative standard. So I just throw that

1 in as a way to not find ourselves gridlocked to
2 try to come up with a number.

3 **DR. NETON:** But you still end up with having to
4 -- having to pick a number, John. That's the
5 problem. I mean you can look at the population
6 and say their doses were as high as 50 rem, but
7 then at some point a judgment has to be made,
8 is that the number, is that a number that --
9 you know.

10 **DR. MAURO:** Well, the reason I say this is
11 because, as Arjun pointed out, it's really the
12 combination of five criteria. I mean it's the
13 con-- it's all of those elements that together
14 -- only one of which would be this dose -- that
15 together, when you view that particular site
16 and it -- what transpired there within the
17 context of all of the different facets of the
18 definition, is the judgment made, not -- not
19 based solely on one dimension, namely let's say
20 some dose. So -- so I'm just trying to find a
21 way to come to grips with the problem in a way
22 that is not so -- with -- with such bright
23 lines, because I don't think this is a bright-
24 line prob-- problem that can be solve with
25 bright lines.

1 **DR. MELIUS:** But could -- could I just add one
2 thing, though. I -- I think we might actually
3 take and look at a particular site and say that
4 that would have a -- sort of a, you know, a
5 range of exposures. And say again, let's --
6 Nevada Test Site situations with the above-
7 ground testing that -- that would qualify as
8 exceptionally high. 'Cause remember, we're not
9 going to have the kind of records that are
10 going to, you know, pinpoint that John Smith
11 was there for two hours in a certain spot on a
12 certain day. We're going to have, you know, a
13 range of -- of, you know, poor and -- to, you
14 know, sort of mixed -- you know, very -- not
15 very good information always and -- and so
16 we're just I think trying to put people into
17 categories as best we can, trying to be fair to
18 them and -- and recognize that they -- they
19 were at risk from this -- as much the same way
20 that we're doing with everybody else in this
21 program. I mean we're -- you know, we're not
22 making very, you know, precise estimates, just
23 based on the amount of information that we have
24 and the nature of the situation. And so -- I
25 mean one other approach would be, you know --

1 again, rather than take it incident by
2 incident, focus -- look, we have this group of
3 people that -- or groups of people that worked
4 at Nevada Test Site or Pacific Proving Ground,
5 whatever; they had -- they were in these
6 situations, do -- do these sort of qualify as
7 exceptionally high exposures and can we somehow
8 group people in a -- in a way that, you know,
9 we think is fair and do -- I think that would
10 make -- take a fair amount of work of trying to
11 understand the nature of the different work
12 groups and what people did at the site and --
13 and how their employment's described. But --
14 but I -- but I think we -- I suspect we could
15 come up with a sort of collective judgment that
16 we'd all be fairly comfortable with, much like
17 we do with -- with regular SECs.

18 **DR. NETON:** I think we'd have to be a little
19 careful that -- that the class definition that
20 applies the 250-day group might be quite
21 different for a discrete group then.

22 **DR. MELIUS:** Yeah.

23 **DR. NETON:** I mean if you start narrowing --
24 you know, the requirement for a discrete is to
25 have this exceptionally high exposure, and you

1 can't take that and then apply it to the -- the
2 class that was defined for a 250-day exposure
3 'cause that -- that's essentially anybody who
4 was on site that should have been monitored.

5 **DR. MELIUS:** Right.

6 **DR. NETON:** Now if one can identify -- and I
7 see there's been some attempt here in the SC&A
8 documents -- to identify these discrete
9 incidents that might have occurred, I think we
10 could maybe agree at some point that there was
11 a discrete incident that occurred and that --
12 those people involved in that discrete incident
13 may be a member of a class based on discrete
14 exposure. That's what we have to be careful to
15 do. We can't make the whole site a --
16 everybody involved in a discrete incident. I
17 mean it's --

18 **DR. MELIUS:** Oh, yeah, yeah. No.

19 **DR. NETON:** -- not the way it works.

20 **DR. MAKHIJANI:** If I might say, what I was
21 trying to do and -- well, I'm very glad of the
22 discussion because -- I mean all of the numbers
23 -- I -- I put them out really to be shot down
24 or discussed or --

25 **DR. NETON:** Yeah.

1 **DR. MAKHIJANI:** -- changed in some way. That's
2 why when I discussed it with John and Joe we
3 thought better to call it working papers
4 because they're going to undoubtedly going to
5 be changed as a result of this discussion, so
6 just -- that was the spirit in which they were
7 offered, not as the normal kind of a report
8 that we present to you intended to be discussed
9 in a Board meeting, because this is more
10 difficult.

11 The thing that I was trying to do in thinking
12 about this was not in terms of actual exposures
13 of people who were in incidents. The -- what I
14 did -- when I -- when Kathy and -- and Bob
15 Barton and I were looking at this, what we
16 tried to do is to take those incidents and show
17 the radiological conditions, were there
18 failures of radiological control, did somebody
19 do something out of the ordinary that resulted
20 in a high exposure -- like this person that
21 wound up at ground zero and refused to leave
22 and got 39 rem and then two people went after
23 him and they got more than ten rem. Well,
24 clearly that didn't happen to everybody. That
25 happened to a few people.

1 **DR. NETON:** That's a discrete incident.

2 **DR. MAKHIJANI:** It's a discrete incident. But
3 the idea of exploring those kinds of things in
4 this con-- context was to examine whether there
5 were incidents and whether there was a
6 potential for exposure. Say you're in a
7 situation where you've said we don't know how
8 to calculate dose, or you take the plutonium
9 exposure example that -- that we have in the
10 Nevada paper. There were these shots in
11 Project 56. People got -- some people got
12 significant plutonium intakes, and some of
13 those doses were pretty high. Well, they're
14 committed doses.

15 **DR. NETON:** Uh-huh, military or civilian?

16 **DR. MAKHIJANI:** I -- I'm not sure. I'd have to
17 -- I'll have to check.

18 **DR. MAURO:** Yeah, Arjun, we -- we gave the --
19 the num-- we did not convert them to doses. I
20 think right now we just have the exposure
21 levels in terms of --

22 **DR. MAKHIJANI:** There are -- you haven't -- you
23 haven't seen the -- maybe I don't have the
24 latest report. You know, Joyce cal--
25 calculated the -- the exposures for the

1 plutonium --

2 **DR. MAURO:** Oh, okay, my version ha-- didn't go
3 that far. Okay.

4 **DR. MAKHIJANI:** It doesn't have that, but --
5 but I have the calcu-- yeah, I put them all
6 together day before yesterday, I think.
7 And -- and so the idea was not to identify
8 groups of individuals, and that's part of the
9 reason at this stage we didn't separate
10 military and civilian a lot. We know they were
11 together and -- in many circumstances, but the
12 idea was to identify is this not a radiological
13 environment in which there were incidents, in
14 which case the problem is solved. There's no
15 less-than-250-day SEC. Or is this a
16 radiological environment in which there were
17 incidents and some doses that could be
18 considered, by some criteria, high. And that's
19 why (unintelligible) compiled as much as we
20 could so you could take a look at the range of
21 what's there.

22 **DR. BEHLING:** Can I make a comment here? I'm
23 looking at your tables, the one on page 7, and
24 I've also looked at it from -- in this book
25 here, the NIC book, and if you look at

1 Operation HARDTACK, there were 37 tests during
2 that period.

3 **DR. MAKHIJANI:** Table 1 in the Nevada paper?

4 **DR. BEHLING:** Yeah. And the -- the operation
5 started September 12th and finished on October
6 of '58, so you have less than a two-month
7 period. And let's assume a person started
8 there and was there for the full duration of 37
9 tests, and basically stayed for let's say four
10 months, at which time basically the external
11 exposure dose rate would have ceased to exist.
12 And if he terminated at that point, you would
13 have obviously considerably less than 250 days
14 of work time.

15 At the same time, there were no additional
16 tests thereafter until the next one, Operation
17 NOUGAT, which didn't commence until 1961. So
18 you have a long time interval between Operation
19 HARDTACK II and the next event. And if you had
20 a person who was there, two people, one stayed
21 for a year plus and the other one terminated
22 after four months, after Operation HARDTACK II,
23 you can reasonably conclude that -- assuming
24 they were standing next to each other
25 throughout the whole time period -- that they

1 received essentially identical doses based on
2 the rapid decay rate. And you would obviously
3 say if this person had a dose that was
4 compensable and qualified him for SEC based on
5 the 250-day criteria, you would say fine,
6 you're in, but the other guy is out. And it
7 (unintelligible) reasonable to, at this point,
8 exclude that other person who failed to meet
9 the 250-day criteria based on just simple,
10 intuitive logic that the two would have
11 received the same dose.

12 **DR. MAKHIJANI:** It's actually a little worse
13 than that because --

14 **DR. NETON:** (Unintelligible) say the same dose
15 because there's resuspension issues that were
16 there --

17 **DR. BEHLING:** Well, I know, but
18 (unintelligible) --

19 **DR. NETON:** -- (unintelligible) --

20 **DR. BEHLING:** -- (unintelligible) talk about
21 two external exposure and realizing that
22 (unintelligible) equation which basically say -
23 -

24 **DR. NETON:** Well, external exposure is not the
25 basis for the SEC at Nevada Test Site.

1 Internal exposure is the basis for qualifying
2 for the SEC. We are reconstructing external
3 exposures at Nevada. In fact I'll point out,
4 of the 61 people from Nevada Test Site that
5 have less than 250 days exposures and at least
6 one presumptive cancer, we have dosimetry data
7 for most of them. There are some gaps, but --
8 but by and large, these are people who visited
9 the site once, twice, five, ten, 15 times, and
10 we have that full -- we have external data for
11 -- for many of those people.

12 **DR. BEHLING:** But even for internal, you
13 (unintelligible) short-lived fission products
14 that are obviously not in there
15 (unintelligible) previous time, and --

16 **DR. NETON:** Right, but the long-term fission
17 products and resuspension of plutonium and
18 those type of things are still --

19 **DR. MELIUS:** Let's get away from Nevada Test
20 Site --

21 **DR. MAURO:** Jim, you -- you brought up -- bring
22 up a very, very important point in terms of
23 defining the problem that we're trying to
24 solve. What I'm hearing is that when we look
25 at the 250 workday issue, are we saying that we

1 should only be looking at internal exposure?

2 **DR. NETON:** Internal exposure -- the inability
3 to reconstruct internal exposure was the basis
4 for granting SEC for this time period.

5 **DR. MAURO:** Well, I understand, and I think
6 it's important that we discuss this because,
7 see, what we did -- up until this point -- is
8 emphasize external exposure --

9 **DR. NETON:** Right, and I was --

10 **DR. MAURO:** -- whether or not there were
11 incidents whereby the doses could be considered
12 somewhat uncontrolled over a relatively short
13 period of time, and we -- we started at about
14 one rem and up as being -- to -- to try to --
15 as a compendium of what transpired. But what
16 I'm hearing is maybe we're looking in the wrong
17 place, and I'm -- you know, I'm prepared to
18 accept that since -- since the -- and this is
19 unique to this site, because the basis for
20 granting SEC was not based on external, it was
21 based on not being able to reconstruct
22 internal. So is it possible that we have just
23 been looking in the wrong place and our
24 attention should have been entirely on internal
25 and short-term, and not on external?

1 **DR. NETON:** I would -- I think so. I mean I --
2 I guess one -- there is an argument one could
3 make whether the 250-day should have included
4 external, but we did not. We -- we felt that
5 we had sufficient monitoring data to
6 reconstruct external dose. And in fact, if you
7 look at the records we have for the claimants
8 that we're supposed to be applying this class
9 to, the ex-- internal exp-- external exposures
10 are fairly small, on a -- well, it's all
11 relative, but the collective dose for all the
12 cases combined, for all 61 cases, is 21 rem.
13 That's combined for everybody. The highest
14 recorded dose we have for anybody in this pool
15 of 61 people is 4.7 rem. So -- external-wise.
16 So -- you know, I was urging all along that we
17 should be looking at the records of the
18 claimants that we have and seeing how those
19 apply to this class, as opposed to going out
20 and looking at these military personnel who
21 were standing at the blast. And yeah, there
22 may have been some commingled civilian
23 exposures, but we have data in our files for
24 these people. And in fact, if you look through
25 the records, they'll refer to the shots and

1 where a person was a civilian involved in a
2 military shot, we have pretty good
3 documentation of that and what they were doing.
4 So --

5 **DR. MAURO:** Jim, I think that this is really
6 getting to the -- a deeper perspective that we
7 need to discuss. It's important, because in
8 effect what I'm hearing is that this -- our
9 concern here is with the -- the claimants as
10 opposed to the scenarios that may have
11 occurred.

12 **DR. NETON:** Right.

13 **DR. MAURO:** See, we came at this in a way that
14 said -- asked the question what may have --
15 what -- what transpired at these -- during
16 these tests 'cause -- to create a -- basically
17 a compendium of information, and we did not --
18 and -- and ask ourselves the question -- and we
19 zeroed in initially heavily on the external,
20 but what I'm hearing is that we may have come
21 at this thing incorrectly, and -- and I'm
22 willing to accept that, but I think we need to
23 talk about this. Namely, what I'm hearing is
24 that we -- we -- the issue has nothing to do
25 with external. The fact that -- let's say for

1 a second, just for a moment, that we're able to
2 show that there were some incidents where some
3 people -- we're not saying who they are, but
4 they could have experienced an external dose,
5 let's say in excess of 100 rem. Okay? Let's
6 just say -- I -- I'm mak-- that didn't happen,
7 by the way, but --

8 **DR. NETON:** Right.

9 (Whereupon, Mr. Larry Elliott joined the
10 group.)

11 **DR. MAURO:** -- but let me just say it now.
12 What I'm hearing, and you correct me if I'm
13 wrong, is it doesn't matter. That is not the
14 basis upon which we would make a judgment. You
15 can -- you --your position is that that's not -
16 - that question is not at play here.

17 **DR. NETON:** Right.

18 **DR. MAURO:** Because you would say that no, we -
19 - we can reconstruct those people's doses and
20 therefore the -- the whole -- so it's not
21 really part of what we're concerned with here.
22 You will reconstruct those doses and you will
23 compensate because you can do a partial dose
24 reconstruction and take care of that problem.
25 The problem we're worried about, I'm hearing,

1 is those people who may have gotten a very high
2 exposure that was internal and -- and should be
3 included. So I have to say I'm struggling
4 right now with defining the boundaries of our
5 problem, and I'm not quite sure whether or not
6 we -- you know, I -- I think we need to talk a
7 little bit, maybe for my sake. How does
8 everyone else see this?

9 **DR. WADE:** Well, let me -- let me speak --

10 **DR. MAURO:** 'Cause all of a sudden I have a
11 different vision of this.

12 **DR. MELIUS:** Well, John, let me say that I
13 think you're off-track here 'cause I think we -
14 - first we -- what we ought to focus on is how
15 are we going to deal with the issue of these
16 short-term ex-- discrete incidents. And then I
17 think there's a separate issue of how it
18 applies at -- at different sites. And I think
19 what you and Hans have brought up -- you're
20 sort of -- you're jumping ahead and -- and I
21 don't particularly think that's something we
22 should be discussing right now. I think --

23 **DR. MAURO:** Okay.

24 **DR. MELIUS:** It may be very relevant when we go
25 to apply -- look at issues such as Nevada Test

1 Site and specific sites, but -- but I think we
2 need to first go back and look at how are we
3 going to approach this overall. I think that's
4 the intent of -- of our discussion today and
5 the initial steps for the -- for this
6 workgroup.

7 **DR. MAURO:** Okay, good, I -- I think that
8 needed to be said, though, so that we could get
9 back to where -- I guess we -- where we were.

10 **DR. WADE:** Yeah, let me -- this is Lew Wade.
11 Let me talk a little bit about sort of a number
12 of issues, and I'll try and do it very briefly,
13 starting with the last one that Dr. Melius
14 discussed.

15 I mean the working group can define what it
16 wishes to look at. The working group really
17 was born of the issue to look at SE-- SEC-
18 related issue, and the working group, through
19 its chair or the Board can advise the working
20 group, can decide upon what issue it wants to
21 look at, what issues it wants to look at.

22 That's perfectly reasonable and that
23 intellectual direction can -- can go anywhere
24 that they choose to take it.

25 As it relates to the issue of making a

1 recommendation for the Nevada Test Site or
2 Pacific Proving Ground on the issue of less
3 than 250 days, I would also point out that the
4 working group will bring a recommendation to
5 the Board, the Board can make a recommendation
6 to the Secretary, the Secretary will eventually
7 have to decide this issue. I would encourage
8 the working group to make its records as
9 complete as possible to advise the Secretary,
10 and I know that the working group will do that.
11 I will also say on the record that if the
12 Secretary wishes in any way to inform the
13 working group as to the Secretary's thoughts or
14 needs, then that needs to happen as well. But
15 again, the -- the discussion can go where the
16 working group wishes to take it. The issues
17 need to be explored as the working group wishes
18 to explore them, and I think that's all that
19 needs to be said.

20 **MS. ROBERTSON-DEMERS:** This is Kathy Demers. I
21 have a question for Jim. You said that in your
22 pool of 61 individuals, the highest dose was
23 4.7 rem?

24 **DR. NETON:** External, yes.

25 **MS. ROBERTSON-DEMERS:** Okay. In -- in your

1 pool of 61 individuals, did you include
2 individuals from the laboratories which came up
3 to the site and participated in the tests?

4 **DR. NETON:** This is the pool of actual
5 claimants that we have.

6 **MS. ROBERTSON-DEMERS:** Okay.

7 **DR. NETON:** Not a sampling of -- across the
8 site. I just took the people who would have to
9 be adjudicated based on this SEC class --

10 **MS. ROBERTSON-DEMERS:** Okay.

11 **DR. NETON:** -- the people who were not elig--
12 currently eligible for the class based on the
13 250-day requirement and -- but they do have a
14 presumptive cancer.

15 **MS. ROBERTSON-DEMERS:** Okay. Just to let you
16 know, we have run across an external dose of
17 18.5 rem, and this was actually a Los Alamos
18 employee who participated in a shot at NTS. He
19 later went to work for NTS, but at the time he
20 received this exposure he was a LANL employee.
21 So when we talk about those individuals who are
22 exposed to high doses at the test site, we need
23 to bring in those laboratory people.

24 **DR. NETON:** Yeah, that's fine, Kathy. I wasn't
25 trying to, you know, cover the universe of

1 potential exposure. In fact, he may be in one
2 of these 444 cases that already meet the
3 definition of exposures since he was there
4 possibly more than 250 days. But -- but
5 nonetheless, my original statement was that we
6 believe we can reconstruct these external
7 exposures. And a lot of the data I'm hearing
8 around the table tends to support that, that we
9 do know what these levels were.

10 **DR. WADE:** But again, the lead will come from
11 the working group as to the direction that we
12 take.

13 **DR. MAKHIJANI:** May I go -- may I go through
14 the other --

15 **DR. MELIUS:** Yeah --

16 **DR. MAKHIJANI:** -- things that went into the --

17 **DR. MELIUS:** -- please.

18 **DR. ZIEMER:** I have one additional --

19 **DR. MELIUS:** Oh, yeah.

20 **DR. ZIEMER:** -- question or comment. I think
21 it's very helpful to get some idea of what
22 numbers come out of these incidents, and I
23 found it very helpful. One thing that occurs
24 to me as I look through the data, I think on
25 all of these what they tend to do is they

1 select out the top end -- the few people who
2 got the highest exposures. For example, if you
3 look at the Y-12 data, those -- those five
4 people are the ones who were right there --

5 **DR. MELIUS:** Right.

6 **DR. ZIEMER:** -- when -- when the impromptu
7 barrel reactor had its excursion. But there
8 are hundreds of people who got exposed from
9 this. But they were all -- I was in the next
10 building and I got exposed to that. But it is
11 sort of insignificant when you get out very --
12 you don't have to be very far away from any of
13 these when your exposure gets down to normal --
14 almost normal working exposures in a facility.
15 So all of these are -- in their reports I think
16 get truncated simply by who are the high
17 people. And maybe inherently they pick people
18 who are above some sort of management level in
19 that facility, whether it's one or two or a few
20 rem and up. They don't report the rest of the
21 folks in all of these that got maybe some
22 elevated exposure. Do you think that's --
23 would you agree that that's probably the case,
24 that most of these are the folks who were right
25 close in, and in almost every case where

1 there's a -- an incident like this,
2 criticality, it's a known incident, is it not?
3 Are we -- are we postulating that some of these
4 could have occurred without people knowing it,
5 or do you know whether it --

6 **DR. MAKHIJANI:** Well, they -- they would have
7 at least had to know it post facto to have
8 documented it, but -- the unknown unknown, as
9 Mr. (unintelligible) would say.

10 **DR. ZIEMER:** Is that a quote?

11 **DR. MAKHIJANI:** But -- but I think, as I -- as
12 I read the criticality data, I think you're
13 right in the sense I don't think they're
14 excluding some tail of the distribution. I
15 think they're taking to account only the people
16 who are nearby or involved with the accident or
17 in the control room or in the room where the
18 vessel exists-- you know, where the reaction
19 occurred.

20 **DR. ZIEMER:** Right.

21 **DR. MAKHIJANI:** At least that's my impression,
22 from reading over the data.

23 **DR. ZIEMER:** Right.

24 **DR. MAKHIJANI:** I don't think they're taking
25 next-building people.

1 **DR. ZIEMER:** Right, that's exactly right. And
2 so automatically sort of an administrative
3 truncation where you're picking the high end
4 here, but even that has a big distribution.
5 And I'm not sure -- if one were arguing that
6 something like this could have occurred without
7 our knowledge -- you know, breakdown of
8 radiologic controls -- could -- could -- is
9 that an argument that anyone is making?

10 **DR. NETON:** There have been claimants who made
11 -- made those arguments, that criticalities
12 occurred that were undetected by the -- by the
13 facility. That's been an argument in a couple
14 of cases.

15 **DR. MAKHIJANI:** It's not something that I --

16 **UNIDENTIFIED:** Small level.

17 **DR. NETON:** Small level.

18 **DR. MAKHIJANI:** -- factored into our report.

19 **DR. ZIEMER:** No, I understand, but I -- what
20 I'm -- what I'm getting at is that basically
21 what this does is give us some handle on what
22 kind of doses could occur if that in fact were
23 the case and individuals -- and I would -- I
24 would tend to look at the upper end of these,
25 the people in close. Those are the numbers of

1 concern.

2 But the other part of that is, in many of these
3 those doses are high enough that you would
4 expect to see the non-stochastic effects --

5 **DR. MAKHIJANI:** Right.

6 **DR. ZIEMER:** -- which is a different endpoint.

7 You have, for example -- well, certainly in the
8 Japanese case, the guy was --

9 **DR. NETON:** Well, two of the workers
10 (unintelligible) --

11 **DR. ZIEMER:** Right. So -- and I think even --
12 even in the Oak Ridge case, they -- they saw --
13 they weren't lethal doses, but they saw blood
14 effects early on, so --

15 **DR. NETON:** In fact in our -- in the petition,
16 if you're -- if you're petitioning as a
17 discrete incident, one of the proofs that you
18 could submit is, in our regulation, medical
19 evidence that one or more members of the class
20 may have incurred a high-level radiation dose
21 from the incident such as depressed white blood
22 counts associated with radiation exposure or
23 the application of chelation therapy in terms
24 of an internal high incident. So we -- you
25 know, we envisioned that. You know, if you're

1 proposing a class based on an incident, then
2 you'd like to see, you know, medical evidence
3 of some type --

4 **DR. ZIEMER:** And that --

5 **DR. NETON:** -- and that, I think, kind of
6 speaks --

7 **DR. ZIEMER:** -- and that's clearly high, no
8 argument.

9 **DR. NETON:** And I think that statement actually
10 speaks to the level that we intended these to
11 be in the range for (unintelligible) --

12 **DR. ZIEMER:** The problem --

13 **DR. NETON:** -- blood cells --

14 **DR. ZIEMER:** -- I'm having with getting away
15 from stochastic -- or non-stochastic effects
16 such as blood count and so on, where are we on
17 this? At what point is it still high or at
18 what point is it the folks in the next building
19 and that's just routine stuff? That's --

20 **DR. NETON:** Right.

21 **DR. ZIEMER:** -- sort of the issue that I'm
22 struggling with.

23 **DR. MAKHIJANI:** Well, that's partly what I was
24 trying to put before you to (unintelligible)
25 out.

1 **DR. ZIEMER:** Yeah, so we can think about --

2 **DR. MAKHIJANI:** White blood cell counts might
3 not be a bad (unintelligible) rem.

4 **DR. MAURO:** Yeah, coincidentally, ten rem is
5 sort of the number that gives you not only the
6 threshold where you see the acute effects, but
7 also -- about -- but also the threshold where
8 you start to see stochastic effects.

9 **DR. NETON:** Well, we have to be careful when we
10 start talking about stochastic effects now
11 because the techniques are so sensitive, one --
12 some people -- I've been to conferences where
13 they'll say (unintelligible) down to one rem
14 now.

15 **DR. MAURO:** Yeah, they're all -- I agree with
16 you, there are places where they bring it down
17 to one.

18 **DR. NETON:** But traditionally I think, you know
19 -- at least when I was growing up in health
20 physics, 25 to 50 rem was sort of the ball park
21 of where you could pretty easily see
22 circulating, you know, effects in white blood
23 cell depression. I mean just talking about
24 decline in the count itself, and without
25 special -- special techniques. But that was

1 sort of the intent and that got to the
2 qualitative issue as -- you're saying -- to a
3 health physicist looking at data, you start
4 seeing blood cell depression, you start seeing
5 administration of chelation therapy, those are
6 the kind of evidence points that we would be
7 looking for to say well, this was a discrete
8 incident that was high enough where you don't
9 need to start applying these PC calculations
10 where you're refining your estimates down to,
11 you know, the class. So that -- that was
12 clearly the intent of the way this was put
13 together.

14 **DR. MELIUS:** But -- but I would question
15 whether that really matches up with sort of the
16 equity argument with the 250 day -- what we
17 talked about earlier.

18 **DR. NETON:** Well, the 250 --

19 **DR. MELIUS:** I mean I think (unintelligible)
20 have to be careful about, you know, what kind
21 of test you put on -- on the -- the criteria
22 for health endangerment for, you know, a
23 discrete incident not to be something that, you
24 know --

25 **DR. NETON:** Agreed.

1 **DR. MELIUS:** -- yeah, a great deal different
2 than that.

3 **DR. MAKHIJANI:** Could I put two other points on
4 the table for discussion, because I think it
5 would help.

6 **DR. MELIUS:** If they're -- if they're from your
7 first paper.

8 **DR. MAKHIJANI:** Yeah, okay. Only from -- I'm
9 with you -- I'm with you, Jim.

10 The -- the two other things that seem important
11 for this discussion that are in the paper were
12 the legislative record -- I could find only one
13 -- one piece in the legislative record that
14 actually talked about Amchitka and doses. I --
15 so I put the whole thing in so people could
16 see.

17 **DR. ROESSLER:** What page are you on in what
18 document?

19 **DR. MAKHIJANI:** On -- on the health
20 endangerment paper --

21 **DR. ZIEMER:** Page 19.

22 **DR. MAKHIJANI:** Yes, with a statement by
23 Senator Frank Murkowski, and he actually quoted
24 dose reconstruction done by Dr. Bertell that --
25 I talked with Dr. Bertell subsequent to seeing

1 this, just a few days ago and I made a few
2 notes from that conversation and sent them to
3 her. I actually think the 669 to 7,240 (sic)
4 millirems for a year -- I don't think they're
5 for a year, I think that's cumulative doses --
6 they are internal and external combined. And
7 the way she did the calculation, if I
8 understand it, is she took job categories and
9 assumed that somebody just did one category of
10 job and that was the low end, and somebody else
11 may have done all of the categories of job
12 serially and participated in everything,
13 including the cleanup, and that would be high
14 end. I have not seen the paper itself. I have
15 requested Dr. Bertell to send it to me.
16 But I thought this particular thing was
17 important, not for its technical content but
18 for what -- what Congress saw when they --
19 technically, when they passed the legislation
20 containing Amchitka without the 250-day
21 restriction. And I made a list of six factors
22 that I -- you could actually relate to the
23 rule.
24 The statement indicates that those are the
25 difficult to quantify. The dose records are

1 partial since they are dose -- I'm just saying
2 what's in the statement, not my opinion; I
3 haven't (unintelligible) -- dose records are
4 lost or improperly maintained. They gave a
5 range of doses under one rem to just over 17
6 rem. And potential for episodic exposure. And
7 then it talks about quarterly and annual dose
8 limits, and that the workers were not properly
9 informed.

10 And there's some broad general sense in which
11 you could relate this to 42 CFR 83, and I tried
12 to do that. And you can argue the merits of it
13 or -- or demerits of -- of how I tried to do
14 it, but I just wanted to sort of call attention
15 to there is a range of doses in the legislative
16 record from Amchitka which doesn't have -- and
17 the other -- the other thing that I -- I -- the
18 other two things, actually, are the reduction
19 of 250 days to 83 just because people were
20 present is an announced policy of the
21 Department of Labor in how it's going to treat
22 Pacific Proving Grounds and Nevada Test Site,
23 as I understand it.

24 **DR. NETON:** Portions of the Nevada Test Site.

25 **DR. MAKHIJANI:** Portions of the Nevada Test

1 Site, thank you. And I think that that applies
2 in the absence of the Advisory Board or the
3 Secretary of Health and Human Services having
4 made a decision of health endangerment. But if
5 presence at the site -- you know, sleeping in a
6 trailer -- is -- is considered sufficient to
7 reduce the time from 250 days to 83 days, then
8 it does raise the question of -- what does it
9 imply for -- and the last factor -- the last
10 factor -- let me just put all -- all the issues
11 on the table, then I'll -- then I'll stop.
12 The last thing that -- that -- and actually
13 based on Hans's calculations that were
14 presented to the Board in June, I believe, at
15 the D.C. Board meeting, and they related to
16 internal dose from thorium at Ames from a
17 single day's intake with committed -- 50-year
18 committed doses. They turned out to be quite
19 high for certain organs and they are there in
20 Table 5 of the paper. And the -- the issue
21 here is that if -- for instance, at Nevada Test
22 Site -- somebody worked for 251 days and had a
23 plutonium intake which you can't properly
24 characterize and you're giving them an SEC,
25 they would also be getting -- getting the dose

1 over a long period of time, and so the question
2 of whether internal doses should be considered
3 within this framework -- today I'm hearing that
4 they should be considered. But in the past,
5 informally, I've heard expressed that maybe,
6 because it's committed doses over a long period
7 of time, they shouldn't be considered there.
8 The argument I think that I've made, at least
9 for people to shoot at, is that it seems that
10 internal doses should be considered if there
11 was a failure of radiological controls and
12 incidents of exposures in a relatively short
13 period of time. So those -- those are sort of
14 the other complicating issues.

15 **MS. HOMOKI-TITUS:** Liz Homoki-Titus. I just
16 want to be clear and make sure that everyone
17 understands, under the regulation you have to
18 either pick the 250-day requirement or presence
19 (unintelligible) if they want to decide how to
20 count the work days. So I just want to be sure
21 that we're not going in a direction where the
22 Board might recommend 83 work days or something
23 like that, unless you want to make the
24 recommendation to the Secretary to change the
25 rule.

1 **DR. ZIEMER:** No, I think --

2 **DR. MELIUS:** We understand that.

3 **DR. ZIEMER:** -- we understand that. That --
4 that's how Labor -- we understand Labor is
5 interpreting it --

6 **MS. HOMOKI-TITUS:** Right.

7 **DR. ZIEMER:** -- is they're doing a weighting,
8 and I think we have sort of indicated that we
9 think that's reasonable. It weights the amount
10 of time there.

11 **MS. HOMOKI-TITUS:** Okay, I just wanted to make
12 sure that we're all on the same page. Thank
13 you.

14 **DR. NETON:** A couple of issues Arjun raised,
15 one is -- the regulation never intended to
16 discount internal exposures for less than 250
17 days. In fact, that statement I just read
18 about chela-- administration of chelation
19 therapy would support that, that --

20 **DR. MAKHIJANI:** Right.

21 **DR. NETON:** -- you were involved in a -- for
22 instance, a glove -- a ion exchange column
23 explosion at Hanford and were administered
24 chelation therapy for your intakes and we
25 couldn't reconstruction your dose, we'd

1 certainly consider something less than 250 days
2 in that case.

3 Secondly, the reduction of exposure time from
4 250 to 83 by Labor I think is very consistent
5 with the reason the class was granted, which
6 was based on our inability to reconstruct
7 internal exposures, not external. So if for
8 instance these people were living there,
9 swimming in lagoons, eating local vegetation,
10 that sort of thing, it makes sense to me that
11 presence of less than 250 days because of the
12 24/7 exposures, it makes sense to reduce it.

13 **DR. MAKHIJANI:** No, I agree with that. I'm not
14 arguing that it doesn't make sense. I am just
15 putting the question before the group that if
16 you're doing that, then what does it -- and I
17 don't have a clear, clean answer to this
18 question -- is what does it imply if you
19 consider that in the context of health
20 endangerment? I don't have an answer to this
21 question. I think it does raise the question.

22 **DR. WADE:** Just to be open -- Jeff Kotsch, are
23 you still on the line? Jeff Kotsch?

24 **MR. KOTSCH:** Yeah, still here.

25 **DR. WADE:** Is there anything you would like to

1 say, Jeff, at this point -- since we're talking
2 about DOL and decisions they've taken or --
3 I'll give you an opportunity to speak if you'd
4 like.

5 **MR. KOTSCH:** Unfortunately I've only been
6 listening with half an ear, but basically, you
7 know, we're -- our interpretation of -- at like
8 Nevada Test Site or even PPG, if we determine
9 that a person is there continuously, that's the
10 reason we use that -- you know, the 83-day or
11 whatever the value is --

12 **DR. WADE:** Thank you.

13 **MR. KOTSCH:** -- as an interpretation of the
14 250-day standard.

15 **DR. WADE:** Thank you.

16 **DR. MELIUS:** Yeah, Paul.

17 **DR. ZIEMER:** Yeah, I had just a comment on the
18 congressional intent issue here. I think it's
19 very helpful to know that and I appreciate
20 Arjun putting that information in. It's
21 interesting to me that point five says that
22 exceedance of the quarterly limit and that five
23 rem is considered significant. I don't know,
24 Arjun, if you're saying if -- if you are -- I --
25 I don't know that the fact that that argument

1 was used, I don't know if that necessarily
2 means that Congress says that particular
3 argument was significant. You're -- you're
4 pointing out that was part of the argument.

5 **DR. MAKHIJANI:** Right, that's all I'm saying.

6 **DR. ZIEMER:** Yeah.

7 **DR. MELIUS:** It was -- it was Senator
8 Murkowski.

9 **DR. ZIEMER:** It was one of the points Murkowski
10 made.

11 **DR. MAKHIJANI:** Right.

12 **DR. ZIEMER:** To me, the important one is the
13 third point, that -- where he's saying that at
14 least they're estimating that the doses to the
15 people might be as high as 17,000, and they're
16 also saying we don't know what they are, but
17 certainly the upper --

18 **DR. ROESSLER:** 17,000 millirem.

19 **DR. ZIEMER:** -- millirem, a 17-rem per year,
20 certainly the upper end of this seems to me to
21 be the most significant thing.

22 Now obviously if you're making the argument to
23 Congress, you would want to point out that
24 people are exceeding the legal limit. Whether
25 or not Congress thought that was significant I

1 think is speculative. That's the only point I
2 -- I would make here.

3 **DR. MAKHIJANI:** I agree.

4 **DR. ZIEMER:** You know what I'm saying?

5 **DR. MAKHIJANI:** No, no, I completely --

6 **DR. ZIEMER:** And I would make the same argument
7 if I was standing before Congress. I'd say and
8 these guys are exceeding the legal limit.

9 **DR. MAKHIJANI:** Right.

10 **DR. ZIEMER:** But is that what swayed the day,
11 or is it the fact that there are doses as high
12 as some number, number one, and number two,
13 there are these uncertainties and we don't know
14 what they're getting. So -- and it's probably
15 a preponderance of all of those things taken
16 together.

17 **DR. MAKHIJANI:** Right.

18 **DR. ZIEMER:** I just -- I was uncomfortable with
19 the idea of putting too much weight in the
20 fifth point, and that's what I was talking
21 about earlier. A quarterly limit is -- it's a
22 -- it's a management limit, in my mind. And
23 not everybody agrees with that, but if a person
24 -- if all they ever got in their life was three
25 rem, except for some unusual situation where

1 you had the combination of age and -- and other
2 factors and the right cancer, maybe it would
3 come out and, you know, we'd go with our POC
4 stuff. But otherwise, I -- I'm more concerned
5 about these incidents that can indeed cause
6 what I would sort of intuitively feel is
7 higher.

8 **DR. MAKHIJANI:** Right.

9 **DR. ZIEMER:** On the upper end of that, you
10 know. I'm not comfortable with people getting
11 17 rem per year.

12 **DR. MAURO:** Dr. Ziemer, I completely agree. I
13 also would like to add that probably one of the
14 considerations is establish precedent. That
15 is, when we move forward -- you folks move
16 forward, taking into consideration the
17 precedent established by the decision-making
18 that supports Amchitka should be part of the
19 argument when we -- when we come out of the
20 back end of this process. Collectively the --
21 the arguments made that obviously were
22 convincing to Congress needs to be part of the
23 milieu of our thinking. So I think it's
24 important, Arjun, that you did put that out.

25 **DR. ZIEMER:** Well, and see, I think it's a good

1 argument from a different perspective. I don't
2 look at that number as a -- as a health issue.
3 To me, it indicates lack of rad controls and
4 therefore you don't have a good -- you're --
5 the site's not being management -- managed
6 well. That's -- that's the kind of argument
7 that would -- to me is more important in the
8 long run. They're not managing their workers
9 well and therefore there's reason to think
10 there could be problems. That's -- that's just
11 a point I would make here.

12 **DR. MAKHIJANI:** I -- I agree with you. I mean
13 I would -- I just tried to look at that
14 statement and say what are the points that were
15 made.

16 **DR. ZIEMER:** And those were the points they
17 made, yeah.

18 **DR. MAKHIJANI:** In my personal opinion, I think
19 the failure of radiological controls is -- is
20 clearly -- well, it's there in the regulation -
21 -

22 **DR. ZIEMER:** Yeah.

23 **DR. MAKHIJANI:** -- it's clearly and important
24 thing and how -- how you define failure of
25 radiological controls obviously, to some extent

1 --

2 **DR. ZIEMER:** Well, there's the starting point
3 right there.

4 **DR. MAKHIJANI:** -- might be a legal -- legal
5 idea to what controls were expected.

6 **DR. MELIUS:** So can we come up with a way that
7 would -- an approach that would allow us to --
8 what are discrete, high exposure incidents?

9 **DR. ZIEMER:** Well, I have another question --

10 **DR. MELIUS:** Okay, go ahead.

11 **DR. ZIEMER:** -- that could -- maybe Jim can
12 help me with this, too. On Table 5 -- and
13 Arjun, I'm just trying to make sure I
14 understand Table 5.

15 **DR. MAKHIJANI:** The Ames table?

16 **DR. ZIEMER:** Yeah, it's page 24 of the -- of
17 the "Parsing" paper.

18 **DR. MAKHIJANI:** Yeah.

19 **DR. NETON:** What page is that on?

20 **DR. WADE:** 24.

21 **DR. ZIEMER:** Page 24, Table 5, 50-year
22 committed dose.

23 Your final column, it's 50-year committed dose,
24 rems per day of intake -- is this -- let me see
25 if I understand this right. Are you saying,

1 for example, if the person were there under the
2 -- under the prescribed scenario for one day,
3 inhaled I guess maybe eight hours of continuous
4 inhalation, then their committed dose for lung
5 would be ten rem for one day of intake --

6 **DR. MAKHIJANI:** Let's call the man who did the
7 calculation.

8 **DR. ZIEMER:** -- and five for red marrow and 145
9 for bone surface?

10 **DR. BEHLING:** Yes, and the assumptions are
11 actually nine hours. I think they were
12 specified in the --

13 **DR. ZIEMER:** Oh, okay.

14 **DR. BEHLING:** -- the report, and there was some
15 discussion that Jim and I had that the
16 methodology by which this was calculated was
17 perhaps a factor of up to three too high, based
18 on the crude method by which alpha detection
19 (unintelligible).

20 **DR. NETON:** These are gross alpha measurements.

21 **DR. BEHLING:** However, that is more than
22 compensated by the fact that this only includes
23 thorium and it does not include radium-228,
24 actinium-228, thorium-228, and radon-220. So
25 when you add that back into the calculation,

1 those numbers will go up -- way up.

2 **DR. NETON:** I'll have to look at it, but --

3 **DR. BEHLING:** Yeah, they will.

4 **DR. NETON:** -- I do -- I do remember John Pos--
5 Dr. Poston asked the question. This was
6 actually 50-year committed dose --

7 **DR. BEHLING:** Dose per day of --

8 **DR. NETON:** -- per -- per one day of intake,
9 yeah.

10 **DR. ZIEMER:** So if a person was there for a
11 week -- for -- for five days, for example,
12 they'd get a 50 -- a 50-rem lung dose.

13 **DR. MAKHIJANI:** And this was a daily weighted
14 average intake, so -- the way they used to do
15 those surveys. You know, so many minutes in
16 the lunch room, so many minutes in this kind of
17 job and so (unintelligible).

18 **DR. ZIEMER:** When I saw these numbers, Jim, I
19 was wondering if one could, for example, take
20 lung cancer and some typical scenario and say
21 how many days of such exposure would it take to
22 get --

23 **DR. NETON:** Oh, you could. Yes, you could.
24 But see, that gets to the issue of these
25 cancer-specific SECs (unintelligible) --

1 **DR. ZIEMER:** I know, I know, I know.

2 **DR. NETON:** -- didn't want to have. The Board
3 actually recommended they didn't want --

4 **MR. ELLIOTT:** Well, through public comment in
5 the regulatory process we arrived at that.

6 **DR. NETON:** But I would -- I would suggest --
7 we may be getting ahead of ourselves again
8 here, but these are doses per organ and then,
9 as I mentioned before, then we would have to
10 have this three-by-three matrix
11 (unintelligible) --

12 **DR. ZIEMER:** I -- I know.

13 **DR. NETON:** -- for is it leukemia that drives
14 this as the lowest possible -- and then to come
15 down to the lowest possible exposure time that
16 would get you to 50 percent, we'd have to come
17 up with some --

18 **DR. ZIEMER:** Well, see, that's what I was
19 thinking about when I saw these numbers, but --
20 but it's a multi-factorial array --

21 **DR. NETON:** I think it would be pretty easy to
22 convince someone that ten days' exposures to
23 thorium, if these numbers are correct, would
24 more than likely get everybody over 50 percent
25 for lung cancer. But that doesn't do anything

1 for the person who has leukemia or who has some
2 other cancer that should be in here -- you
3 know, should be lower based on some other
4 combination of cancers, latencies and -- and
5 risk factors. And that -- that-- I don't know
6 how we would approach that. (Unintelligible)
7 practical issue is how we ended up how we did,
8 I think. We couldn't solve this problem
9 (unintelligible).

10 **DR. ZIEMER:** But do you -- do you have to be
11 able to show that there -- that's -- that an
12 incident occurred, then, that could have led to
13 high intakes like this, just --

14 **DR. MAKHIJANI:** This would be a failure of
15 radiological control, I would say, because you
16 have -- you have dust that is very consistently
17 and very largely over the established control
18 limits. But this --

19 **DR. ZIEMER:** Well, I don't know what the
20 scenario was here, but I --

21 **DR. BEHLING:** The issue -- no, but I just want
22 to get back to what Jim was saying, the
23 complexity, because when we talk about external
24 dose it's an easy one to deal with because one
25 external dose pretty much defines exposures to

1 all tissues that might become cancerous and
2 therefore compensable. But when we talk about
3 internal dose, the selection of the same dose
4 becomes very critical based on the potential
5 that the tissue of question is very limited
6 when -- let's talk about iodine as an example.
7 The dose is -- be pretty much driven -- or the
8 issue will be driven by thyroid exposures and -
9 - and we know that dose to the thyroid has to
10 be very high in order to reach a 50 percent
11 compensability level. And so there is no such
12 thing as a single dose that you could point to
13 and say this will be sufficient, because it's
14 driven by the radionuclide when we talk about
15 internal and the specific cancer that that
16 radionuclide would potentially put that person
17 at risk.

18 **DR. NETON:** I'm more worried about bounding the
19 low end, though. The high end, I think we can
20 say -- we could get one cancer and let's say
21 (unintelligible) you have a lung cancer that
22 they'd be compensated. But then to bound the
23 low end to give you the lowest possible
24 exposure so that -- for the cancer that -- that
25 -- you know, the absolutely one cancer you

1 can't reconstruct, and if you get down real low
2 -- for leukemia, polycythemia (unintelligible),
3 one of those type things -- I don't know that
4 we can actually calculate that. You're going
5 to have to take a hypotheti-- I hate to use the
6 word hypothetical in front of Dr. Melius, but a
7 hypothetical claimant who -- who was maybe 18
8 years of age at exposure and developed leukemia
9 at age 22 and was -- was exposed to very
10 soluble mater-- you know, you get to all these
11 machinations where you're always going to be --
12 can be challenged on that low end, what is the
13 lowest level of discrete exposure that would
14 bring that class in. So --

15 **DR. MAURO:** Jim, let's try to simplify. Let me
16 ask a simpler question. Let's say for the
17 moment we all agree that at Ames there
18 certainly were -- situations existed whereby,
19 over relatively short periods of time, people -
20 - some people could have experienced exposures
21 which could easily have been responsible for
22 particular cancers. And let's say we all agree
23 that that's true -- and I think we do, given
24 the numbers that Hans reported there.
25 Now the ladder then becomes -- okay, let's say

1 we all agree on that. What form does the
2 finding come out? In other words, let's say
3 we're about to say something about Ames and
4 about the 250-day. Is it -- is it something
5 that we say well, yes, there certainly is a --
6 a potential for endangerment for people who
7 worked there for less than 250 days. But now
8 we also know something more. We know --
9 however, the endangerment is only limited to
10 let's say certain people that were in certain
11 places at certain times, and also endangered --
12 the reality is, if it was this cancer and this
13 cancer and this cancer, absolutely. But in the
14 case of these other cancers, not a chance. But
15 I know that there's a problem with parsing to
16 that level. I know from -- I guess from other
17 -- from other discussions we've had, it seems
18 that we all could agree very readily regarding
19 let's say bone cancer and lung cancer. But in
20 regard to some other cancers, we could probably
21 even make a case that it's virtually impossible
22 that these levels of exposures could have --
23 could have been a -- been a problem. So is
24 that why you're going to the low end, so that
25 you could say something about all cancers?

1 **DR. NETON:** You have to -- you have to be fair
2 to the class. You have to -- you have to pick
3 the lowest dose for the cancer that can't be
4 reconstructed. I mean that's --

5 **DR. MAURO:** Yeah.

6 **DR. NETON:** -- the way it works, so you have to
7 -- you have to drive it to the lowest level.
8 Otherwise you're not being equitable to the
9 entire class.

10 **DR. MAURO:** And as you pointed out, to do that
11 is an in-- dimensional problem that --

12 **DR. NETON:** It's a -- it's a three-dimensional
13 matrix. It's huge.

14 **DR. MAKHIJANI:** Maybe in some way this is
15 backwards in that a problem in practice may be
16 simpler than this very complicated case. So if
17 we -- if we take this particular example of
18 Ames, and the way the rule is written, that you
19 have to be able to reconstruct for all this --
20 for now, let's just say the SEC cancers -- and
21 that we cannot do it for any cancer, then
22 you're in. If you apply that to the idea, not
23 of the doses people got but for the potential
24 for exposure and you have this one big exposure
25 -- one-day intakes leading to committed doses

1 that are this high -- then you know there are
2 some cancers in the -- in the SEC list of
3 cancers that -- that could have been caused by
4 this level of exposure. And so I think then
5 you have to include everybody who was not in
6 the -- if you have conditions like that, then
7 people exposed (unintelligible) --

8 **DR. NETON:** But Arjun, the point is that the
9 dose -- for internal exposures, the dose is
10 directly related to the exposure duration, the
11 length of a discrete incident --

12 **DR. MAKHIJANI:** Right.

13 **DR. NETON:** -- you're going to define. So if
14 you define the discrete incident as one day and
15 I can -- there's potentially some less exposure
16 that could occur -- I'm not sure I can find it,
17 but there could be a smaller length of time
18 exposure that could have been -- caused some
19 other cancer that should be the driver to make
20 that an SEC. You don't say -- you can't -- you
21 can't bracket -- you can't come -- bound with a
22 lower bound on that discrete exposure.

23 **DR. MAKHIJANI:** For practical purposes, if
24 you're talking of somebody who was there for a
25 few days -- I mean what's the -- in a way,

1 what's the universe of people that we're
2 talking about? We're not talking about
3 somebody who popped in for a few minutes and
4 then went away.

5 **DR. NETON:** Oh, I don't --

6 **DR. MAKHIJANI:** We're talking about people who
7 are employees.

8 **DR. NETON:** When you have a thousand people in
9 a class or whatever, you have that walk through
10 an area for ten minutes that have -- be -- have
11 to be adjudicated somehow. It's going to
12 happen, and so you have to really define the
13 lower bound very scientifically. Otherwise I
14 don't think the case can be adjudicated
15 properly. We're going to be challenged -- we
16 see this all the time. I walked through the
17 area to deliver the paychecks. I was there
18 maybe 15, 20 minutes. So how do you --

19 **DR. MELIUS:** But -- but I disagree with that,
20 Jim, 'cause I think -- remember, these are --
21 by definition, you can't do the dose
22 reconstruction. You don't have sufficient
23 accuracy and so forth, so that mean-- I mean
24 it's not dissimilar to the kind of decisions
25 that are being made -- probably by Department

1 of Labor, in effect -- for determining who
2 qualifies for the SEC at the 250-day level.

3 **DR. NETON:** But that -- that is the level, they
4 have to demonstrate 250 days exposure. I mean
5 that's (unintelligible) --

6 **DR. MELIUS:** Yeah, but -- but -- but --

7 **DR. NETON:** -- in the regulation.

8 **DR. MELIUS:** -- the job class-- there's job
9 classification issues and --

10 **DR. NETON:** Sure.

11 **DR. MELIUS:** -- so forth and -- that probably -
12 - I mean I'm not -- I think if we try to find -
13 - search for too much precision here, we're
14 fooling ourselves in terms of the nature of the
15 information and it -- it --

16 **DR. NETON:** Well --

17 **DR. MELIUS:** -- I mean I understand your
18 theoretical point, but -- but you know, mak--
19 making a cutoff, whether it's a week or a month
20 or -- or a day is something that just ha-- you
21 know, nature of the way it has to be done.

22 **DR. NETON:** Oh, I know. I agree. I think it
23 has to be solved technically because 250 days
24 is cast in concrete. That's not debatable.
25 That's part of the rule so Labor can -- Labor

1 can use that. When we define the length of
2 duration that would allow you to be a member of
3 the class, then it has to be based on some sort
4 of scientific analysis that would, you know --

5 **MR. KATZ:** Can I --

6 **DR. NETON:** -- well, if you want to change the
7 rule. Right now it's a qualitative thing.
8 It's really either very high, like a
9 criticality, or it's 250.

10 **DR. MELIUS:** Yeah, but we're --

11 **DR. NETON:** Now we're trying to go to the other
12 extreme to -- well, let's define the time.
13 What is the duration?

14 **DR. MELIUS:** Yeah.

15 **MR. KATZ:** I'm sorry, this is Ted Katz from --
16 from Atlanta. I just -- it -- it's sounding a
17 little confusing to me where you're going with
18 this, Jim, because if it's not 250 days, you're
19 not defining a period of time whatsoever except
20 for the period of time over which the incident
21 occurred.

22 **DR. NETON:** Exactly.

23 **MR. KATZ:** That's it.

24 **DR. NETON:** That's what I'm saying, though,
25 with the de-- if the incident occurred -- if it

1 was a chronic exposure situation like we're
2 talking about at Ames -- I mean this was a
3 airborne cloud over a period of days -- the
4 question is, what is the lowest period of time
5 of that incident that would get someone in the
6 class.

7 **MR. KATZ:** No, I think the question is what is
8 the period of time over which that cloud
9 existed.

10 **DR. NETON:** Well, it could be anywhere from
11 five minutes to five days, say.

12 **MR. KATZ:** Well, if it was five days, then --
13 then the class would be defined as anyone who
14 was present for any amount of time within those
15 five days during which the cloud existed.

16 **DR. NETON:** Any period of time.

17 **MR. KATZ:** Any period, 'cause -- because the
18 standard is presence, not -- not a duration.
19 So anyone who walked through or who was there
20 the entire period during which the incident
21 occurred would be part of that class.

22 **DR. ZIEMER:** But you have to know when that
23 incident occurred.

24 **MR. KATZ:** Exactly.

25 **DR. ZIEMER:** Now, what about a site at which

1 unknown incident have occurred and we don't
2 know when or where? I think is -- is part of
3 what we're trying to grapple with here, aren't
4 we?

5 **DR. MELIUS:** I think we're more like the other
6 end. We're going to have known incidents and
7 not know where people were or -- or -- in rela-
8 - I mean so I think it's going to be the
9 location issue that's going to be the -- the --
10 the thing and the...

11 **DR. ZIEMER:** Seems to me that's a little
12 easier. I mean if --

13 **DR. MELIUS:** Yeah. No, I mean I agree. I
14 think that can be dealt with.

15 **DR. ZIEMER:** -- (unintelligible) prove that
16 they were somewhere else, then you assume maybe
17 they were in it.

18 **DR. MELIUS:** Yeah, and you may have to define
19 it within -- yeah.

20 **DR. ZIEMER:** Is that really going to be the
21 driver, known incidents but we don't know where
22 the people are?

23 **DR. NETON:** Well --

24 **DR. MAKHIJANI:** Well, that's why you can't
25 calculate the dose. Right? If you say you

1 can't calculate the dose --

2 **DR. NETON:** Yeah.

3 **DR. MAKHIJANI:** -- then -- that's how I
4 understood --

5 **DR. ZIEMER:** Well, I thought we were talking
6 about the possibility of unknown incidents
7 occurring.

8 **DR. NETON:** No, at least --

9 **DR. MAKHIJANI:** I'm not.

10 **DR. NETON:** We'd have to establish an incident.

11 **DR. MAURO:** I think -- this is John Mauro. I
12 think something important just happened now,
13 though. Namely, with the help of Ted, what
14 we're saying is we've almost solved the Ames
15 question. I hate to leap like this, but what
16 I'm hearing is we all agree that there were
17 conditions at Ames where the airborne dust
18 loadings over some period of time were clearly
19 and unambiguously at levels that endangered the
20 health of the workers, and that this period of
21 time was relatively short. So in effect --

22 **DR. NETON:** I don't know if we've agreed to
23 that yet, John.

24 **DR. MAURO:** Okay, that's --

25 **DR. ZIEMER:** (Unintelligible) suppose that were

1 the case.

2 **DR. NETON:** (Unintelligible)

3 **DR. MAURO:** -- why I didn't want to leave that
4 'cause I thought we were close to something
5 here and I (unintelligible) walk away from it.

6 **DR. MELIUS:** Don't leap yet, John.

7 **DR. MAURO:** Okay.

8 **DR. WADE:** (Unintelligible) discussion
9 continue.

10 **DR. MELIUS:** Either that or go to the first
11 story.

12 **DR. MAURO:** No, I actually wasn't leaping,
13 that's why I was doing this. I felt as if we
14 were making -- we -- something important
15 happened on Ames in terms of trying to come to
16 grips with -- see, Ames turns out to be a lot
17 simpler problem and -- and to allow us to
18 explore the philosophy and strategy that we're
19 -- we're engaged in here and -- and we were
20 making -- and some important things were said
21 and I was hoping that we could keep it going
22 that way to see if we could start to achieve
23 some consensus. Ted said something very
24 important. It was the fir-- that is, the time
25 -- once you've established that such conditions

1 exist over some time period -- let's say it's
2 five days and that -- that -- the fact that a
3 person was there for a very short period of
4 time is really not one of the criteria. If he
5 was there and the conditions existed, then what
6 we have is a person that would fall into that
7 class. Now that's what I was hearing.

8 **DR. NETON:** I don't necessarily agree with
9 that, and maybe --

10 **DR. MAURO:** Okay.

11 **DR. NETON:** -- maybe we need to talk, you know,
12 among our NIOSH folks here on the side
13 eventually, but mere presence alone, just
14 walking through this incident, would not give
15 you a dose that was exceptionally high, similar
16 to a criticality. That -- I guess that's where
17 I was going with that. You -- there's got to
18 be some minimal duration of exposure that would
19 be considered to be exceptionally high. But --
20 that's what I'm trying to say, you --

21 **DR. MAURO:** That's why I'd like to stay with
22 this a little longer because it's -- we're --
23 we're -- we've got a very well-bounded issue
24 that has very real implications, at least for
25 Ames --

1 **DR. NETON:** Yeah.

2 **DR. MAKHIJANI:** -- and -- and if we -- if we
3 could -- see, if we can't deal with this one,
4 if -- you know, essentially this is a -- this
5 is about a simple issue that we can -- can
6 engage in in terms of trying to come to grips.
7 It's much simpler than let's say some of the
8 issues that we're going to encounter on Nevada
9 Test Site, so that's why I guess I -- I'm
10 feeling enthusiastic about continuing with the
11 Ames discussion, with some hope of getting some
12 -- at least tentative consensus on, you know,
13 what -- what is the right way to come at this
14 problem.

15 **DR. ROESSLER:** It seems to me, though, we're
16 right back at the beginning, because now we're
17 going to have to define an incident.

18 **MR. GRIFFON:** Yeah, I agree.

19 **DR. ROESSLER:** Wasn't that -- wasn't that our
20 original problem?

21 **DR. MELIUS:** And I think that's the crux of it
22 --

23 **DR. ROESSLER:** Yes.

24 **MR. GRIFFON:** I agree.

25 **DR. MELIUS:** -- that there -- what -- what kind

1 of an incident fits the definition we have in
2 the --

3 **DR. BEHLING:** I think that Ames really -- John
4 -- for John's sake, Ames really has two
5 components to it. There's the particular bombs
6 that went off, which are discrete and very --
7 and have a very finite duration for exposure.
8 And if you look at the report again, there was
9 cartoons about the five secretaries that were
10 ushered back in and encouraged to resume their
11 job as secretaries -- who were potentially
12 exposed during those explosions. That's one
13 event.

14 The other is where -- where we talk about air
15 concentrations. Those were assumedly steady-
16 state conditions because there were air --
17 random air samples taken at various job
18 locations and they have no finite period. When
19 we talked about it, we did effective dose for
20 one day of exposure. That essentially can be
21 assumed to have continued for long, long
22 periods of time so if a person reported for
23 that job day after day after day, that exposure
24 would have been continuous.

25 **DR. NETON:** That's why it's an SEC class.

1 **DR. BEHLING:** It's a sample. It was done --
2 the AEC came down and said here we are, we're
3 going to do some spot samplings, and they
4 weighted it. It wasn't even for eight hours,
5 it was for the duration that a worker worked
6 that location.

7 **DR. NETON:** But then --

8 **DR. BEHLING:** And so these are reasonable
9 assumptions.

10 **DR. NETON:** -- to make the leap that this went
11 on for months. We don't know that. That's the
12 point, we don't know the upper bound
13 (unintelligible) --

14 **DR. BEHLING:** Well, (unintelligible) can
15 certainly conclude that based on the production
16 quantity and assuming that the potential work
17 that was done at a given location was basically
18 one that was in a steady state mode.

19 **DR. MAKHIJANI:** I -- I think one -- one maybe -
20 - one point might be discussed is the -- the
21 idea -- the concept is excessive, which is that
22 -- essentially argument between Hans and Jim is
23 how many days (unintelligible), and the concept
24 I'm holding forward is the potential for
25 exposure, not actually getting into what the

1 exposure was 'cause you already decided you
2 can't calculate the exposure. I agree with Jim
3 there. So you don't know what the radiological
4 conditions were from a three-day survey in
5 March, 1952. But you know from the
6 descriptions of the events that those kinds of
7 conditions existed, even though we can't put a
8 number on it. You can't calculate individual
9 dose, but you can infer whether the conditions
10 were similarly dangerous, and so -- or risky or
11 the potential existed for similar doses so you
12 can actually arrive at an endangerment decision
13 based on presence during incidents or presence
14 during those workdays. And I think this is an
15 important distinction because we keep going
16 back and forth between can we arrive at a dose
17 number. And the way I thought about this in
18 parsing the rule is really the focus should be
19 on potential for exposure for those who worked
20 for less than 250 days.

21 **DR. ROESSLER:** Is there a -- Jim talks about
22 there is external dose or there are external
23 measurements.

24 **DR. NETON:** For which site?

25 **DR. ROESSLER:** Well, I don't know. Is this --

1 is this --

2 **DR. NETON:** Oh, at NTS we have considerable
3 (unintelligible).

4 **DR. ROESSLER:** -- is this true across if this
5 is true across the board, is that a measure
6 then? And it wouldn't be very exact, but is
7 that some sort of measure of what the total
8 dose would be?

9 **UNIDENTIFIED:** Not combined with the internal.

10 **DR. ROESSLER:** Yeah.

11 **UNIDENTIFIED:** That's the problem.

12 **DR. NETON:** I think we've identified an issue
13 here with what I would call chronic exposure
14 for internal and trying to fit that into the
15 definition of a discrete incident that's in the
16 regulation, and there seems to be a disconnect
17 here because, you know, if the -- if a chronic
18 exposure occurred over three days
19 (unintelligible) say just walking through the -
20 - through that area is enough to get a -- an
21 exceptionally high level exposure. That's the
22 problem I'm having -- an issue.

23 **DR. MAURO:** But Jim, what happens if you say --
24 if you describe it in a different way. Say
25 listen, we know that there was a time period

1 which could have lasted many months, maybe even
2 many years, where the concentration in the air
3 spiked to very high levels for some relatively
4 short periods of time because of these bombs.
5 So we know that at this facility, let's say
6 this particular building, over this time period
7 -- which could be many years -- that there were
8 scenar-- situations where people could have
9 been exposed to very high levels for relatively
10 short periods of time. We don't know who those
11 people were. We don't know what their exposure
12 durations were. But one thing we do know is
13 the scenario's very real. So anyone that --
14 who worked in that facility, that building, no
15 matter what time period -- duration -- but they
16 were there and did go into that building meet
17 the criteria of endangerment. So in a way,
18 what I just described is something that avoids
19 all of these -- what I would call more precise
20 issues that we'd like to address, but there's
21 no doubt what I just said is probably true.
22 That is, if there -- that you -- if you -- if
23 you worked in that building doing your job
24 during this time period, it's -- there is a
25 very real possibility that you were exposed to

1 an uncontrolled high level of airborne thorium
2 for some period of time that would be
3 considered endangerment.

4 Notice I just said that without even saying
5 what the doses were, what the time periods were
6 or who the people were. All I said was that --
7 that certainly that scenario existed. Is that
8 enough -- is that enough for -- for a decision
9 to be made on how to deal and grant let's say
10 the petitioners' request for compensation of
11 people who were at Ames less than 250 days?

12 **DR. NETON:** I don't know. I mean we'd have to
13 look at the definition of the class.

14 **DR. MAURO:** But did you see what I ju-- did I -
15 -- see, in -- in effect, I just did that. I
16 threw -- I'm throwing sort of like the gauntlet
17 down.

18 **DR. NETON:** Well, I don't know what you did.

19 **DR. MAURO:** Why -- why couldn't that be it?

20 **DR. NETON:** The way you described that, to me,
21 is anybody who ever worked there, walked
22 through the plant, is in the class.

23 **DR. MAURO:** That -- during that time per--
24 during a given time period and a given
25 building.

1 **DR. NETON:** Right.

2 **DR. MAURO:** Yeah, that -- that -- I'm putting
3 that down as something to shoot at.

4 **DR. NETON:** Yeah.

5 **DR. MAURO:** Why couldn't -- why wouldn't that
6 make sense, to define it in those terms?

7 **DR. NETON:** Well, as a health physicist, would
8 you believe that someone who walked through a
9 building for five minutes had an exceptionally
10 high level of exposure or even had a reasonable
11 potential to get 50 percent POC? I mean --

12 **DR. MAURO:** I agree with that. I would say
13 you're absolutely right, because if it's only
14 five minutes -- but the -- the problem -- to
15 get to the level you'd like to get at -- let's
16 say you did say well, we know it's five
17 minutes. Well, that's just equally impractical
18 because how do you determine if a person was
19 there -- you know --

20 **DR. NETON:** I agree with that.

21 **DR. MAURO:** -- for less than five minutes, you
22 know. So I think that the -- even if we knew --
23 even if we were to solve for that time, it
24 doesn't help us make good decisions.

25 **DR. NETON:** Well, I think at some point,

1 though, you would have to refine the class
2 definition for the -- and this is what I
3 started off the day with -- for those less than
4 250 days, you'd have to somehow, if possible,
5 more narrowly define that class. If it is only
6 workers who worked in the thorium area that had
7 these exceptionally high -- 40, 50, 100 -- MAC
8 air samples. Now whether that can be carried
9 out by the Department of Labor is another issue
10 because we always have to be sensitive to them
11 being able to administer that class.

12 **DR. MELIUS:** Well, but on the Nevada Test Site,
13 I think what I hear you're saying, Jim, is that
14 you can -- we can do external dose, but for
15 internal dose, we can't. And so you're saying
16 then for -- if the internal dose is only for a
17 day, you can't calculate it, so theoretically
18 you could have somebody in -- you know, their
19 external dose gets them to 49.99 percent -- you
20 know, that increment of internal dose could put
21 them over --

22 **DR. NETON:** That's another issue when you start
23 adding the internal back to the external --

24 **DR. MELIUS:** No, I know, that's --

25 **DR. NETON:** I don't know how to deal with that.

1 **DR. MELIUS:** -- that's another complication to
2 this, and yet it seems to me that, you know --
3 now if we could -- if you can say you can
4 estimate it 'cause it's a shorter time period
5 or there's some way of bounding it that you
6 couldn't do for a longer time per-- I mean I
7 don't know what -- how you --

8 **DR. NETON:** I don't know. I mean once you
9 start adding the external plus the internal,
10 that adds another dimension.

11 **DR. WADE:** Just for a moment -- has Mark
12 identified himself as being on the line? Mark,
13 are you --

14 **MR. GRIFFON:** Yeah, yeah, I'm here. I -- I
15 just -- and I'm -- I'm listening. I -- I also
16 feel that -- I -- I was sort of leaning toward
17 Ted's -- you know, the -- the notion of
18 presence because I'm -- I'm grappling with this
19 -- this is really deja vu, though, this whole
20 discussion, but --

21 **DR. NETON:** It really is.

22 **MR. GRIFFON:** -- I'm grappling with this --
23 this notion of -- you know, if you try to
24 define that time frame, Jim, I think you're --
25 you know, you're -- you're down to -- I -- I'm

1 drawing out your 3-D matrix here on my scrap
2 paper, but you know, we're back to --

3 **DR. ZIEMER:** I think it's actually 4-D.

4 **MR. GRIFFON:** -- well, if you can do all that,
5 then you can -- you can calculate a plausible
6 upper bound. I mean you can estimate -- you
7 can quantify intakes. And the point of all
8 this is that we can't quantify the intakes so
9 that sheer presence -- but I would say that
10 presence of a -- then, you know, we've got to
11 define -- then we're back to defining discrete
12 incident.

13 **DR. NETON:** Exactly.

14 **MR. GRIFFON:** And how do you define discrete
15 incident? It's got to be sort of a qualitative
16 definition, I guess, because --

17 **DR. NETON:** Right.

18 **MR. GRIFFON:** -- you know, we can't give it a
19 rem -- you know, we can't -- because of the
20 points you made earlier, we can't quantify
21 that.

22 **DR. ZIEMER:** Currently the way -- the way the
23 reg is written, it already talks about discrete
24 incidents and presence, doesn't it -- presence
25 during a discrete incident?

1 **DR. NETON:** Yeah.

2 **DR. ZIEMER:** That's already in the reg.

3 **MR. GRIFFON:** Right.

4 **DR. ZIEMER:** So you don't have to sort of re--
5 we don't have to come up really with a new
6 definition, as long as we're not dealing with
7 this steady-state thing and trying to change
8 those days.

9 So then it -- then it boils down to two things.
10 One is, can -- how well can you define the time
11 frame for the incident, 'cause then presence
12 becomes pretty clear-cut. And I thought I
13 heard you saying you -- somebody's got to
14 establish that the incident occurred, either
15 through affidavits or something. Right? Or --
16 or external evidence of some sort. And -- and
17 if an incident is defined -- I mean if it's
18 agreed that it has occurred, you usually put a
19 boundary on it probably occurred between this
20 day and this day?

21 **DR. NETON:** Right. But the problem with that
22 is, though, when you get to places like Ames,
23 we're not talking about discrete incidents
24 anymore. We're talking about --

25 **DR. ZIEMER:** A steady state.

1 **DR. NETON:** -- chronic operations, steady
2 state.

3 **DR. BEHLING:** Well, we have two things. We
4 have --

5 **DR. NETON:** Well, you've got the bombs, but
6 let's -- let's -- that aside, 'cause that's
7 more easy to deal with, I think. But when
8 you've got a --

9 **DR. ZIEMER:** I mean if -- if it's a -- if it's
10 a steady-state thing, you're into the other
11 category. If it's a discrete incident --

12 **DR. NETON:** Well, not necessarily, because Hans
13 is pointing out here that you've got a 145-rem
14 bone dose for one-day exposure of a -- of a
15 chronic situation that could have persisted for
16 months.

17 **DR. MAKHIJANI:** And most of that exposure would
18 come from maybe half an hour or an hour
19 exposure.

20 **DR. NETON:** It's not really an incident at this
21 point; it's a chronic plant condition that
22 we're (unintelligible) define at what point is
23 it less than 250 days to grant a status
24 (unintelligible) --

25 **DR. MAURO:** But Jim, this is John, let's think

1 about if -- I'm a worker. I worked -- I worked
2 at Ames. Okay? And I developed lung cancer.
3 And -- and I -- and I say to mys-- I tell you,
4 tell everybody there before the Board, listen,
5 you know, I was there -- I don't know how long
6 I was there, but it was certainly less than 250
7 days. I wasn't there for -- but it was
8 actually -- but I did spend time. I don't even
9 know how much time, but I could demonstrate
10 that I spent some time in this building, this
11 building that we have data for that says the
12 concentrations at some times for some unknown
13 duration were very, very high, such that it's
14 plausible that I could have experienced a dose
15 to my lungs that was more than sufficient to
16 give me a PC of .5. I mean let's say that's
17 all I could say, and I'm a worker now. I'm
18 thinking of myself. And then you come along
19 and you tell me well, I'm sorry, there -- you
20 know, we're not going to compensate you because
21 we -- you know -- the answer is no, of course
22 you have to compensate that person. I mean for
23 a practical, common-sense point of view, that
24 person who could demonstrate to you that he was
25 there for some time period, even though it was

1 uncertain, but you know -- we know that the --
2 that there were time periods, whether due to
3 bombs or due to chronic, were very, very high,
4 where thousand -- where it's not impossible a
5 thousand rem to the lung could have occurred.
6 It's very plausible. What happens if you -- if
7 you folks all agree that it would on-- that it
8 would be fair to compensate this guy, don't --
9 what we have here is it -- is we -- we avoid so
10 much of trying to over-analyze, we -- we could
11 -- we know that there were scenarios that --
12 where the exposures for less than 250 days for
13 people who were present there could very well
14 have resulted in endangerment. Once we know
15 that, aren't -- are-- and don't we then know
16 that there are people, at least some people,
17 who should be compensated even though they were
18 there for less than 250 days? Doesn't that
19 greatly simplify what we're trying to do here?
20 Isn't that the only question we have to ask
21 ourselves: Are we being fair to the people who
22 were there for less than 250 days and have come
23 down with a specified cancer? And we have to -
24 - and -- and we have to just make that judgment
25 on a site-by-site basis whether or not we

1 believe for this site there were conditions
2 such that -- and I think that Ames clearly, in
3 my mind, falls -- that's why I keep sticking to
4 the Ames case, because Ames brings us to a
5 place where there is no argument that potential
6 existed. And once -- once you have that, that
7 the potential existed and there are very real
8 scenarios that could have occurred that we all
9 agree to, then I think that would solve the
10 Ames problem.

11 Now that sort of gives us a path -- if we
12 accept that, if you buy my premise that I just
13 said, then -- then we have a path, can we do
14 the same thing at more difficult sites where --
15 where we don't have as good information
16 regarding the magnitude of the doses. You
17 know, for example, now we're at -- then we move
18 off to Nevada and we say well, wait a minute,
19 you know, Nevada may -- the problem there is we
20 don't have scenarios where the doses were a
21 thousand rem to the lung, could have been a
22 thousand rem to the lung or the -- or to the
23 bone marrow. We have doses that are on the
24 order of tens at -- at top end. So all of a
25 sudden we're asking ourselves questions that

1 are more semi-quantitative, more qualitative,
2 on a case-by-case basis, which becomes a
3 manageable problem. I think, Jim, you would
4 like to come up with some formulation which
5 is quantitative, bright lines. I don't think
6 that's -- I don't think that's going to work.
7 I think the line of argument that -- and what
8 triggered this in my mind was when -- when Ted
9 mentioned this -- it became clear to me in the
10 case of Ames. I'm -- listen, I'm just putting
11 this on the table for consideration, but it's -
12 -- that is the -- that sheds a lot of light on
13 how do you deal with this problem, and it
14 becomes one of these common-sense arguments
15 that you deal with on a case-by-case basis when
16 you look collectively at that facility and the
17 scenarios that you believe are real and that
18 real people may have been put in that position.

19 **DR. NETON:** Well, John, I think you just
20 basically restated what our regulation says. I
21 mean that's how it sounded.

22 **DR. MAURO:** I -- I -- but I mean -- but --

23 **DR. NETON:** And I wasn't trying to force us
24 into some complicated formula. What I was
25 trying to point out was the difficulty in

1 picking -- picking these limits, these values
2 like 100 rem, 50 rem -- you know, we're
3 throwing out all kinds of values, and I said
4 you've got to be careful when you do that
5 because --

6 **DR. MAURO:** What -- what I'm saying -- don't --
7 don't -- well, maybe we don't have to pick
8 those -- a dose.

9 **DR. NETON:** No, I (unintelligible) --

10 **DR. MAURO:** All we have to do is say do we
11 think that the situation existed. Now the
12 answer is yes at Ames.

13 **DR. NETON:** But here's -- here's the scenario,
14 though. Now you've got a regulation that says
15 we have to determine that an exposure occurred
16 that was an exceptionally high exposure,
17 similar to criticality. That's the -- that's
18 the test. That's the qualitative test that we
19 have to apply here.

20 **DR. BEHLING:** You -- you only -- however,
21 that's an incomplete statement. If you look at
22 the regulation, it does say -- involves
23 exceptionally high levels of exposure, such as
24 nuclear criticality incidents or events
25 involving similarly high levels of exposure

1 resulting from the failure of radiation
2 protection controls.

3 **DR. NETON:** Right.

4 **DR. BEHLING:** That's the second half.

5 **DR. NETON:** Right.

6 **DR. BEHLING:** And at Ames, you have both of
7 them. You have the explosions, which are
8 similar to a criticality accident; and you have
9 a failure of radiological controls.

10 **DR. NETON:** I agree, so the only test to apply
11 then is are those exposures -- do those
12 exposures at Ames meet that test.

13 **DR. BEHLING:** I think they meet --

14 **DR. NETON:** That's the question.

15 **DR. BEHLING:** -- both criteria.

16 **DR. NETON:** (Unintelligible)

17 **DR. BEHLING:** (Unintelligible) the criticality
18 criteria and the failure of radiological
19 controls is clearly evident by not meeting the
20 70 MACs. You had 3,100 dpm per cubic meter and
21 those two criteria are clearly met.

22 **DR. MAURO:** And I -- and I'll go a step
23 further. Notice we didn't have to talk about
24 what's the lowest dose they got at Ames. What
25 we had to do is ask ourselves is it plausible

1 that someone could have gotten a dose that was
2 -- that reads what we believe to be an
3 endangerment. Now we're -- we're sort of lucky
4 in a way here because we didn't have to specify
5 what that threshold is. All we had to do is
6 take a look at what happened at Ames and
7 everyone says oh, my God, yes, of course that -
8 - that existed at Ames. Notice a decision
9 could be made regarding Ames without talking
10 about the threshold dose that triggered it.

11 **DR. ROESSLER:** But you're only talking about
12 one place, and aren't we here to set some sort
13 of criteria, some sort of definition of a -- an
14 incident so that when we look at everything,
15 it's done with fairness? I think that's our
16 objective.

17 **DR. MAURO:** But I -- but I think that using
18 Ames is a stepping stone now. I mean it -- I -
19 - I -- it -- if the scenario that I just
20 described, the definition of -- that I just
21 described is found to be something that -- that
22 intuitively you feel is -- is the fair way to
23 deal with Ames, then the question becomes to
24 what degree can we now use that as a stepping
25 stone in the way we think about it to apply to

1 Nevada Test Site. And -- and now of course
2 we're going to have to struggle with it and ask
3 ourselves the same questions that we asked
4 ourselves to get to where we got to on Ames
5 where we ask ourselves now okay, how do we use
6 that experience that we all -- if we do agree
7 on it, now let's try to apply it to Nevada Test
8 Site. And where is the challenge in trying to
9 do that? Can we do it? That's why I kept
10 trying to stick to Ames and maybe if we could
11 solve Ames, we -- we have a step -- a step-
12 ladder upon which to move on to solve the more
13 difficult ones.

14 **DR. ZIEMER:** John, this is Ziemer. You -- you
15 did put some parameters on there, though, maybe
16 unknowingly. But you specified although it was
17 less than 250 days, that it was a fair number
18 of days. Like it wasn't one day.

19 **DR. MAURO:** Yeah, I -- I -- I -- well --

20 **DR. ZIEMER:** But see, if we use the current
21 definition, it's got to be -- you -- you can't
22 -- you can't have it both ways. It's presence
23 -- how does it state, presence --

24 **DR. NETON:** Presence --

25 **DR. ZIEMER:** I want to use Ted Katz's --

1 **DR. MAKHIJANI:** The presence of potential
2 exposure during discrete incidents --

3 **DR. ZIEMER:** Yeah, presence during discrete
4 incidents --

5 **DR. MAKHIJANI:** -- rather than --

6 **DR. ZIEMER:** -- would allow --

7 **DR. MAKHIJANI:** -- qualified duration of a
8 potential --

9 **DR. ZIEMER:** You -- you can't really say well,
10 I've got to have worked there a certain number
11 of days, then. Right?

12 **DR. BEHLING:** But doses for discrete incidents,
13 and I think we have to separate discrete
14 incidents as in the case of Ames, with the
15 second have, the failure of (unintelligible) --

16 **DR. ZIEMER:** That's what -- that's what I was
17 getting at, is Ames simply a chronic thing with
18 high exposures where you can actually do dose
19 reconstructions or isn't it? See? It -- we
20 either know it or we don't know what those
21 levels are.

22 **DR. BEHLING:** Well, we know for one moment in
23 time when the air samples were taken -- well,
24 actually three days that the AEC conducted
25 their survey measurements, but certainly it

1 would suggest one thing: If you do do it, then
2 a person with even a week's period of -- of
3 exposure at these work stations would have
4 accrued a dose that would clearly be
5 compensable for at least three cancers that we
6 know of.

7 **DR. MAKHIJANI:** I have -- I have a question
8 about this long sentence in the rule and what
9 it means suddenly, from the way that Hans read
10 it. It says (Reading) Exceptionally high
11 exposures, comma, such as nuclear criticality
12 accidents --

13 **DR. NETON:** Incidents.

14 **DR. MAKHIJANI:** -- incidents or other events
15 involving similarly high levels of exposures
16 resulting from a failure of radiation
17 protection controls.

18 Now I have to admit, the way I read it, I read
19 those two things as separate --

20 **DR. MELIUS:** No --

21 **DR. MAKHIJANI:** -- examples.

22 **DR. MELIUS:** -- they're not. They're not.

23 **DR. MAKHIJANI:** High levels of exposure, so
24 high levels -- exceptionally high exposures
25 would apply to both of them.

1 **DR. NETON:** Yes. Yes.

2 **DR. MAKHIJANI:** Yes, but -- no, no, I agree to
3 that because that's where the comma is.

4 **DR. MELIUS:** They have to be discrete.

5 **DR. MAKHIJANI:** (Unintelligible) exposures
6 apply to both of them. But the second part I
7 read as being applicable to the kind of
8 situation described at Ames because it did--
9 high levels of exposure occurring from a
10 failure of radiological protection controls.

11 **DR. BEHLING:** I don't see why discrete is
12 (unintelligible) --

13 **DR. MAKHIJANI:** I didn't think that both things
14 were necessary to be present, but if high level
15 -- exceptional exposures for a failure of
16 radiological controls or from an incident.

17 **DR. NETON:** No, no, no, no, no, no, it's not --

18 **MR. ELLIOTT:** It's descriptive --

19 **DR. ZIEMER:** It is the incident.

20 **MR. ELLIOTT:** It's descriptive of the incident.

21 **DR. MELIUS:** It has to be a discrete incident.

22 **DR. NETON:** A discrete incident with high level
23 of exposure from loss of radiologic controls.
24 When you had a nuclear criticality incident,
25 you also had loss of radiologic controls --

1 failure of radiologic controls.

2 **DR. BEHLING:** Well, the fact that we're talking
3 about -- again here, we're talking about
4 discrete inci-- or events. We're already
5 talking about multiple things. An event is a
6 discrete issue, but events is plural. I just
7 can't understand why the issue of a discrete
8 element has to be part of the failure of
9 radiologic controls. If it's a chronic,
10 serious problem that renders a person exposed
11 to high levels -- I'm not talking about
12 minutes, seconds, like a pulse of
13 (unintelligible) neutron gamma ray exposure
14 from a nuclear criticality accident. We're
15 talking about a short period of time, but
16 certainly more than seconds, minutes, or even
17 hours and -- and I read that second sentence
18 for the -- to -- to apply to that kind of
19 condition, failure of radiological controls.

20 **DR. NETON:** But it would have to be a defined
21 incident.

22 **DR. BEHLING:** Well, if it's a chronic problem -
23 -

24 **DR. MAURO:** Let's -- let's -- let's talk about
25 that a little bit --

1 **DR. MELIUS:** No, let's not.

2 **DR. MAURO:** -- it seems very important --

3 **DR. MELIUS:** John -- John, let's not talk about
4 it. We're going to take a ten-minute break.

5 **DR. MAURO:** Okay.

6 **DR. WADE:** Can I just -- going into the break,
7 again, remember the Secretary will make
8 decisions based upon the regulation, based upon
9 the rules. The Board needs to think about that
10 as it makes its recommendations. Now the Board
11 could also say to the Secretary we think the
12 rule needs to be modified in some way. And
13 again, all those options are available to you,
14 but again, the Secretary will make his
15 decisions based upon the rule as it's written.
16 (Whereupon, a recess was taken from 10:03 a.m.
17 to 10:21 a.m.)

18 **DR. MAKHIJANI:** (Unintelligible) you on, Kathy
19 DeMers?

20 **MS. ROBERTSON-DEMERS:** Yeah, yeah, I am.

21 **DR. MAKHIJANI:** What document did you read to
22 me on the phone?

23 **MS. ROBERTSON-DEMERS:** It was the test managers
24 authority letter for Project Nougat.

25 **DR. MAKHIJANI:** Jim, could you have -- I'm not

1 sure I wrote all the words down accurately
2 'cause I had the phone (unintelligible) like
3 that. Could you have -- would it be
4 appropriate to have Kathy read that definition
5 (unintelligible) --

6 **DR. MELIUS:** Yeah, let me just get -- we need
7 to go back on the record and --

8 **DR. WADE:** Yeah, we need to go back on the rec-
9 - now again, I would ask for Board members
10 present on the call to identify themselves.

11 (No responses)

12 Mark, are you with us?

13 (No responses)

14 DR. MELIUS: He has (unintelligible).

15 DR. WADE: He will be again.

16 DR. MELIUS: Yeah.

17 **DR. WADE:** Mark, I don't know if you're muted.
18 Are there any other Board members on the line?
19 We do have Wanda Munn in the room with us. We
20 are still under quorum so we can continue our
21 business. You can stay, Wanda. We want you to
22 stay.

23 | Okay, we're back on the record then.

24 **DR. MELIUS:** And Arjun was -- Kathy, do you
25 want to read that -- identify the document and

1 read that phrase or whatever.

2 **MS. ROBERTSON-DEMERS:** Okay. Just let me kind
3 of give you some background.

4 **DR. MELIUS:** Yeah.

5 **MS. ROBERTSON-DEMERS:** When we go to each site,
6 over time they've established limits or action
7 levels where they will consider something an
8 incident, and those action levels in the early
9 days are quite large compared to what we would
10 tolerate right now, so you need to consider, at
11 each facility, what they defined as an
12 incident, because anything below that will not
13 be called an incident. However, we may call it
14 an incident in the perspective of today.
15 And what I had found was the test managers
16 authority report from Operation Nougat, and it
17 was dated 1961. And this is how Nevada defined
18 an incident.

19 (Reading) The term "incident" has been
20 understood by NTS organizations to cover those
21 situations of unexpected or accidental types of
22 overexposure and not situations where minor
23 exposures in excess of normal working levels
24 have been required to accomplish the necessary
25 required objective when considered justified by

1 the project or test manager.

2 So anything that isn't called by -- by the
3 Nevada Test Site an unexpected or accidental
4 overexposure would not meet their threshold for
5 incidents. And when we go and we examine
6 records, we normally have them pull the
7 incidents. But we have to keep that in mind
8 because there may have been incidents that were
9 not documented because they didn't reach the
10 criteria of the site at the time, which was
11 quite high. And I just wanted to bring this up
12 and have you guys keep that in the back of your
13 mind as you're having this discussion. Each
14 site tends to have different incident
15 thresholds, and I think it's important in the
16 consideration of incidents that potentially
17 were not identified. When you have a large
18 incident at a DOE site, it's typically
19 documented. However, something right below
20 that threshold will -- will not be documented.

21 **DR. MELIUS:** Okay, thank you, Kathy. I thought
22 that rather than continuing our Ames debate
23 that we try to go back and sort of think about
24 an approach -- and Arjun and I talked a little
25 bit at the break and so forth and -- that --

1 that maybe one ap-- I think the critical thing
2 is how do we sort of come up with some way of
3 categorizing or recognizing what are discrete
4 incidents that -- that sort of fits the
5 definition and the -- the regulation. And if
6 there may not be sort of a single criteria for
7 that, you know, number of rems or whatever, but
8 -- but rather than maybe a set of criteria that
9 we would want to look at in evaluating those --
10 tho-- those situations that may include a -- a
11 number of -- of different factors and, you
12 know, dose rate, the nature of the incident,
13 absence of radi-- normal radiation controls and
14 so forth and that what we could do is sort of
15 develop a series of sort of those general
16 criteria as the way of -- of evalua-- of
17 evaluating the incidents that we would
18 encounter at -- at particular sites, but it
19 would still be a judgment on that particular
20 in-- incident. And I was just wondering what
21 people's reaction was to that sort of an
22 approach, that -- that if we -- that that will
23 give us a place to start from, and then -- then
24 there would be, you know, the issue of how that
25 -- how that would qualify and then how you

1 actually implement -- implement that in terms
2 of health endangerment and so forth. Paul?

3 **DR. ZIEMER:** I like the concept of doing that,
4 and I would suggest that maybe it would be
5 useful if we got a number of ideas on the
6 table, without necessarily have to agree or
7 disagree to them right now, but get some
8 different perspectives on this and -- and
9 starting with the fact that what DOE defines as
10 an incident now is not what we're talking
11 about. DOE typically will define anything as
12 an incident where it's outside of a management
13 control.

14 **DR. MELIUS:** Yeah.

15 **DR. ZIEMER:** If you exceed a dose limit without
16 going -- I mean there are -- there are ways you
17 can exceed dose limits in DOE, and even with
18 NRC, if you do certain management things. But
19 in any event, it -- it's not the same
20 definition anyway that DOE uses so --

21 **DR. MELIUS:** Right.

22 **DR. ZIEMER:** -- again, this is more like an
23 event or something.

24 **DR. MELIUS:** Yeah, I think -- yeah, I --

25 **DR. ZIEMER:** It's a -- it's a high-dose event,

1 but I like the idea of getting the parameters
2 down and then we can look at those and see if
3 they make sense.

4 **DR. MELIUS:** This'll be sort -- how do we judge
5 if it's a discrete incident likely to have
6 involved exceptionally high exposures, and then
7 -- you know, there's some other qualifications
8 there, but I think it was -- sort of -- how the
9 working group would -- would approach this is
10 let's do what Paul suggested, you know, talk
11 about -- about different things, put some ideas
12 out there, then ask SC&A to sort of take those
13 ideas and -- and suggestions and come back to
14 us with a -- you know, a -- maybe it's a one-
15 page or -- I don't know, how long it -- it
16 wouldn't be very long, that would try to
17 capture those in some sort of coherent way that
18 would -- that could be operationalized for
19 look-- looking at -- looking at such incidents,
20 and then -- then as we progress through -- you
21 know, two ways. One, can we come to an
22 agreement on that, and then secondly, how do we
23 apply that in -- in some of the situations in
24 front of us such as, you know, Nevada Test Site
25 and so forth -- makes sense. Is that -- Jim

1 and Larry, does that make -- helpful from your
2 point of -- point of view?

3 **DR. WADE:** Administrative detail -- on the
4 phone we're getting an awful lot of background
5 noise from people clanging dishes to blowing
6 their nose, so if you could mute.

7 **MR. ELLIOTT:** Yeah, that sounds...

8 **DR. MELIUS:** Okay.

9 **MR. ELLIOTT:** (Unintelligible)

10 **DR. MELIUS:** Actually try to give someone an
11 excuse not to do the dishes, so -- okay, who
12 wants to start? Go ahead, Paul.

"HEALTH ENDANGER ASSESSMENT FOR NEVADA TEST SITE

SPECIAL EXPOSURE COHORT"

14 **DR. ZIEMER:** Let me start with one I think
15 probably won't be very controversial, but any
16 incident that results in non-stochastic or
17 deterministic effects; i.e., clear blood
18 changes or -- it's basically the standard
19 radiation high-dose effects.

20 **DR. MELIUS:** Yeah.

21 **DR. WADE:** Others?

22 **DR. MELIUS:** Arjun, you rattled off a...

23 **DR. MAKHIJANI:** Well, I was thinking of some of
24 the data from Nevada Test Site where there were
25 situations with quite high dose rates, and we

1 know that there were people in those
2 environments that -- 25 rads per hour or 50
3 rads per hour -- flying through the cloud,
4 sampling emissions -- 100 rads per hour. I'm
5 wondering -- I was wondering in that context
6 whether dose rates that were very high -- say
7 on a per hour basis that could produce
8 deterministic effects and we know there were
9 people involved, but that might be -- sometimes
10 you cannot -- sometimes you can actually
11 determine how long people were there because
12 you have -- you have documentation for what the
13 operation was planned to be, but sometimes you
14 can't -- at least sometimes you don't know how
15 long people -- sometimes you have a dose so you
16 can infer how (unintelligible).

17 **DR. ZIEMER:** High dose rate situations are
18 fairly common, both in the labs and in -- in
19 private sector where you determine in advance
20 work times which may be as -- as short as one
21 minute. I've had cases where we sent
22 electricians in to do something for one minute,
23 then the next guy comes out -- or gal -- and
24 does the next step. And you -- you -- you're -
25 - you can be talking about ten, 20 to 30 rads

1 per hour working in -- close to reactors and so
2 on, but you can -- and so you control doses by
3 -- by time. So the presence of a high dose
4 rate, per se -- now if you're talking about
5 hundreds of rads per hour, then it becomes very
6 difficult to control, so --

7 **DR. MAKHIJANI:** No, what I was thinking was --

8 **DR. ZIEMER:** -- but if -- but if you can show
9 those exist and you're not controlling entries
10 or something, then it's --

11 **DR. MELIUS:** Yeah, I don't think these are, you
12 know, separate criteria. They're going to --

13 **DR. ZIEMER:** (Unintelligible)

14 **DR. MELIUS:** Yeah, in some way, 'cause I'm not
15 sure we can come up with -- or it'd get very
16 complicated if we did.

17 **DR. MAKHIJANI:** No, I agree that -- that -- I
18 mean obviously the failure of radiological
19 controls along -- along with --

20 **DR. ZIEMER:** But we should -- we should get the
21 ideas down here --

22 **DR. MELIUS:** Yeah, yeah.

23 **DR. ZIEMER:** -- and then we can -- so it's high
24 dose rate?

25 **DR. NETON:** (Unintelligible) define high dose

1 rate as .5 to 50 rem -- rem per hour, is that
2 what you said?

3 **DR. MAKHIJANI:** Well, I was thinking if -- if
4 there's a level -- if there's a level you
5 decide is a non-stochastic or deterministic
6 effect, then perhaps it's a suggestion that
7 that dose rate per hour might be an important
8 thing to factor in as one -- one consideration.

9 **DR. NETON:** (Unintelligible) can offer up
10 what's in the regulation already, which is an
11 incident which involves the administration of
12 chelation therapy.

13 **DR. MELIUS:** Uh-huh.

14 **DR. NETON:** That would, in my mind, qualify as
15 something that at least had the potential to be
16 a very high dose.

17 **DR. ZIEMER:** Maybe we can define what high dose
18 rate is later. The point is to get the ideas
19 down.

20 **DR. NETON:** At least fall in the category of
21 "this might be a high dose rate if".

22 **DR. MELIUS:** No, I think it's -- there are
23 always going to be -- not by themselves a, you
24 know, sole criterion, but it would be in -- you
25 know, in combination with failure of radia--

1 you know.

2 **DR. ZIEMER:** I mean you can argue that a
3 medical X-ray's a high dose rate event; it is.
4 I mean you -- a tenth of a second and you get
5 some of these -- you know, in the early medical
6 ones -- a rad. Those are high dose rate
7 things.

8 **DR. NETON:** An example might fall in the line
9 of deterministic effects but I was thinking in
10 terms of some evidence of some renal toxicity
11 from uranium exposure or something on that
12 line, kidney toxicity.

13 **THE COURT REPORTER:** I'm sorry?

14 **DR. NETON:** Medical evidence of kidney
15 toxicity.

16 **THE COURT REPORTER:** Kidney.

17 **DR. NETON:** We have to be careful with that
18 'cause some-- sometimes you can have fairly low
19 doses from very soluble uranium and you have
20 kidney toxicity. Those were soluble materials
21 --

22 **DR. ZIEMER:** Well, yeah, in fact the chemical
23 toxicity is overriding for uranium in some
24 cases.

25 **DR. NETON:** Right, but I was -- what I was

1 thinking is you had fairly moderate to
2 insoluble uranium and had evidence of kidney
3 toxicity, that would clearly support -- in my
4 mind -- a very high exposure.

5 **DR. MAKHIJANI:** But now you're into the high
6 exposure realm, and then add -- that would be
7 combined with incidents in some way?

8 **DR. NETON:** Right, well, that's what I was
9 thinking. I thought we were trying to bracket
10 some high exposure criteria that would define --

12 **DR. ZIEMER:** Verify that an incident occurred.

13 **DR. MELIUS:** Discrete incident likely to
14 involve exceptionally high exposures, how do we
15 --

16 **DR. MAKHIJANI:** This is what I --

17 **DR. MELIUS:** Yeah, how do we put parameters on
18 that some way or make some evaluation.

19 **DR. ZIEMER:** Can you separate that, do you
20 think, from chronic uranium uptake?

21 **DR. NETON:** That's a good question. You really
22 can't. But a discrete incident could result in
23 this effect, as well a chronic --

24 **DR. ZIEMER:** Right.

25 **DR. NETON:** -- as well.

1 **DR. BEHLING:** As you know, in the Ames
2 situation there was evidence of renal problems.

3 **DR. NETON:** Early on, yeah.

4 **DR. BEHLING:** But of course it has to be likely
5 that it obviously was chronic exposure that led
6 to that, rather than a single discrete event.

7 **DR. NETON:** I don't think it has to be.

8 **DR. BEHLING:** You couldn't differentiate.

9 **DR. NETON:** You couldn't, but I'm just throwing
10 these out. I mean --

11 **DR. WADE:** Okay, just making a list.

12 **DR. NETON:** -- these are not --

13 **DR. ZIEMER:** Do you know what -- what the --
14 Hans, the time is for renal effects on uranium
15 vers-- I mean --

16 **DR. NETON:** I think it can be fairly quick if
17 the --

18 **DR. ZIEMER:** A week or two?

19 **DR. NETON:** Yeah, very short. We used to test
20 fairly quickly after an incident or a --
21 protein albumin urea for a gross test, but...
22 And then this would have to be bracketed in
23 terms of the types of tests. There are now
24 some real very sensitive tests that can measure
25 deterministic effects for extremely low levels

1 of exposure. I was thinking in terms of the
2 classic tests they were apt to use.

3 **MS. ROBERTSON-DEMERS:** This is Kathy DeMers.

4 **DR. MELIUS:** Yeah.

5 **MS. ROBERTSON-DEMERS:** Can I propose, in terms
6 of iodine-131, 132 and 135, that you look at
7 detriment to the thyroid in (unintelligible)?

8 **DR. MELIUS:** Uh-huh.

9 **DR. NETON:** These, so far, have been sort of
10 indirect indicators of high exposure, but would
11 -- would something such as -- you know, I'm
12 thinking of an explosion of an ion exchange
13 column like at the Hanford facility. That, to
14 me, is a...

15 **DR. MELIUS:** (Unintelligible)

16 **DR. NETON:** There's very concentrated amounts
17 of transuranic materials present in these
18 columns when they're extracting them.

19 **DR. MELIUS:** No, I'm just trying -- how do we
20 turn into a more general criteria so it's not -
21 - you know --

22 **DR. NETON:** I agree, yeah, that --

23 **MS. ROBERTSON-DEMERS:** Actually, Jim, wouldn't
24 that kind of fall under the chelation category?

25 **DR. NETON:** It would, it would. See, that's

1 what I was trying to -- I didn't know whether
2 we wanted to go with just these sort of
3 indirect medical indicators and deterministic
4 effects, or whether we wanted to really cite
5 examples of activities that could have happened
6 or, you know, scenarios that could result in a
7 high exposure. Certainly you would have a
8 chelation indication at that point, but --

9 **DR. ZIEMER:** I mean these are -- these are
10 items -- you wouldn't necessarily take any one
11 of them by itself, but it would be an
12 indicator.

13 **DR. MELIUS:** Uh-huh.

14 **DR. ZIEMER:** So what -- what would be the
15 general thing to -- an explosion, a laboratory
16 explosion?

17 **MS. ROBERTSON-DEMERS:** What about a situation
18 that resulted in significant medical treatment?
19 In that case he lived at the hospital.

20 **DR. NETON:** Yeah.

21 **MS. ROBERTSON-DEMERS:** But you might get a
22 situation where -- for example, at Rocky Flats
23 one of the individuals was involved in an
24 explosion and he actually lost part of his
25 hand, but he had a substantial potential for

1 intake through his rather substantial wound,
2 and he received extensive medical treatment
3 also.

4 **DR. MAURO:** Would you want to leave -- limit
5 that to medical intervention because of concern
6 over radiological exposures?

7 **DR. NETON:** Yeah, that would be a
8 (unintelligible) --

9 **MS. ROBERTSON-DEMERS:** Yeah.

10 **DR. NETON:** -- general category.

11 **MS. ROBERTSON-DEMERS:** Yeah.

12 **DR. MELIUS:** And then you'd have a whole subset
13 (unintelligible).

14 **DR. NETON:** That would apply to internal and
15 external.

16 **DR. MELIUS:** Yeah, right.

17 **MS. ROBERTSON-DEMERS:** What I'm trying to avoid
18 is treatment for minor wounds.

19 **DR. MELIUS:** Yeah.

20 **DR. MAKHIJANI:** What about these -- when you're
21 talking about explosions and Hans -- Hans
22 brought up the question of bombs and how do you
23 -- how do you consider the -- the -- the
24 explosions of the bomb, for uranium and thorium
25 especially, and (unintelligible) --

1 **DR. NETON:** Yeah, we -- we talked about that.
2 I mean this -- this sort of falls -- Hans and I
3 had a little sidebar conversation on this.
4 This falls into these acute incidents that
5 we've always talked about -- you know, in the
6 plant. Often normal things happen and puffs
7 occur. The question is to decide do they rise
8 to this exceptionally high level. And
9 certainly we would welcome an analysis of -- of
10 an explosion due to one of these uranium bombs
11 or the thorium bombs. But our opinion on this
12 so far has been that when those occur there's
13 usually an immediate evacuation of the area.
14 People don't hang around very long so that you
15 have a high exposure for a very brief period of
16 time that doesn't end up, like at the end of
17 the day, resulting in a -- in a dose that is
18 that high -- exceptionally high, at least. And
19 in fact, most of these are covered by what we
20 believe to be the routine bioassay program
21 where we can demonstrate that even -- even
22 given those, the bioassay results don't
23 indicate these high levels of exposures. But I
24 think in general some -- some analysis of -- I
25 think any time there was a potential for an

1 explosion involving large quantities of
2 radioactive materials, it certainly would at
3 least raise the flag in my mind that there was
4 a potential for a...

5 **MS. ROBERTSON-DEMERS:** This is Kathy DeMers
6 again. With respect to explosions, could we
7 add substantial fires to that, on the order of
8 the 1969 fire at Rocky Flats?

9 **DR. MAKHIJANI:** When we're talking about fires,
10 they had some pretty intense fires in the
11 thorium drums at Fernald. They had all kinds
12 of fires. You know, you have small chip fires
13 and you have large -- you have fires across the
14 scale of -- and some fires are -- what could
15 really be considered as routine, where they're
16 small and you treat them as routine exposures
17 in your (unintelligible), but there are some
18 that are clearly exceptional.

19 **DR. NETON:** Right, I would agree, there are
20 fires that would occur that were large, for
21 example, that we were not able to reconstruct
22 using bioassay or -- there's a lot of these
23 that we've been talking about. I think there
24 are tools that we have available to bound
25 exposures. You know, you have to have this as

1 a precondition, plus an inability to
2 (unintelligible) air samples or bioassay or any
3 of those other (unintelligible).

4 **DR. MELIUS:** Yeah. No, I think it's assumed
5 that -- this is not assuming that you can't
6 reconstruct --

7 **DR. NETON:** This is to get you in the analysis.

8 **DR. MELIUS:** Yeah, how do we -- how do we --
9 for an actual individual to get in here, they
10 would -- for these purposes, they -- not be
11 able to reconstruct their dose, also. And I
12 also think this is sort of the failure of
13 radiological controls or routi-- you know, is
14 sort of going to be fundamental to a lot of
15 these kinds of incidents 'cause, you know, if
16 everybody's sort of evacuated immediately, it's
17 not...

18 **DR. MAKHIJANI:** (Unintelligible) let me bring
19 up my favorite example from Fernald, the one
20 that shocked me the first time I saw it and
21 still shocks me when I think about it, is that
22 famous 97,000 times MAC cleanout where it was
23 averaged over some time -- you know, I mean
24 trying to fix it -- and the second year it was
25 18,000 times MAC. But -- and that kind of

1 cleanout happened only once in a long while, so
2 it wasn't part of a routine job, so it's not
3 like the Ames situation we're talking about but
4 -- where they went in and shut down the
5 equipment and cleaned out this place, and it
6 was a very intense, few-hour operation. It was
7 clearly --

8 **DR. NETON:** But it was a planned event.

9 **DR. MAKHIJANI:** It was a pla-- it was planned,
10 but the -- there were -- there was clearly
11 failures of radiological controls and very
12 extreme exposures. I don't know
13 (unintelligible) --

14 **DR. ZIEMER:** Now are those cases where the
15 exposures were or were not monitored?

16 **DR. MAKHIJANI:** Well, I don't know. I mean --

17 **DR. ZIEMER:** I mean you had similar situation
18 with the SL-1 recovery.

19 **DR. MAKHIJANI:** Right.

20 **DR. ZIEMER:** Pretty high exposures, some of
21 those folks who went in to rescue and so on,
22 but they were also monitored pretty closely, so
23 I mean in general one would have been able to
24 reconstruct those doses. On -- on the case
25 you're talking about, were those -- do we have

1 -- would there have been data? It sounds like
2 you --

3 **DR. MAKHIJANI:** Yeah.

4 **DR. ZIEMER:** -- they knew pretty well what they
5 --

6 **DR. MAKHIJANI:** Yeah, they may have monitored
7 them. I'm not -- I'm not using this as an
8 example of -- I'm just saying if -- if that
9 kind of situation occurred in the context of an
10 SEC, I wasn't saying of, I know of an
11 (unintelligible) --

12 **DR. ZIEMER:** As an indicator of an incident.

13 **DR. NETON:** I would agree if you had 18,000 MAC
14 air unmonitored, that would qualify as a
15 discrete incident. That's up there so high
16 that I can't imagine you can generate air that
17 high, but --

18 **DR. MAKHIJANI:** Well --

19 **DR. NETON:** -- apparently they did.

20 **DR. MAKHIJANI:** -- it's -- it's what the
21 document said.

22 **MS. ROBERTSON-DEMERS:** This is Kathy. I think
23 when you're talking about failure of
24 radiological controls, you need to define what
25 type of failure you have in mind, because in

1 today's world if you spread contamination
2 outside a contamination area, that's failure of
3 radiological control. And I don't think you
4 mean at that level.

5 **DR. ZIEMER:** No.

6 **DR. MELIUS:** I think it -- that's always going
7 to be a subsidiary or a secondary way -- it's a
8 way of evaluating the initial incident, and it
9 would be a failure of the controls that should
10 have been in place relevant to that particular
11 type of exposure and incident. You know, not
12 simply, you know, any failure of radia-- you
13 know, radiological controls. I mean I can't
14 think of an example where you just base it on
15 that alone, you -- it would be failure to
16 evacuate people in some of the incidents Paul
17 was talking about, failure to monitor --

18 **MR. ELLIOTT:** Failure to adequately monitor --

19 **DR. MELIUS:** Yeah, right.

20 **MR. ELLIOTT:** -- knowing full well that --

21 **DR. MELIUS:** Yeah.

22 **MR. ELLIOTT:** -- it's gone beyond the radiation
23 control area.

24 **DR. MELIUS:** Yeah, exactly.

25 **MR. ELLIOTT:** Failure to clean up, and still

1 send people in to work there.

2 **DR. MELIUS:** Yeah, yeah. And like what Paul
3 said, you know, the -- you used a -- was it a
4 Fernald incident or what that -- where people
5 away from the -- I mean other people were
6 exposed, but they were monitored and so forth
7 so they wouldn't be part of the --

8 **DR. MAKHIJANI:** No, no, I just used that number
9 --

10 **DR. MELIUS:** No, no, I'm just trying to --

11 **DR. MAKHIJANI:** -- (unintelligible) my mind.

12 **DR. MELIUS:** -- make sure we have an
13 understanding of how we're applying this -- or
14 would apply this.

15 **DR. NETON:** The external area -- the failure of
16 any interlock systems that were in place for
17 protection of high dose rate exposures, there's
18 a number of those that have occurred. We've
19 actually dealt with a few of them. The famous
20 one down at Oak Ridge I remember, wasn't it the
21 agricultural facility?

22 **DR. ZIEMER:** The U of Tennessee Ag and -- where
23 the guys bypassed the interlocks and went in,
24 yeah, that -- that's a good example. And of
25 course they had separate monitoring. They

1 pretty well --

2 **DR. NETON:** Yeah, if they're unmonitored --

3 **DR. ZIEMER:** And those are easy to reconstruct
4 anyway 'cause you have discrete sources, but --

5 **DR. NETON:** Yeah.

6 **DR. ZIEMER:** -- but what would be the indicator
7 there, the first...

8 **MS. ROBERTSON-DEMERS:** Along that line --

9 **DR. ZIEMER:** And those are -- yeah, those are
10 oft--

11 **DR. NETON:** Well, we'll reconstruct it later --
12 after the fact.

13 **MR. ELLIOTT:** Along the line of we'll
14 reconstruct it, we're having workshops across
15 the street for DOL's FAB and claims examiners,
16 and today their resource center folks. One of
17 the questions that came out of that is why
18 isn't the SL-1 incident already established as
19 a class, and -- and to this discussion,
20 certainly the cleanup activities that occurred
21 after SL-1, to go in and retrieve the bodies
22 and, you know, do all that cleanup, while it
23 was well monitored, it was certainly a huge
24 exposure that those individuals got, you know.
25 So if there was a failure somewhere there --

1 you know, I don't know if that goes to the
2 incident that we're trying to define, but it
3 certainly is an exposure incident. It's --
4 it's a unique set of circumstances that people
5 encountered. And if we couldn't -- you know,
6 if there was some component there that was
7 missing in the monitoring and we couldn't
8 reconstruct dose, how would we define, you
9 know, the time period around that.

10 **DR. ZIEMER:** Well, in that one, you know very
11 specifically what dates it occurred on, who the
12 people are --

13 **MR. ELLIOTT:** But under our current rule --

14 **DR. ZIEMER:** Yeah.

15 **MR. ELLIOTT:** Yeah, on that one you clearly
16 know when -- when the ex-- the doses started
17 going down on the people that they were
18 monitoring after the cleanup and we can define
19 the time limits, but it wouldn't meet our, you
20 know, current definitions, you know --

21 **DR. MELIUS:** Why not?

22 **MR. ELLIOTT:** 'Cause it wasn't 250 days. It
23 was only like --

24 **DR. MELIUS:** Yeah, but --

25 **MR. ELLIOTT:** -- I believe 60-some --

1 **DR. MELIUS:** No, I thought you were talking
2 about it would be a discrete incident --

3 **UNIDENTIFIED:** High dose.

4 **DR. MELIUS:** -- yeah, high dose.

5 **MR. ELLIOTT:** High dose.

6 **DR. MELIUS:** Yeah -- okay. I thought you then
7 (unintelligible) --

8 **DR. MAKHIJANI:** Let me understand. Would
9 cleanup for 60 days be a discrete incident?

10 **MR. ELLIOTT:** I'm just saying it could be
11 considered that. I mean it could -- as you're
12 talking about these things, I --

13 **DR. MELIUS:** Yeah.

14 **MR. ELLIOTT:** -- it occurs to me that, you know
15 --

16 **DR. ZIEMER:** Well, the -- but to me, the
17 cleanup -- see, those -- those folks were
18 working under -- at least under dose limits.
19 For -- for rescuing they're allowed much higher
20 values, although they didn't really have to
21 rescue, the people were already dead from the
22 explosion, but they had to remove the bodies.
23 I think Ed Valleria* was the guy who got the
24 high dose and --

25 **DR. NETON:** I do agree that a discrete incident

1 could be a 60-day event.

2 **DR. MELIUS:** Yeah.

3 **DR. NETON:** I don't think there was any
4 intention to -- to limit a discrete incident
5 to, you know, a day, an hour, a second.

6 **DR. MELIUS:** Yeah.

7 **DR. NETON:** But it would have to be -- it'd be
8 hard to envision those scenarios like -- like
9 Dr. Ziemer's pointing out, and the cleanup
10 really was a planned event. Now they were
11 monitored. Maybe there was some failure of
12 radiologic controls there, but --

13 **DR. WADE:** But at this point you're trying to
14 look at --

15 **DR. NETON:** Yeah, we're not trying to --

16 **DR. MELIUS:** Yeah, yeah, yeah, yeah --

17 **MR. ELLIOTT:** Such an event might have been in
18 the time period where they didn't have the
19 monitoring practices (unintelligible) certain
20 constituent of the exposure.

21 **DR. WADE:** Yeah, it should be on the list.

22 **MR. ELLIOTT:** I guess that's my only point
23 there that I'm trying to make.

24 **MS. ROBERTSON-DEMERS:** What about if we looked
25 at people who were allowed to exceed the dose

1 limit in an emergency situation? In other
2 words, they were authorized to receive the
3 emergency dose --

4 **DR. NETON:** Authorized and monitored or
5 unmonitored, I guess?

6 **MS. ROBERTSON-DEMERS:** Well, I would hope that
7 --

8 **DR. NETON:** Monitored?

9 **MS. ROBERTSON-DEMERS:** -- monitored, if they
10 had to request that type of dose. However,
11 there may be some situations in which they
12 weren't.

13 **DR. NETON:** Well, I think -- yeah, I think that
14 would qualify if there was a life-saving --
15 some guys just ran into an area without any
16 dosimetry and pulled a guy out and -- sure.

17 **DR. MELIUS:** I mean some of the incidents that
18 are described here --

19 **DR. MAKHIJANI:** I think there's one -- there's
20 a couple of incidents --

21 **DR. MELIUS:** There's a couple of them, too,
22 where that appears to have happened, at least
23 from the work description.

24 **DR. MAKHIJANI:** At Nevada I think there were
25 two.

1 **DR. NETON:** Okay, I'm kind of running out of --

2 **DR. ZIEMER:** If we're looking at indicators
3 that something has occurred, one of the
4 indicators often is the contamination shows up
5 at some other location, either a home or
6 something like that, and you can use that as an
7 indicator that there's been some kind of loss
8 of control. I don't know if that's a practical
9 thing 'cause you don't end up getting that
10 information necessarily, though.

11 **DR. NETON:** Sometimes we do. There's an
12 interesting one where -- followed a guy out to
13 his baseball game and -- I won't give the
14 details, but he had contamination -- various
15 parts of his body. And I don't know about that
16 one. I don't know if that gets to the level of
17 significant -- you know, I guess
18 (unintelligible) --

19 **DR. ZIEMER:** No, as an indicator that something
20 has occurred. Only as an indicator, as a
21 potential indicator that there's been loss of
22 control. I'm trying to think of specific
23 cases.

24 **MS. ROBERTSON-DEMERS:** That's a hard one to
25 deal with 'cause routine --

1 **DR. ZIEMER:** Yeah, in terms of the information
2 that we're able to get ahold of, it is.

3 **MS. ROBERTSON-DEMERS:** With the -- the early
4 contamination control measures, especially for
5 uranium, they may have been taking it home on a
6 daily basis --

7 **DR. ZIEMER:** Right.

8 **MS. ROBERTSON-DEMERS:** -- and nobody would have
9 thought to monitor them, or monitor their home.

10 **DR. ZIEMER:** Right.

11 **MS. ROBERTSON-DEMERS:** And they didn't have
12 personnel contamination monitors to indicate
13 that they had that contamination on them.

14 **DR. ZIEMER:** Good point.

15 **MS. ROBERTSON-DEMERS:** This would especially be
16 relevant to AWEs.

17 **DR. ZIEMER:** Uh-huh.

18 **DR. MELIUS:** Do you think from this list you --

19 **DR. MAKHIJANI:** Yeah, I think --

20 **DR. MELIUS:** Yeah.

21 **DR. MAKHIJANI:** I've made notes and I'll --
22 I'll work with Ray maybe to get a piece -- this
23 piece of the transcript roughly early. But I
24 had a question. When you compile some --
25 compile this list of criteria --

1 **DR. MELIUS:** Yeah.

2 **DR. MAKHIJANI:** -- and then you try to apply it
3 to the less-than-250-day, who do you apply it
4 to? Do you apply it to everybody who worked
5 that -- who's qualified as an employee by DOL
6 and worked there, so then presence -- once you
7 go through these criteria, then presence is
8 enough during those 250 days or employment at
9 another time or how do you translate these?

10 **DR. ZIEMER:** Currently.

11 **DR. NETON:** I -- I think you need -- you need
12 to define the class based on that. If you
13 define the incident well enough, you'll define
14 the class. It will be those workers involved
15 in this incident in this particular building at
16 this -- I mean ideally it would be that.

17 **DR. MAKHIJANI:** Yes.

18 **DR. NETON:** Now whether in reality one can tie
19 people -- bodies to those -- that incident is
20 another story, but you at least have to start
21 there. Say here's what I'm talking about, this
22 -- this bomb incident occurred on December
23 15th, whatever. And -- and then you narrow the
24 class to as small as it needs to be without
25 engaging, you know, the entire plant population

4 DR. WADE: It will be different for different
5 discrete incidents. Once you define them, then
6 how you go about defining the class will depend
7 upon that definition.

8 **DR. MAKHIJANI:** But this is a different
9 question than the one we started the meeting
10 with, as I see it. Maybe I'm mistaken, because
11 where we started the meeting with, there was
12 this SEC that's been granted -- say at Nevada
13 Test Site or Ames or someplace --

14 DR. MELIUS: Uh-huh.

15 DR. MAKHIJANI: -- where there was a group of
16 employees that was defined in a certain way who
17 worked with uranium or thorium or were employed
18 at Ames or people who -- there during
19 atmospheric testing. And then everybody who
20 was there at least 250 days is in. And I
21 thought the question on the table when we
22 started the meeting was: In that group of
23 people, what about everybody with less than 250
24 days?

25 And now I guess what we're saying is we're not

1 going to consider that whole group as one group
2 but we're going to split it apart. Is that
3 what we're saying?

4 **DR. MELIUS:** We could. I think we're just --

5 **MR. ELLIOTT:** If you applied -- if you applied
6 this against our rule right now, and we said
7 that there was a unique incident here that
8 contributed to high dose but it wasn't really
9 truly presence, and whatever the -- let's
10 forget the day issue --

11 **DR. MELIUS:** Uh-huh.

12 **MR. ELLIOTT:** -- then -- and we know that --
13 what that incident was and -- and where it was,
14 at least, then the class definition would be
15 bounded by that.

16 **DR. MELIUS:** Uh-huh. Yeah.

17 **MR. ELLIOTT:** And so that's a lot narrower
18 definition of a class than what you have before
19 you now with -- with saying all who worked at
20 Nevada Test Site under those years.

21 **DR. NETON:** It doesn't mean that you couldn't
22 end up at the same place, but I think you've
23 got to start with a narrow definition of -- of
24 a discrete incident, investigate it and -- and
25 work around. Now if you can't bracket the --

1 any smaller than the entire site and it looks
2 like anyone on the site could have been
3 involved in this incident, then that's a
4 different story.

5 **DR. BEHLING:** Let me give you an example of
6 that because Ames -- there were fires and
7 because of the --

8 **DR. NETON:** Yeah.

9 **DR. BEHLING:** -- issues involving national
10 security, firefighters -- as you see in the
11 cartoon -- were told you can't come in here, we
12 fight our own fires. So now it's employees
13 fighting a fire and, as we just mentioned here,
14 fire may be one of those discrete events that
15 may lead to high exposure. But we don't have a
16 clue who the people were who may have fought
17 those fires within the ranks of employees. So
18 do we then identify the entire cohort as less-
19 than-250 eligible? We don't know who the
20 firefighters were who were (unintelligible) --

21 **DR. NETON:** Well, that -- that's where we need
22 to work, you know, with the Department of Labor
23 in defining the class so you know it can be
24 administered.

25 **DR. WADE:** But one thing at a time. I mean --

1 **DR. MELIUS:** Yeah, but I think the difference
2 that -- back to what Larry was saying, in the
3 250-day situation we -- we (unintelligible) the
4 relationship to exposure or some threat of
5 exposure. Here we're defining a -- a different
6 class or a new class in relationship to
7 incidents. And so I think we are sort of going
8 through -- we know what it -- now we have to
9 develop some inci-- you know, definition of
10 what an incident is, and then -- then I think
11 we have to go through the steps, well, can you
12 reconstruct people and exposure
13 (unintelligible) so you can't, then -- then
14 it's defining it -- we define the class in
15 relationship to that incident.

16 **DR. MAKHIJANI:** So we are defin-- we are, in --
17 in a way, embarked on defining a different
18 class --

19 **DR. MELIUS:** Right, right.

20 **DR. MAKHIJANI:** -- because of the way the
21 regulation is written.

22 **DR. MELIUS:** Right.

23 **DR. NETON:** I think so.

24 **DR. MELIUS:** Yeah.

25 **DR. WADE:** And the step there would be to

1 define did an incident take place at the Nevada
2 Test Site; here are the beginning
3 characteristics to look for to see if an
4 incident took place. If one did, then you need
5 to go into more detail as to how you would
6 define the class associated with it.

7 **MR. ELLIOTT:** Say the petition comes to us and
8 the starting point was there was an incident
9 and there's no data about that incident.

10 **DR. MELIUS:** Uh-huh.

11 **MR. ELLIOTT:** Then that sets up boundaries of
12 the proposed class itself, and then we have to
13 use the two-pronged test. Can we reconstruct
14 dose based upon what's presented to us as an
15 incident we can't reconstruct.

16 **DR. MELIUS:** Yeah.

17 **DR. NETON:** A good example of this is I went
18 through and looked at the Nevada Test Site, the
19 61 who don't currently qualify, and if we were
20 to say, based on the criticality as it
21 happened, the individual tests that would
22 qualify -- many of these people were not there
23 on the days the criticality occurred, and so --

24 **DR. MELIUS:** Yeah.

25 **DR. NETON:** -- you need to look at that and

1 define that class.

2 **DR. MELIUS:** Oh, no, no -- yeah, sort of how do
3 you operationalize that, the group, and it may
4 -- may end up not being very many people or
5 whatever, we don't know, you know -- you know --
6 do that.

7 What I would propose for sort of next steps is
8 -- 'cause I think we do have to figure out how
9 we've been defining these classes so we -- do
10 that -- is -- is to write up these criteria and
11 then let's take and -- take Nevada Test Site
12 and apply it, see if we can, you know, define
13 classes there.

14 **DR. WADE:** Define discrete incidents --

15 **DR. MELIUS:** Identify the incidents and then
16 define class and --

17 **DR. WADE:** Very logical approach.

18 **DR. MELIUS:** Yeah.

19 **DR. ZIEMER:** And one kind of additional
20 question. It just occurred to me that in many
21 incidences, and I think about the Y-12, the
22 reason we -- we know that that incident
23 occurred, the first indication is an alarm.
24 Now do -- are -- is there any indication that
25 on these sites like Nevada Test Site that

1 alarms were bypassed or not working, or
2 something like that, in terms of things that --
3 or were unexpected outside the -- the testing
4 of the devices themselves? I mean obviously
5 the testing of the device is a criticality, but
6 that's -- that's what you're doing.

7 **DR. MELIUS:** Uh-huh.

8 **DR. ZIEMER:** But were there inadvertent -- or
9 are there claims that there were unexpected
10 criticalities in the handling of the materials?

11 **DR. NETON:** I think there were.

12 **DR. ZIEMER:** And did they have criticality
13 monitors that would -- would be --

14 **DR. NETON:** (Unintelligible) would know better
15 than (unintelligible) --

16 **DR. MAKHIJANI:** In at least one case --

17 **DR. ZIEMER:** I mean if you have an area monitor
18 of any sort, air sample or whatever, it'll get
19 set off by a criticality if it's in the work
20 area.

21 **DR. MAKHIJANI:** I think in at least one of
22 these safety tests they did have a criticality
23 and that was one of the incidents I was
24 referring to. We're pretty sure we -- I didn't
25 say inadvertent in the paper because I didn't

1 have a reference, but I think -- I'm pretty
2 sure that it was inadvertent, but not 100
3 percent.

4 **DR. NETON:** But -- are you wanting to get into
5 specifics?

6 **DR. MAKHIJANI:** Sure.

7 **DR. NETON:** The Nevada Test Site -- I think by
8 and large anyone who was positioned near these
9 planned events or even the inadvertent events
10 were monitored for external exposure. I'm
11 pretty sure we've got pretty good data on
12 those. But then the question for Nevada comes
13 as was there an incident with -- involving
14 internal exposure that -- exceptionally high
15 and we have to apply it to the appropriate
16 metric.

17 **DR. MAKHIJANI:** Now I think that Nevada --

18 **DR. MELIUS:** The -- does the internal exposure
19 have to be exceptionally high?

20 **DR. NETON:** That's what the definition is in
21 the regulation.

22 **DR. MELIUS:** But -- no, but is it -- now it's
23 back to that issue of parsing out between
24 external and --

25 **DR. NETON:** Oh, no, I think the intent was that

1 it would have to be -- qualify as a discrete
2 incident. For less than 250 days it had to be
3 exceptionally high exposure.

4 **DR. MELIUS:** Yeah.

5 **DR. NETON:** It was only on failure of
6 radiologic control that whole thing goes
7 together. It has to be an exceptionally high
8 exposure --

9 **DR. MELIUS:** I -- I agree to that, but I'm
10 saying does it have to be an exceptionally high
11 -- that's that whole issue you and I talked
12 about earlier, does it -- did it have to be an
13 exceptionally high internal exposure.

14 **DR. NETON:** Yeah.

15 **MR. ELLIOTT:** It wasn't speci-- the language
16 doesn't specify internal versus external.

17 **DR. MELIUS:** Exactly, that's my point.

18 **MR. ELLIOTT:** It just specifies exceptionally
19 high.

20 **DR. MELIUS:** The point -- I mean this whole
21 point -- you can -- if you can -- you know, you
22 have somebody and you can -- 49.99 percent
23 probability of causation. You have this little
24 --

25 **DR. NETON:** Oh, I see what you're -- you can

1 get to 45 percent based on your external dose
2 and then --

3 **DR. MELIUS:** But you can't -- you know.

4 **DR. MAKHIJANI:** Need to think about that.

5 **DR. MELIUS:** Yeah, yeah, I (unintelligible) --

6 **MR. ELLIOTT:** (Unintelligible) partial dose
7 reconstruction.

8 **DR. NETON:** I won't comment at this point.

9 **DR. MELIUS:** Yeah, no, it --

10 **MR. ELLIOTT:** Partial dose reconstructions for
11 those who do not have a presumptive cancer.

12 **DR. MELIUS:** Yeah, no, it's -- it's a --

13 **MR. ELLIOTT:** It is a problem.

14 **DR. MELIUS:** And it may be if -- define what
15 you can and cannot do very precisely --

16 **MR. ELLIOTT:** (Unintelligible)

17 **DR. MELIUS:** -- much more precisely than we've
18 done -- done -- done --

19 **MR. ELLIOTT:** We have to, because it leaves the
20 individual claimant with no remedy on what we
21 can't reconstruct.

22 **DR. MELIUS:** Exactly.

23 **MR. ELLIOTT:** And we just hate that.

24 **DR. MELIUS:** Yeah, yeah.

25 **DR. MAKHIJANI:** Okay, so within -- within this

1 universe of incidents, just thinking about how
2 one would write up Nevada, which is looking --
3 the criteria look fairly straightforward, but
4 writing up Nevada looks quite complex because
5 now if you're into defining what all incidents
6 there were, then you really have to get into
7 being fairly exhaustive because you're --
8 you're telling people -- and then you also
9 raise the question of was entry into ground
10 zero shortly after a test an incident? I guess
11 if it was planned, you would say it was not an
12 incident, or people were monitored --

13 **DR. NETON:** (Unintelligible) monitored or --

14 **DR. MAKHIJANI:** -- if they were not monitored -
15 - they weren't monitored for internal.

16 **DR. NETON:** Yeah, we've got some pretty good
17 radiation safety reports for almost all of
18 those (unintelligible) --

19 **DR. MAKHIJANI:** Right.

20 **DR. NETON:** -- sure you've looked at that and -
21 -

22 **DR. MAKHIJANI:** Right.

23 **DR. NETON:** -- they're very detailed, 200, 300
24 pages long reports, I -- very planned. Now
25 whether something happened outside of that plan

1 that was an incident that -- I think Dr. Ziemer
2 was alluding to was were there things that went
3 awry that --

4 **DR. MAKHIJANI:** No, no, what I'm asking is --
5 as you said, during those planned activities,
6 there were external exposures that were
7 monitored. But there were also internal
8 exposures, on the basis of which you granted an
9 SEC. These were short -- so I don't know --
10 are those -- are we talking about those as
11 incidents for the test sites, because the test
12 sites are pretty unique in that -- in that
13 respect.

14 **MR. ELLIOTT:** Talking about what as incidents?
15 I just don't know what --

16 **DR. MAKHIJANI:** Internal exposures --

17 **MR. ELLIOTT:** Oh.

18 **DR. MAKHIJANI:** -- that were not -- because
19 early on we said, you know, if there were
20 18,000 MAC that was monitored, then
21 (unintelligible) planned activity, then it's
22 not an incident. But if were not monitored,
23 then it becomes an incident. So I think that
24 monitoring -- I -- I just want to be clear
25 whether monitoring is a factor in how an

1 incident is defined. That's where we kind of
2 wound up in that example.

3 **DR. NETON:** I think an incident is an incident.
4 If you have monitoring, you can do something
5 about it, so...

6 **DR. MELIUS:** I don't think it would necessarily
7 affect -- it could, but it wouldn't necessarily
8 -- just because there was monitoring, would
9 indicate that it's not an incident; it's still
10 an incident.

11 **DR. NETON:** Right.

12 **DR. MELIUS:** I think there's sort of this
13 intersection between sort of how we look at an
14 incident and radiation -- failure of radiologic
15 controls and being able to reconstruct dose.
16 And -- and I don't know how to proceed on this
17 'cause, given the complexities of the site, is
18 -- do we just take a few incidents and then try
19 to figure out how that -- how to apply it in
20 those incidents, sort of make this operational
21 in, you know, three or four incidents? I don't
22 know what the number is, but...

23 **DR. ROESSLER:** You know, beyond that -- I think
24 beyond this particular site, my question would
25 be on any site where does the list of potential

1 incidents come from? I mean there will be a
2 list which are going to be evaluated and who or
3 how is that list generated?

4 **DR. MELIUS:** Well --

5 **MS. ROBERTSON-DEMERS:** That's where the
6 incident criteria comes into play.

7 **DR. NETON:** Well, the petitioner certainly has
8 the -- in the beginning the petitioner can cite
9 all the incidents they would like
10 (unintelligible) --

11 **DR. ROESSLER:** But there may be potential --

12 **DR. NETON:** -- you know, we need to run them to
13 ground, but we also take, you know, proactive
14 approach and start to try to find evidence of
15 any other incident (unintelligible) --

16 **DR. ZIEMER:** Is one of the characteristics of
17 an incident the fact that it is not planned? I
18 mean as an a priori part of the definition.

19 **DR. NETON:** I think we need to be careful
20 there, though, because in my mind --

21 **DR. ZIEMER:** Well, I'm trying to distinguish
22 between the planned events of testing weapons,
23 for example, and even -- I guess you could even
24 argue about planned releases such as those --
25 planned releases from Hanford, but what -- in

1 terms of when we say "incident" is -- are we
2 inherently talking about something that occurs
3 that's outside the planned parameter of the
4 planned test or whatever it is?

5 **DR. NETON:** I don't think necessarily, because
6 --

7 **DR. WADE:** But possibly.

8 **DR. NETON:** But in this case, Nevada Test Site
9 -- I mean they planned to explode the weapon.

10 **DR. ZIEMER:** Right. No, I --

11 **DR. NETON:** But the incident we're looking at
12 in this case, in my mind, is the internal
13 exposures that were unmonitored. Right? I
14 mean it was an unmonitored event that was
15 planned, they just didn't have the -- I don't
16 want to say foresight, but (unintelligible) --

17 **UNIDENTIFIED:** (Unintelligible)

18 **DR. NETON:** -- internal exposures were
19 (unintelligible) --

20 **DR. ZIEMER:** They didn't know (unintelligible).

21 **DR. NETON:** -- so in that mind it kind of rises
22 to the incident level because it was unforeseen
23 exposure pathway that --

24 **DR. ZIEMER:** Uh-huh.

25 **DR. NETON:** -- wasn't documented or something.

1 **DR. WADE:** But as I understand the task -- I
2 mean what you're trying to do is to determine
3 if there are discrete incidents that will
4 likely have exceptionally high exposures and on
5 and on, so what the working group has said are
6 -- these are things you might find present at
7 those situations. They're not meant to be all-
8 inclusive or all-exclusive. So now with this
9 list, then what has to happen with this list is
10 that someone -- SC&A, the working group, NIOSH,
11 a combination -- needs to go through the
12 evidence and see what they can pull out that
13 sort of meets some of these criteria. Then you
14 get this -- this list of things and then you
15 need to start to do the hard analysis as to
16 whether any of these rise to the bar that --
17 that will be defined.

18 Now who does it, as Gen asks, right now the
19 working group chair is asking SC&A to begin the
20 process. That's quite reasonable, but -- but
21 not easy, because how -- how many data streams,
22 how deep you mine the data to develop this
23 candidate list is really an art at this point.
24 And you know -- but you have to start it to see
25 what happens.

1 **DR. MELIUS:** And what I would propose is that
2 we take some sampling and bring it back for --
3 to the workgroup for discussion, and that way -
4 - then maybe we come up with another set of
5 criteria how we would apply -- you know, figure
6 out what the incidents are and whether they --
7 incidents are important to us evalua-- relative
8 to the SEC, 'cause there may be a whole host of
9 incidents. I mean that's sort of what you were
10 getting at, Paul, that -- that may be, you
11 know, not SEC level 'cause we'll be able to
12 reconstruct dose or whatever and -- 'cause --
13 'cause of monitoring, so -- and that's also
14 what I think you're getting at, so I -- I don't
15 think we define an incident by whether it's
16 monitored or not, but I think in terms of its
17 relevance to a Special Exposure Cohort it is
18 going to -- the fact of whether the explosions
19 were monitored so -- or not, it's going to be -
20 - will be important criteria.

21 **DR. NETON:** I guess doing -- doing this
22 analysis -- in doing this analysis, I think it
23 would be good if the emphasis was placed on, if
24 possible, looking at the civilian population
25 exposures. I know that's not always going to

1 be easy, but rather than -- you know, the bulk
2 of the data are going to be military --
3 military personnel, but -- they are commingled,
4 but they are identified in these exposure
5 reports separately, to a large degree. So --
6 so that we -- we can compare apples and apples.

7 **DR. MELIUS:** Uh-huh.

8 **DR. NETON:** It'd be good to look at that.

9 **DR. MELIUS:** So -- so if the step was a sample
10 of the incidents of, you know -- potential
11 incidents at Nevada Test Site and then focus of
12 not only on, you know, will they meet criteria
13 and so forth, but then focus on trying to just
14 pinpoint some that are relevant where there was
15 a significant number of civilians there --

16 **DR. NETON:** Right.

17 **DR. MELIUS:** -- so we start to figure out how
18 this is operationalized 'cause we -- I think we
19 know that there's a problem with internal
20 exposures.

21 **DR. NETON:** Some of the NTS specific case files
22 have some interesting descriptions in them.

23 **DR. MELIUS:** Okay.

24 **DR. NETON:** Some of the so-called old-timers
25 have written down some notes and pages on this.

1 They might be able to provide good access to...

2 **DR. MAKHIJANI:** And these are -- these are
3 where?

4 **DR. NETON:** These would be in the case files.

5 I know that's a sensitive issue these days, but
6 I think we can work through that.

7 **DR. WADE:** And I think SC&A has access to the
8 case files.

9 **DR. MAKHIJANI:** I -- I believe -- I haven't
10 tried it, but I got that e-mail yesterday.

11 **DR. NETON:** But I would -- I would -- if you're
12 going to, there's the 61 that have less than
13 250 days exposure that -- I've gone through a
14 number of them and I've found some interesting
15 anecdotal information from the CATI interviews
16 or supplemental information. I mean some
17 people took the time to write a two, three-page
18 summary of -- of their activities, to the
19 extent of, you know, after an operation they
20 were -- there was a bulldozer running back and
21 forth to bury the material -- that sort of
22 thing, so I think there's some useful
23 information in there that could
24 (unintelligible) --

25 **DR. MELIUS:** And could we then use that as a

1 partial basis for choosing the -- you know,
2 list the sample of incidents or whatever we're
3 going to call that that we're going to be
4 looking at. I just want everyone to understand
5 that up front so that we're not --

6 **DR. NETON:** Yeah, I think that'll -- I don't
7 know -- I'm not saying that they're -- all have
8 (unintelligible) --

9 **DR. MELIUS:** Yeah -- no, no.

10 **DR. NETON:** -- but I've run across at least two
11 or three in my casual --

12 **DR. MELIUS:** Uh-huh.

13 **DR. NETON:** -- trying to put together --
14 prepare for this meeting that there are some
15 writeups in there that might be useful --
16 civilian experience.

17 **DR. MAKHIJANI:** Jim and maybe one or two other
18 people at NIOSH might have this list, so if you
19 could --

20 **DR. NETON:** I could (unintelligible).

21 **DR. MAKHIJANI:** -- make the list and provide it
22 to us and save duplication work 'cause --

23 **DR. NETON:** Yeah.

24 **DR. MAKHIJANI:** -- I'm a little bit afraid of
25 the schedule between now and December. I feel

1 the first piece of the charter would be very
2 doable. The second piece --

3 **DR. MELIUS:** I'm not sure is -- I'm not sure is
4 doable.

5 **DR. MAKHIJANI:** -- is very complicated and I'm
6 not sure it is doable, but we -- especially if
7 you want to see it before and if you want to be
8 in decision-making mode, that may be a little
9 (unintelligible) --

10 **DR. ZIEMER:** No, I think if you get the first
11 piece by December that'll be fine.

12 **DR. WADE:** Clearly not, and this is terribly
13 important, not only to Nevada Test Site but to
14 other sites, so I think it's important that
15 this be done carefully and done right.

16 **DR. MAKHIJANI:** Well, that's why I just -- it's
17 my kind of gut reaction that if we try to rush
18 this, we might --

19 **DR. MELIUS:** No, no.

20 **DR. MAKHIJANI:** -- wind up at a place that --
21 start arguing about it after the fact, which
22 would not be so good.

23 **DR. MELIUS:** No, no, my -- what I would see is
24 if we could have the criteria --

25 **DR. MAKHIJANI:** Right.

1 **DR. MELIUS:** -- present -- present our plan for
2 going forward at the next -- next meeting and
3 then be scheduling another workgroup meeting
4 prior to the -- what do we have, a February
5 meeting? -- prior to that to try to, you know,
6 meet and accurately (unintelligible) --

7 **DR. WADE:** But I do think this criteria, one by
8 the working group, refined and presented along
9 with a plan, would be a tremendous
10 accomplishment for December.

11 **DR. MAKHIJANI:** I think that -- Hans, do you
12 think -- I think we can do the criteria.

13 **DR. BEHLING:** Are you looking at me to do it?

14 **DR. MAKHIJANI:** No, I'm not looking to you to
15 do it, I'm looking -- I'm looking to you for an
16 opinion of somebody who's experienced on our
17 team. I'm not -- I'm not going to ask you to
18 do it. I know you're a busy man.

19 **DR. BEHLING:** Well, I'll -- I'll help.

20 **DR. MAKHIJANI:** Okay.

21 **DR. MELIUS:** (Unintelligible) knows, I -- we
22 haven't heard from John Mauro in two hours,
23 (unintelligible) about work.

24 **DR. MAKHIJANI:** John, is that a fair
25 commitment?

1 DR. MAURO: Could I -- before we go there,
2 'cause I'm trying to sort this out, the idea of
3 incidents and defining them and finding them
4 and -- but at the same time I'm looking at your
5 draft report and I do now have the updated
6 version --

7 DR. MAKHIJANI: Oh, great.

8 DR. MAURO: -- that you -- and I'm looking --
9 just for a moment, if you'd bear with me, could
10 you -- could you folks go to page 13, table --

11 DR. ROESSLER: (Unintelligible)

12 DR. MAKHIJANI: Which one, the Nevada one?

13 **DR. MAURO:** This is the "Health Endangerment
14 Assessment for the Nevada Test Site Special
15 Exposure Cohort," this is not the criteria
16 document. This is the Nevada Test Site
17 document.

18 DR. WADE: Page 13, did you say, John?

19 DR. MAURO: Page 13, and it's cal-- and it's
20 Table 3.

21 | (Pause)

22 DR. MELIUS: Okay.

23 DR. MAURO: Okay. Now, what we have here is
24 information that says that there were a number
25 of individuals that experienced doses above

1 five rem. We know the categories -- Army, Navy
2 -- and we know one category -- scientific
3 personnel, contractors and affiliates. I
4 assume that last column applies -- I'll ask you
5 all -- applies to civilians. The question I
6 have is, given these data -- you see, I'm
7 trying to juxtapose incidents with records of
8 information on magnitudes of exposures that
9 have occurred. And let -- let's say we go
10 through the process you have just described
11 whereby we are able to define and list and
12 describe a number of incidents that are -- the
13 criteria for the incidents, what constitutes a
14 trigger that -- all -- well, this might be
15 something we need to look at. And I'm not sure
16 what we do with this information -- for
17 example, the Table 3 information -- that tells
18 us well, yes, we do have some people that
19 experienced doses that were above five rem and
20 this -- for this -- we actually picked this
21 case because apparently this is one where we
22 felt the external exposures anyway were -- were
23 high. How -- how do we combine this
24 information now? I'm -- I guess I'm sort -- if
25 we know we have an incident, but we have -- and

1 we -- but we don't know very much about the --
2 the magnitude of the exposures that might or
3 might not have occurred or be associated with
4 that incident, does that get us where we need
5 to go or are we just -- be -- would it be just
6 good enough to know that well, we can get a
7 handle on -- that yes, there were incidents,
8 but we -- but we really can't say much about
9 the magnitude of the exposures?

10 See, I -- I -- what I'm saying is I think we
11 could do what you're asking in terms of
12 identifying all the different categories of
13 incidents that might have occurred in the
14 records. I'm not quite sure what we do with
15 that information once we have it.

16 **DR. NETON:** Well, you have these incident and
17 criteria that were developed to bounce against.
18 Right? I mean that was the idea.

19 **DR. MELIUS:** So you --

20 **DR. MAURO:** Let -- let's say we have that and
21 we --

22 **DR. MELIUS:** -- you have that --

23 **DR. MAURO:** -- and we do say yes, there were --
24 these many incidents occurred over this time
25 period at this facility. Let's say we're

1 talking Nevada Test Site, whatever criteria we
2 come up with. When we have that, we have a
3 piece of the -- I guess -- a piece -- one of
4 the criteria is yes, we do have incidents. Are
5 we going to do -- would -- what -- and I think
6 that -- that's doable and, as you had
7 mentioned, depending on how rigorous and how
8 complete you'd like it will determine the level
9 of effort, the time it might take. And as
10 Kathy pointed out, we of course have to
11 struggle with the issue of what the def-- you
12 know, the -- of the -- I guess the variable
13 nature of what -- what the definition of an
14 incident is, according to the different record-
15 keeping practices. But let -- let's assume we
16 go through that process. I guess I'm looking
17 forward, beyond that a little bit, and say the
18 -- you know, once we have that information, is
19 that going to put us into a position that will
20 get us to where we are going to be able to come
21 to grips with -- unless we could do something
22 about dose, I guess that's where I'm getting
23 with -- associated with these incidents, or
24 somehow place -- place some kind of order of
25 magnitude exposure, you know, on what the doses

1 might have been to people who were involved in
2 those incidents. Is that where we're headed in
3 the next step after we get our hands around the
4 definition and what incidents have occurred?

5 **DR. MELIUS:** I think the next step is we want
6 some description of who was at that incident
7 and what the -- what -- what their nature of
8 their work was at the site and parameters like
9 that. So that would be -- kind of information
10 would be used to -- to -- you know, potentially
11 to establish a class.

12 **DR. MAURO:** Notwithstanding what the doses
13 might or might not have been.

14 **DR. WADE:** Well, if the working group feels,
15 based upon its criteria, that an incident
16 occurred that meets the intent of the law, then
17 the next step would be to attempt to define a
18 class --

19 **DR. MELIUS:** Right, but -- but --

20 **DR. WADE:** -- surrounding that --

21 **DR. ZIEMER:** An incident for which we cannot
22 reconstruct doses.

23 **DR. MELIUS:** Right, yeah. But -- but fir--
24 first we want you do is to -- take a sample of
25 the, you know, possible incidents that -- at

1 Nevada Site, how -- how would we apply the
2 criteria to those. And then secondly, among
3 those -- for -- for those, what are the -- you
4 know, give us some information on who was
5 present and the nature of their -- their work,
6 durations, things like that that I think -- as
7 Jim was pointing out -- are, you know, based on
8 some of the documentation about the tests and
9 so forth. And then let us come -- then let us
10 go back and talk about it and it may be that
11 then -- then there's -- I don't think we want
12 to just select out those that qualify for the
13 class or whatever at this point in time. I
14 think we need to sort of figure out first one -
15 -- one can -- do we have criteria that we -- are
16 useful for classifying incidents, and then
17 secondly, how are we then going to work on
18 defining the class. And we need to understand
19 that information a little bit better in terms
20 of what approaches might be used for defining
21 it -- you know, appropriately defining a class.

22 **DR. MAURO:** Okay.

23 **DR. ZIEMER:** Comment.

24 **DR. MELIUS:** Yeah, Paul.

25 **DR. ZIEMER:** And I know this has taken a little

1 bit different direction maybe than you
2 expected, Arjun, but --

3 **DR. MAKHIJANI:** (Unintelligible) these were
4 (unintelligible) pieces.

5 **DR. ZIEMER:** -- I just wanted to say to you and
6 to SC&A that I found the document very helpful
7 in thinking about this whole problem, so I
8 appreciate the work that's gone into -- well,
9 actually both the documents, and they do help
10 us think about the parameters and try to come
11 to grips with the issue, so --

12 **DR. MAKHIJANI:** Yeah. No, I have no -- no
13 investment (unintelligible) --

14 **DR. ZIEMER:** No, I understand that, but --

15 **DR. MAKHIJANI:** -- any of the (unintelligible).

16 **DR. ZIEMER:** -- I did want -- I did want you to
17 understand that it's -- it's been helpful.

18 **DR. MAKHIJANI:** I appreciate that.

19 **DR. ZIEMER:** A helpful document.

20 **DR. ROESSLER:** Jim, you mentioned that -- okay,
21 the workgroup is going to present this plan at
22 the December Board meeting and that you hope
23 the workgroup could get together before the
24 February meeting. I suggest that we -- while
25 we're all here, pick a date if we're going to

1 do that.

2 **DR. MELIUS:** Okay.

3 **DR. ROESSLER:** We have a January 11th
4 conference call and the February meeting is the
5 7th through the 9th.

6 **DR. ZIEMER:** At the December meeting the
7 subcommittee will probably meet at what, 11:00
8 o'clock, Lew?

9 **DR. WADE:** 11:00 o'clock. The morning of
10 Monday will be available for workgroup meeting
11 time.

12 **DR. MAURO:** I've got a question regarding this
13 mission. Are we going to be focusing in on I
14 guess the Nevada Test Site and the definition
15 of incidents and classes within the context of
16 the Nevada Test Site, or are we talking a
17 little more broadly than that?

18 **DR. MELIUS:** Nevada Test Site.

19 **DR. MAURO:** Okay. Thank you.

20 **MS. MUNN:** Jim, may I make a comment?

21 **DR. MELIUS:** Yes, you may, Wanda.

22 **MS. MUNN:** It occurs to me that since there's
23 so much focus on the Nevada Test Site, it would
24 be very helpful for the workgroup which has
25 that responsibility for NTS to be brought up to

1 date on what's transpiring here --

2 **DR. MELIUS:** Yeah.

3 **MS. MUNN:** -- and to have access to these
4 documents. It would be -- I think there's so
5 much cross-fertilization here that to have one
6 group working on one segment of the issue and
7 another workgroup working on another segment,
8 without clear cross lines of communication, may
9 confuse us all and cause more of a time delay
10 in coming to fruition than we really want.

11 **DR. MELIUS:** That's a -- I agree.

12 **DR. MAURO:** It's interesting to know, by the
13 way, that when talking about the Nevada Test
14 Site site profile, in general -- and correct me
15 if I'm wrong -- those documents usually are
16 concerned with the chronic exposures people
17 routinely experienced as part of their work,
18 and incidents are not usually part of that.
19 And in fact, that was very often one of our
20 comments. So I certainly agree that marrying
21 the knowledge base that the Nevada Test Site
22 folks have, but I think that we're probably
23 going into an area that is not the primary
24 focus of what we've been doing at Nevada Test
25 Site -- and Arjun, please correct me if I'm

1 wrong.

2 **DR. MAKHIJANI:** Well, but I think -- I think
3 that simply adding -- adding that working group
4 to -- to the document, forwarding this, it
5 would be a good thing.

6 **DR. MAURO:** Yeah. From a practical standpoint,
7 Arjun, when I read your report -- the version
8 everyone has -- it looks like you've got a good
9 start. That is, those -- very -- you have
10 described. In effect, in the process of trying
11 to characterize the magnitude of the exposures
12 that are on the record that individuals have
13 experienced that were above one rem and then
14 you've binned them -- you know, from one to I
15 guess three, above five, and so forth. In the
16 process of doing that, apparently you have
17 uncovered records that you would attribute
18 these exposures to what would be called an
19 incident. Now from my -- for the ben-- from
20 the practical standpoint, do you see this as
21 tractable? That is, Kathy DeMers, you -- you -
22 - you helped Arjun build these tables and these
23 documents, as I understand, came out of
24 incident reports or -- is that correct?

25 **DR. MELIUS:** John, can we -- we need to sort of

1 pick some dates here and --

2 **DR. MAKHIJANI:** Let's talk about that off --
3 off --

4 **DR. MELIUS:** Off-line, yeah.

5 **DR. MAURO:** Okay.

6 **DR. MELIUS:** Were you -- somebody was prop-- I
7 thought proposing that we try to meet just
8 before the December meeting?

9 **DR. ROESSLER:** Oh, no, I wasn't suggesting
10 that.

11 **DR. ZIEMER:** I was just pointing out that there
12 is a possibility.

13 **DR. MELIUS:** Oh, okay.

14 **DR. WADE:** If you wanted to meet with the
15 Nevada Test Site site profile workgroup, you
16 could do it that Monday morning.

17 **DR. ROESSLER:** Oh, oh, oh, oh --

18 **DR. MELIUS:** I -- I see, I -- I don't think
19 that --

20 **DR. ROESSLER:** -- maybe that's not enough time
21 for --

22 **DR. MELIUS:** I don't think that -- no.

23 **DR. ROESSLER:** -- all these things to --

24 **DR. MELIUS:** Yeah, right.

25 **DR. ROESSLER:** -- develop.

1 **DR. WADE:** So then we go into January.

2 **DR. MELIUS:** Yeah, and I think -- I want to
3 give you enough time.

4 **DR. MAKHIJANI:** Yeah, so -- like the work-- at
5 the Board meeting, you -- you're expecting one
6 document, which is the criteria document.

7 **DR. MELIUS:** Right.

8 **DR. MAKHIJANI:** And then at the working --
9 prior to the working group meeting, you will
10 expect the next document, which will relate to
11 incidents at Nevada Test Site.

12 **DR. MELIUS:** Correct.

13 **DR. MAKHIJANI:** Okay.

14 **DR. MELIUS:** And -- and so I'm thinking that's
15 something that -- that meeting should be toward
16 the end of January? Since we're meeting the
17 7th, 8th and 9th is the February meeting.

18 **DR. ROESSLER:** There's a mid-year health
19 physics meeting from about the 20th of January
20 to about the 25th and I'm -- I'm certainly
21 going to be there. I don't know how many other
22 people would be.

23 **DR. MELIUS:** Minneapolis?

24 **DR. ROESSLER:** Knoxville.

25 **DR. MAKHIJANI:** Could be at the end of the week

1 before that.

2 DR. MELIUS: Yeah. Around the 19th?

3 DR. WADE: Sure.

4 DR. MELIUS: Or --

5 DR. ROESSLER: Let's give a few days in
6 between, like maybe the 15th?

7 DR. WADE: 17th?

8 DR. ROESSLER: 17? Yeah, 17th would be good.

9 DR. WADE: Okay.

10 DR. MELIUS: Actually the beginning of that
11 week's better for me.

12 DR. ROESSLER: I like it better, too.

13 DR. WADE: 16th? 15th is a government holiday
14 -- 16th?

15 DR. MELIUS: 16th?

16 DR. ROESSLER: Sounds good to me.

17 DR. WADE: Face-to-face or telephone?

18 DR. MELIUS: What do people think?

19 DR. ROESSLER: I think face-to-face is better.

20 DR. MELIUS: Face-to-face might be better,
21 simply because it's a lot of paperwork. Okay.

22 DR. WADE: So working group meeting --

23 DR. MELIUS: 16th.

24 DR. WADE: -- Cincinnati?

25 DR. MELIUS: Cincinnati on the 16th.

1 **DR. WADE:** You want to start at 10:00, give
2 people the opportunity to fly in, or...

3 **DR. MELIUS:** How do people feel, do they -- the
4 night before works best for me.

5 **DR. ROESSLER:** Start at 8:00 and see if we can
6 get done --

7 **DR. MELIUS:** Yeah, getting out earlier.

8 **DR. MAKHIJANI:** From that point of view, could
9 we do it on the 17th so we don't have to be
10 flying out on a holiday, 'cause the -- the
11 airline things may get kind of crazy on
12 holidays in terms of just getting reservations
13 and --

14 **DR. WADE:** (Unintelligible) proposal for the
15 17th?

16 **DR. NETON:** I think that is somehow
17 (unintelligible) school breaks or something
18 like that. Last year had a problem.

19 **DR. BEHLING:** It's always a problem
20 (unintelligible) it's a combination with a
21 weekend.

22 **DR. WADE:** Is that okay with you, Dr. Melius?

23 **DR. MELIUS:** I'm going to need to check on
24 (unintelligible) --

25 **DR. MAKHIJANI:** We can do it on the 16th,

1 that's fine. I'm open on the 16th. That's
2 fine. I was just suggesting --

3 **DR. ROESSLER:** Either one is fine.

4 **DR. WADE:** We could also do it the afternoon of
5 the 16th so people could travel in the morning.

6 **DR. MELIUS:** Yeah, that's fine.

7 **DR. WADE:** You don't want to cut into people's
8 holiday, which --

9 **DR. MAKHIJANI:** I like both days --

10 **DR. ROESSLER:** Keep both days...

11 **DR. MELIUS:** Yeah, okay -- yeah, I'll figure
12 out -- I've got a conflict on the 17th, but I
13 can check and find out.

14 **DR. WADE:** Here in Cincinnati.

15 **DR. ROESSLER:** At the airport.

16 **DR. WADE:** Maybe this (unintelligible).

17 **THE COURT REPORTER:** On the 17th then?

18 **DR. ROESSLER:** He's waiting --

19 **DR. WADE:** Either the 16th or 17th -- afternoon
20 of the 16th or the morning of the 17th.

21 **DR. MELIUS:** Okay. Any other comments or
22 questions?

23 **DR. WADE:** I compliment the workgroup on taking
24 on such a knotty issue. I think it's an issue
25 that needs to be addressed and I applaud the

1 approach.

2 **DR. MELIUS:** Good, and I would like to thank
3 the -- SC&A and also NIOSH. Larry -- I guess
4 Larry left, but Jim, thank you. It was helpful
5 and it --

6 **DR. WADE:** And it's always good to have Jim
7 Neton at the table.

8 **DR. ZIEMER:** You bet.

9 **DR. MELIUS:** Yeah.

10 **MS. MUNN:** It is indeed.

11 **DR. MAKHIJANI:** And Jim, can I thank our young
12 people who helped here --

13 **DR. MELIUS:** Yeah.

14 **DR. MAKHIJANI:** -- Bob Barton and Kathy DeMers
15 really put in a lot of work.

16 **DR. WADE:** Okay. Well, we are then adjourned.
17 (Whereupon, the meeting concluded at 11:34
18 a.m.)

19

20

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of November 17, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 24th day of November, 2006.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**