

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

250 DAYS ISSUE
AND
8314 SEC PETITION

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held in Cincinnati, Ohio, on Nov. 29,
2007.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING
404/733-6070

C O N T E N T S

Nov. 29, 2007

WELCOME AND OPENING COMMENTS DR. CHRISTINE BRANCHE, DFO	6
INTRODUCTION BY CHAIR DR. JAMES MELIUS	8
"Working Paper on Nevada Test Site Incidents Relating to Consideration of Employees with Less than 250 Days"	13
AMES REPORT	95
ACTION ITEMS	155
COURT REPORTER'S CERTIFICATE	162

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

-- ^ denotes telephonic interruption or another speaker's interruption.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

DESIGNATED FEDERAL OFFICIAL

BRANCHE, Christine, Ph.D.
Principal Associate Director
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

BOARD MEMBERSHIP

BEACH, Josie
Nuclear Chemical Operator
Hanford Reservation
Richland, Washington

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

1 MELIUS, James Malcom, M.D., Ph.D.
2 Director
3 New York State Laborers' Health and Safety Trust Fund
4 Albany, New York

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

IDENTIFIED PARTICIPANTS

BEHLING, HANS, SC&A
BROCK, DENISE, NIOSH
ELLIOTT, LARRY, NIOSH
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
NETON, JIM, NIOSH
ROSNER*, KATHLEEN, SEN. REID
WADE, LEW, NIOSH

P R O C E E D I N G S

(10:00 a.m.)

1

2

WELCOME AND OPENING COMMENTSDR. CHRISTINE BRANCHE, DFO

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

DR. BRANCHE: This is a workgroup meeting of the SEC issues group including 250-day issue and preliminary review of 8314-SEC petition. I'm Christine Branche, and I'm going to go through the roll for the Board members who are on the work group.

Dr. Melius.

DR. MELIUS: I'm here.

DR. BRANCHE: Dr. Ziemer.

DR. ZIEMER: Yes, on the line.

DR. BRANCHE: Josie Beach.

MS. BEACH: I'm here.

DR. BRANCHE: Mark Griffon.

MR. GRIFFON: Here.

DR. BRANCHE: Gen Roessler.

DR. ROESSLER: Here.

DR. BRANCHE: The work group members are on the line. Are there any other Board members who are participating?

1 (no response)

2 **DR. BRANCHE:** Okay, we do not have a quorum
3 of the Board so we can proceed.

4 Are there any other, who are the
5 federal officials, please, starting in the
6 room?

7 **MR. ELLIOTT:** I'm Larry Elliott, NIOSH.

8 **MS. HOWELL:** Emily Howell, HHS.

9 **DR. NETON:** Jim Neton, NIOSH.

10 **DR. BRANCHE:** Are there any other federal
11 government agency participants on the line?

12 **DR. WADE:** This is Lew Wade on the line.

13 **MS. HOMOKI-TITUS:** This is Liz Homoki-Titus
14 with HHS.

15 **DR. BRANCHE:** Are there any other members of
16 other federal agencies who are on the line?

17 (no response)

18 **DR. BRANCHE:** Okay, SC&A or ORAU?

19 **DR. MAURO:** John Mauro, SC&A.

20 **DR. MAKHIJANI:** Arjun Makhijani, SC&A.

21 **DR. BEHLING:** Hans Behling, SC&A.

22 **DR. BRANCHE:** Okay, anyone else?

23 **MS. BROCK:** Denise Brock.

24 **DR. BRANCHE:** Thank you.

25 Is there anyone from the federal --

1 I'm sorry. Are there any petitioners or their
2 representatives on the line; workers or their
3 representatives on the line who would like to
4 identify themselves?

5 (no response)

6 **DR. BRANCHE:** Are there any members of
7 Congress or their representatives on the line?

8 **MS. ROSNER*:** Kathleen Rosner from Senator
9 Harry Reid's office.

10 **DR. BRANCHE:** Thank you.

11 Are there others on the line who would
12 like to mention their names?

13 (no response)

14 **DR. BRANCHE:** Okay, just one more item
15 before I give it to Dr. Melius. For those of
16 you who are participating by phone, if you
17 could please conduct yourselves according to
18 telephone etiquette. That means if when
19 you're speaking you can certainly keep your
20 line open, but if you could please mute your
21 phone if you are not speaking that will allow
22 all of us to hear all of the discussion that's
23 going on.

24 And so, Dr. Melius.

25 **INTRODUCTION BY CHAIR**

1 **DR. MELIUS:** Thank you.

2 This is a meeting of the 250-day work
3 group or whatever we're calling ourselves, the
4 SEC Review Work Group also. And we have two
5 reports that we're going to be discussing
6 today. One is a report dated October 2007
7 called "Working Paper on Nevada Test Site
8 Incidents Relating to Consideration of
9 Employees with Less than 250 Days". And the
10 second one is a report dated June 2007, again
11 another SC&A report called "The Relevance of
12 the 250-Workday Requirement to Potential
13 Exposures Associated with a Single Blowout".
14 It relates to the Ames Lab issue, working
15 draft, I'm sorry. Larry was pointing out this
16 is a working draft so it's --

17 **MR. ELLIOTT:** The work's in progress, and I
18 know that there's interest perhaps our
19 participants on the phone to know what these
20 documents are, given that they're not on our
21 website. They're not accessible. They're not
22 publicly distributed at this time.

23 They contain Privacy Act-related
24 information. They have not been reviewed or
25 redacted for release at this point in time.

1 They're pre-decisional documents used in this
2 working group study. Just wanted to make sure
3 people understood the constraints we're all
4 operating under.

5 **DR. MELIUS:** It's particularly important I
6 think especially with an NTS document because
7 the way --

8 **DR. ZIEMER:** Dr. Melius, this is Ziemer. I
9 have a question as we discuss the first
10 document dealing with the NTS cases. I notice
11 there's a number of claimant numbers listed in
12 the table of contents. Are those Privacy-
13 protected numbers? I'm worried about as we
14 discuss things today what we, how we can
15 identify things.

16 **MR. ELLIOTT:** Yes, Dr. Ziemer, those are
17 Privacy Act protected information. So you
18 cannot use those, you cannot reference those
19 in your speech.

20 **MS. HOWELL:** Dr. Ziemer, if I could make a
21 recommendation -- this is Emily. If you'll
22 look at the table of contents there,
23 identified in numeric order is 3.1.1.

24 **DR. ZIEMER:** Right, that's what I was
25 getting at. As long as we use that reference

1 we're okay, right?

2 **MS. HOWELL:** Yes.

3 **MR. ELLIOTT:** Yes.

4 **DR. ZIEMER:** I just wanted to double check
5 on what we can say and what we can't. Thank
6 you.

7 **DR. MELIUS:** Yeah, I was actually going to
8 suggest page numbers, but then at least my
9 copy doesn't have page numbers.

10 **DR. ZIEMER:** Well, mine has the same
11 problem. There are page numbers referenced
12 but the pages don't actually contain them.

13 **DR. MELIUS:** I think that's the original,
14 the way the document was, not the way we
15 printed it, Paul.

16 **MR. ELLIOTT:** I apologize for that. It was
17 my fault.

18 **DR. MELIUS:** I don't actually remember the
19 timing on this, but I believe the last meeting
20 we had of this work group was last spring
21 sometime, and I don't think anybody remembers,
22 but where we mostly talked about sort of a
23 general concept of how we would try to
24 approach this 250-day issue; what were some of
25 the problems doing so, and then I think

1 actually briefly talked about an earlier draft
2 of the Ames report to that.

3 At that meeting we decided that our
4 procedure to be able to address the NTS site
5 issues which was by looking at, necessitated
6 looking at individual claimant records, and
7 then Arjun and Jim Neton, I think, have been
8 working since that time to, I think at that
9 time at that meeting, as I recall, we
10 identified on a preliminary basis sort of the
11 types of claims that would be useful to
12 review. And then Arjun and Jim worked
13 together on that, and Jim pulled these claims.
14 I think I got the sequence right. And then
15 that was the basis for Ajun's and the SC&A
16 review of those.

17 **DR. MAKHIJANI:** Actually, Bob Barton did it.

18 **DR. MELIUS:** And what I had planned for the
19 meeting today is to start with the NTS report
20 because that's the one that in some ways is
21 newer, we would do that and talk about that.
22 My plan is that we will take a break around
23 11:30 or so. We will then decide do we work
24 through lunch and then or do we break for
25 lunch; how we're going to do that.

1 My plan is to try to wrap up before
2 two o'clock if feasible, frankly, because I
3 don't think we'll, I'm not sure how well we'll
4 all think after two o'clock after talking
5 about this stuff. But let's see where we are
6 in terms of making progress and so forth.

7 So everybody agree with that approach
8 on that?

9 (no response)

10 **"Working Paper on Nevada Test Site Incidents Relating to**
11 **Consideration of Employees with Less than 250 Days"**

12 **DR. MELIUS:** Maybe we can start by Arjun, if
13 you want to talk a little bit about what your,
14 about the report. Sort of what you did in
15 general. Then I think we're going to have to
16 probably just start talking about individual
17 cases in order to be able to wrestle with
18 this. But go ahead.

19 **DR. MAKHIJANI:** Well --

20 **DR. BRANCHE:** Arjun, before you start --
21 this is Christine Branche again. If you could
22 please mute your phone if you're not speaking.
23 We're hearing some jingle bells in the back.
24 Thank you.

25 Arjun.

1 **DR. MAKHIJANI:** Thank you, Dr. Branche.

2 At the last meeting we decided that
3 there were four categories of potential
4 situations that might involve less than 250-
5 day SEC coverage so we would look at those
6 workers who were involved in planned re-entry
7 operations such as instrument retrieval.
8 Workers were directly exposed to atmospheric
9 fallout such as cloud sampling emissions,
10 aerial photography, ground-based fallout
11 measurements.

12 Workers who were frontline witnesses
13 to atmospheric test shots and workers who were
14 involved in post-shot operations and
15 experienced unplanned exposures due to some
16 form of logistical problem. And those were
17 the types of claims that Jim looked for, and
18 he identified --

19 **DR. NETON:** Twenty-one or 22.

20 **DR. MAKHIJANI:** -- yeah, 20-odd claims. And
21 we looked at all those claims and we have the
22 records from those claims and collected the
23 information. We had 11 cases identified for
24 planned re-entry, five exposures to
25 atmospheric fallout, two frontline witnesses

1 to shots and four involved logistical mix-up.
2 We had 22 cases.

3 **DR. MAURO:** For those of you following this,
4 it's basically, rather than taking notes this
5 is written up right in the introduction.

6 **DR. MAKHIJANI:** Yeah, and I was reading from
7 Table 1 on page five.

8 And we collected their dose records,
9 and their dose records are summarized in the
10 same table. So there are some external
11 exposure records. And then we summarized the
12 incidents and then have a detail on each case.
13 So that's what we did in preparation.

14 What we did not do is try to make any
15 analysis of how these types of situations
16 would fit into a 250-day criteria which we
17 presume would be the subject of a working
18 group discussion.

19 **DR. NETON:** I might just add to that that
20 when we pulled these cases, we looked with
21 people who had exposure during the SEC period,
22 and we made no attempt to triage these into
23 the ones that might be relevant to the 250-day
24 criteria. These were just examples of people
25 who would fall into those categories and may

1 have some unique exposure scenarios that could
2 be discussed today or the relevance and the
3 ability to have a large amount of exposure in
4 a short period of time.

5 **DR. MELIUS:** And the issues, the focus is
6 the scenario not the, you know --

7 **DR. NETON:** None of these cases may or may
8 not be relevant to the 250-day requirement.

9 **DR. MAKHIJANI:** We took it as such and we
10 pulled the records. And this is essentially a
11 data summarization exercise without analysis.
12 We tried the analysis route and interpretation
13 route for us and it didn't work too well.

14 **DR. NETON:** You almost have to do a dose
15 reconstruction to get there.

16 **DR. MAKHIJANI:** So we didn't go there again.

17 **DR. NETON:** I think these are 22 cases out
18 of something on the order of six-to 700 total
19 cases that were in that timeframe.

20 **DR. MAKHIJANI:** So I don't know exactly how
21 you want to proceed in a case-by-case
22 examination. Do you want me to go over the --
23 you have the report.

24 **DR. MELIUS:** Why don't you go through what
25 you think is a good case to discuss.

1 **DR. ZIEMER:** Could I ask a question first?

2 **DR. MELIUS:** Sure.

3 **DR. ZIEMER:** This is Ziemer. I just want to
4 clarify the dose values in here, in the
5 report. These are the doses of record versus
6 any reconstructed doses. Is that correct?

7 **DR. MAKHIJANI:** Yes, these are the --

8 Bob, are you on the phone?

9 (no response)

10 **DR. MAKHIJANI:** Yes, as I said I didn't do
11 the data compilation, but these are the doses
12 of record.

13 **DR. NETON:** I've got a couple questions, I
14 guess, maybe before we start. I think if I
15 could I'd maybe just focus a little bit on
16 Table 1 first because that's about the only
17 place where we have some summary information.

18 **DR. MAKHIJANI:** Well, Table 2 also.

19 **DR. NETON:** Well, Table 2. But the idea was
20 to start looking at these case as to maximum
21 exposures that were similar in criticality or
22 something in that effect. I think there's a
23 pretty good summary of what was in the cases.
24 I guess, I have a question as to what
25 represents the 95th percentile. What is that

1 value?

2 **DR. MAKHIJANI:** You know, I was looking at
3 that, and I thought I'd talk to Bob about it
4 before this meeting. If I might call him at
5 the break and get back to you about that. I'm
6 sorry.

7 **DR. NETON:** I don't know if this is the 95th
8 percentile of a coworker model that we could
9 use or --

10 **DR. MAKHIJANI:** It must be something like
11 that. It's obviously not the dose of record.

12 **DR. NETON:** I don't know because maybe you
13 constructed a 95th percentile. I'm reading --

14 **DR. ZIEMER:** Well, that was the follow-up
15 question. Did they take the dose of record
16 and just assume, for example, a lognormal
17 distribution to give an idea of what a 95th
18 percentile might look like?

19 **DR. NETON:** Yeah, it appears that way, Dr.
20 Ziemer, because I'm reading a sentence here in
21 the first paragraph. "Only the employment
22 years before '63 which had non-zero dose were
23 used in calculating the 95th percentile." So
24 somehow all the doses that were available
25 were, yeah, fit into a lognormal distribution

1 and summarized that way.

2 **DR. ZIEMER:** And then the maximum versus the
3 95th then would be what? Like the 99th?

4 **DR. NETON:** Well, this maximum, whatever
5 that is, I think whatever the highest dose
6 that was --

7 **DR. ZIEMER:** That is the maximum record.

8 **DR. MAKHIJANI:** It's the maximum among the
9 11.

10 **DR. ZIEMER:** I got it. I got it.

11 **DR. NETON:** Say for the first one, and
12 obviously 18,500 is the highest for the --

13 **DR. MAKHIJANI:** Yes, this is the 95th
14 percentile of the group, but I don't know how
15 he did 95th percentile of two.

16 **DR. NETON:** As long as I understand it.
17 This is based on the data that were available
18 in the case files. I kind of have a feel for
19 what was done then.

20 **DR. MAKHIJANI:** Yes. I'm pretty sure that
21 data on the case files.

22 **DR. ROESSLER:** So that doesn't refer to the
23 six- or 700.

24 **DR. NETON:** No, no.

25 **DR. ROESSLER:** It's the smaller groups,

1 specific groups.

2 **DR. NETON:** This is the, apparently some
3 lognormal distribution was constructed out of
4 the 22 cases that had external dosimetry --

5 **DR. MAKHIJANI:** In each of these categories.

6 **DR. NETON:** For each category, yeah, which
7 is interesting to these categories, two
8 categories.

9 **DR. MAKHIJANI:** I actually didn't catch that
10 when I was compiling.

11 **DR. NETON:** I guess one thing that did
12 strike me though is the doses, although
13 they're quite large, are not huge, you know,
14 at the level that we were expecting maybe to
15 see for some of these cases.

16 **DR. ZIEMER:** Well, yes, they don't begin to
17 approach the kind of doses you see in
18 criticality accidents.

19 **DR. MAKHIJANI:** Well, except maybe for the ^
20 mix up.

21 **DR. NETON:** And that's at the low end, and
22 that's the one that I think that's attached
23 the entire evaluation report, incident report
24 attached to the back of this document that
25 discusses what happened there. And that was

1 actually measured I think on his personal
2 dosimeter.

3 I guess with the NTS if we're using
4 this as an example, there's two things to
5 consider. One is that we've believed that we
6 can reconstruct external doses for these
7 workers because we have a fair amount of
8 monitoring data. And I think frankly this
9 table sort of bears some of that out. And
10 then secondly is that the internal exposures
11 were the basis for adding the class, right?
12 So then when you look at that in that context,
13 I suppose, this 250-day requirement.

14 **DR. MAKHIJANI:** You know, one of the
15 questions that arose sort of in an overview
16 out of the compilation is these people were
17 involved in some unusual situations and the
18 external doses may have been all over the map
19 from relatively low in relation to the
20 criteria to somewhat significant anyway.

21 But in most cases there are no
22 internal dose records. We don't know what the
23 internal dose would be. So the question of,
24 which relates to what we're going to discuss
25 with Ames, the question of what other criteria

1 for internal dose for less than 250-day, I
2 think becomes kind of acute.

3 **DR. NETON:** Well, right. I think that's
4 what I was trying to flesh out here early
5 going is that I'm not sure the external is
6 really an issue here. Maybe it is, but in my
7 mind external is somewhat bounded by the
8 amount of monitoring data that we have. And
9 I've seen nothing so far that would indicate
10 that would approach a level of a criticality
11 incident or something like that from an
12 external perspective.

13 **DR. MAKHIJANI:** Does the less than 250-day
14 inclusion include whether you were monitored
15 or not? Or is it if you are in the class and
16 somehow in a situation defined by the rule
17 that it doesn't matter whether you were
18 monitored or not?

19 **DR. NETON:** Well, I think it depends on what
20 the class definition is. I think the class, I
21 don't have the class definition for NTS in
22 front of me, but I suspect that it's got that
23 standard language were monitored or should
24 have been monitored for exposure.

25 **DR. ROESSLER:** It's got it here. That's

1 what it says.

2 **DR. NETON:** So that anyone who was in the
3 class, anyone who should have been monitored,
4 and by definition the Department of Labor has
5 accepted that to mean exposure greater than
6 100 millirem per year, would be a member of
7 the class.

8 **DR. MAKHIJANI:** Internal exposure.

9 **DR. NETON:** Yeah, internal, because I think
10 the basis of the SEC was for our inability to
11 reconstruct the internal dose. As you
12 observed in these individual case files, we
13 have very little internal monitoring data. So
14 we believe that we can reconstruct external
15 dose. We sort of indicated that all along.
16 And in some ways this is borne out by Table 1.

17 Then you get into the external, and if
18 one looks through these incidents, I think
19 that the issue is do any of these incidents,
20 any descriptions in here, relate to internal
21 exposures that would be exceptionally high,
22 similar, whatever the words are in the rule,
23 to a criticality incident. I think that's
24 what needs to be, that's what to me is the
25 relevant issue.

1 I looked through these cases. I
2 didn't go back to the original case files, but
3 I didn't see much in here that would give me
4 that sense that there was internal exposures
5 that were exceedingly high. There certainly
6 were exposures by some of these folks who flew
7 through the clouds and that sort of thing.
8 They're primarily fission products that sort
9 of thing, and their duration of exposure was
10 pretty short.

11 **DR. MAKHIJANI:** Yeah, I think the question
12 that does emerge is what are the internal
13 exposure criteria because the records aren't
14 there. And they were clearly involved in
15 unusual situations of the types that we've
16 identified. And since we don't have a way to
17 characterize internal exposure potential
18 according to SEC definition, and by the nature
19 of the work they were involved in incidents
20 that had significant internal -- at least some
21 of them were involved in incidents that had
22 significant internal exposure potential --
23 that factor a question of what are the
24 criteria for --

25 **DR. MELIUS:** And if you remember from our

1 other meeting was that when we looked at
2 criticality -- I forget the exact wording --
3 it was not a very informative criteria. And
4 so, I mean, we're going, how do we struggle
5 with coming up with a criteria. I mean that's
6 what it comes down to. Remember from the
7 other meeting we talked a little bit, well,
8 maybe it's on the endangerment side, the
9 criteria, but that doesn't, we don't have a
10 very --

11 **DR. NETON:** You can't get there --

12 **DR. MELIUS:** -- there's not enough criteria
13 on the endangerment side either.

14 **DR. MAURO:** I remember there are two
15 strategies when you encounter a problem like
16 that. One is you try to front-end it and say,
17 well, what criteria would you use? And we've
18 made a run at that, and it caused a certain
19 degree of frustration in trying to do that.

20 Then the other approach is, well,
21 let's not try to come up with a front-end
22 criteria. Let's create a compendium of
23 information. What do we know? What are the
24 scenarios? What are the kind of exposures
25 people might have gotten? And I think that,

1 and then you maybe iterate back and forth.

2 So in effect this report is like
3 making a run at the compendium idea. This is
4 a good way to start. But, of course, now we
5 know that the compendium approach served us to
6 a limited extent because really we only know
7 something about the external, and we're
8 struggling with, well, how do we get a handle
9 on internal.

10 Now we did discuss at one time a
11 strategy which may be worth discussing again
12 in terms of the compendium strategy. That is,
13 internal exposures, though we all recognize
14 it, the NIOSH's position is you really can't
15 do it, and that's why we have the SEC. But at
16 the same time, internal exposures have been
17 developed using methods that perhaps are not
18 entirely acceptable to -- bear with me for a
19 minute -- to NIOSH but have been used by DTRA
20 for military personnel. So bear with me for a
21 minute. I'm putting this on the table to keep
22 the pot stirring.

23 In theory one could say, okay, what
24 type of internal exposures have been
25 reconstructed under the DTRA program for

1 people who were involved in these kinds of
2 programs. Granted that these are military
3 people as opposed to civilians, but what it
4 does it says, okay, we recognize there are
5 certain limitations to the DTRA strategy for
6 doing internal exposures. And we all
7 understand what they are.

8 But nevertheless, could they serve us
9 in a different capacity in terms of saying
10 starting to put, create some sensibility of
11 the magnitude of the exposures that we're
12 talking about? Not that we represent them as
13 reliable or accurate, but at least it's one
14 handle to say, okay, here's what DTRA is
15 reporting to be the kinds of exposures people
16 may have experienced internally that were
17 involved in these different categories of
18 activities.

19 I only put this on the table because
20 we're looking for a handle, and I don't think
21 we, right now I don't see a handle.

22 **DR. NETON:** I think that's an interesting
23 perspective, but I think where you're going to
24 end up is you'll end up with some doses, and
25 then what do you do with them? Now, well,

1 let's say you come up with a compendium of
2 doses for people, and they are in the ten, 20,
3 50 rem, I don't know what they are. I'm
4 guessing, probably not that high, but let's
5 say they were. What did that mean?

6 The way the SEC is structured you have
7 no way of bouncing those against IREP. You
8 can't really go to IREP because you don't know
9 what the upper limit dose is to do the litmus
10 test, and that's frankly why the rule is
11 written the way it is because you can't do
12 that. I mean, if you could do that, then you
13 can reconstruct the dose.

14 **DR. MAURO:** In other words the fact that,
15 let's say we walk away with a sense that, hmm,
16 let's talk about doses, ever get about ten,
17 dose, dose commitment, whatever you want to
18 use. And I know that when we looked into the
19 criticality question, we know there was a
20 range of numbers.

21 They did, it seemed that there was a
22 general sense, if I recall, that when you're
23 getting above ten and moving into 100, we're
24 starting to get into the range that people
25 said now you're talking about numbers that all

1 would generally agree starting to move into
2 the realm where people are thinking in terms
3 of a criticality if I remember that's where --
4 I know five rem came up because that's when
5 you start to see blood changes. Twenty-five
6 rem came up as a number you see blood changes.
7 Certainly, a hundred rem came up, and then you
8 start to see --

9 **DR. NETON:** Deterministic effects.

10 **DR. MAURO:** -- we're talking deterministic
11 effects. So I'm not saying that we found the
12 Holy Grail by any means, but I'm saying that
13 there was certainly a general consensus that
14 when you're moving above ten and moving to a
15 hundred, that's the world where -- now whether
16 or not, and another issue that came up,
17 whether or not it's external that we're really
18 talking about delivered acutely or dose
19 commitment delivered over 50 years, I believe
20 we still have not engaged that issue.

21 **DR. NETON:** Well, see, that's a separate
22 issue, and that kind of gets into, I looked at
23 Hans' analysis. I didn't want to jump into
24 that, but I think internal is going to be the
25 sticky wicket here. And in my opinion

1 internal doses are not, to a specific organ
2 are not comparable to an external whole body
3 acute radiation exposure.

4 It's well established that different
5 organs have different radio-sensitivities.
6 So, for example, if one calculates a 200 rem
7 dose to bone delivered over 50 years, that
8 sounds like a very high dose equivalent to a
9 criticality. If one would apply the current
10 ICRP weighting factor for bone surfaces of
11 0.01, you have the equivalent whole body dose
12 as a direct exposure of two rem. All of a
13 sudden that brings it down into this range
14 which is not even exceeding the regulatory
15 guidelines for exposures today.

16 So you can't make these very large
17 calculations, say, see, it's 200 rem to bone.
18 It's 60 rem to lung. It's not comparable to
19 an external whole body acute shot of gamma
20 radiation. So we've got to be careful.
21 That's all I'm saying.

22 **DR. MAURO:** I agree.

23 **DR. MAKHIJANI:** Part of the issues that came
24 out here is a lot of the information that
25 there were incidents is based on the

1 interviews alone. There are no incident
2 records. It's what the workers said happened
3 when we look at 3.1.2 for example. The
4 internal dose, we can agree, is quite low and
5 not part of the criteria so we have a very
6 easy case.

7 And so the focus would be exclusively
8 on -- this is on page nine and ten of the
9 report. There are no investigation reports,
10 and the claimant said he was exposed to
11 radioactive iodine. Monitors went off in a
12 certain area, and he was told to evacuate.
13 And he said that he had enough contamination
14 to be told to get off his clothes at a certain
15 point, and that he was burned out a few times,
16 but that doesn't appear to be related to
17 external dose because his external doses --

18 **DR. NETON:** Well, burned out --

19 **DR. MAKHIJANI:** -- don't correspond to that
20 definition of what would have been regarded as
21 burned out.

22 **DR. NETON:** Very rarely would they be
23 restricted from an internal exposure for
24 iodine or something like that.

25 **DR. MAKHIJANI:** Right, so all I'm saying is

1 I'm just giving you information of what is not
2 an atypical compilation in this list.
3 Generally, there are no investigation reports.
4 A lot of the information goes to internal
5 exposure type of situations, heavily
6 contaminated clothes, being subjected to
7 fallout, contaminated with radioactive iodine.
8 And the question arises how did he know that
9 it was radioactive iodine. I don't know that.

10 Or you can take the next claimant,
11 3.1.3, where the external doses is somewhat
12 higher. One year --

13 **DR. ZIEMER:** Which one is this, Arjun?

14 **DR. MAKHIJANI:** 3.1.3, Dr. Ziemer. The next
15 one down.

16 **DR. ZIEMER:** Okay.

17 **DR. MAKHIJANI:** One year external dose are
18 missing. Again there are no investigation
19 reports that we identified. Remembers giving
20 urine and blood specimens and received a high
21 dose from balloon shot. His clothes and truck
22 were confiscated. Had to take several
23 showers.

24 I'm not arguing the case for inclusion
25 or exclusion. I'm just telling you what's in

1 the record, that what we have typically in
2 these cases in the record is no investigation
3 report and a description by a claimant of what
4 they went through which we've then identified
5 as belonging in one of the criteria that we
6 set.

7 **DR. NETON:** Hand selected out of 600 cases.

8 **DR. MAKHIJANI:** Right.

9 **DR. NETON:** But these are the ones that we
10 could find.

11 **DR. MAKHIJANI:** That's right. Only the ones
12 that we could find. We all agree what we have
13 here.

14 **DR. ZIEMER:** This is Ziemer if I could add a
15 comment. Sometimes the terminology -- and I
16 don't know how common it is, but certainly at
17 Oak Ridge if someone exceeded the 300 millirem
18 for the week, which was a, you know, in the 15
19 rem per year -- they called it Roentgens in
20 those days -- per year people would say they
21 were burned out at 300 millirem. That really
22 meant that they were at their limit. And that
23 was really an administrative limit. The term
24 burned out, which a worker might hear and be
25 very alarmed, was simply an administrative

1 limit, which from sort of a biological point
2 of view, is fairly low.

3 The other point I would make is
4 confiscating of clothes typically occurred
5 when things were contaminated at even a couple
6 millirem per hour level or even less. I mean,
7 you didn't let people take their clothing
8 home. So the fact that clothes were
9 confiscated doesn't necessarily imply
10 extremely high dose rates as far as exposing
11 the person.

12 You know, from a contamination point
13 of view, usually you're talking about counts
14 per minute that you just don't want people
15 taking that home. And obviously to a worker
16 that's very alarming, but from a biological
17 point of view it could be very low. So all
18 I'm pointing out is that we have to be
19 cautious in assuming that because clothes were
20 confiscated that there was a really sort of a
21 catastrophic level of something.

22 **DR. MAKHIJANI:** I was just reading from
23 what's there.

24 **DR. ZIEMER:** Yeah, I agree. I just wanted
25 to point that out as a sort of a --

1 **DR. MAKHIJANI:** It could be low or high.

2 **DR. ZIEMER:** -- caution when you interpret
3 those things.

4 **DR. MAKHIJANI:** And the problem is that we
5 don't know.

6 **DR. ZIEMER:** I agree.

7 **DR. MAKHIJANI:** And that is a typical
8 situation here. And in the case of 3.1.2
9 where he said he was burned out a few times,
10 in fact, his annual dose of record does not
11 exceed 300 milligram in any of the years
12 recorded. So let alone weekly exposure, the
13 annual dose didn't add up to that, but he did
14 say he was told he was burned out.

15 And in the next claimant he doesn't
16 claim that, but it could have been --

17 **DR. ZIEMER:** Sort of makes you wonder if the
18 external was even accurate then I suppose.

19 **DR. MAKHIJANI:** Yes.

20 **DR. NETON:** I think John had a good
21 suggestion on the potential relevance of the
22 DTRA approach to bounding things anyways
23 because if you can, under some worst-case
24 scenarios come up with some pretty small
25 internal doses, then maybe the job that we

1 find on a site-specific basis is not. I think
2 we would all probably agree if these internal
3 doses were potentially less than ten rem or
4 something of that magnitude, then you could at
5 least say for one case, site case, site that
6 it's --

7 **DR. MAURO:** It's out there for the, just
8 pick them right off the database.

9 **DR. NETON:** The DTRA database. Given all
10 the uncertainties and everything, still, you
11 would have somewhat of a sense that the
12 internal exposures don't reach some level of
13 magnitude.

14 **DR. MAURO:** Along those lines let's say,
15 we'll try to grab that thread.

16 **DR. ROESSLER:** Before we follow that thread,
17 as I recall, the DTRA approach was to use the
18 external measured doses and estimate some
19 internal exposures. Could you just summarize
20 very briefly how that's done?

21 **DR. MAURO:** Well, it was complicated. No, I
22 can give you conceptually though. In some
23 cases it was fairly straightforward. That is,
24 if you know what's on the ground, you know the
25 time after the test, and you actually have an

1 external reading in MR per hour, there's ways
2 to back out from that what's on the ground.

3 **DR. ROESSLER:** So this is rather situation-
4 specific. That's really all I needed to know.

5 **DR. MAURO:** It's situation-specific. Now, a
6 simpler situation is someone goes in after the
7 shot. You know at a certain time period after
8 a shot. I guess this is one of your
9 scenarios. And you do know from survey
10 readings what the MR per hour is at that
11 location at that time after the shot. On that
12 basis there are ways to back out, called the
13 Hicks' Tables, what's on the ground in
14 Becquerels per meter squared for 126
15 radionuclides.

16 Then they apply a resuspension factor,
17 and they have a range. They have the very
18 high end ones depending on the activities, and
19 low end ones depending on what they were
20 doing. And they come up with a way to
21 approximate what might have been the airborne
22 dust loading that this person may have
23 experienced for the time period it was there.
24 That's the simplest.

25 We can go up to much more complex ones

1 than that. So all I'm saying is that, and
2 there are lots of limitations with that for
3 the very reasons you expressed concern. But
4 it's a way to say, you know, we wouldn't have
5 to do those calculations. We may want to
6 understand it, but they're out there. Here
7 are the results.

8 Now the degree to which we believe
9 those records of reconstructed doses have
10 relevance here, you know, we'd have to make
11 that judgment. I'm not saying this is what
12 we, but it's a handle. I'm always looking for
13 a handle. But right now what I'm seeing is
14 that from this report that we issued as a
15 compendium where we were hoping that it would
16 help to inform us of what magnitude internal
17 exposures, it's not informing us of that. I
18 mean, that's the reality of it. We do know --

19 **DR. NETON:** If these occurred or --

20 **DR. MAURO:** -- they've occurred --

21 **DR. NETON:** -- incidents --

22 **DR. MAURO:** It's hard to say what the
23 magnitude of, you know, you really can't do
24 much with it.

25 **DR. ZIEMER:** Well, John, were some of those

1 also based on an infinite cloud where if you
2 know the external dose rate in the infinite
3 cloud, you also know the concentration of
4 materials that are breathed during that
5 period?

6 **DR. MAURO:** That's part of it, too, yeah.
7 So there are many layers of how you come at
8 the internal exposure. They even have ones
9 related to flying through clouds. They have
10 ones where individuals were doing certain
11 activities, for example, cleaning off these
12 planes that landed, and you had to clean them
13 off because they were contaminated.

14 I mean, there are many, many, many
15 scenarios that the military personnel
16 experienced. The degree to which that
17 experience has applicability to civilians is
18 certainly another question. But if we could
19 put that in the parking lot for one second.

20 To go back to the concern you raised,
21 a very legitimate concern. Even if we get
22 some numbers what are we going to do with
23 them? In a funny sort of way, if we go to the
24 Ames report, there's the table on page ten,
25 there's Table 1. Now I only draw your

1 attention to that because here we have a case
2 where we do have some estimates of what the
3 dose commitment to various organs might have
4 been from the scenarios that Hans modeled.

5 And he did the best he could to
6 reconstruct it. The whole story is told
7 there. But in the end for a very serious
8 scenario, we're talking about these explosions
9 of thorium and uranium where the dust loading
10 in theory could have been pretty high --

11 **DR. NETON:** I'm not sure I buy it. We can
12 talk about the technical aspect of --

13 **DR. MAURO:** Yeah, that's up there,
14 absolutely. But for some short period of time
15 there are circumstances where a few grams per
16 cubic meter occur, but you can't stay there
17 very long.

18 **DR. NETON:** Not for five minutes.

19 **DR. MAURO:** I'm not, disagree with you.

20 **DR. NETON:** Well, let's say these numbers
21 are correct.

22 **DR. MAURO:** That's where I'm headed.

23 **DR. NETON:** I'm not saying they are, but
24 let's --

25 **DR. MAURO:** Let's say I'm headed. Right now

1 you look at Table 1, and just for the moment
2 let's postulate that it was possible that an
3 individual experienced the bone surface dose
4 of 12.7 rem dose commitment over one year.
5 I'm looking at Table 1 right now as being,
6 okay, because this person was involved in a
7 thorium blowout, we're saying here it's not
8 out of the question that he could have
9 experienced an internal dose commitment from
10 inhaling thorium as high as 12.7 rem in the
11 one year period following that acute exposure.
12 Now here's the tough question. What about it?

13 **DR. NETON:** Again, I brought this up. This
14 is an equivalent dose to bone surfaces. It
15 cannot be directly compared to an acute whole
16 body exposure to gamma radiation such as in a
17 criticality because these organs, you have
18 different risk factors depending on which
19 organs are irradiated.

20 And as you well know, the internal
21 dosimetry models allow for effective dose
22 equivalent calculations. Then you can compare
23 an internal dose to an external dose as far as
24 its risk. I'm not saying relative risk. I
25 don't want to get into risk numbers.

1 The weighting factor for bone
2 regulatorily today is 0.03. The current ICRP
3 model is 0.01. So all of a sudden that dose
4 becomes 1.2 or three or something like that.
5 Or not --

6 **DR. MAURO:** Is it possible that that
7 exposure could have resulted in, let's say
8 this exposure was not delivered over a short
9 period of time or was delivered over 250 days
10 instead. Is it possible if you were to run
11 IREP, you would come up with a positive
12 compensation?

13 **DR. NETON:** For that one year?

14 **DR. MAURO:** In other words if a person
15 experienced 12.7 rem over a 250 day period --

16 **DR. NETON:** No, probably not, and that's in
17 a one year period. But I think we should
18 avoid trying to do IREP runs because the whole
19 point is you can't estimate the upper limit,
20 and now you're bracketing upper limits using
21 risk models. The reason that the 250 day was
22 there and then we allowed for the criticality
23 is because in a criticality incident, at least
24 the thinking at the time -- and Ted's not on
25 the phone but he can correct me later if I'm

1 wrong -- was that that, in our mind at that
2 time, be an unambiguous event that would
3 unambiguously cause health endangerment if you
4 got into sort of deterministic effects from
5 that type of exposure. And that's what we had
6 in mind.

7 Otherwise, in between you have these
8 gradations that you can just never come to
9 some definitive conclusion one way or the
10 other. There are just too many gray areas
11 here. Again, you get into this I want to run
12 IREP. Well, could bone surfaces of 12.7 rem
13 cause, you know, a PC of greater than 50
14 percent?

15 **DR. MELIUS:** I think what John's trying to
16 get at is is there some way that we can use
17 some of these estimates in a way to decide is
18 it appropriate to consider. I mean, to me the
19 DTRA data would be more if it's all very low,
20 but then it would tell us, you know, let's not
21 pursue now. Again, the devil's in the
22 details.

23 **DR. NETON:** The problem you run into is
24 there are an infinite number of combinations
25 that one can run in IREP. You could never

1 cover all of them. So let's take a scenario
2 where you have the exact latency period that's
3 required, the exact age, early age at onset.
4 I mean, you could postulate in some instance
5 that, yes, maybe this could have endangered
6 just one example scenario's health because you
7 can get an IREP number over 90, over 50
8 percent.

9 I don't know if you could run all
10 those scenarios, if it would be possible to do
11 that. It wouldn't be defensible. Someone
12 could always come up with another scenario.
13 You couldn't run them all. All the central
14 organs, I mean, leukemia comes to mind for
15 bone doses. I don't know what the bone marrow
16 dose would be here, but that would be relevant
17 for leukemia. That happens to be one of the
18 lowest cancers, the lowest dose rate cancer
19 here.

20 **DR. MAURO:** I hear the challenges. What I
21 do is I put myself, if I were a worker at
22 Ames. I was involved in the explosion and
23 ten, 20 years later I do develop whether it's
24 a bone cancer or a lung cancer. And I would
25 say right now, me, as a health physicist, what

1 I know I say is it realistic to think that
2 it's possible that the exposure could have
3 caused that cancer.

4 And I would sit down, and I do dose
5 reconstruction for myself. And I'd try to
6 answer the question is this a plausible
7 scenario. And right now I haven't done the
8 calculation. In other words I think that the
9 fact that a person was there for less than 250
10 days, it's troubling to me that if he was
11 there for a longer period of time and got the
12 same dose that we just calculated right here,
13 he might have been compensated. But because
14 he was there for less, he's not.

15 **DR. NETON:** Well, I don't think this
16 particular number, the 12.7 number, would get
17 the person compensated.

18 **DR. MAURO:** Okay, well, that's important to
19 know. Because I know the assumptions Hans
20 made here. What he did is he made some --

21 **DR. NETON:** Three and a half grams of
22 uranium and thorium per cubic meter, by our
23 Bethlehem Steel analysis is not appropriate.

24 **DR. MAURO:** Remember the explosion scenario
25 he went through.

1 **DR. NETON:** The explosion --

2 **DR. MAURO:** He went back to the numbers from
3 Fernald.

4 **DR. MELIUS:** Well, let's do Ames as a
5 separate report.

6 **DR. NETON:** No, what I'm saying is we did an
7 entire analysis at Bethlehem Steel, and by Wes
8 Van Pelt's analysis, 300 milligrams per cubic
9 meter is as high as you could get a
10 sustainable cloud for a short duration, and
11 that's five or ten minutes. And I don't think
12 you could see through 300 grams per cubic --
13 you wouldn't see. My opinion is that they
14 would allow at least the stuff to settle
15 before they run them back in there.

16 **DR. MAURO:** In effect there would be an
17 explosion --

18 **DR. NETON:** If there would be an explosion,
19 and say, okay --

20 **DR. MAURO:** And you're going to have that --

21 **DR. NETON:** -- for the record.

22 **DR. MAURO:** -- for some short period of
23 time, and then it's going to settle in this
24 mess.

25 **DR. NETON:** But they're not going to rush

1 right in when it's three and a half grams per
2 cubic meter if they can't even see their nose
3 or hand in front of their face.

4 **DR. MAURO:** So you're basically taking issue
5 with it being more than five minutes. Maybe
6 it was 30 seconds.

7 **DR. NETON:** No, I think that these exposures
8 are probably ten times too high. I think they
9 wouldn't be working in scenarios, maybe three,
10 500 milligrams per cubic meter, maybe, for
11 five minutes. And so you're 10 times too high
12 for half of the dose. I think it may be
13 different equipment, but it doesn't happen
14 this way.

15 **DR. MAURO:** So what we're saying around the
16 table right now is that there may be a way for
17 us to agree on a scenario, dust loading,
18 associated with transients such as in
19 explosions, that we could all agree, yeah,
20 that seems to be a reasonable number.

21 **DR. NETON:** I mean, you've done a great job
22 here of suggesting that we can do dose
23 reconstructions, right? I mean, this is a
24 bounding dose reconstruction that you've got.
25 And I would suggest that if a person came into

1 our shop with a less than 250-day exposure and
2 said, hey, I've been involved with ^, we'd
3 probably reconstruct it. If there was
4 convincing evidence --

5 **DR. MAURO:** It's a paradox.

6 **DR. NETON:** I mean, if a guy comes in and
7 says I was exposed to an incident, we say
8 you're right. We can't reconstruct chronic
9 doses. We've already admitted that, but
10 you're right. There is this unique situation.
11 You were there for ten days, and we have the
12 data. Hans has done an excellent job
13 demonstrating that you can put some kind of
14 upper bound on this person's dose which is the
15 criteria for SEC.

16 **DR. MAURO:** Does that mean by definition
17 unless there's a criticality accident, these
18 people can't be compensated for that if --

19 **DR. NETON:** No, I think in the internal
20 world it's going to be pretty hard to show
21 that you can't do something with it.

22 **MR. ELLIOTT:** Well, we bound it. We have
23 the data from Y-12. We could bound that dose.

24 **DR. MAKHIJANI:** Hans has bounded the dose.
25 Hans has done a hypothetical calculation --

1 **DR. NETON:** That's what I'm saying. It
2 could be bounded given these -- I mean, it's
3 possible. It's possible to reconstruct a dose
4 from an incident like that.

5 **DR. MAURO:** Let me counter that. What Hans
6 said, listen, we don't know how often these
7 occurred so he picked one of them per month.

8 **DR. MAKHIJANI:** He found a lot.

9 **DR. MAURO:** And then he said, okay, and on
10 that basis we're going to assume a certain
11 percentage of them becomes air -- , but in
12 other words it's very, very hypothetical.

13 **DR. NETON:** The problem with that analysis
14 is that it's not consistent with the
15 urinalysis data that was collected by the ^.
16 I mean, we've got data on a bunch of workers,
17 and if this one-a-month scenario did occur --

18 **DR. MAURO:** Some of the would have the --

19 **DR. NETON:** -- the intakes are massive. I
20 mean, you're talking -- I don't know what it
21 was, gram quantities, 12 grams per year or
22 something like that, huge amounts. It's not
23 consistent with what you would observe in the
24 urine samples. That's a different issue. I
25 think we need to decide not whether this

1 happened at Ames, but if you had data like
2 this, what does it mean. And again I'm
3 suggesting that internal exposures to a
4 specific organ are not comparable to an
5 external whole body dose.

6 **DR. MAKHIJANI:** How about lung dose?

7 **DR. NETON:** A lung dose weighting factor is
8 0.12. So it's bigger, but the doses are
9 small, say, 69 rem to the lung over 30 years
10 in this particular scenario.

11 **DR. MAKHIJANI:** It's irrelevant in this
12 concept. I mean I --

13 **DR. NETON:** Because that was, well, as I
14 mentioned, the intent of having a criticality
15 incident as sort of the poster child for this
16 was that it would be unambiguous in almost
17 anyone's mind that health was endangered
18 because there would be more than likely
19 deterministic effects that would show up. So
20 the dose exceeds some thresholds.

21 In an acute shot you've got
22 deterministic effects. We don't know how high
23 that criticality could have occurred, but you
24 start to see deterministic effects. So it's
25 sort of an unambiguous end point, a litmus

1 test, if you will. When you get into internal
2 doses, these doses are delivered over a 30-to-
3 50 year period. It's an individual organ not
4 an external whole body, so the risk of
5 developing a health endangered situation is
6 much lower if you only irradiate only one or
7 two organs versus the entire body.

8 And that's the whole fundamental basis
9 of the ICRP-30 internal dose limitation
10 system. If an individual organ when it's
11 irradiated chronically over 50 years has a
12 much different level of health endangerment
13 than an acute shot of gamma to every organ in
14 the body.

15 **DR. BEHLING:** Can I interrupt for a second
16 here since we're already talking about doses
17 that I expressed in my report? And I do want
18 to make a comment. I was going to wait until
19 we came to the Ames report, but since we are
20 discussing I'd like to make some comments
21 here.

22 **DR. MELIUS:** Okay, Hans. You're on, Hans.

23 **DR. BEHLING:** Jim Neton's trying to
24 obviously establish parity. And no one is
25 saying that a criticality accident that

1 delivers an instantaneous dose, external, that
2 more or less uniformly irradiates all tissues
3 is the same as an internal dose that
4 selectively targets specific tissues.

5 On the other hand the common
6 denominator in an instantaneous exposure from
7 a criticality accident is that ultimately you
8 would end up having a potential risk of a
9 cancer. And on the basis of dose to that
10 tissue, you would say the criticality accident
11 would have contributed a 50 percent or greater
12 probability of causation.

13 In my case where I did develop certain
14 estimates of exposure dose from thorium or
15 uranium blowouts, I calculated a dose that was
16 contributed in the first five minutes as
17 opposed to the next 30 days from residual
18 activity -- and you can look at the numbers --
19 and for a five-year period of time-integrated
20 exposure to select tissues -- and I selected
21 bone surface and lung -- and you end up with
22 significant doses to those tissues.

23 Now if a person, a claimant, were to
24 come to you and say I was exposed for five
25 minutes during a blowout, and my lung dose

1 was, let's say, 60 rem. And you do a POC
2 calculation, it turns out to be greater than
3 50 percent. What are you going to tell him?
4 That you don't qualify? I mean, you can now
5 establish that in five minutes of exposure
6 time to the lung that particular dose
7 contributed to a probability causation that is
8 compensable.

9 And that is the area of parity. I
10 don't care. I don't want to necessarily
11 assign similarity or parity between a
12 criticality accident and an internal exposure
13 during which the dose is a long-term issue.
14 But what I want to show is parity between the
15 end point when a cancer does occur to either a
16 lung from an internal exposure or to an
17 external criticality accident that dose was,
18 in fact, a contributing factor greater than 50
19 percent, and thereby, establishes
20 compensability. That's the whole issue of
21 concern here.

22 **DR. NETON:** But I think you're missing the
23 point in the regulation that talks about
24 examples of what would constitute a class,
25 what would constitute granting a class of less

1 than 250 days, and the criticality example is
2 the one that's out there.

3 **DR. BEHLING:** Well, it's an example, but it
4 shouldn't be the only one. And I don't think
5 the regulations -- and I'm going to be
6 stepping on somebody's toe here by
7 interpreting regulations, but I will express
8 my opinion on that issue. I don't believe
9 that that example is necessarily one that says
10 anything else other than a criticality
11 accident will not be considered.

12 **DR. MAKHIJANI:** I'll agree. I mean, it
13 doesn't say that, the regulation itself
14 doesn't say that exposure has to be acute. It
15 says exceptionally high level --

16 **DR. NETON:** We agree with that.

17 **DR. MAKHIJANI:** -- such as nuclear
18 criticality accidents or other events
19 involving similarly high levels of exposure.
20 And if the whole program is about cancer risk
21 to organs, and that's how the whole program
22 was constructed. It's not constructed on the
23 regulatory idea that you've got to limit total
24 dose to five rem by making organ equivalents.
25 It's a different scheme of thinking.

1 **DR. NETON:** Well, not necessarily, Arjun.
2 But I still think you need to look at the, at
3 what you've done. You've done a calculation
4 for an incident here which I think is way too
5 high to begin with. But let's assume that
6 these numbers are valid for some scenario --

7 **DR. BEHLING:** Can we postpone that
8 discussion until we get to the Ames issue? I
9 just really intervened here in behalf of this
10 particular issue that tries to establish
11 parity between a criticality accident and
12 internal exposure. But I think let's try to
13 postpone the discussion for the Ames issue
14 until we get past the NTS issue.

15 **DR. NETON:** Okay, that's fine. But what
16 you're saying though is these high doses,
17 you've done a calculation that gives you a
18 high dose, and now you're suggesting that we
19 need to do an IREP run to say if it's greater
20 than 50 percent or not to establish a class
21 which by definition it says we can do the dose
22 reconstruction.

23 **DR. BEHLING:** Well, you can or you can't
24 because we don't really have full
25 documentation for these events. And as I

1 said, I think we're stepping, we're going
2 beyond the issue here because I think that'll
3 be part of my presentation when the Ames issue
4 becomes the topic. Right now I believe we're
5 still talking NTS. So if we could, I would
6 prefer to postponing this discussion.

7 **DR. MAURO:** Maybe we could craft the
8 question in a different way. What we're
9 really, to go back to where we began with NTS
10 was really do we believe, sitting around the
11 table, from looking at the compendium of
12 information that is before us that there could
13 have been some scenarios that took place at
14 NTS where, and we've identified four or five
15 different scenarios where we felt as health
16 physicists that those scenarios could have
17 been associated with relatively high internal
18 exposures.

19 And then we ask ourselves the
20 question, well, how do we get a handle on what
21 the scale of exposure that was. Was that a
22 rem? Was that ten rem? Was that a hundred
23 rem? And unfortunately, the answer is we
24 don't know from what we've done so far. And I
25 guess the question then becomes let's say we

1 were able to get a handle on that.

2 The fact that we could somehow get a
3 handle on the order of magnitude, a
4 sensitivity -- let's call it a sensitivity --
5 on the scale of the exposures to various
6 organs that could have occurred from internal
7 exposure for NTS, does that mean by definition
8 that we can reconstruct the doses? I would
9 argue no. I would say that all we could do
10 with that is to get a sense of the scale of
11 exposures.

12 And then I would say once we
13 understand this plausible scale that we would
14 all agree to it. Yeah, this is a plausible
15 range that some individuals might have
16 experienced, realistic scenarios, and given
17 that we agree that that was the scale of the
18 exposure, do we feel -- again collectively,
19 the Board feels -- that that type of exposure
20 is of such a, a potential exposure might have
21 occurred from those scenarios, is of such a
22 magnitude that it would only be fair to
23 compensate those people even though that
24 exposure may have only occurred under a one
25 day --

1 **DR. NETON:** How do you make that
2 determination?

3 **DR. MAURO:** Well, that's where we're trying
4 to get to.

5 **DR. MELIUS:** But when you said we -- first
6 meeting or we decided we couldn't come up with
7 criteria. You know, we started thinking this
8 sort of hypothetically. So let's look at some
9 examples. And I think what John's proposing
10 is let's, you know, here's another way of
11 estimating these exposures, what we're going
12 to do. Call that and would it be useful to do
13 that? To me it would be useful to do.

14 **DR. NETON:** I still say you need to come up
15 with a dose. What you're saying is you want
16 to come up with a dose at which health was
17 endangered, and I don't know that you can do
18 that.

19 **DR. MAURO:** Let's say right now that I -- a
20 hypothetical -- let's say I come up with a
21 hypothetical that says, you know, I could
22 postulate a scenario where the dose to some
23 organ is on the order of 20, 30 rem, a dose
24 commitment, 50-year dose commitment, on that
25 order, from plausible scenarios that we went

1 over. That is a plausible scenario.

2 At that point from the work we did on
3 criticality, we're starting to fall in the
4 range that from looking at the experience with
5 criticality, if you remember, there was a
6 distribution that went to a fraction of a rem
7 to hundreds of rem. But there was also a
8 general sensibility that once you start to
9 move above ten rem and moving to a hundred
10 rem, we're getting into the realm where I
11 think there's general consensus, yeah, that's
12 the scale of exposures that one would start
13 thinking about as being within the realm of
14 what a criticality would be notwithstanding
15 whether it's internal or external. That's
16 another debate.

17 But those kinds of doses, so I'm
18 saying that we did make some progress on
19 coming up with a general sense of where we are
20 when things start to get serious. So I think
21 with regard to criteria, I think there's been
22 a general sense. I get that feeling.

23 **DR. ZIEMER:** John, when you're using those
24 numbers for criticality, you're also talking
25 about acute exposure. A 25 rem, you know, the

1 blood changes or something like that, the
2 deterministic effects, very hard to compare
3 that with a 25 rem exposure or committed dose
4 to internal for any organ.

5 **DR. MAURO:** I agree. I agree.

6 **DR. ZIEMER:** So I'm not sure how you make
7 that comparison. Even if we agree on a number
8 like what's a biologically effective number
9 that we could use. If you're comparing acute
10 doses associated with criticalities, it is
11 very difficult.

12 **DR. MAURO:** Let me say what I thought I just
13 had. If a person's exposed acutely to
14 external radiation to, let's say, ten rem from
15 a criticality event, or let's say 50 rem, to a
16 criticality event, and the exposure is whole
17 body. But the cancer that you're about to
18 compensate him for is the cancer to his liver.
19 So in effect you're reconstructing the dose to
20 the liver and then predicting the probability
21 of causation. How does that change anything?

22 **DR. NETON:** One is an a priori. One is an a
23 posteriori calculation.

24 **DR. MAURO:** Given that the cancer existed.

25 **DR. NETON:** What the chance, even if the

1 cancer exists, what's his chance versus what
2 the chance the cancer would develop given this
3 exposure.

4 **DR. MAURO:** Let's say we have a person that
5 has the liver cancer, and he applies, he
6 claims that my liver cancer's due to the
7 exposure I experienced while working at this
8 facility. Now we see this very same person.
9 Now in one scenario that person was exposed
10 chronically to external uniform exposure for
11 ten years, and you do his dose reconstruction.

12 Then I say, but wait a minute, that's
13 from external exposure but is the dose
14 delivered to the liver over that time period?
15 And you do the calculation and you compensate.
16 I'm just using that hypothetical.

17 Now the very same person but his
18 scenario's different. His dose to the liver
19 that was delivered to him over that same time
20 period was not from a chronic uniform whole
21 body exposure. It was from a one shot intake
22 that deposited a certain amount of
23 radioactivity in his liver that delivered a
24 dose to the liver internally from that
25 radionuclide over a ten year period. To me --

1 **DR. NETON:** The risk is going to be
2 different.

3 **DR. MAURO:** Oh, I agree the risk will be
4 different, but --

5 **DR. NETON:** The risk is proportional to
6 where it occurred --

7 **DR. MAURO:** Right, where --

8 **DR. NETON:** -- period and the age and
9 everything.

10 **DR. MAURO:** And now it may turn out that
11 that, the way in which the dose was delivered
12 in the case of the acute exposure given the
13 latency may actually be worse for the acute
14 short term because of the latency.

15 **DR. NETON:** The longer the latency the
16 better.

17 **DR. MAURO:** No, no, remember, there's a
18 period where there's dead time. In other
19 words here's the diagnosis. There's a certain
20 number of years before that where that dose
21 doesn't contribute to that.

22 **DR. NETON:** So for an acute exposure you get
23 the maximum effect because you've got a ten
24 year latency.

25 **DR. MAURO:** You've got it.

1 **DR. NETON:** For the chronic exposure half of
2 the dose isn't even going to count because of
3 that minimum five year window.

4 **DR. MAURO:** You see what I'm getting at is
5 that, the point I was trying to make with
6 regard to what Paul has just said was that
7 we're still dealing with ultimately the dose
8 to an organ. So it's --

9 **DR. NETON:** But the instantaneous delivery
10 of the dose is going to be your maximum risk
11 because let's say the cancer occurred ten
12 years, the maximum risk is conferred in ten
13 years.

14 **DR. MAURO:** I agree with that.

15 **DR. NETON:** If you've got a dose, let's say,
16 uniformly delivered over ten years, almost 50
17 percent of the dose is going to be assigned a
18 risk of almost zero. It's not a zero, but it
19 approaches zero. It approaches zero.

20 **DR. MAURO:** So there's a window of time
21 before the diagnosis that the --

22 **DR. NETON:** The count essentially.

23 **DR. MAURO:** -- it's really not going to
24 contribute. So I agree with that.

25 **DR. BEHLING:** John, can I interrupt here? I

1 mean, this is again a discussion that leads
2 nowhere. And I don't want to say that a time-
3 integrated dose is equal to an acute single
4 dose, but what if the time-integrated dose is
5 three, four times the acute dose but in the
6 end based on IREP calculations, ends up with a
7 same probability of causation that exceeds 50
8 percent?

9 **DR. NETON:** You're right, Hans, but where,
10 the question I've been raising is where do you
11 draw that line? What is that dose?

12 **DR. BEHLING:** You let IREP do that
13 calculation for you. That's what we use when
14 we do IREP calculation, and it involves an
15 internal exposure. I mean, that's currently
16 part of our scheme for doing dose
17 reconstruction. We integrate internal
18 exposure along with acute external exposure --

19 **DR. NETON:** Hans, wait. From what scenario
20 though? See, you've got a calculation here
21 where you've done this. But now let's take
22 another scenario where, I could tell you what
23 the smallest amount of dose is going to be.
24 It's going to be a likely leukemia that
25 occurred like two-to-three years after

1 exposure. You can get down into the sub-rem
2 range. People have been compensated in this
3 program for 750 millirem exposure for leukemia
4 that occurred just at the right time.

5 So now you're in a situation where you
6 say, well, gee, there's a scenario. So then
7 by definition almost everybody is in for
8 presence. I would suggest that 750 millirem
9 which is -- I know we're not supposed to talk
10 regulatorily though -- which is a very small
11 fraction of the current regulatory limit, is
12 not the appropriate metric. And you can do
13 that.

14 You can come up -- so you're always
15 going to be challenged. You can come up with
16 these scenarios and say, yes, this is good,
17 but then every other scenario, if you have to
18 evaluate it on the same, through the same
19 lens, is going to be challenged because you
20 can always come up with a lower dose scenario
21 that should, say, be based on presence.

22 **DR. MAKHIJANI:** Well, part of the problem I
23 think is with, we have to decide, the Board
24 has to decide in considering this is whether
25 health endangerment has something to do with

1 cancer risk.

2 **DR. BEHLING:** Can I also add, give you one
3 more tidbit to think about, and let's just get
4 away from the notion of criticality versus
5 internal exposure that has a chronic
6 integrated dose associated with it. Let me go
7 give you one example. Let's assume, let's go
8 back, and I really didn't want to discuss it,
9 but we're on this discussion and so let me
10 give you an example to think about.

11 You have two people at Ames working
12 there. One person who ends up being exposed
13 to a blowout for which we can document the
14 exposure, and he worked there for 251 days.
15 And on the basis of that one single blowout
16 exposure we calculated dose to his lung or the
17 bone that ultimately translates to a dose that
18 is compensable.

19 The second person was there for one
20 day, same dose, same exposure, but he was
21 there for one day only. Are you going to tell
22 that guy who was there during the blowout for
23 one day that he has no business filing a
24 claim, and the other guy does get compensated
25 because he happens to spend 250 additional

1 days with no additional exposure? Is that
2 what we're talking about?

3 **DR. NETON:** You acknowledge that we can't
4 estimate the dose for the guy that worked
5 there 251 days. That was the whole point of
6 the SEC.

7 **DR. BEHLING:** Well, that's exactly right,
8 but we also realize that a single exposure can
9 translate to a dose that would otherwise be
10 compensable had we monitored these
11 individuals. And the truth is at Ames we know
12 blowouts occurred routinely, and we also know
13 that they were not documented, and there was
14 no monitoring data.

15 And that's the whole concept here for
16 discussing these doses. They're hypothetical,
17 but they are obviously in a situation where we
18 have no documentation when they occurred and
19 who was there. And it's clear that a single
20 exposure to one of these events would, over a
21 very short period of time of exposure, the
22 first five minutes, translate to a dose that
23 if that particular tissue was the cancer of
24 concern, the bone or the lung, would translate
25 into compensable dose and a claim.

1 **DR. NETON:** If you believe you can get 3.5
2 grams of uranium and thorium in the air.

3 **DR. MAKHIJANI:** Apart from that.

4 **DR. NETON:** Well, that's what you're saying,
5 that these doses are not --

6 **DR. MELIUS:** Let's go back because we're
7 going around in this, and I don't think we're
8 moving forward here at all. And I think we've
9 already decided, my premise is we've already
10 decided that we're not going to be able to
11 just develop a criteria and then go back. We
12 need to work through some examples and
13 determine, in some actual situations to
14 determine if this is something, you know,
15 that's appropriate to address in some way and
16 then how to do that.

17 And then admittedly we then have to
18 come up with some criteria at some point. But
19 I think we decided last time that those
20 criteria would be easy to develop if we had
21 particular situations that we needed to
22 address with this. And I'd like to go back to
23 the NTS report because I think that's where we
24 need to decide how to move forward and because
25 we're going to be in Nevada in about six

1 weeks. So we're going to be asked about this
2 issue.

3 And I guess I was intrigued by John's
4 suggestion of DTRA. And I realize that we're
5 also in this funny situation because we sort
6 of rejected the DTRA approach as a basis for
7 going forward originally with the SEC because
8 it wasn't ready to review at the time. I'm
9 not even sure what the status is of their
10 methodology.

11 Is that correct? Is my recollection -
12 -

13 **MR. ELLIOTT:** It hasn't been validated yet.
14 We haven't seen a report of validation.

15 **DR. NETON:** I'm not sure the resuspension
16 stuff is done.

17 **DR. MELIUS:** Okay, okay, I'm just saying
18 it's another issue. But to me to move forward
19 what John was suggesting would be useful in
20 the sense of if it comes out low then will we
21 be in a position then for some reasonable
22 number of scenarios or whatever to dismiss the
23 internal as a significant issue we have to
24 worry about for the less than 250 days?

25 **DR. MAURO:** The benefit of that is we're not

1 forced to come up with criteria. Or we can
2 agree this dose is relatively low, and we're
3 not saying what the criteria is. All we know
4 is to say this is low. Otherwise because try
5 to find a bright line.

6 **DR. NETON:** What's low?

7 **DR. MAKHIJANI:** Well, that's the problem I
8 think. You know I think if you take, Jim,
9 description at his word that people that have
10 been compensated for leukemia, then I think
11 the basic question, Jim, is are we discussing
12 a criterion that's related to cancer risk or
13 not? Is health endangerment to be linked to
14 cancer risk? Because otherwise you can
15 compile a lot of examples, and you won't be
16 able to draw a conclusion from it given Jim's
17 description of what has to be compensated in
18 this program.

19 **DR. MELIUS:** I mean, I think we can make
20 some judgment that it's not near --

21 **DR. NETON:** Criticality.

22 **DR. MELIUS:** -- criticality. It's a lower
23 level that we're considering, and we'd have
24 some more ^. Now if it turns out that it's
25 higher, then I think we then have to wrestle

1 with the issue of where's the line, but that's
2 it. The problem is that the way we've
3 constructed this law, the program, the
4 regulations this doesn't give us that bright
5 line and it makes it difficult. And I think
6 the question that we're trying to get at is
7 there are going to be situations where we're
8 going to have to go forward and address that
9 line in some way and come up with a criteria.
10 And so far I think for the most part we're
11 saying no, but let's satisfy ourselves to
12 that. To me on Nevada Test Site there's a
13 sort of two issues. One, is there any
14 significant internal dose in these situations?
15 And to me the DTRA model would provide us with
16 some information that would be useful. I
17 think the second issue that we really haven't
18 discussed here, maybe we have in the past, is
19 are we confident that the external doses for
20 these people can be reconstructed. And I
21 think, Jim, you've made some statements that -
22 - in fact, I don't have any basis for doubting
23 you or not doubting you about -- but I think
24 that's the second --

25 **MR. ELLIOTT:** The class was added because we

1 couldn't do internal.

2 **DR. MELIUS:** Right.

3 **DR. NETON:** We made statements that we could
4 reconstruct external.

5 **DR. MELIUS:** So I guess my question to
6 people, do we need to re-examine that issue at
7 all for any of these cases or any scenarios.

8 **MR. ELLIOTT:** Well, it goes to why the class
9 was established. If there's an instance where
10 we say we can't reconstruct external dose for
11 the class and 250 days comes to play, then
12 it's the external dose that's at issue.

13 **DR. NETON:** Or we could always add
14 additional classes because I think that's what
15 this effort tried to flesh out. Are there
16 classes out there that should be added based
17 on presence that we can identify and say, yes,
18 there are these pockets of workers that, you
19 know, let's take Hans' example at Ames. I'm
20 not saying it should be done, but blowout, for
21 people of all the blowouts at Ames. I mean, I
22 don't know.

23 If that were to be added that would
24 have to be evaluated as a separate class for
25 instantaneous presence, not part of the class

1 for these chronic 250-day exposures that we
2 all agree we can't reconstruct. Now we've got
3 another pocket of workers that we're looking
4 at, and we're saying, well, maybe there are
5 these isolated pockets. And unfortunately, I
6 think those do have to be evaluated one by
7 one.

8 **DR. MELIUS:** Then the question is how do we
9 evaluate them one by one? I mean, it's as
10 difficult as if there were thousands of them
11 in that situation --

12 **DR. NETON:** It's essentially another SEC
13 evaluation for each site. If someone wants to
14 suggest and file a petition and say I think
15 blowout workers or NIOSH could self identify
16 through the 8314 process. But I'm saying some
17 class of workers who were involved in these
18 high exposures, it would be another SEC
19 evaluation, evaluation reports and be
20 evaluated in that light.

21 **DR. MAURO:** Isn't that what we've been
22 doing?

23 **DR. NETON:** Well, no, not formally. I mean,
24 this is what we're trying to do is establish
25 what the mechanism is --

1 **DR. MAURO:** The science we've been doing
2 though is going toward that question.

3 **DR. NETON:** True, true, that's true.

4 **DR. MAKHIJANI:** Yeah, initially we tried to
5 establish a general bright line criterion, and
6 the working group decided that that was not
7 going to go places which is what we found out
8 in these examples. I mean, I understood that
9 the idea of these examples was along the lines
10 that Jim was just talking about which was you
11 have to establish some class of people who
12 were exposed to discrete incidents.

13 But when you strip everything else
14 aside, what we're talking about is a discrete
15 incidence piece of the regulation, forgetting
16 the high, the low, the risk. Were there
17 things that you can identify as discrete
18 incidents that people were exposed to? And
19 then you'll be, maybe the simplest way to
20 think about it might be were there situations
21 in which people were exposed to discrete
22 incidents and just identify that.

23 **DR. NETON:** Through the SEC process can you
24 bound that dose, yes or no, and if you can't,
25 was health endangered? See there's two tests

1 to be applied here then. And so now that
2 you've identified the discrete incident
3 population then the SEC evaluation would have
4 to say can I do a dose reconstruction for
5 these incidents, yes or no. Can I bound this?
6 And if you can't, then you end up at this test
7 of, well, was it a degree of exceptionally
8 high exposure. That seems to me the way to
9 process this.

10 **DR. MAURO:** I think we're going that road,
11 but it's been difficult. On NTS we did
12 identify what we believe to be conceptually
13 discrete incidents. These early entry people
14 are a perfect example. But then we run into
15 this brick wall. What kind of exposures? Can
16 we reconstruct it? And the answer is, well,
17 not really.

18 We really are not in a position to be
19 able to do a good job in reconstructing those
20 people that might have been exposed to the
21 early entry internal exposure. But then we
22 have to ask ourselves, well, okay, so can we
23 say something about whether or not health was
24 endangered.

25 And we're agreed that, well, we don't

1 really know. We don't know the magnitude of
2 the exposures. And even if we did have some
3 sense of the magnitude of exposure, not that
4 we can reconstruct it for that person, but add
5 some sense to the magnitude of the exposure by
6 some handle.

7 Then we're at the dilemma, well, okay,
8 now we know the magnitude that might be
9 plausible. Is that compensable under these
10 criteria or comparable to criticality? So I
11 mean, we've got all these hurdles in front of
12 us.

13 **DR. NETON:** But see, unfortunately, that
14 last piece, you almost have to do a dose
15 reconstruction to know that.

16 **DR. MAURO:** But see, that's where I
17 disagree. I mean, what I'm saying is I'm not
18 saying we're doing a dose reconstruction. I'm
19 just saying that what are the scenarios where
20 people could have been exposed for short
21 periods of time to relatively high internal
22 exposures. And I think the answer is perhaps,
23 yeah, because we understand the helicopter
24 taking off or a truck driving a couple, few
25 hours after a fallout incident at Nevada Test

1 Site. Yeah, you know, we can visualize this.

2 But then what we really don't know is
3 what kind of magnitude of exposure. Now for
4 any individual I would argue that you really
5 can't predict a dose to any individual because
6 you don't know exactly what puff he was
7 exposed to. But for that kind of scenario I
8 would say that, well, we probably could start
9 a place, get a sense of what that exposure
10 might have been. Does that serve us well to
11 have an appreciation of what that might be?
12 Does that mean we could place an upper bound?
13 I would say I'm not quite sure.

14 I think if we can get a sense, and if
15 it comes out to be ten rem, 20 rem, 30 rem to
16 some organ, it's very similar to what Hans
17 did. What in effect happens here if you think
18 about is Hans went ahead and did such a
19 scenario. He said, yes, there were scenarios.
20 We did do reconstruction, and this is what he
21 came up with.

22 Now we're really discussing right now
23 could we do something similar to that only at
24 the Nevada Test Site by somehow taking
25 advantage of DTRA work. Not that we're

1 reconstructing the dose to an individual just
2 like Hans would be the first to admit he's not
3 doing a dose to an individual. He's just
4 saying what kind of doses could have occurred.

5 **DR. NETON:** See, I think that's where we
6 could use the DTRA stuff. I do agree with
7 that. And we said we couldn't use the DTRA
8 stuff to do, an accurate dose reconstruction
9 to a person with sufficient accuracy.

10 **DR. MAURO:** I will agree.

11 **DR. NETON:** It doesn't mean it couldn't be
12 used to establish some -- I don't want to say
13 bounding -- some --

14 **DR. MAURO:** Sensibility.

15 **DR. NETON:** -- order of magnitude level --

16 **DR. MAURO:** Just millirem or rem?

17 **DR. NETON:** I think that has some merit.
18 I'm still not sure where you go once you come
19 to the ^.

20 **DR. MELIUS:** I think we may be back in the
21 same thing, but I don't see any other way --

22 **MR. ELLIOTT:** Actually, I think you will be.
23 What dose triggers health endangerment?

24 **DR. MELIUS:** Well, it may be able to either
25 say --

1 **DR. ZIEMER:** Jim, could I add a comment
2 here?

3 **DR. MELIUS:** Sure, go ahead, Paul.

4 **DR. ZIEMER:** This is Ziemer. In part I
5 think we have to return to the 250 day itself.
6 I think everyone would agree that it's
7 entirely possible even once you establish an
8 SEC class that there could have been someone
9 there 249 days, just like someone who was
10 there 250 days, who in a sense could have been
11 endangered.

12 And if you say, okay, 249 days, that's
13 probably true. What about 248, 247? I think
14 what we're, we end up living with a somewhat
15 arbitrary division line which got established
16 through the original process and had some
17 basis in congressional intent I would think.
18 That although it appears arbitrary, it sort of
19 speaks to the probability that the longer the
20 person worked there, the more probable it is
21 that there was health endangerment.

22 And somewhere along the line someone
23 had to say, well, okay, does that occur after
24 a month, after a year, after five years.
25 We're living with one working year essentially

1 I think is what the 250 days is. And I think
2 you can always think of a scenario where
3 someone could have had a combination of
4 exposures and the right cancer and so on where
5 they were endangered, and it's not going to be
6 covered.

7 But the probability of that occurring
8 is not so high as long as you select that
9 line. The 250 days is one of those lines.
10 It's just a criteria. It's not going to take
11 care of every person, but perhaps the majority
12 of them.

13 **DR. MELIUS:** Yeah, but, Paul, that's not --

14 **DR. ZIEMER:** That's sort of a framework that
15 I'm saying that I don't think we can ever
16 think of a situation where we're going to
17 cover all of these. Any criteria we come up
18 with someone can think of some event that will
19 give you a condition where perhaps someone
20 should have been compensated, but they won't
21 be.

22 **DR. MELIUS:** We understand, Paul, but I
23 think our focus has never been should 250 days
24 be changed to --

25 **DR. ZIEMER:** Oh, no, no, I'm not suggesting

1 got to be there for 60 minutes or whatever
2 because that wouldn't have worked. So the
3 focus is on the acute incident, and
4 criticality as we discussed is sort of a broad
5 range of exposures and so forth. So we've got
6 to try to figure out is it appropriate to
7 compensate some of these people. And Hans
8 related these acute incidents.

9 And to me I think the best way forward
10 I can see on the Nevada Test Site issue is
11 looking at the DTRA thing. And I don't see
12 any downside to that other than that we don't
13 have a line to measure it against, but we're
14 never going to have that. At least we haven't
15 been able to come up with it so far.

16 So it makes sense as a way of moving
17 forward and understanding this and say that,
18 look, anybody in these incidents we can
19 reconstruct external exposures based on the
20 information we have and that we have good
21 evidence and a range of incidents that we've
22 looked at that the risk for their internal
23 exposures would be very, very low or whatever
24 you want to say. Then I think we have a way
25 of moving forward at least on that example

1 that won't deal with the other situations, to
2 that. And it may not be what we'll find or
3 maybe it narrows down the types of incidents
4 or situations we look at in some way.

5 So since I promised to break at 11:30,
6 should we take a ten-minute break? And then
7 we'll come back and we'll focus on the Ames
8 report.

9 **DR. ZIEMER:** Well, have we got everything we
10 need out of this one?

11 **DR. MELIUS:** Well, I'm going to give
12 ourselves ten minutes to think about that, and
13 then --

14 **DR. MAKHIJANI:** I might suggest that -- I've
15 been kind of flipping through these claims to
16 see if there's another one that would be
17 useful to consider. The case of the worker
18 that has a very high external gamma dose, 18.5
19 rem actually, from a single year and then
20 there were doses in other years. And there's
21 quite a description of the activities at the
22 test site as a coworker --

23 **DR. MELIUS:** Can you give us the number?

24 **DR. MAKHIJANI:** It's section number 3.4.3.
25 It's on page 31 of the report.

1 **DR. MELIUS:** We don't have page numbers,
2 Arjun.

3 **DR. MAKHIJANI:** I'm sorry. I will change
4 all that.

5 **DR. MAURO:** About two-thirds of the way
6 through the report.

7 **DR. MAKHIJANI:** If you look at the dose
8 table it seems that he had a quite a few
9 significant exposures until 1956 at least.
10 And then he describes being involved in
11 working in a number of bomb tests in each
12 operation. So he worked in five different
13 operations. He worked in several bomb tests
14 in each operation. And he had a coworker who
15 received quite a high dose, a higher dose than
16 what he says he received. And his coworker
17 died three weeks after this incident. I'm not
18 clear on which, this incident in 1956.

19 **DR. NETON:** There's a whole report on that
20 at the very end here. The attachment details
21 the entire incident ^ tables.

22 **DR. MAKHIJANI:** And I agree. This is one of
23 the cases where we actually have an incident
24 report. So it's worthwhile considering
25 whether this would be -- the only reason I'm

1 calling attention to this is it's worthwhile
2 considering whether this might fit the
3 definition of a discrete incident for a person
4 just on the basis of external exposure and
5 whether there might be internal exposures
6 associated with it that might complicate it.
7 I just wanted the group to consider it because
8 it's the extreme case in this site.

9 **DR. MAURO:** But no handle on internal dose?

10 **DR. MAKHIJANI:** No.

11 **DR. NETON:** I don't recall if that incident
12 really involved much internal dose at all. It
13 had to do with pulling a cable and retrieving
14 some instrument package. I mean, there might
15 have been some, but --

16 **DR. ROESSLER:** You have urinalysis. If I'm
17 on the right page which is under 3.4.3 and
18 there's some urinalysis results.

19 **DR. NETON:** Of course, we don't know what.
20 DPM of what?

21 **DR. MAKHIJANI:** Yeah, actually I noticed
22 that.

23 **DR. NETON:** We probably can figure that out.

24 **DR. MAURO:** No, it actually says in the text
25 claimant had four plutonium urinalyses.

1 **DR. NETON:** Four plutonium. Those are not
2 trivial numbers for plutonium.

3 **DR. MAKHIJANI:** And then here we actually
4 have a quantitative example to deal with as to
5 whether he would qualify or not. Presumably
6 if we calculated a lung dose for that person
7 it would be quite high.

8 **DR. NETON:** I guess this is an example of an
9 incident where we know, we have the
10 information. Then I guess the question is are
11 there other incidents like this that we don't
12 have --

13 **DR. MAKHIJANI:** Right, there are a number of
14 cases, this is interesting because we have a
15 report, and there are a number of cases where
16 people, where there are no reports and people
17 have said there are incidents. And so there
18 is the additional complication other than the
19 bright lines and where you draw the line of
20 what you do when you don't have information
21 other than the statements.

22 **DR. MELIUS:** But what I was going to propose
23 for going forward we do this DTRA exercise.
24 The DTRA exercise ought to consider a number
25 of these types of incidents. That would be

1 one, or scenarios. I guess we call them
2 scenarios. And what I was going to suggest is
3 that SC&A propose some, propose how you would
4 approach that. What would be the scope and
5 types of incidents that you would look at with
6 the DTRA thing. Or it may be that you'll have
7 to look at what's available from DTRA also.
8 But we circulate that and come to some
9 agreement that we think it will be useful to
10 do.

11 **DR. ROESSLER:** We still come down to, once
12 we have that, what are the criteria for health
13 endangerment. And I think we still get back
14 into how do we evaluate it. Is that health
15 endangerment determined by what we know
16 scientifically, epidemiologically, or in
17 equity is it compared to the people who are
18 actually being compensated? And that's what's
19 tossed around in my mind is how do we
20 determine the health endangerment?

21 **DR. MAKHIJANI:** That might be a useful
22 criteria though.

23 **DR. ROESSLER:** Which one though?

24 **DR. MAKHIJANI:** How do these kind of
25 situations, maybe it's a situational analysis

1 that you want compared to people who would be
2 compensated. I don't know.

3 **DR. MAURO:** In other SECs that question is
4 answered all the time. In other words
5 whenever a judgment is made, one of the
6 criteria, two big ones, can you do it and the
7 answer is no, if it's no. Second, is there
8 reason to believe there was health
9 endangerment and then the answer is yes. And
10 so some place along the line someone is making
11 that judgment.

12 **DR. NETON:** That judgment is made because
13 you can't bound the dose. But in fact that's
14 just a criteria right there.

15 **DR. MAURO:** Isn't that the first one? I
16 guess I felt as if the first one was --

17 **DR. NETON:** There really is just one.

18 **DR. MELIUS:** There really is just one, and
19 it's for the class, and it's a distribution.
20 And I think we recognize that if we could, if
21 we try to look at it in the same way we're
22 talking about now that there'd be some that
23 may be on the lower part of the curve and some
24 people on the upper part. But we're
25 recognizing that there's no way we can tell

1 where people go on that curve. And that's the
2 interpretive informational aspects of it.

3 So it's hard. I mean, I don't think
4 we're trying sort of avoid the issue. It's
5 just a hard issue to get at, and we're trying
6 to be fair to people exposed in these acute
7 incidents. I don't have any, maybe not smart
8 enough to think of another way of doing it
9 other than let's look at the way, may make it
10 easier, we hope it makes it easier to figure
11 out where a line is or what's appropriate, but
12 it may not. We don't know, but I think at
13 least it gives us some harder information,
14 some better information to think about.

15 **DR. MAURO:** We do have this one case, and
16 I'd have to say I'm very glad you pointed this
17 one out where you have some bioassay data
18 which can readily be converted into what kind
19 of dose --

20 **DR. NETON:** I was going to say we probably
21 have a dose reconstruction but then I realized
22 he's in the SEC.

23 **DR. MAKHIJANI:** Most of these people are in
24 the SEC because they didn't work less than 250
25 days. They're just describing their incidents

1 just from the theoretical point of view of
2 doing a situational analysis of why --

3 **DR. NETON:** Right.

4 **DR. MAURO:** It's a scale question.

5 **DR. NETON:** Yeah, you get a feel.

6 **DR. MAURO:** We'll get a feel. This is the
7 dose his lungs could have experienced.

8 **DR. NETON:** I predict there'd be some pretty
9 significant doses here. But were those doses
10 accrued over an, if those were accrued over a
11 four-year period so was that an incident or
12 not? Those would probably be models of a
13 chronic exposure scenario. I mean, that's
14 what we would do. If he got four years,
15 actually, this is two years' worth of doses.

16 The other issue, and I know it's
17 probably not relevant because Arjun always
18 says it isn't, but the probable implications
19 of this, I think -- I've forgotten this number
20 exactly -- but there's something like maybe 60
21 people out of the eligible population are in
22 the SEC to have less than 250 days. And then,
23 I don't know what that means --

24 **DR. ZIEMER:** At NTS?

25 **DR. NETON:** Yeah, I think it's, there was

1 something around 60. That's my recollection.

2 **DR. MAKHIJANI:** Well, you could define it
3 also as a discrete incident and add them.

4 **DR. MELIUS:** But also even that's sort of a
5 biased number in the sense that people, I
6 think everyone knows it's 250 days the way DOL
7 counsels people when they apply and so forth,
8 we tell them it's more likely if you work
9 there longer. And I think our selection of
10 NTS wasn't based on the fact that it was 60,
11 but it seemed to be the kind of incident we
12 needed, the kind of scenarios that we needed
13 to look at.

14 **DR. NETON:** But would it not be more
15 informative maybe to look at the types of
16 exposures for people who were involved at the
17 plant less than 250 days? If they were truly
18 involved in these type of, because most of
19 these people are not in the SEC as Arjun just
20 pointed out. These are long-term workers who
21 were doing some --

22 **DR. MAURO:** That's a good point.

23 **DR. NETON:** -- pretty routine work with many
24 opportunities to get involved in incidents.
25 Now you take these 60 people that were

1 probably short-term contractors doing specific
2 things, and I recall looking at them building
3 structures and doing things, coming in and off
4 the site, are those people really likely to
5 be, or are we biasing our results by looking
6 at --

7 **DR. MAURO:** It was my understanding though
8 that the short-term people were very often
9 were the people that came in for particular
10 tests. They may have actually come from other
11 sites to come in to support a particular test
12 for --

13 **DR. NETON:** But were they not the workers
14 who were doing the actual chain pullings and,
15 you know, I don't know.

16 **DR. MELIUS:** I don't have any objection to
17 doing that, but remember again, we just looked
18 at these because we wanted the scenarios.

19 **DR. NETON:** Right, and clearly this has
20 demonstrated the potential here.

21 **DR. MELIUS:** Would it hurt to do that, those
22 60? I don't think so, but I'm not sure.

23 **DR. NETON:** I'm just concerned that if we
24 came up, you know, this particular person was
25 a long-term worker, are the people there less

1 than 250 days really involved in these type of
2 activities? That's the question.

3 **DR. MELIUS:** Then let's do it in parallel.
4 Let's do the DTRA effort and then do that at
5 the same time because that may help us in
6 saying, well, practically, we don't have to --

7 **DR. NETON:** (Unintelligible)?

8 **DR. MELIUS:** Yeah.

9 **DR. MAKHIJANI:** Well, maybe NIOSH should
10 pull those.

11 **DR. NETON:** I already have. We had them on
12 the O drive a long time ago.

13 **DR. MAURO:** And you have this person's story
14 so we get a sense of whether --

15 **DR. NETON:** We could look at the, I mean, I
16 don't know if it's exactly 60 -- don't quote
17 me on that number, but look at the population
18 of workers with less than 250 days just to see
19 if there's some that stand out.

20 **MR. ELLIOTT:** Are you applying this based on
21 if they lived there though?

22 **DR. NETON:** Actually, you're right. It's 83
23 days, isn't it? If they had lived, had, not
24 permanent residence but continuous residence
25 during their --

1 **MR. ELLIOTT:** They would only have to spend
2 83 days by definition.

3 **DR. NETON:** But I think it would behoove us
4 to look at those cases a little bit.

5 **DR. MAKHIJANI:** So NIOSH is going to do
6 that?

7 **DR. NETON:** Yeah, I mean we'll pull them
8 out. I think I've already got them summarized
9 at one point. In fact, I'm very sure I
10 presented this at some point, but I'll dust
11 those off and see --

12 **MR. ELLIOTT:** In this scenario you're still
13 trying to answer the 250 day issue but only
14 looking at 83 days. They kept those folks
15 out.

16 **DR. MELIUS:** We really are looking at the
17 one day. Back to Paul's point, we only really
18 care about the one day. I mean, Jim's point
19 is that let's look at the people 250 days and
20 see how many of them have these one day --

21 **DR. NETON:** Yeah, are there stories like
22 this permeating throughout these 60, and there
23 may or may not be. I don't really recall.

24 **DR. MAURO:** And that would solve the NTS
25 question. In other words it wouldn't solve --

1 **DR. NETON:** As we said our case is going to
2 come in the door. We do have to solve it
3 universally, but I still think the form is to
4 look at what we have in our hands and say...

5 **DR. MELIUS:** Now we will take the ten-minute
6 break.

7 **DR. ZIEMER:** How long is the break?

8 **DR. MELIUS:** Ten minutes. We'll reconvene I
9 think about five of.

10 **DR. NETON:** We won't break the connection.
11 We'll just put this on mute so we won't be
12 able to talk to each other.

13 **DR. MELIUS:** We'll try to remember to take
14 the mute off.

15 (Whereupon, a ten-minute break was taken.)

16 **AMES REPORT**

17 **DR. MELIUS:** So, Hans, do you want to give a
18 brief overview of the Ames Report?

19 **DR. BEHLING:** Yes, I can, and let me first
20 start out by saying is there anyone out there
21 who doesn't have access to the report. This
22 was sent out back in June of this year.

23 **DR. ZIEMER:** Let's see, the electronic copy
24 was called what on that one? Is this the Ames
25 Blowout Analysis?

1 **DR. BEHLING:** Well, Paul, Kathy just sent
2 out to all of the working group members the
3 report in case, just in case you don't have
4 it.

5 **DR. ZIEMER:** What's the title of it?

6 **DR. NETON:** It starts out, "The relevance of
7 the 250-day workday requirement..."

8 **DR. ZIEMER:** The title of the report?

9 **DR. NETON:** Yeah, the relevance, there's
10 big, it says WORKING DRAFT in caps and
11 underneath it says, "The relevance of the 250-
12 day workday requirement to potential exposures
13 associated with a single blowout."

14 **DR. ZIEMER:** Oh, okay.

15 **DR. MAURO:** June 2007.

16 **DR. BEHLING:** And by the way, that report
17 was PA reviewed so you can basically discuss
18 it as it exists.

19 **MS. HOMOKI-TITUS:** Actually, we're not sure
20 who did that Privacy Act review, and we want
21 to go through it again before any names are
22 mentioned. I think that was done before OGC
23 was assisting with Privacy Act reviews.

24 **DR. NETON:** Because it's also stamped all
25 over the front, "Do Not Distribute".

1 **MS. HOMOKI-TITUS:** Yeah, I'm a little bit
2 concerned until we receive that document and
3 ensure that it was actually cleared, but you
4 all probably shouldn't use names.

5 **DR. BEHLING:** Okay, if no one else has any
6 questions, and if everyone has access to the
7 report, I will make reference to it. But
8 obviously (telephone failure) go into detail.
9 But let me just talk in very brief terms.

10 The Ames Laboratory operated for about
11 a ten-year period, '43 to '53, thereabouts.
12 And their principal function started out to be
13 one of research involving the reduction of
14 uranium to pure metal as well as thorium to
15 pure metal. And as it turned out their
16 success was such where they turned a
17 laboratory into a production facility.

18 And over the period of time the Ames
19 Laboratory processed about two million pounds
20 of uranium and 130 pounds of thorium. And the
21 process that they developed was the reduction
22 of uranium tetrafluoride and thorium
23 tetrafluoride by various means that included
24 the use of metallic calcium, zinc chloride and
25 other means of reducing the fluorinated

1 version to pure metal.

2 And most of these reduction processes
3 occurred under different conditions and sizes,
4 but I identified a standard value where a
5 uranium biscuit of about 42 pounds was
6 reduced, and a thorium biscuit of about 39
7 pounds was the standard bomb dimensions that
8 would define what potentially might have
9 become an airborne issue.

10 And as part of the reduction process
11 when you reduce uranium or thorium
12 tetrafluoride to pure metal, the reduction
13 process is a highly exothermic reaction that
14 raises the temperature into the thousands of
15 degrees. And in the presence of moisture
16 which frequently happened, you would get an
17 explosion called a blowout.

18 And so we know that these events
19 occurred because even though there are no
20 formal documentation to these events in terms
21 of when they occurred, anecdotal accounts, as
22 I cited in our report that reviewed the SEC
23 for Ames, were a common occurrence. In fact,
24 in one of the accounts that was mentioned
25 there was six blowouts in a single day. And

1 we know that these occurred on a routine basis
2 pretty much throughout that time period.

3 Having said that, the blowout would
4 create an airborne environment, but in the
5 absence of any documentation that would assess
6 the airborne concentrations or the actual
7 monitoring of workers, we're kind of left up
8 in the air as to what the exposures might have
9 been as a result of even a single blowout.
10 And looking at the data we were basically
11 looking at a model that was totally
12 hypothetical until I came to review the
13 Fernald site profile and realized that we had,
14 in effect, a documented blowout at Fernald.

15 And not surprisingly, the reduction of
16 thorium metal was similar in terms of the
17 methodology that was used at Ames. And so I
18 looked at the particular situation that
19 occurred in April 1954 at Fernald in which 100
20 pounds of thorium tetrafluoride, ten pounds of
21 zinc chloride and 35.9 pounds of calcium metal
22 were being blended and resulted in a blowout.

23 And as a result of that accident and
24 the investigation that ensued, it was
25 established that approximately 50 percent of

1 the thorium was unaccounted for, and therefore
2 can be assumed to have been volatilized in the
3 immediate area of the area where this took
4 place. And using that particular data I went
5 back and said, okay, let's apply this to the
6 bombs that were being reduced at Ames and
7 assume 50 percent potentially of the material
8 was volatilized.

9 And on that basis I established a
10 scenario that incorporated site-specific data
11 including the facilities at Ames, which is
12 known as Little Incani*. And looking at
13 pictures and drawings I concluded certain
14 aspects to the surface area and the interior
15 volume during which this blowout might have
16 distributed airborne concentrations of either
17 uranium or thorium.

18 And these were described in Section 6
19 of the report that's entitled "Section 6
20 Assumptions Used for Modeling an Acute Intake
21 Dose from a Blowout". And you'll see a number
22 of assumptions that are lists of one through
23 ten. I won't go through all of them, but you
24 can briefly scan through it and understand
25 what assumptions were used.

1 And then I established an intake model
2 that says, "Perhaps in the aftermath of such a
3 blowout a person might have been exposed to a
4 very high level of airborne concentration for
5 a period of five minutes," and stopped at that
6 point, five minutes. Although the anecdotal
7 accounts as given in some of the documents
8 that are reviewed earlier, cited that
9 oftentimes even after such a blowout, people
10 would continue to work there so my stopping it
11 at five minutes was rather arbitrary.

12 But I sort of capped it and said,
13 okay, let's just look at the airborne
14 concentration in the first five minutes,
15 exposure in the first five minutes, and stop
16 and then pick up again for the next 30 days
17 involving once the dust settles and it's now a
18 surface contamination. It's subject to being
19 re-suspended and potentially being a source of
20 internal exposure for a 30-day period, a one-
21 month period, for workers who might have
22 continued in that environment.

23 So the model incorporates a very, very
24 short, five-minute acute exposure to the
25 immediate aftermath of a blowout, and then 30

1 days of exposure involving working there with
2 resuspension of this material. And for that I
3 actually used empirical data as was available
4 from the Ames Laboratory in ^ what might have
5 been the airborne concentrations for that
6 working period.

7 And in the process I calculated doses
8 that you'll see as part of Table 1 and Table 2
9 for thorium as well as for uranium. And our
10 model is based on the assumption that Thorium-
11 232 and -228 were in equilibrium and our model
12 is for two solubility classes. And I
13 integrated the doses in behalf of the first
14 year time-integrated dose of five year, ten
15 year and 30 year for bone surfaces and lung.

16 And you can obviously see from the
17 table that even as little as five years
18 following such an incident the doses to the
19 bone surface would have been substantial and
20 somewhere around 60-to-70 rem to the bone as
21 well as to the lung. And similar exposures,
22 well, reduced exposures would have occurred
23 for the result of a uranium blowout.

24 But for the thorium blowout obviously
25 it's clear that a single blowout involving the

1 model parameters that I defined would have
2 resulted in a substantial dose both to the
3 bone surface and the lung. If I look at the
4 SEC criteria as defined in 40-65-83*, the
5 issue is one of protecting all workers for all
6 cancers. So these would obviously be the
7 highest doses associated with an intake.

8 And I did not go and proceed to
9 establish any calculations for a POC, but
10 obviously you can look at these numbers and
11 come to the conclusion that for a single
12 exposure the doses would be very high to the
13 bone surface or lung from a thorium blowout.
14 And on that basis I believe that a 250-day
15 criteria may or may not be appropriate.

16 Moreover, if we look at the breakdown
17 between the doses that served for the first
18 five minutes exposure versus the 30 day, you
19 realize it's really dominated by the first
20 five minutes. So at that point I'll leave it
21 open and allow Jim or anyone from NIOSH to
22 respond to these calculations.

23 **DR. MELIUS:** You may want to reiterate --

24 **DR. NETON:** I can reiterate some of the
25 points I've made. I see what you've done

1 here, Hans, and I think you've done a nice job
2 at it, but I do have some reservations about
3 some of the assumptions made. And one is that
4 the dust loading could be as high as 3.5 grams
5 per cubic meter and have these people be
6 breathing that over a five-minute period. I
7 just don't know if that's a credible or
8 plausible exposure scenario in my mind.

9 **DR. BEHLING:** Well, let me respond. I don't
10 either, and I'm not sure anyone would ever the
11 means to measure such an event because it is
12 an acute event, and it is transient. But I
13 have witnessed a certain thing that I will
14 share with you that just in visual terms may
15 make some sense.

16 I remember a couple years ago dragging
17 up a ladder an 80-pound bag of Portland cement
18 that at the ten-foot level dropped down to the
19 ground and basically exploded. And I can tell
20 you there was a huge, huge cloud of airborne
21 cement dust that took a few minutes to settle
22 out. And without having empirical data to
23 measure what that airborne concentration was,
24 it is certainly possible for a brief period of
25 time to have such high airborne

1 concentrations.

2 And I will also tell you that in the
3 case of Fernald when I looked at some of the
4 actual airborne concentrations that were
5 measured at discrete locations, for extended
6 periods of times they measured airborne
7 concentrations of uranium that, I believe from
8 one of the memoranda I even cited, was
9 somewhere around 600 milligrams. And so --

10 **DR. NETON:** I can understand 600 maybe but
11 not five times that.

12 **DR. BEHLING:** Again --

13 **DR. NETON:** That's a critical distinction in
14 my mind though, Hans, because that brackets
15 your doses here in directly proportional, and
16 if you reduce your doses by five or ten,
17 you're down into some ranges that we were
18 talking about earlier that --

19 **DR. MAURO:** Hold on though, remember --

20 **DR. BEHLING:** Let me respond to that. First
21 of all I don't know if it's possible to have
22 3.36 grams per cubic meter for any period of
23 time. But also realize that I cut it off in
24 five minutes. How do I know that it wasn't
25 half of that and the exposure was for ten

1 minutes? These are arbitrary decisions that
2 you can --

3 **DR. NETON:** I know. These are theoretical
4 calculations. I understand that, but I think
5 that's kind of high. Secondly, it makes the
6 assumption that 100 percent of these particles
7 are respirable which I don't believe they are.
8 And when you have an explosion, you've got a
9 lot of large chunks going out. And you can't
10 convince me that it's all 100 percent less
11 than ten micron particulate.

12 **DR. BEHLING:** Well, again --

13 **DR. NETON:** Again, that's why I think this
14 calculation is sort of borders on the
15 implausible. I don't deny that there were
16 large exposures there, but I think these doses
17 are inflated by quite a bit.

18 **DR. BEHLING:** One thing is for certain when
19 you talk about the exothermic reaction that
20 took place that may have involved temperatures
21 in the thousands of Fahrenheit may have
22 certainly volatilized the metal into a state
23 where at least a brief period of time before
24 it condenses again onto particles.

25 **DR. NETON:** Yeah, we're getting into physics

1 of what happens here, but I still think the
2 way it settles out, especially when I talk to
3 people at Fernald that have witnessed these
4 type of things, it just doesn't go that way.
5 But we're not going to answer that here or
6 there, but I'm just pointing out my
7 reservations for some of these calculations.

8 The other thing is I'm not sure that
9 these multiple scenarios that you postulate,
10 these ten times, that sort of becomes a
11 chronic exposure scenario then over a long
12 period of time which is what we've covered.

13 **DR. BEHLING:** Wait a minute. I'm not sure I
14 follow what --

15 **DR. NETON:** Well, you're talking about ten
16 blowouts, one blowout a month for ten -- I
17 don't know. What was it?

18 **DR. BEHLING:** No, no, no. This is just to
19 show you that there is a certain degree of
20 periodicity that can be reasonably expected so
21 that one could say any person who worked there
22 for even one month period may have, within
23 that ten-year timeframe, been exposed to at
24 least one blowout. That's all it was. I'm
25 not saying that I want people to be exposed

1 for ten months for ten individual, discrete
2 events.

3 **DR. NETON:** Well, I thought that's where you
4 were going to head when I was saying these
5 exposures are much smaller, I believe, than
6 you've calculated. Then you would have to
7 start speculating as to more blowouts to get
8 it up to the point where you might have a
9 higher dose.

10 **DR. BEHLING:** No, no, what I intended to say
11 here is that any worker who worked there for
12 even, let's say, a one-month period of time
13 between '43 and '53, may have been subject to
14 such a blowout. That's all the point was
15 here. The calculations that I intended to use
16 here are strictly confined to a single blowout
17 and nothing more.

18 In other words if the issue was one
19 of, oh, there was one kind of blowout at Ames.
20 The next question is, well, who was there
21 during that time who would have been affected
22 by that. And the question is we don't know
23 that.

24 But in this case we're talking about
25 the routine event so that any person, and I've

1 looked at some of, in fact, some of the
2 documents that I looked at actually had the
3 names of individuals. And during that period
4 of time there were awards given to people who
5 were there for one year, two year, five years
6 or more, et cetera.

7 And I remember seeing a list of names
8 whose employment was less than one year. And
9 again, this is relevant here to the discussion
10 of the 250-day criteria because there was a
11 substantial number of workers whose total
12 employment was less than one year.

13 **DR. MAKHIJANI:** Just as a point of
14 information about maximum dust loading that
15 has been measured in a nuclear weapons
16 complex. I've often mentioned a Fernald
17 measurement of 97 ^ MAC. That comes out to
18 four and a half grams per cubic meter.

19 **DR. NETON:** Right, that was the sample,
20 Arjun, we talked about that was taken inside a
21 dust collector. I mean, you always --

22 **DR. MAKHIJANI:** I don't believe that, that
23 you haven't read the document. That's not
24 correct.

25 **DR. NETON:** You brought this up in a past

1 meeting.

2 **DR. MAKHIJANI:** This is not correct. I have
3 the document, and I can read it into the
4 record.

5 **DR. ZIEMER:** Can I ask you a question on
6 that, Arjun or maybe Hans? At those dust
7 loading levels, is that in terms of, for
8 example, visibility and so on, how is the sort
9 of atmosphere characterized around the person?
10 Because typically people do, their avoidance
11 mechanism, if the dust loading gets to a
12 certain point, people try to get out of there
13 regardless of what it is if they're having
14 trouble seeing or breathing. Do you know in
15 this case physically what the sort of
16 characteristics of that kind of dust loading
17 are?

18 **DR. BEHLING:** Let me point, Paul, to page
19 five of the report.

20 **DR. ZIEMER:** Okay. I know there's some
21 pressure on the people to sort of stay there
22 and get certain things done, but there are
23 also the avoidance mechanisms.

24 **DR. BEHLING:** I cannot read to you the
25 citation that I want you to read because --

1 **DR. ZIEMER:** Okay, on page five, is it the
2 first paragraph?

3 **DR. BEHLING:** Yes.

4 **DR. ZIEMER:** "Corridor filled with dust."
5 The person was, let's see --

6 **DR. BEHLING:** Let me read it to you.

7 **DR. ZIEMER:** No, I see what you, he was
8 pacing up and down in the corridor that was
9 filled with dust is what you're saying, right?

10 **DR. BEHLING:** I will quote that section
11 which does not contain any names. And I
12 quote, "Suddenly there was a terrific
13 explosion which blew out several of the
14 windows in the front of the chemistry
15 building. When I came out of my office to see
16 what happened, the corridor was filled with
17 dust about six feet above the floor to the
18 ceiling. I was relieved that the individual
19 had not been injured, but he looked very dazed
20 and was pacing up and down the corridor. As I
21 passed him I heard him muttering," and, of
22 course, this anecdotal stuff.

23 **DR. NETON:** I think the --

24 **DR. ZIEMER:** I was trying to relate though
25 at the maximum loading used in the

1 calculations what would visibility be?

2 **DR. NETON:** It depends on particle size,
3 Paul.

4 **DR. ZIEMER:** Yeah, of course.

5 **DR. NETON:** This was done for the Bethlehem
6 Steel analysis. Mike Thorne did a fairly in-
7 depth analysis of that. And it depends on how
8 big the particles are. It could be as low as a
9 couple tenths of a meter at those levels I
10 think, and it could be a little further, but
11 it would certainly, visibility would be
12 impaired.

13 **DR. MAURO:** As a ballpark I've been using
14 from the work that Hans did, and others, when
15 you're at hundreds of milligrams per cubic
16 meter of airborne dust, and generally in the
17 respirable range because we were looking at
18 that, visibility's impaired and respiratory
19 distress. You can't stay in that type of
20 setting very long.

21 **DR. ZIEMER:** Well, yeah, because my concern
22 is the five-minute issue is one that I was
23 going to ask about. How realistic is it that
24 someone would stay at that loading for five
25 minutes just in terms of their own avoidance

1 mechanism? Now this guy was dazed so perhaps
2 that is another factor. Well, you can
3 consider it sort of a rhetorical question.

4 **DR. MELIUS:** Yeah, and I think as Hans
5 pointed out we have to sort of balance that
6 with the limitation of five minutes. But to
7 me that would argue for an additional
8 calculation, maybe a, be more realistic about
9 what, how long a person would be at this very
10 high end or what the high end would be. But
11 also be more realistic, assuming there was
12 pressure for them to stay in the room, what
13 would the exposure be for over that period of
14 time? And it --

15 **DR. NETON:** As we talk a little bit more,
16 that calculation aside, even if we have a
17 number we can agree on, what's the dose that
18 constitutes health endangerment then? Because
19 unless we have that value, we can do all the
20 calculations we want and come to the
21 conclusion and say, well, it's not 200, it's
22 100 or 50 or ten, whatever. Unless we can,
23 one has a number to fix on --

24 **DR. MAURO:** Well, I think it does bring us
25 where we want to be right now. In other words

1 in Table 1 Hans puts forth the scale of
2 exposure we're talking about. And from what I
3 heard, yeah, maybe three grams for five
4 minutes, maybe 600 milligrams for three
5 minutes or one minute.

6 I mean, it's really the integrated
7 intake. How many picocuries did this person
8 inhale over let's say the week following that
9 explosion, you know, after the explosion and
10 continued to work there? I mean, what I would
11 argue is that this is a pretty good starting
12 point to say this is the scale we're talking
13 about within a factor of two, three, whatever.

14 **DR. NETON:** Four, five.

15 **DR. MAURO:** Okay, five. I'll go with five.
16 And we're also talking about committed dose.
17 You'll notice we're looking at one particular
18 number, bone surface, 30-year committed dose,
19 214 rem. Now, maybe it's not 214. Maybe it's
20 closer to 50. The question becomes now we're
21 in the realm of committed dose to a particular
22 organ that is not unlike the acute dose to a
23 particular organ experienced in criticality
24 accidents.

25 Okay, that's where we are right now.

1 So I think there's a general conclusion that
2 there are plausible scenarios associated with
3 the explosions that occurred at Ames where the
4 committed dose to particular organs are in the
5 range of exposures that people have
6 experienced from acute exposures from
7 criticalities.

8 **DR. NETON:** I don't disagree, but I don't
9 know that you can compare a single exposure to
10 the organ to a multi-organ exposure --

11 **DR. MAURO:** We're talking about cancers to
12 the organ -- remember, we're talking about a -
13 -

14 **DR. NETON:** Hear me out. The intent of
15 citing a criticality was that there would be
16 an unambiguous agreement among health
17 physicists that health could have been
18 endangered. That that would have, the
19 evidence of some deterministic effect would be
20 so high that a reasonable health physicist
21 would conclude that health could have been
22 endangered, and that would be cancer risk
23 because it's most likely that cancer could
24 have been caused by that high exposure related
25 to a criticality.

1 That implies though that all the
2 organs were irradiated, and then what is the
3 chance that one of those organs might develop
4 cancer down the line? This is different now
5 in an after-the-fact test that we're saying,
6 okay, we've calculated this organ, this bone
7 surfaces could have developed, let's say, 50
8 rem of exposure over 30 years. It's not
9 acute. And the fact is that that risk is not
10 equivalent to that criticality exposure. And
11 not in any way, shape or form is the risk of
12 developing a cancer equivalent.

13 **DR. MAURO:** But the exposure is.

14 **DR. ZIEMER:** Well, there you don't use, you
15 probably don't use all of the dose in the risk
16 determination because of the latent period.

17 **DR. NETON:** That's true.

18 **DR. MAKHIJANI:** You're setting this up as an
19 a priori versus a posteriori case, but I think
20 the whole program is a posteriori. You're
21 dealing with only with -- no, wait a minute.
22 You're not asking whether the population of
23 people who worked less than 250 days should be
24 included in a less than 250-day category,
25 you're asking among the population those who

1 are known to have cancer should they be
2 included. So I think the analogy that, you
3 know, you have whole body and could they get
4 cancer as an a priori analogy is not correct.

5 **DR. NETON:** But remember -- let's follow the
6 line where Dr. Ziemer was going though.
7 You've got a 250-day criteria that's already
8 in place. And it was our opinion, I believe,
9 that to change that there would have to be
10 some very credible, unambiguous exposure
11 scenario to move it down to less than that.
12 And it would not be based on doing an IREP run
13 and looking at the percentiles because you
14 can't. You just don't know what the doses
15 exposures were.

16 **MR. ELLIOTT:** Because we have admitted we
17 can't reconstruct this dose.

18 **DR. BEHLING:** But let me make a point here
19 on that issue. I fully agree with the 250-day
20 criteria for a select circumstance that, for
21 instance, is one where we have workers in on a
22 routine basis exposed to a controlled
23 environment -- and I underline controlled --
24 where we can reasonably conclude even if there
25 was no monitoring, that exposures were less

1 than 300 millirem external a week and airborne
2 concentrations were less than the maximum
3 permissible air concentrations as defined in
4 those days.

5 And on the blanket assumption, the
6 blind assumption, that these controlled
7 environments exposed people for a period of
8 250 days, that would amount to certain
9 exposures where one could reasonably say,
10 well, we don't have any monitoring data. But
11 on the assumption they complied with existing
12 regulations for external and internal, the
13 doses would have been too small for people
14 exposed to less than 250 days or one work
15 year.

16 We're not talking about a controlled
17 environment here. And as Dr. Melius pointed
18 out earlier, we're talking about acute events,
19 single events, a moment in time, and all I
20 wanted to say here is that these exposures
21 amount to significant, integrated, time-
22 integrated dose for an acute exposure
23 internally.

24 **DR. NETON:** And I agree with that, but and
25 also as we've discussed before, these doses

1 are delivered over a protracted period of
2 time. And so the risk of developing cancer is
3 not equivalent even given the same unit dose.

4 **DR. BEHLING:** Nobody said they are, and I
5 hope you don't think I'm arguing with the
6 issue of parity between a time-integrated
7 internal dose and a single moment in time
8 external acute exposure. They're not equal.
9 You'd have to be something of an idiot not to
10 understand that there are obviously
11 differences.

12 **DR. NETON:** Okay, Hans, let me ask you this
13 simple question then. How would you conclude
14 then that this 214 rem, 30-year bone dose
15 endangered health? What would be your test?

16 **DR. BEHLING:** How would one calculation say,
17 let's just --

18 **DR. NETON:** With what?

19 **DR. BEHLING:** -- a guy was working there
20 somewhere around 1945, and he came down with a
21 cancer that was documented to the lung or the
22 bone, let's say 20, 30 years thereafter. Do
23 an IREP and come to your own conclusion. If
24 the POC was greater than 50 percent, you'd
25 have to come to the some understanding as to

1 whether or not this person needs to be
2 compensated even though the exposure was a
3 moment in time exposure --

4 **DR. NETON:** But this is not a person. This
5 is a class that you're establishing so what
6 you're using is your criteria to establish the
7 class.

8 **DR. BEHLING:** Well, you know, we obviously
9 have an understanding that there were people
10 at Ames, and as I said I have documents in my
11 box here that says there were a substantial
12 number whose employment was less than a one-
13 year timeframe which means that we would
14 exclude them from consideration based on
15 employment period without regard to their
16 internal exposures and without regard to the
17 dose that they may have experienced to an
18 organ that is their cancer. And I would have
19 to say if I were one of those people, I would
20 want to contest that 250-day criteria.

21 **DR. ZIEMER:** If those people could establish
22 that they were there during incidents, they
23 could go through the very construction that
24 you're talking about --

25 **DR. NETON:** Exactly.

1 reads, "Breathing zone sample results were,
2 operator cleaning under burned out conveyor
3 averaged 1.3 million disintegrations per
4 minute per cubic meter or 18,000 times MAC."
5 And then it says, "Up to about one year ago an
6 operator had to position himself," anyway, the
7 prior year's results are cited, and it says,
8 "Breathing zone samples of this operation were
9 found to be in the prior year 97,000 times
10 MAC." It is not inside a stack.

11 **DR. NETON:** I remember using the same air
12 samples that you raised, I don't know which
13 meeting it was, a long time ago, and we went
14 back and looked at it. The operational key
15 there is the guy was underneath the duct, and
16 I think he was cleaning underneath it. And
17 this was coming down from his cleaning
18 operations, not being suspended into the air
19 from a mechanical --

20 **DR. MAKHIJANI:** All I'm saying --

21 **DR. NETON:** -- very different, Arjun.

22 **DR. MAKHIJANI:** There was a person who was
23 working there who was exposed --

24 **DR. NETON:** No, but your implication was
25 that you can generate sustainable 3.5 grams of

1 air from a mechanical operation --

2 **DR. MAKHIJANI:** -- than to say the
3 documented air concentrations that are on the
4 same order of magnitude as what Hans has in
5 his report for more than five minutes.

6 **DR. NETON:** For material coming down from
7 above, not being generated. Big difference,
8 Arjun.

9 **DR. MAKHIJANI:** Well, was a worker exposed
10 to multi-gram per cubic meter --

11 **DR. NETON:** Can a worker be exposed from
12 mechanical generation of air up into the air
13 and sustained it at 3.5 grams per meter?
14 That's my point.

15 **DR. MAURO:** Well, I would say that ^ extreme
16 is this falling. In other words we are
17 dealing with a very extreme scenario --

18 **DR. NETON:** ^.

19 **DR. MAURO:** -- which I think Hans has made a
20 very powerful case. Those scenarios are real,
21 and they did occur, not --

22 **DR. NETON:** As long as it occurred we don't
23 disagree --

24 **DR. MAURO:** -- and the fact that that
25 scenario is real also, it's not an explosion,

1 but there are scenarios that can occur where
2 perhaps as high as gram per cubic meter of
3 dust loading occur for some short period of
4 time. So I think that we have accomplished a
5 certain amount here, and that we do know that
6 gram per cubic meter levels are high, hundreds
7 of milligram cubic meter --

8 **DR. NETON:** Hundreds of milligrams I'll
9 agree with, five-, 600 milligrams.

10 **DR. MAURO:** -- but on that order because
11 that's well within a factor of five. And to
12 me we're in a factor of five. We're doing
13 pretty well. It can occur for some relatively
14 short period of time, maybe minutes. So I
15 mean the scenarios are real. I think there's
16 agreement that these kinds of exposures within
17 a factor of five --

18 **DR. NETON:** Well, I think there's a
19 difference.

20 **DR. MAURO:** Again now, remember, as Hans
21 pointed out, it was, he just said this was a
22 one-month thing. In other words this is the
23 scenario. The event occurs, an exposure over
24 a period of a month. So that's one-twelfth of
25 the time period, but it's one of these

1 scenarios where these exposures apparently
2 occurred multiple times. Does that mean that
3 it's possible that there are scenarios where
4 the exposures were greater than this?

5 So I would argue that within the realm
6 of reasonability, someone could probably put a
7 boundary on. It could be as low as this, but
8 it could be as high as that.

9 **DR. BEHLING:** John, just for your sake
10 there's reference to a single day where six
11 blowouts occurred in a single day.

12 **DR. MAURO:** Yeah, that's the point I was
13 making. I don't think we can parse this very
14 well. I think we put a, maybe place it in a
15 box, and we can actually come to an agreement,
16 yeah, probably it could very well have been
17 higher than this but not very much higher than
18 that.

19 And I think that Hans' number may fall
20 toward the higher end, but it's still within
21 the box that I think reasonable people could
22 say, yeah, that could have occurred. That
23 intake, that intake could have occurred on
24 this relatively short period of time, less
25 than 250 workdays.

1 **DR. NETON:** I'm starting to look at this in
2 terms of kidney effects and stuff. I mean,
3 these things are ridiculously high.

4 **DR. MAURO:** Well, but that would be another,
5 the case could be made that such scenarios
6 could not have occurred.

7 **DR. NETON:** I have not looked at this in
8 detail from the plausibility perspectives as
9 far as kidney damage occurring and all that
10 kind of stuff. But the point is even if we do
11 decide what's your cut point, Hans' litmus
12 test is to do an IREP run. And I would
13 suggest that you can't, it's a difficult thing
14 to do because you have to make up a
15 hypothetical case.

16 **DR. MAURO:** In other words is it more likely
17 than not this will occur? I mean, let's say
18 you just picked an IREP run without even
19 trying to --

20 **DR. NETON:** What's your latency? What's the
21 age at exposure? What's the age at diagnosis?
22 Those all come into play in determining
23 whether or not this exceeds 50 percent.

24 **DR. MAURO:** Is it your sense that just about
25 everyone, let's say it was a ten-year latency.

1 The guy was in his 20s and ten years later or
2 20 years later. Do you think in general
3 you'll come up with a positive --

4 **DR. NETON:** Was it a 200 rem dose to the
5 bone over 30 years? I don't know.

6 **DR. MAURO:** Now the other side is I would
7 say that there's another test, and that has to
8 go back to the criticality. I think there is
9 an interpretation of the rule here that
10 scientists really are not going to be able to
11 help on, mainly we have agreed in general, I
12 believe, that when you're talking about 50
13 rem, 100 rem. We're in the realm of declines
14 of doses that are associated with -- and we
15 could show that. We have the records -- that
16 say that it's not unreasonable to say that's
17 the kind of dose people would associate with a
18 criticality.

19 **DR. MAKHIJANI:** The tables we didn't have
20 are conclusive --

21 **DR. MAURO:** Well, I, when I looked at the
22 data, I said, my goodness, it goes from a
23 fraction of a millirem up to hundreds of rem.
24 And --

25 **DR. NETON:** So ^ is exceptionally high I

1 think is the words --

2 **DR. MAURO:** -- and there are numbers that
3 are off ^ , of course, but I've added --

4 **DR. NETON:** -- Exceptionally high would
5 imply first of all above some of the
6 regulatory limits by some multiple.

7 **DR. MAURO:** Okay, I'm taking a leap of faith
8 right now. From looking at the data from the
9 criticality I think back to -- I remember the
10 number hit me right away, 100 rem. I said if
11 anybody's going to, if I was going to pick a
12 number. Someone else would say, well, I'd go
13 down to 50. Some others may say ten. I think
14 anyone who would pick a number in that range
15 would not be being unreasonable. I'll try to
16 argue that case now. That's me speaking right
17 now.

18 Then we have the dilemma, okay, if
19 somehow we could come to some agreement, even
20 if it was a 100 rem, even if it was that high,
21 that would be comparable to criticality. The
22 question becomes the fact that it's a
23 committed dose as opposed to an acute whole-
24 body dose, does that change the whole story or
25 have we met that threshold as defined by the

1 law. The answer is I can't answer that
2 question.

3 **DR. NETON:** I can tell you they're not
4 equivalent risk. Arjun may argue this, but if
5 you say that you have 100 rem whole-body
6 exposure, that's what, 20 times a regulatory
7 limit of five rem, right? One hundred rem
8 exposure, 20 times the regulatory limit. This
9 exposure is 214 rem. If I apply ICRP new
10 weighting factor to bone surfaces wouldn't
11 exceed a five rem limit for exposure. So
12 therefore they're not equivalent risk.
13 They're not equivalent risk. If Arjun, if you
14 insist the risk of developing cancer and the
15 regulation has any bearing on the risk of
16 exposure related to cancer which is they do,
17 then you've got a factor of 20 difference
18 between those two numbers.

19 **DR. MAURO:** Do you realize what you just did
20 though? You just drove yourself into IREP.
21 There's no escaping it then. If you're going
22 to start to make a risk-based argument --

23 **DR. NETON:** And I'll saying you can't do
24 that --

25 **DR. MAURO:** -- I mean, if once you start to

1 make the risk-based argument to try to say
2 that, no, it has to be risk equivalent to a
3 criticality, you have no choice but then to
4 start to do risk, do probability of causation
5 and start to ask yourself the question are we
6 talking about doses to organs that it's not
7 unreasonable to say, yeah, we could have a
8 probability of causation greater than 50
9 percent.

10 Certainly, there are going to be, try
11 to find the limiting. I'm not saying that.
12 I'm saying that, listen, are we talking about
13 doses that everyone would agree have the very
14 real possibility of resulting in a POC of
15 greater than 50 percent. And how you do that,
16 but I think that's where you're headed.

17 **DR. NETON:** I don't know if that's
18 practical. I'm not heading that way because
19 I'm just, the regulation essentially doesn't
20 address that issue because of that very
21 reason. It had to be somewhat intuitively
22 obvious to someone looking at this that this
23 is a very high exposure.

24 **DR. MELIUS:** Jim, see, I think that's a very
25 faulty interpretation. It's your personal

1 interpretation. It may be what you intended.
2 It's not in the regulation, and I think when
3 we went back and examined it, it's open to
4 other interpretation. So I think we have to
5 think what's fair to the claimants. How do we
6 have equity in this program so that a person,
7 so that invariably deals with some of the risk
8 issues in terms of endangerment?

9 **DR. BEHLING:** Can I make a comment here?

10 **DR. MELIUS:** No, no, you can't because I'm
11 still talking, Hans.

12 **DR. BEHLING:** Okay. Let me know when you're
13 finished.

14 **DR. MELIUS:** And that so that we have this
15 equity issue to deal with which invariably I
16 think has considerations of risk with it and
17 how are we treating people that have these
18 acute exposures in this program? So where do
19 these risk comparisons? Well, do we do it for
20 someone exposed for 20 years or 250 days
21 because that's the lower limit on the other
22 end.

23 And I think we know there's a
24 distribution of this, and it gets into a very
25 complicated scenario, but I really have

1 concerns about going to this area, well, it's
2 going to be the judgment of used of health
3 physicists would just all agree unanimously
4 that this must be endanger. I think we have
5 to go back to what we've already decided in
6 the program and base it on that and figure out
7 how we approach it in that context. I'm not
8 sure if it makes it easier or harder, but --

9 **DR. NETON:** I don't know if what you're
10 suggesting is doable because we tried this
11 four or five years ago. And what you're going
12 to have to do is then, if you're going to run
13 IREP, it's not just these organs, it's all
14 potential organs because you can't presume a
15 priori that the highest organ, you know,
16 exposure to ones that are going to go over 50
17 percent. And then under what scenario, what
18 latency -- there are a tremendous number, also
19 an infinite number of combinations that one
20 would have to do a test using IREP to make a
21 conclusive determination that health was not
22 endangered.

23 **DR. MAKHIJANI:** Well, I think it's the other
24 way around. If you're looking at the cross,
25 you have to do one calculation to show that

1 health was endangered and then it's over. I
2 mean, you're talking about a class of people
3 presumably.

4 **DR. NETON:** Yeah, right. But there are
5 classes that you, if you, let's say, to be
6 fair with equity across all the analyses and
7 it intuitively didn't look like that's like
8 anything, where do you stop your scenario
9 analysis so you don't top over 50 percent.
10 You can't envision doing that.

11 **DR. MELIUS:** There's an issue of how do you
12 define equity. How do you calculate that
13 equity? But I think that's, you know, another
14 and important consideration. The other
15 scenario approach I was thinking of that you
16 actually mentioned was, well, if you do the
17 calculation, you can bound. So therefore,
18 dose reconstruction is feasible.

19 What if we made that assumption for
20 this group of people. No reason to say that
21 there isn't some that for people with short-
22 term exposures that, or short durations of
23 exposure who worked there, that we might be
24 able to bound doses and not be able to do it
25 for other groups. I mean, that's, you've

1 never done it, but if we did that, what if we
2 then presumably we're convinced that this
3 is...

4 But then based on the fact that we
5 then come up with what we think are plausible
6 scenarios for that and there's some effort
7 doing that, but we probably have enough facts
8 to figure out what would be the average
9 incident or something like that or some --

10 **DR. NETON:** You know, some uncertain
11 distribution.

12 **DR. MELIUS:** -- distributions or whatever.
13 And then we find in this instance -- and I'm
14 not, again this is an assumption -- that these
15 incidents were so frequent for these people
16 with certain kinds of jobs, they're working in
17 certain parts of this civilian -- I've
18 forgotten a lot about Ames.

19 But that chances are that if you
20 worked there for a day or a week or a month,
21 you were likely to have been exposed in an
22 incident. Because we know the records are
23 not, we're not going to have individual
24 records. Is that an approach to think about?

25 **DR. NETON:** Well, I think if we can put a

1 plausible upper bound, we would reconstruct
2 the dose. But the difference there is you're
3 not doing a hypothetical dose reconstruction
4 that you couldn't bracket because you don't
5 know what the parameters are, you're doing
6 real dose reconstructions on people based on
7 their --

8 **DR. ZIEMER:** Isn't this already an option?

9 **DR. MAURO:** Yeah, it's in the matrices.

10 **DR. ZIEMER:** Even though the documentation
11 of the blowouts is not apparently on the
12 records, just the fact of establishing that
13 they occurred is by witness, right?

14 **DR. NETON:** Right.

15 **DR. ZIEMER:** Or affidavits?

16 **DR. MAKHIJANI:** No, in the documents.

17 **DR. ZIEMER:** I mean if you were to go the
18 direction of saying, okay, there were these
19 blowouts; and therefore, if people worked
20 there, say, less than a year, they are
21 eligible, you still have to establish that the
22 blowouts occurred by affidavit or somehow.
23 Well, if you can use that --

24 **MR. ELLIOTT:** Establish presence at a
25 blowout by affidavit.

1 **DR. MAURO:** They're presumed there.

2 **MR. ELLIOTT:** What if you presume --

3 **DR. ZIEMER:** That's what I'm getting at.
4 Similar to what Jim is saying. If you can
5 establish that the blowout occurred in 19-
6 something, and the person worked there that
7 year, and they don't know whether they were
8 present at a blowout, could you not do a dose
9 reconstruction and make that assumption?

10 **DR. NETON:** That is an option.

11 **DR. ZIEMER:** I'm saying isn't that option
12 already there? I mean, all right, the person
13 maybe hasn't established that they were at a
14 blowout, but if they don't know, if we don't
15 know when the blowouts occurred and the people
16 are somewhat, maybe not everybody knows that
17 they were there.

18 **DR. MELIUS:** It's not an option now, I
19 think, because we've said it's not feasible,
20 but that lack of feasibility for dose
21 reconstruction was based on chronic and not
22 just blowouts.

23 **DR. NETON:** I think you're right, Doctor. I
24 don't think there's anything to prevent us
25 from doing a, we always do partial dose

1 reconstructions for people who are not in the
2 class. And then we do a dose reconstruction
3 to the best extent possible given the
4 information we have at hand. If there's an
5 indication in the CATI or in the records
6 somewhere that this guy was involved in
7 blowouts, I don't think there would be
8 anything legally preventing us from attempting
9 to reconstruct the dose.

10 **DR. ZIEMER:** Just as was done in this
11 example.

12 **DR. NETON:** Yeah, or something similar.

13 **DR. ZIEMER:** Yeah, yeah.

14 **DR. NETON:** So to that extent, that
15 approach, yeah.

16 **MR. ELLIOTT:** But your starting point has to
17 be there. You have to understand a source
18 term.

19 **DR. NETON:** Well, I think we've got a pretty
20 good idea. These are 40 pound charges and
21 they were furnaces --

22 **DR. MELIUS:** We're worried about going,
23 we're struggling with going the other way of
24 saying that we can't and these are like a
25 criticality or whatever you want. But what if

1 we go the opposite? Now I'm not saying
2 that's, this may be unique or situation, but,
3 and I would argue you would have to have an
4 affidavit. I think you'd have to define who's
5 in that class. Who you can do that with
6 because I just don't, my recollection is the
7 records are so poor or the incidents were so
8 frequent that you could presume that people in
9 certain years or types of work or whatever.

10 **DR. NETON:** On a practical basis I don't,
11 Hans seems to know better than I do, but I
12 don't know if there are that many people at
13 Ames that had less than 250 days that were
14 what I consider like chemical operator types
15 or something to that effect.

16 **DR. BEHLING:** I don't know what their job
17 complications were, but I do have a list. As
18 I said it involves awards that were handed out
19 by the director to people for periods of
20 employment. And I remember seeing one page
21 that says less than one year. And if there
22 was something like in that particular
23 document, 20-some people whose employment
24 period was considered less than one year.

25 **DR. MELIUS:** Dr. Fuortes corresponded with

1 us recently and I can't remember the situation
2 or the example exactly, but it was a two less
3 than 250 days. And to me it was, my
4 recollection is that it was plausible in terms
5 of being exposed to incidents. Whether it was
6 just his memory or what I don't recall, but --

7 **DR. NETON:** In my opinion if we can bound
8 the exposure it's preferable to do this in
9 this manner to apply the doses to the people
10 who were receiving those exposures, and then
11 also the doses to the cancers that were more
12 likely to have developed as a result of those
13 exposures.

14 **DR. ZIEMER:** What I'm thinking about is the
15 possibility of saying, okay, we don't know
16 exactly when these blowouts occurred, but
17 based on affidavits we know that in this
18 certain year there were multiple blowouts.
19 And that therefore anyone who has a claim in
20 that year we will assume that they may have
21 been involved in the blowouts and whatever the
22 bounding calculation is for that you give them
23 credit for that. It would still be a dose
24 reconstruction rather than an SEC, but it
25 would take care of those kinds of situations

1 where there's some ambiguity.

2 **DR. NETON:** Personally I feel comfortable in
3 looking at that although I don't want to speak
4 for Larry and/or our OGC folks who might want
5 to look at the legality of the SEC and how all
6 that plays out. But I --

7 **MS. HOWELL:** Can I just ask a question? I
8 mean, if you -- I'm just trying to understand
9 what you're proposing here. It seems to me it
10 would fall under the rubric of the dose
11 constructions and not the SEC --

12 **DR. MELIUS:** Yeah.

13 **MS. HOWELL:** -- because you're having to
14 look at a whole different set of parameters.

15 **DR. MELIUS:** Well, it --

16 **MR. ELLIOTT:** You established the class.
17 What you're doing now is saying here's a
18 unique exposure scenario. Can we reconstruct
19 it for that class and for the non-presumptive
20 claims for that class?

21 **DR. MELIUS:** Right now we go back, and we
22 try to partly from dealing with the Department
23 of Labor on some of these classes, we go back
24 and we try to specify what we can, what NIOSH
25 can construct --

1 **DR. WADE:** With sufficient accuracy.

2 **DR. MELIUS:** -- with sufficient accuracy,
3 blah, blah, blah. You know, do that, I'm not
4 sure. I know we don't try to be comprehensive
5 about that because we don't know everything at
6 the time but there's other stuff. But I think
7 it helps in terms of when basically for DOL to
8 recognize who's in and who's out and so forth.

9 **MR. ELLIOTT:** We currently don't reconstruct
10 Ames' doses this way I don't think.

11 **DR. MELIUS:** Yeah.

12 **MR. ELLIOTT:** We don't look at this
13 exposure, this specific exposure --

14 **DR. NETON:** We're not studying any internal
15 exposure at all to anyone on a non-presumptive
16 cancer at Ames for less than 250 days. But I
17 don't know if there's anything that would
18 prevent us from doing a partial dose
19 reconstruction that --

20 **MR. ELLIOTT:** I don't think there is.

21 **DR. NETON:** -- for the people who it would
22 make sense to do that.

23 **MR. ELLIOTT:** If you have a plausible
24 bounding approach.

25 **DR. MAKHIJANI:** Well, for partially you

1 don't even need bounding.

2 **DR. NETON:** Well, this would really be a, I
3 guess it would be a partial, but --

4 **DR. ZIEMER:** It would be a kind of a
5 bounding.

6 **DR. NETON:** A bounding because you don't
7 want to, you have to be able to bound it in
8 order to do that particular --

9 **MR. ELLIOTT:** Yeah, you have to come in with
10 the maximum plausible. With anything less you
11 run the risk of not achieving what you're
12 trying to accomplish.

13 **DR. NETON:** And, yeah, these people are not
14 members of a class anyways, not members of an
15 SEC class by definition.

16 **MR. ELLIOTT:** You're trying to give them the
17 best dose you can.

18 **DR. NETON:** Well, except I think we still
19 wouldn't be able to do the routine, we
20 couldn't assign them anything but the incident
21 exposure.

22 **DR. MAURO:** That's the only way you could do
23 it. It's interesting that, we're claiming
24 that we can do exposures from explosions but
25 not from chronic exposures.

1 **DR. NETON:** Well --

2 **DR. MAURO:** I'm not used to thinking that
3 way.

4 **DR. NETON:** I mean, it's a very discrete
5 bounding event where you know how it happened
6 initially, how much can you generate in the
7 air, and you've done --

8 **MR. ELLIOTT:** Let's be honest here. NIOSH
9 can come forward and say this is how we would
10 treat that. We can reconstruct dose with
11 sufficient accuracy because we can apply a
12 plausible bound here, and so we're not going
13 to add that component or that group to the
14 class. And you also could say, well, let's
15 think about that. We don't know that we can
16 bound that dose, so we're back to square one.
17 If we put forward a class, if a class comes
18 out of this, and we have to evaluate it, we
19 say we can reconstruct that dose scenario for
20 that class.

21 **DR. NETON:** Well, if we can't --

22 **MR. ELLIOTT:** Then the question becomes is a
23 bounding scenario appropriate.

24 **DR. NETON:** And then that's appropriate.
25 Then it goes through the SEC process, and the

1 SEC litmus test would be mere presence. Can
2 you bound it with sufficient accuracy, and the
3 answer is no, and then --

4 **MR. ELLIOTT:** Isn't this the circular
5 discussion we had about five years ago? Just
6 around and around. It all brings us back to
7 the same dilemma.

8 **DR. MAURO:** But we never talked about it
9 with respect to explosions. We never asked
10 ourselves the question can we -- see, Hans
11 worked his calculations solely to show that,
12 yeah, you get pretty high doses with these
13 explosions. But now we're at the point where
14 we say wait a minute --

15 **MR. ELLIOTT:** We talked about different
16 scenarios and saying first of all, meeting the
17 first prong of the test, hey, we can't
18 reconstruct dose. Now how do we determine
19 health endangerment? And how do, where is
20 there an instance where a presence might lead
21 us to say that's enough. And we never could
22 come up with any -- because we kept wanting to
23 go back and we're trying to reconstruct a dose
24 so that we can understand the risk.

25 **DR. MELIUS:** Exactly, exactly. No, we did

1 go round, and so then we sort of threw out the
2 criticality thing as sort of a --

3 **MR. ELLIOTT:** We had public comment on this
4 all over the place, trying to identify a
5 bright blue line here.

6 **DR. MELIUS:** Right, and we ended up with
7 saying let's just keep the 250 days.
8 Criticality was our way of dealing with that
9 acute incident thing, but we didn't think it
10 through because we didn't have an example and
11 now we're dealing with it. But I would even,
12 you know, we think this is --

13 **MR. ELLIOTT:** Well, we had examples. We
14 were trying to wrestle with it.

15 **DR. MELIUS:** No, no, I --

16 **MR. ELLIOTT:** We didn't do that in the
17 public forum, in the Board forum.

18 **DR. MELIUS:** But I'd also say that I think
19 that this approach is worth exploring. I
20 think it gets us the same thing with NTS, you
21 know, what John was talking about now.

22 **DR. MAURO:** We're further along here. We're
23 further along at Ames than we are at NTS.

24 **DR. MELIUS:** And that's the way I would
25 suggest to go forward is let's try to make the

1 exposure part of this more plausible. I mean,
2 is that something NIOSH should do? You want
3 SC&A to do? We do it together? I mean, I
4 don't know.

5 **DR. NETON:** They've got --

6 **MR. ELLIOTT:** They've brought it up to us to
7 react to what we see Hans has delivered here.
8 And if it makes sense to us to take it one
9 step farther to show what Jim has been trying
10 to explain that, you know, how you look at the
11 risk from this leads you to a different place
12 maybe than what Hans was thinking about.

13 **DR. BEHLING:** Can I make a comment at this
14 point, Dr. Melius?

15 **DR. MELIUS:** We cut off your comment there.
16 I apologize.

17 **DR. BEHLING:** Let me go back to the issue of
18 the argument that Dr. Neton has thrown out on
19 the table repeatedly. And that is the issue
20 of parity between a criticality accident that
21 instantaneously exposes all tissues of the
22 body, and therefore, for SEC reasons and the
23 250-day issue, we'll use that as a reference
24 point.

25 But the fact remains as the following:

1 We are giving currently SEC status to Ames
2 Laboratory people for 22 cancers if they've
3 worked there for 250 days as an aggregate.
4 And so the question now is where's the parity
5 between that and the criticality issue?
6 Because I'm looking at the 22 types of
7 cancers, and they include things such as
8 obviously thyroid cancer, male or female
9 breast, esophagus and so on and so on.

10 And clearly it's understood that the
11 exposure at Ames was dominated by internal
12 exposure to uranium and thorium. And there's
13 probably no way in which you can come up with
14 an understanding that things as breast cancer
15 or thyroid cancer would have resulted from
16 inhalation or ingestion of uranium and
17 thorium. And so what separates the issue of
18 those people who are excluded on the basis of
19 250 days has nothing to do with the issue of
20 criticality.

21 It has simply to do with the
22 likelihood that their exposure was less than
23 what would have been an exposure for people
24 who had at least 250 days. And that's really
25 the only meaningful comparison that we have to

1 look at in coming to some kind of a conclusion
2 as to whether or not a period of employment
3 less than 250 days should be considered for
4 compensation.

5 **DR. NETON:** Okay, Hans, this is Jim. I have
6 two comments on that. One is I didn't write
7 the act, and those cancers were not put in
8 there by us, so you're not going to win any
9 points by citing --

10 **DR. BEHLING:** Well, I'm just pointing out a
11 few things that simply don't make sense on the
12 technical --

13 **MR. ELLIOTT:** And that was our argument for,
14 a scientific argument for cancer-specific
15 classes which we lost.

16 **DR. NETON:** And in the second place I'd just
17 point out that unfortunately or fortunately,
18 however you want to look at it, the
19 exceptionally high exposures similar to a
20 criticality incident is in the regulation, and
21 we can't ignore that.

22 **DR. BEHLING:** And I realize that, but the
23 issue is also one again, you repeatedly bring
24 out the issue of a certain dose and the
25 deterministic effects associated, but this act

1 is not there to compensate people for
2 suffering a deterministic effect. It's there
3 for cancer and ^.

4 **DR. NETON:** I agree, but --

5 **DR. ZIEMER:** Deterministic effects are only
6 clear indicators that the high doses occurred.

7 **DR. BEHLING:** Yes. And, of course, they
8 weren't monitored. Going back also to an
9 earlier discussion by Jim Neton, they were
10 people who were assessed, who were employed at
11 Ames who were assessed for kidney damage. And
12 there are documentation for the fact that
13 there was proteinuria and other effects that
14 seem to indicate that these people suffered
15 kidney, renal failure.

16 **DR. NETON:** Right. But not complete renal
17 failure I suspect.

18 **DR. BEHLING:** Well, again, that's the
19 question.

20 **DR. NETON:** I think the kidneys might shut
21 down with some of these exposures that
22 occurred ten times in a month, but --

23 **DR. MELIUS:** But just going back to the
24 issue of the organ-specific SECs, the Board
25 didn't like that because it was where to draw

1 the line issue.

2 **MR. ELLIOTT:** For better or worse, where's
3 the line?

4 **DR. MELIUS:** It's the same issue. We've
5 always been reluctant to do that because it's
6 hard in a lot of ways.

7 **MR. ELLIOTT:** We would be, I guess, ready to
8 take Hans' piece of work here and react to it.

9 **DR. MELIUS:** Yeah, because I think making
10 this, let's call it plausible, look at
11 alternative assumptions, sort of call it that
12 way. It would be a way, I mean, it would
13 really move us forward on both fronts. And
14 one is can we think we can bound, can we do
15 dose reconstructions on these. Or secondarily
16 it gets us to furtherance of discussion on,
17 you know, where's the line I guess. Where's
18 the line relative to this, you know, where's A
19 and B situations, people situations, relative
20 to some line even though we can't define the
21 line.

22 **DR. NETON:** I think what I'm hearing is we
23 need to determine among ourselves at OCAS that
24 we can, if we can or not bound Ames exposure
25 scenarios to the NTS incidents. And if we

1 believe we can, put a straw man out there that
2 either is similar or not to what SC&A has put
3 together. If we determine that we can't,
4 we're sort of back to square one. But that
5 it's worth pursuing in my book.

6 **DR. MELIUS:** Well, we also would have been
7 some of the issues of these scenarios for
8 Ames.

9 **DR. NETON:** I don't want to predict that we
10 say we can. I feel like we might be able to
11 go back and scratch our heads and think about
12 this as well.

13 **DR. MELIUS:** I don't think it's futile. I
14 don't think you're just doing it for the
15 exercise. I think it's --

16 **DR. NETON:** To the credit of SC&A they've
17 gone a long way towards mapping out the
18 parameters here and what needed to be
19 included. Can we cover all the bases?

20 **DR. MAKHIJANI:** Jim, how would you determine
21 the number of blowouts?

22 **DR. NETON:** Well, that was what was in the
23 back of my mind..

24 **DR. MAKHIJANI:** I think what Hans has done
25 is a good exercise for one blowout, but --

1 **DR. NETON:** But then, you know, you need to
2 look at, I was thinking about going back and
3 looking at some of the cases that also are in
4 the SEC. Admittedly, that doesn't cover all
5 sins because we still have to go, you know,
6 there's other cases could come in the door.
7 But, yeah, I think there's something
8 constructive to be learned from looking at the
9 cases we have. What their employment duration
10 was. I think you come up with a credible
11 number of blowouts I think based on production
12 records, something to that effect. I mean,
13 you should be able to bound that somehow.

14 **DR. MAKHIJANI:** I don't know. It's an
15 issue. ^ issue than a blowout calculation
16 itself.

17 **DR. NETON:** Yeah, I think that would be the
18 toughest issue to overcome is the number of
19 blowouts. I think we might be able to all
20 agree at some point how high, you know, it
21 can't be higher than X.

22 **DR. MELIUS:** But we normally do what we call
23 claimant friendly, I mean, I agree that
24 there's work to do to it, but I don't think
25 that's insurmountable.

1 **DR. MAURO:** And if this could work here, it
2 could work at NTS, too.

3 **DR. NETON:** Well, I don't know.

4 **DR. MAKHIJANI:** It's less defined.

5 **DR. MAURO:** What we've done here -- think
6 about it -- we've defined a scenario for an
7 explosion. Now the question is can we define
8 scenarios or range of different classes of
9 scenarios that occurred or might have occurred
10 at NTS where we could similarly place a
11 plausible upper bound. That's what we're
12 asking ourselves.

13 **DR. NETON:** The problem at NTS is you're
14 dealing with almost a periodic table. Here
15 you've got two nuclides, uranium and thorium,
16 and what are the doses? And that's pretty
17 well defined. We started at NTS, and we read
18 a guy had an iodine exposure. We got another
19 guy who was grossly contaminated going through
20 a cloud. My thought was well maybe come up
21 with an upper bound on the total dose and then
22 just throw it all into one organ, you know,
23 the organ that developed cancer, and you might
24 be able to bound it that way. And I thought
25 the worst internal dose you can come up with

1 for a scenario is, say, ten rem. Well, it's
2 bounded then if you just give the guy his
3 liver dose -- I don't know. I'm not sure --

4 **DR. MELIUS:** This gets us around the point
5 Paul brought up which worries me in Ames. We
6 don't want to get in the thing, well, you had
7 to be there 240 days or something like that
8 or, you know, at least four months. I mean,
9 that kind of calculations, and so by doing
10 dose reconstruction we're just doing it based
11 on the records, whatever you have. And there
12 are some assumptions that are claimant
13 friendly and bounding and so forth. You work
14 off of that and then so forth. I mean, I
15 think it has the added advantage to the extent
16 it helps some of the people with non-SEC
17 cancers.

18 **MR. ELLIOTT:** I mean, that's the biggest
19 thing I'm taking away from today's
20 discussions. Here's an opportunity maybe to
21 look at helping those folks out.

22 **DR. MELIUS:** Yeah, yeah.

23 **MR. ELLIOTT:** We hadn't thought about can we
24 reconstruct exposures.

25 **DR. MELIUS:** So, it's good, and I'm

1 intrigued with NTS, too, but let's see where
2 it is different and more complicated, but
3 it's, let's see where we go with it.

4 **MR. ELLIOTT:** The interesting piece here is
5 that it wouldn't change the class definition
6 as we see it right now. It would just help
7 those non-presumptive.

8 **DR. MAURO:** That couldn't be helped before.

9 **DR. MELIUS:** And we don't undermine them.

10 Anything else?

11 **ACTION ITEMS**

12 **DR. MAURO:** Does SC&A have an action item?

13 **DR. MELIUS:** You have an action item with
14 DTRA. And I think the first step in that is
15 to, I guess one would be to look at the -- I
16 know nothing about what DTRA had.

17 **DR. MAURO:** Wanted the proposal, so maybe we
18 can work out the details.

19 **DR. MELIUS:** Yeah, in the proposal let's do
20 a three-way thing that moves us forward.

21 **DR. NETON:** I'm more concerned about SC&A
22 would have to access the DTRA doses, right?
23 And those are not public record.

24 **DR. MAURO:** Well, that could be a, yeah --

25 **DR. NETON:** You'd probably have to work

1 through us because --

2 **DR. MAURO:** We're not doing any of that.

3 **DR. NETON:** So we could work out something,
4 the proposal first, I guess we're going to
5 look at the cases less than 250 days. We'll
6 profile them at NTS, and then we're also going
7 to evaluate the plausibility of doing a
8 bounding analysis for the blowouts and see
9 what happens from there.

10 **DR. ROESSLER:** What's the timeline?

11 **DR. NETON:** That's always the last question.

12 **DR. MELIUS:** We'll have the reports next
13 week.

14 **DR. NETON:** Some of us have use or lose
15 government leave, but I don't know.

16 **DR. ROESSLER:** ^

17 **DR. NETON:** I don't have to be involved in
18 this. These calculations can be done by
19 others.

20 **MR. ELLIOTT:** I don't know that we're
21 prepared to commit to a timeline because I'm
22 not sure what, how many cases we're going to
23 have to look at.

24 Do you have a sense of how long it's
25 going to take to --

1 **DR. MELIUS:** Look it over and then come
2 back. The DTRA thing I think is going to take
3 --

4 **DR. MAURO:** Well, the proposal's easy.

5 **DR. MELIUS:** The proposal's easy.

6 **DR. MAURO:** Once we agree on the proposal,
7 then we'll have to figure out how long it's
8 going to take.

9 **DR. MELIUS:** Okay, and then do that. And I
10 think we have progress to report for the
11 January meeting.

12 **DR. ZIEMER:** We're showing some kind of
13 progress.

14 **DR. MELIUS:** Yeah, and I think that's good.
15 Paul or Mark or anybody, any other
16 comments?

17 **MR. ELLIOTT:** I think if there's any paper
18 that's generated on either OCAS source or SC&A
19 here, we want to make sure that we get it
20 distributed to everybody. I feel that this
21 last round of your two documents only went to
22 a select group of people. I ended up sharing
23 it with the lawyers so I'd ask us to be
24 diligent in the distribution of this that we
25 use here.

1 **DR. MAKHIJANI:** I thought it was distributed
2 to the working group.

3 **DR. NETON:** The last one came from Dr.
4 Melius for some reason. I never got it except
5 for Larry gave it to --

6 **DR. MAKHIJANI:** But we agreed that Dr.
7 Melius would send you the --

8 **DR. MELIUS:** I thought I sent them to you,
9 too, but I could --

10 **DR. NETON:** Yeah, it didn't come out from
11 SC&A like it normally does which is to the
12 entire working group.

13 **MR. ELLIOTT:** I'm not raising this to blame
14 anybody. I'm just saying --

15 **DR. MELIUS:** No, I sent it to the working
16 group. I sent it to the working group, and
17 the reason I was trying to be very careful,
18 because I actually sent it to the working
19 group with a note saying be careful. This is
20 Privacy Act, you know, this is individual
21 records.

22 **DR. NETON:** You're right. I forgot about
23 that.

24 **DR. MELIUS:** That was why I think it was --

25 **MR. ELLIOTT:** Well, that's okay.

1 **DR. MELIUS:** I also might have typed your
2 address in wrong or it bounced back. It
3 happens to me all the time.

4 **MS. BEACH:** Hey, Jim, this is Josie. I have
5 a quick question for you. I wasn't involved
6 in the discussions three-to-five years ago,
7 but are there any other sites that are going
8 to come up under this besides the two we've
9 been discussing today?

10 **DR. MELIUS:** First of all, it didn't start
11 three-to-five years ago. It was, we've
12 probably been working on it almost a couple
13 years.

14 **MR. ELLIOTT:** When NIOSH says three-to-five
15 years ago that was internal discussions we
16 were having in the development of the
17 regulations.

18 **MS. BEACH:** Okay.

19 **DR. MELIUS:** Yeah, the only other site for,
20 I understand, this has been mentioned, and I'm
21 conflicted in the site so I don't know the
22 specifics of it, is the Apollo.

23 **DR. ZIEMER:** The Pacific Proving Grounds.

24 **DR. MELIUS:** And Pacific, that was the other
25 one, yeah.

1 **MS. BEACH:** Okay, so there may be a couple
2 more.

3 **DR. ZIEMER:** It's not restricted.

4 **DR. MELIUS:** No, it's not restricted, and
5 what we're trying to do --

6 **DR. ZIEMER:** The sites were just good
7 examples.

8 **DR. MELIUS:** Yeah, exactly, yeah.

9 **MR. ELLIOTT:** Certainly we understand
10 Fernald had these kind of explosions that Ames
11 had. We understand that the gaseous diffusion
12 plants had a different kind of release going
13 on. We understand that in certain instances
14 like Rocky Flats there were fires.

15 And we talked about fires maybe being
16 one of those kind of events that just presence
17 should be examined under. So it's not
18 restricted, Josie, just to the two examples
19 we're talked about here today. Hopefully,
20 they will illuminate yet how we would handle
21 some of the other examples we're not talking
22 about.

23 **MS. BEACH:** Right, thank you.

24 **DR. MELIUS:** With that we'll close. Thank
25 you all.

1

(Whereupon, the meeting was adjourned at

2

1:11 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Nov. 29, 2007; I, Steven Ray Green, then transcribed the proceedings, and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 5th day of Nov., 2008.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC

CERTIFIED MERIT COURT REPORTER**CERTIFICATE NUMBER: A-2102**