

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

HANFORD

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held telephonically on December 1,
2006.

C O N T E N T S
December 1, 2006

WELCOME AND OPENING COMMENTS DR. LEWIS WADE, DFO	6
PURPOSE OF MEETING	13
NEUTRON DOSIMETRY AND EXPOSURE	20
EARLY WORKER RADIOLOGICAL MONITORING	38
EXTERNAL BETA-GAMMA DOSE	40
INTERNAL DOSE ASSUMPTIONS	59
ENVIRONMENTAL DOSE	72
TANK FARM AND WASTE MANAGEMENT OPERATIONS	77
DECONTAMINATION AND DECOMMISSIONING	80
INCIDENTS AND ACCIDENTS	83
COURT REPORTER'S CERTIFICATE	105

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

- 1 CLAWSON, Bradley
2 Senior Operator, Nuclear Fuel Handling
3 Idaho National Engineering & Environmental Laboratory
- 4 MELIUS, James Malcom, M.D., Ph.D.
5 Director
6 New York State Laborers' Health and Safety Trust Fund
7 Albany, New York
- POSTON, John W., Sr., B.S., M.S., Ph.D.
Professor, Texas A&M University
College Station, Texas

IDENTIFIED PARTICIPANTS

ALVAREZ, BOB, SC&A
BEACH, JOSIE, USW
BEHLING, HANS, SC&A
BRACKETT, LIZ, ORAU
BROEHM, JASON, CDC
BUCHANAN, RON, SC&A
BURN, JOHN, ORAU
CAREY, ANNETTE, TRICITY HERALD
CONSCHAFTER, MIKE, CONG. DOC HASTINGS
DUNCAN, FRED, ORAU
ELLIOTT, LARRY, NIOSH
FIX, JACK, ORAU
HEMINGWAY, DIANE, USW
HOWELL, EMILY, HHS
HOYT, ROSEMARY, CLAIMANT
KOTSCH, JEFF, DOL
LAM, LIVIA, SEN. MARIA CANTWELL
LIPSZTEIN, JOYCE, SC&A
MACEVICK, GREG
MAURO, JOHN, SC&A
NELSON, CHARLES, HANFORD TBD
ROBERTSON-DEMERS, KATHY, SC&A
ROLLINS, GENE, ORAU
SCHMIDT, KELLY, USW
SHIELDS, LASHAWN, NIOSH
THOMAS, ELISE, ORAU
ULSH, BRANT, NIOSH

P R O C E E D I N G S

(1:30 p.m.)

1

2

WELCOME AND OPENING COMMENTSDR. LEWIS WADE, DFO

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

DR. WADE: Well, again, this is Lew Wade and I'll -- I'll begin the call. I have the privilege of serving as the Designated Federal Official for the Advisory Board, and this is a meeting of a working group of the Advisory Board. This working group is focused particularly on issues relating to the Board's review of the Hanford site profile.

This workgroup is chaired by Dr. Melius and members include Dr. Ziemer, Dr. Poston and Brad Clawson. At this point Dr. Melius is with us, Dr. Ziemer's with us, Brad Clawson is with us. I assume that Dr. Poston is not with us. That's fine.

I would start by saying that, you know, as we go through introductions we'll be dealing with issues of conflict of interest for different participants. None of the working group members are conflicted at all.

Dr. Poston --

1 When we're completed with that we'll ask SC&A
2 to identify themselves and again specify
3 conflicts.

4 After that I would ask if there are any other
5 federal employees on the call by virtue of
6 their employment and ask them to identify
7 whether they have any conflicts.

8 Then we'll ask if there are worker reps,
9 workers, members of Congress or staff on the
10 line who wish to identify themselves. Then
11 we'll give the opportunity to anyone else who
12 might wish to identify. So let's begin with
13 members of the NIOSH/ORAU team, and I would ask
14 if, when you identify, you identify any
15 conflicts you have relative to the Hanford
16 site.

17 **MR. NELSON:** Hello, my name is Charles Nelson.
18 I'm the Hanford TBD point of contact. I have
19 no conflict of interest with the Hanford site.

20 **DR. WADE:** Thank you.

21 **MR. MACEVICK:** Greg Ma-- Greg Macevick, health
22 physicist with OCAS and I have no conflicts
23 with the Hanford site.

24 **DR. ULSH:** This is Brant Ulsh filling in for
25 Jim Neton this afternoon. I have no conflicts

1 for Hanford.

2 **DR. WADE:** Other members of the NIOSH/ORAU team
3 who are on the call?

4 **MR. ROLLINS:** This is Gene Rollins. I'm the
5 technical lead for dose reconstruction Task V
6 for Hanford and I have no conflicts.

7 **MR. BURN:** This is John Burn. I'm with ORAU
8 and a task manager for dose reconstruction
9 research. I have no conflict at Hanford.

10 **MS. BRACKETT:** This is Liz Brackett. I'm the
11 principal internal dosimetrist for ORAU and I
12 have no conflicts with Hanford.

13 **MS. THOMAS:** And this is Elise Thomas and I'm
14 the principal medical dosimetrist for the ORAU
15 team, and I have no conflicts with Hanford.

16 **MR. FIX:** This is Jack Fix. I'm the principal
17 external dosimetrist for the ORAU team and I am
18 conflicted for Hanford.

19 **MR. DUNCAN:** This is Fred Duncan. I'm on the
20 ORAU team, a Hanford dose reconstructor, and I
21 have no conflicts with Hanford.

22 **DR. WADE:** Anyone else on the NIOSH/ORAU team?

23 (No responses)

24 Let's switch to our friends with SC&A. Please
25 identify and specify your conflict.

1 Congress or staff who would like to identify?

2 **MS. LAM:** Lydia Lam from Senator Maria
3 Cantwell's office.

4 **DR. WADE:** Welcome.

5 **MS. LAM:** Thank you.

6 **MR. CONSCHAFTER:** Mike Conschafter with
7 Congressman Doc Hastings' office.

8 **DR. WADE:** Thank you for spending the time with
9 us.

10 **MR. CONSCHAFTER:** Thank you.

11 **MR. SCHMIDT:** I guess, Kelly Schmidt with the
12 United Steelworkers Local 12369.

13 **DR. WADE:** Welcome, Kelly.

14 **MS. BEACH:** And Josie Beach from USW
15 steelworkers.

16 **DR. WADE:** Welcome, Josie.

17 **MS. HEMINGWAY:** Diane Hemingway, steelworkers.

18 **DR. WADE:** Welcome. Any other workers or reps
19 who wish to identify themselves?

20 (No responses)

21 Anybody else who wants to be on record as being
22 on the call?

23 **MS. HOYT:** Yes, my name is Rosemary Hoyt. My
24 father worked out at Hanford. We filed an
25 EEOICP claim and my sister and I have also

1 filed a Special Exposure Cohort petition that
2 was recently qualified.

3 **DR. WADE:** Welcome. Thank you for joining us.
4 Anyone else who wishes to identify?

5 **MS. CAREY:** Annette Carey* with the TriCity
6 Herald.

7 **DR. WADE:** Welcome. Now I assume, Ray, you're
8 with us and functioning?

9 **THE COURT REPORTER:** Yes, sir.

10 **DR. WADE:** Okay. Dr. Melius, it's all yours.

11 **PURPOSE OF MEETING**

12 **DR. MELIUS:** Now we've done introductions you
13 probably -- all probably need a break. The
14 purpose of this call is to start to organize
15 our new and sort of what we call comment
16 resolution process on the site profile
17 document. And these are always fairly
18 complicated things because it's -- sometimes
19 it's a mov-- appropriately a moving target.
20 The -- NIOSH, with assistance from its
21 contractors, are always continually updating
22 their doc-- their site profile documents and
23 their other, you know, Technical Basis
24 Documents that go along with those that -- that
25 are also changing so that while SC&A may -- has

1 done a review of the site profile as it existed
2 at the time, there are -- are updates to it and
3 so we -- we're trying to -- to the extent we're
4 going to discuss technical issues and try to
5 resolve some of the comments, it's a -- in some
6 cases that -- it may be that we're better off
7 waiting a little while and -- while, you know,
8 NIOSH completes a, you know, a change to a
9 section or -- that they're working on or
10 something like that. So what we hope to
11 accomplish in this call is sort of look --
12 going through the original set of comments,
13 sort of figuring out where things stand with
14 the site profile review and response to the
15 site profile review and ongoing changes on the
16 site profile that would be -- so that we can
17 organize our time -- our future workgroup
18 meetings and focus on things in an appropriate
19 fashion and not spend a lot of time on a -- on
20 a particular technical issue that may be
21 resolved by a -- you know, an ongo-- you know,
22 a change in the site profile or another
23 document that may -- may address that.
24 So what we hope to accomplish this afternoon is
25 to go through the -- that material and then try

1 to figure out, you know, where we need to --
2 you know, what should be prioritized in terms
3 of focusing on that document.

4 I'll add that the -- the way that the Board has
5 usually worked on these is that the same
6 workgroup that's looking at the site profile
7 usually -- involved in looking at the Special
8 Exposure Cohort evaluation. Now it's obviously
9 -- since the petition just qualified, it's very
10 early in that process. NIOSH will be working
11 on its evaluation and so forth, so eventually
12 we may be addressing concerns related to that,
13 but I think it's probably a little bit
14 premature, but everyone just should be aware
15 that that process is -- is also going on, but
16 it -- as I said, it's just started and NIOSH'll
17 just be really in the process of developing its
18 plans for the -- that evaluation and -- and so
19 forth. So we'll not be talking about that
20 certainly today. We may in future meetings of
21 this workgroup.

22 I think, as everyone should know, is that these
23 -- all the workgroup meetings are, you know,
24 publicly announced and we will, you know,
25 communicate with people that are interested

1 about participating and so forth and to -- to
2 let people know about the meetings and keep
3 them in public view and that -- and it -- we do
4 have a person transcribing all the meetings and
5 those -- the results of that transcription is
6 also publicly available or -- as soon as Ray
7 gets around to completing it. So -- and that's
8 available usually through the -- through the
9 NIOSH web site.

10 The documents we have circulated for this that
11 will be on and I apologize a little bit 'cause
12 some of these just went out in the last day or
13 two as we were getting -- getting organized.
14 Some of this is, you know, delayed. Some of
15 these documents are a little bit dated -- do
16 that. But we have an agenda for this meeting
17 which is simply a list of issues that SC&A do
18 this -- in the last couple of days did a
19 listing of sort of the issues in their --
20 raised in their site profile review and then
21 sort of cross-index that to the matrix, which
22 was this longer document that NIOSH produced
23 that's listed as Table 1, summary of task, site
24 profile findings issues which will also be
25 something that we will be referring to here.

1 The purpose of this SC&A agenda document is
2 just to make sure that we at least cover all of
3 the areas and understanding where they are in
4 the -- your resolution matrix.

5 And finally the -- there's another document
6 that NIOSH just circulated also this week which
7 is a three-page document which is called
8 "Update of Previously-provided Responses
9 Addressing Issues from the SC&A Review" and
10 it's dated 11/22/2006 and it -- it really
11 simply just updates where they are with
12 particular revisions, documents and that -- and
13 so forth so that -- it is helpful and it is
14 something we may want to refer to later.
15 My plan was to sort of go through the agenda
16 item by item and just sort of deal with it that
17 way. I don't know if anybody has any comments
18 or questions about the agenda or the proposed
19 procedure, like now is the time.

20 **DR. ZIEMER:** This is Ziemer. I just want to
21 confirm that we all have the same version of
22 the matrix. I think the version that I'm
23 looking at today has a -- a date on it of --
24 well, let me see. It actually --

25 **DR. MELIUS:** I think it's July. It's -- I...

1 **DR. ZIEMER:** Well, yeah, but the one that --
2 the version that has the NIOSH comments on it.

3 **DR. MELIUS:** Right, that's the one that's
4 entitled -- I'm -- the version I have does not
5 have a date on the thing. I believe it is from
6 July and it is -- the top of it says "Table 1,
7 Summary of Task I, Hanford Site Profile Matrix,
8 Primary Issues".

9 **MR. NELSON:** This is Charles Nelson. There
10 should be a -- July 18, 2006, I believe.
11 There's no date on the document, though.

12 **DR. MELIUS:** But that is the one that's --
13 actually I think that's the only version that's
14 been circulated.

15 **MR. NELSON:** That is correct.

16 **DR. MELIUS:** Yeah. Paul, is that the document
17 you have?

18 **DR. ZIEMER:** Yeah, uh-huh.

19 **DR. MELIUS:** Okay.

20 **DR. ZIEMER:** So -- we had -- you know, we had
21 the original version with -- with just the SC&A
22 comments.

23 **DR. MELIUS:** Right.

24 **DR. ZIEMER:** Right, then we had the version
25 with the NIOSH -- I want to make sure that's

1 the -- there's only that one version with that.
2 **DR. MELIUS:** There's only that one version with
3 the NIOSH response, and there's really the
4 addendum to that which is called the update on
5 previously-provided responses, the one that's
6 dated 11--
7 **DR. ZIEMER:** Right. That's just a regular --
8 **DR. MELIUS:** Yeah.
9 **DR. ZIEMER:** -- list, though.
10 **DR. MELIUS:** And Chuck just provided that to us
11 in the last --
12 **DR. ZIEMER:** Right.
13 **DR. MELIUS:** -- days, which again will be
14 helpful --
15 **DR. ZIEMER:** And also there's a memorandum from
16 Mr. Alvarez I think that I just got in the last
17 day or so.
18 **DR. MELIUS:** That was just -- it was -- it's
19 dated yesterday, so --
20 **DR. ZIEMER:** Yes, okay.
21 **DR. MELIUS:** Yeah, that's a document -- I think
22 it'll come up as we discuss --
23 **DR. ZIEMER:** Right.
24 **DR. MELIUS:** -- dated November 30th, 2006.
25 **DR. ZIEMER:** Right.

1 **DR. MELIUS:** That was also something I -- I
2 circulated, as well as was circula-- should
3 have been circulated within NIOSH.

4 **MR. CLAWSON:** Dr. Melius, this is Brad. Are we
5 going to be following the agenda for -- that
6 you sent out?

7 **DR. MELIUS:** Correct.

8 **MR. CLAWSON:** Okay.

9 **NEUTRON DOSIMETRY AND EXPOSURE**

10 **DR. MELIUS:** And why don't we start with that
11 and, again, just in case people don't have this
12 in front of them, I'll read some of this. It's
13 -- item number one is a neutron dosimetry and
14 exposure and it is referenced -- matrix
15 comments one and two cross-reference. I wonder
16 if someone from SC&A wants to sort of briefly
17 summarize what comments were and -- in that
18 area.

19 **DR. MAURO:** This is John Mauro. Unfortunately
20 Joe Fitzgerald is in the air right now so I'll
21 sort of sit in for him in sort of orchestrating
22 SC&A's participation. The first item is -- the
23 lead on that is Hans Behling, so I'd like to
24 turn that over to Hans and ask him if he could
25 get -- you know, tell his story regarding this

1 particular combination of issues.

2 **DR. BEHLING:** I'm going to have to make a
3 couple of comments here is that the matrix
4 really does not track the actual information
5 that we provided in our review. The essential
6 issue that surrounds the neutron/photon ratio
7 methods are really discussed in section 5.1.4,
8 which goes for a period of -- of -- for nine
9 consecutive pages and -- and the statements
10 that are currently addressed in item one, which
11 corresponds to 5.1, 5.1.2, 5.1.3 are really
12 introductory comments and really at this point
13 I would have very little to say about those. I
14 believe Bob Alvarez will comment about some of
15 the things that are identified in 5.1.2 and
16 5.1.3, but my presentation that I hoped to give
17 really centers around 5.1.4 and it's -- as I
18 said, is a fairly detailed and lengthy
19 discussion about the neutron/photon ratio
20 methodology that has been prescribed for dose
21 reconstruction for the Hanford facility and I
22 have multiple, multiple findings associated
23 with that and I'm not sure we're in a position
24 to go into those today.

25 **DR. MELIUS:** We're not planning on going into

1 detail on any issues today. I think the idea
2 is to try to organize and identify issues that
3 -- for -- it's timely and appropriate that we
4 do spend more time (unintelligible) workgroup
5 meetings.

6 **DR. ZIEMER:** This is Ziemer. Could I ask,
7 though, Hans, when you're referring to what you
8 just described as multiple findings, are these
9 additional findings that were not on the
10 original matrix, or --

11 **DR. BEHLING:** Well --

12 **DR. ZIEMER:** -- I wasn't quite sure what you
13 were --

14 **DR. BEHLING:** Well, the matrix at this point
15 really responds to findings identified in our
16 review as 5.1.1, 5.1.2, 5.1.3 and -- and
17 there's a couple of pages of columns that
18 address those issues. But when it comes to the
19 issues that surround 5.1.4, which is a nine-
20 page section, the response principally is "see
21 our response to the -- to the item number one,"
22 and so as far as I'm concerned, I can't really
23 comment if I cannot go into any of the
24 technical detail, which is really the crux of
25 the entire neutron/photon dose reconstruction

1 process.

2 **DR. ZIEMER:** Okay, but I think what you're
3 saying then is that the original SC&A comment -
4 - this is -- in the matrix -- I think it's item
5 two in the matrix. Correct?

6 **DR. BEHLING:** Right, item two is briefly what
7 I'd hoped to talk about, which --

8 **DR. ZIEMER:** Right.

9 **DR. BEHLING:** -- is quite lengthy --

10 **DR. ZIEMER:** That's a kind of a broad finding,
11 neutron-to-photon ratios derived from limited
12 source, and what you're saying is you have much
13 more detail on that finding. Is that what I'm
14 understanding?

15 **DR. BEHLING:** There are many, many issues that
16 I'd hoped to be able to discuss, but --

17 **DR. ZIEMER:** Yeah, were there sort of specific
18 items under that broad issue?

19 **DR. BEHLING:** Yes, but again, they're quite
20 numerous and -- and they're quite detailed, and
21 I'm not sure we're in a position to discuss
22 those today.

23 **DR. ZIEMER:** Yeah.

24 **DR. MELIUS:** But -- but I --

25 **DR. ZIEMER:** But maybe they can be identified

1 to us in some way at an appropriate time.

2 **DR. BEHLING:** Oh, yes.

3 **DR. ZIEMER:** Yeah.

4 **DR. MELIUS:** Yeah, but I think what -- and I'd
5 be interested in hearing from NIOSH, but I
6 think that that would be an issue that we would
7 identify that we ought to be talking about at
8 a, you know, an in-person meeting of -- of, you
9 know, the workgroup with, you know, NIOSH and
10 SC&A. I think it's going to take time and it's
11 something that it -- it appears that we need
12 further discussion to make sure that everybody
13 understands it and -- and then focus on what
14 the re-- you know, NI-- NIOSH's response to
15 that issue.

16 **DR. BEHLING:** Yes, and I agree. I think it's
17 too complex and I'm looking at the matrix and
18 the response, and there is very little if
19 anything that addresses the issue raised in
20 those pages in our review that starts with page
21 37 and go for approximately nine pages -- 37
22 through 46 -- so those are key issues. They're
23 very complex and -- and I don't think this
24 conference call is really the appropriate
25 meeting to discuss this with NIOSH.

1 **DR. ZIEMER:** This is Ziemer again, could I ask
2 one more question, Hans? Is the -- the matrix
3 was developed, I think, out of the SC&A report
4 which -- which you folks provided last fall, I
5 think it was in the September time frame, to
6 the Board. Is that correct?

7 **DR. BEHLING:** Well, I'm not sure who drafted
8 the matrix, quite honestly.

9 **DR. ZIEMER:** No, no -- well, I mean the matrix
10 is based on the SC&A report.

11 **DR. BEHLING:** Yes, I -- I assume whoever wrote
12 the matrix through those comments, but as I
13 said, the --

14 **DR. ZIEMER:** Well, what I was just getting at,
15 there are a number of findings in the SC&A
16 report, and I guess what I'm asking you is are
17 you -- do you have additional detail now or are
18 the items that you're describing already ones
19 that were in that initial report?

20 **DR. BEHLING:** Yes, I probably have just a small
21 number of supplemental pieces of information
22 that I would like to draw on, but for the most
23 part the nine pages in question from the
24 report, pages 37 through 46, are pretty much
25 the summation of issues that we have

1 identified.

2 **DR. ZIEMER:** Okay. So -- so basically it's not
3 a whole lot of new things, but items that you
4 had talked about in the re-- in the September
5 report.

6 **DR. BEHLING:** Yeah, if there's one -- one
7 additional item is -- an additional statement
8 regarding the 28 percent efficiency factor that
9 correlates NTA film to proportional counter and
10 I was actually hoping to bring out a couple of
11 more items that are of serious concern here
12 which were not identified in the original
13 report.

14 **DR. MELIUS:** NIOSH, do you have any comments on
15 this?

16 **MR. NELSON:** What we might suggest maybe is
17 that SC&A put a bulleted list together and we
18 put them in the matrix and address those. That
19 seems like a logical approach at this point.

20 **THE COURT REPORTER:** Excuse me, who was that
21 speaking, please?

22 **MR. NELSON:** Chuck Nelson.

23 **THE COURT REPORTER:** Okay, thank you.

24 **DR. MAURO:** This is John Mauro, just to
25 hopefully help out a little, we -- we -- the

1 SC&A team had a meeting yesterday where we
2 spent several hours going through the matrix
3 and discussing these -- these eight categories
4 of -- that are in the agenda. And one of the
5 things that became apparent as we went through
6 the discussion of it is we're finding,
7 especially with regard to the -- Hans's issue -
8 - that it is a -- it's more I guess functional
9 to discuss the issue as a holistic story
10 related to neutron dosimetry, photon dosimetry
11 and the overall approach that's being used in
12 areas that we find that need to be looked at by
13 the working group. And from that perspective
14 we found that the matrix in its current form
15 does not really facilitate the -- the -- the
16 issues in a way that I think needs to be
17 communicated. So I guess where -- where I'm
18 coming from is that when we engage, perhaps in
19 a working group meeting face to face, we -- I
20 think it's important to keep in mind that --
21 that sometimes the matrix and -- in an attempt
22 to make discrete items that we address one by
23 one separately, doesn't serve the process as
24 well as it can. Sometimes it's better to
25 really discuss an issue that really is a

1 combination of multiple items that are in the
2 matrix, and I think that's one of the reasons
3 that we worked with Dr. Melius and prepared
4 this agenda in the form it's in. So I just
5 wanted to point that out, that when we do get
6 to the point in time in this process, we prob--
7 we probably want to talk about the subject of
8 neutron dosimetry exposures and draw upon a
9 broad range of issues that sort of converge
10 into one whole story -- that I think is very
11 important, by the way. One of the things that
12 came out of yesterday's conference call in my
13 mind is that of these eight categories of
14 issues, the first one seems to emerge as the
15 one that I would say -- I don't know if
16 everyone will agree with it -- is the -- the
17 issue of greatest concern.

18 **DR. MELIUS:** This is Jim. Is that sort of --
19 is that adequately say summarized -- bulleted
20 in -- like you -- on the proposed agenda item
21 number one which refers to matrix comments one
22 and two, or does it get into some of the other
23 comments in the matrix. That's what I -- I'm a
24 little confused on. But when you say it's
25 broad, is it --

1 **DR. MAURO:** No, I -- I -- I think you're right.
2 I think -- I think -- and Hans, you could help
3 me. I think that being one and two in the --
4 in the July 18th matrix does map back probably
5 to what we're calling agenda item number one.
6 But Hans, is -- do you feel that one and two in
7 effect is the full scope that you want to draw
8 upon --

9 **DR. BEHLING:** Yeah --

10 **DR. MAURO:** -- discuss this item number one on
11 the agenda.

12 **DR. BEHLING:** Yes, I think we all agreed that
13 the issue of neutron-to-photon ratio
14 methodology for dose reconstruction is probably
15 the single most important element of concern
16 here -- as it stands with -- with regard to the
17 matrix, it's items one and two.

18 **MR. FIX:** This is Jack Fix on the ORAU team,
19 and I prepared much of the material that was
20 being discussed and -- both for Hanford and for
21 a lot of other facilities, and I agree, this is
22 too complicated a topic to resolve in a
23 teleconference. There is a lot of history and
24 there's probably a better forum to work this
25 issue. It is important to note that the -- our

1 approach in the dose reconstruction was to come
2 up with favorable-to-the-claimant bounding
3 evaluations. It wasn't to come up with a
4 precise reconstruction of dose, it was to make
5 sure that we had a method that did not
6 underestimate the dose.

7 **DR. BEHLING:** I would say -- this is Hans
8 Behling again. I would say, based on my
9 comments and what I interpret these information
10 that was presented in the TBD, I would say that
11 the -- the approach taken is anything but
12 claimant favorable.

13 **MR. FIX:** Well, that's why we need to work this
14 issue, because we have quite a bit of
15 information and we also used the approach used
16 by a number of other organizations
17 historically, as well as the AEC headquarter
18 investigation in 1972 of neutron exposures --
19 lifetime neutron exposures for Hanford workers.

20 **DR. MELIUS:** Well, let's just, you know, tag
21 this as -- as item number one to be discussed
22 at our next workgroup meeting and something,
23 you know, may take considerable time to -- to
24 discuss and we -- we need to leave time for --
25 for a full discussion of it.

1 **MR. FIX:** Is there a possibility of coming up
2 with another alternative of evaluating these
3 complicated topics, such as trying to form a
4 small working group, because you know, there's
5 a lot of issues here and it brings in a lot of
6 peripheral information, and there's a lot of
7 judgment involved as to whether or not we're
8 truly being favorable to the claimant, if we
9 have the adequate information, et cetera.

10 **DR. ULSH:** Jack, this is Brant Ulsh. If I
11 could just speak from my experience with the
12 Rocky Flats process, a process that has seemed
13 to work well for us in that venue is to
14 organize issue-specific conference calls
15 between NIOSH and SC&A. I of course defer to
16 Dr. Melius and the rest of the working group
17 about their comfort level with that, and the
18 way that we've done it with Rocky Flats is we
19 make the issue-specific conference calls known
20 to every working group member so that they can
21 attend if they so choose. But that's worked
22 well for us at Rocky Flats. I just put that
23 out there, maybe you would want to consider
24 something like that.

25 **DR. MELIUS:** Thanks, Brant. I don't

1 necessarily object to that, but I actually
2 think it would be helpful and my understanding
3 and recollection is with Rocky Flats that that
4 -- that was something that was done following
5 at least some sort of more technical --
6 discussion of some of the technical issues to
7 help give some focus on, you know, what's the
8 most worthwhile approach to take and where --
9 you know, what needs to be discussed. We are
10 also committed to, you know, this is a public
11 process, so I guess I -- I'd prefer to reserve
12 that until after our next meeting. You know,
13 let's spend a meeting talking about this and
14 laying the issues out so that everyone
15 understands, you know, the -- the approach that
16 was -- was taken and dev-- you know, developing
17 this, you know, technical ap-- approach dose
18 reconstruction and then -- then, you know, and
19 what some of the concerns are and let's see if
20 there -- you know, may very well be that a
21 smaller group having a conference call to
22 discuss some of these technical aspects may
23 very well be appropriate. I don't think -- how
24 anybody else on the workgroup feels, but I
25 think -- I -- I think it's helpful if we at

1 least have some perspective on what you will be
2 doing in a little bit more detail before we go
3 ahead with something like that.

4 **MR. FIX:** This is Jack Fix again. I think this
5 issue of neutron-to-photon is a generic issue.
6 It was also an issue for the Rocky Flats plant
7 technical guidance and it's a general --
8 generally applicable to a number of sites, and
9 so we do -- and you know, neutron-to-photon
10 ratios were used in the -- at the Rocky Flats
11 plant and also in the neutron dose
12 reconstruction project that was funded there --
13 the multi-year neutron dose reconstruction
14 project.

15 **DR. BEHLING:** Let me just weigh in on this. I
16 realize that the neutron/photon ratio is
17 somewhat generic in nature because of the use
18 of NTA film at different facilities prior to
19 the development of multi-- the -- the Hanford
20 multi-purpose dosimeter. However, the issues
21 that affect the Hanford site is somewhat unique
22 because we have the eight production reactors,
23 the single-pass reduction reactors, we have the
24 N reactor and we have the 200 and 300 area
25 where plutonium was separated and finished, and

1 so the -- while the generic issue of
2 neutron/photon ratio may be one that's complex-
3 wide, the uniqueness of the N gamma ratios that
4 were developed are basically those that are
5 limited to Hanford and -- and are unique to
6 Hanford.

7 **MR. FIX:** Well, I agree with you on some
8 facilities, but as you know, the Rocky Flats
9 facilities were first -- operations were first
10 located at Hanford, and I agree with you,
11 that's why we have more than one ratio in the -
12 - in the document.

13 **DR. MELIUS:** Let's -- let's sort of move on and
14 save that for our next workgroup meeting.

15 **DR. ZIEMER:** Jim, this is Ziemer. I might --
16 in terms of your original question or the
17 question about how to proceed on this kind of
18 an issue, it seems to me once Hans shares all
19 the details with NIOSH and with the Board, if
20 there are some additional details beyond what's
21 in the original SC&A report, then we may be --
22 we need either a face to face -- I mean it
23 still is going to be a small group. For the
24 Board it's just four of us, there are probably
25 several from the NIOSH/ORAU team and two or

1 three from SC&A, but either face to face or by
2 phone to have a focused look at that particular
3 issue.

4 **DR. MELIUS:** I mean I -- I agree, and I guess
5 what I was thinking is we -- we ought to have a
6 face to face workgroup meeting and, you know,
7 this is a major agenda item and I mean it seems
8 to be a critical issue in the dose
9 reconstruction, and so we ought to -- for
10 Hanford and so we ought to sit down and spend
11 some time on it and determine what needs to be
12 done from there.

13 **DR. ZIEMER:** And if I might just follow up and
14 ask, and maybe, Hans, you can make a suggestion
15 here, just looking at the -- I'm sort of
16 looking side by side at the matrix with the
17 SC&A report. I think there was an attempt to
18 take the list of findings by SC&A and -- and
19 each one is identified, but it may be that --
20 under 5.1.4 it may be that SC&A would want to
21 have some -- a further breakdown of that. I
22 mean 5.1.4 is pretty mu-- the finding is pretty
23 much a quote from the report, as far as I can
24 see. And do we need additional sort of sub-
25 findings there so that we can get a handle

1 around the issue a little better?

2 **DR. BEHLING:** Yeah, what I think -- you know,
3 what happened was that I was asked to look at
4 this whole issue of neutron/photon ratios as an
5 independent evaluation. And when I handed in
6 my report it was kind of tucked into the
7 section of -- that you see on your 5.1, and
8 somehow other it lost its insignificance (sic)
9 and importance in trying to blend it in. Like
10 all reports, our report is a committee report
11 and sometimes at the last minute we scramble
12 trying to dovetail these things in. 5.1.4
13 should have been the center focus of that whole
14 discussion --

15 **DR. ZIEMER:** Yeah --

16 **DR. BEHLING:** -- and under (unintelligible).

17 **DR. ZIEMER:** -- and as it stands now, it
18 appears to me that what you're saying is that
19 it has lost some of its specificity and maybe
20 the -- the particular concerns got lost --

21 **DR. BEHLING:** Yes.

22 **DR. ZIEMER:** -- in the bigger picture here.

23 **DR. BEHLING:** Yes, you're -- you're exactly
24 right, Dr. Ziemer. We have to repackage it in
25 the sense we're not basically fair to -- to the

1 issues that were being assessed here and -- and
2 not highlighting them and saying here are the
3 concerns that we really have and -- and
4 identify them separately. And I may have to go
5 through the write-up as I initially put it in
6 there, which is somewhat different from what
7 you ended up seeing in the actual report that
8 we issued, and I think it's considerably more
9 clear as to what the concerns are and -- and --

10 **DR. ZIEMER:** For practical purposes, the matrix
11 is simply a chart taking the SC&A findings --
12 putting them in chart form and then asking
13 NIOSH to respond.

14 **DR. BEHLING:** Yes. I mean the findings are so
15 briefly stated it's not even an abstract that -
16 - in a technical paper that you tried to
17 capture a few buzzwords, but clearly you cannot
18 identify the issues without reading the text.
19 And so this is where we are with the matrix.
20 The -- the finding as it's stated there is
21 basically an over-simplification of issues.

22 **DR. ZIEMER:** Thank you.

23 **DR. MELIUS:** You could do that, Hans, I think
24 before the -- have that circulated before the
25 next meeting, I think it would be helpful.

1 **DR. BEHLING:** I will do that.

2 **DR. MELIUS:** That may -- that may -- obviously
3 would help us when we go to the discussion.

4 **DR. BEHLING:** Yes, I will -- I will work on
5 this and you will have it perhaps in time for
6 the upcoming meeting in Chicago.

7 **EARLY WORKER RADIOLOGICAL MONITORING**

8 **DR. MELIUS:** Thanks, Hans. Okay, we'll move on
9 to number two, which is early worker
10 radiological monitoring, which is covered under
11 comment three in the matrix.

12 **DR. LIPSZTEIN:** On this comment -- strikingly
13 painstaking on SC&A -- I think NIOSH is redoing
14 it based on our comments, I don't know, but
15 there is a new Hanford internal TBD which is
16 under revision and in comment resolution at
17 this time, so they say that reliance on the air
18 samples was removed from this section and --
19 and also the -- the new revision would contain
20 more information on (unintelligible) and
21 iodine-131. I haven't seen the new version
22 because it's under revision so I couldn't even
23 find it on the O drive, so I think we have to
24 wait to see how it's dealt now.

25 **DR. MELIUS:** Chuck or some -- anybody from

1 NIOSH?

2 **MR. NELSON:** Yeah, this is Chuck Nelson. She's
3 correct, the document is currently under
4 review. It's undergoing resolutions between
5 ORAU and NIOSH, so that is correct.

6 **DR. MELIUS:** Always hate to ask this and -- but
7 I will.

8 **MR. NELSON:** I knew you were going to.

9 **DR. MELIUS:** Any idea when it's going to be...

10 **MR. NELSON:** Liz, do you have any elaboration
11 on that -- Liz Brackett?

12 **MS. BRACKETT:** To be honest, I don't know where
13 it is in the review cycle right now. Fred
14 Duncan may know that.

15 **MR. NELSON:** I can -- I can provide some light
16 on it. Tom Tomes over here at NIOSH has it and
17 he owes a -- a response in the latest round, so
18 it's going through a couple of iterations and
19 we're getting closer, but I don't know that I
20 can give an exact date, to be honest.

21 **DR. MELIUS:** That's ok-- well, prefer honesty.
22 Do that. Is everyone agreed then that we
23 should sort of postpone trying to address this
24 issue in terms of a meeting until that document
25 is --

1 **DR. ZIEMER:** Well, yeah, obviously we need to
2 have the document first.

3 **MS. BRACKETT:** And there's actually two
4 documents. It's tied in with --

5 **DR. ZIEMER:** Right.

6 **MS. BRACKETT:** -- the coworker document now --

7 **DR. ZIEMER:** Right.

8 **MS. BRACKETT:** -- which is also in the review
9 process.

10 **MR. NELSON:** This is Chuck Nelson. That's
11 correct. It's TIB-39 -- OTIB-39 and the
12 internal Hanford -- that's the two documents.

13 **EXTERNAL BETA-GAMMA DOSE**

14 **DR. MELIUS:** Next is -- from the agenda is
15 external beta-gamma dose adjustments and
16 uncertainty factors, which reference comments
17 four and five. John or someone from SC&A want
18 to --

19 **DR. MAURO:** I -- I guess I thought this was
20 Ron's -- Ron, are you on the line?

21 **MR. BUCHANAN:** Yeah -- yeah, I'm on. I didn't
22 know if you wanted to say anything first.

23 **DR. MAURO:** Oh, no, please help me out.

24 **MR. BUCHANAN:** Okay. This is Ron Buchanan with
25 SC&A. A problem with number -- item number

1 three there, the external beta-gamma dose
2 adjustment and uncertainty factors which is
3 comment four and five -- by the way, comment
4 four and five, four was on the adjustment and
5 uncertainty factors; five was on the shallow
6 dose. Since our revision -- our review of
7 these TBD, OTIB-17 has come out for the shallow
8 dose, and at this point we are fairly satisfied
9 with that and so comment five we won't discuss
10 today I think as far as -- today, if that's
11 okay.

12 The items under number four, which we'd like to
13 address, are the ones of the -- of uncertainty
14 factors mainly. In the old TBD they addressed
15 some of these. The new one just came out about
16 nine days, ten days ago, the 11/21/06 edition.
17 I went through it, compared it to the old
18 edition and if you look at both editions, the
19 second edition is very similar to the first
20 edition, other than that they've added pages 56
21 through 63, made a few other changes. However,
22 the overarching problem is that -- they do a
23 very good job of talking about uncertainties
24 and biases in TBD 6, the old and the new
25 version, but in the end there's nothing really

1 done about it to bring it together. And I find
2 that true in the new as well as the older
3 version. And the bottom line is that you
4 adjust plutonium workers by 20 percent for
5 doses before '57 -- plutonium only workers --
6 and that neutron doses from '78 to '83 is
7 adjusted by a factor of 1.35, and the rest of
8 it is not really congealed to any final
9 instruction to the dose reconstructor. And so
10 my problem with this is that it may contain the
11 information it needs, but it doesn't put it
12 together in the end and especially an appendix
13 or an attachment -- they changed the word to
14 attachment A here -- on what the dose
15 reconstructors could use other than those two
16 things I just quoted. And so there seems to be
17 a disconnect in a lot of good information
18 presented, such as tables and such in the main
19 body, to the end results of what the dose
20 reconstructor was go-- is instructed to use.
21 And another conflicting problem is that this
22 table 6-12 is still in the new edition on page
23 32, and it's my understanding that PER-05,
24 6/9/06, was issued concerning dose
25 reconstructors' problems with using the factors

1 in these tables, that some of them were
2 dividing by the factors and some of them were
3 multiplying by it, which caused -- had to go
4 back and redo about 50 cases. Fortunately
5 there was no real change in dose overall and
6 none of the group -- claimants had to be redone
7 because of it. Anyway, there wasn't any change
8 in the final results. However, I find it
9 confusing that that is still left in there.
10 And so my comment is I feel that -- that the
11 uncertainty and bias factors are laid out, but
12 they're not summarized in the end and it's very
13 difficult for the dose reconstructor to really
14 see what is -- how the -- the -- all this
15 material that's laid out is to be used at the
16 end results.

17 **DR. MELIUS:** Chuck, any comments from --

18 **MR. NELSON:** Yeah, I'm here. What I'd like to
19 do is get Jack Fix, he's the internal
20 dosimetrist, to -- to reply to that if he will.

21 **MR. FIX:** Yes, this is Jack. I guess I want to
22 -- I guess this shows the difficulty in writing
23 a TBD that tries to provide the scientific
24 evidence that's available and at the same time
25 try to provide clear guidance to the dose

1 reconstructor. And of course we know the dose
2 reconstructors really are -- are knowledgeable
3 people, they understand health physics concepts
4 and terms and they actually have training and
5 there's weekly discussions. There's a lot of
6 things that are provided to support their
7 activities. In this particular case, those two
8 tables that are referenced, those are lifted
9 from the original documents. In one case, one
10 author divided the table values to get an
11 estimate HP-10 dose and in another case the --
12 the author -- original author multiplied a
13 correction factor to get the HP-10 dose. I
14 agree it's confusing, but we generally try not
15 to modify information that's lifted from a
16 published document. So the listed biases and
17 uncertainty factors in these tables are not
18 used directly in the dose reconstruction. We
19 actually have a process. As everyone knows,
20 the actual information used in each claim is
21 clearly described in the dose reconstruction
22 report and so it's a -- I -- I really find it -
23 - I think one thing that helps add clarity to
24 some of these very difficult issues with lots
25 of complexity and lots of technical --

1 technical information is to try to focus on the
2 individual claims because the individual claims
3 is what we -- we use in dose reconstruction. I
4 mean we're looking at the actual detail des--
5 radiological monitoring record for each
6 employee, and that is what drives some of the -
7 - the judgments that are used in the dose
8 reconstruction. And certainly we use the
9 information from the TBD, but -- but I -- but
10 you know, it adds a lot of clarity when you
11 look at this -- how this information is applied
12 to a specific claim. And this is an example
13 here. This is a compendium of scientific
14 evidence that's been generated and -- but it's
15 used in -- in what we -- we maintain is a
16 claimant-favorable analysis of -- in
17 reconstructing doses that are bounding, not
18 that we're trying to do exact dose
19 reconstruction. We're trying to make sure that
20 we're not underestimating the dose that's
21 assigned to the claimant.

22 **MR. BUCHANAN:** This is Ron Buchanan again. How
23 is -- in Appendix A, does it tell the dose
24 reconstructor to ever use Table 6-12?

25 **MR. FIX:** I don't have it right in front of me,

1 but I assume 6-12 is some of the -- is the bias
2 and uncertainty factor?

3 **MR. BUCHANAN:** Yes, uh-huh, and it gives a
4 range -- overall bias that -- a range of --

5 **MR. FIX:** No, no, actually it depends on
6 whether we're doing a best -- best estimate, a
7 maximizing or a minimizing dose reconstruction.
8 As you know -- for the benefit of some of the
9 other people on the teleconference here, we're
10 trying to evaluate these claims fairly quickly
11 and we have sort of a triage approach. So in
12 some cases we assign every -- all -- we assign
13 -- maximizing a dose from several different
14 components to see if there's any possibility
15 that even providing unrealistic estimates of
16 dose, could this person even -- could the
17 person become nearly compensated. And then we
18 have another approach which is minimizing in
19 which we put minimum estimates of dose from
20 several components, and if a person is -- still
21 exceeds the -- what's called the probability of
22 causation at 50 percent, then we know that
23 person is compensable. So we can get -- handle
24 those two groups of claims very quickly. Then
25 we're left with the challenging ones of the

1 best estimate, the people that may be
2 compensable or may not, depending on the
3 various assumptions used for the dose analysis.
4 And so that's -- you know, that's generally the
5 analysis, so we have different assumptions that
6 are used, depending on which -- which pathway -
7 - which of these three gen-- three high-level
8 analyses that are underway. And I know the
9 SC&A team is very -- they are -- I mean the
10 SC&A team is very familiar with that in the
11 dose -- the claims that you people are
12 reviewing.

13 **DR. ZIEMER:** This is Ziemer. Ron, could I ask
14 you to clarify your -- your concern on this
15 last item? Was it that although the document
16 is -- the document has been revised and so on,
17 that there was simply lack of instruction to
18 the dose reconstructor on what to do with the
19 information, or was it -- was it the fact that
20 there could be confusion on the two tables, one
21 of which used a divisor and the other a
22 multiplier, or -- clarify again what -- what
23 the concern was at this point.

24 **MR. BUCHANAN:** Okay. The overarching concern
25 is that -- that a lot of the information is

1 provided in the text, but I don't see -- it's -
2 - you know, give geometry factors, for example.
3 It talks about geometry factors and the
4 response of different detectors to different --
5 AP or rotational isotopic A radiation, that
6 sort of thing. However, the end result is
7 well, just use 100 percent AP in all cases,
8 other than it gives a little bit of verbiage
9 right at the end on page 921 it says -- it says
10 if not available, the adjusted organ dose can
11 be used for each year and the organ dose in
12 comparison of a dose conversion factor for the
13 respective exposure geometry for the organ of
14 interest can be made to determine a realistic
15 option to form a favorable to the claimant
16 analysis. And so my concern is that -- that
17 the -- the main text contains a lot of
18 information, but at the end it doesn't really
19 say okay, the dose reconstructor, this is what
20 we recommend that you do. And I realize
21 there's -- like Jack was saying, you have
22 maximizing, minimizing cases, your best
23 estimate, and I don't see it really boiled down
24 into a useable template at the end. And in the
25 -- in the revisions that were made, it doesn't

1 seem to facilitate this additionally. And to
2 me, it's hard to look at the system and see
3 what the dose reconstructor's going to use.
4 **MR. FIX:** Well, I think -- this is Jack Fix
5 again. I think my explanation is that that's
6 because the details of the claim drive what's
7 used in the dose reconstruction. It's -- and
8 these particular tables, one table summarizes
9 actual laboratory measurements made by the
10 International Agency for Research on Cancer
11 studies of ten widely -- widely-used dosimeters
12 in the world in support of their IARC 15-
13 country study, and it's just a tabulation of
14 what they observed in their laboratory
15 measurements for AP, rotational and isotropic
16 irradiations to three beams only that was done
17 at the International Agency for Atomic Energy
18 facility near Vienna. And there's another
19 table that summarizes measurements that were
20 made at Hanford in which for similar -- both
21 these studies were done to support
22 epidemiologic studies in which they were
23 examining -- considering reconstruction of the
24 dose of record, and the other table is for
25 measurements that were made on an

1 anthropomorphic phantom at Hanford in which the
2 phantom was placed at selected orientations
3 throughout a 360-degree circle to -- again
4 exposed to selected beams and in this
5 particular case using all of the Hanford
6 historical record -- dosimeters of record.
7 Now of course if you're doing a dose
8 reconstruction and you know if a worker is
9 exposed to a particular type of nuclide, say
10 americium-241 or if they're working exclusively
11 in a plutonium facility and -- first of all,
12 none of these measurements at IAEA or at
13 Hanford are suitable for -- for the lower
14 energy of -- of a plutonium facility, for
15 example. I think you would key these judgments
16 used in a dose reconstruction to what the
17 worker's actually being exposed to.

18 **DR. MAURO:** Jack, this is John Mauro. I think
19 I'm hearing an important overarching -- it's
20 not an issue, it's a perspective. What it
21 sounds like is that we should not be looking to
22 -- at least in this case -- the site profile on
23 external dosimetry to be a cookbook. It sounds
24 like it provides a compendium of information
25 and that -- that information, together with I

1 guess other tools and training, et cetera, is
2 what in fact the dose reconstructor draws upon
3 to make use of the information -- appropriate
4 use of the information contained in the site
5 profile and perhaps other O-- OTIBs. So maybe
6 -- maybe the -- the -- what I'm hearing is,
7 maybe we're -- we, when we're looking at the --
8 this document, we're asking too much of it;
9 that it be a cookbook when in fact it never
10 really -- really wasn't intended to be that.
11 Did I misrepre-- I mean that's what I'm hearing
12 is --

13 **MR. FIX:** No, you're exactly right, John.
14 That's a good summary. We do have our -- our
15 cookbooks, if you want to call them that, and
16 we have our tools that provide us to -- to the
17 dose reconstructors to have consistency in the
18 dose evaluations, but that's not what's in a
19 site profile. The site profile is really a
20 compendium of what type of radiation fields are
21 we dealing with. It's scientific information,
22 what was used to develop the dose of record.
23 You know, it has to do with the radiation field
24 -- what the people are working with. But
25 you're right. Your summary's a very succinct

1 one.

2 **DR. MELIUS:** Jim Melius. I think this is one
3 area we have difficulty in -- in addressing
4 because we have -- we have the site profile
5 reviews going on, we have the individual dose
6 reconstruction reviews. I think the issue
7 comes up is whether the overall -- combination
8 of all these documents and instructions and so
9 forth, do they work and do they provide, you
10 know, consistency in -- in terms of addressing
11 individual dose reconstructions that -- that
12 are being done. You know, are -- are the 20
13 that are being done based on this document or
14 whatever, you know, would -- are the dose
15 reconstructors providing, you know, consistent
16 and fair so that -- that -- that people are
17 treated in an appropriate fashion, and that's a
18 complicated answer because these are individual
19 dose reconstructions and it's a complicated
20 area and I -- I don't know if there's an -- an
21 easy answer to how -- some ways -- sort of how
22 the overall system works and not how the site
23 profile and the other documents fit -- fit in
24 other instructions and training for the dose
25 reconstructors' work and maybe what we should

1 do is move on but, you know, come back to this
2 in our workgroup meeting just as something to
3 discuss as to what type of finding the
4 workgroup would want to make from this and do
5 we need to try to clarify -- clarify it some
6 more. But -- but I think it's something -- it
7 may be worth at least a short discussion at a -
8 - a full workgroup meeting.

9 **DR. ZIEMER:** Yeah, and this is Ziemer. I agree
10 with that, Jim, and I think you -- you kind of
11 hit the nail on the head and maybe John Mauro
12 did, too, in the sense that -- as for the site
13 profile, we want to make sure that the
14 information in there is the correct
15 information. There are a lot of other
16 procedures and SC&A's been reviewing some of
17 them -- or all of them for us, ultimately, and
18 we want to make sure that the procedures used
19 in the dose reconstruction process properly
20 make use of the information that's in the site
21 profiles.

22 **DR. MAURO:** Yeah, I'd li-- and this is John.
23 I'd like to add one last thing, and that is --
24 which is good news, is that in -- this is one
25 of the older -- one of the first site profiles

1 we reviewed. In the work we're doing now, the
2 -- the current mandate, when we review a site
3 profile, embedded in that process is now not
4 only the site profile but also all the old TIBs
5 that go with it and all the workbooks. So what
6 I think we have here is we're looking -- what
7 we're doing now is that more integrated
8 perspective of -- unfortunately though, on
9 Hanford we were -- we're still really operating
10 on the matrix that only reflects a one-
11 dimensional perspective.

12 **DR. ZIEMER:** And not the workbooks.

13 **DR. MAURO:** And I think -- I think we have a
14 manageable situation, once we understand that.

15 **DR. BEHLING:** This is Hans Behling. I just
16 want to make a comment that I guess is pretty
17 much in concern with what you said, John, and
18 that is the TBDs are oftentimes written with an
19 awful lot of background information that is
20 there to educate the people but are not to be
21 used for dose reconstruction. And
22 unfortunately, many of the people now -- we've
23 had a number of people working with Kathy and I
24 to do an audit, and they repeatedly make the
25 same mistake in assuming much of the

1 information that's presented in the TBD is
2 there for guidance purposes when in fact it's
3 there for background information only.

4 **DR. ZIEMER:** Right.

5 **DR. BEHLING:** And oftentimes the problem comes
6 in that the actual directives and guidance for
7 dose reconstructions are really not part of the
8 major part of the document itself but is tucked
9 into an attachment or an appendix that comes at
10 the end of the TBD, and oftentimes the peo--
11 the people read the TBD, they get to the
12 reference list and says well, I've read it, and
13 they actually miss the guidance that they're
14 supposed to be looking at for dose
15 reconstruction because it follows the reference
16 list. They never even see the actual guidance
17 document. And I think it's really a question
18 of packaging the TBD and saying this is for
19 your information, as opposed to these are the
20 actual guidance that you should follow in doing
21 dose reconstruction. And I've observed this
22 over and over and I'm guilty of it myself when
23 I read the TBD and I looked at some of the
24 comparative information for -- for TLDs and
25 film dosimeters and -- and historic studies, I

1 said is this supposed -- something that I'm
2 supposed to use, only to realize no, it's not.
3 It's just information that's handed to you that
4 has very little relevance to the actual dose
5 reconstruction process.

6 **MR. BUCHANAN:** This is Ron again. I'd like to
7 make one comment on page 85 of the revised TBD,
8 Section A-4.2.2, it says adjustments to
9 recorded penetrating dose, it says no
10 adjustment in the recorded dose is recommended
11 for multi-(unintelligible) thermoluminescent
12 dosimeters (unintelligible) recorded
13 penetrating or gamma doses -- and it goes on to
14 explain except for the 20 percent for plutonium
15 before '57. So -- so you know, that is where
16 it's a little confusing if it's saying it's
17 recommending no adjustment and it provides all
18 these adjustment factors. So you know, indeed
19 what you're saying is TBD is just there to
20 present some information on the site, then I
21 feel that this -- where it says recommend no
22 adjustments be made except for that 20 percent
23 is kind of confusing.

24 **MR. FIX:** Well, it is, I -- I agree with you
25 and it -- this is Jack Fix again. I agree with

1 you, it is very confusing. And the reason the
2 20 percent is in there is that, based on the --
3 what we understand at Hanford, that they
4 actually did make the corrections for the
5 workers to assign a pene-- deep dose to
6 plutonium workers, and the same procedure was
7 used at both Hanford and -- and Savannah River
8 Site. However, when we look at the Hanford
9 record we can't validate that in fact they
10 actually did that for all years. And this has
11 to do with the fact that it's evident that the
12 deep dose, if you use only the shielded portion
13 of the film, is not ade-- is not adequate in a
14 plutonium facility to estimate the deep dose
15 from photons only. But the shallow dose with
16 energy photons is likely very much -- with --
17 has to be properly calibrated and it -- or it
18 tremendously overestimates the shallow dose and
19 so we know that they undoubtedly had to make
20 that correction, but we don't know that they
21 took the -- the one-fifth and assigned it --
22 one-fifth of that response (unintelligible)
23 deep zone, so it does get complicated and so if
24 there's evidence in a claim that in fact that
25 was done -- we haven't seen this so far -- so

1 we take the favorable to the claimant
2 assumption -- the favorable to the claimant
3 approach and assume that it was not done and so
4 we assign it.

5 **MR. BUCHANAN:** Yeah, I understand that, Jack.
6 I guess my comment was the wording at the
7 beginning of the sentence --

8 **MR. FIX:** Yeah.

9 **MR. BUCHANAN:** -- no adjustment is recommended
10 except, and I understand where you're coming
11 from on the 20 percent. And so to me that
12 means that the dose reconstructor is not
13 supposed to use any of these things back here
14 of geometry and -- and uncertainty and all
15 that, the bias factors says no adjustment in
16 the recorded photon dose is recommended --

17 **MR. FIX:** Uh-huh.

18 **MR. BUCHANAN:** -- except for the 20 percent, so
19 that's where I'm coming from on the end result
20 --

21 **MR. FIX:** Yeah.

22 **MR. BUCHANAN:** -- the overall.

23 **MR. FIX:** Well, I agree with you that it's --
24 it's not always prop-- clearly worded and --
25 and I agree that -- with John's earlier comment

1 that this is a multi-dimensional process. And
2 as you know, since we do do the dose
3 reconstructions, we do have to make decisions
4 for each step, and those decisions are made and
5 they're made in another environment than the
6 one we're discussing.

7 **MR. BUCHANAN:** Okay.

8 **MR. FIX:** And there's very clear approaches
9 used in the dose reconstruction.

10 **INTERNAL DOSE ASSUMPTIONS**

11 **DR. MELIUS:** I hope everyone agrees with me
12 it's time to move on --

13 **DR. ZIEMER:** Yeah.

14 **DR. MELIUS:** -- next issue, which is number
15 four, internal dose assumptions.

16 **MR. NELSON:** Let me back up -- this is Chuck
17 Nelson again. I wasn't sure why we skipped
18 comment five. I didn't really catch the reason
19 of why we skipped it.

20 **MR. BUCHANAN:** Oh, comment five -- this is Ron
21 Buchanan again -- comment five was on the
22 shallow dose, and SC&A is satisfied with the
23 answer on it.

24 **MR. NELSON:** Oh, very well, I like that. Thank
25 you.

1 **DR. ZIEMER:** Yeah, I think he mentioned that at
2 the front end.

3 **MR. NELSON:** I didn't understand what he said
4 there, that's why I wanted to back up. I knew
5 he wanted to move on, but I didn't really
6 understand why. Thank you for that
7 clarification.

8 **DR. MELIUS:** That's fine. Thanks. Comment
9 four, internal dose assumptions, John, do you
10 want to -- or whoever you're having --

11 **DR. MAURO:** We have a combination, I think.
12 This is something for Joyce, but also the new
13 item on sodium-24, something for Bob Alvarez.
14 So I'd like to pass the baton over to those two
15 individuals to take care of this.

16 **DR. LIPSZTEIN:** Okay, let me just start this
17 'cause it's very simple because most of this is
18 referred to OTIB-039, which was formally
19 reviewed by SC&A. We are in the process of
20 reviewing OTIB-039 and since most of it
21 referred to it, I think we have to wait till
22 the review of OTIB-039.

23 **DR. MELIUS:** If I could ask you, what's the
24 timing on -- on that?

25 **MR. NELSON:** OTIB-39 -- this is Chuck Nelson --

1 is on the same time line as the internal TBD.
2 In my understanding, ORAU is -- they should be
3 completed about the same time.

4 **DR. MELIUS:** Okay. Thanks, Chuck. Bob?

5 **MR. ALVAREZ:** I was asked to prepare a memo
6 which I sent -- which was I guess sent out
7 yesterday, and in our review of the site
8 profile -- we became concerned after reviewing
9 documents that there is a possibility that
10 reactor workers may have been exposed to -- or
11 have been chronically exposed to unmeasured
12 neutrons. And -- and so the memo that I
13 provide sort of lays some of this out, and
14 pages 31 through 33-7 of my review lays out in
15 greater detail, but the gist of what we are --
16 as I understand what the current situation is
17 is that a -- that there -- at least I'm -- I'm
18 -- my understanding is that workers who do file
19 for compensation who worked as reactor workers
20 who were -- did have positive measurements for
21 sodium-24, that these assumptions -- the dose
22 assumptions are basically derived from -- from
23 the assumption that they adjusted this, and
24 there -- I think that there is enough evidence
25 right now in the historical record to raise

1 questions about that assumption, especially
2 with respect to the early whole-body counting
3 reports which effectively ruled out
4 environmental factors for exposures for sodium-
5 24 measured in the bodies of workers. And that
6 -- I'm -- I'm unaware -- I have not seen the
7 1972 report which Jack has been referring to,
8 but I'm curious whether that 1972 report
9 addressed the potential exposure to neutrons
10 for reactor workers, particular for the first
11 five reactors. Did that 1972 report, Jack,
12 address that issue?

13 **MR. FIX:** The 1972 investigation was for all --
14 any Hanford -- what -- very quickly, since not
15 everybody on the phone probably understands the
16 history, is basically in 1972 Hanford brought
17 in a new type of dosimeter called a
18 thermoluminescent dosimeter, and the
19 thermoluminescent dosimeter had the advantage
20 in that it responds to all energ-- all --
21 essentially all neutron energies, whereas the
22 previous dosimeter that was available was the
23 nuclear track emulsion dosimeter called the NTA
24 dosimeter, all -- they really responded to
25 higher energy neutrons. And when they brought

1 this dosimeter in to Hanford, all of a sudden
2 there was a significant -- a noticeable
3 increase in the recorded do-- neutron dose for
4 the workers, and this resulted in an
5 investigation out of AEC -- Atomic Energy
6 Commission headquarters. And they came out and
7 invited experts from other -- throughout the
8 AEC complex at that time to evaluate what went
9 on at Hanford. Their goal at that time was to
10 see if there was any Hanford worker who could
11 have exceeded the -- the AEC radiation safety
12 guidelines, either in 1972 when the -- for that
13 year, or historically in the context of their
14 lifetime dose at Hanford. And the -- and it --
15 and the judgment at that time was that really
16 the only serious -- the most ser-- I should say
17 -- not the only serious, but the most serious
18 situation for the workers who could have
19 exceeded these limits was in the plutonium
20 facility because that's where the majority of
21 the neutron exposure at Hanford occurred.
22 Now -- so the reactor workers were never
23 identified as a significant -- at significant
24 potential for unmonitored neutron exposure. I
25 do want to say that -- very quickly that the

1 TBD does include guidance to assign a neutron
2 dose to all reactor workers, so that is in the
3 TBD and I know it's routinely applied in the
4 dose reconstruction.

5 **MR. ALVAREZ:** Now Jack, if -- I'm sorry, if a
6 worker or a claimant on behalf of a worker who
7 had a positive reading for sodium-24 files a
8 claim, is the a priori assumption that this is
9 an ingestion exposure and not a neutron
10 exposure?

11 **MR. FIX:** No, I don't think it's I don't think
12 there is a judgment. We've used sodium-24
13 activation in the body for years in the context
14 of assessing criticality exposures. Under no -
15 - the dose -- you know -- by sodium --

16 **MR. ALVAREZ:** My specific question, Jack, is
17 workers who are filing -- who have filed, who
18 have -- have -- have measured sodium-24 body
19 burdens, how does -- how is that dose
20 estimated? Is it based on an ingestion
21 assumption or a neutron exposure assumption?

22 **MR. FIX:** Well -- Liz, do you want to say --

23 **MS. BRACKETT:** Well, I know -- I can speak from
24 the internal standpoint, and they -- the dose
25 reconstructors do assign an ingestion dose from

1 the sodium-24, and that's not based on -- maybe
2 -- maybe I misunderstood what was meant by an
3 earlier comment, but the assumption is that
4 that comes from drinking water inside the
5 reactor building, with the assumption that the
6 water contains sodium-24 as a result of the
7 reactor. It's not --

8 **MR. ALVAREZ:** Okay. Well, this -- this is the
9 point I'm getting to is that the -- we found --
10 at least the -- the early whole body reports
11 became -- at least those people who were taking
12 these measurements actually pulled the string a
13 little bit and ruled out in several instances
14 the possibility of ingesting contaminated water
15 as a source. And I was curious whether -- what
16 data and analysis have you been using to back
17 that assumption. Were there samples taken of
18 reactor-area drinking water?

19 **MS. BRACKETT:** I do not know that. I was
20 actually looking for that information before
21 this call and I -- I will -- I would have to
22 look into that further. I don't if -- Jack, if
23 you know anything about that.

24 **MR. FIX:** Well, I -- I'm sure there was
25 monitoring data. That is, we know that sodium-

1 24 is very easy to detect, being an energetic
2 gamma emitter. I guess what I was jumping to
3 the fact is that there was always the
4 assumption that neutron or radiation metal
5 worker could in fact activate sodium-24-- sodium
6 in the body, but it's a fairly insensitive
7 method of estimating the dose and -- but also
8 related to that is the fact that the activation
9 of the sodium is dependent upon the spectra and
10 the neutron, so I was just trying to say that
11 we do -- we do take an approach to assign a
12 dose we think -- from the neutrons which we
13 think is probably the most significant source
14 of exposure to the worker.

15 **MR. ALVAREZ:** Well, I guess our concern stems
16 from -- at least the limited information that's
17 provided in the TBD bases a lot of neutron
18 exposure assumptions, if not all, on the
19 Hanford N reactor. And the reliance on the
20 shielding assumptions derived from the N
21 reactor are not germane because -- relative to
22 the -- at least -- at least the first five
23 production reactors, which had bioshields made
24 of a composite of cast iron and masonite --
25 laminated pressed wood. And there is well-

1 documented evidence that as they increased the
2 power levels from these reactors, several
3 things happened that -- that resulted in -- in
4 at least engineering reports that documented an
5 increase leakage rates that were measurable for
6 neutrons and photons coming from the bioshield
7 and from the penetrations in the bioshields,
8 and this became a matter of great concern.
9 However, we found no actual measurements of
10 workers for neutrons, and I think that this is
11 an issue that can't be ruled out out of hand,
12 and I would just urge that NIOSH take a harder
13 look at this problem.

14 **MR. FIX:** Well, it's not --

15 **DR. MAURO:** This is John Mauro. One -- one of
16 the reasons this was triggered is when we --
17 this goes back a ways at one of the meetings
18 where when we raised some of our current
19 concerns regarding neutron dosimetry, one of
20 the arguments that we made was that well, we
21 noticed that some of the workers have elevated
22 sodium-24 in their whole body counts, and the -
23 - NIOSH's perspective on this was that well,
24 that was due from drinking water that contained
25 sodium-24 that was -- been discharged to the

1 Columbia River. The -- this analysis that we -
2 - that -- that Bob just submitted basically
3 says well, that -- that certainly might be
4 true, but there's also a lot of evidence that -
5 - there's a very good possibility that some of
6 those sodium-24 readings are a result of
7 neutron activation, completely different
8 pathway, and as a result we could be missing
9 some important neutron doses. And -- and I
10 guess that's the issue. That is, is the
11 sodium-24 whole body counts that we're seeing
12 indicative that we might be missing some
13 neutron exposures to some of the workers in the
14 reactor area.

15 **MR. FIX:** Uh-huh. Well, John, this is Jack
16 again. As you know, we just got this this
17 morning --

18 **DR. MAURO:** Sure.

19 **MR. FIX:** -- and there's been a lot of work
20 done at Hanford over the years on using
21 activation of sodium in the body as part of the
22 accident evaluation procedures at Hanford. And
23 we have a lot of ev-- a lot of information on
24 this topic, but we've not been able to gather
25 it -- gather it -- you know, most of the people

1 who did this work have long retired from
2 Hanford, and we -- I'm sure we can get informa-
3 - on this particular topic fortunately there is
4 a lot of information, and we can gather it and
5 there should be information on whether or not
6 it existed in drinking -- potentially existed
7 in drinking water. And we just have to be
8 given a little bit of time to -- to pull this
9 information together.

10 **DR. MELIUS:** We -- we will -- certainly willing
11 to give you time -- this is Jim Melius. I
12 guess I would suggest we, you know, put this on
13 the agenda for --

14 **DR. ZIEMER:** Uh-huh.

15 **DR. MELIUS:** -- you know, a workgroup meeting -
16 -

17 **DR. ZIEMER:** Sure.

18 **DR. MELIUS:** -- in the future and you'll have
19 some time to get your -- sounds like you're
20 actively gathering information on it and let's
21 discuss it at that point in time.

22 **MR. CLAWSON:** Dr. Melius, this is Brad. I just
23 had one question. You know, I keep hearing
24 them referred to as just the reactor operators,
25 but what does that class incorporate? Does

1 that incorporate instrument techs, mechanics
2 that may have been working on processes? Does
3 it incorporate all of them or are they just
4 looking at the reactor operators themselves?

5 **MR. FIX:** No, we classify all the -- all the
6 workers at -- working say like at 100-B --

7 **MR. CLAWSON:** Uh-huh.

8 **MR. FIX:** -- be all those, it'd be everybody
9 that worked at 100-B.

10 **MR. CLAWSON:** Okay, I just -- I just wanted to
11 clarify that 'cause I didn't --

12 **MR. FIX:** Yeah, as you know, the idea -- the
13 idea here is to -- typically we don't know
14 exactly what a person did or where they worked,
15 so we're trying to -- you know, we'd apply it
16 for the whole faci-- a whole area.

17 **MR. CLAWSON:** Okay, I just --

18 **MR. FIX:** All the 100 -- all the 100 areas, but
19 not 100-N since we have a lot more information
20 for 100-N.

21 **MR. CLAWSON:** Okay.

22 **MR. ALVAREZ:** If I may just -- here -- here
23 just a couple of quotes from the memo from the
24 whole body reports. This is from Swanberg* in
25 1960. (Unintelligible) because of the

1 relatively short half-life of sodium-24 of 15
2 hours, it is generally observed in great --
3 greater quantities in subjects examined during
4 the afternoon who have come to the whole-body
5 counter directly from working in the reactor
6 areas. Sodium-24 has been (unintelligible)
7 only in reactor area employees. Fourteen of
8 the 59 were assigned to reactor areas farthest
9 upstream and therefore were not regularly
10 exposed to drinking water which has been used
11 as a reactor coolant.

12 Then in a whole body report from 1961 to '63 it
13 states sodium-24 has been detected primarily in
14 reactor area workers. Correlation of results
15 to environmental parameters such as places of
16 residence and work area was precluded by the
17 relatively short half-life of the radionuclide
18 and by the fact that many of the employees
19 examined have been away from their location
20 long enough for a significant fraction of
21 sodium-24 to have decayed. Results from 1961-
22 '63 indicate that 47 percent of reactor area
23 employees examined had measurable body burdens
24 of sodium-24 ranging up to 3.8 nanocuries.

25 **MR. FIX:** Well, Bob, I agree that was very

1 interesting what you presented to us, and just
2 give us a chance to follow up to it because the
3 people that made those measurements, such as
4 Earl Palmer, are still here and I'm sure that
5 they can provide some clarification as to what
6 those use -- I've been involved myself on -- in
7 -- in, you know, all weekend irradiations of --
8 of samples to detect the sodium content 'cause
9 we were trying to evaluate the sensitivity for
10 a criti-- to evaluate criticality accidents, so
11 it's nice that you pose this particular
12 question 'cause this is -- at least fortunately
13 this is one issue we do have a lot of
14 information for.

15 **MR. ALVAREZ:** Well, good. Good.

16 **ENVIRONMENTAL DOSE**

17 **DR. MELIUS:** Thanks -- move on to -- it's item
18 number five, environmental dose.

19 **DR. MAURO:** Yeah, I'll take the first part. I
20 -- I -- in effect -- and I think we can cover
21 this pretty quickly. In effect, the response
22 that NIOSH provided in the matrix -- what we're
23 dealing with is a concern that when the doses -
24 - the environmental doses are being calculated
25 for workers outdoors, the approach that's taken

1 uses -- takes the releases from the -- airborne
2 releases from the plant, treats them as chronic
3 atmospheric discharges, and then calculates the
4 average annual atmospheric dispersion factor to
5 the receptor locations. And this is
6 conventional environmental transport modeling,
7 and it's perfectly fine and the appropriate way
8 to go when you do have circumstances where the
9 releases are chronic -- or even if they're
10 episodic but very frequent. And that approach,
11 what I call the average annual chi over Q
12 approach, works. And the an-- the response
13 that NIOSH provided in -- in comment seven on
14 the matrix is -- I have -- is -- I'm in
15 complete agreement with.

16 But I think that the point I was trying to make
17 is that I believe that there's a lot of
18 evidence that there were some significant
19 episodic releases that did not occur very
20 often, with substantial amounts of radioactive
21 material released into the atmosphere and --
22 one-time shots, or perhaps only a few times a
23 year.

24 Under those circumstances, you really can't
25 average -- use average annual atmospheric

1 dispersion factors. You can't assume that
2 release occurred continuously over the course
3 of a year. You've got to take into
4 consideration that it occurred over a
5 relatively short period of time and account for
6 the meteorology that was actually in place at
7 the time of the release. And I guess that --
8 and there -- and that's a pretty conceptually
9 simple problem, and I -- I think that there
10 might be some scenarios where workers could
11 have been exposed to those kind of occasional
12 episodic releases, especially ground level
13 releases, that the current method in the TBD
14 does not take into consideration. So that was
15 the point that I was trying to make.

16 **MR. NELSON:** This is Chuck Nelson. Liz, are
17 you prepared to elaborate on that at all?

18 **MS. BRACKETT:** No, I'm not.

19 **MR. NELSON:** Okay. Unfortunately, we don't
20 have our environmental guy with us today. I
21 don't know if there's anybody else from ORAU
22 that's prepared to elaborate on that particular
23 issue, but the individual responsible for the
24 environmental group wasn't able to be with us.

25 **DR. MELIUS:** I think we can -- I -- we

1 understand -- scheduling. I think we -- again,
2 you know, put that issue on the agenda for a
3 workgroup meeting and -- I mean -- well, let's
4 address it there. It may not take as much
5 time, but let's say -- at least do it that way.

6 **DR. ZIEMER:** What about the large particle part
7 of that?

8 **DR. MELIUS:** I was going to ask.

9 **DR. MAURO:** Yeah, Bob, I guess -- you know,
10 you've been closer to that than I am -- Bob
11 Alvarez, would you mind picking that up?

12 **MR. ALVAREZ:** Sure. In the reply by NIOSH
13 about this, the -- the NIOSH or NIOSH/ORAU
14 indicates that 99 -- there's a particle size
15 distribution at 99.9 percent of the, quote,
16 larger particles at physical and aerodynamic
17 diameters greater than ten microns.
18 I'm not sure where that came from, but the
19 particle size distribution really varied by
20 episodic release, and then these particles are
21 not respirable. That may be true, but they
22 could be ingested. And at least looking at the
23 2002 Till report and going through that is that
24 they -- you know, they came up with their own
25 dose reconstruction model called the Hanford

1 calculator, which does estimate doses or
2 provides a basis for estimating doses for both
3 inhalation, ingestion and for skin exposures to
4 these particles. So I'm not sure -- and I
5 notice that in the response that the NIOSH/ORAU
6 also notes that -- that the -- the method has
7 been -- that Till's method has been added to
8 appropriately account for T and B plant
9 exposures.

10 It's not clear to me, I guess, in this approach
11 how ratchet, for example, is being connected to
12 a receptor on-site and whether ratchet is
13 applicable to particles that are greater than
14 0.5 microns that were released episodically, as
15 John describes. Now these are issues which
16 Till, et al address in their 2002 report.
17 However, the TBD basically discounts the -- the
18 Till approach as being I guess overly
19 conservative and biased towards large doses,
20 and then proceeds to inform the reader that to
21 use the ratchet code instead of a dispersion
22 model, but it's not clear to me, as I said
23 before, how the ratchet -- the ratchet is just
24 a dispersion model. How does it connect to a
25 receptor and, at least in my conversation I had

1 with Bruce Napier* about this, the ratchet code
2 is not really applicable to these larger
3 particles above 0.5 microns. So these are open
4 questions.

5 **DR. MELIUS:** Chuck or -- something we want to
6 leave...

7 **MR. NELSON:** Yeah, I believe that's one of
8 those we need to save for that next working
9 group.

10 **TANK FARM AND WASTE MANAGEMENT OPERATIONS**

11 **DR. MELIUS:** Okay, that's fine. Thanks. Tank
12 farm and waste management operations.

13 **DR. MAURO:** I have to admit that I'm not quite
14 sure who took the lead on this one.

15 **MR. ALVAREZ:** I'll discuss it a little bit.
16 The -- our -- our reply to -- to the review of
17 the site profile took issue with the limited
18 number of radionuclides that Carball*, et al
19 had sort of suggested should be used for dose
20 reconstruction purposes at tank farms. And
21 that's because these wastes were being
22 generated in large volumes, that their
23 radionuclide mix was highly dynamic in the
24 beginning stages and there is a rather well-
25 documented history of environmental releases on

1 site, particularly in the 200 areas, involving
2 these tank farms, both in terms of transfer
3 lines that failed or leaked and bumps and
4 turnovers and steam explosions and things like
5 that that resulted in environmental
6 contamination. We felt that there needs to be
7 a more comprehensive look at this particular
8 source of potential exposure.

9 **THE COURT REPORTER:** Excuse me, who was
10 speaking? Was that Mr. Nelson?

11 **DR. MELIUS:** That was Bob Alvarez.

12 **THE COURT REPORTER:** That was Alvarez, okay.
13 Thank you.

14 **MR. ALVAREZ:** And in our -- in our review we do
15 provide the current sort of inventory data that
16 is in the tank farms and, you know, because
17 wastes have been moving around, largely to
18 stabilize tanks and prepare for the waste
19 treatment plant, there are exposures going on
20 to workers.

21 **DR. MELIUS:** Chuck?

22 **MR. NELSON:** Okay, Liz Brackett, can you
23 comment on that, please?

24 **MS. BRACKETT:** Well, there is a document -- I
25 don't know if this would address it completely,

1 but as the response notes, we do have a
2 document in development to address mixtures of
3 radionuclides where either a growth type of
4 measurement is done or there's some indicator
5 nuclide that the rest of them aren't measured,
6 and so this OTIB will give a matrix of
7 additional radionuclides based on a --
8 basically a tracer nuclide that would be added
9 in to account for the things that were not
10 necessarily monitored.

11 **DR. ZIEMER:** What's the status of that, Liz?
12 This is Ziemer.

13 **MS. BRACKETT:** It's in internal review. We're
14 -- we're kind of in the final stages of it.
15 We're working on it this morning, passing
16 comments back and forth, but it -- it's pretty
17 close to being ready to go to OCAS for review.

18 **DR. MELIUS:** So -- so I think that's something
19 we could put on our --

20 **DR. ZIEMER:** Uh-huh.

21 **DR. MELIUS:** -- This -- maybe not the next
22 workgroup meeting, but at some point we can --
23 when that's ready we can better address this
24 comment.

25 **DR. POSTON:** Dr. Melius?

1 **DR. MELIUS:** Yes.

2 **DR. POSTON:** This is John Poston. I'm sorry
3 I'm going to have to withdraw from the
4 conversation. I have another commitment.

5 **DR. MELIUS:** Okay, I understand.

6 **DR. POSTON:** It's the last week of the
7 semester.

8 **DR. MELIUS:** I understand.

9 **DR. POSTON:** (Unintelligible)

10 **DR. MELIUS:** We'll see you in Chicago.

11 **DR. POSTON:** Okay.

12 **DR. ZIEMER:** Okay, it sounds like that document
13 will be available fairly soon, though. Right,
14 Liz?

15 **DR. MELIUS:** I think -- again, I think with all
16 these documents, Chuck or somebody can take
17 responsibility for just letting us know when
18 they are approved and can be ready for
19 discussion.

20 **MR. NELSON:** I will do that.

21 **DECONTAMINATION AND DECOMMISSIONING**

22 **DR. MELIUS:** Appreciate that. I think we're --
23 decontamination and decommissioning?

24 **DR. MAURO:** I think Joe Fitzgerald was point
25 man on that, but I -- I can certainly -- this

1 is John Mauro. I could certainly kick it off
2 and anyone else at SC&A would like to, you
3 know, embellish on it a bit. This goes to a
4 comment that's very much like the D&D issue
5 that we're talking about on Rocky, namely that
6 subject area is typically not fully engaged in
7 many of the site profiles that we review, and
8 it's also the case for -- for Hanford. And --
9 and by the response to comment number ten in
10 the matrix, it -- you know, it basically
11 appears that NIOSH's position is that, you
12 know, there is a comprehensive monitoring
13 program and that -- that there will be I guess
14 a revision to the TBD that will go into some
15 detail on how dose reconstructions for D&D
16 workers will be performed. And I guess that,
17 as in the case of Rocky Flats, that protocol,
18 the data upon which those dose reconstructions
19 were performed, is something that is going to
20 be assembled and be available for review at
21 some time in the future.

22 **MR. NELSON:** This is Chuck Nelson. That is
23 correct.

24 **DR. MAURO:** Okay.

25 **MS. ROBERTSON-DEMERS:** John, I have a couple of

1 questions for the NIOSH team. You said that
2 you talked to individuals and determined that
3 they were doing DAC hour tracking in your
4 response, and that this could be used to
5 supplement bioassay data. And my question is,
6 who was it that you interviewed?

7 **MR. NELSON:** This is Chuck Nelson. Don Biehl*
8 I think was the individual involved with that.
9 He's also not on this conference call. Now Liz
10 may be able to elaborate on that, I'm not sure
11 of that, though --

12 **MS. BRACKETT:** No, I don't know --

13 **MR. NELSON:** -- (unintelligible) specific
14 person.

15 **MS. BRACKETT:** -- I don't know who he would
16 have talked to. I can check into that, but I
17 don't have that information right now.

18 **MS. ROBERTSON-DEMERS:** Okay. Have you looked
19 at how lapel monitoring was implemented for the
20 different contractors across the Hanford site?

21 **MS. BRACKETT:** Again, I'd have to go back and
22 check with Don Biehl on that to get the
23 details.

24 **MS. ROBERTSON-DEMERS:** Okay. We can send you a
25 couple of questions.

1 really there's no real need to include
2 information related to incidents in the site
3 profile because there's another vehicle used in
4 order to reconstruct doses to workers who may
5 have been involved in incidents, and that
6 information is revealed as part of the data
7 capture from DOE on a case-by-case basis. And
8 of course there's a CATI report where the
9 worker may reveal it, so I guess on that basis
10 -- and please, Hans, you help me out if I'm
11 leaping to a conclusion too quickly --

12 **DR. BEHLING:** Yeah --

13 **DR. MAURO:** Go ahead.

14 **DR. BEHLING:** -- okay.

15 **DR. MAURO:** Go ahead.

16 **DR. BEHLING:** I -- I think I -- I'll talk from
17 my experience with the dose reconstruction
18 reports. It's clear that NIOSH does make a
19 request to DOE at the time of records request
20 that includes not only external exposures,
21 bioassay data, but also radiological incidence
22 data. And so every claim has that request
23 associated with it, and so oftentimes you will
24 in fact see DOE records that talk about the
25 radiological incidents such as a skin

1 contamination where the records clearly reveal
2 the amount of activity that was deposited or
3 contaminated a particular individual's hands or
4 wherever. And then there are other incidents
5 where the response from DOE says no records
6 found, and yet the CATI report does in fact
7 make specific reference to specific
8 radiological incidents. And oftentimes -- and
9 again, it's dependent on whether or not a claim
10 is a best estimate versus a maximized dose --
11 the failure to resolve the issue between a
12 claim made in a CATI report versus the absence
13 of information in -- from -- received from the
14 DOE is dismissed as well, if there was such an
15 incidence, which we don't have any records of,
16 we took care of it by virtue of maximizing the
17 dose, by giving generous dose assignments
18 involving internal exposure using hypothetical
19 12 or 28 radionuclide or any of those other
20 issues, and therefore they dismiss it. On the
21 other hand, if the issue is one of a dose
22 reconstruction that involves a best estimate,
23 I'm not sure if there's always an effort made
24 to identify whether or not a radiological
25 incidence as claimed by the claimant himself in

1 the CATI report, for which there is no record,
2 is properly resolved. I think that's really
3 the issue that needs to be looked at.

4 **DR. MAURO:** I'd like to add also that --

5 **DR. ZIEMER:** So that -- that's a generic issue,
6 is it not, for all sites?

7 **DR. BEHLING:** Yes, yes, it is.

8 **DR. MELIUS:** I mean actually I think it's a one
9 -- 'nother one of these sort of systemic
10 issues. I mean I -- it's -- it's -- it's sort
11 of -- and we've talked about it in Advisory
12 Board meetings and I know I've -- you know,
13 I've asked many questions for Jim and -- Neton
14 and -- and Larry about it, it -- I think sort
15 of the -- the question is there are lists of
16 incidents and that -- there's different
17 information available on different sites and
18 different time periods and so forth, so it's
19 very site-specific. But sort -- sort of the
20 question how do we make sure that -- that
21 there's some sort of cross-referencing of -- of
22 this information that -- in a way that, again,
23 where you have a -- a widow of a -- of a, you
24 know, a former worker and -- and they may not
25 be obviously very familiar with their spouse's,

1 you know, work history, you know, how does that
2 information become available. I -- I guess --
3 I think -- I think we became convinced that
4 site profile wasn't necessarily the right place
5 for it, but it's still an issue of -- of how do
6 we make sure it gets addressed in individual
7 site -- in individual dose reconstruction. And
8 then -- then it does become an issue of well,
9 we have some sort of general correction factors
10 probably should take that into account and it -
11 - no easy answer to it.

12 **DR. ZIEMER:** And there are some approaches that
13 are used, or can be used, for certain kinds of
14 affidavit approaches, for example.

15 **DR. MELIUS:** Right.

16 **DR. ZIEMER:** If -- if groups of workers can
17 establish that something occurred.

18 **DR. MELIUS:** Yeah, I need to --

19 **MR. ELLIOTT:** This is Larry Elliott. I'd like
20 to speak to -- to Jim's point a moment ago --
21 Dr. Melius's point. I think -- you know,
22 certainly we've heard this and we've thought
23 long and hard among ourselves here at NIOSH on
24 -- on what we can do better in this regard. I
25 think one of the things that we might take up

1 here is that we could modify the site profile
2 to say that if a best estimate dose
3 reconstruction is being done that incident data
4 needs to be fully considered; that even in fact
5 maybe we need to put in a section that talks
6 about the type of incidents that we are aware
7 of that should be factored into a best
8 estimate. Something of that nature I think is
9 certainly something we all should think through
10 and perhaps utilize. But I'm interested in
11 hearing thoughts and recommendations on what we
12 can do in addition to that.

13 **DR. BEHLING:** Let me just make a comment to
14 what you just said, Larry -- this is Hans. I
15 think one of the ones that we have seen on
16 occasion involves, for instance, a claimant
17 who's not the worker himself. And that may
18 involve, for instance, a statement by a -- by a
19 wife or -- or a member of the family saying
20 that the worker would come home and he would be
21 asked -- or he would ask his wife to wash his
22 clothing because it was contaminated, and --
23 and there are no incidence reports to that
24 effect that would support the notion that skin
25 contamination, clothing contamination were in

1 fact an issue that is on record. And yet the
2 dose reconstructor is sort of at odds how -- as
3 to how to deal with that.

4 **MR. ELLIOTT:** I -- thank you, Hans. I
5 certainly hear that. I -- I understand the
6 predicament that -- that presents there. You
7 know, I would -- I would offer that we -- you
8 know, we ought to be doing a good job in
9 identifying those situations and maybe we're
10 not doing such a good job in providing
11 direction on how we handle those and -- and so
12 that's the kind of interest I have in hearing
13 your comments and your thoughts and -- and
14 giving full consideration to the
15 recommendations that's coming forward out of
16 this discussion..

17 **MS. ROBERTSON-DEMERS:** This is Kathy DeMers.
18 There is a little bit of a twist to this, also.
19 And that's the question of whether the sites
20 have done the research to know all of the
21 incident databases, all the incidents that are
22 out there, and whether they're providing it.
23 The case in point where they are not is Los
24 Alamos National Lab. And we are not aware of
25 any efforts by NIOSH to go back and do sort of

1 a quality assurance check of what they're
2 getting from the sites, and we have some
3 concerns over the quality assurance area.

4 **DR. MELIUS:** This is Jim Melius. Let me lay
5 out what I think are sort of two options I --
6 'cause -- 'cause we haven't discussed this in a
7 while on the Ad-- Advisory Board and I know
8 Larry and staff have made some efforts in this
9 way. But I think one is we could sort of --
10 and discuss it again as a generic issue, but it
11 may be also something to consider -- or work
12 for everybody is -- is look at it on a -- on a
13 Han-- on a Hanford, you know, site-specific
14 issue. You know, maybe it's not in the site
15 profile, but -- but, you know, look at where
16 else this is addressed and -- and how
17 comprehensive that -- and appropriate, you
18 know, that information is for use at this site.

19 **DR. ZIEMER:** This is Ziemer. I think it
20 certainly has to be addressed on a -- on a
21 system-wide basis, certainly not -- I mean we
22 certainly don't want to overlook Hanford, but
23 it's a bigger question than Hanford. And also
24 recognize that if you go back quite a ways in -
25 - in the history of the Labs, there -- there

1 was a period of time where contamination
2 incidents were fairly routine, but they -- they
3 would not have been labeled as an incident in
4 the way that they are in more recent years
5 where you're -- you're tending to indicate any
6 situation -- I mean when I worked at Oak Ridge
7 I can tell you it was fairly common for workers
8 to have contaminated shoes and so on that they
9 would have to leave at the Lab, or to have
10 contaminated skin and they'd do scrub-downs,
11 and -- and nobody labeled that -- I mean you
12 might have logged it in on your health physics
13 logbook, but it wasn't something that would be
14 labeled as an incident that would appear on any
15 laboratory database.

16 **MR. CLAWSON:** Well, Dr. Melius, this is Brad.
17 I guess one of the concerns that I'm kind of
18 getting into is looking at some of these TDBs
19 (sic) that when we have an accident like this,
20 they -- I've read several of them, they say
21 there was no contamination to the outside area
22 so this wasn't an issue. But I know in my case
23 and in my facilities it doesn't take into
24 account that for three and a half months the
25 whole facility was a respirator facility; six

1 and a half months later you were in zone one
2 clothing to be able to even go into these
3 areas. And I -- I think that this is complex-
4 wide and I think that these are one of the ones
5 we really need to look in depth with and I -- I
6 understand with NIOSH this is -- you know, this
7 is a -- this is a tough issue, but I think this
8 is one that we really need to look in depth to
9 because there's a lot of instances that may not
10 have hit the record books but it would have
11 affected a lot of people, too.

12 **DR. MELIUS:** I just think -- this is Jim -- is
13 that my only concern is that if you -- we look
14 at it sort of system-wide and it, you know, is
15 sort of systemic type of issue, is that then we
16 miss the -- it -- it's hard to do sometimes and
17 -- 'cause really what we want is -- is how does
18 it work at a specific site. We might be able
19 to better touch that looking at a specific site
20 or something, but maybe a compromise way to
21 approach it is let's first address -- address
22 it as an Advisory Board issue. You know,
23 Larry, you and your staff could sort of update
24 us on -- on where you are with your -- your
25 efforts in a general way.

1 **DR. ZIEMER:** And we could think about, you
2 know, how -- how would you approach the issue.

3 **DR. MELIUS:** And -- and -- yeah, and then let's
4 -- then let's talk about --

5 **DR. ZIEMER:** Then you can talk about specifics.

6 **DR. MELIUS:** Yeah.

7 **DR. ZIEMER:** Right.

8 **DR. MELIUS:** Exactly, that's what I was
9 thinking, rather than try to do it -- start
10 specifically. Let's start with a general --
11 does that make sense to you, Larry?

12 **MR. ELLIOTT:** Yes, I appreciate that. That
13 does make sense and I know the staff around the
14 phone are already writing notes on this.

15 **DR. MELIUS:** Okay, 'cause --

16 **DR. ZIEMER:** They -- they're looking for work
17 to do, I know.

18 **MR. ELLIOTT:** Yeah.

19 **MR. ALVAREZ:** I think Dr. Ziemer is -- is on
20 the mark there because the one database where
21 they have rolled up (unintelligible) incidents,
22 which I'm hoping that NIOSH (unintelligible)
23 the so-called waste management fault tree data
24 bank, and the iteration that we reviewed in the
25 1980s clearly showed that the frequency of

1 reporting was substantially greater as the
2 years went on, especially from the 1960s
3 onward, and that things that were not
4 considered important in the '50s and '60s were
5 considered important and reportable later.

6 **DR. ZIEMER:** Well, and I think even as you move
7 to the later '80s there was a -- a threshold of
8 what was called -- was labeled a, quote,
9 incident certainly moved way down.

10 **DR. ULSH:** This is Brant Ulsh. Bob, could you
11 say the name of that databank that you just
12 mentioned that --

13 **MR. ALVAREZ:** Certainly -- well, it used to be
14 called the 200 Area Fault Tree Databank and now
15 it's called the 200 Area Waste Management Fault
16 Tree Databank at Savannah River. It's just a
17 very unique set of data because, you know,
18 DuPont, which was there until 1989, was the
19 sole contractor and maintained a fairly
20 consistent and uniform record-keeping system.
21 And what it is is essentially a base derived
22 from -- I've sent NIOSH a description of the
23 data and what data -- what sets of -- what
24 other reports it was derived from, but it's a
25 chronological listing essentially of various

1 incidents -- you know, radiological,
2 engineering, et cetera -- that happened in the
3 -- the tank farms, the F and H canyons and the
4 200 -- 232-H separations facility. And it's
5 got tens of thousands of entries.

6 **DR. ZIEMER:** And information might -- like that
7 might be useful also in helping people think
8 about how to go about this whole issue.

9 **DR. MELIUS:** Yeah, that's a good point.

10 **MR. FIX:** This is Jack Fix speaking. I just
11 wanted to make a point. I think this will
12 always be a confusing issue because I've also
13 looked at that database from Savannah River
14 Site and I would just make the point that I
15 think clarity will not be achieved until you
16 start looking at the individual claims and
17 looking at the circumstances of exposure to the
18 workers in the individual claims, just so it's
19 not -- for example, at Hanford if anybody that
20 has a potential to be significantly
21 radiologically exposed and has no monitoring
22 records, you know, that would be a substantial
23 issue unto itself.

24 **DR. ZIEMER:** Right.

25 **MR. FIX:** And that's true of many of these

1 sites, and I think that when you get to the
2 level of detail of the individual claims -- and
3 I know it's very difficult to do, it takes a
4 lot of energy to get down to that level --
5 that's where I think -- I think we'll find
6 clarity to some of these issues because at a
7 site level or at a national level it's very
8 confusing 'cause there's lots of possibilities.

9 **DR. ZIEMER:** Uh-huh.

10 **MS. ROBERTSON-DEMERS:** And this is why it is so
11 important to make sure that what NIOSH has
12 provided from the site is complete with respect
13 to incidents.

14 **UNIDENTIFIED:** Jack, have you just reviewed
15 these data recently, 'cause when we last had
16 the conference call --

17 **MR. FIX:** Yes, I did --

18 **UNIDENTIFIED:** -- on Savannah River --

19 **MR. FIX:** -- yeah, 'cause you -- we -- we
20 received the structure -- I received the
21 structure, you know, it's about a -- I don't
22 know, I don't remember, it's 150 pages or
23 something.

24 **UNIDENTIFIED:** It's a 1995 report?

25 **MR. FIX:** Yeah.

1 **UNIDENTIFIED:** That's what I sent you guys.

2 **MR. FIX:** Yeah, I have that. And you know,
3 there's no radiation -- there's very few
4 radiation -- I didn't find any radiation
5 (unintelligible) even in that structure --

6 **UNIDENTIFIED:** Oh, there are quite a few. I
7 think you need to look at that more carefully.

8 **MR. FIX:** Well, I'll go back and look at it
9 again.

10 **UNIDENTIFIED:** Yeah, absolutely. They've got
11 the radiological incidence reporting in there,
12 and the health physics reporting in there.

13 **MR. FIX:** Well, maybe --

14 **UNIDENTIFIED:** I mean I'm happy to resend it to
15 you if you --

16 **MR. FIX:** No, I have it. I've got it. I got
17 it twice, and I went through it and -- I went
18 through it when we talked about it. But I
19 guess the important point is that the -- if
20 there's any radiological exposure that's
21 significant to the worker, the evidence is that
22 that's already included in the dose of record.

23 **DR. MAURO:** Yeah, Jack, I -- I think I hear --
24 we have an interesting -- in other words, there
25 are really two different strategies to deal

1 with this problem and I guess it needs to be
2 explored. One is I call the top down and the
3 other is a bottom up. Jack, what you're saying
4 is really to -- to try -- to come at this from
5 the top down is not going to work.

6 **MR. FIX:** I don't think it will.

7 **DR. MAURO:** You've got to work from the worker,
8 look at his records and do your homework on
9 that case to make sure you didn't miss any
10 incidents.

11 **MR. FIX:** Yes.

12 **DR. MAURO:** But at the same -- you know, but --
13 and what we hear from Hans, though, we find out
14 that well, that's not so easy to do, to make
15 sure you didn't miss anything important.
16 Now coming from the top down if you start to
17 access, as Larry indicated that yes, there are
18 all these resources available to us such as the
19 database, then it becomes a matter of how do
20 you marry the two. So I'm -- I think that this
21 is a -- an interesting challenge and two
22 different ways of coming at it. Maybe a
23 combination of both is the way you come at this
24 problem.

25 **MR. FIX:** Yeah, I think something -- 'cause the

1 thing that I find frustrating -- I just pulled
2 this document of keywords up on this Savannah
3 River Site document. And you know, it's
4 unfortunate to have these type of issues come
5 up in front of the Advisory Board because it's
6 obviously very simple to determine is there
7 data of interest to us in this document or not,
8 and it probably should be somehow worked before
9 it ever gets to the level of the -- of an
10 Advisory Board working group because all we're
11 talking about is is there something of value in
12 a document or not, and I -- I have it here in
13 front of me right now and we've looked at it
14 because, you know, we want to follow through on
15 these action items and, quite frankly, I -- I
16 mean maybe there's some way of working these
17 issues. I see tritium here, but there's -- you
18 know, like neutron or something
19 (unintelligible) --

20 **MR. ALVAREZ:** Jack, did you look at the -- the
21 -- the dataset -- the various reports upon
22 which this databank is based as opposed to
23 doing just a --an Adobe PDF word search?
24 Because what's important about that description
25 of that database is the source documents from

1 which it comes from. And if you were -- and I
2 actually provided a listing of those in our
3 comments on Savannah River which clearly
4 indicated a significant number of health
5 physics radiological incidence reports.

6 **MR. FIX:** Uh-huh, well, you know what I think
7 would be attractive for the Advis-- for the
8 working group and the Advisory is that we
9 should find some mechanism to work these
10 issues, and I know that that's what you're
11 doing, so that it's not a issue -- you know,
12 whether the information exists or doesn't exist
13 is not a -- if we don't try to resolve it
14 during these teleconferences, we can work --

15 **DR. ZIEMER:** Well --

16 **MR. FIX:** -- (unintelligible) together to
17 resolve --

18 **DR. ZIEMER:** -- yeah, let me -- now, Jack, this
19 is Ziemer. Let me respond to that just a
20 moment, and you don't need to feel guilty about
21 that. I think one of the things that's become
22 clear over the last few years is that a lot of
23 -- lot of times these issues actually emerge in
24 the process of our working some other issues,
25 and you know, there's a vast forest of

1 information out there and I -- I don't -- I
2 don't think we necessarily expect that
3 everybody's thought of every possible tree in
4 the forest along the way in advance. And as
5 these issues emerge, I think it's great and you
6 can go back and work them. But don't feel bad
7 that they, you know, kind of surface during
8 these kinds of conversations. That's partially
9 why we want to be working on it, so we can
10 surface issues that perhaps, in the synergy of
11 working on this, things we might not have
12 thought of even.

13 **DR. MELIUS:** If -- if I understand -- 'cause
14 we've run through the agenda. If I understood
15 where everything was correctly, I think for our
16 next -- let me see what I see as sort of
17 (unintelligible). You know, the Advisory Board
18 is meeting in a coup-- less than a couple of
19 weeks now, about ten days and -- or so in
20 lovely Chicago area. We'll have a chance there
21 to just talk among ourselves, but what we will
22 -- we would be doing -- scheduling another
23 workgroup meeting, which I think would probably
24 be a -- you know, I suspect a full day meeting,
25 something on that order, to these issues. That

1 would -- and I think it would be an in-person
2 meeting as opposed to a conference call. And I
3 think that the main issue that will need to be
4 discussed is the neutron issues would be the
5 one that appears to be, you know, sort of ready
6 and people have -- you know, there's some
7 significant comments and I think -- think we'd
8 all benefit from spending a fair amount of time
9 on that issue.

10 I think there are some other issues that we may
11 need to get sort of updated on at that meeting,
12 the -- not sure I'm doing these in order, but
13 certainly the environmental dose issue and some
14 of the tank farm decontamination, those issues
15 where, you know, people weren't able to be here
16 and -- and we just -- at least leave -- need
17 time to be updated on.

18 And then there are a number of -- some of the
19 other issues you're awaiting some -- you know,
20 the approval or completion of some up --
21 technical documents and I think we'll just have
22 to see how long that takes. But I suspect that
23 we could spend a fair amount of time on the
24 neutron issue, from the nature of the
25 discussion that went on, and I think its

1 importance at Hanford. Is that a fair summary?

2 **DR. ZIEMER:** Sounds good.

3 **DR. WADE:** Sounds good.

4 **MR. ALVAREZ:** Just -- just one final thing with
5 respect to this document that Jack was
6 referring to, I -- Jack, I would refer you to
7 Table 3, page 16, which lists the datasets and
8 perhaps you may find this to be useful.
9 Anyway, that's all I have to say.

10 **DR. MELIUS:** I would certainly like to thank
11 everybody from NIOSH, ORAU, SC&A and everybody
12 for spending the time and your participation
13 and everyone's patience with going -- going
14 through these issues and willingness to share
15 information. I think it's been -- been helpful
16 and hopefully it's -- will help us in doing
17 this going forward.

18 But I think, just back to the issue of the next
19 meeting, I think we -- I was hoping maybe at
20 the -- obviously at our Advisory Board meeting
21 we'll work on -- on the scheduling, but I
22 suspect it would be sometime in January that we
23 would try to pull the workgroup together.

24 **DR. WADE:** Okay. We have time on the agenda to
25 talk about scheduling of workgroup meetings, so

1 that would be the appropriate time.

2 **DR. ZIEMER:** Very good.

3 **DR. MELIUS:** And Lew, thank you. I -- you had
4 disappeared for so long that --

5 **DR. WADE:** Well, I was listening intently.

6 **DR. MELIUS:** I know.

7 **DR. WADE:** Thank you -- thank you, Jim.

8 **DR. MELIUS:** And thank everybody. And again,
9 for those steelworkers and the other people, if
10 I -- we will certainly let everybody know about
11 the next meeting and notify people ahead of
12 time -- of this and whatever documenta-- new
13 documentation there is, we will get out to
14 everybody ahead of time.

15 **DR. ZIEMER:** Very good.

16 **DR. WADE:** You all be safe in the weather.

17 **DR. MELIUS:** Okay, thank you.

18 **DR. ZIEMER:** Thank you.

19 (Whereupon, the meeting was concluded at 3:30
20 p.m.)

21

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of December 1, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 31st day of January, 2007.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**