

U.S. DEPARTMENT OF HEALTH  
AND HUMAN SERVICES (DHHS)

CENTERS FOR DISEASE CONTROL  
AND PREVENTION (CDC)

+ + + + +

NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH (NIOSH)

+ + + + +

PUBLIC MEETING TO SEEK COMMENTS ON THE  
CURRENT NIOSH POLICY TO CLASSIFY  
CARCINOGENS AND ESTABLISH  
RECOMMENDED EXPOSURE LIMITS (RELs)

+ + + + +

MONDAY,  
DECEMBER 12, 2011

+ + + + +

The meeting convened at 9:00 a.m.  
in the Hubert H. Humphrey Building, Room 800,  
200 Independence Ave S.W., Washington, D.C.,  
Paul Schulte, Ph.D., presiding.

PRESENT:

PAUL SCHULTE, Ph.D., NIOSH/CDC  
JOHN HOWARD, M.D., Director, NIOSH/CDC  
T. J. LENTZ, Ph.D., NIOSH/CDC  
KATHLEEN MacMAHON, DVM, NIOSH/CDC  
FAYE RICE, MPH, NIOSH/CDC  
RALPH ZUMWALDE, MS, NIOSH/CDC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

## PUBLIC COMMENTERS:

GINO BEGLUITTI, National Center for  
Environmental Health (NCEH)/ CDC

KATHLEEN BURNS, Ph.D., Sciencecorps  
(via phone)

ANNA FENDLEY, United Steelworkers Union

BOB GLENN, Glenn Consulting Group

WILLIAM KOJOLA, American Federation of  
Labor and Congress of Industrial  
Organizations (AFL/CIO)

DAN NAPIER, Industrial Hygienist

JAMES MELIUS, M.D., DrPH, Laborers'  
International Union

JOHN SCHWEITZER, American Composites  
Manufacturers Association

DARIUS SIVIN, Ph.D., International  
Union, United Automobile, Aerospace and  
Agricultural Implement Workers of  
America (UAW)

LAURA WELCH, M.D., Center for  
Construction Research and Training  
(CPWR)

KIMBERLY WISE, Ph.D., American  
Chemistry Council

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## TABLE OF CONTENTS

| AGENDA ITEM   | PAGE |
|---|------|
| Welcome, Introductions, and Overview.....   | 5    |
| Paul Schulte, Ph.D. and panel<br>(T. J. Lentz, Ph.D.; Kathleen<br>MacMahon, DVM; Faye Rice, MPH;<br>Ralph Zumwalde, MS) |      |
| Discussion of 5 Questions.....  | 40   |
| Open Public Comment Period.....   | 132  |
| Closing Comments and Next Steps.....  | 154  |
| Paul Schulte, Ph.D.   |      |

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 P-R-O-C-E-E-D-I-N-G-S

2 9:12 a.m.

3 DR. HOWARD: Thank you very much.

4 And welcome, everybody, to our meeting on  
5 carcinogen policy and recommended exposure  
6 limits. Thanks very much for coming today. We  
7 appreciate your time from your busy schedules.

8 This is an important meeting for  
9 us. It's sort of a kickoff to get some good  
10 thoughts going about these important issues.  
11 And we hope that you will participate both  
12 today as well as throughout the process.

13 And it is my job to introduce the  
14 head of our initiative, Paul Schulte, who is  
15 also Director of the Division of Education and  
16 Information here in NIOSH, actually in  
17 Cincinnati. And he will be making a  
18 presentation, introducing the team that's  
19 working on this.

20 So thank you again for coming. I  
21 appreciate all of your time. And we look  
22 forward to all of your good comments for us.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Thanks.

2 Paul?

3 DR. SCHULTE: Thank you, John.

4 WELCOME, INTRODUCTIONS, AND OVERVIEW

5 DR. SCHULTE: Good morning,  
6 everyone. And, as Dr. Howard said, thank you  
7 for coming and being willing to share with us  
8 your thoughts and opinions on NIOSH's cancer  
9 policy.

10 We hope to examine that policy and  
11 consider revisions, which we will make  
12 available for public comment in a further  
13 public meeting in the future. I will get into  
14 that more in a moment. First, some  
15 housekeeping details.

16 For exits, you go through the  
17 double doors there and then the next one. And  
18 the steps are right on the left.

19 With regard to this meeting, we  
20 haven't been able to get the Web portion up.  
21 And so those people who are watching it on the  
22 Web will only get the audio, but won't be able

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to see the slides in my presentation. We will  
2 make those available on our website.

3 The meeting will include remote  
4 participants in a variety of cities, primarily  
5 NIOSH locations participating via the Envision  
6 system. So, if you hear voices coming from  
7 that system, that is who those people are. We  
8 will also have some people who are  
9 participating by telephone and we will hear  
10 them, too.

11 One of the things we would like to  
12 do is just have everyone introduce themselves  
13 so that we can make sure that we have a full  
14 roll, particularly for the people who are on  
15 Envision and on the telephone.

16 All of this information that will  
17 be presented here today will be put in the  
18 NIOSH public docket, so the comments as well  
19 as any written materials that you have  
20 submitted will be accessible and in the public  
21 domain.

22 Ideally, your oral comments will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be amplifications of material that you will  
2 submit to the docket in writing, but it's not  
3 necessarily required.

4 And so if we could just go around  
5 the room, and then we'll go through the  
6 virtual land to identify people. So, Dr.  
7 Howard?

8 DR. HOWARD: John Howard with  
9 NIOSH.

10 MR. NAPIER: Dan Napier,  
11 industrial hygienist.

12 MR. GLENN: Bob Glenn, Glenn  
13 Consulting Group.

14 DR. WELCH: Laurie Welch with the  
15 Center for Construction Research and Training.

16 DR. WISE: Kimberly Wise with the  
17 American Chemistry Council.

18 MR. STRACHAN: Dan Strachan,  
19 National Petrochemical and Refiners  
20 Association.

21 MS. FENDLEY: Anna Fendley with  
22 the United Steelworkers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. SIVIN: Darius Sivin, United  
2 Auto Workers.

3 MR. SHUDTZ: Matt Shudtz with the  
4 Center for Progressive Reform.

5 MR. JAKES: Henry Jakes with  
6 Vegnan Environmental Services.

7 MR. KOJOLA: Bill Kojola, AFL/CIO.

8 DR. MELIUS: Jim Melius, Laborers  
9 Union.

10 DR. COGLIANO: Vince Cogliano,  
11 U.S. EPA.

12 MR. HEARL: Frank Hearl, NIOSH  
13 Washington, D.C.

14 MR. SLAWSKI: Jim Slawski, FAA.

15 MR. WALKER: Chris Walker with  
16 Keller and Heckman.

17 MS. MARSHALL: M. J. Marshall,  
18 Dutko Grayling.

19 MR. SCHWEITZER: John Schweitzer,  
20 American Composites Manufacturers Association.

21 MR. SNYDER: Jack Snyder with the  
22 Styrene Information and Research Center.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MARKS: Howard Marks, National  
2 Asphalt Pavement Association.

3 MR. STRODE: Rob Strode,  
4 industrial hygienist.

5 MR. RASMUSON: Eric Rasmuson,  
6 industrial hygienist, Chemistry and Industrial  
7 Hygiene.

8 MR. COBLE: Joe Coble, OSHA  
9 National Office.

10 DR. SCHAEFFER: Val Schaeffer,  
11 OSHA.

12 MR. WHELAN: Bill Whelan, Bechtel.

13 MS. HEGSTAD: Maria Hegstad,  
14 Inside Washington Publishers.

15 DR. BRAY: Patty Bray, OSHA.

16 MS. EDENS: Mandy Edens, OSHA.

17 MR. BEGLUITTI: Gino Begluitti,  
18 CDC, National Center for Environmental Health.

19 MR. SCHUMACHER: Randy Schumacher,  
20 Schumacher Partners International.

21 MR. ZUMWALDE: Ralph Zumwalde,  
22 NIOSH.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MacMAHON: Kathleen MacMahon,  
2 NIOSH.

3 DR. LENTZ: I am T. J. Lentz with  
4 NIOSH.

5 COURT REPORTER: Hi, my name is  
6 Jim Cordes. I'm the transcriber.

7 DR. SCHULTE: As you gather, then,  
8 your remarks will be transcribed. Those  
9 remarks will be posted on the website.

10 MS. RICE: Faye Rice, NIOSH.

11 DR. SCHULTE: Okay. Can we go to  
12 the Envision in Cincinnati?

13 MS. DAMES: Barb Dames, NIOSH.

14 DR. SCHULTE: Lauralynn?

15 DR. McKERNAN: Yes. Barbara  
16 announced herself and I did as well.

17 DR. SCHULTE: Lauralynn McKernan.  
18 Okay.

19 Morgantown?

20 DR. SULLIVAN: Patricia Sullivan,  
21 Morgantown.

22 DR. SCHULTE: Atlanta?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (No response.)

2 DR. SCHULTE: A little delay here,  
3 it seems. Any other NIOSH site?

4 (No response.)

5 DR. SCHULTE: Okay. On the  
6 telephone?

7 (Telephone introductions.)

8 DR. SOFGE: Chris Sofge from NIOSH.

9 MR. TRIPPLER: Aaron Trippler,  
10 AIHA.

11 MS. COOPER: Linda Cooper from  
12 NASA.

13 DR. BURNS: Kathleen Burns from  
14 Sciencecorps.

15 DR. SCHULTE: Anyone else?

16 (No response.)

17 DR. SCHULTE: Okay. Thank you  
18 all.

19 Just one other note. The docket  
20 on obtaining opinions about the NIOSH cancer  
21 policy will be open until December 30th of  
22 this year. So there's still time for their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 submissions.

2 PARTICIPANT: On the phone, the  
3 voice quality is poor. Could I ask that people  
4 speak closer to the phone as well as the  
5 microphone?

6 DR. SCHULTE: Okay. Frank, I'm  
7 standing right next to it. Maybe I could do  
8 it this way, make it easy. How does that  
9 sound? Frank?

10 PARTICIPANT: Way better.

11 DR. SCHULTE: Okay. Thank you.

12 Okay, ladies and gentlemen, I am  
13 going to give you a bit of an overview about  
14 what we are thinking about in terms of the  
15 current cancer policy, some of the history,  
16 some of the background. And then we will have  
17 time to go through each of the five questions.  
18 After that, we will at the end of the day also  
19 have a general comment period. So you can  
20 speak multiple times if you would like.

21 So the purpose of this review is  
22 to reflect on the fact that there are some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues in the NIOSH cancer policy that both  
2 NIOSH staff and stakeholders have had some  
3 concerns with.

4 The most critical of those issues  
5 is the term "potential occupational  
6 carcinogen." And throughout our history, but  
7 more in recent years, there was concern that  
8 the term "potential" conveys uncertainty  
9 that's not warranted with many known  
10 carcinogens, such as asbestos, benzene,  
11 cadmium, and many others.

12 And so, consequently, we're  
13 thinking that there is a need possibly to  
14 revise the policy to address the issue of the  
15 term "potential occupational carcinogen."

16 Additionally, the NIOSH cancer  
17 policy only has one category: "potential  
18 occupational carcinogen." And we are  
19 concerned that the classification scheme does  
20 not have the capability of incorporating  
21 levels of uncertainty in the policy. And so,  
22 whereas, other kinds of classification

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 systems, such as that used by NTP [National  
2 Toxicology Program] or that used by IARC  
3 [International Agency for Research on Cancer],  
4 allow such incorporation of such uncertainty.

5 So the first part of this  
6 examination will be about NIOSH's cancer  
7 classification system. The second part will  
8 focus on the setting of recommended exposure  
9 limits.

10 This is not something that is  
11 specific to carcinogens, but it plays out a  
12 lot in thinking about carcinogens. So we  
13 thought we would examine some of the questions  
14 that have been issues in recent years. And  
15 these include such things as the level of  
16 residual risk. If we make a recommendation to  
17 reduce the risk below 1 in 1,000 cancers for a  
18 working lifetime, is this an appropriate cut  
19 point? And what do people think about the  
20 level of risk that still remains?

21 We also have, in our recommended  
22 exposure limit policy, language to the extent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that we need to think about the recommended  
2 exposure limit to the extent that it's  
3 feasible. Historically, we have approached  
4 this to mean if it can be done or envisioned  
5 in a single facility, that that was an  
6 adequate assessment. This is different than  
7 the definition of technological feasibility  
8 that OSHA uses. So how should we continue to  
9 interpret this statement?

10 And then there are a number of  
11 technical features, such as the action level  
12 and questions about what is its utility.  
13 Historically, the action level was designed to  
14 address sampling variability, but it was also  
15 used as a trigger for various actions,  
16 including medical monitoring.

17 Should we still have an action  
18 level? Should it be formulaic - - formulaic  
19 being, historically we have often said the  
20 action level is one-half of the REL or  
21 recommended exposure limit? But maybe it  
22 should be based on the distribution of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 sampling results in a particular location. So  
2 there are those kinds of questions.

3 And then the third category of  
4 issues is that since the Occupational Safety  
5 and Health Act of 1970, we have learned an  
6 awful lot about cancer and particularly  
7 occupational cancer. So how should the  
8 advances in our knowledge of cancer science be  
9 incorporated in the NIOSH cancer policy if we  
10 revise it? So, those are sort of three  
11 overviews of the issues that are of most  
12 concern.

13 So I will continue with this  
14 overview. We will then, as I said, have input  
15 on the five questions. These were the  
16 questions that were posted in the Federal  
17 Register on August 23rd, 2011. And then, in  
18 addition to comments on each of the individual  
19 questions, we will also have a final comment  
20 period at the end of the day.

21 With me today is a panel of NIOSH  
22 staff. They have introduced themselves. They

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 will be sitting up here after the  
2 presentation: Thomas Lentz, Faye Rice, Ralph  
3 Zumwalde, and Kathleen MacMahon. They are  
4 here to help amplify any of the remarks that  
5 we want to make concerning the issues and also  
6 to draw you out in terms of comments that you  
7 might make. So they're here to help in this  
8 process.

9 Now, occupational cancer is not a  
10 disease of the past. In fact, it is a very  
11 significant disease that burdens the workforce  
12 in the 21st century. It is still a  
13 significant cause of morbidity, mortality, and  
14 societal burden.

15 Currently, there are millions of  
16 workers who are exposed to OSHA-regulated  
17 carcinogens and tens of millions of workers  
18 with past exposure. And it's estimated that  
19 annually, out of 600,000 cancers, 4 percent or  
20 24,000 deaths result from workplace exposure.

21 These numbers are generally  
22 underestimated. And they're underestimated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for a number of reasons. Historically, the  
2 assessments of attributable risk have been  
3 conducted only on a few carcinogens and cancer  
4 sites. So there hasn't been really a  
5 comprehensive analysis.

6 Secondly, the role of carcinogenic  
7 exposures in what analyses exist has not been  
8 strong in the area of assessing the risks to  
9 women or to subpopulations at high risk.

10 And, then, thirdly, we are now  
11 starting to see more robust assessments of the  
12 attributable risk. I'd point to the paper by  
13 Rushton and colleagues in the U.K. that shows  
14 attributable risks ranging up to 10 percent.

15 So if 4 percent of the deaths are  
16 due to occupational causes, when we talk about  
17 new cases, it is estimated that there are  
18 about 48,000 new cases of cancer a year that  
19 are attributable to occupational exposures.

20 And when you rank the causes of  
21 cancer, this is third, behind cigarette  
22 smoking and diet. But it is first when you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       subdivide the rankings according to whether  
2       the carcinogen exposures are voluntary or  
3       involuntary. And so occupational carcinogenic  
4       exposure is an involuntary situation, whereas,  
5       cigarette smoking and diet for the most part  
6       are considered voluntary exposures; albeit,  
7       there is an argument to be made about the  
8       complexity of the voluntary nature there.  
9       Nonetheless, occupational exposure is a  
10      critical cause of cancer.

11               Now, we are interested in the  
12      NIOSH cancer policy, in cancer from a variety  
13      of occupational hazards: radiation, viruses,  
14      and chemicals. Historically, most of our  
15      focus has been on cancer related to chemical  
16      exposures. And so I am going to give you a  
17      little bit of the background on chemical  
18      carcinogenesis.

19               Most of you know this quite well.  
20      Some of you have written the book on it. To  
21      some of you, it may be somewhat unfamiliar.  
22      And so I will cover that as well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           You can trace back the thinking  
2 about chemicals causing cancer at least to  
3 Percivall Pott some 200 years ago, when he  
4 identified scrotal cancer in chimney sweeps.  
5 That observation wasn't built on too much  
6 until at least about 100 years ago, when the  
7 beginning of animal studies, particularly skin  
8 painting studies with polycyclic aromatic  
9 hydrocarbons and tars first started to show  
10 cancers on the skin of animals.

11           That continued to grow. And it  
12 was in the '70s, between the 1970s and the  
13 1990s that we started to have a systematic  
14 testing in chronic bioassays of various  
15 chemicals for carcinogenic potency. And, in  
16 fact, by 2000, one examination by Ames and  
17 Gold showed that over half of the synthetic  
18 chemicals that were tested were positive for  
19 cancer in rats and mice.

20           Another way to think about it is  
21 that of the approximately 200 agents known to  
22 cause cancer in humans, nearly all had been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shown to cause cancer in rats and mice. And  
2 this is critical, because many times when an  
3 agency has to make a cancer determination or a  
4 recommended exposure limit, it is based on  
5 animal data. Ideally, we would like to know  
6 what is happening in workers, but in many  
7 cases, we don't have those data. But we do  
8 have animal data.

9 The good thing about having animal  
10 data is that we can preclude or we can precede  
11 human exposure in many cases or extensive  
12 human exposure and thus prevent unwarranted  
13 exposures. Nonetheless, there is a good  
14 correspondence between cancer in animals and  
15 cancer in people, particularly workers.

16 Now, cancer is a multi-stage  
17 process. It has various modes and mechanisms  
18 of action. You can at least think of them  
19 broadly in terms of genotoxic and  
20 non-genotoxic modes of action. I will talk  
21 about that a little further.

22 This slide just depicts the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 multi-stage carcinogenesis process. In  
2 general, the cancer process involves  
3 interference in mutation in the DNA and  
4 resultant genetic changes, of which the  
5 organism selects for variations of those  
6 changes. And over a period of time in a  
7 variety of steps, those changes amass and  
8 malignant tumor holds sway and is formed. So  
9 this is the general flow for carcinogenic  
10 exposure and particularly chemical carcinogen  
11 exposure.

12 It is more of a complex process  
13 than that last picture showed. It has both  
14 endogenous and exogenous kinds of co-factors  
15 that need to be considered. There is also the  
16 capability of the body to repair various  
17 mutations that occur.

18 There is variability in people or  
19 in animals in the way they respond to cancer,  
20 both in terms of activating carcinogens as  
21 well as in repairing damage from carcinogen  
22 exposure. So cancer is what is considered a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 stochastic type of process.

2 This slide -- I don't know if you  
3 can read it. It is just a list of some of the  
4 classic carcinogens: various metals, cadmium,  
5 chromium, nickel, bis(chloromethyl) ether,  
6 asbestos, diesel exhaust, cutting oils, vinyl  
7 chloride, aromatic amines, benzene, ethylene  
8 oxide, some of the classic carcinogens that we  
9 have identified in occupational safety and  
10 health.

11 Also, this slide again depicts  
12 sort of the multi-stage process, but it shows  
13 one other feature; that this process takes  
14 time. And so we have the whole concept of the  
15 latent period, the time between first exposure  
16 and the appearance, the clinical appearance,  
17 of indications of cancer.

18 And so on average, we think of the  
19 latency period in chemical carcinogenesis to  
20 be around 20 years. But we know that it is  
21 variable for different types of cancer,  
22 different doses, different types of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 carcinogens. And so latency periods have been  
2 shown in the literature to range from 5 to 40  
3 years.

4 So NIOSH is mandated to study a  
5 variety of hazards to workers, not only  
6 carcinogens. Today we are focusing on  
7 carcinogens, and they are clearly part of the  
8 NIOSH mandate. And I am going to read this,  
9 because this is a critical piece:

10 "NIOSH is mandated to develop  
11 criteria dealing with toxic materials and  
12 harmful physical agents and substances, which  
13 will describe exposure levels that are safe  
14 for various periods of employment, including,  
15 but not limited to, exposure levels at which  
16 no employee will suffer impaired health or  
17 functional capacities or diminished life  
18 expectancy as a result of his work  
19 experience." This is the basis for our cancer  
20 classification and our recommended exposure  
21 limits.

22 We have a long history of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 establishing recommended exposure limits for  
2 carcinogens. To date, the NIOSH pocket guide  
3 lists some 135 substances as carcinogens. And  
4 NIOSH has developed recommended exposure  
5 limits for most of these.

6           These are important tools for the  
7 occupational safety and health community for  
8 employers and workers, because often, in the  
9 absence of a regulatory level or permissible  
10 exposure level, companies utilize NIOSH  
11 recommended exposure limits as de facto  
12 in-house guidance, so that they try to use  
13 that as the basis for their control programs.

14       So there is a long history and a large impact  
15 of NIOSH recommended exposure limits.

16           So what we are talking about today  
17 is cancer policy or occupational cancer  
18 policy. And this is a brief history of  
19 occupational cancer policy.

20           So if you recall, 200 years ago  
21 Percivall Pott essentially made the first  
22 observation or one of the first observations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 A hundred years ago it was animal testing. In  
2 the '30s and '40s is when we started to see  
3 the beginning of policy related to the  
4 underlying science. So we have in Ontario,  
5 workers' compensation for cancers related to  
6 coal tar exposure. In Germany, we have  
7 compensation for occupational lung cancer.

8 Then in the '70s, right after the  
9 OSH Act, we have the emergency temporary  
10 standard for asbestos. This was followed by  
11 the OSHA standards for 14 significant  
12 carcinogens and for vinyl chloride.

13 In 1976, NIOSH issued its cancer  
14 policy in the form of a presentation by Dr.  
15 Fairchild at a scientific meeting. And I'll  
16 get into that in a bit.

17 In 1977, OSHA proposed a  
18 regulation for identifying, classifying, and  
19 regulating potential occupational carcinogens.  
20 NIOSH testified in support of that. That was  
21 enacted in 1980.

22 In 1985 and in the '80s, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 started to see the emergence of various cancer  
2 hazard classification systems. So we had the  
3 NTP and the IARC system.

4 Then in 1995, NIOSH revised its  
5 cancer policy, not the classification part but  
6 the part that relates to the establishment of  
7 recommended exposure limits. I'm going to go  
8 into some of these in detail.

9 And then in 2010, triggered by our  
10 work on the "asbestos road map", where people  
11 were concerned that we used the term  
12 "potential occupational carcinogen," we moved  
13 to establish an internal committee to review  
14 the NIOSH cancer policy. That's the group  
15 that has fostered this meeting today and is  
16 moving to assess the policy and revise it.

17 So just amplifying some of those  
18 issues, and where NIOSH's cancer policy stems  
19 from, I refer to a paper published in the New  
20 York Academies of Science by Fairchild,  
21 "Guidelines for a NIOSH policy on occupational  
22 carcinogenesis".

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Much of the verbiage in the paper  
2 talks about the growing concern about the  
3 increase in the unregulated numbers and  
4 quantities of synthetic chemicals. Back in  
5 the '70s, chemical carcinogenesis, awareness  
6 of it was growing rapidly. There were a  
7 number of agencies that were being established  
8 to deal with hazardous substances and  
9 particularly carcinogenic substances in all  
10 components of the environment and the work  
11 environment also. There were concerns about  
12 the impact of these kinds of chemicals,  
13 particularly on workers and particularly  
14 involving cancer.

15           In the core of the policy were  
16 these items here. In the absence of solid  
17 evidence to the contrary, there is the  
18 possibility of carcinogenic effect in humans  
19 for any chemical conclusively shown to be  
20 carcinogenic in one animal species. In other  
21 words, if there was one study that showed  
22 cancer in animals, that was enough to trigger

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the labeling of it as a carcinogen.

2           Again, you have to remember this  
3 is the time in the mid-70s when, while there  
4 was a lot of information about chemical  
5 carcinogenesis, it was still in a maturing,  
6 evolving state. And the concern was to be as  
7 protective as possible.

8           Consequently, in addition to  
9 frankly malignant carcinogens or responses,  
10 benign neoplasms were also considered to be an  
11 indicator of cancer. And so the concern there  
12 was that in some cases, benign neoplasms could  
13 transform into malignant neoplasms.

14           Additionally, there was another  
15 criterion -- I didn't have it on this slide --  
16 that any substance that reduced the latency  
17 period for a particular cancer would also be  
18 considered a carcinogen.

19           And, then, finally, the approach  
20 to dealing with this kind of information was  
21 that NIOSH would recommend generally no  
22 detectable level or the lowest feasible level

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of exposure.

2 So this was the core of NIOSH's  
3 cancer policy. And pretty much it stayed in  
4 existence and some parts of it are still in  
5 existence today. Some have been changed, and  
6 I will show you where the changes occurred.

7 In 1978, then, NIOSH testified on  
8 the OSHA notice of proposed rulemaking for its  
9 cancer policy. And NIOSH supported that it  
10 was in general agreement with this policy and  
11 with the definition of potential occupational  
12 carcinogen as stated in the OSHA cancer  
13 policy.

14 NIOSH then used the term  
15 "potential occupational carcinogen" for the  
16 first time in 1978 in the glycidyl ethers  
17 criteria document and used it subsequently in  
18 various documents, criteria documents, and  
19 current intelligence bulletins pertaining to  
20 occupational carcinogens. And so, as I said,  
21 this policy has continued to this day.

22 These are just details from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 OSHA cancer policy under potential  
2 occupational carcinogen. And, essentially, it  
3 was similar to what I mentioned for the NIOSH  
4 policy for a potential occupational  
5 carcinogen: any substance or combination of  
6 substances that caused an increased incidence  
7 of cancer, including benign and malignant  
8 neoplasms in humans or at least one animal  
9 species by any route of exposure.

10 It did preclude results of tumors  
11 in locations other than at the site of  
12 administration. The focus here was for dermal  
13 or IP [intraperitoneal] kinds of studies to  
14 distinguish carcinogens that might be an  
15 artifact of the method of exposure, as opposed  
16 to an inherent effect.

17 And then any substance also that  
18 has metabolized, it may not be a substance  
19 that is carcinogenic in and of itself, but  
20 once in the body, it becomes metabolized to a  
21 potential occupational carcinogen. It was  
22 also considered a carcinogen.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Then this policy persisted until  
2 1995. At that time, NIOSH made a modification  
3 in the recommended exposure limit part of the  
4 policy, particularly because of advances in  
5 the science and the ability to start to do  
6 analyses of risk and to quantify those risks  
7 and, in part, as a result of the benzene  
8 Supreme Court decision.

9           So, in 1995, NIOSH issued a policy  
10 that said that the RELs will be based on  
11 health effects from animal or human data  
12 measurable by analytic techniques. But it  
13 added the language that "RELs that could be  
14 feasibly achieved by engineering controls."

15           At the same time -- that language  
16 indicated that in some cases, there would be a  
17 residual risk. But the 1995 policy said that  
18 NIOSH would project the full range of risks  
19 that various exposures could result in and  
20 eventually select a limit that may have some  
21 residual risk. So it was somewhat of a  
22 departure from the 1976 policy that strove to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 identify no detectable level or minimum  
2 feasible risk.

3 As I said, since the 1970s, there  
4 have been many advances in cancer science. And  
5 this slide depicts four categories of those.  
6 There has been great understanding of the  
7 mechanism of chemical carcinogenesis. I  
8 showed you some of the slides that depict some  
9 of the richness of that understanding: the  
10 multi-stage nature, the involvement of genes  
11 and oncogenes.

12 There has also been a capability  
13 now to look at vast numbers of chemicals with  
14 high-throughput methods so as to identify  
15 potential carcinogens that would then be  
16 subject to further animal bioassays. So this  
17 is a new approach.

18 As I said, there is also the  
19 ability to identify, to utilize genetic and  
20 epigenetic data to identify high-risk  
21 subgroups. One of the things that has not  
22 been done in the cancer policy is to identify

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where there were individual subgroups that  
2 could be at high risk. Should there be  
3 specific standards for people who are at  
4 particularly high risk due to various genetic  
5 characteristics?

6 And then, finally, we are at a  
7 point now where we may not have the  
8 wherewithal to individually go through  
9 specific chemicals one at a time, but we have  
10 now the development of new approaches in terms  
11 of hazard and control banding that may allow  
12 us to think about groups of chemicals and  
13 recommended exposure limits or at least  
14 guidance for those groups of chemicals.

15 So that brings us to today. We're  
16 here to see public input on the revision of  
17 the cancer policy in terms of both the cancer  
18 classification and the development of  
19 recommended exposure limits.

20 So we have a number of ways of  
21 doing this. We will have this public meeting.  
22 As I said, we have the electronic docket. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would appreciate particularly comments in  
2 writing, but we welcome your comments here  
3 today. And, as I said, the docket will close  
4 for comments on December 30th of this year.

5 Here is the schedule that we hope  
6 to follow and we have been following since  
7 December 2010. We have been doing committee  
8 work internal to NIOSH. This is the public  
9 meeting.

10 Following this meeting, building  
11 on the work that we have done internally,  
12 building on your comments and the comments in  
13 the docket, we will put out a new policy or a  
14 clarified policy sometime in the spring of  
15 2012. We will have a public review of that  
16 document, probably another public meeting. And  
17 then we hope to aim toward publication in the  
18 fall of 2012.

19 PARTICIPANT: What is the URL for  
20 that docket again?

21 DR. SCHULTE: Sorry.  
22 CDC.gov/NIOSH/docket.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 PARTICIPANT: Thank you.

2 DR. SCHULTE: Now, the meeting  
3 today will go through the five questions that  
4 were posted in the Federal Register. I'm  
5 going to just go through them briefly. We  
6 will then have comments on each one. And then  
7 we'll have general comments at the end of the  
8 day.

9 First question, should there  
10 explicitly be a carcinogen policy, as opposed  
11 to a broader policy on toxicant identification  
12 and classification?

13 In other words, if we're going to  
14 have a carcinogen policy, why don't we have a  
15 reproductive toxicant policy or a  
16 neurotoxicant policy? Is there any value in  
17 having a specific policy for carcinogens or  
18 having a more generalized policy?

19 Second, what evidence should form  
20 the basis for determining that substances are  
21 carcinogens? How should these criteria  
22 correspond to nomenclature and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 categorizations, such as known or reasonably  
2 anticipated, et cetera?

3 In other words, there are various  
4 classification systems that are in existence  
5 that allow for more nuanced interpretation of  
6 the scientific information of its sufficiency  
7 and certainty. How should the NIOSH  
8 carcinogen policy relate to that kind of  
9 thinking?

10 Should 1 in 1,000 working lifetime  
11 risk for persons occupationally exposed be the  
12 target level recommended for exposure limit,  
13 the REL for carcinogens, or should a lower  
14 target be considered? Again, for those  
15 -- most of you are familiar with it. The 1 in  
16 1,000 is the level that the Supreme Court  
17 identified in the benzene decision as at least  
18 the level where action would be taken. And so  
19 1 in 1,000 is what NIOSH has been using  
20 because we provide our information generally  
21 not only to employers but to OSHA. And that  
22 is the level that OSHA has been using in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 recent years. Should we think of a different  
2 level of lifetime risk?

3 In establishing recommended  
4 exposure limits, how should we interpret the  
5 phrase "to the extent feasible"? As I said,  
6 we have historically used a very minimal  
7 definition of "the extent feasible," meaning  
8 if it could be done in a single facility or  
9 even in some cases if it could be envisioned  
10 as capable of being done on the horizon, such  
11 that it in some cases might even force the  
12 technology a bit. What is the opinion of  
13 people on this issue?

14 And then lastly, in the absence of  
15 data, what uncertainties or assumptions are  
16 appropriate for use in the development of  
17 recommended exposure limits? What is the  
18 utility of the action level, and how should  
19 the action level be set?

20 So these are the five questions  
21 that we will be discussing today. And at this  
22 point, I will invite the panel to come up. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we will begin the discussion of the first  
2 question. So if the panel would come up?

3 We have two hand-held mics, so you  
4 can use these. Please use these when you have  
5 questions. Identify yourself for the record.  
6 And we'll start with a minimum -- or a maximum  
7 of five minutes for comment. And if we get  
8 through and there is still more to say, people  
9 can have a second five minutes.

10 It is suggested that maybe you  
11 come up to the podium. If you want, you can  
12 come up to the podium, I guess, or you can  
13 speak from your location.

14 So the floor is open. The first  
15 question is: should there explicitly be a  
16 carcinogen policy, as opposed to a broader  
17 policy on toxicant identification and  
18 classification? And so the floor is open for  
19 your comments. I take it by your silence that  
20 you don't think there should be a --

21 (Laughter.)

22 DR. SCHULTE: Maybe before we get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the questions, we'll take a moment to just  
2 see if anybody has any opening remarks that  
3 they want to make regarding the cancer policy  
4 in these deliberations today.

5 (No response.)

6 What if you gave a party, and no  
7 one showed up?

8 (Laughter.)

9 DR. SCHULTE: So, ladies and  
10 gentlemen, this is a meeting to get input from  
11 the public, so we're looking forward to your  
12 thoughts. Clearly, this audience is not all  
13 in agreement with the approach we are taking  
14 or doesn't think that it should remain the  
15 same. So I would love to hear some comments.  
16 Here you go, sir.

17 DISCUSSION OF 5 QUESTIONS

18 DR. SIVIN: Darius Sivin, UAW.

19 I would like to endorse the idea  
20 of NIOSH developing policies for other health  
21 endpoints besides carcinogens but not as a  
22 replacement for its carcinogen policy.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I think NIOSH should finish the  
2 revision of its carcinogen policy on the  
3 schedule it has more or less presented today  
4 and then proceed to reproductive toxicants and  
5 other kinds of health endpoints but should not  
6 -- I would be concerned that if you tried to  
7 throw it all in one basket, it would never get  
8 finished and there would be no policy.

9 DR. SCHULTE: Other comments?

10 MR. KOJOLA: Bill Kojola, AFL/CIO.

11 Yes. I would agree with Darius's  
12 comment. I think NIOSH has had a carcinogen  
13 policy for more than 35 years. Clearly, this  
14 is a major undertaking to issue a revision. I  
15 think NIOSH should stay focused on revising a  
16 policy that is explicit for carcinogenic  
17 substances and make it more relevant to the  
18 21st century.

19 So I think that if you were to  
20 interweave this into a much broader policy  
21 about a whole host of other toxic chemicals,  
22 that the whole system would literally bog down

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and the carcinogen policy, a new one, would  
2 not see the light of day.

3 I think it might be useful if  
4 NIOSH were, once it finishes a revised cancer  
5 policy, to think about whether or not it is  
6 appropriate to have policies on other  
7 classifications of toxic chemicals and that  
8 given time, resources, and importance, you  
9 know, make some decisions internally about  
10 whether or not it has the capability to do so  
11 and then move that forward.

12 DR. SCHULTE: If I could draw you  
13 out just a bit, so, implicit in or even maybe  
14 explicit in what you said was your belief that  
15 there should be a revision to the policy given  
16 the issues that I have raised today?

17 MR. KOJOLA: That's correct.

18 DR. SCHULTE: Okay. Other  
19 comments?

20 MR. GLENN: Bob Glenn, Glenn  
21 Consulting Group.

22 I tend to agree with the previous

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two comments. I stepped out for a moment, so  
2 there may have been more than two.

3 But I think, also, whenever you  
4 look at an agent, you need to somewhat  
5 consider the total body of evidence about the  
6 toxicology of the material. And certainly  
7 there are some situations where there may be a  
8 non-malignant process, at least to cancer. And  
9 quartz comes to mind.

10 I think there is growing evidence  
11 that if crystalline silica and quartz are  
12 carcinogenic, it's possibly related to  
13 silicosis being a mechanism. So I think those  
14 things need to be considered as well. I am  
15 sure you would. But I just thought I would  
16 point that out.

17 DR. SCHULTE: Thank you.

18 Other comments? Anyone on the  
19 phone? Did you raise your hand, sir? No.  
20 Anyone else?

21 (No response.)

22 Okay. I don't think that's such a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meaty question. I am going to just move on to  
2 the next one, get into something with a little  
3 more oomph to it. We can certainly reflect  
4 back on any of these.

5 What evidence should form the  
6 basis for determining that substances are  
7 carcinogens? How should the criteria for this  
8 evidence corresponds to nomenclature and  
9 categorizations used in other classifications?

10 In other words, should NIOSH think  
11 about establishing a policy that is more  
12 nuanced, that allows for uncertainty in the  
13 sufficiency of evidence to be part of the  
14 classification? Comments? Yes, sir?

15 MR. NAPIER: Dan Napier.

16 I guess what I want to do is ask  
17 you a question back. Are we saying, should we  
18 make this more acceptable to others or listen  
19 to other criteria or is NIOSH going to be able  
20 to say, here is an outline of different items  
21 that we can consider? How do we open that  
22 consideration, and exactly how far -- are you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 asking, how far should NIOSH go as far as  
2 accepting what studies from where or are you  
3 simply saying: what further definitions should  
4 NIOSH develop so that we can then make more  
5 favorable or more easily compare other data?

6 DR. SCHULTE: What we're saying is  
7 that there are a lot of uncertainties in the  
8 evidence base for determining whether  
9 something is a carcinogen.

10 Right now, the policy is that if  
11 there is one study in animals that shows  
12 cancer -- tumors, be they malignant or benign,  
13 that is adequate. Is that a sufficient kind  
14 of basis to use for determining a hazard  
15 classification or should we have a more robust  
16 basis?

17 Should there be multiple species  
18 or, another type of example, what if we have  
19 various kinds of in vitro studies that show  
20 progressions of biologic changes consistent  
21 with cancer? Would that serve as appropriate  
22 evidence in making a cancer classification?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   Organizations like NTP and IARC  
2                   have classification systems that allow for  
3                   uncertainty. We have one category. Something  
4                   is or isn't a potential occupational  
5                   carcinogen.

6                   And so what is the opinion of  
7                   people about the advisability of that or  
8                   should we think of another approach? Do you  
9                   want to ask a question or do you want to  
10                  follow up on that?

11                  MR. NAPIER: Well, my own opinion  
12                  of course is that we should have a more of a  
13                  best approach to these items.

14                  DR. SCHULTE: In the back here?

15                  DR. MELIUS: Yes. Jim Melius,  
16                  Labor.

17                  The first question -- I'll start  
18                  with, actually, the second question -- is that  
19                  certainly a dichotomous approach for  
20                  classification, which NIOSH uses now, there's  
21                  a lot of shortcomings in terms of what it  
22                  communicates both to people working as well as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 professionals working in the field and to  
2 regulatory agencies.

3           And the level of scientific  
4 information that is usually available for most  
5 or many substances that we are evaluating for  
6 carcinogenicity is usually fairly complicated  
7 and includes multiple different types of  
8 information, and a dichotomous classification  
9 system simply doesn't capture that complexity  
10 very well.

11           I think the question what do you  
12 replace it with and then how many categories,  
13 what do you call those categories, and then  
14 how do you fit the available evidence to those  
15 categories is sort of a separate question, but  
16 I think, first of all, the issue is, you know,  
17 is the current system adequate? And I think  
18 it is inadequate.

19           It is misleading in many different  
20 ways given the current scientific knowledge of  
21 the amount -- just sort of the volume of  
22 information we often have on particular

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 substances. Having just one classification  
2 really can be misleading, doesn't capture the  
3 fact that for certain substances, we have much  
4 more definitive information -- Paul, you used  
5 asbestos as an example. I think there are  
6 many others from all along the spectrum, that  
7 the field of occupational health would be  
8 better served if we had a more complete  
9 classification system similar to what is  
10 already in place by many other groups around  
11 the world.

12 DR. SCHULTE: What ones of the  
13 existing classification systems do you think  
14 are admirable -- or not admirable but should  
15 be considered to be possibly modeled after or  
16 even adopted in that case?

17 DR. MELIUS: The ones I am most  
18 familiar with off the top of my head would be  
19 -- I mean, certainly IARC - I think what is  
20 important is not only what is -- I think three  
21 things. One is number of levels you have in  
22 the classification system. One, what do those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 -- that nomenclature that you use, what does  
2 it convey?

3 Does it sort of fit how the  
4 people, scientists, people working in  
5 occupational, environmental health, how they  
6 sort of generally consider a substance that  
7 there are meaningful differences between  
8 categories; and then, secondly, that you have  
9 clear rules on how you classify things within  
10 those particular -- those systems?

11 And, for example, both NTP and  
12 IARC have developed fairly explicit approaches  
13 to classification. I just came back from  
14 IARC. So that is what is on my mind. And I  
15 am kind of familiar with that, more familiar  
16 with that, at least recently.

17 And I think that system works very  
18 well because, again, there's judgment  
19 involved. The science doesn't always fit the  
20 classification. But if you at least have a  
21 clear set of rules that you follow or  
22 guidelines that you follow for doing that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 then the people in the field understand that,  
2 both from the regulatory side as well as the  
3 professional side. Then I think it does help  
4 to communicate, better communication on what  
5 we know about a substance, what its degree of  
6 hazard and risk might be.

7 DR. SCHULTE: So there are sort of  
8 two issues there. One is the content of the  
9 classification system. Is another one the  
10 issue of the transparency of the process?

11 DR. MELIUS: Yes. I think you  
12 have to assume that -- I am assuming that  
13 there is a transparent --

14 DR. SCHULTE: Right.

15 DR. MELIUS: -- process there that  
16 involves I think significant peer scientific  
17 input into that process. These aren't simple  
18 judgments to make all the time. The science  
19 is complicated. It can stretch over, back to  
20 Percivall Pott, I guess. But, even over time,  
21 the science has changed and so requires a good  
22 understanding of the epidemiology, toxicology,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and some of the mechanistic work that goes on  
2 now. And how does that all fit together?  
3 What is good science? What is bad science?

4 So that is why I think it is  
5 important that the classification system and  
6 the guidelines you set up, you know, fit how  
7 the scientific community to the extent that  
8 there is agreement within the scientific  
9 community, how that fits into the review of  
10 the evidence and puts it into some sort of a  
11 nomenclature system.

12 I think it is hard de novo to come  
13 up with a nomenclatures system because people  
14 have worked in the field. We are used to how  
15 NTP does now. We are used to how IARC does  
16 now. We are used to other policies within  
17 other different agencies and so forth under  
18 that, but I think -- which should make it  
19 easier to do though I think there are some  
20 decisions to be made as to how you think it  
21 should best be done, what do you want to --  
22 your communication to OSHA, your communication

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the field, and how you're simply not just  
2 copying what another -- it somehow conveys  
3 that you are making an independent evaluation.  
4 You are not just accepting what IARC, NTP, or  
5 some other agency has determined.

6 DR. SCHULTE: Does someone want to  
7 speak? I want to follow up there for a  
8 second. Then we'll get to that gentleman. So  
9 how important do you think the independent  
10 determination is? For example, NIOSH is part  
11 of the National Toxicology Program, yet we  
12 have our own cancer classification system.  
13 What issues would preclude us from utilizing  
14 the NTP system, for example, as our  
15 classification system?

16 DR. MELIUS: I would think -- I'm  
17 not saying that you couldn't use it, but I  
18 would think that you would have to take into  
19 account, one, NIOSH's focus on occupational  
20 health.

21 The NTP has a broader mandate.  
22 And, secondly, you have a mandate to make

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 recommendations to OSHA, which I don't believe  
2 NTP has, at least not formally, though it  
3 certainly could be their review and documents  
4 can be used in OSHA rulemaking or other OSHA  
5 action.

6 But I think it's those two. It is  
7 something different. I don't think that the  
8 NTP system is something that is necessarily  
9 appropriate for your mandates. It may be, but  
10 I don't think so. I think it may take some  
11 modification to do that.

12 DR. SCHULTE: Right. There are  
13 some discordances between our classification  
14 and NTP classifications already. Certainly  
15 that would have to be addressed.

16 Let's see what this gentleman  
17 wanted to say back here.

18 MR. BEGLUITTI: I was just going  
19 to build a little bit on what he was saying  
20 there at the end. Gino Begluitti with NCEH.

21 I would caution against wholesale  
22 adoption of a classification system because in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 doing that, you also adopt the chemical  
2 specifics of that. If you take it from IARC  
3 or if you take it from NTP and you have a  
4 different end user, like he's saying, NTP  
5 takes into environmental and everything. You  
6 are basically occupational.

7 So I would caution against just  
8 wholesale adoption of a categorization  
9 process, but it is very hard to start off  
10 brand new, so just something to think about.

11 DR. SCHULTE: Good. Good comment.

12 DR. SIVIN: Darius Sivin, UAW  
13 again.

14 One example for which NIOSH should  
15 be exercising its own judgments, workers are  
16 occupationally exposed to ethanol. Ethanol  
17 may also be carcinogenic by oral ingestion of  
18 large quantities over long periods of time.  
19 That would not be a route necessarily relevant  
20 to occupational carcinogenesis.

21 So another agency might have a  
22 reason to classify ethanol as a carcinogen

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 while simultaneously NIOSH might have a reason  
2 not to classify it as an occupational  
3 carcinogen. And that would be an important  
4 reason for NIOSH to make its own judgments.

5 DR. WELCH: Laurie Welch with the  
6 Center for Construction Research and Training.

7 I get a sense there is definitely  
8 support for a multi-layered carcinogen system,  
9 but I want to support the longstanding NIOSH  
10 approach of identifying possible or potential  
11 human carcinogens based on animal data. I  
12 wouldn't want to see a classification system  
13 that required a very high level of evidence  
14 before it is labeled as a carcinogen, which  
15 could happen with this process.

16 You could say, "Okay. Well, a  
17 single animal study, well, that's not enough."  
18 And in some classifications that exist, that  
19 is not enough, but I think that for protecting  
20 the workers in this country, it is for  
21 beginning to identify those as potential human  
22 carcinogens. So they stay on a list.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So there is some concern. It  
2 raises concern within the manufacturers or the  
3 workplaces that are using that. As we were  
4 talking about it, I was thinking, "Well, so  
5 what is the endpoint for NIOSH? What's a  
6 NIOSH REL for?"

7           I mean, we like to think that OSHA  
8 would take it and make regulations and maybe  
9 before I die, we'll see a process that speeds  
10 that up faster, both within NIOSH and within  
11 OSHA. But it has a whole lot of other  
12 benefits, basically putting, you know,  
13 manufacturers or users, primarily  
14 manufacturers of compounds on notice that this  
15 potentially should be labeled as a carcinogen.

16           And without NIOSH or NTP or some  
17 organization putting it in the category of a  
18 potential human carcinogen, that is not going  
19 to happen. It is not going to happen just  
20 based on some animal studies existing.

21           So setting a criteria document,  
22 having an REL of any kind, whatever we do with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the other questions starts action happening  
2 outside a regulatory environment that's I  
3 think very important. So I just would  
4 emphasize that that current policy I wouldn't  
5 want to take off the criteria that are being  
6 used, but they could be nuanced into different  
7 groups.

8 MR. KOJOLA: Bill Kojola, AFL/CIO.

9 I think there is no question that  
10 the term "potential" is not a useful term. And  
11 clearly your review of the asbestos work had  
12 brought that to light. So, you know, we need  
13 to have a classification scheme that does have  
14 layering, some layering at least, at least two  
15 categories known. And we anticipated it or  
16 suspected or whatever, whatever criteria you  
17 end up using.

18 You know, I think NIOSH really  
19 needs to look at the various schemes that are  
20 out there, IARC and NTP, of course, but not  
21 adopt those in totality and allow yourselves  
22 as an agency to be dictated by whatever

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 chemicals IARC or NTP choose to evaluate and  
2 to classify. I think that would put NIOSH in  
3 a straitjacket that would not be useful for  
4 those of us who work in occupational safety  
5 and health.

6 An example, there may be  
7 substances that NIOSH wishes to make some  
8 hazard determination as to the carcinogenicity  
9 that IARC or NTP aren't dealing with. And  
10 then you're stuck.

11 You know, it might be several  
12 examples that we can think of, ultrafine  
13 titanium dioxide or carbon nanotubes, what  
14 have you, that IARC or NTP might not address  
15 for a considerable period of time. That is an  
16 issue in the occupational health community  
17 that NIOSH wants to and needs to speak up on.  
18 So I would caution you not to just adopt  
19 wholesale and allow yourselves to be wagged by  
20 another tail.

21 DR. SCHULTE: Thank you.

22 Could I ask people who are on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 phone or on Envision to make sure you have  
2 muted your system? We hear some background  
3 sounds. Thank you.

4 Sir?

5 MR. NAPIER: Dan Napier again.

6 One of the things that I am  
7 looking at -- I am a fairly practical guy --  
8 is that in California, we have developed about  
9 14 new PELs in the last 4 years. So in about  
10 33,000 years, we will be through the first  
11 100,000.

12 And so there's just a huge -- my  
13 suggestion there's a huge amount of  
14 information out there. And I have always  
15 looked to NIOSH for guidance and more of a,  
16 yes, you produce a REL or some level, but I am  
17 more thinking that from NIOSH, I am going to  
18 get the kind of guidance that will assist me  
19 in looking at something that is completely  
20 different that nobody has looked at yet and  
21 may not.

22 You've got a small, limited use of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 some item. What are the appropriate  
2 guidelines that I can use?

3 MR. GLENN: Bob Glenn.

4 I would just add my support to the  
5 procedure where you would develop a multi-bin,  
6 if you will, type of a process. I am not sure  
7 what you call those or the criteria for them  
8 certainly, but I think, you know, there is a  
9 wealth of knowledge about what we know about  
10 some materials and very little evidence on  
11 others.

12 And, for instance, you know, the  
13 one positive animal study, while I think that  
14 has some -- certainly needs to be considered,  
15 it also needs to be considered how sound is  
16 that one positive study? And I think when you  
17 start looking at animal experimentation, it is  
18 important to look at multiple species.

19 Is there any sex-specific change  
20 or carcinogenesis you are seeing? Is the dose  
21 appropriate? Is the route of exposure  
22 appropriate and things like that? And that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 might depend on where it would drop out,  
2 similarly with epidemiology. You know, what  
3 is the SMR, and have its confounders been  
4 looked at sufficiently? The exposure is fine.

5 So I think you need to consider many things  
6 when you do that and look at certainly all of  
7 the evidence.

8 DR. SCHULTE: So you are  
9 suggesting that we would have multiple  
10 criteria based on the sufficiency of the  
11 evidence, maybe multiple categories, then,  
12 that result from that?

13 MR. GLENN: Yes. For instance, on  
14 the SMRs but below 130 or the 130 to 200,  
15 200-300, wherever -- you know, do you have  
16 exposure response for those as well, the  
17 things we normally do but have more criteria?  
18 So you come to this decision logic where it  
19 goes here and people know why it's going here  
20 and such and takes some of the more judgment  
21 out of it in some ways. But that would be a  
22 thought.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. SCHULTE: Thank you.

2 There was a hand in the back.

3 DR. MELIUS: This is Jim Melius  
4 from Laborers again.

5 Just a follow-up on Bob's comment.  
6 I think that you always have judgment, but I  
7 think that what is important is that whatever  
8 your classification and system and so forth  
9 helps you communicate what judgment went into  
10 that. You do need sort of guidelines and  
11 criteria, but at least if you have those  
12 guidelines, you apply scientific judgment to a  
13 process beyond that. Then it communicates  
14 something to people in the field, although  
15 they may not always agree with it.

16 It may change. Science may, new  
17 science may, change it and so forth, but I  
18 think if you have clear guidelines, I think it  
19 does help the process a lot.

20 Just back in thinking about it,  
21 you also ought to need to think about with  
22 your classification system. So how does it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 communicate into the field within NIOSH and to  
2 other processes?

3           So to some extent, you are going  
4 to use it as a basis for developing RELs, but  
5 that process is slow. And it takes time and  
6 may not be adequate information to do that in  
7 a meaningful way at the point in time, but it  
8 is one part of what you are communicating.

9           But given that this basically  
10 should be a hazard determination, I think the  
11 other point is that it also -- gentleman from  
12 California mentioned that it actually also  
13 helps to communicate with people in the field  
14 on something new.

15           You alert somebody. But when you  
16 are alerting them, you are also conveying to  
17 them, you know, that there is a certain type  
18 of evidence available for this particular  
19 substance that would indicate, at least to the  
20 degree of hazard, what the scientific evidence  
21 is and may not include an REL, but it would  
22 help for people in the field to know how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 should they be approaching trying to control  
2 that particular substance.

3 And I think that is a really  
4 important function for NIOSH. I think in the  
5 past, it has worked well. It is certainly  
6 something that can be done more quickly than a  
7 full REL but it is very important.

8 DR. SCHULTE: So a number of  
9 commenters have spoken about the risk  
10 communication function that is attached to the  
11 hazard classification. And I think that's, in  
12 part, what you were saying. And you also  
13 brought up the idea that there are a range of  
14 classification outcomes that can occur. So we  
15 might identify a substance for which there is  
16 preliminary but disquieting information about  
17 a potential carcinogenic hazard versus a  
18 substance where there is a well-established  
19 evidence base and we are deliberating on that.

20 And so if I heard you correctly,  
21 you are talking about a system that can  
22 address both of those kinds of situations so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that in some cases, we can do an alerting  
2 function. In other cases, we are doing more a  
3 confirmatory kind of function.

4 And so I think that makes thinking  
5 about a system even more complex, but I think  
6 it's a kind of complexity that we need to  
7 address. So thank you for that.

8 It is now 10:25.

9 MR. ZUMWALDE: Paul, can I --

10 DR. SCHULTE: Yes?

11 MR. ZUMWALDE: Before we break,  
12 here, can I just expand on that? I think one  
13 of the things that sets NIOSH apart from the  
14 other organizations, like NTP and IARC and  
15 maybe GHS [Globally Harmonized System for the  
16 classification and labeling of chemicals],  
17 that are in the process of doing hazard  
18 identification, is that the Institute as part  
19 of its responsibility is to take the next  
20 step.

21 So, whatever hazard classification  
22 system we may want to derive, I think the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 expectation is, what do those messages mean in  
2 terms of risk management? And so as we go  
3 through the process and look at a  
4 classification system, in parallel, we are  
5 going to be thinking about how we are going to  
6 communicate that message for the hazard  
7 classification in terms of what the  
8 expectations are from a risk management  
9 standpoint. And so we are interested  
10 in terms of not only the classification  
11 system, but we are also interested in terms of  
12 how one might communicate that in terms of  
13 risk management.

14 And, as I said, the other agencies  
15 are just involved in hazard identification and  
16 don't go through that additional step;  
17 whereas, NIOSH feels that this is an important  
18 step for us, whether it is an exposure limit,  
19 or some other kind of action in a workplace,  
20 maybe respirators, maybe medical surveillance.

21 Those are the kinds of things that the  
22 Institute, will be thinking about as we go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 through looking at the classification system.

2 We are interested in any comments  
3 you might have on a classification system that  
4 would be appropriate for NIOSH to consider,  
5 and also what are the implications in  
6 communicating that classification, such as,  
7 what are the expectations of workers and  
8 employers for each of those particular  
9 classifications.

10 DR. SCHULTE: And if you have  
11 further thoughts on that after the break, we  
12 will entertain them. So we will now take a  
13 break until 10:40. Thank you.

14 (Whereupon, the foregoing matter  
15 went off the record at 10:26 a.m. and went  
16 back on the record at 10:43 a.m.)

17 DR. SCHULTE: Let's continue on.  
18 We were discussing question 2. We are talking  
19 about other classification systems, other ways  
20 of thinking about the evidence that would form  
21 a classification system.

22 It was pointed out to me -- and we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have considered this. I didn't mention it.  
2 There is a system that NIOSH and OSHA both  
3 have supported publicly. And that is the  
4 Globally Harmonized System for cancer  
5 classification that came from the U.N. And,  
6 indeed, that is a system that the U.S. is  
7 going to adopt that OSHA has supported and  
8 NIOSH has testified in favor of. It has these  
9 three categories: category 1, subcategory A,  
10 "known human carcinogen based on human  
11 evidence;" category 1, subcategory 1B,  
12 "presumed human carcinogen based on  
13 demonstrated carcinogenicity in animals;" and  
14 category 2, "suspected carcinogen based on  
15 limited evidence in humans or animals."

16 Clearly if the United States is  
17 supportive of this through various agencies of  
18 the government then manufacturers will be  
19 required to in some ways respond to thisThe  
20 question would be, how would a NIOSH system  
21 that is different relate to this or if this  
22 doesn't have the levels of detail and nuance

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that we have been talking about, are there  
2 subcriteria that might be important or would  
3 each of these -- could each of these have  
4 different kinds of risk management potentials  
5 that would follow from them?

6 So are there any thoughts about  
7 the Globally Harmonized System, its utility,  
8 how it fits in? It certainly puts a primacy  
9 on human evidence, so known human carcinogen  
10 if you didn't have human evidence, then the  
11 highest category would be presumed human  
12 carcinogen. And that could be based on animal  
13 data or suspected carcinogen based on limited  
14 evidence in animals and humans.

15 Any thoughts about that particular  
16 one that people -- that particular  
17 classification system that people have had?  
18 Everybody seems to like it.

19 (Laughter.)

20 DR. SCHULTE: This commenter here.

21 DR. WISE: Kimberly Wise with the  
22 American Chemistry Council.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think that, as you mentioned,  
2 since NIOSH has already been supportive of GHS  
3 as well as OSHA, that you should make sure  
4 that if you are going to adopt a different  
5 classification system, that there is some  
6 concordance with the GHS. You want to make  
7 sure, obviously, that you are not confusing  
8 industry by developing several different types  
9 of classification schemes that aren't in  
10 concordance with each other, specifically the  
11 GHS classification system.

12 I think also a lot of the other  
13 speakers have pointed out making sure that if  
14 you are developing a classification scheme in  
15 itself, that you really look at the full body  
16 of evidence. And so you want to make sure  
17 that there are some clear definitions in the  
18 type of --

19 PARTICIPANT: Can you please pass  
20 the microphone?

21 DR. WISE: Does it sound like it's  
22 turned off? No? Yes?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SCHULTE: Keep talking.

2 DR. WISE: Okay. So hopefully the  
3 people that are online can hear me. I will  
4 try to speak up a little bit louder. And  
5 maybe it will come out a little bit clearer.

6 But I just want to make sure that,  
7 one, if you are going to adopt a system that  
8 you try to be in concordance with GHS because  
9 it has already been supported by, like you  
10 mentioned, NIOSH and OSHA, that if you are  
11 developing a classification system, that you  
12 really do look at the full body of evidence,  
13 you look at biological plausibility in the  
14 animal data that you have, the route of  
15 exposures, as mentioned by a couple of the  
16 speakers as well, so just to make sure that if  
17 you are going to go from just the one category  
18 that you currently have, which is possibly  
19 based on just one animal positive result, that  
20 it is clearly understood what those other  
21 categories mean and what type of data is  
22 actually going into those categories,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 especially looking at the quality of the data  
2 that is going to be put into those categories  
3 so when you are looking at the scientific  
4 database and you have several animal studies  
5 and you have epi data that is available, what  
6 is the weight of the evidence?

7 So are you going to be taking the  
8 weight of the evidence for the epi data in  
9 higher consideration versus the animal data  
10 that you have and if you in the absence of epi  
11 data, is certain animal data going to be given  
12 more weight? But you make sure you have to  
13 look at the biological plausibility of those,  
14 obviously the route of exposure and making  
15 sure that the route of exposure is applicable  
16 to the occupational exposure that you are  
17 going to be setting your recommended exposure  
18 levels based on.

19 DR. SCHULTE: Right. So I think  
20 you made two great points there. Certainly  
21 the concordance issue is important. If NIOSH  
22 comes out with a classification system that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 isn't in concordance with the GHS system, that  
2 I think could lead to confusion. So certainly  
3 we need to look at the crosswalk between those  
4 two.

5           The other thing is that for a  
6 variety of classification systems, you have  
7 the end category, but then you have  
8 subcriteria to determine whether or not  
9 something fits into those categories. And  
10 that is where I think we will have some  
11 possibility for some play and some  
12 manipulation.

13           You identify various kinds of  
14 criteria, the full body of evidence, and so  
15 forth. Clearly that is where we might put  
16 that as part of the criteria for whether  
17 something fits into one of those categories.  
18 So thank you for those comments.

19           There's another one back there.

20           DR. MELIUS: Yes. It's Jim Melius  
21 from the Laborers again.

22           I think that there are sort of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 naturally those three general categories. I  
2 agree with the previous speakers, the comments  
3 on that, and I think the benefits of that  
4 approach.

5 The only hesitation I have is I  
6 think, one, NIOSH needs to think, are those  
7 adequate for what you are using your  
8 nomenclature for and your policy for under  
9 that?

10 I don't think you want to go into  
11 a system where you have ten categories and  
12 that's just confusing. But I think at the  
13 same time, you know, like you add a category  
14 for inadequate evidence or no evidence.  
15 Sometimes like knowing that there is no  
16 evidence is very useful. It hasn't been  
17 tested yet.

18 Now, is that worth a separate  
19 category? I don't know. But I think it's  
20 sort of thinking about how the classification  
21 system would be used and what does it convey  
22 to people.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           I don't think you want to get  
2 beyond, you know, three, four, five categories  
3 depending on how you want to number them or  
4 whatever.

5           I think what is absolutely  
6 critical is the determination basis for it. Is  
7 that determination something that people  
8 understand and can utilize, may not always  
9 agree with it, but at least they understand  
10 how those decisions are made and how those  
11 guidelines might be interpreted?

12           And, then, secondly, does it keep  
13 up with the science that has -- I mean, we  
14 pointed out, Paul, this is a rapidly changing  
15 science. And certainly critically in the area  
16 of so-called mechanistic data, there's lots of  
17 changes there that I think will probably  
18 become more and more important to understand  
19 and more and more important to our  
20 classification system as we appear to be doing  
21 fewer long-term animal and epidemiological  
22 studies that we have sort of relied on in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 past. And I think we will have to rely on  
2 that more and coming to some agreement. How  
3 that data fits into the classification system  
4 I think is going to be critical.

5 And I worry about adopting  
6 somebody else's system, an assumption that you  
7 would then parrot that system when, in fact,  
8 you know, -- and this applies to IARC or NTP  
9 or anything, where you may be out of sync with  
10 them just in terms of timing, you know, let  
11 alone in terms of how your evaluations are  
12 being made.

13 So, again, it needs to be  
14 compatible. It needs to be something that  
15 communicates consistently. But at the same  
16 time I think it has to be clear there is some  
17 independence of the evaluation there. You are  
18 not replacing there, at least not under the  
19 current scheme.

20 DR. SCHULTE: Right. And at the  
21 same time that there is independence, there  
22 has to be some way to say how they link or how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they relate to each other in some way.

2 DR. MELIUS: Yes, absolutely. Yes.

3 DR. SCHULTE: I think there is a  
4 comment up here. Bob?

5 MR. GLENN: I would like to  
6 certainly agree with Jim on that. And also I  
7 think before our break, Ralph Zumwalde pointed  
8 out a very important part of what would be  
9 necessary for your carcinogen policy. And  
10 that is, unlike IARC and NTP, you need to go  
11 further than just hazard identification. So  
12 that alone says that there needs to be no  
13 doubt perhaps more robustness to your policy  
14 than those mere hazard identification  
15 policies.

16 And I also tend to agree. I think  
17 there needs to be concordance with GHS, but I  
18 don't think that should drive you to solely  
19 adopting something that is going to fall out  
20 into one of those classifications.

21 I think one thing that I thought  
22 of since we have started, too, and that is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 yours is somewhat differently as well because;  
2 whereas, the environmental agents are  
3 generally just a single agent that a  
4 population might be exposed to, which would be  
5 a carcinogen, we have the possibility of  
6 having multiple carcinogen exposures in  
7 industry settings and certainly even exposures  
8 to other materials that might modify the  
9 action of a carcinogen, either positively or  
10 negatively.

11 I'm not speaking pharmaceutical  
12 industry but manufacturing therapeutic drugs  
13 come to the mind, where people have exposures  
14 that could affect multiple organs, could be  
15 different mechanisms. I mean, it's just a  
16 whole host of things that need to be thought  
17 of in that.

18 DR. SCHULTE: Clearly the multiple  
19 exposure issue and the mixture issue have been  
20 nagging aspects of this whole area for a long  
21 time. To the extent that we can make any kind  
22 of contribution to that, that needs to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looked at.

2 I'm not sure what kind of  
3 contributions, really, are -- you know, that  
4 the group wisdom has on that thus far, but at  
5 least acknowledging what we don't know may be  
6 a step forward.

7 MR. ZUMWALDE: Yes. A couple of  
8 the comments that I heard expressed concern  
9 that adopting a current classification that is  
10 used by someone else may not necessarily meet  
11 the responsibilities of the charge of NIOSH,  
12 that somehow those classification systems may  
13 deviate in some way in terms of what NIOSH  
14 responsibilities are.

15 In reality, though, the data sets  
16 are pretty much the same in terms of any  
17 organization in terms of looking at the hazard  
18 classification. So NIOSH would most likely be  
19 looking at those same data sets that other  
20 organizations look at.

21 What I haven't heard and what  
22 might be of interest to it is if NIOSH would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adopt the same or very similar classification  
2 systems, say, maybe NTP, would that be an  
3 advantage to NIOSH in terms of maybe having  
4 chemicals already gone through a process of  
5 hazard identification and being classified as  
6 a carcinogen?

7           Is that an advantage for NIOSH in  
8 terms of not having gone through maybe that  
9 process itself for those particular chemicals  
10 but adopting their hazard classification, say,  
11 NTP as an example since they have gone through  
12 that process? Is that somehow an advantage  
13 for NIOSH? And does that provide some  
14 opportunity then for NIOSH to go the next step  
15 in terms of applying whatever risk management  
16 recommendations might be appropriate?

17           DR. SCHULTE: Of course. And I  
18 think that is a correct set of questions.  
19 Clearly when we look at other systems, if,  
20 say, another classification system has  
21 identified something as a carcinogen by an  
22 oral route, I think it would be incumbent on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 us to ask the question, well, what does that  
2 really mean for worker exposure and, indeed?

3 So in other classification systems  
4 where they have that as the basis for a  
5 determination, there would have to be some  
6 stipulation of if NIOSH adopted that system of  
7 us taking it the next step and asking, "Well,  
8 what does that mean in an occupational sense?"  
9 or the reverse is true.

10 For example, on titanium dioxide,  
11 we stipulated that we were only talking about  
12 occupational inhalation exposure of titanium  
13 dioxide aerosols. We weren't talking about  
14 titanium dioxide in food or in sunscreen or  
15 things of that nature.

16 So, I mean, I think it's clear  
17 that our mandate, our specific mandate, for  
18 occupational issues needs to be a driver in  
19 whatever interpretation of a system that we  
20 use or a system that we develop.

21 Other comments? There is one in  
22 the back, too, after Bob.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MR. GLENN:     Another good point,  
2     Ralph.    I think, as you point out, I mean,  
3     these people have gone through the process.  
4     They have gathered the data.    They have  
5     analyzed the data, looked at it very  
6     carefully.

7                   I think for NIOSH, this could be  
8     very good use for prioritization of which ones  
9     you would want to tackle first.   And by doing  
10    that, you can look at such things as what is  
11    the potency of the carcinogen that's been  
12    determined by these other groups and then  
13    start looking at occupational factors, like  
14    how many people are exposed, what is the  
15    exposure, is the route appropriate for what is  
16    known from other exposures and things like  
17    that?   So I think it certainly would be useful  
18    as a prioritization for your own policy.

19                  DR. SCHULTE:   Is there someone in  
20    the back?

21                  DR. MELIUS:   Yes.   It's Jim Melius  
22    again.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Just to follow up on that and your  
2 comment, Paul. I mean, I don't think it  
3 matters specifically which classification  
4 system you adopt and what the exact names are  
5 and so forth, but I think you can certainly --  
6 since you are going -- if you do go to a  
7 multi-tier system, that you would be basically  
8 utilizing the information that has already  
9 been identified, whether it is by NTP, IARC,  
10 MAK [Maximale Arbeitsplatzkonzentration  
11 (maximum concentration of a substance in the  
12 ambient air in the workplace)], or whatever,  
13 that have done these classifications, I think  
14 your caveats, Paul, in terms of route of  
15 exposure information like that are important.  
16 Plus, there are always issues of timeliness  
17 of information.

18           And, you know, I think many of us  
19 here in the room fought the TLV [Threshold  
20 Limit Value] update issue. And that becomes  
21 critical. It also may be that you need to be  
22 sure that whoever you're adopting from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 actually considers the same type of  
2 information.

3 But I don't think you are talking  
4 about a straight across-the-board adoption.  
5 You are talking about -- adaptation. I think  
6 you are talking about a new review where you  
7 might utilize the information that was  
8 gathered as part of these other reviews,  
9 classification reviews, and would be using  
10 that for your own purposes. And, as Bob said,  
11 you would be using it for prioritization also.  
12 Is there a gap that could be filled and so  
13 forth?

14 I think it is appropriate. I  
15 think for most substances, I think it would be  
16 relatively straightforward. I do think you  
17 would also end up -- you know, no matter what  
18 you do, you end up refighting some of the  
19 battles that have gone on in the past and may  
20 still rage, again, without naming any suspects  
21 in that, but it certainly is going to raise  
22 issues where people have disagreed with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 whatever was done with substance X. They are  
2 going to take a new shot at it with NIOSH.

3 DR. SCHULTE: Well, I mean, I  
4 think part of the issue is not to have to  
5 refight the same battles if you adopt a  
6 system, a classification system that has  
7 already vetted material in terms of its  
8 classification. Why refight that battle?

9 DR. MELIUS: Well, I don't think  
10 you can avoid it because I think the fight  
11 isn't over the classification system. It's  
12 over the --

13 DR. SCHULTE: Application.

14 DR. MELIUS: -- interpretation.  
15 And I think invariably there is additional  
16 information. I could be wrong, I mean, but,  
17 you know, again, hypothetically, NTP makes a  
18 classification, you know, in October, you  
19 adopt it in November.

20 You know, it is pretty much going  
21 to follow that. Again, there may be some  
22 information you would want to do. But I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 adopting something that is older, a year old  
2 even, there is new information.

3 If you look at at least the media  
4 war over the IARC cell phone classification,  
5 you know, immediately as soon as a new study  
6 comes out, it gets touted as either supporting  
7 or refuting the IARC classification.

8 DR. SCHULTE: Right.

9 DR. MELIUS: So I think you are  
10 going to have to deal with that issue anyway.

11 DR. SCHULTE: Thank you.

12 Could I ask those on the telephone  
13 or Envision to mute? Thank you.

14 I saw another question. Bob and  
15 then Laurie?

16 MR. GLENN: Bob Glenn.

17 One other thing I was thinking  
18 about that as you put this together -- I am  
19 not suggesting you do it, but you might give  
20 it consideration. And that is as you develop  
21 your policy and your criteria, you also  
22 include what are the critical knowledge gaps,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it fell into this bin because this is what we  
2 know about it, but what would have been nice  
3 to have to make a better determination of  
4 where it would be?

5 DR. WELCH: Laurie Welch.

6 I actually disagree with Jim a  
7 little bit. I think by the time something  
8 becomes a known human carcinogen, say by IARC,  
9 new information is not going to undo that.

10 There may be new information, but  
11 it takes so much information to get it into  
12 that category that it's -- I mean, maybe 20  
13 years later, something could change, but it's  
14 unlikely. So I would like to see something  
15 where NIOSH would have the flexibility to  
16 adopt existing classifications.

17 Probably all the ones that are on  
18 the IARC known human carcinogen list are  
19 already on the NIOSH carcinogen list but to  
20 not have to go through a totally complete new  
21 review but some flexibility.

22 But if something is just a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 possible human carcinogen and the data is ten  
2 years old, you would want to look at it again.  
3 So you wouldn't be stuck with the  
4 categorizations, but you would have the  
5 option, as Ralph suggested, of moving forward  
6 quickly with ones that have been designated as  
7 known human carcinogens.

8           Then, instead of spending a year  
9 doing a review, if there is a way -- and that  
10 is somewhat of an internal NIOSH process if  
11 you can -- if it requires making a statement  
12 that you are going to adopt somebody else's  
13 list to be able to shortcut that review, then  
14 you have to do it.

15           If you can do it internally as a  
16 procedure without necessarily having to state  
17 it, that would probably be preferable. Make  
18 the judgment based on the evidence.

19           But I would hate to see NIOSH  
20 spending time doing detailed reviews on things  
21 where it's well accepted and the evidence is  
22 there but still having to go through a process

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where someone pulls all the papers and you  
2 have a committee and you have peer review.

3 I mean, I think about the  
4 "asbestos road map," took I don't know how  
5 many years. You know, National Academy  
6 Committee. I mean, that was really overdone,  
7 a peer review of a peer review of a peer  
8 review, reminded me of Love Canal.

9 You know, it was kind of like it  
10 was -- yes, it was controversial. Some parts  
11 of it were controversial. But it just seemed  
12 that amount of time -- you can't spend that on  
13 everything. You won't be able to move  
14 forward.

15 DR. SCHULTE: Right. And I think  
16 the realization that a number of speakers have  
17 pointed to is that it is the actions that stem  
18 from the classification that may be the more  
19 important thing.

20 So what risk management guidance  
21 do we develop or what kind of communications  
22 do we develop, everything ranging from an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 alert about a concern to full-fledged risk  
2 management strategy for something that is  
3 clearly carcinogenic?

4 I think we need a system that  
5 looks at the range of actions as well as the  
6 classification and then also that looks at the  
7 criteria that feed into the classification.

8 So there are really three areas  
9 where we can have some variability and  
10 different approaches. So I think that has  
11 been nicely drawn out by some of these  
12 questions and comments.

13 Should we move on, then, to the  
14 third question? Let's do that. I see no  
15 hands waiting to speak on this topic. The  
16 third question is, should 1 in 1,000 working  
17 lifetime risk for persons occupationally  
18 exposed be the target level for a recommended  
19 exposure limit for carcinogens or should lower  
20 targets be considered?

21 So just to clarify, again, we're  
22 moving now from cancer classification to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 recommended exposure limit development. This  
2 is a generic issue, but we have chosen to  
3 speak to it for all kinds of hazards. But we  
4 have chosen to speak to it specifically  
5 because we have had a lot of experience with  
6 it in the area of carcinogens.

7 Again, the 1 in 1,000 risk level  
8 derives from the Supreme Court benzene  
9 decision. And it clearly has been used in  
10 many of the latest NIOSH criteria documents in  
11 the risk assessments and as a cut point for  
12 the recommended exposure limits.

13 Any comments on this issue?  
14 There's one there.

15 MR. KOJOLA: Well, this is Bill  
16 Kojola. Well, the short answer about whether  
17 or not NIOSH should use 1 in 1,000 is no. We  
18 don't believe it should.

19 Let me just read you the two  
20 sentences out of the benzene decision with  
21 regards to this risk level of 1 in 1,000 that  
22 I think are instructive because I think that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are a lot of misconceptions about what  
2 the benzene decision really said. It says,  
3 and I quote, "Some risks are plainly  
4 acceptable, and others are plainly  
5 unacceptable."—

6 If, for example, the odds are one  
7 in a billion that a person will die from  
8 cancer by taking a drink of chlorinated water,  
9 the risk clearly could not be considered  
10 significant.

11 On the other hand, if the odds are  
12 1 in 1,000 that regular inhalation of gasoline  
13 vapors that are 2 percent benzene will be  
14 fatal, a reasonable person might well consider  
15 the risk significant and take appropriate  
16 steps to decrease or eliminate it."

17 So, really, what we are talking  
18 about is not something that is drawn in  
19 concrete from the benzene decision that 1 in  
20 1,000 is the pivotal point around which NIOSH  
21 or even OSHA should be establishing either  
22 recommended or mandated exposure limits. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we are looking at, instead, a wide range here,  
2 which I think needs to be sort of part of our  
3 understanding of where this question derives  
4 from and how we ought to be approaching it.

5           Clearly there is a huge range here  
6 of 1 in 1,000 to 1 in a billion. And that  
7 range in between those two limits is something  
8 that I think is worthy of a policy  
9 consideration's influence on how NIOSH  
10 develops its RELs and, indeed, even on the  
11 agency, OSHA, which is charged by statute for  
12 actually establishing required and mandated  
13 permissible exposure limits.

14           So I will end it there. I may  
15 have other things to say later on as this  
16 discussion unfolds, but, you know, that is the  
17 context under which we are operating here.

18           DR. SCHULTE: Thank you for  
19 reading that and clarifying that. For people  
20 who hadn't remembered where that fit in, that  
21 puts a little more perspective on it.

22           Indeed, just to remind folks, our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 current policy is that we communicate and  
2 project a range of risks at all levels. So  
3 from 1 in 100 to 1 in 100,000 risk, we  
4 generally and routinely have been putting  
5 those numbers in our criteria documents.

6 So one is the issue of we provide  
7 to the public and stakeholders what the range  
8 of risks are. Two, then we ascertain what we  
9 think is a risk level that has a certain  
10 health protection but has some level of  
11 practicality. And so there are sort of two  
12 issues there.

13 Now you are suggesting that maybe  
14 -- you said that you didn't think that we  
15 should use the 1 in 1,000 risk level,  
16 presuming you were suggesting that we would  
17 use a lower risk level, such as 1 in 10,000 or  
18 even lower. Is that what you were saying?

19 MR. KOJOLA: Correct.

20 DR. SCHULTE: So when you start to  
21 do that, then you are essentially at levels  
22 that are possibly quite difficult to achieve

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and/or to measure. And OSHA certainly does  
2 not use those kind of levels in developing  
3 their permissible exposure limits.

4 So if we are to be of any service  
5 to OSHA to have a recommended exposure limit  
6 at 1 in 10,000 or 1 in 100,000 because it may  
7 not be of utility, I would like to hear some  
8 comment on that particular issue.

9 DR. SIVIN: Darius Sivin, UAW.

10 We would like to see NIOSH affirm  
11 that, at least in principle, one loses no  
12 right to protection by crossing the threshold  
13 of the workplace. And that at least in  
14 principle, workers are entitled to the same de  
15 minimis risk of 1 in a million that EPA says  
16 we have the other 16 hours of the day.

17 We can see practical reasons for  
18 which NIOSH might issue specific RELs  
19 associated with greater risk, but, in fact, it  
20 may not be necessary for NIOSH to establish a  
21 particular target level at all.

22 We have already discussed that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 there are some substances for which we may  
2 have the four data points from a single animal  
3 study and other substances for which we may  
4 have a very extensive epidemiologic database.

5 So, for that reason alone, the  
6 database in one case may permit estimating  
7 concentrations that are associated with, let's  
8 say, a risk level 1 in 100,000; whereas, the  
9 more sparse database may lead to uncertainties  
10 at levels of risk that low that it would be  
11 essentially false precision to even assert  
12 that you know that if you control the such and  
13 such level, you are only going to have 1 in  
14 100,000 risk or whatever.

15 And so for those substances, it  
16 might be reasonable to issue a REL that is at  
17 the risk level that the database offers you  
18 reasonable certainty that you are actually at  
19 that risk level.

20 And that, the availability of the  
21 scientific data alone might be the reason to  
22 have different levels for different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 substances, but we do think it is very  
2 important that NIOSH assert in principle that  
3 one loses no right to protection by crossing  
4 the threshold of the workplace.

5 DR. SCHULTE: Other comments?  
6 Laurie?

7 DR. WELCH: Yes. Laurie Welch.

8 And if you were to say, "All  
9 right. A 1 in 1,000 working lifetime risk for  
10 developing an occupational cancer is an  
11 important threshold," people have exposures to  
12 multiple compounds, so -- both in mixtures or  
13 just over their lifetime use -- you know,  
14 there are categories of industrial products  
15 that are known to contain 2 or 3 specific  
16 carcinogens. So some industries you could  
17 just count on it.

18 So 1 in 1,000 really translates  
19 into, could translate into, 1 in 100 with the  
20 multiple exposures. So I think it is  
21 reasonable. And that, in a way, is why EPA  
22 uses such a low level. One of the rationales

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is there are sensitive populations but also  
2 that people have multiple exposures over their  
3 lifetime.

4 I think it is another reason that  
5 1 in 1,000 as a line seems too high, that  
6 because it can translate into as you add them  
7 up, if there are multiplicative risks, which  
8 we don't quite understand the biological  
9 effects of multiple exposures, but it may be  
10 more than additive, you could probably fairly  
11 quickly get up to something that is closer to  
12 1 in 100 risk, which I think everyone would  
13 agree was unacceptable.

14 DR. SCHULTE: Other comments?

15 (No response.)

16 DR. SCHULTE: The area that Dr.  
17 Welch just brought up about multiple exposures  
18 is again that area that we talked about  
19 earlier. There is a growing literature coming  
20 out of the environmental field for the concept  
21 of cumulative risk assessment looking at the  
22 risks from a variety of sources and then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       somehow trying to sum those.

2                   It seems that science is moving  
3 ahead, albeit not rapidly, to a point where we  
4 have necessarily the tools to use adequately,  
5 but it might be the kind of scientific  
6 development and in the category of scientific  
7 developments that we want to consider. And  
8 maybe the guidance here is that a realistic  
9 appraisal of risks needs to include the  
10 universe or the environment that the worker is  
11 in, not just for a single exposure.

12                   So any thoughts along those lines?  
13 Any concerns about an approach like that?

14                   DR. WELCH: Laurie Welch again.

15                   I mean, I always have concerns  
16 about models that are these mathematical  
17 models with risk assessment because, you know,  
18 the range of the variance around the estimate  
19 is very high, but, as the document goes  
20 forward and becomes part of some kind of  
21 public policy, usually the understanding that  
22 the -- it's just an estimate with a fairly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wide range kind of disappears.

2           So I would suggest approaching it  
3 in a more heuristic qualitative way to sort  
4 of, instead of saying, "Oh, well. If this  
5 person is exposed to styrene in the context of  
6 exposure to some other carcinogen, then you  
7 have to model it in," I think it would be more  
8 to understand that in the occupational  
9 environment, you can assume that there is  
10 going to be more than one exposure to a  
11 carcinogen in an industrial setting and use  
12 that as a guideline to use a lower or higher  
13 number, a lower risk, a higher number of zeros  
14 when you set a level.

15           DR. SCHULTE: Before we get to  
16 Bill, I just wanted to harken back to  
17 something, actually, Bill said earlier, Bill  
18 Kojola, that maybe a lower level of risk would  
19 be useful, such as 1 in 10,000.

20           Does anyone have any concerns if  
21 NIOSH started to develop RELs based on a level  
22 that would protect against a cancer risk of 1

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in 10,000 or lower, about us doing that, the  
2 utility of that, implications of that?

3 (No response.)

4 DR. SCHULTE: Okay. I'm sorry.  
5 Bill? And then --

6 MR. KOJOLA: Yes. Actually, my  
7 comment kind of gets to that.

8 DR. SCHULTE: Okay.

9 MR. KOJOLA: I mean, I think there  
10 is utility in NIOSH using risk levels at  
11 something lower than 1 in 1,000, 1 in 10,000,  
12 1 in 100,000, what have you, in that it  
13 establishes objectives for technology forcing  
14 control measures and risk management in the  
15 workplace that can have the effect of lowering  
16 worker exposures and lowering their risk.

17 And, you know, NIOSH is a public  
18 health agency. You were not charged with the  
19 responsibility of establishing legal limits  
20 that employers have to contend with. You,  
21 instead, have an opportunity here to push the  
22 envelope so that we begin to enhance the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 protection of workers who are exposed to  
2 carcinogenic substances.

3 And to the extent that you do that  
4 by lowering risk levels, lowering risk targets  
5 in your REL, you will be advancing or at least  
6 have the opportunity to advance a higher level  
7 of protection for workers.

8 And when you do that, even if it  
9 is set apart from what OSHA is doing on the  
10 regulatory front, that is an important  
11 statement that workers and their unions and  
12 employers can use to say, "Well, we need to do  
13 something about this. We need to take steps  
14 in our workplace to lower exposures, to  
15 eliminate exposures. We need to use the best  
16 science that NIOSH has on our risk management  
17 techniques to do that in this workplace,  
18 irrespective of what may be happening on the  
19 regulatory front."

20 So I think this is one of the key  
21 values that that information will convey to  
22 those of us who are trying to grapple with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 workers who are exposed to carcinogenic  
2 substances.

3 DR. SCHULTE: Thank you.

4 Up here?

5 MR. NAPIER: Dan Napier.

6 I guess, harkening back to some of  
7 the earlier points about the other  
8 discussions, my only concern is let's not get  
9 bogged down, but I thought I heard you say  
10 that you are referring to levels at different  
11 risks than 1 in 10,000 -- and that's part of  
12 your documentation. If it is, the discussion  
13 gets kind of moot as far as whether it is set  
14 at 1,000 for 1 item or 10,000 for another  
15 item. I just hate to see something saying,  
16 "Well, we are going to use this number, come  
17 heck or high water," and that's it.

18 I don't know that that truly  
19 provides a better level of protection.  
20 Sometimes we get to points where, no matter  
21 what I have, I can't detect it.

22 So you may publish a level that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 says it has to be this but we can't get there  
2 anyway, we can't measure it in the field, we  
3 can't tell what it is. What have we done? We  
4 haven't served, we haven't truly served, the  
5 working people.

6 It is mythical. We have done  
7 something that doesn't serve the people, the  
8 person that is operating the equipment.

9 DR. SCHULTE: I think in a sense,  
10 those last two comments sort of show the poles  
11 of that discussion to some extent.

12 Over there?

13 MR. SCHWEITZER: John Schweitzer  
14 with ACMA.

15 Just a note. Your question was,  
16 does anybody object to an approach at 1 in  
17 10,000? I would like just to -- pardon me I  
18 guess for the legal disclaimer. A lack of  
19 statement at this point doesn't imply an  
20 agreement with that, or disagreement. And  
21 wait for our written comments, please.

22 DR. SCHULTE: Yes. I appreciate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that. Thank you for that clarification.

2 DR. BURNS: I have a comment. Can  
3 you hear me?

4 DR. SCHULTE: Yes. Identify  
5 yourself, please.

6 DR. BURNS: My name is Dr.  
7 Kathleen Burns. I'm the Director of  
8 Sciencecorps in Lexington, Massachusetts. I  
9 have been working in risk assessment for about  
10 30 years. I wrote a book on quantitative risk  
11 assessment in occupational and environmental  
12 health in 1985.

13 My comment is that to a great  
14 extent, we are not really talking about the  
15 benefits of taking a de minimis approach to  
16 the occupational risk, which might be in the 1  
17 in a million or 1 in 10 million, as a target.

18 And by recognizing that hazard, we satisfied  
19 many objectives of pushing towards greater  
20 safety, but also massively reducing the human  
21 harm, and also the attendant medical costs and  
22 other societal costs.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   And I wonder if we can also  
2 include in how we think about the 1 in a  
3 million or 1 in 10 million the issue of  
4 substitution and also of medical monitoring.

5                   If it is acceptable to impose a  
6 risk level that is 1,000 times greater than  
7 what we think of as acceptable for the general  
8 public, should there be a mandate at that  
9 point towards some kind of medical monitoring  
10 for workers and improved medical services  
11 programs associated with that in order to have  
12 an explicit recognition of the underlying  
13 costs that are being imposed by having people  
14 exposed to higher levels of a lot of these  
15 very well-established carcinogens?

16                   DR. SCHULTE: Good. Thank you.

17                   Yes?

18                   DR. SIVIN: Darius Sivin, UAW.

19                   We have some employers whose goal  
20 is mere compliance with the law and other  
21 employers who assert that they want to be  
22 world-class in occupational health.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           We don't believe that you can be  
2 world-class if you merely comply with a law  
3 that allows 1 in 1,000-- or in some cases,  
4 under OSHA standards, more people than that--  
5 to get fatal occupational cancers.

6           And it would certainly help us in  
7 pointing out to employers that you can't be  
8 world-class under those conditions if NIOSH  
9 had recommended exposure limits that  
10 represented considerably lower risks based on,  
11 as I stated before, in my opinion the  
12 available data for particular substances,  
13 rather, I think, than based on that there  
14 should be one single target, no matter what  
15 the data actually looked like.

16           DR. SCHULTE: Let me just read  
17 again from the OSH Act, section 20(a)(3),  
18 "NIOSH is mandated to describe the exposure  
19 levels that are safe for various periods of  
20 employment, including, but not limited to,  
21 exposure levels at which no employee will  
22 suffer impaired health or functional

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 capacities or diminished life expectancy as a  
2 result of his work experience."

3 So I think in that section, there  
4 is some appreciation that there could be some  
5 residual risk in a workplace setting. And I  
6 think that legislation is different than the  
7 environmental legislation. And, indeed, that  
8 is one of the differences we have had to deal  
9 with in occupational safety and health for  
10 many years.

11 And it may be that it is just the  
12 practicality of recommending a level that  
13 can't be measured, as this gentleman said,  
14 while it may have some technology forcing --  
15 and I agree that we should be forcing the  
16 technology -- there has to be, it seems, or  
17 one might believe that there should be some  
18 sort of weighing of both the forcing nature of  
19 the recommendation as well as the  
20 practicality, or at least the likelihood that  
21 something can happen as a result of the  
22 classification and recommendation that will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 better protect workers.

2 Does anyone have a thought about  
3 that?

4 DR. MELIUS: Jim Melius.

5 I would just like to go back to --  
6 it's relevant to that point but also to  
7 something that Darius pointed out without  
8 getting into the next question as sort of what  
9 goes into extent -- to what extent is a given  
10 level feasible, but I think it is important to  
11 note that not only within a given industry are  
12 there large differences in how well people --  
13 manufacturer, society, or employer -- decide  
14 to control exposures but between industries,  
15 there are significant differences.

16 And so in the regulatory arena,  
17 that tends to get lost for various reasons of  
18 legal interpretation, apparently, but in terms  
19 of what you are communicating, I think you  
20 need to keep that in mind. And so setting a  
21 risk level, taking into account feasibility,  
22 whatever goes on or whatever else you decide

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to put in that risk level, that is sort of the  
2 lowest common denominator. What is the worst  
3 industry? What has the most difficulty meeting  
4 that situation or meeting that risk level is  
5 unfair and is not very helpful to all the  
6 other industries and workers, employees out  
7 there who -- where certainly feasibility may  
8 be at a much lower level of risk, and you  
9 should be driving them and encouraging people  
10 to do so, and not imply to them that they are  
11 doing too much. They don't really -- this is  
12 unnecessary.

13 DR. SCHULTE: I think it's  
14 appropriate that Dr. Melius opened it. And I  
15 think we were ready to transition anyway to  
16 that next question. We can continue talking  
17 about question 3, but we are now in question 4  
18 in establishing NIOSH RELs. How should the  
19 phrase "to the extent feasible" be interpreted  
20 and applied?

21 Dr. Melius started that off. Any  
22 further comments on that?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Again, feasibility, large-scale  
2 feasibility, determinations have never been a  
3 critical part of NIOSH recommended exposure  
4 limits. We utilize the information that we  
5 have gained from health studies that would  
6 feed into setting the limit, but generally our  
7 assessment of feasibility has been a minimal  
8 one that identified if a facility could  
9 achieve it or come close to achieving it, that  
10 that would be sufficient. That is clearly not  
11 a full-scale appraisal of feasibility, nor  
12 does it address the comment that was just made  
13 that there is quite variable feasibility  
14 across industries.

15           Should we be thinking about the  
16 term "feasibility" more? Should we be doing  
17 more or is it really not a critical part of  
18 thinking of a health-based recommendation.

19           DR. LENTZ: Paul, this is T. J.  
20 Lentz with NIOSH.

21           I might also point out that we  
22 have made it a point in our criteria documents

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and intelligence bulletins that NIOSH  
2 specifically not use the term "technical  
3 feasibility" because we recognize that OSHA  
4 has a very specific definition for "technical  
5 feasibility." And, in fact, we have actually  
6 used the term "technical achievability."

7 And, as Paul indicates, it is a  
8 much more generous term. And we have indicated  
9 that if it can be accomplished in as few as  
10 one facility, then that meets our definition  
11 of "technical achievability" in many cases. So  
12 I just wanted to point out that distinction.

13 DR. SCHULTE: Good clarification.  
14 Thank you.

15 Comments?

16 DR. WELCH: So I think NIOSH  
17 should keep up with that same approach of  
18 using what we might call a generous assessment  
19 of what is achievable. I think that the issue  
20 of whether the substance can be measured in  
21 the work environment at the level that you had  
22 set the REL is a more important issue than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 whether it is possible to put in engineering  
2 controls that would hit that REL because it  
3 makes it difficult.

4 I am not saying you should always  
5 set one that is stuck with current technology,  
6 but obviously you have to think about it  
7 because as you want to give employers guidance  
8 how to reduce exposures to these hazards, the  
9 feasibility is part of that, you know, whether  
10 you think they can, examples, whatever it  
11 might be, but also being able to measure its  
12 importance.

13 And I hear from NIOSH that is  
14 something that is important to take into  
15 account. And you generally have.

16 DR. SCHULTE: Yes. Historically  
17 we valued, obviously, analytic feasibility,  
18 ability to measure it. You can't give  
19 guidance about triggering risk management  
20 activities if you don't have any faith, if you  
21 don't know anything about what the exposures  
22 are and you don't have any faith that you are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 at or near some target level. So, indeed,  
2 analytic feasibility, I think, has to remain a  
3 paramount concern after looking at the health  
4 issues. So certainly we have focused on that.

5 In the back there?

6 MR. KOJOLA: Yes. I think that  
7 you just need to be careful about how you  
8 apply the term "feasible." And you don't want  
9 to create the impression or move in a  
10 direction of considering feasibility, in the  
11 ways that OSHA has to, when it establishes  
12 permissible exposure limits.

13 And I really like what NIOSH has  
14 done with regards to being mindful of the  
15 capabilities to analytically measure exposure.  
16 I think the most recent example of that is  
17 your draft document that has an REL for carbon  
18 nanotubes and carbon nanofibers. You pushed  
19 the envelope on the analytical piece because  
20 that is as much as you could take, but you  
21 also acknowledged that there was also  
22 potentially some significant risk that still

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 exists at that exposure level.

2 Well, here you have a situation I  
3 think where again this is acknowledging to the  
4 community here that maybe we ought to have  
5 some substantial work being done on pushing  
6 the analytical techniques in ways that can  
7 then cause NIOSH to reexamine its REL in  
8 lowering the risk levels that are attendant in  
9 that.

10 I think that is really important  
11 kind of work for NIOSH to do. It's an  
12 important kind of message for workers and  
13 employers in, sort of, that sphere.

14 DR. SCHULTE: Folks, I have a  
15 thought here that we will finish talking about  
16 this question. And we will get into the last  
17 question. I am thinking we could wrap this  
18 whole session up before lunch. We may go a  
19 little longer and then not come back in the  
20 afternoon.

21 But I am here. This is a public  
22 meeting. You are the public. We are here to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 get your input. But if there is general  
2 agreement that we pretty well are exhausting  
3 the topics and everyone has had plenty of  
4 chance to speak, then we will still allow the  
5 people who wanted to make prepared statements  
6 do so. Does that seem like a reasonable way  
7 to proceed just to maybe wrap it up by 12:30  
8 or so? I'm seeing heads nod, hands up.

9 So, okay. We will continue on  
10 talking here about the extent feasible. And  
11 then we could add in the other question, too,  
12 which gets into the whole question of the  
13 action level, its utility, and approaches to  
14 the action level.

15 So that area is open for  
16 discussion from anyone.

17 MR. ZUMWALDE: Can I? Let me add  
18 -- this is Ralph Zumwalde - as Paul had  
19 mentioned, analytical, what we call analytical  
20 feasibility, has always been important in  
21 terms of our RELs. And that has gone back,  
22 even into the '70s.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           One of the things that happens,  
2           though, when we consider feasibility,  
3           especially analytical methods in this  
4           particular case, is that the REL that NIOSH  
5           may end up adopting or deriving may be set at  
6           some level that maybe it is not 1 in 1,000.  
7           Maybe it is a little bit higher risk. It is  
8           not a level that we probably would have  
9           proposed if we had an analytical method that  
10          could measure that particular agent in the  
11          workplace.

12           But what happens over time is that  
13          those RELs have stayed in place for a long  
14          period of time. And there is always this  
15          question about improvements in analytical  
16          methodology.

17           And so I guess from our  
18          standpoint, too, I guess there is the need for  
19          us to have at least some feedback in terms of  
20          if we take into account this issue of  
21          feasibility, whether it is analytical or  
22          engineering, what things should NIOSH have in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 place in terms of looking at improvements, or  
2 doing improvements, in whatever needs to be  
3 done, whether it's analytical development or  
4 something that deals with controls. And how  
5 do we work that into a process in terms of  
6 where we're going back and considering  
7 revising that particular recommendation.

8 So I guess the point is that while  
9 that is important in terms of considering the  
10 issue of feasibility or achievability in  
11 developing an exposure limit, that particular  
12 limit may not be set at a level of risk that  
13 is health-protective.

14 And so how do we stay on top, or  
15 what needs to be done from NIOSH's perspective  
16 to make sure that, if that REL should be  
17 lower, what actions need to be done to improve  
18 the effort on achievability?

19 DR. SCHULTE: Up front here.

20 MR. NAPIER: Dan Napier again.

21 Well, following up on what Ralph  
22 was saying is that one of the things that can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be done is simply adding the caveat to use  
2 best available technology, and acknowledge  
3 those issues.

4 And, of course, the other thing I  
5 would ask is for NIOSH to give me a better  
6 method.

7 DR. SCHULTE: Other comments? In  
8 the back?

9 DR. MELIUS: Yes. Jim Melius from  
10 the Laborers again.

11 I think what would be important is  
12 that in your development of RELs or whatever  
13 it is, being as explicit as you can be about  
14 the basis for the different parts of the  
15 achievability, or feasibility determination  
16 that goes in.

17 In some cases, it may be based on  
18 analytic feasibility. In some cases, it may  
19 be you may want to take into account workplace  
20 achievability and so forth, even in cases  
21 where there may be a better analytical method.  
22 But I think it is important that you provide

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 as much information as you can, which I think  
2 you traditionally have done.

3           Though I am not always sure you --  
4 I think you tend to focus on a number and  
5 communicate around that number, rather than,  
6 you know, giving a broader picture of what is  
7 achievable analytically, say, whatever.

8           But I think if you are going to  
9 have a process where you might update or  
10 things change over time, then having that as  
11 explicitly communicated is important because  
12 the analytical approaches change over time.  
13 What is feasible now, or may not be feasible  
14 now, becomes feasible.

15           There are also I think practical  
16 issues that come up in play in terms of what  
17 type of workplace you are trying to look at,  
18 and what is reasonable to expect from an  
19 employer. There may be some very sensitive  
20 methods that just aren't practical to put in  
21 place in the workplace.

22           The other thing, there may be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things like asbestos, where it ought to be  
2 that it's banned. It should be banned. So  
3 maybe you find information, another substance  
4 that would fit that categorization also and  
5 where, really, I don't know if you need to  
6 talk, then, about analytical feasibility. That  
7 shouldn't take place.

8 I think your overall REL needs to,  
9 you know, just take into account a number of  
10 factors but do it as explicitly as possible so  
11 it is communicated to people working in the  
12 field, as well as people exposed, and they  
13 understand what the basis of that is for. I  
14 think that also communicates better to OSHA  
15 and other regulatory agencies about why you  
16 selected that number.

17 MR. KOJOLA: Yes. This is Bill  
18 Kojola again.

19 Just one quick comment. Yes, I  
20 would agree with what Jim just said, that it  
21 is important for you to outline, you know, the  
22 underlying rationale for how you establish

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 your REL.

2 But one of the great values of  
3 NIOSH is, not only your expertise in your role  
4 in developing this policy and establishing  
5 recommended exposure levels, is: you are a  
6 research agency.

7 And when some of these research  
8 issues are clearly identified in the document  
9 that you used to establish an REL, you know,  
10 that helps to set, or should help to set, your  
11 research agenda. So that, for example, issues  
12 about analytical techniques being  
13 insufficient, that would help derive and drive  
14 your research agenda as well, not only for the  
15 agency, but for other researchers who are  
16 active in occupational safety and health.

17 DR. SCHULTE: Thank you. Any  
18 comments on the action level? Anyone have  
19 concerns about abandoning the action level  
20 approach or modifying it? Right now, as I  
21 said, it is generally formulaic, half the REL.  
22 But it may be that there are other ways to do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 it, a tenth of a REL, or something that is  
2 based on the variability of the data in any --  
3 the measurement data in any particular plant.  
4 So if you have any comments on that, we would  
5 love to hear them.

6 DR. SIVIN: Darius Sivin, UAW.

7 We find that except for our  
8 largest employers, who directly employ a lot  
9 of occupational health resources, many of our  
10 other employers simply don't understand the  
11 action level. That is to say, if they take a  
12 measurement and it is below what is legally  
13 required, they think they are done.

14 I would rather, I would much  
15 rather, see an approach where NIOSH would  
16 identify a level that is associated with  
17 whatever target risk we are talking about, and  
18 then choose a REL that would guarantee that,  
19 let's say, 95 percent of the time a  
20 measurement below that REL would guarantee  
21 that the average exposure was below the number  
22 that was associated with the target risk

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 because then you could approach that employer,  
2 which, let's say, they are very good business  
3 people but they have never had a stats class,  
4 they don't really understand variability and  
5 probability, and you just approach them and  
6 you say: here is the target level, and if you  
7 measure below this target level, you will know  
8 that most of the time, folks will be okay.

9 That would be a much more  
10 practical approach that we could actually use  
11 the numbers much more practically in dealing  
12 with your typical medium-sized employer.

13 DR. SCHULTE: Thank you.

14 Go ahead.

15 MR. ZUMWALDE: The action level  
16 concept historically has been important both  
17 for workers and employers to have the ability  
18 in their workplace, with minimum resources, to  
19 be able to make some kind of an identification  
20 as to whether or not -- I'll use the word  
21 "compliance," whether or not they're below the  
22 occupational exposure limit for a particular

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 substance.

2           The concern that NIOSH has, and as  
3 Paul explained, is that the whole concept of  
4 setting this action level at one-half the OEL  
5 goes back to the '70s, where the data sets  
6 that were used to develop the action level  
7 were based on a very limited exposure data set  
8 from a very small industry group.

9           And that particular data set  
10 indicated that the variability in exposure had  
11 a GSD that was somewhere between one and two.  
12 And so it allowed efforts to develop criteria  
13 for setting an action level at 50 percent that  
14 would give you 95 percent confidence in that  
15 only 5 percent of the samples would exceed the  
16 action level.

17           But since the '70s, there has been  
18 a lot more data that has been gathered from a  
19 lot of different occupational groups, industry  
20 sectors. And I think it is pretty clear that  
21 the exposures within any sector are highly  
22 variable, and that having an action level that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is set at 50 percent would really  
2 underestimate exposures. And that given this  
3 high exposure variability, that if you wanted  
4 to use that concept of an action level, with  
5 95 percent confidence, you may be talking  
6 about having an action level that would be  
7 one-tenth of the occupational exposure limit.

8 So, what NIOSH is interested in is  
9 whether or not the concept of an action level,  
10 using the same kinds of criteria that were  
11 developed for setting an action level at 50  
12 percent, is still reasonable; and that NIOSH  
13 should use that same approach in looking at  
14 exposure data sets and making an appropriate  
15 recommendation. Or, are there other risk  
16 management approaches that may accomplish the  
17 same thing, that would provide the worker and  
18 the employer with a way of looking at their  
19 particular workplace, given some limited  
20 amount of resources, and be able to make some  
21 kind of interpretation as to whether or not  
22 action needs to be taken?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. SIVIN: Darius Sivin, UAW  
2 again.

3 In terms of actually dealing with  
4 most employers, if OSHA sets an action level  
5 and a standard, that action level is  
6 enforceable. And so I can say, "You have  
7 measured above such and such. Therefore, here  
8 is the standard that requires you to do  
9 something."

10 I don't see a NIOSH action level  
11 per se as useful because the employer is not  
12 required to do anything. And the employer  
13 will just look at me and say, "Well, if there  
14 is a risk to their employers, why didn't NIOSH  
15 set the exposure limit lower?"

16 So I am thinking that you set your  
17 REL at ten percent of your target exposure if  
18 that is what your database actually supports  
19 in terms of the variability of the exposure.  
20 Because employers will understand that.

21 MR. ZUMWALDE: I agree. There is  
22 this misconception in terms of what the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 purpose of an action level is. It is not a  
2 health-based number. It is a statistically  
3 derived number to give you some understanding  
4 and perspective of what your exposures are  
5 with respect to the OEL.

6 So I know there is that kind of  
7 confusion. And maybe that comes into play in  
8 terms of what NIOSH is looking for in terms of  
9 comments. So maybe there are other risk  
10 management approaches that may be a little  
11 clearer to implement. And it may be of more  
12 value than using an action level concept.

13 DR. SIVIN: Yes. Just once you  
14 use the term "statistically derived" or "GSD,"  
15 the employer's eyes are glazed over in many  
16 cases. And you are lost.

17 DR. SCHULTE: But clearly we have  
18 to de-glaze any communications that we -

19 MR. NAPIER: Dan Napier again.

20 We are getting into the realm of--  
21 one of the other things is a sampling  
22 criteria. And also what I would say is: why

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't we use or more clearly accentuate the 95  
2 percent confidence interval and use that as a  
3 better method? Because as an industrial  
4 hygienist, I have been in my practice for 35  
5 years, I have generated an awful lot of  
6 left-censored data. For people in the room  
7 who don't know what that is, that is  
8 non-detect data.

9 And so generally I find either  
10 non-detect data or identify a problem. But  
11 very seldom am I in a situation where somebody  
12 is just a little bit below the PEL and we  
13 don't do anything about it.

14 DR. SCHULTE: Thank you.

15 So we welcome further comments on  
16 this, and other questions to the docket. I  
17 will take a couple of more oral questions.  
18 Then we will go to the statements, the people  
19 who have registered to give statements. So  
20 Dr. Melius?

21 DR. MELIUS: In following up on  
22 your de-glazing approach here, could you just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 clarify two of your questions? One is in  
2 question 5, you have, "In the absence of data,  
3 what uncertainties or assumptions are  
4 appropriate for use in the development of  
5 RELs?" I wasn't sure what you were trying to  
6 get at there.

7 And then you also have a complex  
8 mixture question at the end. I'm just not--  
9 sort of searching for what you are searching  
10 for here. We sort of jump to action level and  
11 --

12 DR. SCHULTE: Right. I think that  
13 was a collection question for all the other  
14 things that we hadn't addressed. Certainly  
15 how we include, in our classification and REL  
16 development, how we include uncertainty in the  
17 evidence base, how we weigh that. For example,  
18 sometimes in risk assessments we will use  
19 uncertainty factors to address that.

20 So are there any particular  
21 thoughts that people have about including  
22 uncertain information in the classification or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 REL development, was essentially the main  
2 driver?

3 And then on the risk, the issue of  
4 mixtures, I think we have talked about that a  
5 number of times.

6 DR. MELIUS: I didn't have any  
7 comments. I just wanted to try and understand  
8 what you were --

9 DR. SCHULTE: Right. Right. Yes.  
10 That was a little bit confusing. Thank you.

11 Okay, we have a number of people  
12 who have identified that they wanted to make  
13 statements. Now, they may have said most of  
14 that, and they are welcome to say that. And  
15 they can use the podium for this purpose. So I  
16 will just go down the list. Essentially we  
17 will talk about five minutes per person if you  
18 still want to speak.

19 The first one was Bill Kojola from  
20 AF of L.

21 OPEN PUBLIC COMMENT PERIOD

22 MR. KOJOLA: I don't have anything

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 more to add than I have already had the  
2 opportunity to do so.

3 DR. SCHULTE: Okay. Thank you.

4 And, again, you all have  
5 opportunities to further extend your remarks  
6 or add new remarks to the docket.

7 DR. LENTZ: Paul, before we go to  
8 those comments, too, do you want to see if  
9 anyone on the line wants to pose any other  
10 questions to us here?

11 DR. SCHULTE: Okay. Right. We  
12 have been open to anyone on the line, but is  
13 there anyone on the line who has further  
14 questions or comments?

15 Hearing none, we'll proceed. The  
16 next presenter who registered was Anna  
17 Fendley. You are welcome to use the podium or  
18 sit there, whatever you --

19 MS. FENDLEY: Anna Fendley with  
20 the Steelworkers.

21 I don't really have much else to  
22 add. My colleagues have said a lot of useful

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things. Just we think that NIOSH has a real  
2 opportunity here to advance protections for  
3 workers. And we hope that they take it. And  
4 we look forward to a draft in the spring that  
5 outlines a very transparent process.

6 DR. SCHULTE: Thank you.

7 Next is Darius Sivin.

8 DR. SIVIN: I would just like to  
9 add two brief comments to what I have said  
10 before. One is that the National Research  
11 Council Science and Decisions: Advancing Risk  
12 Assessment, otherwise known as the Silver  
13 Book, has extensive discussions on dealing  
14 with uncertainty. And I think it would be good  
15 to consult those in developing NIOSH's  
16 carcinogen policy.

17 Also, on complex mixtures, which  
18 was asked about but we didn't have too much  
19 discussion today, some of the existing means  
20 of dealing with complex mixtures that I think  
21 NIOSH should consult, include the TLV mixture  
22 formula, the ACGIH reciprocal calculation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 method for refined hydrocarbon solvent vapors.  
2 EPA's relative potency factor and toxic  
3 equivalency factors approaches are a couple of  
4 others.

5 Also, most of those methods have  
6 specific assumptions, which should be made  
7 clear if NIOSH applies them, such as  
8 toxicologic independence or toxicologic  
9 similarity. And so if you do analyses of  
10 complex mixtures, make those assumptions  
11 explicit.

12 Also, there are some heterogeneous  
13 mixtures, for which maybe none of those  
14 methods would be appropriate because the  
15 assumptions underlying the methods are not  
16 met. And I think NIOSH might be able to do  
17 some research in identifying some of the more  
18 common mixtures actually found in the  
19 workplace, and proposing methods to deal with  
20 those.

21 DR. SCHULTE: Thank you.

22 Next we have Kathleen Burns by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       teleconference.

2                   DR. BURNS:   Yes.   Amanda Hawes was  
3 going to be speaking on behalf of Worksafe and  
4 Sciencecorps.   And I just notified her when  
5 you initially announced that you might  
6 accelerate the schedule to call in.   She is  
7 calling in from California.   So what I would  
8 request is that you allow us to speak last.  
9 And hopefully she will be on the line by then.

10                   But if not, I can say something or  
11 if Ms. Dorothy Wigmore is on the line, she may  
12 want to speak.   She is at Worksafe.

13                   DR. SCHULTE:   Okay.   Hearing no  
14 one speak, we will put you last and hope Ms.  
15 Hawes calls in.   And if she doesn't, she can  
16 certainly put her remarks in the docket.   And  
17 you can speak in a wrap-up position and anyone  
18 else who represents that group.

19                   Moving on, then, to John  
20 Schweitzer.

21                   MR. SCHWEITZER:   I'm going to come  
22 up to the podium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. SCHULTE: Right. All right.

2 MR. SCHWEITZER: I am John  
3 Schweitzer with the American Composite  
4 Manufacturers Association. And we do really  
5 appreciate the opportunity NIOSH has provided  
6 to have input on this very important project.  
7 And we will be submitting some extensive  
8 written comments, but I wanted to use the  
9 opportunity today to take a step back and make  
10 perhaps some more philosophical observations  
11 and suggestions about NIOSH and its role in  
12 occupational safety and health.

13 Let me start off by saying that I  
14 represent an industry of about 3,000  
15 predominantly small companies that use  
16 chemicals to make products. And there are  
17 some things that characterize small chemical  
18 processors, one of which is that they are  
19 relatively risk-averse. By that I mean that  
20 it is not uncommon to find that the owner, her  
21 family members, and her neighbors work in the  
22 plant. And the idea that we could somehow

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 trade off injury and illnesses to make money  
2 is anathema to these people. They would rather  
3 shut up-- shut the business and become real  
4 estate agents than hurt anyone. And so they  
5 are very serious as a group about safe and  
6 healthy workplaces.

7 Another thing that distinguishes  
8 this group is that guidelines, particularly  
9 those that are precautionary or progressive or  
10 technology-forcing in nature without  
11 consideration, without specific consideration,  
12 of practicality and affordability of control,  
13 are of no benefit. What does the small  
14 business owner do with this idea that, well,  
15 here is a target? And maybe someday somebody  
16 will invent a device that you can afford to  
17 put in your plant that would control to this  
18 level.

19 Well, this is like, well, yeah. I  
20 could put my plant on the moon, too, but of  
21 what use is that to me? In fact, it is worse  
22 than of no value because those sorts of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 pronouncements by the government drive costs  
2 for liability insurance. They drive costs for  
3 worker's comp insurance. They drive these  
4 small business owners into court to deal with  
5 tort suits. All of that cost and burden  
6 without any real risk assessment.

7           And that's for small businesses  
8 and can be an enormous strain on their  
9 viability and can be an enormous impediment to  
10 employing people in this country.

11           The final point to make in terms  
12 of context setting, is that we don't, as an  
13 industry, have the resources to fight a battle  
14 on, or to work with -- let me not set that in  
15 a military metaphor-- but to work with  
16 multiple regulators on the same issue.

17           It is conceivable over the next  
18 few years that NIOSH, OSHA, and Cal-DOSH are  
19 all going to be doing rule-making activities  
20 on the same topic. That is insane. I told my  
21 board of directors that we were going to  
22 participate in a NIOSH activity on cancer. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 they said, "Aren't we in the middle of that  
2 with OSHA on GHS? Why are we doing that  
3 again?" I had a hard time explaining to them  
4 why a second occupational cancer activity is  
5 necessary.

6 And it is not just the regulatory  
7 agencies. We have some issues with combustible  
8 dust. And it is not enough for me to  
9 participate in OSHA's combustible dust  
10 activity. I also have to go to NFPA [National  
11 Fire Protection Association] and worry about  
12 that as well.

13 And so this is not efficient. And  
14 it strains our ability to bring our resources  
15 and our information to bear when there are  
16 multiple regulatory or regulatory-type  
17 agencies working the same issue.

18 So, having set the context for my  
19 perspective about this, let me get to my  
20 points here. So we thought about how NIOSH can  
21 profitably contribute to worker protection.  
22 And there are two things we came up with. One

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is that we can reduce, that NIOSH could serve  
2 to reduce rule-making burdens based by OSHA.

3 My companies really need OSHA  
4 standards that are protective and reasonably  
5 affordable and achievable. That is what they  
6 depend on. And that is their best source of  
7 information for protecting their employees.  
8 And everything else that is out there becomes  
9 noise and is very hard for them to make good  
10 use of it.

11 So we need good and effective OSHA  
12 standards. And anything that NIOSH can do to  
13 help OSHA do more-- more productively and  
14 efficiently do rule-making-- we would be in  
15 favor of.

16 And the second idea that we came  
17 up with was that NIOSH may be able to do and  
18 conduct and manage productive programs that,  
19 while they are productive and helpful, may not  
20 fit in OSHA's traditional rule-making process.  
21 I have got some examples of both of those  
22 things.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           In terms of reducing rule-making  
2 burdens faced by OSHA, undoubtedly, one of the  
3 most difficult things that OSHA has to  
4 consider are matters of practicality and  
5 affordability, particularly for small  
6 businesses.

7           I mentioned combustible dust. We  
8 have been helping our industry with  
9 combustible dust for a long time. And when  
10 OSHA introduced their national emphasis  
11 program on combustible dust and instituted a  
12 rule-making, I thought, well, this is going to  
13 run aground when they come to small  
14 businesses. And I understand, in fact, at  
15 this point the OSHA combustible dust process  
16 has come to a stop because OSHA has discovered  
17 things to do about combustible dust that fit  
18 in large companies, but for small companies  
19 are just wildly unaffordable or impractical.  
20 And what do we do about that?

21           So I think that if NIOSH could  
22 devote some of its considerable resources to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at affordability and practicality up  
2 front when we come to a hazard and do a lot of  
3 that work, collect information, do analysis,  
4 decide where the cost-benefit returns are for  
5 different industry segments. I think that  
6 could really give OSHA a head start in getting  
7 a rule- making out the door.

8 And I think more on that point is  
9 that -- and I alluded to this earlier -- for  
10 small businesses, any sort of guidance that's  
11 free of a meaningful consideration of  
12 affordability and practicality is very much a  
13 two-edged sword.

14 Yes, it can be a helpful target.  
15 But without knowing how to get there, and  
16 whether or not the cost is proportional to the  
17 actual risk reduction, makes that product of  
18 very limited usefulness to smaller companies.

19 Now, on the other idea about  
20 programs and activities that we think could be  
21 helpful and productive that may not fit into  
22 OSHA's rule- making process, our idea is that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 NIOSH could facilitate and manage the  
2 operation of stakeholder groups working to  
3 prepare what I am un-artfully calling here a  
4 pre-rule-making document.

5 And I just have a couple of  
6 minutes here or less. And, really quickly,  
7 Cal-DOSH has a process for their PEL updates,  
8 where there is an expert panel that meets in  
9 public. So stakeholders can come and  
10 participate in those meetings. So it is  
11 extremely transparent.

12 And that process then produces a  
13 document that goes to the staff. And that is  
14 the beginning of the formal rule-making  
15 process.

16 So all of the stakeholder issues  
17 are on the table up front: matters of  
18 agreement and disagreement, data gaps that the  
19 agency is going to have to fill in are  
20 identified, et cetera, et cetera. So we think  
21 that is a process that gives the agency a head  
22 start.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           And, actually, even though there  
2           is a commitment up front of perhaps a year to  
3           run the stakeholder group on a particular  
4           topic, we think it dramatically lessens the  
5           chance that stakeholder groups are busy trying  
6           to derail the thing at the end because they  
7           are unhappy with it, which ties things up and  
8           often results in things having to be done over  
9           again, which is highly inefficient.

10           So those are our two basic  
11           suggestions about how NIOSH might function to  
12           help OSHA get rules out the door quickly.

13           Thank you.

14           DR. SCHULTE:       Thank you, Mr.  
15           Schweitzer.

16           Let me just note, too, that NIOSH  
17           is a research and guidance agency. We are not  
18           a regulatory agency, but I appreciate the  
19           comments. And we will take them to heart.

20           Next is Joel Tickner on  
21           teleconference. Joel Tickner? All right. We'll  
22           move on to Charlotte Brody on teleconference.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. SCHULTE: Okay. Moving on to  
2 Dana Casciotti on --

3 MS. CASCIOTTI: I don't have  
4 anything to add.

5 DR. SCHULTE: Okay. Thank you.

6 And Aaron Trippler? Aaron? I  
7 heard your name before. Aaron Trippler?

8 DR. SCHULTE: Okay. We're back to  
9 Dr. Burns.

10 DR. BURNS: I think the difficulty  
11 is that very few people can -- you know, I  
12 really respect the people who are here and are  
13 spending the day, but very few of us in the  
14 field can take an entire day to participate.  
15 So these other people, I know, did really want  
16 to be able to speak directly to those of you  
17 at the meeting. And I haven't heard anything  
18 from Mandy yet.

19 So, Mandy, are you on the line? I  
20 guess not.

21 I am just going to say a couple of  
22 really brief things. And I appreciated the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 insight of Mr. Schweitzer as a small business  
2 representative there, because obviously we  
3 need to understand their thinking.

4 My only point that I want to just  
5 mention that I don't think was discussed in  
6 any detail is the issue of goals versus what  
7 you might consider regulations, or  
8 requirements or standards, in both the  
9 environmental realm in the U.S. and in other  
10 countries in both occupational and  
11 environmental health.

12 We see the establishment of goals.  
13 And, for example, the drinking water standards  
14 across the United States have goals that are  
15 zero for carcinogens. And, of course, that's  
16 I guess you might say a combination of a  
17 political, medical, and scientific statement  
18 that what we would like to have is no exposure  
19 to these, but in recognition of the reality,  
20 usability, practicality, affordability, and so  
21 on, there are standards that are set.

22 But what the goals do -- and right

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 now the chemicals for which the goals differ  
2 from the standards in that context aren't  
3 primarily the carcinogens -- is that they put  
4 people on notice: water purveyors, companies,  
5 the general public. And they give an alert, a  
6 head's up, that says, you know, here is where  
7 we should be, where we would like to be. We  
8 can't be there right now in every case, but  
9 this is our objective, this is our target. And  
10 I think it is a tremendous advantage to NIOSH  
11 doing something along those lines, which is,  
12 of course, what they have prior to the change  
13 in the way carcinogens were handled during the  
14 1980s.

15           You know, there are implicit risks  
16 and costs associated with having exposure to  
17 carcinogens, you know whether we believe in  
18 the risk assessment calculations, which I  
19 think have a great deal of uncertainty, or we  
20 don't, there are clearly problems associated  
21 that can be enumerated, even if they are over  
22 a wide range.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           In addition, most carcinogens to  
2           date are genotoxic. And genotoxic carcinogens  
3           impose birth defects that are heritable risks  
4           that are passed from generation to generation  
5           in many cases as well as cancer risks.

6           So there are a lot of co-benefits  
7           to controlling these, a lot of down sides to  
8           not controlling these. And having this  
9           information explicitly communicated by setting  
10          a goal that may be much lower than what you  
11          set as an REL has an advantage.

12          And I would argue that this might  
13          have more of an advantage for small  
14          businesses, where they need that up-front  
15          information, so that they have an opportunity  
16          to perhaps change the processes, change the  
17          chemicals that are used, change the personal  
18          protective gear, and so on. And they may  
19          deserve some special attention as far as being  
20          identified as reasonable locations for pilot  
21          projects to control some of these chemicals to  
22          get closer to that goal so that they can, in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effect, be setting the gold standards for  
2 other companies that may have more resources.

3 So my only comment is just that if  
4 NIOSH is able to look at living in the context  
5 of the 1 in 1,000 or feasibility or these  
6 other contexts that are covered in the Federal  
7 Register as an issue of goal versus regulation  
8 and, perhaps, putting out information on both  
9 of those, that it would be quite a service to  
10 the general public, and I believe also to the  
11 companies that are trying to do their best on  
12 these issues.

13 DR. SCHULTE: Well, thank you very  
14 much.

15 Is there anyone else, then, who  
16 wants to speak? Anyone on the phone in the  
17 teleconference? Dr. Melius back there?

18 DR. MELIUS: This is Jim Melius  
19 from the Laborers.

20 Just briefly two things. First of  
21 all, I would encourage you in your thinking of  
22 going forward in terms of process that when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you come up with your draft policy, that you  
2 also lay out, sort of, what your follow-up  
3 plans are for implementing that policy.

4 I am not sure how explicit that  
5 policy will be in terms of, for example,  
6 guidelines for classification, but I think  
7 that there are many from throughout the  
8 scientific occupational health community who I  
9 think would benefit. And you would benefit  
10 from input on that part of the process.

11 I think it also helps to educate  
12 the wider community on what your  
13 classification is, and what it is based on.  
14 But it is a little hard for us to comment on  
15 criteria without having more of a context for  
16 it and understanding better what your  
17 classifications would be and so forth.

18 DR. SCHULTE: Right. And we  
19 intend to do that. This meeting was to gather  
20 opinion and to build that. And we wanted to  
21 make sure we had at the front end the opinion  
22 of stakeholders.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   But, then, I appreciate what you  
2                   are saying, that we need to describe how it  
3                   will be implemented, and that approach as  
4                   well.

5                   DR. MELIUS:           And the second  
6                   recommendation I would have is that you give  
7                   serious consideration to developing as part of  
8                   your policy-- when one goes to a  
9                   multiple-level classification system, I think  
10                  it also allows you to communicate to some  
11                  extent about risk management.

12                  It may not convey totally risk,  
13                  but it conveys something about the hazard. And  
14                  it ought to alert people as to what steps they  
15                  should be taking in the workplace to address  
16                  the potential, possible, or known risks from  
17                  that particular substance or exposure. And I  
18                  think having that explicitly at least outlined  
19                  in your policy would be helpful to the wider  
20                  community.

21                  It is going to vary by substance  
22                  to substance as you go into more detail. But

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 certainly when something goes from a suspect  
2 to a probable or a known carcinogen, I mean,  
3 that certainly ought to convey to the  
4 community something about how the exposures to  
5 that substance should be managed in the  
6 workplace.

7 And I think that, given how long  
8 it takes for rule-making, given how long it  
9 takes for developing RELs and so forth, that  
10 having some sort of a communication that is  
11 part of the overall policy in that area would  
12 help.

13 I believe that is feasible. I  
14 think that can be done fairly. There may be  
15 some exceptions to it, but I think it really  
16 would be an important part of what you do in  
17 this revised policy.

18 DR. SCHULTE: Thank you.

19 Comments?

20 DR. MacMAHON: This is Kathleen  
21 MacMahon with NIOSH.

22 I just wanted to mention that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 NIOSH has assembled all of the background  
2 documents and policy statements that are  
3 related to this effort on one web page, on the  
4 NIOSH website.

5 If you go to the NIOSH home page,  
6 which is [www.cdc.gov/niosh](http://www.cdc.gov/niosh), it is a spotlight  
7 on the home page. And you will find there a  
8 compilation of many of the historical  
9 documents that Dr. Schulte mentioned this  
10 morning.

11 And as this work continues, that  
12 is where we will put draft documents and other  
13 resources related to this effort for those who  
14 are interested in keeping up with the topic.

15 CLOSING COMMENTS AND NEXT STEPS

16 DR. SCHULTE: And when we actually  
17 have the draft policy, we will put out a  
18 Federal Register notice announcing that and  
19 put it on the web for public comment, most  
20 likely followed by a public meeting to have  
21 people amplify their comments. After that, we  
22 will then reflect on all of those comments and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 issue the final document.

2 So last call, then, for any  
3 comments?

4 We appreciate the time that people  
5 have spent coming here, the thoughtful  
6 comments that people have given. And we  
7 certainly look forward to any written comments  
8 that you want to submit to the docket, and  
9 then ultimately to your comments on the draft  
10 document.

11 So thank you once again for being  
12 here. And at this time, we will adjourn the  
13 meeting.

14 (Whereupon, the foregoing matter  
15 was concluded at 12:16 p.m.)

16

17

18

19

20

21

22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1  
2  
3  
4  
5  
6  
7  
8  
9